

00001

1

2

3

4

5

6

7

8

9

10

11 CENTERS FOR MEDICARE AND MEDICAID SERVICES

12 Medicare Evidence Development & Coverage Advisory Committee

13

14

15

16

17

18

19 September 12, 2007

20

21 Centers for Medicare and Medicaid Services

22 7500 Security Boulevard

23 Baltimore, Maryland

24

25

00002

1 Panelists

2

3 Vice-Chair

4 Steven Pearson, M.D., M.Sc.

5

6 Voting Members

7 Gregory L. Barkley, M.D.

8 Karl E. Becker, M.D., M.B.A.

9 Mark V. Boswell, M.D., Ph.D.

10 Gregory J. Dehmer, M.D.

11 Marion Danis, M.D.

12 Saty Satya-Murti, M.D., F.A.N.N.

13 Mercedes K.C. Dillum, M.D.

14 Loren Hiratzka, M.D.

15 Marvin Konstam, M.D.

16

17 CMS Liaison

18 Louis Jacques, M.D.

19

20 Consumer Representative

21 Randel Richner, B.S.N., M.P.H.

22

23 Industry Representative

24 Peter Juhn, M.D., M.P.H.

25

00003

1 Panelists (Continued)

2

3 Guest Expert Panelists  
 4 Doran Edwards, M.D.  
 5 Barry L. Whites, M.D., F.C.C.P.  
 6  
 7 Executive Secretaries  
 8 Maria Ellis  
 9 Michelle Atkinson  
 10  
 11  
 12  
 13  
 14  
 15  
 16  
 17  
 18  
 19  
 20  
 21  
 22  
 23  
 24  
 25

00004

1	TABLE OF CONTENTS	
2		Page
3		
4	Opening Remarks	
5	Maria Ellis/Louis Jacques/	
6	Steven Pearson	7
7		
8	Introduction of Panel	10
9		
10	CMS Presentation of Voting Questions	
11	Francina Spencer	12
12		
13	Presentation	
14	Eric Mair, M.D.	19
15		
16	TA Presentation	
17	Thomas A. Trikalinos, M.D., Ph.D.	30
18		
19	CMS Presentation	
20	Ross Brechner, M.D., M.S., M.P.H.	55
21		
22	Presentation	
23	Frank Ryan, M.B., F.R.C.P.I, F.R.C.P.C.,	
24	F.C.C.P.	66
25		

00005

1	TABLE OF CONTENTS (Continued)	
2	Scheduled Public Comments	
3	Thomas Kehoe, M.D.	87
4	Michael Coppola, M.D.	91
5	Jon Freudman, M.D.	94

6	William C. Dement, M.D., Ph.D.	95
7	Jon Freudman, M.D.	99
8	Charles Atwood, Jr., M.D.	103
9	David Gourley, R.R.T., M.H.A.	107
10	Kelly Garber, C.R.T.	109
11	Stephen Burton, Ph.D.	113
12	Alex Chediak, M.D.	116
13	Philip Westbrook, M.D.	121
14	Samuel Kuna, M.D.	125
15	James Parish, M.D.	128
16	Mark Goetting, M.D.	131
17	David Kuhlmann, M.D., A.B.S.M.	135
18	Terence M. Davidson, M.D.	138
19		
20	Open Public Comments	
21	Edward Grandi	142
22	Michael J. Thomas	144
23	David Kuhlmann, M.D., A.B.S.M.	145
24	Robert Kingsbury	147
25		

00006

1		
2	TABLE OF CONTENTS (Continued)	
3		
4	Questions to Presenters	149
5		
6	Initial Open Panel Discussion	183
7		
8	Formal Remarks and Voting Questions	210
9		
10	Closing Remarks and Adjournment	217
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		
23		
24		
25		

00007

1 PANEL PROCEEDINGS  
2 (The meeting was called to order at 8:08 a.m.,  
3 Wednesday, September 12, 2007.)  
4 MS. ELLIS: Good morning and welcome, committee  
5 chairperson, members and guests. I am Maria Ellis, an  
6 executive secretary with the Medicare Evidence Development  
7 and Coverage Advisory Committee.  
8 The committee is here today to discuss the

9 evidence, hear presentations and public comment, and make  
10 recommendations concerning, one, the diagnosis and treatment  
11 of obstructive sleep apnea in Medicare beneficiaries who may  
12 be candidates for continuous positive airway pressure  
13 therapy. Two, alternatives to facility-based polysomnography  
14 in the diagnosis of OSA, including home sleep testing devices  
15 and clinical diagnosis without the use of sleep testing.  
16 The following announcement addresses conflicts of  
17 interest issues associated with this meeting and is made part  
18 of the record. The conflict of interest statutes prohibit  
19 special government employees from participating in matters  
20 that could affect their or their employers' financial  
21 interests. Each member will be asked to disclose any  
22 financial conflicts of interest during their introduction.  
23 We ask, in the interest of fairness, that all  
24 persons making statements or presentations also disclose any  
25 current or previous financial involvement in a company that

00008

1 manufactures or provides devices or other tools for the  
2 diagnosis and treatment of obstructive sleep apnea. This  
3 includes direct financial investment, consulting fees, and  
4 significant institutional support. If you haven't already  
5 received a disclosure statement, they are available on the  
6 table outside of this room.  
7 We ask that all presenters please adhere to their  
8 time limits. We have numerous presenters to hear from today  
9 and a very tight agenda, and therefore, cannot allow extra  
10 time. There is a timer at the podium that you should follow.  
11 The light will begin flashing when there are two minutes  
12 remaining and then turn red when your time is up. Please  
13 note that there is a chair for the next speaker and please  
14 proceed to that chair when it is your turn.  
15 For the record, voting members present for today's  
16 meeting are Dr. Karl Becker, Dr. Mark Boswell, Dr. Gregory  
17 Dehmer, Dr. Marion Danis, Dr. Saty Satya-Murti, Dr. Mercedes  
18 Dullum, Dr. Loren Hiratzka, Dr. Marvin Konstam, and  
19 Dr. Gregory Barkley. A quorum is present and no one has been  
20 recused because of conflicts of interest.  
21 The entire panel, including nonvoting members, will  
22 participate in the voting. The voting scores will be  
23 available on our web site following the meeting. Two  
24 averages will be calculated, one for the voting members and  
25 one for the entire panel.

00009

1 I ask that all panel members please speak directly  
2 into the mikes and you may have to move the mikes since we  
3 have to share.  
4 And lastly, please remember to discard your trash  
5 in the trash cans located outside this room.  
6 And now I would like to turn the meeting over to  
7 Dr. Louis Jacques.  
8 DR. JACQUES: Good morning. My name is Louis  
9 Jacques, I'm the director of the division of items and  
10 devices here in the Coverage and Analysis Group. I would  
11 like to thank you all for coming, and we certainly appreciate

12 the interest in this particular issue. As you can tell by  
13 the number of people in the room, many of you probably  
14 already know each other, this is a topic for which there is  
15 quite a bit of interest.  
16 Just one reminder. The context of this discussion  
17 is OSA diagnosis or the qualification of Medicare coverage  
18 for CPAP devices. I realize OSA is a fascinating topic and  
19 this could turn into a three-day meeting if we don't sort of  
20 keep in mind the context. Thank you.  
21 Now I'll turn things over to Dr. Steve Pearson.  
22 DR. PEARSON: Thank you, Louis. I'm chairing this  
23 meeting, but it's also my first meeting as a MedCAC member,  
24 so I just want to say a brief welcome to everybody also, and  
25 also thank the staff within the Coverage and Analysis Group

00010

1 for the work they've put in to working with us already on the  
2 questions, helping us understand the issues, because  
3 obviously we want to try to provide a discussion that will  
4 benefit them in forming their decisions. I also want to  
5 thank all the members of the panel who are here today for the  
6 work that they already did and for what we will do today.  
7 I believe that the first order of business is for  
8 us to introduce each of ourselves and to declare our  
9 conflicts of interest, so I will start.  
10 I am the president of the Institute for Clinical  
11 and Economic Review at Harvard Medical School. My conflicts  
12 of interest would include the fact that I'm a paid consultant  
13 to America's health insurance plans, and I think that covers  
14 it.  
15 DR. BECKER: I'm Karl Becker, recently retired from  
16 the University of Kansas in Kansas City, I'm an  
17 anesthesiologist. I have no conflicts of interest.  
18 DR. BOSWELL: My name is Mark Boswell, I'm an  
19 anesthesiologist from Texas Tech University.  
20 DR. DEHMER: My name is Greg Dehmer, I'm a  
21 professor of medicine at Texas A&M College of Medicine and  
22 director of the cardiology division in the Scott & White  
23 Clinic. I'm an interventional cardiologist and have no  
24 conflicts of interest.  
25 DR. DANIS: My name is Marion Danis. I'm in the

00011

1 section of ethics and health policy in the department of  
2 bioethics at the National Institutes of Health, I run the  
3 ethics consultation service there and I have no conflicts.  
4 DR. SATYA-MURTI: I am Saty Satya-Murti. I am a  
5 clinical neurologist and an independent health policy  
6 consultant. I used to be a Medicare medical director for  
7 several years. I have no conflict of interest.  
8 DR. DULLUM: Mercedes Dullum, cardiac surgeon,  
9 Cleveland Clinic Florida. I have no conflicts of interest.  
10 DR. HIRATZKA: Loren Hiratzka, a community cardiac  
11 surgeon from Cincinnati, Ohio, and I'm also medical director  
12 for Tri-Health Hospitals' cardiac surgery programs. I have  
13 no conflicts of interest.  
14 DR. KONSTAM: Mark Konstam, chief of cardiology at

15 Tufts New England Medical Center, and I have no conflicts of  
16 interest to declare.  
17 DR. BARKLEY: I'm Greg Barkley, I'm a neurologist  
18 at Henry Ford Hospital in Detroit and have no conflicts of  
19 interest.  
20 DR. JUHN: I'm Peter Juhn, vice president, evidence  
21 and regulatory policy at Johnson & Johnson, and I am the  
22 industry rep. No conflicts of interest to report.  
23 MS. RICHNER: I'm Randel Richner, a private  
24 consultant, and I have no conflicts of interest.  
25 DR. EDWARDS: Doran Edwards, medical director of

00012

1 the Statistical Analysis DMERC and I have no conflicts of  
2 interest.  
3 DR. WHITES: My name is Barry Whites, I practice  
4 pulmonary critical care, and am also medical director for  
5 TriSpan, which is a Part A intermediary. No conflicts.  
6 DR. PEARSON: Thank you, and just a quick word of  
7 housekeeping. You may note, we do have a very full agenda  
8 today, but I cannot envision any of us being able to sit from  
9 eight until noon without at least some break. So we're going  
10 to try to squeeze a five-minute break in around, sometime in  
11 between 10 and 10:30, we'll see how the flow of the morning  
12 goes, but it's just to remind the panel members as well that  
13 we'll have a chance to get a drink of water and to stand up.  
14 So, I'm going to now ask Francina Spencer to, if  
15 she would please, to present the voting questions so that we  
16 can have that as a framework as we discuss the questions.  
17 MS. SPENCER: Once again, good morning, and welcome  
18 to today's MedCAC on OSA, the diagnosis of OSA for CPAP.  
19 First -- I will wait for the slides.  
20 I would like to introduce to you the members of our  
21 CMS team, and when I call your name, would you please stand,  
22 some of whom you have already met. Miss Michelle Atkinson,  
23 executive secretary. Miss Maria Ellis, executive secretary.  
24 Dr. Ross Brechner, lead medical officer. Miss Jean Stiller,  
25 analyst. Dr. Louis Jacques, director of the division of

00013

1 items and devices. Dr. Steve Phurrough, director of coverage  
2 and analysis, who is not here today. And I am Francina  
3 Spencer.  
4 In the interest of time, this is the purpose of the  
5 meeting.  
6 The Medicare coverage criteria for CPAP therapy  
7 requires among other things, a diagnosis of moderate or  
8 severe OSA; surgery must be a likely alternative; an AHI  
9 greater than 15, or between five and 14 with symptoms. The  
10 AHI must be based on a minimum of two hours of sleep recorded  
11 by PSG using actual recorded hours of sleep.  
12 Our current policy specifically states that the PSG  
13 must be performed in a facility-based sleep study laboratory  
14 and not in the home or in a mobile facility. In 2004 CMS  
15 reconsidered the policy to include the use of unattended  
16 portable home sleep devices to diagnose OSA for CPAP devices.  
17 At that time CMS found insufficient evidence to conclude that

18 unattended portable multichannel sleep study testing is  
19 reasonable and necessary in the diagnosis of OSA for CPAP  
20 therapy. The test remains non-covered for this purpose.  
21 In January of 2007, CMS received a request  
22 from the American Academy of Otolaryngology, Head and Neck  
23 Surgery, to modify this decision to include the use of  
24 unattended portable multichannel home sleep testing devices  
25 as an alternative to facility-based PSG in the evaluation of

00014

1 OSA.  
2 In addition, CMS has received numerous requests  
3 concerning the criteria for determining the AHI, more  
4 specifically the requirement that the AHI must be based on a  
5 minimum of two hours of sleep recorded by PSG using actual  
6 hours of sleep. It has been suggested that this requirement  
7 be changed to a minimum of two hours of sleep or less if the  
8 actual number of AHI episodes reported is 30 or more in less  
9 than two hours.  
10 These devices have been approved or cleared by the  
11 FDA for use in the home or portable setting by the 510(k)  
12 clearance process, which means that they are substantially  
13 equivalent to devices already on the market.  
14 The CPAP MedCAC questions to be addressed today  
15 are:  
16 One, how confident are you that there is sufficient  
17 evidence to determine that each of the following strategies  
18 can in routine use produce an accurate diagnosis of OSA for  
19 the prescription of CPAP?  
20 Two, for each OSA diagnostic strategy for which  
21 there is enough evidence in question one, how confident are  
22 you about the sensitivity, ability to minimize false  
23 negatives, and specificity, ability to minimize false  
24 positives?  
25 Three, should each of the following be weighed as a

00015

1 criterion for the prescription of CPAP for the diagnosis of  
2 OSA?  
3 Four, CPAP is currently a standard treatment for  
4 OSA. Defining successful treatment as combined subjective  
5 improvement of OSA clinical signs or symptoms and continued  
6 patient use of CPAP for two or more months, how confident are  
7 you that there is sufficient evidence to determine the  
8 ability of each of the following diagnostic strategies to  
9 accurately predict successful treatment of OSA with CPAP?  
10 Five, how confident are you that each of the  
11 following diagnostic strategies will accurately predict  
12 successful treatment of OSA with CPAP?  
13 Six, how confident are you that no clinically  
14 meaningful harm to patients will be caused by a trial by CPAP  
15 strategy as an alternative to strategies that require a  
16 positive prior PSG or home sleep test before CPAP.  
17 And finally, how confident are you that your  
18 conclusions can be generalized to, A, the Medicare  
19 population, and B, providers in community practice?  
20 Thank very much and continue to enjoy the remainder

21 of the meeting.  
22 DR. PEARSON: Thank you, Francina. The panel has  
23 had a chance for one conversation about, to clarify questions  
24 about the questions themselves, but because we have just a  
25 few minutes, I just want to make sure if there are any

00016

1 specific clarifying questions that anyone on the panel thinks  
2 that they should ask now, so that everybody will try to have  
3 the same perception of these questions going forward. Yes?  
4 DR. SATYA-MURTI: One of the questions, I think  
5 five, it says other. So we can assume anything other than  
6 type two, four -- in question three, other types? Because  
7 some of the presenters have put in footnotes as to what they  
8 believe others to be.  
9 DR. PEARSON: That's a good question. Louis, can  
10 you clarify that for us?  
11 DR. JACQUES: Sure. In the context of question  
12 three, other was simply, are there any other factors in line  
13 with the various clinical factors, either symptoms or signs  
14 noted above, that the committee would think ought to be  
15 included in this particular question. Although we tried to  
16 cast the net fairly broadly from everything from snoring to  
17 various more formal measurements of scoring, certainly we're  
18 not claiming to have exhausted every possible option there.  
19 So that's simply, if the committee identifies something else  
20 that they thought ought to be weighed as a criteria, we're  
21 leaving that as a possibility for the question.  
22 DR. PEARSON: Yes.  
23 DR. KONSTAM: You know, I just have a general  
24 question. With regards to many of or all of these questions  
25 we're going to be asked, can this or that strategy do this or

00017

1 that, or be used for this or that purpose. I guess when  
2 answering those, you know, will there be a presumption that,  
3 you know, additional criteria can be established, or certain  
4 patient processes or standards that be developed, you know,  
5 to make that can be reasonable? Or is it going to be  
6 necessary for us to find those in answering each one of those  
7 questions?  
8 DR. JACQUES: We don't have a specific question  
9 about certification of particular providers or technicians or  
10 others, although certainly we've heard a lot of interest on  
11 that particular issue. If the committee feels that there is  
12 strong evidence to support particular qualifications, we are  
13 certainly happy to hear that from the committee. But we did  
14 realize that even in these particular questions, absent that  
15 additional discussion, was likely to take the whole day.  
16 There are other things that Francina has mentioned in terms  
17 of some of the secondary questions or requests that did come  
18 up in addition to the formal requestor of record, and not all  
19 of those are reflected in the committee questions here.  
20 CMS can certainly deal with those ourselves. If  
21 the committee wants to provide us some input, it certainly  
22 could, and we would be happy to receive it. But we felt that  
23 if we asked the questions for every sort of possible nuance



24 in this, then we would probably be pressed for time.  
25 DR. KONSTAM: Well, I guess as a follow-up to that,

00018

1 if we come to do that, I mean, I'm thinking it might be  
2 important for us to state the qualifications that we have in  
3 our minds about the questions.  
4 DR. JACQUES: We certainly could. The last  
5 question, which is how confident are you that your  
6 conclusions can be generalized to, and then subsection B of  
7 that was providers in community practice. And that would be  
8 the place, if you had any particular reservations that the  
9 trials were only done in certain types of facilities or by  
10 certain providers with particular qualifications, that would  
11 be the place to sort of opine on that.  
12 DR. PEARSON: Peter, one more quick question and  
13 then we will move to the presentations. Peter.  
14 DR. JUHN: Yeah. I wanted to (inaudible).  
15 DR. PEARSON: The question was whether there is a  
16 distinct difference between question four and five.  
17 DR. JACQUES: Yes. This is one of the ways in  
18 which the Coverage and Analysis Group sometimes likes to  
19 split the issue, which is, is there sufficient evidence with  
20 which to make judgments and then in the judgments, you know,  
21 how much confidence do you have in its performance. So in  
22 the first they're just asking, is there enough evidence for  
23 you to have a reasonable consideration, if you will.  
24 DR. PEARSON: We will make sure that that's clear  
25 again, because that's true for some of the other questions.

00019

1 All right. Why don't we move forward, and we will have time  
2 again after the presentations, other issues or thoughts may  
3 come up about the questions, but why don't we move forward  
4 with the first presentation, which is from Dr. Eric Mair.  
5 DR. MAIR: Good morning. Panel members and  
6 esteemed colleagues, it's a great honor to speak with you  
7 this morning. The American Academy of Otolaryngology has  
8 funded my travel for this meeting and I have absolutely no  
9 financial involvement with any manufacturers of any product  
10 for home sleep studies. However, I do have financial  
11 involvement with in-lab polysomnography sleep centers, so  
12 today you'll see that I'll actually speak against my personal  
13 financial interests, so please listen closely.  
14 There are 18 million Americans who suffer from  
15 apnea. We know it can be markedly debilitating. There are  
16 negative impacts of unmanaged apnea, specifically higher  
17 healthcare expenditures and a lower quality of life. The  
18 existing diagnostic capacity is lacking since the home  
19 studies are really not yet recognized by CMS for  
20 reimbursement. Most apnea patients don't even know from what  
21 they suffer and the patients many times incur hardships and  
22 high costs of in-lab PSG tests. What I would like to state  
23 today is that there is sufficient data that exists to support  
24 decisive action for the approval of home sleep studies.  
25 So what about this diagnostics at home stuff? It

00020

1 seems logical, we've been talking amongst everyone and it's  
2 inevitable, it's coming, it's down the pipeline, but yet  
3 despite its promise, we continue years upon years to debate  
4 whether or not we're going to adopt it. Why not? Well, home  
5 studies are less expensive, they're accurate, they're safe,  
6 they're definitely more patient-friendly than the in-lab  
7 studies that I'm used to too. Well, the problem is there are  
8 political influences that have played a major role in the  
9 debate.  
10 Let's back up a little bit. The Holter monitor,  
11 which is an ambulatory electrocardiography device, it was  
12 developed by Dr. Norman Holter about 50 years ago and  
13 initially it was about a 75-pound device, it was a backpack  
14 actually that went onto the patients and the patients were  
15 allowed to walk around their room and stay in the hospital  
16 only, supervised by hospital staff only, to get the  
17 recordings for the Holter monitor, to see if they had an  
18 arrhythmia or not. Fewer patients were treated for many  
19 years with the Holter monitor because it was a greater  
20 expense and there were many undiagnosed patients who had  
21 significant arrhythmias.  
22 Well, now we know that the Holter monitor is about  
23 as heavy as a paperback book and we can, it's very  
24 technologically advanced, and it can do tracings for up to a  
25 month for a patient at a time. That didn't come overnight

00021

1 and it met with much opposition. Now, we still do have the  
2 75-pound Holters; they're the hospital telemetry units that  
3 we have. They're needed. And if we take a step back now and  
4 say what about in-lab polysomnography, definitely we need  
5 this. There's no question that we need this. But I think  
6 that in light of these lightweight Holters, these home  
7 studies, we can really make the diagnosis of obstructive  
8 sleep apnea much quicker, much more effectively, safer, and  
9 in a home environment. Can you imagine today what it would  
10 be like if we still had attended Holter monitoring, this  
11 would be abysmal. But just years ago we had the same type of  
12 meetings where people were very much against having  
13 unattended Holters. Technology has come a long way and I  
14 think what we need to do is to evaluate it very closely and  
15 to embrace what we can.  
16 Industry politics abound. Everyone's rooting for  
17 their favorite horse. The horse up front, the front runner  
18 is by far the in-lab PSG. All the sleep centers vote yes,  
19 let's do this and let's keep this. The home testing is  
20 running a distant second or third, and it's supported by the  
21 non-sleep centers. The clinical impression for the folks  
22 that say let's just treat patients who potentially have  
23 obstructive sleep apnea with CPAP, they're just starting to  
24 emerge in this race. Unfortunately, the problem is that the  
25 patients seem to be the big losers in this scenario.

00022

1 Let's answer some questions: Is there enough  
2 evidence that analysis based on recordings done using

3 portable recording devices, home studies, do they provide  
4 reliable scientific data which is as good or even better than  
5 polysomnography. A recent comprehensive meta-analysis  
6 specifically looked at this question with 18 prospective  
7 cohort studies of taking tests where you have both home sleep  
8 studies and in-lab polysomnography done together on the same  
9 group of patients. There were very positive meta-analyses  
10 saying that home studies definitely have a role now.  
11 However, the negative comment, the only negative comment  
12 really that was made is that there's a 10 percent difference  
13 approximately between the AHIs and the RDIs between  
14 polysomnography in facility and home testing.  
15 But what about this 10 percent? Is this going to  
16 make us say we shouldn't fund or recognize home studies? How  
17 accurate does it need to be? Does it need to be within five  
18 percent between home studies and in-lab polysomnography?  
19 Maybe two percent, maybe .2 percent. Well, how do we make  
20 this decision? The way we make this decision, how close is  
21 close enough, is we need to find out, does clinical  
22 intervention change? Does the test result make me want to  
23 change my clinical practice from home lab versus in-lab  
24 polysomnography?  
25 Let's look at that. First of all, you see on your

00023

1 left-hand side is the in-lab PSG RDIs ranging between a  
2 hundred down to five. Let's take that 10 percent difference  
3 in home RDIs on either end. With an RDI of a hundred in lab,  
4 that would be 90 to 110 on home sleep studies; would that  
5 change anything that we do clinically for the patient?  
6 Absolutely not. Follow this all the way down to an RDI of  
7 five and it's still, a 10 percent difference is not a  
8 clinically important difference. So we're looking at more  
9 than just numbers, we're looking at patients and how we treat  
10 the patients. And the conclusion is there's no difference,  
11 there's no treatment differences in any RDI range.  
12 Well, what about this gold standard? The gold  
13 standard we know, we say is polysomnography in lab, this is  
14 what we base everything on. If we take it back a little bit,  
15 I served 20 years in the military and recently retired, and  
16 one of my best tours was over in Europe. I spent four years  
17 in Europe and got a great love for renaissance clocks. Now  
18 here's a renaissance clock from outside of London, and the  
19 people in those days, in the renaissance days they would look  
20 up and say what time is it? They would look right up on the  
21 clock and say I know what time it is, because that's the gold  
22 standard, that anyone knows what time it is by looking at the  
23 town's renaissance clock. And then there's this other little  
24 pesky thing that comes on years later called the atomic  
25 clock.

00024

1 Well, we know that the accuracy of the renaissance  
2 clock is a few seconds off per day, versus an atomic clock  
3 which is a partial second off in over ten million years.  
4 There's a big difference. However, if we study the gold  
5 standard, the renaissance, and compare it to the atomic

6 clock, the sensitivity and specificity is going to be off on  
7 the atomic clock. And assuming that the renaissance clock is  
8 the gold standard, we'll come up with a definition to say  
9 this atomic clock is not worth it, it's 10 percent off, we  
10 shouldn't use it.  
11 Well, let's take a look at some other clocks.  
12 France, here's one from Italy and one from Sweden. Now our  
13 studies says let's compare this atomic clock, let's say the  
14 home study, to polysomnography in different sleep centers.  
15 The same thing we're going to find out is that it's not  
16 accurate. And the problem is not that the atomic clock is  
17 not accurate, but the problem is many of our studies do the  
18 wrong comparisons. Specifically what we need to do is to  
19 compare each of the renaissance clocks to each other; only  
20 when you compare each renaissance clock to another  
21 renaissance clock, you're going to find out that there may be  
22 variability and there may be problems associated with the  
23 gold standard. The gold standard may be tarnished. A device  
24 can only be as valid as the standard used for its comparison.  
25 Well, our gold standard, we know from good studies

00025

1 now that it really doesn't stand up to inspection. The  
2 variance is a major source of problems with today's  
3 polysomnography. Specifically, the results of a PSG for AHI  
4 between technicians, different technicians, will vary between  
5 15 to 35 percent. Between centers will vary between 20 to 38  
6 percent. And night to night variance may be between 12 and  
7 35 percent. I'm sure everyone here in the room has been  
8 involved somewhat with in-lab polysomnography and knows what  
9 it's like. Maybe you don't personally, but to know what it's  
10 like to be hooked up to EEGs, EKGs, weights, and to lay on a  
11 bed that you don't know where it's located, have a video look  
12 at you, this is not quite the testing that we want to really  
13 find what apnea's about. So there's notable variances.  
14 Well, new studies? I think that we might need new  
15 studies. That's what's going to be the help for us right  
16 now. Well, a meta-analysis has recently looked at the  
17 current literature and it very positively supports home  
18 studies. Then we have the American academies of we want to  
19 own the sleep centers and we don't want home sleep studies,  
20 we have those studies that are sponsored by those societies.  
21 And on the other hand we have the medical industry studies,  
22 they're sponsored by the deep pockets of the medical  
23 industry, and each wants to promote their own cause. It's  
24 important that we know that there are studies out there that  
25 aren't sponsored by certain societies or by certain agencies,

00026

1 and these studies I think we should bring our attention to.  
2 One of them I was very fortunate to be involved  
3 with and it involved more of a cooperative effort with sleep  
4 medicine doctors working with sleep surgeons and other sleep  
5 types of doctors. Where we took patients and just did other  
6 studies that had already been done, supported by other  
7 companies or supported by sleep societies, now we're doing  
8 the studies, and very interestingly doing double line

9 analysis, looking at ROC curves and Bland-Altman curves, we  
10 found that when you do a PSG and a home study together, you  
11 take that data, you send it to other PSG labs in your  
12 community, the same data, the raw data, and you have those  
13 folks decide what is the AHI, does this patient have apnea or  
14 not? It's very interesting when we looked at that data  
15 versus the home study data that was sent also, to other  
16 computer system data, that the variance was greater between  
17 the PSG labs themselves than between the home studies and the  
18 PSG labs.  
19 I had the honor of speaking here three years ago  
20 and I spoke to some of the panel members afterwards after the  
21 voting, it was a close vote, and sharing a little bit of this  
22 data. It's much more developed now over these last three  
23 years. One of the comments from the board members was, man,  
24 if the PSG were up here at CMS for whether or not it was  
25 going to be approved, it might not be approved with how

00027

1 strict the criteria is today. But we're looking at, just as  
2 the previous talk showed, a substantial equivalence. There's  
3 definitely substantial equivalence between the  
4 polysomnography and the in-lab sleep studies.  
5 But we know that obstructive sleep apnea is more  
6 than a test. We're treating patients, we aren't treating  
7 numbers. This is a multidimensional process involving  
8 history, physical exam, subjective and objective metrics  
9 which the polysomnography tests, whether it be the home  
10 studies or the in-lab multichannel polysomnography, is only  
11 one of the tests. We're guided by more than just one test  
12 alone, especially in the elderly Medicare population where a  
13 high AHI may not be associated with obstructive sleep apnea  
14 as a symptom.  
15 Another question I would like to address in my time  
16 is, is a CPAP trial alone an adequate diagnostic method? A  
17 CPAP trial unfortunately does not measure the severity of  
18 obstructive sleep apnea. So these are the patients that I  
19 think may have apnea, they're going to get a CPAP machine.  
20 Severity of obstructive sleep apnea is important from a  
21 mortality statistics point of view, and almost always the  
22 patients with severe apnea will have a much better compliance  
23 because they know they need to from a medical point of view.  
24 This CPAP trial alone doesn't give us that information.  
25 Testing a patient under manipulated conditions will also

00028

1 alter the outcome. Compliance with CPAP is not great.  
2 Patient motivation is needed to maybe improve, if they know  
3 that my AHI is over 20 and my mortality statistics are  
4 higher. CPAP trials alone without testing can result in  
5 unnecessary treatment in non-apnea patients. And it's  
6 definitely a more expensive outcome option than home studies.  
7 It does not allow for the alternate treatment considerations  
8 either. And the bottom line is, we really can do better.  
9 Clinical impressions of obstructive sleep apnea are  
10 seen as many things to many people. Even at the time of  
11 Shakespeare, in Henry IV, Pato says, look over there at

12 Falstaff. He's asleep behind the arras and snorting like a  
13 horse. He's snoring, he picks up the snoring aspect of it.  
14 And the prince looks by and says, hark, how hard he fetches  
15 breath, noting the apnea part specifically.  
16 So that leads to the next question, is clinical  
17 impression alone adequate for the diagnosis of apnea? And I  
18 think the data is strongly out in our literature published  
19 that says that clinical impression alone is not a reliable  
20 indicator of the presence or absence or level of severity of  
21 the apnea. Clinical evaluation can serve merely or in  
22 helping as a screening tool to determine which patients  
23 should be referred for definitive diagnostic sleep tests.  
24 It's almost a flip of a coin if you have a patient who you  
25 think may have apnea and you look at a PSG or a detailed

00029

1 subjective metrics, that it's very difficult to tell on a  
2 clinical impression alone.  
3 And in summary, undiagnosed obstructive sleep apnea  
4 is a substantial healthcare problem. This is something that  
5 is going to worsen unless we do something about it. There's  
6 a definite political bias that has negatively impacted  
7 patient care. Think Holter. We don't want to go down that  
8 same route that we went. The bottom line with Holter now is  
9 great, but it took years to come across with this stuff.  
10 Home study sleep testing is an excellent and an accurate tool  
11 for diagnosis of obstructive sleep apnea symptoms as shown in  
12 meta-analyses. Clinical impression is only useful for the  
13 determination of who needs a sleep study. An obstructive  
14 sleep apnea diagnosis from CPAP trials presents problems, and  
15 the problems may be with misdiagnosis and with substantially  
16 higher costs. Sufficient data now exists to support the  
17 immediate decisive action on allowing home testing.  
18 Thank you for your time.  
19 DR. PEARSON: Thank you very much. Both literary  
20 and punctual, much appreciated. I hope the panel members  
21 will write questions if they have them for the presenters  
22 down. We will have some time after lunch to pose questions  
23 to the presenters.  
24 We would like to move ahead, though, to the next  
25 presentation, which is from Thomas Trikalinos, who is

00030

1 assistant director of the Tufts New England Medical Center  
2 Evidence-Based Practice Center, and he's going to address the  
3 technology assessment.  
4 DR. TRIKALINOS: Hello. I'm going to discuss the  
5 technology assessment on the home diagnosis of obstructive  
6 sleep apnea. This was done by the Tufts New England Medical  
7 Center Evidence-Based Practice Center.  
8 There were several key questions and we simplified  
9 them so that we can read them quickly. Does the baseline  
10 severity of the condition predict response to CPAP or  
11 clinical outcomes? How do portable monitors compare with  
12 facility-based polysomnography in diagnosing the condition?  
13 What effects do technologist support and automated scoring  
14 have on the diagnostic abilities of portable monitors? What

15 are the complications, harms and adverse events pertinent to  
16 sleep studies? And what are the errors and data loss rates  
17 that are associated with facility-based PSG and portable  
18 monitors?  
19 I believe that the panel members already have seen  
20 a draft of the report; there's an updated draft of the report  
21 and I hope that you have seen the updated one.  
22 We undertook a systematic review of the literature  
23 to address these key questions. We did MEDLINE searches and  
24 we produced the reference lists from relevant papers to  
25 identify studies that would be possibly eligible. We also

00031

1 searched the database of the FDA to identify reports of  
2 adverse events secondary to medical device use.  
3 Because we have many different key questions, they  
4 are addressed by different research designs. Therefore, I do  
5 not list the exact eligibility criteria here. Generally we  
6 included prospective studies. There is a comprehensive list  
7 of criteria in the report, details are in there. However, if  
8 you would like, I could elaborate.  
9 There are many different sleep monitors as you will  
10 see and as you know, and we decided that we needed a scheme  
11 to classify them. We therefore modified a scheme that had  
12 been proposed by the then ASDA, now it's the American Academy  
13 of Sleep Medicine, to classify these different monitors.  
14 This is an operational classification and it has been  
15 modified because there are newer monitors that have emerged,  
16 that have been developed, and they use newer channels that  
17 were not, that had not been proposed when the original  
18 specification was introduced.  
19 Let me guide you very quickly through this slide.  
20 We want to estimate the apnea-hypopnea index as the portion  
21 of the number of respirator events over total sleep time,  
22 total actual sleep time, not total recorded sleep time. The  
23 ASDA classification used an operational criteria to decide  
24 whether information on airflow that quantifies the  
25 respiratory disturbances was adequate or not, and the

00032

1 operational criteria was at least two airflow channels or one  
2 airflow channel and one respiratory effort channel.  
3 Depending on whether the different monitors have two airflow  
4 or effort channels and whether they identified or  
5 distinguished actual sleep from total recording time, they  
6 are classified in these categories.  
7 As I said before, there are several newer monitors  
8 that would be classified in category IV; that means that they  
9 do not have at least two airflow channels and they do not  
10 identify sleep/wake. The major criteria that throws them  
11 into category IV is that they do not have two airflow  
12 channels. To do them justice, we split category IV into two  
13 sub-categories, into two subgroups, subgroups that have  
14 portable monitors that have at least three channels, monitors  
15 that gather at least three different bioparameters, versus  
16 the old category IV which is portable monitors that gather  
17 only one or two bioparameters. And in this category IV class

18 are where most of these newer monitors fall.  
19 So we did a systematic review of the literature.  
20 We examined 3,500 plus abstracts that came out of our  
21 searches, and finally we included 95 publications.  
22 I will be naming the key questions as they are  
23 named in the technology assessment to facilitate  
24 cross-referencing for those who want to do it.  
25 So the question was, what is the ability of

00033

1 apnea-hypopnea index at baseline to predict outcomes after a  
2 CPAP treatment period? We did not identify any studies that  
3 associated baseline apnea-hypopnea index with response to  
4 CPAP with respect to mortality, cardiovascular outcomes, and  
5 outcomes of any sort. However, we identified three RCTs, or  
6 two RCTs and three prospective cohorts that associated  
7 baseline apnea-hypopnea index with response to CPAP and CPAP  
8 compliance. Two RCTs assert associations with changes in  
9 quality of life scores. And changes in physiological  
10 measurements like changes in objective wakefulness tests, the  
11 effort sleepness score, changes in blood pressure ranges,  
12 were described in four cohorts.  
13 The synopsis of all this is that baseline  
14 apnea-hypopnea index or RDI, depending on how it's measured  
15 by facility-based PSG or portable monitors, is modestly  
16 associated with response to CPAP use or CPAP adherence,  
17 quality of life scores and physiological measurements. Of  
18 note is that all the studies that were eligible focused on  
19 very selective populations, and people who had severe sleep  
20 apnea or high apnea-hypopnea indices on average. Therefore,  
21 these data cannot be used to describe or answer the question  
22 of whether facility-based PSG is generally useful in the  
23 management of people who are suspected of the disease.  
24 Question two pertains to the comparison of portable  
25 monitors with facility-based PSG. There were 75 studies that

00034

1 were eligible here and there were studies that assessed  
2 measurements with facility-based PSG and portable monitors in  
3 the same patients, prospective studies without overt  
4 verification bias. In these studies we assessed how well the  
5 monitors, the measurements from the portable monitors agreed  
6 with the corresponding measurements from facility-based PSG,  
7 and how well the measurements of the portable monitors were  
8 able to predict apnea-hypopnea index and facility-based PSG  
9 was sufficiently high to be suggestive of the disease. And  
10 the definition of sufficiently high to be suggestive of the  
11 disease was more than 15 events per hour, although  
12 alternative categories of more than 10 or more than 20 events  
13 per hour were also assessed.  
14 I will just make some methodological comments.  
15 When we assessed the agreement between two measurements, we  
16 usually had this kind of scatter plots, where the measurement  
17 with the portable monitor, for example, is on the vertical  
18 axis and the measurement with the other monitor, with the  
19 facility-based PSG, the reference standard, is on the  
20 horizontal axis. Were the two measurements identical, all



21 points would align across the red dashed line, which is the  
22 line of identity. This scatter plot is informative but is  
23 not as informative as a different kind of plot.  
24 This is a difference versus average plot, a  
25 so-called Bland-Altman plot. Here on the vertical axis

00035

1 you've got the difference between the two measurements, and  
2 on the horizontal axis you've got the estimate of how large  
3 this difference is, how large the true value is. Here it's  
4 very easy to appreciate that individual patients, individual  
5 measurements may vary greatly. For example, we had several  
6 points that where the difference is more than 14 events per  
7 hour for a specific patient, although the average difference,  
8 which is denoted by the line that says bias, this is the  
9 average difference between the two measurements, and it  
10 signifies a systematic error, a systematic -- sorry -- a  
11 systematic difference between the two measurements. Bias is  
12 a technical term. It's approximately minus ten.  
13 Now, we can use Bland-Altman plots to summarize  
14 these views by only three lines; this is the mean bias, and  
15 the upper and lower limits of agreement. The limits of  
16 agreement denote the region in which the mean bias is  
17 expected to find itself 95 percent of the time. Broad limits  
18 of agreement mean that the individual measurements are not  
19 interchangeable.  
20 Assessing concordance is different from assessing  
21 the ability to predict apnea-hypopnea index suggestive of the  
22 disease. We can consider portable monitors as diagnostic  
23 tests and we can assess their sensitivity, their ability to  
24 minimize the false negatives, and their specificity, their  
25 ability to minimize false positives. It's informative to

00036

1 plot sensitivity and specificity variance in plots like this.  
2 Studies that have perfect sensitivity and specificity, 100  
3 percent sensitivity and 100 percent specificity, would find  
4 themselves in the upper left corner of the graph. Studies  
5 that are completely noninformative would line themselves  
6 across the major diagonal at the top, they would be no better  
7 than chance there.  
8 As I said, portable monitors are diagnostic tests,  
9 and one can assess the information that's conveyed by a  
10 diagnostic test to identify people with a disease with a  
11 quantity that's called the positive likelihood ratio. Also,  
12 someone can assess the information conveyed by a portable  
13 monitor to truly rule out the presence of disease by a  
14 quantity that's called the negative likelihood ratio.  
15 Negative and positive likelihood ratios of one have no  
16 diagnostic ability, have no information. Studies, tests that  
17 are good by convention are said to have positive likelihood  
18 ratios of more than ten and negative likelihood ratios of  
19 less than .1, and these are easily identified on the plot.  
20 The shaded triangle that's on the vertical axis on  
21 the left denotes the region where studies with high positive  
22 likelihood ratios would fall. The upper shaded region  
23 denotes where studies with very low negative likelihood

24 ratios would fall, and the cross-section, the polygon in the  
25 upper left corner is studies with both high and low

00037

1 likelihood ratios would fall. So whenever I refer to studies  
2 of diagnostic ability, of studies of portable monitors as  
3 having high diagnostic ability, I would say that the studies  
4 lie on or very, very near these shaded areas.  
5 There were many portable monitors and we organized  
6 the presentation according to the operational specification  
7 scheme. Most studies pertained to type IV monitors, either  
8 type IV with three or more bioparameters or type IV with less  
9 than two bioparameters, two or less bioparameters.  
10 This is, I'm going to show you only two graphs and  
11 then I'm only going to summarize, because there are many,  
12 many different subanalysis and subgroups. This is a graph  
13 that tries to summarize together, a Bland-Altman type of  
14 analysis across several studies. It has to do with home  
15 testing type III studies. On the vertical axis is, as in the  
16 Bland-Altman plot, the difference between the two  
17 measurements. Forget the small letters below at the bottom  
18 of the graph, they're just the monitors and the studies from  
19 which they come from. Each study, here we have one, two,  
20 three, four, five, six, seven studies, each study is denoted  
21 by three lines, which is the mean bias and the limits of  
22 agreement. And I have drawn gray shaded areas to group  
23 together where all the mean biases range, and upper and lower  
24 gray areas to group together where all the upper and lower  
25 limits of agreement range.

00038

1 So as we see for this specific example, mean bias  
2 may range from plus five to minus, I don't remember, seven or  
3 eight. You can take the whole message that the limits of  
4 agreement across most studies are not big, are not broad,  
5 that they cannot exclude differences of 20 or even 40, which  
6 means that the two monitors do not give measurements that are  
7 interchangeable.  
8 Here is an example of the analysis that assessed  
9 the ability of portable monitors to classify people to  
10 predict apnea-hypopnea index that's more than 15 in  
11 facility-based polysomnography. In the left panel we have  
12 different cutoffs shown. We see that for example in the  
13 right picture, studies cluster close to the areas that  
14 signify high diagnostic ability. So I'm going to proceed  
15 with the synopsis for all monitor types, difference versus  
16 average analysis suggests that the measurements are not  
17 interchangeable. However, the discrepancies between the  
18 measurements are more pronounced for larger values of  
19 apnea-hypopnea index or RDI. Therefore, a classification to  
20 high and low apnea-hypopnea index or RDI can still be good.  
21 That is, both measurements may be discrepant and they may  
22 differ by a lot, but they are both sufficiently high, let's  
23 say above 15 percent, sorry, 15 events per hour.  
24 For type II monitors, based on limited data, type  
25 II monitors may identify apnea-hypopnea index more than 15

00039

1 events per hour with high diagnostic ability. The same is  
2 true for type III monitors, they may identify apnea-hypopnea  
3 index suggestive for the disease, more than 15 events per  
4 hour. This is true also for more than 10 and more than 20  
5 events per hour, with more limited data for high diagnostic  
6 ability.  
7 Overall, the diagnostic ability appears to be  
8 higher for studies that are conducted in the lab setting.  
9 This is no surprise; these are usually studies that are  
10 conducted similar in time and space so there's not that  
11 variability, but there are other factors also.  
12 And for studies with manual scoring of the portable  
13 monitor recordings, studies of type IV monitors with three or  
14 more bioparameters showed high diagnostic ability to identify  
15 the condition as defined, actually to identify apnea-hypopnea  
16 index suggestive of the condition. And the same was true for  
17 studies with type IV monitors that assess one or two  
18 bioparameters, but here the presentation of the individual  
19 studies was selective, selective dates, and tended to present  
20 the cutoffs with portable monitoring that maximized  
21 sensitivity and the cutoffs that maximized specificity, so we  
22 have the extreme cutoffs on the ROC curve, and these usually  
23 fall into the shaded areas that are suggestive of high  
24 diagnostic ability.  
25 Some comments on how applicable are these results

00040

1 from these studies to the Medicare population. All the  
2 studies focused on people who were young, average age ranged  
3 from 50 to 52 on median. They are predominantly male,  
4 predominantly obese, and in most of the studies comorbidities  
5 that may affect sleep have been excluded. Moreover, they're  
6 conducted by specialists who are very familiar with the  
7 disease and its treatment, and its differential diagnosis.  
8 So in the Medicare population, if anything, I believe that we  
9 would expect lower specificity of portable monitors,  
10 relatively more false positives. This is because in the  
11 Medicare population you have comorbidities like cardiac  
12 failure, atrial flutters, strokes, comorbidities where you  
13 have Cheyne-Stokes breathing patterns, and perhaps certain  
14 portable monitors are not able to differentiate them from  
15 obstructive sleep apnea, they need additional information.  
16 In addition, widespread use of this technology by  
17 health providers who are not familiar with the disease would  
18 probably result in worse overall diagnostic ability. This is  
19 very well known from clinical trials and one might speculate  
20 it for diagnostic studies too.  
21 What is the role of technologist support and  
22 patient education, specifically in the home setting? For  
23 studies in the home setting, there is no data that allows us  
24 to answer this question.  
25 Comparison of manual and automated scoring, in

00041

1 studies that assessed both manual and automated scoring in  
2 the same patients, manual scoring or manual editing of

3 automated scoring seems to be superior to automated scoring  
4 alone to identify apnea-hypopnea index more than 15 events  
5 per hour in facility-based PSG. However, in considering  
6 this, you should keep in mind that different monitors have  
7 different scoring algorithms and different algorithms evolve  
8 with software versions, so this is a finding that pertains to  
9 these specific studies rather than a readily generalizable  
10 finding.  
11 What are the errors that are related to automated  
12 scoring and manual scoring? There are no detailed data on  
13 specify types of errors that are specifically related to  
14 automatic scoring or manual scoring. No robust conclusions  
15 can be drawn.  
16 For studies of portable monitors in the home  
17 setting, what errors are related to unattended use? There  
18 are no studies that directly relate unattended usage in the  
19 home setting with specific errors. However, there are  
20 several studies with indirect data that are compatible with  
21 the notion that there is a reduced error rate when you have  
22 some kind of feedback teaching alerts that alert the user  
23 when something goes wrong, or when data were remotely sent to  
24 a technologist in the lab who was monitoring and calling  
25 people at home.

00042

1 Comparison of complications, harms and adverse  
2 events. As I said, we searched the FDA database. There were  
3 a variety of adverse events that were reported there, and  
4 they had mainly to do with electrical burns, chemical burns,  
5 thermal burns, possible allergic reaction and eye irritations  
6 after showering. There is a very large study of more than  
7 16,000 facility-based PSG studies in 17 centers in a  
8 prospective study, and there was only one death after two  
9 weeks, probably unrelated to the facility-based PSG. As  
10 commented in the study, 28 events during these studies were  
11 prompting immediate attention; they were usually cardiac  
12 events, arrhythmias. And there were 28 potentially alarming  
13 events that were identified post hoc by the team that was  
14 doing the scoring across all these 16,000-plus  
15 polysomnographies.  
16 Rates of data loss in sleep studies. We reported  
17 the proportion of sleep studies that showed data loss or bad  
18 quality recordings and this has, this follows the definition  
19 that was used in the study. The left, you can see that the  
20 small amount has been added to facilitate visibility. The Xs  
21 are the portable monitors and the empty circles are the  
22 facility-based PSG, and a breakdown for reporting portable  
23 monitor at home versus studies where portable monitor was in  
24 the lab. I have to say that there are five studies for  
25 portable monitors that show very high event rates. Otherwise

00043

1 if you exclude those studies, the view is probably more or  
2 less the same; however, there are these five studies that  
3 show high data loss.  
4 There is no study that directly compared several  
5 possible strategies for the diagnosis of the condition and

6 the initiation of CPAP treatment. One measured decision  
7 analytic techniques to compare different strategies.  
8 We had a follow-on project on the technology  
9 assessment that was given to the MedCAC panel. This  
10 follow-on project has now been dropped and is going to go on  
11 to a peer review. However, I will share with you some  
12 outline of this model. We did not perform a full decision  
13 analysis, this is utility and patient preference. This is  
14 not incorporated in the model but this model is a probability  
15 profile of various strategies.  
16 Here are -- here is a description of the various  
17 strategies that we assessed. Strategy one is no one gets a  
18 diagnosis and no one is ever started on CPAP, which is one  
19 extreme. Strategy six is that no one gets a diagnosis if we  
20 facilitate PSG or with portable monitor, but they're all  
21 started on empirical CPAP. And the other strategies are a  
22 combination of diagnosis with the facility-based PSG and CPAP  
23 level titration in the lab, or diagnosis at home and  
24 impression in the lab, or management completely outside the  
25 lab.

00044

1 Here we assess the proportion of people who started  
2 CPAP treatment. We do not feel that CPAP compliance just  
3 starts, so there's mean time to diagnosis and mean time to  
4 CPAP initiation.  
5 This was modeled, some technical details as Markov  
6 processes, a hypothetical cohort of a hundred thousand  
7 people, because among people who are 50 years old or around  
8 that age, the main analysis was data from this cohort. But  
9 we have a sensitivity analysis and we also have a scenario  
10 from people who would be 70-year-olds that would be  
11 approximately Medicare beneficiaries.  
12 There are some global assumptions that the severity  
13 of the disease remains stable over the two years which is the  
14 time horizon for this analysis. The risk of death is not  
15 modeled. Comorbidities, co-existing disorders or health  
16 conditions other than obstructive sleep apnea are not  
17 explicitly modeled.  
18 Because we did not assess patient preferences and  
19 utilities we have some implicit assumptions. That is, that  
20 benefits of treatment will be assumed for those with a true  
21 positive diagnosis. Avoidance of unnecessary treatments and  
22 potentially unnecessary costs would be avoided, or would be  
23 possible for those with true negative diagnosis. Potential  
24 harms and unnecessary costs are found for those with false  
25 positive and false negative diagnosis.

00045

1 As said before, it's challenging to estimate  
2 transition probabilities for this model for people who are  
3 older than the typical participant of these studies and for  
4 people who have comorbidities.  
5 I'm only to give you some comments on two of our  
6 reports that are very important in this model. One is the  
7 prevalence of the condition, the prevalence of apnea-hypopnea  
8 index more than 15 events per hour among people who are

9 suspected for the condition on clinical grounds, and from a  
10 meta-analysis, it established that this prevalence was about  
11 54 percent. Because the confidence of the meta-analysis is  
12 very, very narrow, we did a large range of sensitive  
13 analysis, from 25 to 75 percent. We have absolutely no clue  
14 what the corresponding number is among older Medicare  
15 beneficiaries. There are several reasons of why it would be  
16 lower and we believe that the presence of conditions that  
17 would present, false positives is a major thing.  
18 In this analysis we also say that there is an  
19 association of clinical symptoms and the presence of high  
20 apnea-hypopnea index a lot in older adults, so this also  
21 introduces considerations. So, we set the prevalence lower  
22 to older adults to 27 percent.  
23 Here is the sensitivity and specificity of a  
24 portable monitor to identify apnea-hypopnea index smaller  
25 than 15 in facility-based polysomnography. This is not a

00046

1 specific monitor, this is a hypothetical prototype monitor,  
2 and this data found from type III monitors or type IV  
3 monitors with three or more bioparameters. Because of the  
4 potential for false positives with some monitors, we  
5 penalized the specificity for older adults, lowered it from  
6 84 percent to 70 percent.  
7 Now the main analysis for middle aged people is  
8 like the analysis on the sensitivity cohort of older adults  
9 is with great -- the first strategy was no one starting on  
10 CPAP, the last strategy is everyone starting on CPAP, you see  
11 why it's zero to 100 percent, all the other strategies are in  
12 between. Let's just focus on the gray, because here we  
13 discuss about Medicare beneficiaries. Strategies, what you  
14 will see is that strategy five, which is management  
15 completely outside the sleep labs, diagnosis at home and  
16 titration with auto-titrating devices at home has a larger  
17 proportion of people who are starting on CPAP.  
18 This is the proportion started on CPAP among  
19 patients with the disease, so among patients who truly have  
20 apnea-hypopnea index more than 15, this is the operational  
21 definition of the disease for the modeling.  
22 And this is -- the previous one was the, if you  
23 like, the true positives to start on CPAP. This is the false  
24 positives, and we see that strategy five is the strategy that  
25 manages people outside the sleep labs, has that higher

00047

1 proportion of false positives, and that's why it has higher  
2 counts.  
3 This is the time that elapses from entering the  
4 cohort to the first apnea-hypopnea index or respiratory  
5 distress index measurements, and I expressed it as a  
6 percentage of the two-year follow-up instead of giving  
7 numbers. So because of the queue in the sleep labs and the  
8 limited capacity of the sleep labs, there is approximately in  
9 our model a 27-week delay. We did not assume any delay for  
10 portable monitors. This is a non-realistic assumption but  
11 it's subjected to sensitivity analysis.

12 This is the time to CPAP initiation. The previous  
13 was time to CPAP, or to diagnosis, this is the time to CPAP  
14 initiation. Lower numbers mean that the initiation is faster  
15 and as you see, strategy one never started CPAP, so they  
16 spent all their time without ever starting CPAP. Strategy  
17 five, which is management completely at home with portable  
18 monitors, has a quick initiation of CPAP. Strategy four is a  
19 mixed strategy that screens with portable monitors and then a  
20 very fast screening and titrating CPAP in the lab, and it has  
21 also a time to initiate that is fairly, around the same  
22 ballpark with the other strategies.  
23 I just summarized the previous findings in words.  
24 For middle aged people, the proportion of people who are  
25 expected to initiate CPAP treatment is roughly similar across

00048

1 the four strategies that employ some kind of testing for  
2 obstructive sleep apnea, plus or minus 10 percent. What this  
3 means has to be assessed with utility analysis or with  
4 patient preferences, but this is an analysis that we did not  
5 do, for good reasons.  
6 This seems to be a fairly robust sensitivity  
7 analysis. For older adults, diagnosis of CPAP titration at  
8 home, which is strategy five, has more false positives and is  
9 expected to result in 30 percent false positive diagnosis  
10 among people who have the disease, and therefore among people  
11 who do not have the disease, and therefore increase the whole  
12 numbers in the whole cohort.  
13 For both cohorts, time to first measurement is  
14 practically negligible for strategies where home monitoring  
15 is used in the diagnostic part, but this has to do with the  
16 assumption that it did not penalize, there is nothing  
17 post-time delays for portable monitors. When the diagnostic  
18 part is done in the lab, the mean time to first measurement  
19 is approximately 26 weeks, and this means the delay is very  
20 sensitive to the corresponding sensitivity analysis that  
21 assesses the ability of sleep labs to see patients and their  
22 capacity.  
23 Time to CPAP treatment initiation among people who  
24 have the disease is approximately 27 weeks when all people  
25 are diagnosed in lab, approximately 15 weeks when screening

00049

1 with home monitors is done, and is negligible when a  
2 home-based approach is used. This analysis is, again,  
3 sensitive to the various assumptions that were done in this  
4 model.  
5 That would be the end of the technology assessment.  
6 DR. PEARSON: Thank you very much. Since you  
7 finished ahead of time, Ross, do you mind if we have some  
8 questions first?  
9 Any questions for Dr. Trikalinos?  
10 DR. HIRATZKA: I'm just curious if there was a  
11 similar technology assessment done for the previous  
12 assessment whenever it was, and what the differences might be  
13 now compared to that particular technology assessment.  
14 DR. TRIKALINOS: So if I understand your question,

15 there was a previous technology assessment several years ago?  
16 DR. HIRATZKA: I'm just asking if there was one and  
17 if so, what are the differences between then and now.  
18 DR. TRIKALINOS: There was a previous technology  
19 assessment and qualitatively the findings are very similar.  
20 The diagnostic abilities of type III and type IV monitors are  
21 qualitatively similar. The current technology assessment did  
22 extensive sensitivity analysis and we also identified those,  
23 we also restricted at-home monitors in the different  
24 categories. One sees that the numbers, the findings are  
25 qualitatively similar, I would say.

00050

1 DR. KONSTAM: First of all, I just want to  
2 congratulate you for this analysis. It's a very complicated  
3 set of literature. You know, just getting into this unknown  
4 of the Medicare population, and you started looking at the  
5 70-year-old patients and assumed for at least specificity, I  
6 guess, but there was not much specific data, but just a  
7 presumption.  
8 DR. TRIKALINOS: It's completely an assumption.  
9 DR. KONSTAM: Right. But of course the specificity  
10 of facility-based PSG might be lower also in that population.  
11 DR. TRIKALINOS: This is a good point. So what we  
12 need is, the rationale behind generalizing the specificity of  
13 portable monitors is the one that I mentioned briefly before,  
14 and it is that several monitors, they don't have the ability  
15 to distinguish between conditions that affect sleep, and they  
16 will be misdiagnosed for obstructive sleep apnea. Based on  
17 discussions with our technical expert, she said that the  
18 ability of the facility-based polysomnography to  
19 differentiate these conditions would be unimpeded, so we did  
20 not penalize the diagnostic ability of the facility-based PSG  
21 in these specific populations.  
22 Moreover, for technical reasons, there has to be a  
23 reference strategy that is the appropriate strategy, and  
24 given the operational distinction of the difference in  
25 apnea-hypopnea index in the model design, it's logical to use

00051

1 the facility-based PSG as our perfect parameter.  
2 DR. BECKER: If you look at your analysis of the  
3 various types of monitoring devices, type II, III and IV  
4 specifically, could you comment on whether or not you think  
5 that type III and IV can really be used for diagnosis, or are  
6 they better for screening?  
7 DR. TRIKALINOS: So this would be -- could you  
8 repeat your question? I'm sorry.  
9 DR. BECKER: Well, I guess I'm a little confused  
10 when you talk about type III and type IV, especially type IV,  
11 whether your overall impression is that is a screening device  
12 for determining OSA, or can you also consider them a  
13 diagnostic device? Especially when you go through your  
14 various models, really you looked at, say model four, you're  
15 sort of using the home monitoring as a screening device and  
16 then going to a study in a PSG lab. Could you comment on the  
17 differences between types II, III and IV?



18 DR. TRIKALINOS: So, direct comparisons between the  
19 three different types of monitors were not done. These are  
20 indirect comparisons and they can be done only qualitatively.  
21 As for the -- so I will not do any comparative comparisons  
22 across II, III and IV. But what I can say is that the  
23 summary sensitivity and specificity as outlined in the  
24 meta-analysis, all of which were type III and IV was, it  
25 showed that these monitors have high sensitivity, so they

00052

1 could be used for screening purposes.  
2 DR. BECKER: Thank you.  
3 DR. SATYA-MURTI: You talked about data loss in  
4 portable recording. In facility-based recording, data loss I  
5 assume would be recognized real time or very soon, but in  
6 home recordings, would the fidelity of the data collection  
7 depend on recording how much data was lost and how much was  
8 not reported?  
9 DR. TRIKALINOS: So, the definition of this  
10 particular part with data losses is a bit tricky. The  
11 definition of data loss varies with different studies. Data  
12 loss could be considered as recorded unreadable, or if a  
13 minimum quality standard was not reached. This is at what  
14 range for definition of error rates. As you said, for a  
15 facility-based polysomnography, because it's an attended  
16 examination, the technologist would intervene and correct the  
17 testing if a lead was detached or something. All these  
18 numbers that I showed you, data loss, are what the individual  
19 study said that was not good quality or not acceptable, but  
20 they do have different definitions. So it's something that  
21 should not be taken without looking at each definition of  
22 that loss.  
23 DR. SATYA-MURTI: Okay. If one hour of good data  
24 was collected in a portable monitor and the rest of the data  
25 for the rest of the study was lost, it depends on how they

00053

1 report that, is that data lost or is one hour of collection  
2 good enough that I'm going to call it data collected.  
3 DR. TRIKALINOS: Correct, but most studies had the  
4 minimum criteria of two or three hours of sleep, of recording  
5 of good quality to accept it. But in principle, what you say  
6 is correct.  
7 DR. PEARSON: Yes?  
8 DR. JUHN: Just to follow up on the data loss  
9 discussion, the actual identification of data loss is an  
10 inadequacy of the study. So, were there any studies that you  
11 looked at that tried to capture the need to repeat a study,  
12 especially when they had a data loss?  
13 DR. TRIKALINOS: So the question is how many  
14 studies repeated studies.  
15 DR. JUHN: Yeah, because of the purported data  
16 loss.  
17 DR. TRIKALINOS: There are studies that assess the  
18 need to repeat studies. However, these were not data for  
19 studies in the home setting specifically. I don't recall the  
20 specific answer to this question.

21 DR. PEARSON: Let me ask one last question before  
22 we move on. I understand why you had to use the AHI or RDI  
23 or some kind of reference standard, but if you back up, I'm  
24 very interested in the varied ability of AHI to predict  
25 clinical success with CPAP. So I want to ask you, given your

00054

1 understanding of the literature, if the physician had a high  
2 prior probability for a patient who had an obstructive airway  
3 that would be responsive to CPAP, what's the positive  
4 likelihood ratio of getting an AHI over 15 versus just  
5 starting that patient on CPAP?

6 DR. TRIKALINOS: So, we did not assess the  
7 diagnostic ability of clinical examination, we did not assess  
8 clinical examination alone.

9 DR. PEARSON: But just as a higher probability, how  
10 reliant are you that you have a higher probability?

11 DR. TRIKALINOS: So, when you have a higher prior  
12 probability, just for the prevalence in the model, it would  
13 be 54 percent. This is based on the following calculation.  
14 We took all the studies that had referral issues relating to  
15 clinical symptoms and signs, and among these studies the  
16 percentage, from the meta-analysis, the prevalence was 54  
17 percent of the patients.

18 DR. PEARSON: But you don't know how many of those  
19 responded to CPAP?

20 DR. TRIKALINOS: We did not assess the specific  
21 topic. And as for the CPAP question, I should again note  
22 that these were studies that assessed people who already had  
23 very severe disease, so baseline AHI among people who have  
24 very, very advanced disease, very severe disease, does not  
25 necessarily predict differences in compliance.

00055

1 DR. PEARSON: Okay. There may be other questions,  
2 but I want to move ahead with Dr. Brechner. You'll have  
3 another chance to ask questions after lunch.

4 DR. BRECHNER: They say you can't teach an old dog  
5 new tricks, but I just learned that if I don't want to be  
6 subject to a bunch of questions, I should finish right on  
7 time.

8 (Laughter.)

9 We have been here an hour and a half. If everybody  
10 would, why don't you all stand up for like 30 seconds,  
11 because I don't want you to fall asleep while I'm talking.  
12 That's good. Okay, let's get started.

13 You just heard from Dr. Trikalinos and one of the  
14 things, he talked about models, modeling different kinds of  
15 situations, one of them, number six was left all alone  
16 standing in the corner, and my talk will give some  
17 information that might affect how we think about number six,  
18 which is that everybody goes to a CPAP trial directly.  
19 The outline for this talk is, I will be giving a  
20 brief outline of the referral pattern to CPAP, CPAP  
21 treatment, and then providing some data for the modeling of  
22 clinical diagnosis for OSA, and some information on the harms  
23 and benefits of CPAP, some considerations and some

24 conclusions.  
25 Now when a PSG is performed and read, given right

00056

1 now at a diagnostic level of AHI greater than 15, what is the  
2 sensitivity and specificity for an absolutely correct  
3 diagnosis in the world of correct diagnoses? Well, the  
4 answer is we don't know that, it's the gold standard, maybe  
5 not based on gold, and it's the best maybe that we have, but  
6 it's not really clear that it's sensitive and specific a  
7 hundred percent.  
8 In fact, we got some data from Dr. Mair that showed  
9 there are different ways of reading these things, et cetera,  
10 et cetera. If the test is positive, however, the patient  
11 goes to CPAP. When a home monitor test is performed, and  
12 once again, at a diagnostic level of RDI/AHI greater than 15,  
13 the sensitivity of the test and specificity are variable, and  
14 I see all kinds of values ranging between 50 and 100 percent  
15 for all of these, and that's just an approximate thing for  
16 the sake of this talk. Put if the test is positive, the  
17 patient goes to CPAP.  
18 If we base the diagnosis of OSA on clinical  
19 diagnosis alone, I will be giving you some information on  
20 what the sensitivity and specificity is following,  
21 immediately following this, and if the test is positive, the  
22 clinical diagnosis and we decide to do something on the basis  
23 of clinical only, the patient goes to CPAP.  
24 I searched around looking at clinical diagnosis of  
25 OSA and models and different kinds of things in HomeMed, and

00057

1 I read a couple thousand abstracts looking for information.  
2 Now when I present this data, I'm presenting nine of the 15  
3 or 16 or so studies that I really looked at that I thought  
4 would make sense in the talk here, because I don't want to  
5 put too much information out in 20 minutes. And on each one  
6 of these slides at the bottom, you will notice that I have an  
7 average age in the study and what the AHI was, the cutoff  
8 point in this study.  
9 Crocker, et al., 1990, aimed at determining if the  
10 number of PSGs required could be reduced in the population  
11 when you're diagnosing for OSA. It took a hundred  
12 consecutive patients, screened, and these patients were given  
13 a PSG, a model was created, and then it was found that the  
14 model correctly classified 33 of 36 patients with OSA and 35  
15 of 69 patients with an AHI of less than 15. Significant  
16 factors are on the screen. The sensitivity of this model  
17 for, as compared to PSG for correctly diagnosing OSA was 92  
18 percent and the specificity was 51 percent. They concluded  
19 that you reduce the need for PSG by a third with clinical  
20 observation.  
21 In 1996, Deegan, et al., aimed at answering the  
22 question, what is a predictive value for the clinical feature  
23 for the diagnosis of OSA? 250 consecutive patients who were  
24 pre-screened by an MD and has a clinical assessment. A PSG  
25 and a questionnaire was administered. Using the clinical

00058

1 features and oximetry, 32.4 percent of the patients could be  
2 confidently categorized as having a diagnosis or not having a  
3 diagnosis of OSA, as compared to PSG. They concluded, again,  
4 that reduced the need for PSG by about a third with clinical  
5 observations.  
6 In 1984, Haponik, et al, aimed to answer the  
7 question, is PSG necessary to assess the presence and  
8 severity of sleep-disordered breathing? In 37 patients  
9 clinically suspected of a diagnosis of OSA, they were given  
10 PSG and a questionnaire was administered. They had a  
11 sensitivity of 64 percent for the correct diagnosis of OSA as  
12 compared to PSG, and a specificity of 100 percent, but they  
13 concluded that a single observation alone, clinical  
14 observation was an ineffective screening procedure for  
15 detecting OSA.  
16 Julia-Serda, in '84, aimed to answer the question,  
17 is cephalometry useful in sparing PSG? 225 consecutive  
18 referrals with suspected OSA had a clinical assessment,  
19 questionnaire, physical exam, history, spirometry,  
20 cephalometry and PSG. A statistical model was built, and the  
21 sensitivity of the model for a correct diagnosis of OSA as  
22 compared to PSG was 93 percent and the specificity was 83  
23 percent. And they concluded that cephalometry, oximetry and  
24 physical exam and history could help in sparing the need for  
25 PSG.

00059

1 In 1997, Dixon, et al., aimed at answering the  
2 question, can we predict OSA diagnosis from a clinical model?  
3 They took 99 patients who were pre-op for bariatric surgery,  
4 and they went ahead and did a thorough sleep history,  
5 physical exam, an ESS was given, the Epworth sleep test, and  
6 all the patients had PSG that was hand-scored. They created  
7 a model with some independent predictors and they created a  
8 score pattern for that model, and if the score was greater  
9 than three, then the model had a sensitivity of 89 percent  
10 for correct diagnosis of OSA, once again compared to PSG, and  
11 a specificity of 81 percent, and this is for moderate to  
12 severe OSA. They concluded that there was a simple method  
13 here of predicting OSA in severely obese symptomatic  
14 subjects, and this could assist in limiting the use of PSG.  
15 This year, 2007, Mulgrew et al., and Dr. Ryan is  
16 here with us today, he's the senior author on the paper, he  
17 will be talking. Aimed to answer the question, what is the  
18 utility of a diagnostic algorithm in conjunction with  
19 ambulatory CPAP titration in initial management of  
20 obstructive sleep apnea? This was an open-labeled randomized  
21 control trial that compared PSG with ambulatory CPAP  
22 titration in high risk patients who were identifiable by a  
23 diagnostic algorithm. The patients were randomly assigned to  
24 PSG or ambulatory titration using a combination of oral CPAP  
25 and overnight oximetry, and were observed for three months.

00060

1 After the three months, there were no differences in the  
2 primary outcome, that is AHI on CPAP, between the PSG and the

3 ambulatory groups, or in the secondary outcomes. And of note  
4 was that adherence to CPAP therapy was better in the  
5 ambulatory group than in the PSG group.  
6 The authors concluded among other things that PSG  
7 confers no advantage over the ambulatory approach in terms of  
8 diagnosis and CPAP titration in initial management of  
9 patients with a high probability of OSA. And they stated  
10 that when access to PSG is inadequate, the ambulatory  
11 approach can certainly expedite the treatment.  
12 2006, Lim, et al., aimed at answering the question,  
13 can we develop a model to predict the diagnosis of OSA from  
14 clinical diagnosis only? They took 71 snorers who were  
15 consecutively referred for an OSA diagnosis, and they  
16 assessed the status by clinical assessment using certain  
17 symptoms, Epworth test, BMI, and also gave the patients a  
18 PSG. They developed a clinical assessment model which had  
19 cutoff points for an ESS score of greater than 15, a BMI of  
20 greater than 28, and the presence of symptoms that are listed  
21 on the board. The sensitivity of this model for predicting a  
22 correct diagnosis of OSA compared to PSG was 93.4 percent,  
23 and the specificity was 60 percent. The authors concluded  
24 that identifying non-apnoeic snorers in whom PSG could be  
25 avoided can be correctly accomplished by a clinical

00061

1 assessment relying on the absence of at least two of the  
2 three clinical features listed, that is, the ESS, BMI, and  
3 presence of symptoms.  
4 Hoffstein, et al., in 2006, aimed at answering the  
5 question, can we develop a model to predict OSA diagnosis  
6 from clinical diagnosis only? And they had 594 patients  
7 referred to a sleep clinic on suspicion of sleep apnea, and  
8 they all had a questionnaire and PSG. On the basis of their  
9 model, the sensitivity of the subjective clinical impression  
10 was 63 percent for a correct diagnosis of OSA as compared to  
11 PSG, and a specificity of 60 percent. And they concluded  
12 that the subjective impression alone is not enough to  
13 reliably identify patients with or without OSA.  
14 In 2006, Guylay, et al., aimed at comparing the  
15 clinical assessment with home oximetry in the diagnosis of  
16 OSA, and they had 98 non-consecutive patients referred to a  
17 sleep clinic with suspicion of sleep apnea. All the patients  
18 answered a questionnaire, had a physical exam and history,  
19 and the physicians also independently just estimated their  
20 likelihood of the patient having obstructive sleep apnea.  
21 Compared to the PSG, the physician assessment had a  
22 sensitivity of 79 percent for a correct diagnosis of OSA and  
23 a 50 percent specificity versus, PSG oximetry had a  
24 sensitivity of 65 percent for a correct diagnosis of OSA and  
25 a specificity of 74 percent desaturations of two percent.

00062

1 With regard to the percent of time spent at a saturation of  
2 greater than or equal to one percent, the sensitivity was 93  
3 percent and the specificity was 51 percent. So the authors  
4 concluded that home oximetry with less than one percent  
5 practically excluded OSA.

6 In 2006 Senn, et al., aimed at answering the  
7 question, is a CPAP trial viable for a diagnosis of OSA? 76  
8 sleepy snorers were consecutively referred for OSA diagnosis  
9 and were included in the study, and they defined the positive  
10 CPAP trial as the patient was, at the time of checkup was  
11 using CPAP for greater or equal to two hours per night and  
12 the patient chose to continue therapy with CPAP. They were  
13 asking themselves the questions, could the trial predict an  
14 AHI of greater than or equal to 10 on PSG, and how  
15 successfully were OSA patients treated over a period of four  
16 months or more.  
17 Significantly, the CPAP trial predicted sleep apnea  
18 with a sensitivity of 80 percent as compared to PSG and a  
19 specificity of 97 percent. And they concluded that in a  
20 selected population, the CPAP trial would help to diagnose  
21 OSA and to ID patients who would benefit from CPAP, and  
22 reduce the need for polysomnography, and that long-term CPAP  
23 therapy could be established without the need.  
24 The final data slide is from Pillar, et al., in  
25 1994, who were interested in the question of a clinical

00063

1 prediction of an OSA diagnosis. 86 patients referred to a  
2 sleep clinic for suspicion of sleep apnea had a  
3 questionnaire, physical exam and PSG. Versus PSG, the  
4 clinical assessment had a sensitivity of 79 percent for a  
5 correct diagnosis of OSA as compared to PSG, and a  
6 specificity of 55 percent. And the model which they created  
7 with these factors as listed on the board was able to predict  
8 OSA with a sensitivity of 92 percent, but the specificity was  
9 only 18 percent. They also concluded that a CPAP trial might  
10 help to diagnose OSA, ID patients who benefit from CPAP, and  
11 reduce the need for PSG.  
12 I have listed here briefly just some other studies  
13 that I had, and I have the information on these studies if  
14 anybody's interested.  
15 Now coming to CPAP benefits and harms, this is one  
16 of the places where I read a thousand abstracts looking for  
17 something on CPAP benefits and harms. This chart lists a  
18 number of outcomes that were observed in different kinds of  
19 studies for CPAP benefits or harms. In every one of these,  
20 CPAP either was equal to the control or it was better, and so  
21 there was no harm that I found here. I did find one study  
22 from Germany where they reported that the titration, oral  
23 titration wasn't done well enough in some cardiovascular  
24 patients with OSA and they recommend being careful about  
25 titrating with CPAP cardiovascular patients. Otherwise, I

00064

1 could not find much in the way of harms and it would be nice  
2 if people have this information, to share it.  
3 Now, some considerations. The gold standard is  
4 PSG, but as Dr. Mair represented, it may not be a gold  
5 standard, and as I mentioned earlier. For an AHI cutoff of  
6 15, I found in my papers that for a clinical diagnosis only,  
7 sensitivity was 51 to 93 percent, that's the range overall,  
8 this is not a 95 percent confidence interval, it was just the

9 range of the papers. Specificity, 51 to 100 percent. PSG, I  
10 don't know what the sensitivity and specificity is, and the  
11 others are compared to PSG. Home monitoring, sensitivity of  
12 50 to 90 percent, specificity 50 to 100 percent, that's the  
13 range as compared to PSG.  
14 Now, note that they all miss cases, for some reason  
15 or another they all miss cases. But all of them, from what I  
16 gather, all the studies, PSG, home apparatus, and giving it,  
17 there's some stimulus from a clinician to send them in. I  
18 don't know how many people are from outside the system. They  
19 come in, they get a home apparatus, they test it, they have to see  
20 a physician, or a PSG who haven't seen a physician. So  
21 really, clinical referrals cover all 100 percent of people  
22 who we may see that have home apnea, unless they haven't gone  
23 to a clinician.  
24 Current wait time right now for treatment with PSG  
25 is approximately two to ten months, and I'm basing that on

00065

1 some of the work of Dr. Trikalinos. And once again, there  
2 are no harms from CPAP.  
3 So what are the take home messages? We have  
4 sensitivity and specificity ranges that are widely variable  
5 for the three possible modalities, and one option is that  
6 everyone goes to CPAP, in which case we find a lot of how we  
7 normally look, approximately 100 percent of the cases, for  
8 sensitivity. And there are no harms from CPAP. There are  
9 lots of other little factors that are involved here that I'm  
10 sure we'll have some chatting about, but that's an  
11 interesting point. The wait time for treatment goes to a few  
12 weeks although Dr. Trikalinos's model estimated that was  
13 zero. And one of the interesting things is that if you're  
14 doing a CPAP trial, there is no harm in it, and the wait time  
15 for PSG is two months to ten months -- I might have said  
16 weeks before -- then what you have is they can go to a CPAP  
17 trial quickly and still get back in time for their PSG,  
18 depending on what the harm was. It's just an interesting  
19 thought, you know, about how this mechanism is working.  
20 But if the CPAP is not working, it would make sense  
21 that if you put somebody on a CPAP trial, you watch them as a  
22 clinician afterwards, and check and see how they're doing,  
23 and they can still be sent for further workup as I just  
24 suggested, and there would be no time lost.  
25 That's all I have to say. Thank you very much.

00066

1 (Applause.)  
2 DR. PEARSON: I'm sure we have some questions, but  
3 in the interest of time, I'm afraid we're going to have to  
4 move ahead with introducing Dr. Frank Ryan, who is a  
5 professor of medicine at the University of British Columbia  
6 and the senior author on a study that is in the packet that  
7 the panel has. Dr. Ryan.  
8 DR. RYAN: Good morning, Mr. Chairman, members of  
9 the committee, ladies and gentlemen, and thank you to  
10 Dr. Brechner, who invited me to talk at this meeting and  
11 discuss the study that we did recently.

12 I'm a professor of medicine at the University of  
13 British Columbia in Canada. I'm a respiratory physician with  
14 a particular interest in the management of sleep apnea. And  
15 rather than discussing in detail our study, I will discuss it  
16 briefly, but I thought what I would do is put it in context  
17 of what our thought processes were when we embarked on this  
18 study, and perhaps make some comments about why or how it  
19 might be relevant to the deliberations that are taking place  
20 this morning.  
21 These are data on wait times in various countries,  
22 wait times for polysomnography, and numbers of studies  
23 performed per year per 100,000 of population. The bottom  
24 line shows the figures for Canada, and if you look at the  
25 last column from the right, the number of studies per year

00067

1 per 100,000, in Canada it's about 370, which is not  
2 dissimilar from the figure for the United States which is the  
3 line above it, 427.  
4 However, that figure disguises wide disparities in  
5 the availability of polysomnography in Canada. I don't have  
6 a pointer, but if you look at Ontario, the figure is 776,  
7 which is luxurious and in fact is a cause for some concern at  
8 the provincial government level and they're looking into  
9 that. But there are parts of Canada where there's no access  
10 to polysomnography, so in British Columbia, for instance, you  
11 know, we get quite a number of patients from Yukon  
12 Territories, Northern Territories, because they don't have  
13 any PSGs up there. And on the east coast also, the  
14 availability of polysomnography is limited. We don't do too  
15 badly in BC but we still have quite long wait times for  
16 polysomnography.  
17 So the American Academy of Sleep Medicine, American  
18 Thoracic Society and the Canadian Thoracic Society all  
19 recommend polysomnography for the diagnosis of obstructive  
20 sleep apnea and also for the titration of sleep pressure.  
21 Unfortunately this approach, the conventional approach  
22 inevitably leads to discrepancies between the demand for the  
23 services and the capacity of sleep laboratories, and this  
24 results in inevitable delays. And this is of particular  
25 concern for patients who have severe obstructive sleep apnea.

00068

1 And everybody here will be aware of the various  
2 potential solutions that are being offered to deal with this  
3 problem, and I listed some of them here. The one I'm going  
4 to talk a little bit more about is medical decision analysis  
5 or medical decision-making, which would be probably a better  
6 way to term it. And if you think of the probability of  
7 disease, you can start with a baseline probability which  
8 could be the prevalence, for example, and that can be  
9 adjusted upwards or downwards by clinical features from the  
10 history or the physical examination to give a clinical index  
11 of suspicion, which could be further modified by the results  
12 of a preliminary test, for example, which can give  
13 information about sensitivity and specificity, and an ability  
14 to calculate likelihood ratios to give a probability of



15 disease.  
16 And then if one plots the probability of disease on  
17 a continuum from zero to one, one can set thresholds for  
18 various types of management. So for example, the test  
19 threshold here would be the threshold at which there's no  
20 difference between the value of not treating the condition or  
21 of doing the diagnostic test, or another threshold here is  
22 the test treatment threshold which is the value at which  
23 there is no difference in the value between doing the  
24 diagnostic test and treating empirically. So if you have a  
25 condition where there's a high pretest probability, you may

00069

1 elect to proceed directly to the empiric treatment as opposed  
2 to doing the test.  
3 So that was the intellectual basis, if you like,  
4 for the study which we did and was published earlier this  
5 year in the Annals of Internal Medicine. And our hypothesis  
6 was that polysomnography was not required for effective  
7 diagnosis and treatment titration in patients who have a high  
8 probability of obstructive sleep apnea, and that in those  
9 patients with a high probability, an ambulatory clinical  
10 algorithm could be safely used for both diagnosis and CPAP  
11 titration.  
12 So the first step obviously was a diagnostic  
13 algorithm to identify patients with a high probability of  
14 moderate to severe obstructive sleep apnea. Based on a  
15 retrospective case series, we knew that among patients  
16 referred to our sleep clinic, in those who had an Epworth  
17 sleepiness score of 10 or greater, the prevalence of moderate  
18 to severe obstructive sleep apnea was approximately 50  
19 percent. So starting with that baseline prevalence and then  
20 basically using a strategy of sequential likelihood ratios,  
21 we then went on to administer a clinical prediction rule  
22 called the sleep apnea clinical score, which is basically a  
23 four-variable linear regression model based on snoring,  
24 witnessed apnea, neck size, and the presence or absence of  
25 systemic hypertension. Then following that, patients

00070

1 underwent a home monitoring device which essentially was an  
2 overnight oximetry, I could give you a little more detail  
3 than that but it's basically an overnight oximetry, and these  
4 lead to a probability of disease.  
5 This is the Remmers sleep recorder, which is a  
6 multichannel portable device. However, the respiratory  
7 disturbance index is based solely on the measurement of the  
8 overnight oximetry. It does, however, give useful  
9 qualitative data in terms of printouts of tracings of oxygen  
10 saturation, respiratory effort, airflow, and so on, which is  
11 useful for corroborating the diagnosis.  
12 So we started with our baseline prevalence of 50  
13 percent of moderate to severe apnea among patients referred  
14 to us who were sleeping. And if we administer the sleep  
15 apnea, or perform the sleep apnea clinical score on those  
16 patients, if the score was greater than or equal to 15, that  
17 has a positive likelihood ratio of 4.45, which converted the

18 probability to 80 percent. And those patients then went on  
19 to have the Remmers sleep recorder, and an RDI or respiratory  
20 disturbance index of greater than or equal to 15 had a  
21 positive likelihood ratio of 8.1, which converted the pre to  
22 a post-test probability of 95 percent. So that's how we  
23 selected the patients for our clinical trial.  
24 One of the comments about the study was that the  
25 number of patients who were eligible was a very small

00071

1 fraction of the number of patients assessed, although that's  
2 a little bit misleading, because the figure here actually  
3 represents all of the patients who were referred to our sleep  
4 clinic during the 18-month period of the trial, but in fact  
5 the majority of them were not even assessed for the study, so  
6 it's a little bit artificial. However, patients were  
7 excluded for a whole variety of clinical reasons. Much of  
8 this was driven by safety considerations as this was an  
9 approach that hadn't been formally tested before.  
10 In any event, we randomly assigned 68 patients, and  
11 patients were assigned either to the conventional approach  
12 which involved a diagnostic polysomnography followed the next  
13 night by a CPAP titration polysomnogram, and they were  
14 treated, they were set at a fixed CPAP pressure and treated  
15 at a fixed pressure for three months and then outcomes were  
16 assessed. The ambulatory group received one week of  
17 auto-CPAP using a machine that gave information about the  
18 pressure that was administered and gave an index called the  
19 95th percentile pressure, which is basically the CPAP  
20 pressure at or below which the patient spent 95 percent of  
21 the time during the previous recording period. And we used  
22 that figure and adjusted it upwards or downwards, mainly  
23 upwards, in a proportion of the patients based on the results  
24 of an overnight oximetry which indicated any residual sleep  
25 disorder reading. And then after two weeks of that process,

00072

1 they were put on a fixed CPAP pressure and continued on that  
2 fixed pressure for three months and then outcomes were  
3 assessed.  
4 The primary outcome was the apnea-hypopnea index on  
5 CPAP therapy after three months of treatment, so a measure of  
6 the effectiveness of the treatment strategy in eliminating  
7 the sleep disorder breathing. The secondary outcomes were  
8 sleepiness, a disease-specific quality of life index called  
9 the sleep apnea quality of life index, objective compliance  
10 which is recorded and measured by the CPAP machine, and we  
11 also looked at CPAP pressure.  
12 The baseline values for the patients were  
13 comparable between the two groups. They were your typical  
14 group of patients whom we enter into these studies, they were  
15 middle aged, predominantly male. They were quite obese, they  
16 were sleepy, Epworth scores of four 14. They had high sleep  
17 apnea clinical scores and had high respiratory disturbance  
18 indices on the home monitoring, and impaired quality of life.  
19 Just looking at the performance of the diagnostic  
20 algorithm first, these are the figures for the true

21 positives. So we had 41 patients that we could evaluate for  
22 this part of the study. The majority of those, of course,  
23 were those who had been randomly assigned to the  
24 polysomnography limb of the study, but there were others who  
25 either exited early, or there were patients who didn't meet

00073

1 the criteria of the diagnostic algorithm. So there were,  
2 true positives 34, false positives two, two false negatives  
3 and three true negatives. And looking at the sensitivity and  
4 specificity, this gave us a sensitivity of 94.4 percent and a  
5 specificity of 60 percent for the diagnostic algorithm, with  
6 the likelihood ratio of positive 2.36 and negative of 0.09.  
7 And so I borrowed one of Dr. Trikalinos's slides  
8 from his paper and tried to superimpose where our study would  
9 lie on this. So I think this, so it has high sensitivity but  
10 not particularly high specificity, but fell within the  
11 critical gray area.  
12 Looking at the outcomes of the randomized trial,  
13 the primary outcome was apnea-hypopnea index, and basically  
14 there was no difference between the two groups.  
15 Interestingly, neither approach was perfect in terms of  
16 eliminating sleep disorder breathing. One of the patients in  
17 the ambulatory group turned out to be a misclassification and  
18 had in fact changed those readings. We had picked this up  
19 early in the study because that person didn't respond well to  
20 CPAP therapy, and it was quite a struggle to keep him in the  
21 trial. So he didn't like the therapy, it wasn't doing him  
22 any good. But there were also patients in the polysomnogram  
23 group who had significant residual sleep apnea. Some of  
24 these would be what would now be characterized as complex  
25 sleep apnea patients who have a mixture of central and

00074

1 obstructive apneas.  
2 In terms of the secondary outcomes, there was no  
3 difference between the two groups in the Epworth sleepiness  
4 scale or in the sleep apnea quality of life index. I  
5 actually put the data up here for the final values, because  
6 there was no difference either in the change from baseline in  
7 either Epworth score or the sleep apnea quality of life  
8 index. The CPAP pressures were also not significantly  
9 different, and in terms of adherence to CPAP, the ambulatory  
10 group had significantly better compliance.  
11 Another thing that we looked at, although didn't  
12 examine statistically, was that patients preferred the  
13 ambulatory approach when we asked them. Patients in the  
14 ambulatory group, only six percent would prefer to have been  
15 assessed in the other limb, whereas 63, I think, percent of  
16 the patients who had been studied in the polysomnogram limbs  
17 would have preferred to have been studied in the ambulatory  
18 treatment.  
19 So we concluded that expedited ambulatory diagnosis  
20 with CPAP titration could be safely offered to patients with  
21 a high pretest probability of moderate to severe obstructive  
22 sleep apnea, and in that group of patients we could identify  
23 no advantage to polysomnography over auto-CPAP for titration,

24 auto-CPAP pressure. And there was potentially better  
25 treatment compliance with use of the ambulatory algorithm.

00075

1 Patients preferred the ambulatory approach.  
2 But another element of this was that there is a  
3 small risk of diagnosis obviously with the ambulatory  
4 approach, so you need some sort of backup strategy to deal  
5 with patients who don't meet the criteria of the algorithm or  
6 who don't respond appropriately to treatment. That led us to  
7 recommend this clinical algorithm which, this part of the  
8 slide basically just describes the protocol for the study so  
9 that if patients are coming, they're referred with a  
10 suspected diagnosis of sleep apnea.  
11 If they meet the criteria for the diagnostic  
12 algorithm and there are no contraindications such as a  
13 suspicion of another significant sleep disorder, then they  
14 have a high probability of moderate to severe sleep apnea and  
15 will go on to have a trial of CPAP therapy. And if after two  
16 weeks they are improved symptomatically and they are adhering  
17 to therapy, and there is no significant residual  
18 sleep-disordered breathing, then they can continue CPAP  
19 without any further testing. However, if they don't meet the  
20 criteria for the algorithm or if they don't respond  
21 appropriately to CPAP, then these indicate that there are  
22 significant diagnostic uncertainty and these patients should  
23 go on to polysomnography.  
24 And there are various scenarios where this  
25 algorithm might not be appropriate. So for instance, if

00076

1 there is significant diagnostic uncertainty, if the  
2 probability is less than the test frequent threshold, if  
3 there's significant comorbid respiratory or cardiac disease  
4 that can cause diagnostic confusion, or if there's a safety  
5 critical occupation where it's absolutely essential that a  
6 correct diagnosis is made quickly, if there's a suspicion  
7 about other sleep disorders, for example Cheyne-Stokes  
8 breathing or central sleep apnea or hypoventilation symptoms,  
9 patients like that we feel would not be appropriate for use  
10 of the algorithm, or if for logistical reasons it's not  
11 possible to do home testing.  
12 The other group of patients who we feel need  
13 polysomnography are those who respond unfavorably to the  
14 trial of CPAP therapy. And I would also say that patients  
15 who are considering other treatments, because there are other  
16 treatments for sleep apnea other than CPAP, specifically oral  
17 appliances and corrective upper airway surgery, because of  
18 the fact that these treatments are not as predictably  
19 effective as CPAP, it's important to have a very firm  
20 baseline in terms of the severity of diagnosis for comparison  
21 with follow-up studies, and obviously other sleep disorders  
22 like narcolepsy and parasomnia that might cause diagnostic  
23 confusion.  
24 Now there are some important caveats to our study.  
25 Firstly, I would describe this as a narrow validation study

00077

1 conducted in a single academic center, so that speaks to how  
2 generalizable the results are. Our entry criteria were very  
3 stringent and so that speaks to, again, the generalizability  
4 to the larger population of patients who need sleep testing.  
5 And clearly, this is a type of study that needs to be  
6 replicated in a larger multicenter design, and include impact  
7 analyses including economic analyses.  
8 There are some studies already in progress and we  
9 recently applied for a multicenter trial using less rigorous  
10 criteria, using a non-inferiority design, and incorporating  
11 an economic analysis.  
12 Some general comments about the study. We used  
13 oximetry as one component of the diagnostic strategy, so we  
14 used the approach of sequential likelihood ratios based on a  
15 high baseline prevalence, clinical features strongly  
16 suspicious for sleep apnea and an ambulatory test. We found  
17 the strategy to be useful in identifying patients with  
18 moderate to severe obstructive sleep apnea for whom a trial  
19 of CPAP is appropriate. And in patients identified by the  
20 diagnostic strategy, a successful trial of CPAP helps to  
21 corroborate the diagnosis, or perhaps to put it the other way  
22 around, failure to respond to a trial of CPAP draws the  
23 diagnosis into question.  
24 The applicability of our algorithm is highly  
25 dependent on the characteristics of the referral population,

00078

1 including its baseline prevalence. So that is probably  
2 relevant to the patients who would be served by Medicare.  
3 And also, I would say that we used portable monitoring as  
4 part of an integrated management model delivered by  
5 clinicians who have expertise in sleep medicine and who  
6 understand the limitations of these approaches, and have  
7 strategies to deal with the exceptions.  
8 So, we feel that access to polysomnography is  
9 essential to all of those patients who don't meet the  
10 criteria for the algorithm or who don't respond favorably to  
11 treatment.  
12 And just by way of disclosure, our study was funded  
13 by a grant in aid from ResMed Corp., which manufactures CPAP  
14 equipment, and Vitalaire, which is a provider of CPAP  
15 equipment in Canada. However, that grant in aid was  
16 negotiated on our behalf by UBC and these companies had no  
17 role whatsoever in the conduct or reporting of the study.  
18 And also by way of counterbalancing, I happen to derive  
19 significant clinical income from reporting polysomnograms.  
20 So I leave it at that. I don't know whether we  
21 have time for questions, but thank you very much.  
22 (Applause.)  
23 DR. PEARSON: Thank you very much. We do have time  
24 for questions and then we will also have time for a break.  
25 So I turn to the panel. Yes?

00079

1 Oh, can I make sure that everybody is clear? The  
2 Remmers sleep recorder is a type III?

3 DR. RYAN: Well, it's a little confusing because --  
4 we used it because there were published data on likelihood  
5 ratios. It derives the respiratory disturbance index purely  
6 based on overnight oximetry, so from that point of view we  
7 used it as a type IV device. However, it could perhaps be  
8 classified as a type III device because it does provide  
9 information about airflow and respiratory effort, and snoring  
10 and body position and so on.  
11 DR. PEARSON: But the information you used was type  
12 IV?  
13 DR. RYAN: Yes.  
14 DR. PEARSON: Yes?  
15 DR. DULLUM: Actually that was kind of my question.  
16 Just for clarification, when you say ambulatory monitoring, I  
17 kind of understood you were talking about CPAP treatment, but  
18 you're talking about a portable test device as well as CPAP  
19 trial.  
20 DR. RYAN: Yes. So the ambulatory arm basically  
21 conducted the diagnosis and the treatment trials entirely  
22 outside of the sleep laboratory.  
23 DR. DULLUM: But I mean using CPAP, or are you  
24 basing your ambulatory on the Remmers?  
25 DR. RYAN: Well, both, because the alternative

00080

1 strategy requires a polysomnogram firstly for the diagnosis  
2 and then secondly to titrate the optimal CPAP pressure.  
3 DR. DULLUM: So you're not advocating just CPAP?  
4 Because that's one of the questions, do you need to do  
5 portable testing or can you just do a CPAP trial?  
6 DR. RYAN: Well, obviously on the basis of how we  
7 did it, I think an empirical trial of CPAP seems like a  
8 reasonable thing to do in patients who have a high pretest  
9 probability of the diagnosis of moderate to severe  
10 obstructive sleep apnea. In our experience you need a  
11 clinical assessment and portable monitoring to identify  
12 patients who have a sufficiently high pretest probability.  
13 DR. PEARSON: Can I ask, did you go back to your  
14 data and look at that subpopulation of patients who did  
15 qualify as having high pretest probability, and look at only  
16 those who would have qualified on the basis of their Epworth  
17 sleep scale and see if the other predictive values were the  
18 same for those patients?  
19 DR. RYAN: Well, I was going to address that.  
20 Actually if we look at one of my slides which showed that the  
21 pretest probability based purely on the clinical assessment  
22 went from the baseline prevalence of 50 percent to 80 after  
23 the clinical assessment. It then went from 80 percent to  
24 greater than 95 percent on the home monitoring. But you  
25 know, that's a big difference in terms of clinical confidence

00081

1 in the diagnosis, so I'm comfortable in applying an empiric  
2 treatment to somebody who has a greater than 95 percent  
3 probability of having the diagnosis; I would be less  
4 confident in somebody who is only an 80 percent probability  
5 of the diagnosis.

6 DR. KONSTAM: This clarifies, you know, in the  
7 limited randomized PSG, was that data used just for titrating  
8 CPAP or was it also used to confirm the diagnosis?  
9 DR. RYAN: Yes. Basically that group had a  
10 diagnostic, a full overnight in-laboratory polysomnogram  
11 which established the diagnosis.  
12 DR. KONSTAM: So what did you -- I'm assuming there  
13 must have been some patients for whom the diagnosis was not  
14 confirmed.  
15 DR. RYAN: There were some false positives but  
16 interestingly, the false positives, the vast majority had  
17 obstructive sleep apnea but not of a significance greater  
18 than an apnea-hypopnea index of --  
19 DR. KONSTAM: What did you do with those patients,  
20 did you treat them anyway?  
21 DR. RYAN: We treated them anyway, so those  
22 patients went on to have their CPAP treatment, yes.  
23 DR. KONSTAM: So in the primary endpoint analysis,  
24 all of those patients were in, even those who didn't meet the  
25 diagnosis by PSG.

00082

1 DR. RYAN: That's right.  
2 DR. KONSTAM: And how, just to be curious, how  
3 could you tell they benefitted? I mean, I guess the  
4 diagnosis in those patients was still, I guess up in  
5 question.  
6 DR. RYAN: That's right.  
7 DR. KONSTAM: So how would you know that they  
8 benefitted, because maybe they didn't really have obstructive  
9 sleep apnea?  
10 DR. RYAN: Well, as I stated, the majority, and I'm  
11 working from recollection here, the majority of false  
12 positives had obstructive sleep apnea but it was a minor  
13 obstructive sleep apnea, and in typical practice those  
14 patients would still merit a trial of CPAP.  
15 DR. KONSTAM: I'm not sure how you knew they had  
16 obstructive sleep apnea if they had a negative PSG test, I'm  
17 not clear about that.  
18 DR. RYAN: Yeah. Well, they were randomized to  
19 that management algorithm based upon the criteria of the  
20 diagnostic algorithm, so they were treated regardless of what  
21 their diagnosis was. And their outcomes were assessed  
22 accordingly.  
23 DR. PEARSON: Yes?  
24 DR. SATYA-MURTI: The CPAP trial duration before  
25 you consider someone in a binary fashion to have failed or

00083

1 not will become crucial, because one of the questions  
2 subsequently is the CPAP trial. So you chose three months.  
3 I agree you have to start at some point, but why three  
4 months? And were these patients given CPAP with, say, with  
5 biofeedback and other exercise measures that are dependent on  
6 patients' own motivation? Were they given encouragement to  
7 stay with it, or it's just there for them occasionally? So  
8 the question is time duration and what did you use as the

9 definitive hard index to say that they failed.  
10 DR. RYAN: That they failed therapy? Okay.  
11 Well, your first question is why did we choose  
12 three months as the duration of the trials; is that right?  
13 DR. SATYA-MURTI: Yeah.  
14 DR. RYAN: Well, it was a somewhat arbitrary  
15 number. But if you look at CPAP compliance, it tends to drop  
16 off over time, but most of the dropouts have occurred within  
17 three months, so that's partly our rationale. But to be  
18 honest, it was more an issue of practicality and completing  
19 the study in a reasonable time frame.  
20 In terms of -- all of the patients were managed in  
21 the same way in terms of CPAP orientation and encouragement.  
22 They were managed fairly intensively in the first couple of  
23 weeks of the study, but there was no difference in that  
24 approach between the polysomnography group and the ambulatory  
25 group.

00084

1 DR. SATYA-MURTI: If they failed this trial, then  
2 they go on to PSG, so that, the quality of tagging someone as  
3 having failed would really depend on the treating physician,  
4 so you could say the patient failed and therefore they move  
5 on to PSG.  
6 DR. RYAN: Yeah. Well, you know, we recommended an  
7 algorithm based on the results of our study but we haven't  
8 actually formally tested that algorithm. I mean, that would  
9 require a completely different validation.  
10 DR. PEARSON: I think we'll let Peter jump in.  
11 DR. JUHN: I think you alluded to this in your  
12 presentation, but I just wondered if you could talk a little  
13 bit about the challenges that you perceive in extending the  
14 trial results outside the trial population, so how to  
15 implement it in the community and what particular type of  
16 context the community would have to adopt in practice in  
17 order to achieve similar results.  
18 DR. RYAN: That's a very good question. I mean,  
19 our first step to try to broaden the applicability of this is  
20 to conduct a multicenter trial across Canada using less  
21 rigorous entry criteria. So we would take patients who had  
22 an Epworth score of 10, a sleep apnea clinical score of 10 as  
23 opposed to 15, and an apnea-hypopnea index of 10 as opposed  
24 to 15. So we would look at it in six or seven different  
25 centers using a greater number of physicians and a broader

00085

1 sample of patients. However, at the end of that study,  
2 assuming the results are positive, one is still left with the  
3 question, well, can this be applied in community hospitals,  
4 could this be applied in general practice. I think it will  
5 be very important to do those studies and to do impact  
6 analysis in terms of waiting times and costs to validate this  
7 approach.  
8 DR. KONSTAM: You know, I just wanted to come back  
9 to what I was asking you earlier and personally, I think this  
10 is a very well done trial with a good endpoint, better than a  
11 lot of the other endpoints in some other trials, so I think



12 it's valuable. I just want to sort of nail down exactly, you  
13 know, what we learned from this.  
14 It seems that, you know, what your trial is looking  
15 at is, is home auto-titration CPAP versus in-facility  
16 titration of CPAP in a population who is going in with a  
17 presumptive diagnosis based on clinical assessment plus  
18 ambulatory diagnostics. It doesn't seem as though it bears  
19 any clear answer to the question of what is the relative  
20 diagnostic ability of ambulatory testing versus in-facility  
21 PSG; is that a fair summary?  
22 DR. RYAN: That's a fair comment, but I think, you  
23 know, I think Dr. Trikalinos addresses that issue in his  
24 systematic review because there are two ways of looking at  
25 it, how closely do the measurements agree or how useful is an

00086

1 ambulatory approach within a clinical strategy.  
2 DR. KONSTAM: No, I was just wondering in terms of  
3 what your study showed.  
4 DR. RYAN: Absolutely.  
5 DR. PEARSON: Yes?  
6 DR. DULLUM: I guess one of my concerns is both in  
7 your presentation about the presence of cardiovascular  
8 patients with cardiovascular disease, and what we're looking  
9 at is the Medicare population, so the majority of them will  
10 have it. So in your group, it's my understanding you didn't  
11 feel it was safe to take this approach in people with  
12 cardiovascular disease?  
13 DR. RYAN: Well, again, within the context of a  
14 clinical trial, you know, we thought it was important to  
15 minimize any risk to patients who might have been  
16 misdiagnosed, so we excluded patients with significant  
17 cardiovascular disease or any suspicion that they might have  
18 Cheyne-Stokes breathing. We also excluded patients with  
19 severe respiratory disease. Again, we don't know how useful  
20 or how safe it would be in patients like that, but clearly it  
21 would be a more difficult algorithm to apply in patients in a  
22 more heterogeneous population. So again, you know, it goes  
23 back to the earlier question. We were dealing with patients  
24 who virtually had a diagnosis of obstructive sleep apnea on  
25 their pretest probability. Patients with cardiovascular

00087

1 disease are complicated because they have complex forms of  
2 sleep-disordered breathing, some of which may be  
3 appropriately managed with sleep apnea, or with CPAP, and  
4 others which are not. And it's often very difficult without  
5 a definitive study such as polysomnography to tease those two  
6 out.  
7 DR. PEARSON: I know we're very thankful for you to  
8 come. I hope you're going to be here for the afternoon; are  
9 you going to be here for a while?  
10 DR. RYAN: I have to fly home.  
11 DR. PEARSON: You have to fly home. Thank you very  
12 much again, Dr. Ryan, thank you.  
13 (Applause.)  
14 And we will definitely have time for all of the

15 scheduled public comments, but it's 10:20. I would like to  
16 take a five-minute break which, as you know, means that we  
17 will start to get back in here in about five minutes and  
18 probably get started in about seven to eight. But please try  
19 to make it very brief so we can give everybody their chance  
20 to have a break.

21 (Recess.)

22 DR. PEARSON: All right. We have a long list of  
23 public speakers, they each get five minutes. They will  
24 introduce themselves, starting with Tom Kehoe.

25 DR. KEHOE: Good morning. I'm Dr. Tom Kehoe, I'm a

00088

1 pulmonologist intensivist. I have been interested in and  
2 participated in sleep medicine for 20-some years. I'm also  
3 the medical director of SNAP Laboratories, which is a  
4 salaried position. SNAP Laboratories provides a portable  
5 home level III device for the diagnosis of obstructive sleep  
6 apnea, actually for all sleep apnea, and we assess habitual  
7 loud snoring. The SNAP device is, as I said, a level III  
8 device. It measures oral and nasal airflow, oral and nasal  
9 sounds, pulse rate, oximetry, it has an effort channel, and  
10 we have been in business for ten-plus years.  
11 Polysomnography is the crowned and still accepted  
12 gold standard for diagnosing sleep apnea. All alternate  
13 diagnostic methods must be compared to polysomnography for  
14 validation. Unfortunately, polysomnography results are a  
15 moving target, which makes it somewhat difficult to  
16 adequately compare alternate diagnostic methods. Dr. Mair in  
17 his presentation mentioned the variability inherent in  
18 polysomnography data in terms of inter-reader variation and  
19 night-to-night variation. The best way, then, to assess new  
20 alternative diagnostic methods would be to do it  
21 simultaneously with polysomnography.  
22 Since the last MedCAC meeting on this subject,  
23 there have been a number of validation studies that have been  
24 performed. I'm going to mention two that were done, one was  
25 mentioned by Dr. Mair, with a unique twist.

00089

1 In the first study by Stephanie Su from the  
2 University of Chicago, 60 patients were compared  
3 simultaneously with polysomnography and SNAP testing. There  
4 was good sensitivity, specificity, negative and positive  
5 predictive value in the results, in comparison results.  
6 A similar study done by Dr. Michaelson and Dr. Mair  
7 from Wilford Hall Army Air Force Hospital was done in the  
8 same way and showed similar results.  
9 The twists in these two studies is that two blinded  
10 readers looked at the polysomnography data and two blinded  
11 readers looked at the SNAP data. It was found in both  
12 studies that inter-reader variability was less with the home  
13 study, the SNAP study, than it was with polysomnography. So  
14 the conclusions were that SNAP analysis, i.e., level III home  
15 testing was a viable accurate alternative to polysomnography  
16 in diagnosing sleep apnea, and intervariability at least in  
17 SNAP analysis was less than with polysomnography.

18 The next study I want to talk about, if home  
19 testing and polysomnography are equally accurate in  
20 diagnosing obstructive sleep apnea, what about the use of  
21 CPAP, what about getting the accurate CPAP levels for  
22 treatment? The idea is out there that only polysomnography  
23 titration will give you an accurate CPAP level for treatment.  
24 However, a study by Juan Mesa and his group in  
25 Spain in the American, or the Journal of Respiratory and

00090

1 Critical Care Medicine in 2004 looked at 360 patients with  
2 diagnosed obstructive sleep apnea. They divided the 360  
3 patients randomly into three groups, the first group being  
4 polysomnography titration for CPAP, the second group being  
5 auto-titration for CPAP, and the third group was  
6 formula-derived CPAP. The last two groups were done in the  
7 home.  
8 Patients were followed for three months and the  
9 results looked at, showed that there were no significant  
10 differences in the reduction in AHI, there was improvement in  
11 subjective symptoms of sleepiness that were similar in all  
12 three studies, and the compliance after three months with the  
13 CPAP treatment was the same in all three studies. So maybe  
14 we don't need polysomnography or PSG titrated CPAP.  
15 DR. PEARSON: Dr. Kehoe, I'm sorry, the time is up.  
16 We can give you another minute to wrap up.  
17 DR. KEHOE: All right. I want to comment a bit on  
18 the last study that Dr. Brechner talked about, the question  
19 of whether CPAP alone is able to diagnose obstructive sleep  
20 apnea. A paper by Senn in Chest, 2006, suggested this might  
21 be the case. However, the question, one of the questions on  
22 the question the group has asked, could this be clinically  
23 harmful? It can be clinically harmful in my opinion, because  
24 it does not give you severity of the obstructive sleep apnea,  
25 which would modulate the compliance of the patient. If he

00091

1 doesn't think that he has sleep apnea or that it's severe, he  
2 might be less apt to use the CPAP.  
3 Also, it does not provide for alternate treatments  
4 for CPAP, and the facts state that 50 to 80 percent of  
5 patients with proven sleep apnea do not tolerate CPAP. So  
6 there would be a large false negative group that would need  
7 polysomnography testing.  
8 DR. PEARSON: Dr. Kehoe, thank you.  
9 DR. KEHOE: Okay. Thank you very much.  
10 DR. PEARSON: Thank you. Next is Michael Coppola.  
11 DR. COPPOLA: Thank you. I'm here today as the  
12 medical director of a million bed sleep lab. Five of those  
13 are traditional attended polysomnography and the rest are the  
14 homes of the people that I care for. I'll give you some  
15 considerations today from someone who has done thousands of  
16 sleep studies, both in home and in the attended traditional  
17 setting, and give you some thoughts, some things to think  
18 about pertaining to this.  
19 I have no ongoing financial issues. I was formerly  
20 involved as the medical advisory board of ResMed Corporation.

21 That relationship terminated in June of 2006. I'm on the  
22 board of directors of the American Sleep Apnea Association  
23 but I'm not speaking on their behalf this morning.  
24 I would like to share some lessons learned. We  
25 have been using a medical management model involving portable

00092

1 testing in Massachusetts since 1988 with over 10,000 studies  
2 to date. I have also helped a managed care organization in  
3 the Pacific Northwest, Group Health, when they initiated  
4 their program, and have followed up carefully with them their  
5 results with over 20,000 studies. These mature programs, not  
6 the initial 20 patients somebody decided to publish, but  
7 these are mature, sophisticated programs with quality  
8 control, have shown that 80 to 95 percent of patients can be  
9 served with a home testing medical management model.  
10 This is one of our patients. He's been on CPAP  
11 since 1988. We have a type III recorder here showing severe  
12 obstructive sleep apnea. This diagnosis is irrefutable.  
13 This is the patient after self-titration with nasal CPAP  
14 therapy. He has been on therapy since 1988, he is now a  
15 Medicare patient, and we have him scheduled for a  
16 polysomnography to justify a renewal of the CPAP. Under  
17 current CMS guidelines, not only to get the CPAP but even the  
18 supplies for CPAP, he must undergo an expensive  
19 polysomnogram, in a patient who has been happily benefitting  
20 from CPAP for 19 years, and this is not -- he is not alone.  
21 All successful models of portable testing have  
22 addressed the continuum of care. It's silly to talk about  
23 these, testing as if they isolate, if they existed in  
24 isolation. Emphasis must be placed on successful outcomes  
25 and the strategies for implementation of the technology

00093

1 rather than the technology is the key to success. Outcomes  
2 need to be measured. In facility-based studies an evaluation  
3 by a sleep expert for negative studies or poor outcomes is  
4 necessary. I think using the portable studies with a  
5 continuum of a traditional sleep program is critical.  
6 What is the best model? I think having a sleep  
7 expert, however you define that, would be the best person to  
8 decide which modality would be best. Having both tools, I  
9 have both tools, I actually earn more of my income from  
10 breathing facilities, like many of your other speakers from  
11 facility-based studies. But I'm here to tell you, I don't  
12 mind giving up some of that revenue. I have thousands of  
13 patients left untreated and I would like to be able to access  
14 them quickly.  
15 Diagnostic criteria, obviously witnessed apneas,  
16 excessive daytime sleepiness and morning headaches are those  
17 symptoms which I think correlate best. However, I don't, I'm  
18 not a proponent of history alone as sufficient to initiate  
19 CPAP therapy. Type III recordings have accumulated the most  
20 real world experience and published results, and they  
21 translate best to terminology we currently use for  
22 polysomnography. However, there are numerous type IV  
23 devices, I think, that are probably clinically equally as

24 good that deserve a careful evaluation.  
25 Currently, I think the channels should measure

00094

1 effort, airflow, preferably pressure as we find that's much  
2 more sensitive, heart rate and oxygen level, because I'm a  
3 respiratory physician and I like to know what the oxygen  
4 level is.  
5 I think response to therapy is confirmatory, but as  
6 a single diagnostic modality it has been insufficiently  
7 tested to generalize to a large population. There are real  
8 clinical problems. CPAP needs to be done right the first  
9 time. If you have an attended CPAP titration or an  
10 outpatient CPAP event that is not done correctly, you've lost  
11 the patient to CPAP probably forever; it's very difficult to  
12 rescue those patients.  
13 DR. PEARSON: Dr. Coppola, can you move to your  
14 last slide, please?  
15 DR. COPPOLA: The advantages to the Medicare  
16 population, for home testing it's accessible. We have  
17 problems with night driving and safety. We also have  
18 Medicaid patients, many of whom are single parents who have  
19 to get child care, they cannot come to the laboratory.  
20 Disadvantages, you've heard about comorbidities. I share  
21 that concern, Cheyne-Stokes or class III or IV heart failure  
22 patients should not be studied in the home.  
23 Thank you very much.  
24 DR. PEARSON: Thank you very much. Dr. Dement?  
25 DR. FREUDMAN: My name is Jon Freudman, and I'm

00095

1 here on behalf of the Home Sleep Testing Coalition, which is  
2 comprised of clinicians, including sleep medicine  
3 specialists, companies that manufacture and distribute home  
4 sleep testing devices, and providers of sleep services. I'm  
5 pleased to have with me today Dr. William Dement to provide  
6 testimony to you on behalf of the coalition.  
7 As you know, Dr. Dement is a pioneering sleep  
8 researcher. However, you may not be aware that he was the  
9 founder of the world's first sleep clinic and laboratory at  
10 Stanford University. So as we speak about polysomnography  
11 today, he defined it. Dr. Dement is the author of over 500  
12 scientific research articles and books, including the first  
13 sleep medicine textbooks. If you are to listen to anyone  
14 today, it should be Dr. Dement. In 1975, Dr. Dement launched  
15 the American Sleep Disorder Association, which is now the  
16 American Academy of Sleep Medicine, and he was its president  
17 for 12 years.  
18 It's truly an honor to present from Stanford  
19 University the person who may be the strongest and most  
20 respected authority in sleep medicine during the last 30  
21 years, Dr. William Dement.  
22 DR. DEMENT: Thank you, Jon. If I had thought 40  
23 years ago -- anyway, I'm here today on behalf of the Sleep  
24 Coalition and this coalition is reimbursing me for my airfare  
25 and hotel accommodations, and I have a financial interest in

00096

1 Sleep Quest and the ResMed Corporation.  
2 I would like to comment briefly at the outset on  
3 the recently published AHRQ report, which we understand the  
4 committee has received. The coalition agrees with the  
5 report's conclusion that a home sleep study performed with an  
6 FDA-approved device that provides an apnea-hypopnea index is  
7 a reasonable option to confirm the diagnosis of clinically  
8 suspected obstructive sleep apnea. The coalition further  
9 agrees with the report's conclusion that home sleep testing  
10 may identify apnea-hypopnea indices suggestive of obstructive  
11 sleep apnea with high positive likelihood ratios and low  
12 negative likelihood ratios. We caution, however, that the  
13 report includes certain caveats and other statements that  
14 detract from those evidence-based conclusions.  
15 For example, the report refers to polysomnography  
16 as a reference standard but does not mention that there is  
17 not an anatomic reference standard for the diagnosis of  
18 obstructive sleep apnea. PSG may characterize the syndrome  
19 but it has never been a definitive diagnostic tool.  
20 When I started the world's first sleep disorder  
21 clinic and laboratory at Stanford 37 years ago, we certainly  
22 had no idea about the high prevalence of obstructive sleep  
23 apnea. Back then we needed to study every physiological  
24 parameter at our disposal in an attended setting because we  
25 knew so little about sleep disorders and their potential

00097

1 negative consequences. We now have enough research to  
2 support in-home testing. That a cheaper and more convenient  
3 test is not readily available to so many sufferers is  
4 unconscionable.  
5 Not all parameters measured during polysomnography  
6 are needed to diagnose obstructive sleep apnea. However,  
7 those parameters that are required can be reliably measured  
8 in the home. Scores of studies, as we have heard, published  
9 over the years have supported home testing. While  
10 polysomnography remains the study of choice for patients with  
11 certain rare neurologically based sleep disorders, it has no  
12 advantage over home sleep testing when managing obstructive  
13 sleep apnea, at least for the majority of patients. In fact,  
14 it is well recognized that home testing may provide for a  
15 better reflection of patient's normal sleep and that for many  
16 patients, especially the aging like me, a home test frankly  
17 is much more desirable than going to my own sleep clinic and  
18 spending one or two nights in the lab, for a variety of  
19 reasons.  
20 The published evidence and years of experience in  
21 many countries has documented that home studies are neither  
22 new nor experimental. They are well proven and demonstrate a  
23 high degree of sensitivity and specificity, reliability and  
24 consistency. Sleep testing devices have become very reliable  
25 and home testing is practiced today routinely in numerous

00098

1 settings with minimal failure rates.  
2 This committee has an opportunity to favorably

3 affect the care of numerous Medicare beneficiaries who have  
4 undiagnosed obstructive sleep apnea, many of whom are at  
5 imminent risk of being involved in car accidents, developing  
6 heart failure or having strokes, or simply suffering a very  
7 poor quality of life. The only diagnostic modality currently  
8 available to these patients is polysomnography, the most  
9 complex and expensive study. The call for expanding the use  
10 of simplistic studies is shared by the Institute of Medicine,  
11 the National Sleep Foundation, the American Sleep Apnea  
12 Association, and of course patients.  
13 Although access to polysomnography has improved  
14 somewhat in recent years, the option of a home study is still  
15 desperately needed. All of us who practice sleep medicine  
16 know that many patients, for reasons including inconvenience,  
17 fear, physical limitations, or medical condition, will not  
18 present to a sleep laboratory. In addition, home studies are  
19 certainly the optimal methodology for follow-up when it is  
20 indicated, and many times the only practical situation when  
21 the need for a diagnosis is more urgent.  
22 DR. PEARSON: Dr. Dement, I'm sorry; could you  
23 please conclude?  
24 DR. DEMENT: Yeah, I have one more sentence.  
25 DR. PEARSON: Thank you.

00099

1 DR. DEMENT: I urge the members of the committee to  
2 vote in favor of expanding coverage for home sleep testing.  
3 Thank you very much.  
4 (Applause.)  
5 DR. PEARSON: Thank you. I'm just going to say, I  
6 love this job of chairing this meeting, but I hate having to  
7 remind everybody to please watch the lights up there so you  
8 can keep within five minutes. I know it's hard, but it will  
9 help us all.  
10 DR. FREUDMAN: I'm not going to use five. My  
11 background is internal medicine. I'm an independent  
12 consultant and one of my clients is Sleep Solutions, who  
13 makes a diagnostic device. When I was in charge of Blue  
14 Shield of California's technology assessment program, we too  
15 invited outside testimony. I found that those who were most  
16 motivated to attend the meetings were those who had the most  
17 financial skin in the game. I'd like to remind the MCAC that  
18 the testimony you may hear later and some of the input you  
19 have received off-line includes those with a vested interest  
20 in maintaining a very lucrative status quo for sleep labs.  
21 Please remember, there are more sleep labs than manufacturers  
22 of approved and validated home sleep testing devices. There  
23 are more of them than us.  
24 I would like to remind the panel of a few issues.  
25 We are not debating a new biomarker or test. As you've heard

00100

1 from Dr. Dement, the parameters that confirm the diagnosis of  
2 OSA are those that pertain to airway obstruction, and these  
3 are the same either in PSG or home testing venues.  
4 The AHRQ analysis supports our position that a home  
5 sleep study performed on an FDA cleared portable device that

6 provides an AHI is a reasonable option to confirm the  
7 diagnosis of clinically suspected OSA. However, the report  
8 includes wording that is nuanced and at times detracts from  
9 the core evidence message. CMS and the MCAC members should  
10 be aware that one of the report's authors, Dr. Ambrosio, is  
11 the AASM section chair on sleep-related breathing disorders.  
12 The potential for bias here is I think obvious.  
13 The limitations of PSG, as Dr. Mair so well  
14 described this morning, are not discussed in the report.  
15 When reviewing a literature that compares PSG and home test  
16 performed on successive nights, this variation is germane.  
17 Included in the report summary are cautionary  
18 remarks regarding the Medicare age group. True, in general  
19 the validation studies involved younger patients. However,  
20 the pathophysiology of intermittent air wave obstruction does  
21 not change at age 65. There was speculation in the report  
22 that there may be patients over the age of 65 who will be  
23 misdiagnosed by portable studies. However, there is nothing  
24 in the body of the report to substantiate this speculation,  
25 not one sentence.

00101

1 The AHRQ report mentions restless leg syndrome,  
2 these patients have symptoms in their extremities. They can  
3 be managed clinically and receive sleep medicine consultation  
4 or PSG as needed.  
5 The AHRQ report contains no studies regarding heart  
6 failure masquerading as obstructive sleep apnea. Certainly  
7 patients with severe COPD or heart failure can be studied in  
8 a lab if appropriate. However, identifying coexistent  
9 unrecognizable OSA and heart failure is very important for  
10 these patients and home sleep testing could be of enormous  
11 value to the Medicare program.  
12 Patients on lasix don't want to spend the night in  
13 a sleep lab. Dr. Bill Abraham from Ohio State University  
14 uses home sleep testing extensively in a heart failure  
15 program, and his comments to CMS last spring are part of the  
16 record.  
17 Given that the core data and the AHRQ report  
18 indicate that home sleep testing can identify patients  
19 suggestive of OSA, I urge the MCAC and CMS to focus on the  
20 evidence conclusion and not the speculations in the AHRQ  
21 report.  
22 I would like to conclude with a few comments  
23 pertaining to the AASM's position on this matter. Increased  
24 sleep lab capacity, this does not change the fact that home  
25 sleep testing is an evidence validated, less expensive

00102

1 alternative, and not all patients can be tested in a lab.  
2 The AASM has mentioned a study they are sponsoring  
3 that will assess both polysomnography and portable studies.  
4 What isn't commonly known is that in this study, patients who  
5 have an AHI of less than 15 on portables will then need to go  
6 to get a PSG. Patients who have an AHI of less than 15 on  
7 PSG will not need a portable study. So there's an asymmetric  
8 design in this study that will clearly have the potential to



9 alter the outcome.  
10 The AASM has cited some modeling studies to show  
11 that portable studies will increase costs. You know, we  
12 don't need modeling here, we have in vivo evidence, Kaiser  
13 Permanente and the Veterans Administration, who are at risk  
14 for costs of sleep testing, repeat sleep testing, sleep  
15 apnea, or the consequences of missing sleep apnea, have  
16 piloted the use of portables and continue a decade later to  
17 continue to use portables. This is not a modeling exercise.  
18 This is not needed.  
19 DR. PEARSON: Dr. Freudman --  
20 DR. FREUDMAN: Yes, I'll finish. It's clear the  
21 AASM's goal on home sleep testing is to limit sleep testing  
22 to a venue they control. I urge the MCAC and CMS to think  
23 about more clinical issues, the evidence, what is best for  
24 patients in the Medicare program. Thank you.  
25 DR. PEARSON: Dr. Atwood.

00103

1 (Applause.)  
2 DR. ATWOOD: Good morning. Thank you for allowing  
3 me to speak today. I'm speaking on behalf of the American  
4 College of Chest Physicians. I am a pulmonary and sleep  
5 medicine physician at the University of Pittsburgh. My  
6 disclosures are that I have received grant support from  
7 Respironics, ResMed and MedCare, and have served as a  
8 consultant in the past to Respironics and ResMed, as well as  
9 the Sleep Manufacturers Alliance. The American College of  
10 Chest Physicians paid my way today. I do not have slides.  
11 The American College of Chest Physicians is a  
12 leading professional society of pulmonary, critical care,  
13 sleep medicine physicians, cardiologists and cardiothoracic  
14 surgeons, and other allied health professionals. We have a  
15 long history of involvement in the sleep medicine field  
16 through a variety of venues, including professional  
17 development, education and research. We do appreciate the  
18 opportunity to comment on proposed changes for the payment of  
19 portable sleep apnea testing that CMS is currently  
20 considering.  
21 Sleep apnea, obviously, is presently a large and  
22 rapidly growing part of contemporary pulmonary sleep  
23 medicine, or pulmonary medicine. This is true both for  
24 pulmonary physicians who subspecialize in sleep medicine and  
25 for pulmonologists who treat sleep apnea patients without

00104

1 additional sleep medicine training. While the practice of  
2 sleep medicine is definitely multidisciplinary and becoming  
3 more so, the largest primary specialty of sleep medicine  
4 practitioners is, consists of pulmonary medicine specialists.  
5 The future of sleep apnea diagnosis and management is of keen  
6 interest to our members and their patients.  
7 The technology available to clinicians in  
8 diagnosing sleep apnea is one of the fastest growing aspects  
9 of this field. High quality small and easily portable  
10 monitors that can accurately detect sleep apnea are now  
11 available and FDA-approved. The traditional approach to

12 diagnosing sleep apnea in a sleep laboratory alone is  
13 undergoing revision, as we've heard.  
14 Our position is that portable sleep apnea testing  
15 is a legitimate means of making a diagnosis of sleep apnea.  
16 This is not to say that it should replace full sleep  
17 laboratory facility testing. Rather, we view  
18 non-facility-based sleep apnea testing as one of several  
19 different tools that should be available to practitioners  
20 evaluating patients for suspicion of sleep apnea.  
21 We believe CMS should support adoption of portable  
22 sleep apnea testing in some circumstances, and these  
23 circumstances are clearly evolving. However, we caution  
24 against using portable sleep apnea testing for the diagnosis  
25 of any other sleep disorder other than adult obstructive

00105

1 sleep apnea, and specifically not pediatric sleep apnea.  
2 The key to successfully using any diagnostic tool  
3 or strategy is to understand its strengths and limitations,  
4 and portable sleep apnea testing is no different. Its  
5 benefits are simplicity of use, flexibility in allowing  
6 testing to occur in the patient's own familiar surroundings,  
7 and possibly lower cost. The use of portable sleep apnea  
8 monitors also allows for more rapid diagnosis of high risk  
9 patients where there may not be a traditional sleep  
10 laboratory available, or lengthy waiting times exist.  
11 Its limitations are recording a smaller number of  
12 signals, technically inadequate recordings because of bad  
13 sensors or signals that cannot be replaced or corrected  
14 during the recording, and false negative studies. These  
15 limitations mean that portable sleep apnea testing will not  
16 work for every patient, and we acknowledge that there is  
17 still much to be worked out about how best to use these tests  
18 and which subgroups may benefit most from them.  
19 There is still relatively little published  
20 scientific data on the age group of Medicare beneficiaries,  
21 for example. There may be subgroups of patients who are more  
22 or less likely to benefit from such an approach. Not  
23 everything is known. But we do know enough about portable  
24 sleep apnea testing in our opinion to recommend that CMS  
25 adopt it in some circumstances.

00106

1 As we've heard, a growing number of clinicians are  
2 successfully using portable sleep apnea testing in their  
3 practices and manage sleep apnea patients with it, including  
4 patients who are Medicare beneficiaries. These are  
5 practitioners in private practice, those who work for the VA  
6 system, those who work for other HMOs. The spectrum of  
7 practice that is using this is already fairly broad and is  
8 becoming even broader.  
9 The importance of giving sleep apnea patients  
10 appropriate care by qualified clinicians cannot be  
11 overstated. It's not so much the tool we believe is the most  
12 crucial aspect of the care, but the relationship that the  
13 patient has with a qualified physician.  
14 We conclude with just a few practical suggestions.

15 Portable sleep apnea testing should be used by knowledgeable  
16 physicians trained in its use and in its interpretation. We  
17 do not recommend the unthinking adoption of portable sleep  
18 apnea testing by any or all physicians. Our goal is not to  
19 turn every bedroom in America into a sleep laboratory.  
20 Neither is our intent to restrict appropriate use to  
21 facility-based testing for sleep apnea. One way that this  
22 could be accomplished is through accredited sleep  
23 laboratories, but there are perhaps others as well.  
24 Patients should undergo full sleep laboratory  
25 evaluation if the portable sleep apnea testing is not

00107

1 diagnosed, it is not diagnostic and sleep apnea is still  
2 suspected. Payment for portable testing should be  
3 appropriate to its cost and physician training required  
4 interpreting it.  
5 And finally, we recommend CMS consider partnering  
6 with other federal grant making or research agencies to  
7 sponsor additional research in this field.  
8 Thank you.  
9 DR. PEARSON: Thank you very much.  
10 (Applause.)  
11 DR. PEARSON: David Gourley.  
12 MR. GOURLEY: Good morning. My name is David  
13 Gourley, I'm a registered respiratory therapist licensed to  
14 practice in the state of New Jersey and New York. I  
15 currently am the vice president of regulatory affairs at  
16 Chilton Memorial Hospital in Pompton Plains, New Jersey. I'm  
17 here representing the American Association for Respiratory  
18 Care, or AARC, which is a 43,000-member organization, a  
19 professional organization of respiratory therapists. My  
20 travel here was funded by the AARC, and I have no conflicts  
21 of interest.  
22 Sleep diagnostics and therapeutics have been an  
23 integral part of the respiratory therapist scope of practice  
24 for decades. Patients with sleep-disordered breathing, in  
25 particular OSA, are afflicted with additional comorbidities

00108

1 which we've heard about this morning here, like hypertension,  
2 diabetes, obesity and heart failure. The acuity of these  
3 patients varies widely but is especially true among the  
4 Medicare beneficiary.  
5 The AARC submitted extensive written comments in  
6 April of this year on the proposed national coverage policy  
7 decision memo regarding the proposed revisions to Medicare  
8 coverage extending it to home testing. Our key point to  
9 share with you today is focused on the recommendation the  
10 AARC made to CMS to revise the currently revised policy to  
11 mandate specific personnel qualifications of both physicians  
12 and polysomnographic personnel.  
13 Physicians who have no certification or  
14 specialization in sleep disorders are opening sleep disorder  
15 centers around the country. Personnel must be hired to staff  
16 these centers and unfortunately, the demand for employees to  
17 staff these centers exceeds the supply of competency-tested

18 healthcare professionals who are qualified to prepare the  
19 patient, set up the testing equipment, run the polysomnograms  
20 while monitoring the patient's clinical status. The result  
21 is that on-the-job trainees are hired with no prior training  
22 and no competency testing to provide these clinical services.  
23 Untrained and untested personnel simply do not have  
24 the skills required to assure that the test is being  
25 performed correctly and that the patient is responding

00109

1 appropriately. Inaccurately or poorly executed testing can  
2 result in false positives, false negatives, or inconclusive  
3 testing. We believe that it is important for Medicare to set  
4 a high standard in terms of personnel qualifications to help  
5 assure a high quality of service to the Medicare beneficiary.  
6 The key point that the AARC would like to make to  
7 this committee today is with regards to amending the coverage  
8 under Medicare as follows: Polysomnography must be performed  
9 by qualified personnel, such as registered polysomnographic  
10 technologists, licensed and credentialed respiratory  
11 therapists, specially trained nurses or other healthcare  
12 professionals who have been competency-tested by nationally  
13 recognized accreditation entities, and under the supervision  
14 or oversight of a board certified physician holding a sleep  
15 specialty credential.  
16 Thank you very much.  
17 DR. PEARSON: Thank you. Next is Kelly Garber.  
18 MS. GARBER: Good morning. I appreciate the  
19 opportunity to address the group. It's a bit of a daunting  
20 task following so many world renowned physicians. You'll  
21 notice right away I'm not a physician as I begin to speak,  
22 the upside of which is that you'll probably nod off a bit and  
23 still get the point of my comments.  
24 I'm division clinical manager of Apria Health  
25 Respiratory Services and Apria Healthcare. To give you a

00110

1 little bit of background, and I will only give the high  
2 points in the interest of time, we are a full service home  
3 care company specializing in respiratory services and  
4 respiratory equipment, home medical equipment, home infusion  
5 services and home diabetic supplies, serving patients in all  
6 50 states, including over a million Medicare beneficiaries  
7 this years. We do employ respiratory clinicians, 850 of  
8 which are respiratory therapists.  
9 Dr. Mair spoke earlier of the inevitability of home  
10 sleep testing. To take that one step further, I can tell you  
11 that in certain areas of the private sector it is in practice  
12 today. Others have mentioned Kaiser Permanente, the VA and  
13 the Navy as examples. Kaiser Permanente of Colorado, their  
14 Colorado region uses 90 percent or takes 90 percent of their  
15 members who are referred for home sleep testing, and they are  
16 used in that manner, including our senior population, there  
17 is no distinction that is made. They're using this primarily  
18 in order to service the ongoing stream and the ever-growing  
19 stream of patients referred to their sleep apnea clinics.  
20 Other managed care organizations in other parts of the

21 country are also using it successfully.  
22 We can state that home-based testing, we don't  
23 believe is appropriate for all patients, and in fact,  
24 definitely screening criteria needs to be put in place to  
25 address the patients who are perhaps recurring or suspected

00111

1 central sleep apnea, complex sleep apnea, and other clinical  
2 situations.  
3 Key considerations, we just want to remind the  
4 group that it is not experimental, and others have supported  
5 this. An infrastructure actually already exists in the home  
6 care community, and therefore that the logical solution would  
7 be the home care companies currently employing respiratory  
8 clinicians already specializing in obstructive sleep apnea  
9 treatments to perform these tests in the home.  
10 In addressing one of the key questions posed by the  
11 group, the ability of the testing to determine applicability  
12 and success with CPAP therapy, it is our feeling that the  
13 type of diagnostics does not have a direct reflection on  
14 compliance. Rather, patient education, mask comfort, the  
15 ability to offer heated humidification, and troubleshooting  
16 and other on-board support offered to the patient is more  
17 reflective of success with CPAP therapy.  
18 Our recommendations include the approval of type II  
19 testing for home diagnostics testing, revising the criteria  
20 for AHI to be based on a minimum of two hours sleep or less  
21 if the actual number of AHI episodes recorded is 30 or more  
22 in less than two hours.  
23 And we want to also be very cautious about the  
24 development of a policy related to direct-to-CPAP models, not  
25 so much in light of risks and other things associated with

00112

1 CPAP therapy, but in light of utilization controls and other  
2 things to avoid any type of fraud or abuse.  
3 A definite benefit of home sleep testing would be  
4 the cost savings that could be realized. Knowing that  
5 testing costs can be slightly varied from region to region,  
6 an average of 40 percent savings can be realized for home  
7 sleep testing. If you extrapolate that out over ten years,  
8 the savings would exceed a billion dollars, just factoring in  
9 the current growth of the Medicare population.  
10 We did include a patient's perspective. This is a  
11 patient who is a Medicare beneficiary but also a VA patient  
12 who went through the process of home sleep testing and was  
13 extremely satisfied with that process, and does recommend it  
14 for all Medicare beneficiaries.  
15 In summary, this proven technology has been adopted  
16 by Medicare's largest Medicare advantage plan, the Veterans  
17 Administration and the U.S. Navy. And we would like to have  
18 you consider that in light of the other high technological  
19 advances that have allowed certain things to be done in the  
20 home, for example, the more advanced ventilators that provide  
21 pressure support, very high mobility for patients who are in  
22 the home who might have previously been in an acute care  
23 setting for extended periods of time. Home infusion therapy

24 made possible by more advanced pumps. Apnea monitors and  
25 then the ever-growing CPAP and BiPAP technology with

00113

1 downloadable and other features that continue to hit the  
2 market.  
3 So we're suggesting that we implement this as  
4 quickly as possible in order to reap the saving that can be  
5 realized, and that's all I have.  
6 Thank you.  
7 (Applause.)  
8 DR. PEARSON: Thank you very much. Stephen Burton.  
9 DR. BURTON: Thank you. I'm the president of Ion  
10 Healthcare, and we're a disease management company that  
11 specializes in management of sleep apnea patients. We do not  
12 manufacture sleep therapy devices, we do not manufacture home  
13 diagnostic tests, and we do not operate a sleep center, but  
14 insurance payers reimburse us to use all of those  
15 technologies to manage sleep apnea patients.  
16 This is the life they lead. I want to remind  
17 people of the patient perspective today. In our model we  
18 follow largely Dr. Ryan's results in a clinical example,  
19 where we identify at-risk patients with clinical impressions,  
20 self-report questionnaires and physical findings. We then  
21 confirm the diagnosis with a test, a sleep diagnostic test;  
22 25 percent of the time that ends up being in a sleep lab, 75  
23 percent of our patients end up doing it at home. Medicare  
24 patients, a hundred percent have to do it today in the sleep  
25 lab, so they suffer a different level of care in our

00114

1 organization. And one of the things that underscores that, I  
2 want to emphasize, two entire communities, one being Europe  
3 and one being Japan, their standard of care today is  
4 ambulatory and has been for almost a decade. Millions of  
5 patients are properly managed and care in both those  
6 environments. We stand behind the ball in terms of that  
7 delivery of care.  
8 In our U.S. home testing, the patient that does do  
9 a home test typically within two days is tested and within  
10 one further day receives a report and pays an average of  
11 \$295. The patients that are referred to the sleep center  
12 within our patient base typically waits eight weeks, but that  
13 can go anywhere up from one week to 18 weeks, and typically  
14 two weeks later receive a report and pay on average \$1,200.  
15 Medicare patients all experience the bottom line for that.  
16 Unmanaged apnea has a tremendous impact on the cost  
17 that patients pay. An unmanaged apnea patient pays twice as  
18 much healthcare dollars as the patient who goes in and is  
19 finally managed; that's been well studied, well proven. So  
20 finding the patients, reducing the hurdle to enable someone  
21 to be diagnosed is an important step.  
22 Apnea impacts surgical outcomes to such a degree  
23 that in this one study they show that complications that come  
24 from a surgical caseload with apnea patients who are  
25 unmanaged versus managed, complications are twice as high

00115

1 once the apnea has been identified and recognized, post the  
2 study they can identify that apnea was one of the  
3 contributors to the complications, and in severe  
4 complications it's as often as three times the level of  
5 complications when it's unmanaged apnea playing in the mix.  
6 This has resulted also in liability that's coming  
7 through from post-surgical reactions of cases, it's also led  
8 the ASA to generate a practice guideline last year suggesting  
9 an apnea management process needs to be in place for anyone  
10 suspected of sleep apnea if they're going to undergo  
11 anesthesia. JHACO also put it as a potential safety  
12 initiative for next year, and they expanded it to any patient  
13 that will be anesthesia or analgesic. That's a tremendous  
14 body of patients that now need to be managed and recognized  
15 whether they have sleep apnea or not. We need to develop a  
16 model of care that can tolerate that group of patients.  
17 One of the things that was I believe passed out to  
18 you shortly ago was a picture like this, and I apologize to  
19 the audience here not to have this, it wasn't in the original  
20 slides, but people began talking about level II maybe being a  
21 test that we recommended. And I wanted people to just  
22 appreciate real patient impact. Some people talk about it as  
23 PSG in the home, but the problem is, this is what you will  
24 require the patient to go through to achieve that, so I hope  
25 it's a standard that will not be suggested or realized as a

00116

1 practical standard. Level III home testing is surely  
2 sufficient to be able to be applied to someone who presents  
3 as at risk for apnea.  
4 Clinical impressions in our patient base of  
5 thousands, one-third of the time our patients, if it went  
6 only with referring physician's clinical impressions,  
7 one-third of the time we would have applied treatment  
8 unnecessarily. So it's important that we have some ability  
9 to do that.  
10 Thank you very much.  
11 DR. PEARSON: Thank you.  
12 (Applause.)  
13 DR. PEARSON: Alex Chediak.  
14 DR. CHEDIK: Thank you. I'm Alex Chediak,  
15 president of the American Academy of Sleep Medicine. I am  
16 the owner of a private sleep laboratory in South Miami,  
17 Florida, chief of the sleep disorder center at Mount Sinai  
18 Medical Center, and associate professor of medicine at the  
19 University of Mount Sinai, excuse me, University of Miami at  
20 Mount Sinai. In these roles I diagnose and treat patients  
21 with a whole variety of sleep disorders, I teach house  
22 officers and fellows, and I conduct clinical research. I'm  
23 here today at the request of the American Academy of Sleep  
24 Medicine and my travel has been sponsored by the academy.  
25 The AASM appreciates the opportunity to comment on the

00117

1 MedCAC's view of National Coverage Determination 240.4.  
2 Proponents of portable monitoring contend that the

3 diagnosis of OSA is limited because facility-based  
4 polysomnography is not widely available. While this might be  
5 the case in some countries, this statement is inconsistent  
6 with data in the United States. A study based on 2001 data  
7 estimated that 427 polysomnograms were performed per year for  
8 100,000 in the population. Since then the number of  
9 accredited AASM facilities has more than doubled to 1,256,  
10 and 259 applications have been received in the first six  
11 months of 2007. An independent survey by SRI estimated that  
12 there are more than 2,500 accredited and nonaccredited sleep  
13 disorder facilities in the United States in 2004, with an  
14 average wait time then of two to three weeks for  
15 facility-based polysomnography. A 2005 survey of U.S. sleep  
16 centers by Wachovia reported a percent increase in sleep  
17 center bed capacity over the previous year, and an  
18 approximate three-week wait time was reported by an AASM  
19 survey in 2004.  
20 Most recently in 2007, AASM surveyed its accredited  
21 sleep disorder facilities and found a decrease in PSG and  
22 consultation wait times to a median of 12 and 14 days  
23 respectively. Considering that not all sleep facilities are  
24 accredited by the AASM, this survey data likely overestimates  
25 the wait time for PSG and sleep physician consultations in

00118

1 2007.  
2 We conclude that in the United States as a whole,  
3 patients do not have unacceptable delays in assessing sleep  
4 consultations for facility-based polysomnography.  
5 Furthermore, the number of accredited sleep centers continues  
6 to grow and current data suggests that increasing demand will  
7 be met by appropriate increased supply.  
8 In 2003 the AASM in association with the American  
9 College of Chest Physicians and the American Thoracic Society  
10 published practice parameters for the use of portable  
11 monitoring in the investigation of obstructive sleep apnea in  
12 adults. The practice parameters did not recommend unattended  
13 portable monitoring for OSA. The manuscript was updated  
14 September 1st, 2004, by a report of the Agency for Healthcare  
15 Research and Quality found in Europe, but did not materialize  
16 to change the earlier conclusions.  
17 In his request letter, Dr. Nielsen of the American  
18 Academy of Otolaryngology cites four recently conducted  
19 investigations in support of the use of ambulatory portable  
20 monitoring to diagnose OSA. These have been previously  
21 reviewed. All four of these studies were performed outside  
22 of the United States, and in two of the four they did not  
23 directly address the use of portable monitoring to diagnose  
24 OSA. All four of those were carried out by sleep medicine  
25 specialists in academic sleep centers and in a population not

00119

1 representative of Medicare beneficiaries.  
2 The August 8, 2007 Agency for Healthcare Research  
3 and Quality technology assessment report reviewed earlier  
4 today similarly noted that one could not necessarily  
5 extrapolate such findings to circumstances where healthcare



6 providers with less training and experience might use these  
7 devices.  
8 In summary, two recent studies provide some  
9 evidence in support of portable monitoring for the diagnosis  
10 of OSA in selected patient groups with high pretest  
11 probability for OSA who are managed intensively in academic  
12 centers by sleep specialists. Medicare demographics were not  
13 well represented in these studies and their results cannot be  
14 extrapolated to primary care or surgical practice. Further  
15 studies are needed to confirm these results to determine  
16 whether these approaches are cost effective compared to  
17 facility-based polysomnography. They do not warrant a change  
18 in NCD 240.4.  
19 The academy believes that obstructive sleep apnea  
20 should be diagnosed by a combination of clinical history,  
21 physical examination, and recording of breathing while  
22 asleep. Such a comprehensive approach by physicians trained  
23 and expert in sleep medicine is necessary to avoid  
24 overdiagnosis of the condition and also to avoid unnecessary  
25 treatment.

00120

1 MedCAC should be aware of two ongoing studies aimed  
2 at elucidating the role of portable monitoring in the  
3 diagnosis and management of OSA. An American Sleep Medicine  
4 Foundation grant has funded Drs. Cheryl Rosen and Susan  
5 Redline at Case Western Reserve for a large multicenter trial  
6 that will compare ambulatory strategies for both the  
7 diagnosis of OSA and CPAP against the facility-based  
8 protocol. Following a paradigm designed to mimic actual  
9 practice in our area, the study deems to examine both  
10 clinical and economic outcomes. The results from this grant  
11 are expected by June 2009.  
12 DR. PEARSON: I'm sorry, Mr. Chediak, we'll have to  
13 stop there. Thank you.  
14 DR. CHEDIAK: Can I have one sentence?  
15 DR. PEARSON: Yes.  
16 DR. CHEDIAK: In closing, the AASM is not opposed  
17 to the development and application of new technologies that  
18 would be of benefit to our patients. We acknowledge the  
19 limited new evidence that supports portable monitoring.  
20 However, we think that we should wait for the results from  
21 the grants of the Veterans Administration and the American  
22 Sleep Medicine Foundation trials to provide evidence for  
23 making rational decisions regarding home-based portable  
24 monitoring in the management of adult obstructive sleep  
25 apnea. Thank you.

00121

1 DR. PEARSON: Thank you.  
2 (Applause.)  
3 DR. PEARSON: Dr. Philip Westbrook.  
4 DR. WESTBROOK: Alex is the current president of  
5 the American Academy of Sleep Medicine, I was the third  
6 president, I guess that's progress. My name is Philip  
7 Westbrook, I'm a pulmonary physician and a physiologist with  
8 an over 30-year focus on breathing during sleep. I am chief

9 medical officer of Advanced Brain Monitoring, Incorporated,  
10 which has developed based on my specifications a portable  
11 system, the ARES, for evaluation and quantification of sleep  
12 disorder breathing. I am also chief medical officer of  
13 Adventist Medical, Incorporated, a company developing  
14 treatment for obstructive sleep apnea. Finally, most of my  
15 current income derives from an investor-owned company which  
16 provides laboratory polysomnography for sleep apnea. From a  
17 financial point of view, I truly have conflicts of interest,  
18 but I'm not conflicted about patient care.  
19 I believe that our current approach to the  
20 diagnosis and treatment of sleep apnea allocates too much  
21 time and money to diagnosis and too little to treatment and  
22 follow-up. Validation studies of our systems and others have  
23 shown that portable studies contain measure of AHI similar to  
24 traditional attended laboratory polysomnography. The AHRQ  
25 report concludes that portable monitors can identify AHIs

00122

1 suggestive of, their term, the sleep apnea syndrome with high  
2 positive likelihood ratios and low negative likelihood  
3 ratios.  
4 Simply put, a validated portable recording and  
5 analysis system can be as useful as polysomnography when  
6 making treatment decisions for patients with sleep apnea.  
7 However, not all portable recording devices are equivalent.  
8 I believe that portable systems should provide multiple  
9 channels and full disclosure recording required to identify  
10 all types of abnormal breathing during sleep, including  
11 complex sleep apnea and central sleep apnea. But at the same  
12 time, they have to be very easy for patients to use. The  
13 monitor must have a low failure rate when self-applied in the  
14 real world.  
15 A portable diagnostic system should include  
16 analysis of patient information that gives a risk of disease  
17 assessment. Using patient history and other measures it is  
18 possible, as we know, to predict those in need of a  
19 diagnostic home sleep study. Examining a person's breathing  
20 over a couple of nights while he or she sleeps relatively  
21 unencumbered at home can give a larger and more accurate  
22 snapshot of that person's usual state than a short stay in a  
23 laboratory.  
24 Our initial study with the ARES was rated A by the  
25 most recent review. The methodologies were fully described

00123

1 as was both the PSG and portable scoring. Sensitivities and  
2 specificities, as shown here, were high.  
3 In this large study where the recorder was mailed  
4 to the subjects and they had to put it on using simple  
5 printed instructions on each of two nights, the failure rate  
6 was only two percent. Healthy controls were included, and 10  
7 percent were in the Medicare age range. Most of the  
8 difference in severity classification between the lab PSG and  
9 the portable system at home could be accounted for by the  
10 positional differences and by the known night-to-night  
11 variability in apnea-hypopnea index, which is true of any

12 system studied anywhere.  
13 Subsequently an independent validation study of the  
14 area was carried out at New York University, this time with  
15 the ARES recorder that included airflow by a nasal cannula  
16 pressure transducer system that allows detection of flow  
17 limitation. The report of this study has been accepted for  
18 publication in the Journal of Clinical Sleep Medicine. There  
19 is, however, an error on this slide, I apologize for it. The  
20 failure rate recorded and found was six percent, not two  
21 percent. The author's conclusion, the present data again  
22 confirmed that it is possible to obtain sleep disorder  
23 breathing indices comparable to those obtained by full  
24 laboratory polysomnography from data acquired by an  
25 unattended limited diagnostic device, at least in subjects

00124

1 suspected of sleep-disordered breathing or of having no sleep  
2 disorder.  
3 I must tell you I sort of object to the author's  
4 use of the term limited, at least as applied to our current  
5 monitor from the others. Our current version provides a full  
6 disclosure recording of airflow, respiratory effort, pulse,  
7 oxygen inflow and saturation, head position, movement,  
8 quantitative snoring and sleep staging, and continuously  
9 evaluates signal quality and tells the wearer if adjustments  
10 need to be made. I submit this is not limited monitoring.  
11 However, what is limited is my time, so I'm going  
12 to skip the next three slides, which really you don't need to  
13 see, and I'll go directly to my conclusions. My summary  
14 recommendations are as follows: I think CMS should approve  
15 portable systems which acquire the signals rated by experts  
16 as necessary or highly desirable and that have met rigorous  
17 validation standards. The systems must provide full  
18 disclosure recordings and these must be reviewed and  
19 interpreted by experts, reviewed and interpreted by experts,  
20 as must all diagnostic services. The system should  
21 incorporate historical and anthropomorphic information and  
22 should be capable of obtaining more than one night of data,  
23 in other words, a full sample of sleep.  
24 I thank you very much for the opportunity to  
25 present my views.

00125

1 (Applause.)  
2 DR. PEARSON: Thank you. Next, Dr. Kuna.  
3 DR. KUNA: My name is Sam Kuna, I work at the  
4 University of Pennsylvania and the Philadelphia VA Medical  
5 Center, and I'm representing the American Thoracic Society.  
6 I receive grant support from Respironics.  
7 In 2003 the American Thoracic Society participated  
8 in an evidence-based review of portable monitor testing in  
9 the diagnosis of sleep apnea. The resulting report concluded  
10 there was insufficient evidence to support the use of  
11 portable monitors in an unattended setting, but some evidence  
12 that type III monitors appear to have a limited role in an  
13 attended setting. There has been no change in that official  
14 position since that report.

15 The ATS recognizes, however, that obstructive sleep  
16 apnea is a major public health issue. The outstanding 2007  
17 AHRQ evidence-based review details the important medical  
18 consequences of sleep apnea, and we know this is a prevalent  
19 disorder. The commonly quoted estimates of nine percent of  
20 men and four percent of women have sleep apnea is based on an  
21 epidemiological study that was published 15 years ago. We  
22 know that obesity is the strongest predictor of sleep apnea,  
23 and that over the past 15 years there has been an alarming  
24 increase in obesity in the United States. It is therefore  
25 very likely that the prevalence of sleep apnea has risen

00126

1 precipitously over that time and will continue to do so until  
2 the obesity epidemic has abated. This trend will only  
3 exacerbate the limited access to polysomnogram testing that  
4 already exists for many patients.  
5 Despite the current lack of evidence supporting the  
6 role of portable monitor testing, many healthcare providers  
7 confronted with growing patient demand and limited access to  
8 polysomnogram testing are increasingly using portable  
9 monitors to diagnose their patients with sleep apnea. The  
10 clinical experience of physicians with training and expertise  
11 in the management of sleep disorder breathing is that under  
12 certain conditions, type III portable monitors can play a  
13 helpful role in improving access to diagnosis and treatment  
14 of sleep apnea and in reducing costs.  
15 Confronted with increasing patient needs and  
16 growing use of those monitors in the absence of  
17 evidence-based guidelines, the ATS firmly believes that  
18 additional research is urgently needed to determine the  
19 appropriate role of portable monitors in clinical practice.  
20 The controversy surrounding portable monitor testing is due  
21 to a lack of evidence, not the presence of strong evidence  
22 against its use.  
23 To help obtain the needed evidence, the ATS is  
24 helping to organize a workshop on the research priorities in  
25 ambulatory management of sleep apnea that is being held next

00127

1 month, October 15th and 16th, in Arlington, Virginia. The  
2 workshop is bringing together a select group of diverse  
3 stakeholders to identify the gaps in our knowledge regarding  
4 portable monitor testing and determine the research required  
5 to provide the needed evidence.  
6 As commented earlier by Dr. Mair and others,  
7 although portable monitor testing is the focus of today's  
8 forum, the ATS acknowledges the significant limitations of  
9 polysomnogram testing. Polysomnography has been assigned a  
10 gold standard status through accustomed use. It was never  
11 subjected to the rigorous evaluation process that is being  
12 applied to the emerging portable monitor technology. It is  
13 ironical that our gold standard test failed to meet the  
14 requirement that are currently being demanded of portable  
15 monitors.  
16 Our current method of diagnosing sleep apnea using  
17 polysomnography is too reliant on just one number, the

18 apnea-hypopnea index. The ATS advocates a clinical research  
19 initiative that leads to a more holistic approach to the  
20 management of sleep apnea. We need prospective research  
21 studies comparing complete clinical management pathways in  
22 diverse patient populations. CTSA is a practice safe network  
23 that could potentially serve as a platform for such research.  
24 CMS can play a critical role in promoting this initiative  
25 through its coverage of evidence development, approving the

00128

1 use of portable monitor testing for CPAP but limiting this  
2 coverage to patients participating in the clinical research  
3 designed to obtain the needed evidence.  
4 The unrestricted approval of CPAP coverage based on  
5 portable monitor testing in the absence of evidence-based  
6 medical guidelines for this emerging technology will likely  
7 lead to its indiscriminate use. While the time may be  
8 appropriate for limited approval of portable monitor testing  
9 under special clinical circumstances, the ATS advocates that  
10 more evidence-based medicine from adequately powered, high  
11 quality clinical research studies is needed before widespread  
12 application of portable monitor testing in the management of  
13 sleep apnea is warranted. CMS approval of CPAP coverage with  
14 evidence development would provide critical support for this  
15 needed research.  
16 Thank you for your time.  
17 (Applause.)  
18 DR. PEARSON: Dr. Parish.  
19 DR. PARISH: I'm Dr. James Parish, I'm associate  
20 professor of medicine at Mayo Clinic, Mayo Clinic Arizona.  
21 I'm here today representing, however, NAMDRC, the National  
22 Association of Medical Direction of Respiratory Care, and my  
23 expenses were supported by NAMDRC. In terms of a conflict of  
24 interest, I have received in the past a research grant from  
25 ResMed, but apart from that I have no other conflicts of

00129

1 interest.  
2 Recognize that because of limited time I just want  
3 to address a couple of issues here. One issue that hasn't  
4 been addressed yet that was part of the questions I  
5 understood for this hearing was the so-called two-hour rule,  
6 and I wanted to address the committee and advocates on behalf  
7 of our members that we would advocate a change in the  
8 so-called two-hour rule. The current rule is that to  
9 diagnose obstructive sleep apnea, two hours of sleep is  
10 required to create an AHI. However, many patients who have  
11 severe sleep apnea or have disruptive sleep fail to achieve  
12 the two-hour rule, and it often requires patients to go back  
13 for follow-up studies in order to achieve the two hours of  
14 sleep, which is a burden to the patients and to the taxpayer.  
15 So we advocate changing the two-hour of sleep parameter to  
16 two hours of recording time.  
17 The second issue is the issue of portable  
18 monitoring, and the organization believes that there was a  
19 major study in 2003 looking at the issue of portable  
20 monitoring devices, and believes that not much has changed in

21 the medical literature since that time. However, we  
22 recognize that many experienced clinicians recognize that  
23 there are a group of high probability or high risk patients  
24 who can be accurately diagnosed with portable monitors.  
25 However, while OSA is the most common sleep-related

00130

1 breathing disorder, it's not the only one. In my practice I  
2 see many patients with congestive heart failure or other  
3 cardiovascular disease; they have central sleep apnea or  
4 Cheyne-Stokes respirations. I see patients with  
5 neuromuscular diseases like Parkinson's disease that are  
6 referred to the sleep laboratory. These patients often have  
7 central apnea or Cheyne-Stokes. These patients would  
8 actually worsen if treated with CPAP; central sleep apnea  
9 often will worsen or at least not be effectively treated with  
10 CPAP. They often require high level positive airway  
11 pressure, supplemental oxygen, or other respiratory devices  
12 for effective treatment. So these would not be good patients  
13 for portable monitoring but do require facility-based type I  
14 studies. So all is not just obstructive sleep apnea.  
15 We believe strongly that any of these diagnostic  
16 studies that are considered should be interpreted only by  
17 experts who are adequately trained in sleep and/or pulmonary  
18 medicine, and that these are not suitable for widespread use  
19 in the community, as there is a certain skill to interpreting  
20 these.  
21 The third, or the last issue I wanted to stress is  
22 chronic disease management. We believe that OSA, a new  
23 emphasis should be placed upon the total management of the  
24 patient, not just a diagnostic modality of diagnosing  
25 patients. Sleep apnea needs to be recognized as a chronic

00131

1 disease under the supervision of trained physicians who can  
2 guide the patient through the entire process of diagnosis and  
3 a wide variety of treatment options, not just CPAP, that are  
4 available for patients with obstructive sleep apnea.  
5 So again, NAMDRRC appreciates an opportunity to  
6 offer our comments here today and we thank you very much for  
7 your consideration. Thank you very much.  
8 (Applause.)  
9 DR. PEARSON: Thank you. Mark Goetting.  
10 DR. GOETTING: I'm not David White, David couldn't  
11 make it, I'm his pinch hitter. I'm associate clinical  
12 professor of neurology medicine pediatrics at Michigan State  
13 University and a member of the AASM, and practice full-time  
14 sleep medicine. I'm pleased to share with you my views,  
15 which are based on familiarity with the body of published  
16 evidence, and my own experience as a practitioner in the  
17 field of sleep medicine and medical director of fully  
18 accredited centers.  
19 I'm going to just skip to the summary, to make sure  
20 I get all my slides in. At the outset, I want to state my  
21 opinion that there is ample evidence and clinical experience  
22 to condone, to recommend that home sleep testing be an  
23 alternative to laboratory testing for the diagnosis of sleep

24 apnea and the initiation of CPAP. Despite a few  
25 reservations, the AHRQ report supports my conclusion.

00132

1 The committee should recognize that we are not  
2 dealing here with a theoretical issue, that home studies go  
3 back more than 20 years. Major providers, as have been  
4 mentioned, have been using these in clinical algorithms, and  
5 my own sleep center has embraced home testing. We put into  
6 practice evidence-based protocols using both tests,  
7 laboratory and home, as an advantage to our patients.  
8 By supporting coverage for home studies the  
9 committee will favorably respond to the well publicized calls  
10 by the Institute of Medicine, National Sleep Foundation,  
11 American Sleep Apnea Association, as well as other  
12 organizations, calling for the expansion of diagnostic  
13 testing. The reasons why these organizations and others are  
14 calling for coverage of home studies are obvious to many  
15 sleep physicians. We need to deploy multiple testing  
16 modalities to meet growing requirements as we are now  
17 understanding the relationship between sleep apnea and  
18 cardiovascular disease, diabetes, obesity and more. And we  
19 also need to address new indications, new thoughts such as  
20 patients undergoing sedation and general anesthesia who may  
21 be at risk for sleep apnea.  
22 Furthermore, while the number of sleep labs have  
23 grown to about 3,000 in America, there are still many  
24 patients who do not have reasonable access to these centers  
25 in the more than 10,000 cities and towns in America. Even

00133

1 when a sleep lab is available, we still need a simpler home  
2 test as an alternative to polysomnography when the patient's  
3 situation calls for an immediate evaluation, to which sleep  
4 labs often cannot respond to well, as well as a solution to  
5 the numerous patients, many of them being elderly, who for  
6 one reason or another cannot come to the laboratory or cannot  
7 sleep there. We recognize that polysomnography will remain  
8 the test of choice for many patients. However, restricting  
9 us to only PSG handicaps us as physicians.  
10 Home studies are already well recognized and  
11 supported in the literature. We know with very high  
12 confidence that for most patients, home studies are  
13 clinically appropriate and effective as an alternative to  
14 PSG. It affects the largest ongoing NIH-funded study on  
15 apnea, the Sleep Heart Health Study, with over 6,500  
16 subjects, and the more recently launched Hispanic health  
17 study, including over 15,000 subjects, relying entirely on  
18 data generated from unattended home studies.  
19 Physicians managing sleep disorders are fortunate  
20 to have access to many devices cleared by the FDA  
21 specifically for diagnosing sleep apnea in the home setting.  
22 Unfortunately I don't have time to go through the  
23 categorization, but I will state that there is ample evidence  
24 to conclude that type II, type III, and other devices that  
25 measure three or more parameters offer clinical acceptability

00134

1 for sensitivity and specificity when used with clinical  
2 assessment. The four-category classification system is dated  
3 going back to 1994 and is probably now obsolete. A number of  
4 newer technologies provide excellent clinical performance,  
5 although these devices do not fall squarely into the old  
6 definitions.  
7 One of the better examples of technology that  
8 performs extremely well in the home setting, although it does  
9 not fit the traditional categorization, is the Watchpad,  
10 which has been in clinical use for over four years in the  
11 United States. This not only accurately diagnoses sleep  
12 apnea, it also measures sleep time, sleep fragmentation and  
13 amount of REM sleep. Does that mean it's a type II device?  
14 Not really. Since it measures the AHI by tracking reactions  
15 of the autonomic nervous system, it's not exactly a type III  
16 device. So what type is this technology, is it even relevant  
17 to ask today? What matters most is the fact that Watchpad  
18 has evidence supporting its use, some of it addressed in the  
19 AHRQ report, concerning efficacy, sensitivity, specificity,  
20 and reproducibility.  
21 DR. PEARSON: Dr. Goetting, you're short on time.  
22 DR. GOETTING: I'm sorry. There is no evidence  
23 that the sensitivity or specificity of home testing ought to  
24 be different in the geriatric population. Since the AHRQ  
25 report, there's one study of 2,900 elderly patients, average

00135

1 age of 76, by Susan Redline, showing home study in 96 percent  
2 of patients provided a technically adequate result. Thank  
3 you.  
4 (Applause.)  
5 DR. PEARSON: Dr. Kuhlmann.  
6 DR. KUHLMANN: Thank you for having me. My name is  
7 David Kuhlmann and I have no paying affiliations or conflicts  
8 of interest. I'm a member of the American Academy of Sleep  
9 Medicine and I'm a board certified sleep specialist. I'm one  
10 of the guys in the trenches. I'm first going to comment on  
11 home-based studies and then I'm going to talk about referring  
12 people for lab-based studies.  
13 Now, I don't know whether or not it would be wise  
14 to begin ambulatory monitoring as a diagnostic option for  
15 sleep apnea, but if we go with home-based studies, we need to  
16 make sure that we're doing it for the right reasons.  
17 Certainly cost and convenience are important, but the most  
18 important thing when it comes to treating a person with sleep  
19 apnea is to make sure that our Medicare and Medicaid patients  
20 are using their CPAP machines. It's not that the severity of  
21 sleep apnea motivates people to use CPAP; the people most  
22 excited to use CPAP are the ones who understand the etiology  
23 and treatment of their disease, who have the worst symptoms  
24 and who derive the most benefits from using their machines.  
25 It has been shown that referral to a sleep

00136

1 specialist increases the knowledge of the patients and better  
2 compliance with CPAP. Sleep specialists are familiar with



3 many problems such as mask leak and pressure changes that  
4 need to be done in order for a patient to adhere to CPAP. So  
5 if the ambulatory monitoring is approved, then it should be  
6 done through accredited sleep centers, because sleep  
7 specialists are the people who have the best interests of the  
8 people at heart.  
9 Now quickly to go through my presentation, request  
10 for uniformity. We recently came out with, AASM came out  
11 with a scoring criteria to replace R&K Manual. The new  
12 scoring manual gave both a recommended and an alternate  
13 definition for hypopnea. Recommended was a drop in nasal  
14 pressure by 30 percent and a four percent desat. The  
15 alternative, a drop in nasal pressure by 50 percent with a  
16 three percent or arousal.  
17 The respiratory committee actually ended up going  
18 with the definition that was in line with the current  
19 reimbursement for CPAP, which is that four percent desat with  
20 a 30 percent decrement in nasal pressure. But the committee  
21 initially recommended the alternative definition to utilize  
22 as a standard. The American Academy of Sleep Apnea currently  
23 recommends that all research be done using the alternative  
24 definition.  
25 Sleep laboratories are allowed to use either

00137

1 definition of scoring so long as they label which one is  
2 being used when they're scoring their studies. But there's a  
3 concern that with this alternative definition of hypopneas,  
4 it won't be reimbursed for CPAP because it's a different  
5 formula for hypopnea rather than the recommended definition,  
6 it's the alternative definition.  
7 I would think there are two problems with the dual  
8 definition of hypopnea. One is that future research is  
9 getting cloudy because there's two different definitions of  
10 hypopnea. I think that a solution would be to make the  
11 recommended definition of hypopnea be the apnea-hypopnea  
12 index, and that if you use the alternative definition of  
13 hypopnea, you just label it the respiratory disturbance  
14 index. Both definitions would then get the same criteria for  
15 reimbursement by CMS, an AHI or RDI greater than 15, or an  
16 AHI or RDI greater than five with symptoms that I'm sure  
17 you're already aware of.  
18 The second problem is that the current guidelines  
19 for sleep are discriminatory towards women inadvertently.  
20 And that is while in my clinical practice, a lot more women  
21 can have arousal rather than oxygen desaturations associated  
22 with their events. Upper airway resistance syndrome, which  
23 is actually most common in women, about 60 percent of the  
24 women, so basically a lot of women aren't able to qualify for  
25 CPAP by going with the recommended definition of

00138

1 apnea-hypopnea index, and that's really what brought me here  
2 today.  
3 And so it came out, basically when there were no  
4 changes in oxygen saturation but changes in EMG tone, there's  
5 such an arousal, so basically there are episodes with when

6 there is no oxygen desaturation but this is a hypopnea. I  
7 mean, it's apparent because of the arousal associated with  
8 the decrement and nasal pressure, and that's probably close  
9 to 50 percent.

10 So, my conclusions. CMS should reimburse for CPAP  
11 for both the recommended and alternative definitions of  
12 hypopnea, the AHI should be distinguished from the  
13 respiratory disturbance index, and the same criteria for  
14 reimbursement should be used for both RDI and AHI.

15 Thank you.

16 DR. PEARSON: Thank you.

17 (Applause.)

18 The last of the prepared speakers is Dr. Davidson.

19 DR. DAVIDSON: While I worked in the past for  
20 ResMed, so they let me go, I'm apparently not a very good  
21 negotiator, so I didn't get anybody to pay my way, but I will  
22 be selling some Girl Scout cookies which I bought on the way  
23 to help defray my costs.

24 (Laughter.)

25 So, I want to talk for a moment about

00139

1 evidence-based medicine, knowing this panel knows much more  
2 about it than I do. There are problems with evidence-based  
3 medicine; you've got to look at the levels, but you've got to  
4 look at the strength of the science and you've got to look at  
5 the strength of the recommendations, and that is going to be  
6 very important in our decision process today. If I ever jump  
7 from an airplane, skip the evidence-based medicine, I'm  
8 taking the chute.

9 Now we don't have absolute anatomic, I like that  
10 term, objective measurements of SDB. I wish we did, like we  
11 do for some other diseases. The AHI is what we have, thank  
12 you, Dr. Dement and your buddies. It may not be the world's  
13 greatest, but it's what we've used for 40 or 50 years, and  
14 I've actually gotten to like it.

15 Now what I want to tell you is that there's  
16 variability, there's slope in this system. So if you're  
17 looking for something that's going to make statistical sense  
18 down to .000 whatever, it just simply doesn't exist. Man is  
19 not perfect in his nighttime sleep. And this slope is 10  
20 percent, night-to-night variability, first night effect, and  
21 the AHI change with age anyway. And then we're dealing with  
22 this thing called the gold standard which you've heard  
23 questioned here, and now even with that question we're saying  
24 well, maybe two hours is fine, and I don't see that at all.  
25 The questions, the validity of home tests, in my

00140

1 review there's 21 studies, 1,200 patients, ten countries, you  
2 have it hopefully attached. Here is the unweighted and the  
3 weighted averages. And unweighted, the difference between  
4 PSG and home was 25 versus 24 one event, or four percent, and  
5 weighted was two percent, I mean two events, or eight  
6 percent. And I don't think that's very much, because the  
7 first night effect, people just don't sleep the same in a  
8 laboratory, you've heard that addressed.

9 You've heard the Bland-Altman plot, and this is an  
10 example of one in an article on night-to-night variability.  
11 And any time you look at sleep research, and sleep research  
12 is great stuff, you only see Bland-Altman plots, they always  
13 look like this. This is the slope in the system. This is  
14 the difference in how we sleep tonight versus last night.  
15 It's just what's built in. You can't get a tighter fit than  
16 this.  
17 And then there's interscore variability, and even  
18 in the sleep community doing their own analysis of this, they  
19 found very substantial differences and it speaks for itself.  
20 This was just different people reading the same test, another  
21 Bland-Altman plot. It's the slope in the system. These  
22 tests are basically the same tests measuring the same thing,  
23 and the inconsistencies and variabilities, if you wish to  
24 argue them for the rest of your life, are in the patients,  
25 the scoring, the first night effect, not in the value of the

00141

1 tests.  
2 Now I did for just a moment want to address the  
3 second question, and that was an alternative mechanism for  
4 diagnosing sleep apnea. So I developed this algorithm, I'm  
5 sure many others have, I don't take credit for it, but  
6 basically snoring is the premier symptom. If somebody comes  
7 in that snores every night, that's serious snoring. And this  
8 was developed for the geriatric patients, one or more  
9 comorbidities, and I think they can go to an APAP trial,  
10 versus no comorbidities. These are the comorbidities,  
11 they're in your handouts with the references. But if they  
12 are highly suspect, they can go straight to APAP. I have yet  
13 to meet a person who uses CPAP to sleep with at night for the  
14 fun of it. It doesn't happen.  
15 And there aren't complications to it. We haven't  
16 blown anybody up yet. We haven't even gotten a good  
17 pneumothorax and it hasn't even dropped on someone's head and  
18 given them a head injury. So the complications are few, or  
19 none, and the risks are none. If they use a CPAP machine  
20 they have the disease, no question in my mind. If they don't  
21 like CPAP, I don't know what they have any more than you do.  
22 Then they need to go to a sleep test. For garden variety,  
23 it's a home sleep test. If you want to take somebody with  
24 heart failure or Parkinson's, I'm not really a sleep doctor,  
25 I'm just a head and neck surgeon, but I can tell when they're

00142

1 demented.  
2 (Laughter.)  
3 They need to see a real sleep doctor, they need to  
4 get PSG. PSG is great, but you don't need it for garden  
5 variety home sleep testing. Thank you.  
6 DR. PEARSON: Thank you very much.  
7 (Applause.)  
8 DR. PEARSON: We're doing pretty well. Thank you  
9 again to all the speakers for trying to deal with five  
10 minutes. We do have three open public speakers who will get  
11 two minutes each and then we'll break for lunch. I'd like to

12 invite Edward Grandi, if that's the correct pronunciation, to  
13 come up, and please announce your affiliations.  
14 MR. GRANDI: Thank you. My name is Edward Grandi.  
15 I'm the executive director of American Sleep Apnea. I paid  
16 my way to get here. American Sleep Apnea is supported  
17 through funds, unrestricted grants from the manufacturers of  
18 CPAP devices.  
19 The American Sleep Apnea Association is the only  
20 national nonprofit organization dedicated to the public and  
21 public and patient education about sleep apnea and to  
22 supporting patients. The ASAA is here today specifically to  
23 speak on behalf of the millions of Americans who have sleep  
24 apnea but remain undiagnosed and untreated. The millions of  
25 Americans at risk of developing sleep apnea is on the rise.

00143

1 This is due in part to the aging of the baby boom generation,  
2 as well as the ever increasing prevalence of obesity among  
3 adults and, sadly, children as well. The consequence of not  
4 addressing this major public health issue impacts not only  
5 the individual with increased risk of debilitating disease  
6 and death, but society as a whole.  
7 There is a pressing need to use diagnostic  
8 technology currently available for unattended sleep studies.  
9 This will not only accommodate the testing of more people who  
10 learned about sleep apnea through the ASAA outreach, but  
11 helps the sleep medicine community better respond to the  
12 needs of Medicare patients, the uninsured, and the  
13 traditionally underserved populations of our country who  
14 would otherwise not receive appropriate diagnosis and  
15 treatment they desperately need.  
16 It's worth noting that this illness, unlike many  
17 others, cuts across racial, ethnic, religious, cultural,  
18 demographic and economic lines. No one is immune.  
19 The ASAA is not asking you to provide ambulatory  
20 sleep diagnostic service on a carte blanche basis. A more  
21 rational approach to extending the current standard in-lab  
22 attended polysomnography to a less expensive and more  
23 accessible environment is to recognize that ambulatory  
24 studies can become, given the present technology, an  
25 integrated part, an integrated element of a system of care.

00144

1 The ASAA feels, however, that this can only happen  
2 successfully if it is overseen by a licensed qualified sleep  
3 professional who will then be able to use the latest  
4 technology to reach the most people in need.  
5 DR. PEARSON: Mr. Grandi, I'm going to have to ask  
6 you to wrap up.  
7 MR. GRANDI: We do not wish to replace the standard  
8 of attended sleep studies, but merely to argue the  
9 capabilities of trained sleep specialists to use all  
10 available options for the diagnosis, and we urge that you do  
11 provide adequate funding to support CPAP use under the  
12 conditions that I've described. Thank you very much.  
13 DR. PEARSON: Thank you very much. Michael Thomas.  
14 MR. THOMAS: My name is Michael Thomas, I'm the

15 president and CEO of Sleep Solutions. We are a manufacturer  
16 of sleep apnea products.  
17 I just have three points I wanted to proffer to the  
18 committee. Number one is that there is a little bit of  
19 evidence that has been published in regards to patients who  
20 do not want to show up or do not want to be studied in a  
21 sleep lab. There's a study by Dr. Elso and Dr. Grant at the  
22 University of Buffalo that showed that anywhere between 22  
23 and 27 percent of patients decide to no-show when they have a  
24 scheduled sleep study.  
25 There's another study that was published by the

00145

1 Minnesota VA, it was Rice, et al., and I believe you have  
2 that information in your packet. That was a very good study  
3 showing two different things, that there was a significant  
4 increase in utilization in terms of the number of studies,  
5 but the impact that had on the overall budget was 60 percent,  
6 with about a 600 percent increase in the number of sleep  
7 studies that were done over a five-year period, again  
8 resulting in a 60 percent increase in budget, so it was a  
9 very cost effective approach in terms of diagnosis.  
10 The other part that they had in that particular  
11 study was to show the patient outcomes as measured by the  
12 Epworth sleepiness scale and also by a validated tool, the  
13 function option sleep questionnaire, which also was similar  
14 to polysomnography.  
15 And then the third and final point I just want to  
16 make, again, there was another published study by Peary,  
17 et al., that showed -- it was actually done at Walter Reed  
18 Medical Center, that showed that as many as 30 percent of the  
19 patients done in a tertiary care center with polysomnography  
20 had needed their studies to be redone again.  
21 So again, the literature does have examples of the  
22 flawed standard, so to speak, and that there are uses for  
23 portable studies, and I hope you will consider that. Thank  
24 you.  
25 DR. PEARSON: Thank you. Two more. David

00146

1 Kuhlmann.  
2 DR. KUHLMANN: I got a lot of what I needed to say  
3 out up there, and I appreciate the time to further comment on  
4 the fact that really as far as being, I mean, all this home  
5 monitoring is fine if that's what you feel is best. I don't  
6 know, I'm not an expert. But we really need to make sure  
7 that if we're doing this, we're doing it for the right  
8 reasons.  
9 You know, you talk about cost effectiveness of  
10 diagnosis, whatever, but really what's important is the cost  
11 effectiveness of the management. And it's, there are studies  
12 showing that sleep specialists are the best to manage this  
13 stuff. The problem a lot of times when people have problems  
14 with CPAP, it's because they don't have a mask that fits  
15 right. I just would hate to see the day where, you know, you  
16 have home portable (inaudible) and you have a home health  
17 company for the management, because you don't have a

18 physician taking care of that patient, and that's what we're  
19 here for.  
20 And that's, you know, to take us out of the  
21 equation, I mean, it's not cost effective, because we're the  
22 ones who, you know, manage them, and that's what's cost  
23 effective, not -- the diagnosis is certainly important, but  
24 we need to make sure that it's cost effective if he's  
25 (inaudible) by a specialist.

00147

1 The field is booming, it's a new field, and we have  
2 to kind of fight for our own little space in things, but it  
3 could be a big problem if people who don't have the best  
4 interests of people with sleep apnea and other sleep diseases  
5 in mind being the ones treating these patients.  
6 DR. PEARSON: And Mr. Kingsbury.  
7 MR. KINGSBURY: I know everybody's hungry so I'll  
8 be very quick. My name is Robert Kingsbury, I'm president  
9 and founder of Sleep Quest. We're a disease management  
10 company that takes care of sleep apnea sufferers, and I've  
11 done this for a long time. We've done over 10,000 studies.  
12 Our compliance rate really focuses on outcomes and treatment.  
13 We use board certified sleep physicians like Dr. Dement to  
14 interpret our studies. We had a study funded by ResMed  
15 called Square study.  
16 I would like to take a step further on Dr. Ryan's  
17 great speech this morning and say that we went a step further  
18 and did psychomotor (inaudible) testing. What we did, we  
19 checked people's reaction time 30 days after they, 30 days on  
20 initial diagnosis, and we showed -- and we also did SF-36  
21 measures. We showed off-the-chart results with, as far as  
22 emotional vitality and alertness. This was done on a small  
23 sample, it was published as an abstract. It was at the AASM  
24 meeting in Utah.  
25 We work complementary with sleep labs. We have a

00148

1 great relationship with labs like Stanford. We're not trying  
2 to obviate sleep labs, we're trying to work in conjunction  
3 with them.  
4 And finally, I think there needs to be a new code  
5 for in-home titrations that we haven't talked about. Thanks.  
6 DR. PEARSON: Thank you very much.  
7 I think we could all use some extra motivation,  
8 alertness, et cetera, after lunch. I would like to also  
9 thank, again, Dr. Trikalinos and the people who put months  
10 and months of work into this culminating with today's  
11 conversations, and all of the prepared speakers who traveled  
12 here, some from as far as Canada.  
13 What we will do since we're running 15 minutes  
14 late, we do want to have plenty of time this afternoon, I  
15 would like to reconvene at one o'clock. That gives us 45  
16 minutes for lunch. One o'clock we will start on the dot with  
17 questions to presenters, that's a very important part of the  
18 afternoon, and we hope to see you back after lunch.  
19 (Lunch recess.)  
20 DR. PEARSON: We will start our afternoon session,

21 which is a little bit more free-form, but we will start with  
22 an opportunity for the panel to ask questions of the  
23 presenters, and that can include both prepared presenters as  
24 well as public presenters. So we're going to spend  
25 approximately 30 minutes with questions and then move to the

00149

1 important questions since some panelists have to leave at  
2 three o'clock. So with that, if we can, I'll just open it up  
3 to the panel and to anyone who would like to offer a framing  
4 statement, or just start the questions. Marion. And when  
5 questions are asked of the presenters, would you please come  
6 up to the microphone to answer so that we can all benefit  
7 from it.

8 DR. DANIS: I would just like to ask, among the  
9 presenters there was some varied comments about how the  
10 categories of types I through IV are perhaps out of date, and  
11 that the current criteria for, or the number of channels  
12 needed is the sort of thing we need to be paying more  
13 attention to. I was wondering if we could hear some comments  
14 from the presenters about how up to date the categories are  
15 and how we might think about any need to revise our thinking  
16 with that.

17 DR. BRECHNER: That would be an easy problem for me  
18 because if you go directly to CPAP, you don't have to worry  
19 about channels. But as to the rest of it, you know, I'll  
20 leave it up to others.

21 MS. RICHNER: Could I ask one follow-up to that? I  
22 thought the CPAP machines now also have diagnostic  
23 capabilities. How many of them are available in the home and  
24 are they classified in the other category or type IV or  
25 whatever?

00150

1 SPEAKER: If I might take a stab at that,  
2 Dr. Kuhlmann and I were on the panel that created those  
3 levels based on what we had available. Our thinking at the  
4 time was type I is probably somnography, type IV was simple  
5 oximetry, type III was most of the studies which measured  
6 basically the non-EEG component of the PSG, which was  
7 respiratory effort, heart rate, oximetry, and type II was a  
8 type III with some EEG, limited EEG recording. So that's  
9 basically the way it played out.

10 We now have technology that doesn't fit well into  
11 any of those. We have some that are type IV that don't meet  
12 the criteria for a type III, but have several channels that  
13 they monitor. We have a Watchpad which basically looks at  
14 pulse transit time and makes, drives data about its autonomic  
15 function and it infers, actually correlates pretty well, so  
16 that's what the state of the art is right now.

17 MS. RICHNER: Another important follow-up to that  
18 is the differentiation between manual and automatic reading.  
19 So, it seems to me that a lot of studies, that to me is a  
20 pretty critical point in terms of quality of the study  
21 ultimately, whether it was manual or automatic.

22 SPEAKER: Yeah. I didn't answer the CPAP question.  
23 There are CPAP machines now, particularly of the automatic

24 variety, that do provoke feedbacks of information that is,  
25 again, secondarily may have some diagnostic value. More

00151

1 importantly, they allow us a lot more flexibility in the  
2 management model, are less reliant on what happens in the  
3 sleep lab in terms of CPAP titration when we can get a 90-day  
4 printout of what actually happens with this patient. So all  
5 that is incorporated into these what are now involving fairly  
6 complex treatment algorithms that don't fit neatly into our  
7 prior view of the way it had to be done.  
8 In terms of full disclosure, automation, all PSG  
9 today almost is electronic. We talk about full lab  
10 polysomnography and even those have some capability of doing  
11 some scoring, some grading of events, which is then reviewed  
12 by a clinician and altered hopefully, and that is full  
13 disclosure, they're able to review the data. I think most  
14 people in the field think that whatever the recording device  
15 is, it should have full disclosurability to look at the raw  
16 data to make sure that the diagnosis was correct.  
17 DR. GOETTING: Mark Goetting. Just let me make a  
18 comment. Like many of the speakers, I ran out of time. The  
19 classification system, as I mentioned in my talk, it's  
20 probably not germane anymore, but in the type IV there's two  
21 types, there's a subtype of two channel or one or two  
22 channels, and then those that go beyond that.  
23 And, you know, in our particular lab we use the  
24 Watchpad. We've done over 500 studies. It's a different way  
25 of looking at sleep-disordered breathing without actually

00152

1 attaching anything to the face. We can use it while people  
2 are on CPAP without interfering with their therapy. It does  
3 give a measure of whether the patient's awake or asleep,  
4 whether the sleep is fragmented, and to some degree what  
5 stage of sleep the patient is in. But it doesn't fit neatly  
6 into a category, so I would echo what the previous speaker  
7 said, that the categorization probably is not as clean as it  
8 was in 1994 because of the new technologies.  
9 DR. RYAN: Frank Ryan, Vancouver. I just wanted to  
10 address your question about the diagnostic information  
11 available from the CPAP machine. These are unpublished data,  
12 but we did have an opportunity to look at that issue and we  
13 were looking specifically at patients who had residual sleep  
14 apnea, and despite treatment with CPAP, and actually that was  
15 as common with polysomnography as with the ambulatory  
16 approaches, which was interesting. We found that if you took  
17 the apnea-hypopnea index of 10 as the cutoff for residual  
18 sleep apnea, that the residual sleep apnea identified by the  
19 CPAP machine had about a 90 percent sensitivity and about a  
20 46 percent specificity for that diagnosis.  
21 So it has some utility and we felt it was  
22 clinically important, because when we looked at those  
23 patients, the patients who had significant residual sleep  
24 apnea weren't as compliant with therapy and their  
25 improvements in quality of life and sleepiness were not as



00153

1 impressive as the group as a whole. So it may be that these  
2 machines had the ability to identify patients who were not  
3 adequately treated with CPAP and they were appropriate for  
4 further investigation.  
5 DR. WHITES: If I could ask one other question,  
6 when said failed, were these a failure because of the apnea  
7 event, or in particular the apnea, was it associated with  
8 significant desaturation, was that looked at as a separate  
9 item or would you just say failure?  
10 DR. RYAN: No. Well, we didn't call them failures,  
11 we just categorized them as residual sleep apnea. In other  
12 words, when we downloaded their data from the CPAP machine,  
13 it showed evidence of residual sleep apnea.  
14 DR. WHITES: You defined that as --  
15 DR. RYAN: An apnea-hypopnea index of 10.  
16 DR. WHITES: But no relationship to oxygen  
17 saturation?  
18 DR. RYAN: That wasn't specifically looked at, no.  
19 DR. CHEDIK: Alex Chediak, American Academy of  
20 Sleep Medicine. I'd like to comment also about the automatic  
21 CPAP devices' ability to accurately record AHI. These  
22 devices use different algorithms for detecting events and  
23 distinct algorithms for how they respond. And if you look at  
24 all models for different devices, there's clear differences.  
25 There's not been a published study of, and if it's

00154

1 unpublished I'm not aware of it, regarding how good they are  
2 at actually reproducing AHI compared to portable monitoring  
3 and in-laboratory polysomnography. I use the information  
4 when I have it, but I'm not quite sure what it means.  
5 SPEAKER: One thing about it, the whole thing  
6 started with a desire to find out whether a person is  
7 breathing, and there are many channels. The effect of the  
8 breathing is going to be seen in EEG, oximetry, pulse rate,  
9 but I think there's one thing that's very important to  
10 remember. Those other parameters can also be affected by  
11 other means. So whatever happens, the point I'm going to  
12 make, the airflow is extremely important, the only channel  
13 that's really related to the air going in and going out.  
14 Everything else is giving a little bit more information that  
15 might be important, might be very important, but that one  
16 channel is the key to the whole thing. And as long as that  
17 channel is there, I think, also the airflow and the sound  
18 that comes out of it, gives much more information about  
19 breathing problems than pulse rate, than EEG, than other  
20 channels.  
21 If you go today to any engineer and ask him how  
22 would you measure sleep apnea, how would you measure if  
23 somebody is breathing, the last thing he would do is say I'll  
24 look at oximetry. The one thing is to measure directly the  
25 airflow going in or coming out. Thank you.

00155

1 DR. PEARSON: Yes, Peter.  
2 DR. JUHN: A related question to this, and this is

3 linked to a question I asked when we did the technology  
4 assessment earlier, which is, the portable devices have a  
5 significant loss of data, so my question is going to be two.  
6 One is, is there a difference in the level of lost  
7 data depending on the type of portable monitoring device, and  
8 then secondly, how much or how often does the loss of data  
9 lead to an incomplete study so that the study has to actually  
10 be repeated?  
11 SPEAKER: Unfortunately our literature is fairly  
12 flawed, and therefore the meta-analysis of our literature  
13 comes out with a fairly negative view. There are a number of  
14 published studies, small studies reporting people's  
15 maintenance periods with this technology that are included in  
16 the meta-analysis, 20 to 25 patients, and the data loss  
17 there, you know, we saw a slide with data loss of 30 or 40  
18 percent, which is ridiculous. In the clinical world we're  
19 talking data loss in the order of one to four percent in  
20 large studies done on a variety of different technologies.  
21 The Sleep Heart Health Study did full  
22 polysomnography in the home, and I think Dr. Rappaport is  
23 here. He can comment on properly designed studies with  
24 studies applied by professionals with very low data loss,  
25 even when we're talking about 16 channel home

00156

1 polysomnography.  
2 SPEAKER: Just for clarification, the Sleep Heart  
3 Health, I suspect everybody knows, is a large NIH-funded  
4 study where we did home polysomnography. It was full but it  
5 was unintended, so it included EEG, and the data loss  
6 statistics were all reported. It was quite low for the  
7 respiratory signals. The highest data loss was for the EEG  
8 and, as predicted, was more difficult to apply monitors. But  
9 there was around a five to six percent signal loss for the  
10 respiratory channels, five to 10 percent, I don't remember  
11 the exact number.  
12 And the important point also is that when you look  
13 at the quality of the study in terms of giving a satisfactory  
14 interpretation, these were actually not patients, these were  
15 normal subjects or community dwelling subjects, so we had  
16 very low counts overall, as well as a small number of severe  
17 apneas that were undetected. So it was not a clinic  
18 population at all.  
19 The downside, of course, was that it was an  
20 intensively difficult job to train the technicians who  
21 applied these so we could get the numbers as good as they  
22 were, and there were a lot of quality assurance issues. So  
23 although this would qualify as level II testing, it was  
24 extraordinarily labor-intensive although not necessarily  
25 intended.

00157

1 DR. DULLUM: So there is a lot of variability,  
2 because I heard somebody present earlier today, well, we'll  
3 just mail the packet to the patient and they'll stick it on.  
4 I mean, to me, I don't think I could do that.  
5 SPEAKER: That's a completely different approach.

6 In other words, you can't do that with full polysomnography,  
7 you can't have people apply EEG electrodes when you just mail  
8 them a test. There are people who have attempted to come up  
9 with technologies to do that but to my knowledge there is no  
10 level II device out there, meaning one that gets EEG and all  
11 the channels that is self-applied. All of them are applied  
12 by a technician.  
13 But what was referred to as the kind of thing you  
14 mailed is usually a level III or a III-like device, which  
15 bypasses the difficult-to-apply sensors and comes up with  
16 surrogates for it. It turns out the breathing channels, for  
17 the most part, are the easiest to self-apply. So once you  
18 decide that you're going to go with the surrogates for sleep,  
19 motion detectors, other things that don't have to be applied  
20 at all beyond being attached to the equipment, the breathing  
21 channels are relatively easy to self apply.  
22 And we just finished a study that was referred to  
23 by Dr. Westbrook as being a home use study, looking at the  
24 particular device which can be mailed and self-applied, and  
25 the failure rate was on the order of six percent. So again,

00158

1 it depends what you're trying to measure, what your failure  
2 will be. The more you ask for, the more you fail.  
3 DR. CHEDIK: One more time, Alex Chediak from the  
4 American Academy of Sleep Medicine. The Sleep Heart Health  
5 Study, as already stated by Dr. Rappaport, was with normal  
6 people, and they don't thrash around in bed as much as our  
7 sleep apnea patients do, so the sensor loss there may not  
8 apply to severe sleep apnea patients at home.  
9 When you mail the device to the patient's house,  
10 it's clear that they have a higher sensor loss, regardless of  
11 how simple it is to apply. I can tell you from personal  
12 experience testing a device that I was involved in, the  
13 additional deployment which was sort of a mask you wear  
14 during sleep and an oximeter, and I have been doing this for  
15 20 years now, and mine failed, my oximeter fell off, and the  
16 alarm wasn't loud enough to wake me up. So things happen.  
17 When you send it home there's more likely to be a failure  
18 than it is if you do it in a laboratory with a technologist  
19 to apply it for you.  
20 DR. GOETTING: One quick final comment, Mark  
21 Goetting. The device that we use, we've looked at our data  
22 and we've had a two percent failure rate, technical failure  
23 rate. Some of those were reckless use, we just pulled the  
24 device off, and others were people who had mental compromise.  
25 So you know, it's pretty good, and the device we use is

00159

1 Watchpad. And I agree, the fewer the signals you get, the  
2 better the signals you choose, the better technical results  
3 you're going to get from that.  
4 And I'd also agree that you want to be able to have  
5 physicians looking at raw data to edit it, and we can do that  
6 with Watchpad, which is one of the other types of IV with  
7 three-plus channels. I just ask you to consider that. This  
8 technology doesn't involve putting something on the face, so

9 patient acceptance is pretty high. There's been published  
10 success rates of getting a technically adequate study that go  
11 up to about 99 percent, so it is something to consider.  
12 Patients toss around. Even in the Sleep Heart  
13 Health Study at age 60, 20 percent of the patients for the  
14 sample there had moderate or severe sleep apnea. The vast  
15 majority still with type III recording had technically  
16 adequate studies. The hook-up time for type II studies is  
17 published in the literature, it's about 45 to 60 minutes of  
18 tech time to put the electrodes on to get adequate EEG data  
19 to mimic, or for full polysomnography at home. So it's not a  
20 minimal task, you cannot mail it out. There are better  
21 choices for the average patient with sleep apnea.  
22 DR. FREUDMAN: Since we're discussing real world  
23 experience with portable studies, our experience with the  
24 NoteSom, a type III study, first of all, the device does  
25 include warnings if leads come off, and video if you're using

00160

1 it, and the failure rate or data loss rate, I believe, Mike,  
2 it's what, about three or four percent? And that's with a  
3 largely Veterans Administration patient population, and  
4 that's with an analysis of what, about 10,000?  
5 SPEAKER: 20,000.  
6 DR. FREUDMAN: 20,000 patients.  
7 DR. PEARSON: I think we can move on to another  
8 question.  
9 DR. SATYA-MURTI: Minus a more proximal level, we  
10 found on the technology assessment that AHI is neither the  
11 best index nor does it correlate with improvement in  
12 function. And then we also heard that the upper respiratory  
13 areas is essential. And yet another facet is that it need  
14 not be an oxygen desaturation, but simply respiratory  
15 distress without desat. So this makes me wonder if OSA is  
16 starting to lose its definition, its type definition, and the  
17 more we look at it, the more diluted it's getting. Depending  
18 on how intensively we look at it, OSA may really lack a very  
19 precise clinical or laboratory definition, and we're working  
20 from that point.  
21 DR. WHITES: If I can make a comment, we have  
22 looked at over the years, when it comes to sleep apnea, its  
23 major consequence was not the obstruction of the airway but  
24 what happens when that occurs over a long period of time, and  
25 that leads to cardiovascular complications, your sudden

00161

1 death, your arrhythmias. And the other could lead certainly  
2 to obstruction of sleep, sleep fragmentation, but the major  
3 consequences of what we're trying to prevent, at least from  
4 the health aspect, is not the nuisance of the apnea that  
5 causes the sleep fragmentation, because snoring does that,  
6 too, and we don't cover that if that's all you have.  
7 So what we're really interested in, I think, and  
8 what we need to be concentrating on is the clinical scenario  
9 of someone with significant obstructive sleep apnea that does  
10 desaturate, that has symptoms from that, and the health  
11 consequences that do occur. The reason I asked the question

12 before concerning the lack of ability to monitor the  
13 desaturation in some of these patients and in correlating  
14 that with the need for extra CPAP, which may do nothing more  
15 than increase sleep, cause more hardships and less  
16 utilization. So again, that's something else we see in these  
17 patients. We kind of diluted, I think, the obstructive sleep  
18 apnea and its consequences in looking at that, and we want to  
19 make sure these patients don't have, instead of treating the  
20 snoring, sleep fragmentation, and we need to concentrate, I  
21 think, and at least have that information available to us as  
22 far as severity's concerned.  
23 DR. GOETTING: Mark Goetting. As far as the  
24 spectrum of sleep apnea, it's probably no different than if  
25 you're going to talk about hypertension, glucose intolerance,

00162

1 depression or many other conditions. There are some obvious  
2 cases and there are some beneficial therapies, and then there  
3 is the spectrum that blends into normal. So we end up in  
4 sleep medicine of course drawing a line in the sand or in  
5 some cases at least of saying yes or no, and then often there  
6 is this gray area where the therapy is used.  
7 But that's not really the issue with portable  
8 testing, that's an issue of defining what's abnormal  
9 physiology, then getting at how do you record that. I think  
10 we have the recording techniques, it's the blur with how a  
11 human tolerates these disturbances in physiology and whether  
12 that creates disease.  
13 DR. KUHLMANN: As far as arousal versus oxygen  
14 saturations, probably the highest correlation with -- you  
15 know, sleep's a brand new field, like I said, and as far as  
16 studies go, what's probably most correlated with high blood  
17 pressure, if you believe that certain sleep apnea or hypopnea  
18 can cause high blood pressure, is arousal in oxygen  
19 saturation. There have been a couple of studies,  
20 unfortunately I don't have them here, to demonstrate that  
21 it's the arousals that are -- basically what happens is you  
22 have, you also find some (inaudible) partial closure of the  
23 airway, and what happens is that normally during the day the  
24 airway is kept open, but at night you lose a lot of that  
25 intervention into the airway and as a result it can collapse,

00163

1 and one of two things happens. If it's partially closed you  
2 can have a hypopnea, a partial closure of your airway, which  
3 can lead to snoring.  
4 If you have a full closure of the airway, then one  
5 of two things is going to happen. Either you'll have an  
6 oxygen desaturation because you're not breathing, or you'll  
7 have an arousal, and the reason you have arousal is like I  
8 said, when we're awake we don't have a problem with sleep  
9 apnea, because we have chronic interventions to our airway to  
10 keep it open.  
11 So actually if oxygen saturations are bad, you  
12 know, all these studies on strokes and partial hypoxia of the  
13 brain, that's very important from an oxygen saturation  
14 standpoint. But when it comes to symptomatology, there's

15 been a study showing that increased fragmentation and arousal  
16 is more associated with daytime sleepiness than oxygen  
17 saturation.  
18 More importantly from a medical comorbidity  
19 standpoint, it's the, you know, the time of respiration. And  
20 what's happening is you have these episodes where we have  
21 sleep fragmentations, and they act like surges, so they might  
22 translate to a baseline level of high blood pressure, and  
23 once again I'm not going to say that sleep apnea causes high  
24 blood pressure, there's no studies out there that say that.  
25 Do studies strongly support this, yes, but it's not the

00164

1 oxygen saturations that are associated with the high blood  
2 pressure, it's the arousals.  
3 DR. GOETTING: I just want to address the comment  
4 regarding oxygen desaturation and apnea as it relates to  
5 cardiovascular endpoints. There is that conventional wisdom,  
6 and before I became a sleep doctor I was a pulmonologist, so  
7 I obviously think of oxygen as very, very important for  
8 everything, but I've come to think of it a little different  
9 now. The best data we have that CPAP alters cardiovascular  
10 endpoints leading to mortality came from a veterinarian in, I  
11 think it was 2005, 2006 that it was published, and there he  
12 showed that patients with an AHI greater than 30 who were  
13 treated with CPAP had better outcomes than both non-patients  
14 with fatal cardiovascular (inaudible). In that particular  
15 study, they don't use oxygen desaturation as an indicator of  
16 hypopnea necessarily, so they could or could not have been  
17 desaturated.  
18 If you look at the test tube data and you take it  
19 away from the humans, and it's hard to do, but if you look at  
20 the test tube data, sleep recognition has been shown to  
21 produce much of the same sort of changes at the cellular  
22 level and at the level of low blood vessel responses to  
23 stimuli as sleep apnea, so it just seems that we have the  
24 ability to do it. Whether we've done it or not, I don't  
25 really know yet, but the ability is there.

00165

1 DR. DULLUM: I just wanted a clarification on the  
2 access to in-lab PSG available to patients. I've heard a lot  
3 about that's the reason to have portable monitors, is because  
4 patients do not have access to these tests. I just want to  
5 know if that really is the percentage or not and has this  
6 been accurately looked at, or is this just a number that  
7 we're pulling out of the air.  
8 DR. PEARSON: Could we have one pro and one con?  
9 DR. KUHLMANN: I just want to say, the wait time in  
10 my lab is two weeks, and in general in order to be a  
11 competitive lab, you know, you want to have a minimum wait  
12 time, and a wait time of two months I think is rare. I'm  
13 sure there are places that have ten-month waits, I've never  
14 seen such a thing. What would have been more helpful rather  
15 than having a range of two to ten months, which would  
16 probably have been inaccurate to begin with, but it would be  
17 better to have a mean in different service areas of the wait

18 time. I'm sure there are places that have longer wait times,  
19 but I think in general probably not.  
20 SPEAKER: I work at a hospital in New York and we  
21 serve a predominantly indigent and underinsured population,  
22 not directly relevant to Medicare, but it impacts heavily on  
23 the answer to the question. And the answer is that currently  
24 those people have no access through PSG because it's not  
25 adequately reimbursible. In fact, Medicaid criteria say that

00166

1 you have to have a PSG to get CPAP but then refuse to pay for  
2 it essentially. And most of the labs until recently, at  
3 least in New York City, just simply would not take Medicaid  
4 patients. They all usually surreptitiously would refer them  
5 to us, we've always done them but essentially we don't even  
6 bother billing them, we do it for free. So access is very  
7 poor in my kid's bus driver and the city, you know, subway  
8 drivers and the other people that we rely on who are in this  
9 group of underinsured and indigent.  
10 It's much better if you're able to pay for PSG.  
11 There's no question that the data that shows things aren't as  
12 bad as they might be, if you look only at the insured  
13 population, and Medicare is actually doing pretty well in  
14 that regard, yes, the PSGs have grown in availability to  
15 match the number of patients, but if you project that curve  
16 according to what we think is the number of people who have  
17 not come to medical attention yet, it's a huge number and  
18 it's likely we will have to open an awful lot more sleep labs  
19 to serve them.  
20 DR. FREUDMAN: John Freudman, Sleep Solutions. I  
21 just want to echo, the access statistics are going to reflect  
22 populations you're looking at and they're not going to  
23 reflect the patient who either lives too far away to either  
24 call to get an appointment or refuses to spend the night in a  
25 lab. So yes, access has improved but the numbers don't

00167

1 clarify the patients who are unwilling to go, and it also  
2 isn't necessarily the issue that there is an evidence-based  
3 alternative as well, but it ought to be an option.  
4 DR. TRIKALINOS: I just want to say that we had a  
5 difficult time to find out what was the mean time, the mean  
6 time delay for a person to get facility-based PSG. So  
7 Dr. Ryan showed a slide from 2004, a study from Australia  
8 where they called centers in the United States and did a  
9 survey, and the ranges were from two months up to 12 months,  
10 if I recall correctly. They note that this is very variable  
11 depending on the region, depending on whether it is in a  
12 rural area or an urban area, whether this is a university  
13 hospital or not. But I don't feel that we have established  
14 data that's reliable on how long the average delay is.  
15 DR. CHEDIAK: Two different issues. I noticed that  
16 my name was on that chair reserved, and I wondered if you  
17 guys knew something I didn't know.  
18 First, with respect to Dr. Rappaport's point about  
19 indigent patients, I have the same problem in Miami, and  
20 definitely Medicaid requires polysomnography for CPAP, and so

21 it's a healthcare issue, not a polysomnography access issue.  
22 The fact of the matter is that when we polled our  
23 1,200-and-some-odd accredited facilities, we got back nearly  
24 1,000 responses in April, we had about a 12-day wait time,  
25 excuse me, 14-day median wait time for polysomnography and 12

00168

1 days for consultation, and those are the facts.  
2 Now if you want to look at it geographically,  
3 Wisconsin has no accredited lab so I don't know what's going  
4 on in Wisconsin. So to find out what's going on in  
5 Wisconsin, make it necessary to have your test done in an  
6 accredited facility and they'll all get accredited by next  
7 year.  
8 DR. PEARSON: We're going to end it there. Yes?  
9 DR. BARKLEY: I have a question about the portable  
10 monitors and video. Do they all have video that comes with  
11 it, do none of them have video, how is that taken into  
12 account with those portable monitors?  
13 DR. GOETTING: None that I'm aware of have video.  
14 There's probably some out there that have it, but none of the  
15 commonly used ones have video.  
16 And let me, if I can, take one or two sentences to  
17 mention a patient group who has not been discussed, and those  
18 are inpatients, those who are in rehabilitation facilities.  
19 You cannot get them into a laboratory by ambulance and there  
20 are people who have, my patients who will be on a rehab floor  
21 for stroke with clinical sleep apnea as noted by the nurses.  
22 We can't get them tested in the facility, you know, so a  
23 portable test would be ideal. There are other examples where  
24 laboratory polysomnography is just not practical.  
25 DR. PEARSON: Let me ask a question, actually Dr.

00169

1 Trikalinos in particular, if you would come up and answer. I  
2 feel that with all of the comments about PSG, we are also  
3 going to be asked to look at home testing and clinical  
4 titration, and we should be equally worried about false  
5 negatives, perhaps even more so than false positives.  
6 Personally I'm a little bit less worried about false  
7 negatives because I figure the patient may end up getting a  
8 PSG ultimately if they have a negative home test and they're  
9 still not doing well.  
10 But from your view of the evidence, can you help us  
11 understand what you think the risk is for a significant  
12 increase in false positives with the use of home testing as  
13 opposed to PSGs? I know you commented on the possibility  
14 that an older population would raise that, but can you first  
15 do what you know from the evidence and then your speculation?  
16 DR. TRIKALINOS: We did not specifically assess  
17 this specific question, so whatever I'm going to tell you is  
18 whatever I have learned through my research. I don't think  
19 that there is any data that documents any health harm from  
20 false positives that would lead to a CPAP trial. I do not  
21 know whether there are adverse health events associated with  
22 a false positive of diagnosis of sleep apnea that would then  
23 lead to a CPAP trial. There could be cost considerations,



24 though.  
25 DR. PEARSON: I'm just concerned with the actual

00170

1 evidence on the rate of false positives. Is it your  
2 understanding that home testing would lead to an increase in  
3 the rate of false positives?  
4 DR. TRIKALINOS: Okay. I think -- well, it all  
5 depends very much on how you treat the gold standard, the  
6 reference standard of facility-based polysomnography. In our  
7 analysis we treat the lab-based polysomnography as a  
8 reference standard that has representative specificity, so  
9 according to this benchmark you would expect more false  
10 positives.  
11 DR. PEARSON: Can you help me gain some estimate of  
12 the magnitude of that increase?  
13 DR. TRIKALINOS: Okay. In the modeled strategies  
14 that focused on the 50-year-old cohort, based on the evidence  
15 that's out there, approximately 15 percent of false positive  
16 diagnoses are expected, and this has to do with the  
17 specificity of being approximately 84 percent. In our  
18 sensitivity analysis for 70-year-olds, we analyzed this to 70  
19 percent, so this would be a 30 percent false positive,  
20 crudely speaking, but this is an example.  
21 DR. PEARSON: Thank you. Do you have just a short  
22 specific question about that?  
23 SPEAKER: To directly answer your question, there's  
24 several published studies and I'm not aware of a single one  
25 that reports a higher number on the ambulatory study than on

00171

1 either a simultaneous or a non-simultaneous PSG, and the  
2 reason for that should be obvious mathematically. The  
3 problem with calculating an AHI is that you need both numbers  
4 of events which you can argue about whether you get exactly  
5 the same number, but it usually is, and the denominator which  
6 is the amount of sleep time. Since almost all the monitors  
7 we're talking about don't measure sleep, they make the  
8 assumption that either the total recording time is always  
9 longer than the amount of sleep, or some subset of that based  
10 on bad signal is what you divide by, and so they tend to  
11 lower the AHI. So the raising of it artifactually is really  
12 only due to having a very poor respiratory signal, and that's  
13 not usually published, or it can be also due to something  
14 else.  
15 We do it in studies that we've done looking at the  
16 AHI in a home study and then sending out the data to  
17 different sleep centers and having them read the same data.  
18 Some of them will be higher, some of them will be lower. So  
19 it could be a false positive and a false negative on the home  
20 sleep study with the same exam, depending on how you read the  
21 PSG. So that makes it a very difficult question to answer.  
22 DR. PEARSON: One more, and then we've got to move  
23 on.  
24 SPEAKER: I would like to comment on the  
25 possibility of a false positive. Sleeping in a hospital

00172

1 laboratory is not a native environment, sleep efficiency in a  
2 sleep laboratory is not great, and it's quite possible that  
3 someone could have more REM sleep in their bed at home, which  
4 is a familiar environment, which will drive up the AHI. I  
5 can't recall ever seeing a false positive type III recording  
6 for obstructive sleep apnea. The only concern is the  
7 misdiagnosis of Cheyne-Stokes ventilation defense in a type  
8 III recording with OSA, and that's something we talked about  
9 earlier.

10 DR. PEARSON: This is a process time for us. If we  
11 want to start to move towards our own internal conversations,  
12 it's about that time. So if you have any specific questions  
13 of perhaps specific folks, that's still certainly fine, but  
14 then I think we'll move to more internal conversations.

15 DR. DEHMER: I have a question that probably  
16 relates more to the individual than it does to the equipment.  
17 We've heard all this information this morning about PSG and  
18 whether it's a gold standard or a flawed standard, we've  
19 heard all the technical information about the home studies,  
20 but it really seems like it boils down, and several people  
21 have emphasized this, it's the importance of the individuals  
22 who are interpreting the studies, is at least equal to all  
23 the fancy whistles and bells in this equipment.  
24 So this is probably going to generate a long line  
25 at the microphone but I would like to know what it takes, if

00173

1 I wanted to go home when I get home tomorrow and hang my  
2 shingle up and say I'm a sleep specialist, what kind of  
3 training would I need in order to do that? Now that being  
4 said, I'm not going to that, I'm a cardiologist, and I'd like  
5 to say that all EKGs need to be read by a cardiologist, but  
6 in fact there are many physicians that can diagnose atrial  
7 fibrillation on an EKG and they don't need to be a  
8 cardiologist. So what are the criteria for becoming a sleep  
9 specialist and what are the minimum among the criteria that  
10 one really needs to know to interpret those studies.

11 DR. CHEDIAK: Well, the American Academy of Sleep  
12 Medicine, which accredits facilities and sets standards of  
13 care for a variety of sleep disorders, has been very  
14 interested in that problem and spearheaded now what in April  
15 is going to be the first ACGME-sponsored sleep certification  
16 examination. That's a credential that doesn't necessarily,  
17 it's not sort of required for payment, so if you're a  
18 Medicare beneficiary in Florida where I'm from and you want a  
19 sleep test, any physician can open an office right there and  
20 say that they're doing sleep testing. You can do it in your  
21 office or you can have an independent diagnostic testing  
22 facility. I personally think that's unfortunate and  
23 hopefully we will be able to change that.  
24 In some other states like in Alabama they require  
25 AASM accreditation for the center or laboratory in order to

00174

1 be paid for doing the tests, and in the AASM accreditation  
2 standards, you have to be a board certified sleep doctor

3 overseeing all the studies and reviewing all the  
4 interpretations.  
5 Now to get to that point, to get board  
6 certification as it stands today in a five-year window to get  
7 in there, where you either have one, have already received  
8 certification from the American Board of Sleep Medicine,  
9 which has been around for a number of years now, and you're  
10 allowed to take the examination. Two, have completed a year  
11 of fellowship training in sleep disorders medicine by an  
12 ACGME-accredited program, or what used to be an AASM-  
13 accredited program before ACGME took over the accreditation  
14 process. Or three, have at least one year of accumulated  
15 experience in sleep medicine by self-validation over the  
16 previous five years, and then you can take the exam. So if  
17 it ever becomes necessary to have board certification in  
18 sleep medicine in order to be reimbursed by Medicare, these  
19 are the steps for you to get there.  
20 In order to get there you could be an internist,  
21 you don't have to be a pulmonology internist, so you're  
22 eligible. You can be an otolaryngologist, you can be a  
23 pediatrician, psychiatrist or a neurologist, those are the  
24 pathways.  
25 Now having said that, there are some other caveats

00175

1 about how one can do testing but they're very much  
2 state-specific, and the variance is so huge from one state to  
3 the other, it's impossible for me to predict except in those  
4 states where I know the law for reasons of convenience or  
5 reasons of problems I'm addressing.  
6 MS. RICHNER: In terms of the home monitoring and  
7 having someone read that report, who would be certified to  
8 read it, wouldn't the management change from the things that  
9 we have now in terms of access, if you're thinking that a  
10 certified physician would have to read the report, would  
11 there in turn be some change in home monitoring or diagnostic  
12 reading?  
13 DR. CHEDIAK: The question pertains to what is the  
14 minimum credential to allow for a primary reading of home  
15 studies?  
16 MS. RICHNER: That's right.  
17 DR. CHEDIAK: And I don't think that's been clearly  
18 established. From the American Academy of Sleep Medicine  
19 point of view, I think you have to be a sleep doctor, board  
20 certified in sleep medicine. I think it's part of the  
21 curriculum and the training that we go through, how to look  
22 at and interpret portable recording. Now, is there another  
23 credential out there at the moment, not that I'm aware of.  
24 MS. RICHNER: So what will be the cost? I mean, if  
25 home diagnostics are available, then the certification of the

00176

1 reading, and then the therapeutic treatment options after  
2 that would have to be determined, so it seems that there's  
3 going to be an issue here among all of you here with  
4 different societies, respiratory therapists, sleep  
5 physicians, the home health, everyone's going to have to do

6 Kumbaya to come up with --  
7 DR. CHEDIAK: Well, the Kumbaya is already going  
8 on. You'll recall that Dr. Sam Kuna mentioned that there's  
9 already in Washington, I think it's going to be the 15th or  
10 16th, and I will be back in Washington for that meeting,  
11 there's going to be a joint meeting to look at research  
12 issues in portable monitoring. The American Academy of Sleep  
13 Medicine approximately a year and a half ago formed a task  
14 force to look specifically at if portable monitoring is going  
15 to be used, what are the sensors, what's sensible, how is it  
16 going to be monitored, what's the minimum disclosure we're  
17 going to have, and then develop some guidelines for  
18 qualifications for interpreting and reading. That report was  
19 presented to the board of directors of the American Academy  
20 of Sleep Medicine about two months ago. It's undergoing  
21 revisions and so forth, so I don't want to speak to it  
22 directly, but it is in the pipeline.  
23 MS. RICHNER: Right now there's an issue of  
24 self-referral in some sense, isn't there, because it seems to  
25 me that the physician orders the test, the sleep -- I'm

00177

1 trying to follow the pathway here, because, you know, given  
2 that we're going to have -- well, you know, that the home  
3 diagnostics will be available some day, that there's going to  
4 have to be some kind of process for who reads it and who gets  
5 paid for that.  
6 DR. CHEDIAK: And we agree, and we're in the  
7 process of developing this. But there are other  
8 developments. We need to know what sensors and what types of  
9 monitors are going to be widely used and approved, so we're  
10 in a catch-22 a little bit, but we are in that process and we  
11 are very aggressively working towards coming up with  
12 guidelines for use of portable monitors that would be used  
13 through our AASM-accredited facilities, and would deal with  
14 training board certified sleep doctors.  
15 DR. PEARSON: Gentlemen, I'm sorry, we're going to  
16 have to keep going with the other questions. We are going to  
17 move very soon into the phase where the panel has discussion.  
18 I know we have three people who need to leave at three  
19 o'clock, so we're going to get through our phase of internal  
20 discussion and be able to have at least part, if not all, of  
21 the voting by three o'clock.  
22 DR. SATYA-MURTI: Well, anyway, we heard that your  
23 anticipated completion of study in June 2009, and then we  
24 also heard this morning, I don't remember if it was referring  
25 to the same issue, that they are likely to be asymmetries,

00178

1 and I wonder if it's design flaws. Now that we have two  
2 years ahead of the study, is it possible at this time for the  
3 advocate groups and minds to meet and address these design  
4 flaws?  
5 DR. CHEDIAK: We've already given them money, and  
6 the actual design of the study was not purposely made to  
7 exclude anything, it was trying to reproduce what clinicians  
8 are likely to do, which is in moderate or severe sleep apnea,

9 either have a full out-of-the-laboratory evaluation managed  
10 by a sleep expert, or a split policy, and then look at the  
11 primary outcomes properly powered of number of hours of use,  
12 of acceptance of therapy, and there's one other which escapes  
13 me right now, and a few secondary outcomes.  
14 The importance of it is that in contrast to what  
15 you've heard from other studies today, this study will be  
16 powered to actually answer that. In order to show CPAP  
17 compliance at three months, we calculated we would need 180  
18 subjects each month. So this study has about 390 subjects  
19 that are going to be recruited for it. It's going to take a  
20 while, but the money's gone and I'm not sure we can do  
21 anything about it.  
22 SPEAKER: The problem with the asymmetry, very  
23 quickly, has been brought up before the deadline even before  
24 this protocol was submitted. There are some problems and I  
25 think there are some political type issues that really need

00179

1 to be ironed out, and it was brought up before that there was  
2 some significant problems that may need to be addressed at  
3 this level. I don't think this study is yet ready for prime  
4 time.  
5 DR. PEARSON: Marion?  
6 DR. DANIS: A quick question for Dr. Ryan. You  
7 used just the oximetry despite the fact that your device had  
8 other things. And could you just tell us, we were thinking  
9 about, but because we're hearing airflow is --  
10 DR. RYAN: Well, the justification for that is we  
11 wanted something simple and we could have gone with oximetry.  
12 The particular instrument had published data on likelihood  
13 ratios and that was very important to us in developing the  
14 study. So, my comment about the other channels that we  
15 weren't going to use was that it was useful for  
16 corroborating. We didn't actually use those data to select  
17 our patients, but in clinical practice they are useful.  
18 My own preference would be to have something that  
19 measures other respiratory data, particularly airflow, as  
20 well as oximetry. But from the point of view of the study,  
21 we interpret the data as one would interpret an oximetry,  
22 which is a type IV device.  
23 DR. PEARSON: This will be the last question.  
24 DR. JUHN: Just a very quick question about, it has  
25 been raised a couple times today about false positives, as

00180

1 well as tertiary trials of CPAP therapy and the harms that  
2 come from CPAP therapy. And I think with Ross's  
3 presentation, there really aren't any clinically documented  
4 harms, but there may be some harms regarding management of  
5 that patient. And I think in some of your letters several of  
6 you commented, and I'm wondering if anyone would like to talk  
7 about what harms they foresee in actually managing someone if  
8 they are falsely put on a CPAP therapy.  
9 DR. GOETTING: Let me make a quick comment on that.  
10 It's very difficult to get patients to adhere to CPAP and  
11 that's an issue of you need the right patient, but you also

12 need the right physician, someone who is confident that this  
13 person is likely to benefit from therapy. If you don't know  
14 if the person has sleep apnea or not and you're just going to  
15 put the mask on and ask them to sleep and then see if they  
16 feel better, clearly there's a placebo effect.  
17 This has been done with at least one medication  
18 study for an intervention for sleep apnea. Some people will  
19 feel better, and those people who use CPAP are emotionally  
20 invested in its success, and I don't think you can absolutely  
21 judge by a response that way. But on the other side of it,  
22 it's very difficult for someone who even needs to use CPAP in  
23 some cases to use it.  
24 So it's a confusing issue that way. Is there harm?  
25 It's difficult to get enthusiastic prescribing a therapy that

00181

1 is hard to use, that you're not even confident is going to be  
2 beneficial.  
3 And let me just make a quick other point. You  
4 don't necessarily have to use airflow to look at sleep  
5 fragmentations with sleep apnea. This is a very robust  
6 correlation that when sleep apnea occurs, at the resolution  
7 of it there's a sympathetic discharge that can be measured  
8 with other devices, one of them is the Watchpad. You don't  
9 have to put something on their face to have a good idea if  
10 they're having sleep-disordered breathing.  
11 DR. BURTON: Steve Burton, Ion Healthcare. There's  
12 many things that happen if you go straight to CPAP. One of  
13 them is, putting pressure on a person's face changes their  
14 airway, so you will not be monitoring exactly what they are  
15 in the absence of treatment. The other is a lot of times  
16 people have such a negative reaction to this device, and even  
17 if they have trouble tolerating it, they won't be back for  
18 the sleep study, and that applies to thousands of patients  
19 that we've managed, and most of our referrals come from  
20 surgical centers. And they have, you know, they might post a  
21 case that's two days away, and so they'll say let's do a CPAP  
22 trial. The challenge we have is they may carry them through  
23 the postoperative week.  
24 The bill that Medicare gets for setting that up is  
25 two times the home diagnostic bill, so it's very cost

00182

1 ineffective to go straight to a CPAP trial, because you have  
2 so many one-time charges that you're incurring about a 4 or  
3 \$500 bill for starting the CPAP, where you could have \$220  
4 for a reliable home test. So I would suggest it's very cost  
5 ineffective to go straight to CPAP.  
6 And then you've got a patient who says oh, my gosh,  
7 this is the experience I'm going to have, then you have a  
8 hard time getting them to go to the lab or even take a home  
9 test, because they say well, if I learn I've got it, I've got  
10 to start using this device. So it's almost cart before the  
11 horse, and it can be for us in managing patients. Like I  
12 said, I've really got no dog in the hunt whether to use a  
13 home test or sleep lab, the insurance company is paying me to  
14 manage the patient. But I can tell you the process we have

15 today is, given the flexibility of allowing them to take it  
16 home allows us much greater compliance and getting that  
17 patient to determine if they're going to use something, than  
18 if we go straight to the end game and try to use it.  
19 DR. PEARSON: Last comment.  
20 DR. KUNA: Sam Kuna. Like any medical  
21 intervention, about 50 percent of the patients adhere  
22 adequately to CPAP, so that it's very useful as a physician  
23 managing that patient to know why you started him on the  
24 treatment in the first place and how far you should push to  
25 get them back on that treatment to manage their care.

00183

1 The other point I want to make is we really don't  
2 know why people do adhere to CPAP. The literature is not  
3 consistent tying it to any of the potential symptoms, Epworth  
4 sleepiness scale, apnea-hypopnea index. And we know the  
5 patients make their decision to use CPAP or not in the first  
6 several days use, perhaps even before they have experienced  
7 any clinical benefit from that treatment. So that it's very  
8 problematic relying on CPAP to decide whether or not you're  
9 adequately treating a patient with sleep apnea.  
10 DR. PEARSON: All right. So, thank you. So, we  
11 have, what I would like to spend is about 30 minutes before  
12 we move towards discussing formal voting and during that time  
13 is for us to have back and forth conversation. We can  
14 certainly look at the questions that we're pointing to now,  
15 start to ask specific questions about what they mean, and if  
16 we have comments about certain elements of the data that you  
17 feel are particularly important in considering some of these,  
18 but this is the time for us to start to chew on this. Yes?  
19 DR. HIRATZKA: Question Number 3 here, explicitly  
20 it means physical examination in this respect, and I assume  
21 you mean by the presentations about this particular subject,  
22 because even if the evidence base is poor, there is no  
23 financial incentive, but I find it very difficult to judge  
24 any of these particular categories for Question 3.  
25 DR. PEARSON: Yeah, but this is a question, I will

00184

1 invite Ross if he's here, or Louis to comment, because I am  
2 really not sure that we as a group will give value added  
3 voting on the clinical criteria.  
4 DR. JACQUES: One of the reasons why this question  
5 is here is that clearly we can't anticipate in advance what  
6 things the public or others might say at this particular  
7 meeting. And if the sense of the committee is that there is  
8 not enough evidence about any of these things to reasonably  
9 answer the question, then the committee can certainly choose  
10 not to.  
11 MS. RICHNER: I have a question. I always, you  
12 know, being a health researcher for many years, if you can  
13 look at any particular environment that would reflect sort of  
14 the lack of restrictions of payment, and then you go into an  
15 area that's a laboratory of sorts, and I know there's been  
16 studies at Kaiser, I know there's been a study at VA. Is  
17 there some type of treatment guidelines they put in place at

18 some point that I didn't really see that would define  
19 clinical criteria, to have a home diagnostic versus an in-lab  
20 facility diagnostic? Was there anything in, was there  
21 anything published in anything that has been published at  
22 Kaiser or the VA from that perspective?  
23 DR. PEARSON: Dr. Trikalinos, did you run across  
24 anything published during your research?  
25 DR. TRIKALINOS: Unfortunately, these were mainly

00185

1 retrospective studies and were excluded.  
2 MS. RICHNER: They're retrospective?  
3 DR. TRIKALINOS: I think there's a couple of  
4 studies from Kaiser that were retrospective and we excluded  
5 them. I mean, I obviously remember that.  
6 DR. DANIS: It seems to me that the VA study really  
7 had some very good criteria and it seems like the Canadian  
8 studies did, and it seems to me also that we're going to be,  
9 I think that the fact that prior probability influences your  
10 interpretation of what you're planning here, it's very  
11 important for us to think about some of these factors. And  
12 it seems like things like the Epworth sleep score do have a  
13 very useful value, which is to say that we ought to try hard  
14 to think about these things. And I was struck among others  
15 that there is not type of tension in --  
16 DR. PEARSON: We're not going to take public  
17 comments. Thanks anyway. If you want to ask a specific  
18 question for a clinician, you can still do that, but I'd like  
19 to try to keep it among us now.  
20 I also -- this issue -- I mean, I'm torn too,  
21 because the study that Dr. Ryan spoke of, clearly, you know,  
22 is among the better if not the best study in which we would  
23 want to see the Epworth sleep clinical score perhaps used as  
24 some kind of method for clinicians to judge high prior  
25 probability in conjunction with home tests or going to CPAP.

00186

1 I'm not sure if it's our goal to help Medicare to do this.  
2 It's clear that the literature is full of different kinds of  
3 algorithms and decision rules to decide who is a high prior  
4 probability. So it really would be helpful for us to  
5 indicate which of these you feel the literature currently  
6 says are among the most important.  
7 DR. JACQUES: Yes. I mean, the committee could  
8 also decide that there might be too much precision implied in  
9 the question the way things are sort of outlined, and you  
10 know, the committee might choose in answering this question  
11 simply to comment on a particular topic or to question  
12 generally about physical diagnosis signs or clinical symptoms  
13 presented by the patient, or something along those lines.  
14 This question was in the context of, if someone  
15 were going to do a trial of CPAP based on clinical diagnosis  
16 alone, i.e., in-lab strategy using PSG or home testing, would  
17 there be some constellation of those sort of other clinical  
18 symptoms that one would require to meet some threshold in  
19 lieu of testing in order to qualify for CPAP. But if the  
20 committee feels that there is not enough evidence to answer



21 that particular question or that the whole question in light  
22 of the discussion today really can't be taken in that  
23 context, then the committee can certainly decide to change  
24 it.

25 DR. PEARSON: Let me just ask the committee perhaps

00187

1 for some direction. We'll start with, the importance of that  
2 as Louis said, is whether we believe that clinical evaluation  
3 going straight to a trial of CPAP is a strategy that we would  
4 recommend. I would like to invite comments on that before we  
5 move to the question perhaps of home testing versus PSG. So  
6 what about clinical evaluation straight to a trial of CPAP?

7 DR. WHITES: Well, I think it has been shown as a  
8 general comment, based on clinical evaluation alone without  
9 specifying what part of the clinical evaluation, this  
10 question couldn't be answered. I think it must be very  
11 specific, and who's doing the evaluation. If we're talking  
12 about, I think a nurse practitioner, which is the CNP that we  
13 have today, or a physician's assistant who has that ability  
14 to order the test and be paid for by Medicare, are we going  
15 to go by that clinical evaluation alone with no more  
16 expertise? And I think that's a very easy question to  
17 answer.

18 On the other hand, if we're talking about a boarded  
19 sleep physician who has clinical experience, then the answer  
20 may be a four or a five in that extreme circumstance. If  
21 you're looking at BMI, you look at witnessed apnea, you look  
22 at nocturnal oxygen monitoring which shows desaturation, I  
23 think you'd probably feel fairly comfortable. So again, I  
24 think the question, at least when I look at it, is much too  
25 general and not specific enough to give an answer.

00188

1 DR. PEARSON: I don't get another comment but just  
2 to be clear, are we considering overnight oximetry as part of  
3 clinical evaluation or as part of home testing?

4 DR. WHITES: Again, I think that's something we  
5 have to decide. I would hope we would consider that as part  
6 of the clinical evaluation and not home testing. Again,  
7 we've got a lot of definition when we talk about home testing  
8 devices in question C. We don't design those home testing  
9 devices and so it would be yes but, or no but, but I think in  
10 the general questions, I think it's the only one that's  
11 specific in here that has reference to a PSG. So when we  
12 talk about the PSG as a type I, I gather what they're talking  
13 about is it could be a type I or type II, but it doesn't say  
14 that in the question we have here. So I think that we must  
15 be more specific and I think we're going to have to, when we  
16 answer the questions, clarify those questions and I don't  
17 think we can generalize them.

18 I think the other question that comes to mind right  
19 now is that it's deciding whether or not we need to open the  
20 dam without knowing what's downstream. And we are looking as  
21 far as I'm concerned without those regulations and without  
22 the clarification of who's going to be reading and who's  
23 going to order, that's really a major concern that I have.

24 DR. PEARSON: Yes.  
25 DR. KONSTAM: I guess I would be very reluctant to

00189

1 condone a trial on the basis of clinical evaluation alone. I  
2 mean, if there's one thing that I have learned during the  
3 course of the meeting today is, first of all, it's a very  
4 confusing area. There is, you know, contradictory evidence  
5 in the literature. You know, I don't know how we know that  
6 we know how to make the diagnosis, but I think we agree that  
7 there is no absolute gold standard to cite other than the  
8 fact that PSG was PSG. I think in terms of dangers, I think,  
9 you know, the risk, if you want to talk about risk, I guess  
10 there's always a risk, you know, when you are uncertain or  
11 you don't really have a correct diagnosis.  
12 Now, you know, I think there probably are people  
13 who are much better at it than most of the rest of us, but  
14 how is anybody going to decide who that is? And if you  
15 believe at all that, you know, there is the ability to do it,  
16 do we know anything about the ability to do it in the  
17 Medicare population, where we know even less, you know. So,  
18 you know, I think what's clear is that these different pieces  
19 of information are complementary, the clinical evaluation  
20 provides complementary information to the testing. And you  
21 know, from my gestalt, you know, I want some confirmational  
22 information before sending a patient to a CPAP trial knowing  
23 that they're very likely to reject that from the comments  
24 that have been made that, you know, you need to sort of  
25 reinforce that they really have this diagnosis, and to bank

00190

1 on them without that, I see problems with it.  
2 DR. PEARSON: Yes?  
3 DR. SATYA-MURTI: On Question 3, the last row is  
4 others types of testing. Now maybe consider just plain  
5 oximetry, because that seems to have been crucial at least in  
6 some of the studies, along with clinical scores. Therefore,  
7 the VA does use that as a threshold to even make an approach  
8 to CPAP. So if you believe in the strength and merits of the  
9 preceding clinical symptomatology, maybe that other could be  
10 broken down to just plain oximetry, in which case like the  
11 Senn paper that speaks to, only requires clinical trials of  
12 tolerating and using the CPAP for more than two hours per  
13 night, and they found 76 patients -- 31 were truly  
14 (inaudible) and they used somewhat similar criteria.  
15 DR. PEARSON: Yes?  
16 DR. BARKLEY: The other problem I have with the  
17 clinical decision scale is that we have almost 10 or 12  
18 different items, and if we all end up giving each one of them  
19 a high score, does that mean you're going to have to have all  
20 10 or 12 of them in order to qualify for the diagnosis, or is  
21 it going to be something like the DSM-IV where you have to  
22 have four out of six or something of that sort? I really  
23 don't think that our panel is really qualified to make a  
24 valid judgment on this question.  
25 DR. PEARSON: The reason I brought it up is for

00191

1 those who find the Ryan paper influential, if you were to  
2 wish to consider that home testing and clinical evaluation,  
3 or just clinical evaluation, we kind of need to know how to  
4 categorize it if we're going to use that as a basis for our  
5 voting.  
6 So let me ask Dr. Ryan, was that home testing or  
7 was that clinical evaluation?  
8 DR. RYAN: Absolutely it's home testing, because as  
9 far as I'm concerned, oximetry is a test that requires a lot  
10 of sophistication for interpretation, particularly to  
11 minimize the risk for false negatives and false positives, so  
12 it's definitely home monitoring.  
13 DR. PEARSON: With that clarification, is there  
14 anyone who would want to say anything positive about clinical  
15 evaluation for an initial trial of CPAP, or should we move to  
16 the next threshold?  
17 DR. EDWARDS: Well, you have at least one surgeon  
18 here and we tend to cut to the chase. I think if you have a  
19 physician who is sufficiently skilled in his craft, in sleep  
20 studies, and the documentation we've seen here has numbers up  
21 around 80 percent or better on clinical evaluation with a  
22 skilled observer, taking into consideration all these items  
23 on Number 3 and perhaps other parameters, and the lack of  
24 harm from the CPAP trials, I would say that at least given  
25 the stratification of the patients that we've seen here from

00192

1 AHIs of 5 up to 30, that somewhere in there there is a  
2 subcomponent that a physician could very clearly put on a  
3 CPAP trial without any problems whatsoever.  
4 DR. PEARSON: Any other comments? Yes?  
5 DR. BECKER: I would just like to comment. If we  
6 are going to say that clinical impression is good enough for  
7 putting somebody on CPAP, we need to know what the clinical  
8 impression is. It needs to have some sort of criteria like  
9 it has in Number 3, some sort of check-off list or an  
10 algorithm, so that you just don't say, well, he has  
11 obstructive sleep apnea and I'm sure he does, and there needs  
12 to be some foundation, some thought process going into this.  
13 And so I actually think that, well, I don't know whether all  
14 of these 10 or 12 criteria here are what you really need, or  
15 whether you need five of them or four of them or three of  
16 them. But you certainly need to have some list that people  
17 can look at so that they can make a reasonable impression.  
18 DR. PEARSON: We'll go to Marion and then to the  
19 next question.  
20 DR. DANIS: I think if you look at the Canadian  
21 study where the O2 sats really took you from 80 percent to 95  
22 percent, it seems to me that to call that home testing really  
23 adds something to the clinical impression, and I would think  
24 it's important to fit it in.  
25 DR. PEARSON: My guess is that the slope they're on

00193

1 in their research is they're starting with a very stringent  
2 criteria and as mentioned, the multicenter trial will loosen

3 that a bit, and we'll start to find out whether or not  
4 perhaps even about home testing producing harm, the study  
5 will be able to look at. But this issue of whether CPAP is  
6 out on home oximetry will be good enough for us (inaudible).  
7 Yes?

8 DR. BARKLEY: I'm unaware of any diagnoses that  
9 Medicare allows that some physicians can make and not others,  
10 so I think that if we say that clinical impression alone is  
11 significant to be able to order CPAP, that means that any  
12 provider, physician, nurse practitioner, P.A., should be able  
13 to do this across the country. So that yes, there probably  
14 are clinicians that do have that ability, but I don't think  
15 that the Medicare rules apply in that critical circumstance.

16 DR. WHITES: I think that's my concern, is that the  
17 data that we have, and I think from some of the studies from  
18 Canada, I think again, was a very selective group of patients  
19 reviewed, seen, evaluated by subspecialists in a very  
20 controlled environment who said you could wean down how many  
21 you ended up with out of the total number of patients. I  
22 think until we have such regulations that Medicare is about  
23 to do so, that we are opening a can of worms and are going to  
24 be in trouble to make a recommendation to go by clinical  
25 basis alone. I don't think we have the structure there to

00194

1 safeguard the trust fund and safeguard the patients in this  
2 area.

3 DR. SATYA-MURTI: You know, while I agree with that  
4 approach, there's ample situations in medicine where anyone  
5 can order MRIs to stress tests, cardiac stress tests. So to  
6 single out this clinical entity as deserving more of a higher  
7 standard would be setting a precedent, while I do agree.

8 DR. EDWARDS: Let me just say, I don't think we  
9 would be setting a precedent. In the DME world, durable  
10 medical equipment, CMS has already said in certain incidents  
11 that only certain specialists may do the examination to order  
12 a particular piece of equipment. So this would be a piece of  
13 durable medical equipment and it would be possible to  
14 restrict the purchase or ordering of this particular DME to  
15 that subset of physicians, and that would of course then  
16 subsequently restrict those who could order the test and  
17 interpret it. But there is a precedent for that.

18 DR. PEARSON: I think we're in very important  
19 territory but it's probably a bit upfield from our role.  
20 These are things that Medicare will think about carefully,  
21 I'm sure, and it's impossible for us to think about that in  
22 the absence of thinking about these conceptual issues.  
23 Looking at the clock again, let's move to the next  
24 one labeled at the next level. If Medicare is going to  
25 continue to pay for CPAP following PSG, let's talk about home

00195

1 testing levels II, III and IV. You're going to be asked to  
2 vote on whether you think the sensitivity and specificity of  
3 these are up to snuff, or if they are to ask a global  
4 question about home testing, but let's start to tease that  
5 issue apart, where it talks about the evidence, the use,

6 utility and accuracy of home testing.  
7 DR. BARKLEY: I have a general concern about home  
8 testing where you have inpatient and outpatient monitoring,  
9 and like if you don't have video you don't know what's going  
10 on. So I just have a general concern if we're looking at  
11 people who by nature are thrashing around in bed, have lots  
12 of motion and artifactual movements that may or may not be  
13 related to sleep apnea, are we able to diagnose and treat  
14 that properly without some sort of independent correlation  
15 of, by some other means to know exactly what the movement is.  
16 And that actually applies to the set of more simplified  
17 testing where you are relying on one measure or a couple of  
18 measures where you have more redundancy to be able to say  
19 well, this could be the basic problem, but let's look at  
20 these other factors to see if there's a correlation.  
21 DR. PEARSON: Other thoughts in particular about  
22 the II, III, IV distinction? Yes, I'm sorry.  
23 DR. BARKLEY: And if you look at the technical  
24 assessment that was presented, the conclusion was that there  
25 was a difference in facility versus out of facility, and I'm

00196

1 not sure that we have the data at this point in time to make  
2 a firm recommendation for change. I think the process is  
3 there and hopefully it will be here shortly, but if you look  
4 at individuals who gave us these reports, that the  
5 statistical analysis that was done in the technical  
6 assessment, I think if I read it correctly, was talking in  
7 terms of home testing versus non-home testing, and I don't  
8 think the conclusion was that there was significant data that  
9 a lot of times you could make the determination.  
10 DR. PEARSON: Yeah.  
11 DR. KONSTAM: I didn't read it quite that way. I  
12 mean I, you know, I thought it was a great analysis and I  
13 think, you know, obviously there's a lot of variability in  
14 the absolute metrics for AHI, particularly IN, it really got  
15 big, you know, the variability increase got very high  
16 numbers, which probably reduces clinical relevance because he  
17 got the diagnosis anyway. I think the most relevant part of  
18 the analysis was sensitivities and specificities, and, you  
19 know, my conclusion from reading their results was, there was  
20 pretty reasonable sensitivity/specificity for the home  
21 testing, you know, relative to a facility-based analogy.  
22 Now, you know, they weren't perfect, but then  
23 again, you know, the facility-based analogies are certainly  
24 not perfect either. So I mean, I came away from that really  
25 feeling pretty favorably, and that I really couldn't say that

00197

1 based on a good combination of good clinical assessment and  
2 home-based testing that you weren't going to achieve  
3 reasonable indications.  
4 DR. PEARSON: Peter?  
5 DR. JUHN: I think in the TA it was stated that the  
6 interchangeability may not be there, but as far as  
7 categorization, and maybe looking at home monitoring as  
8 really categorizing someone into a high risk or low risk, I

9 think it was a valid assumption.  
10 DR. PEARSON: One of the things that worried me was  
11 that the risk of publication bias in this kind of field is  
12 extremely high. Most of these trials are going to be funded  
13 by the companies making the home testing devices or with some  
14 link to them. And I'm not sure we saw any studies that, and  
15 maybe that's because they really do work very well, but I was  
16 struck by this publication bias.  
17 I think with this level IV testing, it's kind of  
18 like level II is, you know, basically like the in-lab at  
19 home. Level III seems to be a pretty robust body of  
20 evidence. And I think if anything, the TA raises some  
21 question about type IV, and yet one of the best studies used  
22 type IV with various clinical evaluations, et cetera,  
23 et cetera. I don't know if others are wrestling with this  
24 issue about type IV, whether it's low evidence or whether we  
25 have very good evidence for type IV.

00198

1 DR. BECKER: I had one question about type IV and  
2 then I had marked difficulty determining exactly what is  
3 being monitored. Is it pulse oximetry in all the cases, is  
4 it upper respiratory breathing, efficient sleep, is it an  
5 EKG? I mean, we really don't see in these studies exactly  
6 which monitors are being opined. And I know from my work as  
7 an anesthesiologist just having a pulse oximeter on somebody  
8 for five or six hours even under anesthetic, a lot of times  
9 they're bouncing all over the place and it isn't due to the  
10 anesthesia. And so a person at home at night wrestling  
11 around, I think you need at least three or four different  
12 monitors on there to try to distinguish what's real and  
13 what's not.

14 DR. KONSTAM: Now, you know, I guess what I get out  
15 of all this is that this is a test, you know. Whether it's  
16 facility PSG or home testing, this is a test, you know, as  
17 opposed to thinking of PSG as the diagnosis, there really is  
18 in medicine almost nothing like that, you know, where the  
19 test is the diagnosis. The test is the facilitator for the  
20 diagnosis in conjunction with clinical assessment, you know.  
21 And to me, I mean, to me I think the testing, whether it be  
22 facility PSG or home testing, really should be viewed in that  
23 light. And I think viewed in that light, you know, you might  
24 say okay, well, maybe there's some degradation of information  
25 from the home-based testing, you know, there's evidence for

00199

1 that.  
2 But you know, we've also heard a great deal of  
3 value to the clinical assessment, and I guess I can't get  
4 away from the fact that there are at least large numbers of  
5 patients who could be diagnosed with a combination of, you  
6 know, clinical diagnosis plus the home-based testing. And  
7 maybe that's not everybody, there may be people who there's  
8 still uncertainty based on that combination and they can go  
9 to facility-based PSG. But to say that, you know, it will  
10 never work, you know, to have home plus clinical assessment,  
11 you need the PSG, to me that's elevating the facility-based

12 testing to a level which I don't think is really accurate.  
13 DR. PEARSON: Marion.  
14 DR. DANIS: I really agree with that, and I think  
15 what it means is that I'm inclined to say the approaches done  
16 at home are acceptable depending upon other, you know,  
17 whether there are comorbidities, whether the prior  
18 probability is high. And so for us to answer these questions  
19 without the caveat being in there would make me nervous. I  
20 would want to say we, it seems like a reasonable approach for  
21 a test that can lead to a diagnosis if we can have those  
22 conditions on them.  
23 MS. RICHNER: I'm agreeing again. I think that the  
24 issue is no longer whether a home diagnostic test is at least  
25 as good as the sleep lab diagnostic test. I don't think

00200

1 that's the issue anymore. It's whether and how the patient,  
2 you know, the whole algorithm and what it looks like, and how  
3 we clearly, you know, identify the patient population that  
4 it's most needed.  
5 I'm going to fall back again on the Case Western  
6 study, I know the background (inaudible) and it's an  
7 opportunity to really track to see how they stratify the  
8 patient population that are really going to benefit from CPAP  
9 and how that all comes together with the clinical parameters  
10 as well as the level of the diagnostic tests. So all that I  
11 think is important. As a panel, what are we supposed to do  
12 about that? I think our responsibility first of all is to  
13 look at the evidence about whether or not this diagnostic in  
14 the home is as good as in a facility. To me it's obvious,  
15 it's good, it's there, so now we have other problems that CMS  
16 is going to have to address.  
17 DR. PEARSON: And we are being asked also to help  
18 judge the evidence in the context of how generalizable it is  
19 to community physicians and to other patient populations.  
20 But this issue of type IV and who, as Marion was saying, in  
21 what context is it, the context of a well skilled clinician  
22 with a high prior probability. You know, I am a bit  
23 concerned that we don't have very much evidence of what may  
24 be a higher risk for false positives with primary care  
25 physicians or others who have snoring patients send them for

00201

1 a type IV. I just don't know what evidence there is right  
2 now to suggest that that's going to turn up a lot of false  
3 positives.  
4 DR. BECKER: I guess I have a question. I think we  
5 should probably be considering this in view of a typical  
6 patient rather than the outlier patient, the one who is way,  
7 way out on the fifth percentile or the 95th percentile.  
8 Shouldn't we be looking to answer these questions on how we  
9 think the typical patient with OSA, how we can best analyze  
10 him and treat him?  
11 DR. JACQUES: Well, I think the major task for this  
12 particular committee specifically, you know, what is the  
13 evidence and what conclusions can be drawn from the evidence.  
14 To the extent that there may be some qualitative discussions

15 about particular patient populations or particular providers,  
16 we certainly do listen to that. But it would not be up to  
17 the committee to write an algorithm, we've heard plenty of  
18 algorithms before, including everyone who's ever written on  
19 mobility assistive equipment, which I expect most of you are  
20 familiar with. So I think that it would be helpful for the  
21 committee to focus on is the evidence adequate to, you know,  
22 make some conclusions, and then we would wrestle with the  
23 issues of provider certification, safe scope of practice, all  
24 the other things that would impact on that.  
25 So if the evidence is only adequate for a standard

00202

1 patient, then the committee could say the adequacy for a  
2 standard patient. If the evidence is not sort of sliced and  
3 diced in a way that one could, you know, do that, then I  
4 think the committee would simply make a statement on the  
5 adequacy of the evidence as a whole. And to the extent that  
6 there were other comments about specifics, then Miss Spencer  
7 and Dr. Brechner will be tasked in sorting all that out.  
8 DR. SATYA-MURTI: Actually, I was hoping Question 7  
9 would be Question 1. That makes it so much each easier.  
10 DR. PEARSON: Yes?  
11 DR. WHITES: The one comment in looking at the  
12 overview and the technical analysis, it says the ability of a  
13 portable monitor to predict (inaudible, off microphone) to be  
14 worse in home-based versus those in a specialized sleep lab.  
15 That was the overview. Again, the data we're looking at to  
16 answer the questions says, you know, specificity,  
17 sensitivity, but we're trying to apply this to an overall  
18 patient population, and we're saying that the information is  
19 not as good to predict the AHI, then you go back and say that  
20 the AHI is the item that we all really need to be looking at.  
21 What we really have is another bungled vignette that we need  
22 to be looking at as far as the history's concerned, what was  
23 the cutoff. And as I get information from 2004 to 2007,  
24 there was not a lot of additional information that answered  
25 those kinds of questions. And that was just a comment, thank

00203

1 you.  
2 DR. EDWARDS: Just to follow along with that, again  
3 with reference to the home testing device type IV, I was  
4 under the impression from the literature that I read that it  
5 doesn't measure AHI as the others do, it measures something  
6 called the respiratory disturbance index, which can be  
7 restlessness, leg movement, a number of other things. And my  
8 concern is in a generalized population that those might be  
9 interpreted as respiratory events when they have absolutely  
10 nothing to do with that and may give too many false  
11 positives.  
12 So I was concerned, first of all, if indeed it is  
13 an RDI, we haven't defined what RDI qualifies for CPAP.  
14 DR. PEARSON: Right. Yes?  
15 DR. DULLUM: I guess that I just feel that we have  
16 a system that works for Medicare patients now. I don't know  
17 whether we have a problem with access, it doesn't seem like



18 it from the presentations, and I'm just concerned that we  
19 will get home monitoring, the patients will not be in an  
20 attended situation. Medicare patients tend to have more or  
21 other comorbidities and can be at more risk. So there will  
22 be a mad rush to monitor a lot of Medicare patients because  
23 they're actually going to get paid for the test, as opposed  
24 to what it really boils down to, will we really be able to  
25 look at the comorbidities, find the problems, and

00204

1 appropriately treat the Medicare population instead of  
2 testing everybody.  
3 DR. WHITES: One other comment, being from  
4 Mississippi, I hope you're all aware that we now lead the  
5 nation in obesity, we have now accomplished the greater than  
6 30 percent threshold, but we also have the lowest birth  
7 weight, so think about that.  
8 (Laughter.)  
9 DR. PEARSON: I just want to pick up on a minor  
10 note that I hope the committee will consider and that is, we  
11 heard some comment about the fact that the AHI rises  
12 naturally as people age, I don't think there were any  
13 specific numbers on that, but if that's true, it's something  
14 where we look at international studies using cutoffs of 40 to  
15 50, we have 15, but if it rises in the 60s and 70s, then we  
16 need to know a lot more about that before we start to pay for  
17 certain numbers.  
18 We still have about 30 minutes before some people  
19 have to leave, so we have some time for conversation and time  
20 to do the voting and then wrap up early today. Any other  
21 specific comments, questions? All right. Marion, yes.  
22 DR. DANIS: There was a time (inaudible, off  
23 microphone) children, and I just for my own educational  
24 purposes, are there data out there on sleep studies on kids  
25 who are getting more and more obese? And I wonder if, you

00205

1 said the Medicaid population, and I just wanted to hear  
2 something, do we have any concern about that?  
3 DR. JACQUES: There would be very, very few  
4 children in the Medicare patient population, especially  
5 children who qualify for Medicare on the basis of some sort  
6 of disability specifically related to obstructive sleep  
7 apnea. We could from a policy point of view determine if the  
8 evidence were more in one test than another, to create some  
9 sort of other process for children, but I'm not aware that  
10 this has actually come up in terms of claims adjudication.  
11 I've never heard from contractors that they were having any  
12 specific problems or issues specifically related to children.  
13 And if there is no evidence about this particular therapy or  
14 diagnosis in children, then I think it might be more  
15 efficient for the committee to just sort of leave that issue.  
16 DR. PEARSON: Yes?  
17 DR. HIRATZKA: I would like to hear from the  
18 anesthesia colleagues on the panel a little bit more about  
19 this inference that was made for the risk of anesthesia in  
20 the diagnosis of sleep apnea, and the contribution or

21 treatment for reducing that risk.  
22 DR. BOSWELL: As an anesthesiologist, my concern  
23 after anesthesia is related to postoperative complications  
24 generally related to a specific reaction to a specific type  
25 of anesthetic or anesthesia. The anesthesia literature now

00206

1 supports the high risk of sleep apnea (inaudible, off  
2 microphone) so while we don't know the exact incidence, it  
3 may be 15 to 20 percent in a postoperative patient, but we  
4 don't have good numbers on that.  
5 But on a related question, I'm more concerned that,  
6 in a situation like that, I'm going to go ahead and treat  
7 irrespective of whether I know the patient has sleep apnea.  
8 I'll put pulse ox on, probably supplemental oxygen  
9 (inaudible, off microphone) that kind of thinking doesn't  
10 justify using CPAP without a diagnosis, because the risks are  
11 entirely different. So that's from an anesthesiology  
12 standpoint. I would like to have a way of knowing our  
13 priority.  
14 DR. BECKER: The American Society of Anesthesiology  
15 has actually looked at this and published a practice  
16 guideline for the perioperative management of patients with  
17 obstructive sleep apnea. In fact I have a copy of it right  
18 here if you would like it. Basically we realize it exists,  
19 that it's becoming more and more prevalent. The incidence of  
20 obstructive sleep apnea in surgical patients is probably  
21 higher than that of the general population because some of  
22 the patients we're taking to surgery are actually having  
23 procedures for obstructive sleep apnea, such as a  
24 uvulopalatoplasty and so forth. Whether or not they work,  
25 I'm not sure, but we don't want to get into that.

00207

1 The other problem is that it is a real problem in a  
2 postoperative patient, especially on people who are having  
3 intravenous DCA analgesia with a continuous infusion of  
4 opioids, people do get opioids as a routine post-op, and  
5 there's a lot of guidelines out there that we actually try to  
6 limit the doses of opioids, if not eliminate them altogether,  
7 in people with obstructive sleep apnea because of the  
8 frequent instances of post-op hypoxia and sometimes  
9 respiratory arrest.  
10 DR. PEARSON: I know we're trying to continue a  
11 full conversation, but since some of our colleagues have to  
12 leave at three o'clock, let's try to keep our comments as  
13 short as we can.  
14 DR. WHITES: One quick comment. One of the  
15 problems that we end up with in the Medicare population the  
16 most is that there's no reimbursement for the inpatient sleep  
17 study type II, III or IV as an inpatient. It has to go as a  
18 DRG so a patient who is discharged could come back to have  
19 the study, even if, for example, the anesthesiologist  
20 witnesses apnea, they desaturated. That is a true problem  
21 and it may be something that we might recommend, that those  
22 inpatients who have a history that's compatible and are  
23 having a procedure done, that could be an avenue of having

24 those people tested there by whatever mechanism. Since the  
25 data says it's more reliable in a facility for type II, III

00208

1 or IV, we could get that type of monitoring as an inpatient.  
2 DR. PEARSON: Yes?  
3 DR. KONSTAM: You know, I guess I just sort of want  
4 to turn back to the technology assessment, just sort of okay,  
5 you know, what is the final word or what is the summary view  
6 of the technology assessment, because that's I guess my  
7 strongest guide. And to me the statement here that I think  
8 speaks to our issues the most clearly is, you know, type III  
9 monitors may have the ability to predict AHI suggestive of  
10 obstructive sleep apnea with high positive likelihood ratios  
11 and low negative likelihood ratios compared to high cutoffs  
12 in laboratory-based PSG, especially where manual scoring is  
13 employed.  
14 Now the sentence that follows relates to type IV  
15 monitors, I won't read it, but it's a little bit more  
16 wishy-washy than that and makes me a little bit more  
17 concerned.  
18 But focusing on the type III testing, I mean, you  
19 know, you can read that as a negative sort of statement, if  
20 you want to. I read it as a positive statement in the sense  
21 that, you know, we have a gold standard that's imperfect, and  
22 you know, if you come into the test with a high probability,  
23 you come into a test that has, you know, may have high  
24 positive likelihood issues or low negative, especially when  
25 manual scoring is employed. You know, I'd say, boy, I mean,

00209

1 I'm hard pressed to arrive at a qualification of the therapy  
2 in that circumstance. So I'm just not sure how we can turn  
3 around from that and say you know what, we've got to stick  
4 with PSG. I'm having trouble with that.  
5 DR. WHITES: One of them would be then, is there  
6 such a difference statistically negative that you would do  
7 the type II, III or IV in a hospital-based area, since they  
8 seem to do better there than it does in a home base?  
9 DR. KONSTAM: I'm not following the question. I  
10 mean, most of the studies actually come from a --  
11 DR. WHITES: Looking at the studies and the way  
12 it's reported, it would appear that there was better  
13 correlation of data, less variance if it was done in a sleep  
14 area, a designated sleep area in the facility, and not  
15 necessarily in a home-based area.  
16 DR. KONSTAM: Maybe --  
17 (Inaudible colloquy, panelists speaking at the same  
18 time.)  
19 DR. KONSTAM: As I read through the review, the  
20 majority of the data came from home-based testing and I took  
21 this statement to sort of incorporate that setting.  
22 DR. TRIKALINOS: There seems to be lower diagnostic  
23 ability for the home setting. Now, there are quite a few  
24 reasons that might explain this. I think that one of the  
25 major reasons is that when you test, in this specific study,

00210

1 the two sleep studies were performed on different nights, so  
2 the night-to-night variability might explain much of this.  
3 However, this is not something that was formally submitted to  
4 testing. In this technology assessment we did not perform an  
5 analysis, only for the -- we did our analysis only for the  
6 modeling part because we needed some numbers. We did not do  
7 a meta-analysis because there's a lot of heterogeneity in the  
8 way that the actual reference standard was defined, the  
9 apnea-hypopnea index and the respiratory events, so with  
10 different monitors there was quite a bit of heterogeneity.  
11 I think the focus was not to try to give a summary  
12 of all types of monitors or all type IV monitors, given that  
13 there are also caveats about how these things get classified.  
14 DR. PEARSON: We will now move towards voting, all  
15 right?  
16 MS. ATKINSON: There are ballots in your folders  
17 with your names on them. And what we'll do is if you could  
18 fill out the ballots and then once Dr. Pearson reads off the  
19 questions, you have cards in front of you, numbered cards.  
20 You'll have to show the number that you've chosen so we can  
21 put it into a spreadsheet, and then Maria will come around  
22 and collect your sheets with the questions, okay?  
23 DR. PEARSON: All right. So, we are going to start  
24 with number one, and I will just preface it by saying some of  
25 the questions are asking whether there is enough or

00211

1 sufficient evidence to determine, and then sometimes the  
2 follow-on question will be if there is sufficient evidence,  
3 how good is it.  
4 So Number 1: How confident are you that there is  
5 sufficient evidence to determine if each of the following  
6 strategies can, in routine use, produce an accurate diagnosis  
7 of OSA for the prescription of CPAP?  
8 1.A. Diagnosis based on clinical evaluation  
9 alone, ranking from no confidence, one, to very confident,  
10 five?  
11 DR. EDWARDS: Your question specifically is, is  
12 there enough evidence?  
13 DR. PEARSON: Is there enough evidence to judge  
14 whether it can produce.  
15 (Panelists voted and votes were recorded by staff.)  
16 MS. ATKINSON: All the scores will be posted on the  
17 web site as soon as the meeting's over, so you don't need to  
18 scurry around, we'll get it up there.  
19 DR. PEARSON: 1.B, how confident are you that there  
20 is sufficient evidence to determine ... for diagnosis based  
21 on clinical evaluation plus PSG?  
22 (Panelists voted and votes were recorded by staff.)  
23 DR. PEARSON: 1.C, sufficient evidence for  
24 diagnosis based on clinical evaluation plus home testing  
25 device?

00212

1 DR. SATYA-MURTI: This is across the board, all  
2 home testing devices, right?

3 DR. PEARSON: Yes. I know we're about to split  
4 them out, but right now it is.  
5 DR. KONSTAM: Well, can I understand that?  
6 Wouldn't it be any home? We can't vote on every single one,  
7 can we?  
8 DR. JACQUES: The next one breaks it down into  
9 classes. We can't get into specific brand names and things  
10 like that, but if the committee were to decide that there  
11 were inadequate evidence to deal with some test --  
12 DR. KONSTAM: So it's not all, it's any.  
13 DR. PEARSON: Let's do any.  
14 (Panelists voted and votes were recorded by staff.)  
15 DR. PEARSON: Thank you. Moving to Question 2.  
16 All right. For each OSA diagnostic strategy for which there  
17 is enough evidence in Question 1, and I'll just pause to say  
18 that that means that you may opt out if you don't wish to  
19 vote on this one, even if you voted two or one, if you wish  
20 to vote on this, you may, but if you wish to opt out because  
21 you do not feel there was enough evidence, you may.  
22 So, for each one that you did think there was  
23 enough evidence on, how confident are you about the  
24 sensitivity and specificity ranging from one, no confidence,  
25 to five, very confident?

00213

1 We'll start with 2.A, the sensitivity, I'm sorry,  
2 specificity, the ability to identify persons who have OSA,  
3 clinical evaluation only.  
4 (Panelists voted and votes were recorded by staff.)  
5 DR. PEARSON: Thank you. How about the ability to  
6 exclude persons who do not have OSA, sensitivity, for  
7 clinical evaluation only?  
8 (Panelists voted and votes were recorded by staff.)  
9 DR. PEARSON: Going to 2.B, same question,  
10 specificity, the diagnosis is based on clinical evaluation  
11 plus PSG, the ability to identify persons who have OSA.  
12 (Panelists voted and votes were recorded by staff.)  
13 DR. PEARSON: Okay. 2.B, the second part, persons  
14 who do not have OSA.  
15 (Panelists voted and votes were recorded by staff.)  
16 MS. RICHNER: I got mine mixed up, I wanted five  
17 for the second one and four for the first.  
18 DR. BOSWELL: Where are we?  
19 DR. PEARSON: 2.B, to exclude.  
20 DR. KONSTAM: Could we vote on 2.B.1 again, because  
21 somehow I missed that.  
22 DR. PEARSON: So looking at testing specificity.  
23 (Panelists voted and votes were recorded by staff.)  
24 DR. PEARSON: Okay. Do we need to redo 2.B.2 or  
25 are we okay? We're okay. All right.

00214

1 2.C.1, 2.C, the first question. Diagnosis based on  
2 clinical evaluation plus home testing device type II, for  
3 specificity, persons who have OSA.  
4 (Panelists voted and votes were recorded by staff.)  
5 DR. PEARSON: Okay. 2.C, part two. Specificity.

6 (Panelists voted and votes were recorded by staff.)  
7 DR. PEARSON: Okay. Moving to D, I think we're  
8 getting the hang of this, diagnosis based on clinical  
9 evaluation plus home testing device type III, specificity.  
10 (Panelists voted and votes were recorded by staff.)  
11 DR. PEARSON: All right 2.D, part two, specificity,  
12 persons who do not have OSA.  
13 (Panelists voted and votes were recorded by staff.)  
14 DR. PEARSON: All right. Moving to 2.E, we're up  
15 to device type IV, the first element is ability to identify  
16 persons who have OSA, 2.E, part one.  
17 (Panelists voted and votes were recorded by staff.)  
18 DR. PEARSON: 2.E, part two, ability to exclude  
19 persons who do not have OSA.  
20 (Panelists voted and votes were recorded by staff.)  
21 DR. PEARSON: Actually, we didn't query each other  
22 what we want to do with 2.F, just oximetry. Why don't we go  
23 ahead and do it that way. Shall we talk about whether we  
24 wanted home testing other devices, or just pulse oximetry?  
25 DR. SATYA-MURTI: May we pass on that?

00215

1 DR. PEARSON: Let's pass on that.  
2 I would like to ask the committee's consent to not,  
3 you don't want to go through each of these clinical criteria.  
4 Any strong opposition? Now we can come back and talk about  
5 it otherwise, but is there opposition to that, finishing the  
6 votes and then coming back? Okay.  
7 Question 4. Now this is another one that we talked  
8 about earlier. In the middle of the question it's how  
9 confident are you that there's sufficient evidence, so here  
10 we're talking about sufficiency of evidence to determine, not  
11 whether it actually is good, bad or indifferent, okay?  
12 CPAP is currently a standard treatment of OSA.  
13 Defining successful treatment as combined subjective  
14 improvement of OSA clinical signs and symptoms and continued  
15 patient use of CPAP for two or more months, how confident are  
16 you that there is sufficient evidence to determine the  
17 ability of each of the following diagnostic strategies to  
18 accurately predict successful treatment of OSA with CPAP?  
19 I'll let you think about that for a second. All  
20 right. Let's have a vote on sufficiency of evidence for PSG  
21 plus clinical evaluation.  
22 (Panelists voted and votes were recorded by staff.)  
23 DR. PEARSON: The next one is home testing plus  
24 clinical evaluation.  
25 (Panelists voted and votes were recorded by staff.)

00216

1 DR. SATYA-MURTI: Any home testing?  
2 DR. PEARSON: Yes, any home testing. All right.  
3 Sufficiency of evidence for clinical evaluation plus trial by  
4 CPAP.  
5 (Panelists voted and votes were recorded by staff.)  
6 DR. PEARSON: And clinical evaluation alone.  
7 (Panelists voted and votes were recorded by staff.)  
8 DR. PEARSON: All right. Thank you. Let's move

9 over to Question Number 5, getting more serious, perhaps.  
10 Let's just think for a second. Now we're going to be asked,  
11 how confident are you that each of the following diagnostic  
12 strategies will accurately predict successful treatment of  
13 OSA with CPAP? One is no confidence, five is high  
14 confidence.  
15 We'll start with 5.A, if you will, PSG plus  
16 clinical evaluation.  
17 (Panelists voted and votes were recorded by staff.)  
18 DR. PEARSON: All right. Part two, thank you.  
19 Home testing plus clinical evaluation? Now here it is tough  
20 not to have them split out.  
21 DR. JACQUES: But they all managed to vote.  
22 DR. PEARSON: All right, thank you.  
23 (Panelists voted and votes were recorded by staff.)  
24 DR. PEARSON: Clinical evaluation plus trial by  
25 CPAP.

00217

1 (Panelists voted and votes were recorded by staff.)  
2 DR. PEARSON: And last, clinical evaluation alone.  
3 (Panelists voted and votes were recorded by staff.)  
4 DR. PEARSON: Thank you. Turn the page to  
5 Number 6, a single question. How confident are you that no  
6 clinically meaningful harm to patients will be caused by a  
7 trial by CPAP strategy as an alternative to strategies that  
8 require prior positive PSG or home sleep test before CPAP?  
9 It ranges from one, no confidence that there will be no  
10 clinical harm, sorry for the double negative, to five, high  
11 confidence that there is no clinical harm.  
12 (Panelists voted and votes were recorded by staff.)  
13 DR. PEARSON: Another kind of gestalt question,  
14 Question Number 7 on the last page, how confident are you  
15 that your conclusions can be generalized to, the first part  
16 is the Medicare population, ranging from one, no confidence,  
17 to five, high confidence.  
18 (Panelists voted and votes were recorded by staff.)  
19 DR. PEARSON: Thank you. And can be generalized to  
20 providers in community practice.  
21 (Panelists voted and votes were recorded by staff.)  
22 DR. PEARSON: Thank you. All right. Now that we  
23 have several members who need to leave, I'm sure that there  
24 are folks who have come a long way who would have just given  
25 anything for one more second in front of that microphone as

00218

1 we were wrestling with things in our toddler short pants  
2 here. I know that the committee spent time wrestling with  
3 this and I appreciate your input to this group's additional  
4 input. I thank you for your participation and I thank the  
5 panel members for their time, and I think we will adjourn.  
6 Thank you very much.  
7 (Whereupon, the meeting adjourned at 3:00 p.m.)  
8  
9  
10  
11

12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25