

Transcript of June 20, 2001 Meeting

*Please Note: This transcript has not been edited and CMS makes no representation regarding its accuracy.*

00001

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

HEALTH CARE FINANCING ADMINISTRATION  
Medicare Coverage Advisory Committee  
Meeting of the Drugs, Biologics  
and Therapeutics Panel

June 20, 2001

Baltimore Convention Center  
One West Pratt Street  
Baltimore, Maryland

00002

1  
2  
3  
4  
5  
6  
7  
8  
9

Panelists

Chairperson

Thomas V. Holohan, MA, MD, FACP

Voting Members

Kathy J. Helzlsouer, MD, MHS

Robert C. Johnson, MS

Ronald P. Jordan, RPh

10 Mitchell Sugarman, MBA, MS  
 11  
 12 Temporary Voting Member  
 13 Emil P. Paganini, MD, FACP, FRCP  
 14 Temporary Non-Voting Member  
 15 Paul Metzger, MD  
 16  
 17 Industry Representative  
 18 Cathleen M. Dooley, MBA  
 19 Consumer Representative  
 20 Christine M. Grant, JD  
 21  
 22 HCFA Liaison  
 23 Sean R. Tunis, MD, MSc  
 24 Executive Secretary  
 25 Kimberly Long

00003

	Page
1 TABLE OF CONTENTS	
2	
3 Opening Remarks	
4 Kimberly Long	6
5 Sean R. Tunis, MD, MSc	7
6 Clinical Background	
7 Glenn Chertow, MD	10
8 FDA Presentation	
9 Letter from Dr. Orloff read by Dr. Tunis	25
10 HCFA Presentation	
11 John Whyte, MD, MPH	28
12 Preston Klassen, MD, MHS	32
13 Scheduled Public Comments	
14 Sigma Tau Pharmaceuticals, Inc.	
15 C. Kenneth Mehrling	53
16 Brian Schreiber, MD	56
17 ESRD Workgroup	
18 Margaret Kadree, MD	82
19 Alton Ochsner Medical Foundation	
20 Jill S. Lindberg, MD	99
21	
22	
23	
24	
25	

00004

1	TABLE OF CONTENTS (Continued)	
2	Scheduled Public Comments (continued)	
3	National Org. for Rare Disorders, Inc.	
4	Abbey S. Meyers	108
5	Veritus Medicare Services	
6	Paula Bonino, MD, MPE	113
7	Carole Hernandez	121
8	Edwin S. Scott III	125
9	UCLA Schools of Medicine	
10	Joel D. Kopple, MD	131
11	American Association of Kidney Patients	
12	Kris Robinson	140
13	Ingenix Pharmaceutical Services	
14	Gilbert Alexander Fleming, MD	143
15	Renal Beginnings, LLC	
16	Vyoone Lewis, PhD, MPH	151
17	Lunch	161
18	Review of Evidence by Panel Members	
19	Thomas Holohan, MA, MD, FACP	161
20	Cathleen M. Dooley, MA	174
21		
22		
23		
24		
25		

00005

1	TABLE OF CONTENTS (Continued)	
2	Open Public Comments	182
3	Open Panel Deliberation	195
4	Final Recommendations/Voting	252
5	Adjournment	281
6		
7		
8		
9		
10		
11		
12		
13		
14		

15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

00006

1 PANEL PROCEEDINGS

2 (The meeting was called to order at  
3 8:35 a.m., Wednesday, June 20, 2001.)

4 MS. LONG: Good morning and welcome,  
5 panel chairperson, members and guests. I am  
6 Kimberly Long, Executive Secretary of the Drugs,  
7 Biologics and Therapeutics Panel of the Medicare  
8 Coverage Advisory Committee. The panel is here  
9 today to hear and discuss presentations regarding  
10 the use of levo-carnitine in end stage renal  
11 disease patients.

12 The following announcement addresses  
13 conflict of interest issues associated with this  
14 meeting and is made part of the record to preclude  
15 even the appearance of impropriety. The conflict  
16 of interest statutes prohibit special government  
17 employees from participating in matters that could  
18 affect their or their employers' financial  
19 interests. To determine if any conflict existed,  
20 the Agency reviewed all financial interests  
21 reported by the panel participants. The Agency  
22 has determined that all members may participate in  
23 the matters before the panel today.

24 With respect to all other participants,  
25 we ask in the interest of fairness that all

00007

1 persons making statements or presentations  
2 disclose any current or previous financial  
3 involvement with any firm whose products or

4 services they may wish to comment on. This  
5 includes direct financial investment, consulting  
6 fees, and significant institutional support.

7 Also for the record, voting members  
8 present for today's panel meeting are Kathy  
9 Helzlsouer, Robert Johnson, Ronald Jordan,  
10 Mitchell Sugarman, Emil Paganini. Dr. Thomas  
11 Holohan will vote in the event of a tie. A quorum  
12 is present and no one has been recused because of  
13 conflicts of interest.

14 And now I would like to turn the  
15 meeting over to Dr. Sean Tunis and Chairman Dr.  
16 Thomas Holohan, who will ask the panel members to  
17 introduce themselves and disclose for the record  
18 any involvement with the topics to be presented.

19 DR. TUNIS: Thanks, Kimberly. Welcome  
20 again, panelists, and welcome to our guests and  
21 observers. We should have an interesting meeting  
22 today. The only additional housekeeping to do is  
23 just let you know that we are still operating  
24 under the rules of the, that the Executive  
25 Committee will review and ratify the

00008

1 recommendation made by this panel. The change in  
2 that, the change that is planned to take place  
3 October 1st is that the Executive Committee won't  
4 have a ratifying function in the future, but since  
5 this panel meeting is taking place still under our  
6 old charter, it is likely but not certain that the  
7 Executive Committee will also consider this issue  
8 and ratify whatever recommendations are made  
9 today.

10 We will, since some of you were asking,  
11 in terms of the specific questions that you will  
12 be addressing today, those will be presented by  
13 Dr. Klassen and Dr. John Whyte, so we will be  
14 getting into that part of the presentation.

15 All I would like to do now is turn it  
16 over to Dr. Holohan and have Dr. Holohan introduce  
17 himself and the rest of the panelists introduce  
18 themselves, and to state for the record whether  
19 they do have any conflicts that they need to

20 disclose.

21 DR. HOLOHAN: Thank you, Sean. I am  
22 Tom Holohan, I am the chair of the panel, and the  
23 chief of patient care services in the Veterans  
24 Health Administration in Washington D.C. I have no  
25 interest in this issue or this product one way or

00009

1 the other.

2 DR. JORDAN: I am Ron Jordan, HCaliber  
3 Consulting Corporation, no interests or conflicts  
4 with this product.

5 MR. SUGARMAN: I am Mitch Sugarman,  
6 director of medical technology assessment for  
7 Kaiser Permanente. No interests or conflicts.

8 COMMISSIONER GRANT: I am Commissioner  
9 Chris Grant, Commissioner of Health and Senior  
10 Services for New Jersey, and I have no interest or  
11 conflict in this product.

12 DR. METZGER: Paul Metzger, carrier  
13 medical director for DMERC Region C. No interests  
14 or conflicts.

15 DR. HELZLSOUER: I am Kathy Helzlsouer,  
16 a medical oncologist and professor of epidemiology  
17 at the Johns Hopkins School of Public Health and I  
18 have no interest or conflict in this product.

19 MS. DOOLEY: I'm Cathy Dooley, I'm the  
20 industry rep on this panel, a nonvoting member,  
21 and I have no conflicts.

22 DR. PAGANINI: Emil Paganini, section  
23 head of dialysis and extracorporeal therapy at the  
24 Cleveland Clinic.

25 MR. JOHNSON: I am Robert Johnson,

00010

1 assistant dean at the college of pharmacy,  
2 Northwestern University in Glendale, Arizona, and  
3 have no conflict of interest.

4 DR. TUNIS: For the next part of the  
5 meeting, we have invited, as we do for all our  
6 panel meetings, an independent expert to simply  
7 review the basic clinical and scientific  
8 background on the issue we are to discuss today

9 and for that purpose, we have invited Dr. Glenn  
10 Chertow to present on this background clinical  
11 information, and then we will move on through the  
12 agenda.

13 DR. HOLOHAN: Sean, I wanted to raise  
14 an issue. We had discussed earlier the  
15 possibility that time permitting, individual  
16 panelists could ask clarifying questions of any of  
17 the people presenting oral testimony. With  
18 Kimberly being the appropriate time keeper to keep  
19 us honest, I think we should make that opportunity  
20 available to anybody on to the panel.

21 DR. TUNIS: Hopefully there will be  
22 time for questions, both during the, or following  
23 the formal presentation and also as we get into  
24 the open panel deliberations, any panelist is  
25 invited to reinvoke any member of the audience who

00011

1 has spoken either in the public comment period or  
2 any of the other people who have spoken, to direct  
3 questions to anyone in the audience at any point  
4 during the open deliberations, so there will be an  
5 additional opportunity to ask questions of the  
6 experts and guests.

7 DR. CHERTOW: Thank you, committee  
8 experts and guests. Thank you for inviting me. I  
9 will keep this very brief, but I will remain at  
10 the meeting for the day if you have any additional  
11 questions or issues.

12 I wanted to just raise a couple of  
13 points, if I can, just some reasons why I might be  
14 qualified to comment here. Thanks to Dr. Kopple,  
15 who was the chair of our work group, I was  
16 appointed vice chair of K/DOQI, a nutrition work  
17 group charged with reviewing and synthesizing  
18 information regarding levo-carnitine. I have  
19 board certification in internal medicine,  
20 nephrology and nutrition support. I serve as an  
21 associate editor to relevant nutrition journals,  
22 and practice as an academic nephrologist and do  
23 consider myself an advocate for persons with ESRD.

24 I have no financial relationship with

25 Sigma Tau, I receive no research funding for

00012

1 L-carnitine research. I'm on the full-time  
2 faculty of UCSF. I have served in an advisory  
3 capacity or received research funding from other  
4 companies, but not from any relevant to the  
5 presentations today.

6           Very briefly, L-carnitine is a water  
7 soluble substance, which is relevant in that  
8 dialysis removes water soluble substances, that  
9 facilitates transport of long chain fatty acids,  
10 which metabolizes fat into mitochondria, which are  
11 parts of the cell. The majority of L-carnitine is  
12 derived from dietary sources, principally dietary  
13 protein. Deficiency states which are clear,  
14 associated with acidosis in persons, usually  
15 children, with inborn errors of metabolism, for  
16 example, methylmalonic aciduria and other  
17 childhood diseases of acidosis.

18           But there are a variety of states of  
19 acquired L-carnitine deficiency, one of which will  
20 be addressed today, and one could become  
21 L-carnitine deficient by one of three mechanisms.  
22 Either there could be decreased L-carnitine  
23 intake; this might occur in malnutrition  
24 particularly among individuals with very low  
25 dietary protein intake and individuals undergoing

00013

1 severe dietary restrictions or those on  
2 perenteron nutrition who fail to have  
3 supplementation with carnitine.

4           There can be binding of L-carnitine,  
5 making it inactive to do its work in the metabolic  
6 machinery. The most common way that this is  
7 binded is with the anticonvulsant drug valproic  
8 acid or Depakote. This is another often forgotten  
9 acquired state of L-carnitine deficiency.

10           And then any form of increased  
11 L-carnitine clearance, which appears to occur in  
12 the setting of other anticonvulsant uses,  
13 particularly carubinoase or Tegretol use and in



14 dialysis, because of the fact that the molecule is  
15 water soluble, as I mentioned earlier.

16           Reduction ratios of the three carnitine  
17 compounds are in excess of 50 percent with the  
18 usual dialysis prescriptions that are achieved.

19           There are a variety of proposed  
20 indications for levo-carnitine in end stage renal  
21 disease. They include among them asthenia,  
22 malaise, muscle weakness, intradialytic cramps and  
23 hypotension, cardiomyopathy, erythropoietin  
24 resistant anemia, and what I put in quotes,  
25 "quality of life."

00014

1           These indications are compelling, and  
2 not to suggest that HCFA doesn't have their  
3 interests in the patients, clearly they do, but  
4 there are cost implications that are relevant to  
5 HCFA as well. To the person with ESRD, asthenia,  
6 muscle weakness and intradialytic symptoms are  
7 extremely important, they contribute greatly to  
8 the overall sense of well being or lack thereof,  
9 and it's worth noting that levels of physical  
10 activity even for healthy persons with ESRD are  
11 markedly reduced. We showed in a recent  
12 publication that even for a group of healthy  
13 people on dialysis, that their overall level of  
14 physical activity measured by a three-dimensional  
15 accelerometer was similar to the levels of  
16 physical activity achieved by persons with  
17 multiple sclerosis.

18           So these kind of very subtle difficult  
19 to measure symptoms are considerably important to  
20 the people with ESRD. To the HCFA, I gather you  
21 all have changed your name now, but cardiomyopathy  
22 is relevant in that hospitalization for congestive  
23 heart failure is extremely common, it occurs in  
24 more than 10 percent of patients on dialysis per  
25 year, and it's a very costly complication, and

00015

1 with coverage for erythropoietin, it would be in  
2 the interests of a coverage agency to consider

3 whether this agent was effective in controlling  
4 erythropoietin resistance.

5           Very briefly, I will describe for you  
6 the process that we undertook with the K/DOQI work  
7 group. I have to say, I was charged by Dr. Kopple  
8 in part because I'm objective and trained in  
9 epidemiology, and also in part because I had had  
10 no prior research experience with the compound, so  
11 I could as the subleader of this segment of the  
12 clinical practice guideline development be as  
13 objective as possible.

14           We reviewed the levo-carnitine studies  
15 based on evidence criteria which we actually  
16 modified, compared with the evidence criteria for  
17 the rest of the guideline, because of the overall  
18 paucity of randomized clinical trials and other  
19 large studies. The work group is a ten-person  
20 group, chaired by Dr. Kopple, comprised of seven  
21 MDs and three very experienced registered  
22 dietitians, and the group was coordinated -- the  
23 literature review and process was coordinated by  
24 some excellent Rand scientists.

25           As in all evidence based guideline

00016

1 development projects, randomized clinical trials  
2 were emphasized. The following studies which were  
3 included in your packet for today, the Brass,  
4 Kletzmayer, Semeniuk and Thomas studies were not  
5 reviewed due to the timing of publication since we  
6 had to limit our review through I believe  
7 mid-1998.

8           And our general summary of the work  
9 group findings as you see them published in the  
10 American Journal of Kidney Disease was that the  
11 totality of evidence was in unimpressive, but  
12 there was a known risk of functional deficiency  
13 and potential consequences and a favorable side  
14 effect profile. So the work group concluded that  
15 a therapeutic trial would be reasonable if other  
16 causes of symptoms, for instance, inadequate  
17 dialysis or pharmacologic therapy for heart  
18 disease had not been identified with thorough

19 investigation.

20           And obviously as the development of a  
21 clinical practice guideline, these recommendations  
22 were not intended to direct coverage decisions.  
23 And with that, I'll stop and be available, should  
24 you have any other questions.

25           DR. TUNIS: Go ahead, Kathy.

00017

1           DR. HELZLSOUER: I wonder if you could  
2 tell me, explain to me what K/DOQI is, and the  
3 panel, and give me a little bit background on  
4 that.

5           DR. CHERTOW: Sure. K/DOQI is the  
6 evolution of what used to be called NKF DOQI or  
7 just DOQI, which is the Dialysis Outcomes Quality  
8 Initiative. This was a series of clinical  
9 practice guidelines which were developed and led  
10 by the National Kidney Foundation. The name has  
11 been changed from Dialysis Outcomes Quality  
12 Initiative to Kidney Disease Outcomes Quality  
13 Initiative, perhaps for the same reasons that HCFA  
14 is changing their name.

15           But the National Kidney Foundation felt  
16 that clinical practice guidelines could extend  
17 beyond dialysis into earlier stages of kidney  
18 disease, so they simply changed the name. But  
19 this is a process which has led to, thus far, the  
20 publication of five clinical practice guidelines,  
21 one for adequacy of hemodialysis, one for adequacy  
22 of peritoneal dialysis, one for management of  
23 anemia, and one for management of the dialysis  
24 vascular axis. And ours, which Dr. Kopple led,  
25 was the guideline for nutrition in chronic renal

00018

1 failure.

2           DR. HELZLSOUER: The other question I  
3 had, maybe you can educate me a little bit about  
4 carnitine deficiency in general, so for even other  
5 people that have it, what are the symptoms and if  
6 you replaced that, what's the evidence or did you  
7 look at that evidence at all?

8 DR. CHERTOW: Well, many of those  
9 papers were included in the packet. Among  
10 individuals with end stage renal disease. I'm not  
11 a pediatric metabolist, but some of these  
12 pediatric states of carnitine deficiency are  
13 associated with acidosis, very poor growth, and  
14 other complications. There are some states of  
15 carnitine deficiency which lead to rhabdomyolysis  
16 or muscle breakdown, because of carnitine  
17 deficiency in the muscle. And in adults, the  
18 complications can include acidosis, but  
19 metabolically more commonly include hyperammonemia  
20 or high levels of blood ammonia because of the  
21 role carnitine plays in the urea cycle, and  
22 typically muscle weakness, muscle symptoms.

23 DR. HELZLSOUER: Thank you.

24 DR. HOLOHAN: Did you address the route  
25 of administration in reviewing the data?

00019

1 DR. CHERTOW: We felt that the evidence  
2 overall, the number of very high quality studies  
3 that have been conducted using either oral or  
4 intravenous levo-carnitine were very small, and so  
5 we specifically didn't address the intravenous  
6 versus oral administration issue in that review.  
7 It was hard enough to amass studies that were  
8 really high quality, as judged not only by the  
9 work group but by the Rand scientists.

10 DR. HOLOHAN: Thank you.

11 DR. PAGANINI: Just a quick one. The  
12 DOQI group is not just one society, but actually  
13 the getting together of all national renal  
14 societies that have participated in developing  
15 these guidelines, so it is in the true sense, the  
16 development of true practice guidelines, across  
17 not only single society expertise, but multiple  
18 society expertise, not only across one  
19 subspecialty, but multiple subspecialties, to also  
20 include patients and patient advocacies,  
21 et cetera, industry.

22 So these guidelines have in fact been  
23 quite vigorously developed and have been used by

24 HCFA and others as a basis for a lot of decisions  
25 internally as well as externally. For example the

00020

1 (inaudible) program looks through its networks to  
2 look for quality maintenance and the network  
3 system will in fact use these guidelines to  
4 establish methods of evaluating th efficacy of  
5 dialysis, et cetera, so the guidelines carry with  
6 them some strong evidence based and rigorous  
7 reviews for development of guidelines.

8 DR. CHERTOW: And based on, the first  
9 four have been updated, and the intention was that  
10 we would have periodic updates with accumulation  
11 of new information.

12 DR. METZGER: That last update was June  
13 2000.

14 DR. CHERTOW: Yes, but the nutrition  
15 practice guidelines were not updated along with  
16 the other four, they had come after. Ours were  
17 published in 1999 or in 2000?

18 DR. KOPPLE: June 2000.

19 DR. TUNIS: Dr. Chertow, this is again  
20 related to the DOQI guide lanes. Is it possible  
21 to describe at all the sort of standard of  
22 evidence, if you will, that was used in terms of  
23 you know, were recommendations based on both the  
24 expert opinion as well as the scientific articles,  
25 or basically if there weren't explicit high

00021

1 quality kinds of articles, was no recommendation  
2 made, or where did DOQI sort of fall in?

3 DR. CHERTOW: In the nutrition practice  
4 guidelines, most of the guidelines were based on a  
5 combination of evidence and opinion. There were  
6 very few in which the evidence was so strong that  
7 some expert opinion wasn't required.

8 DR. TUNIS: And was there actually a  
9 formal rating system for that, did you give them  
10 A, B and C depending on that, or how did it work?

11 DR. CHERTOW: Well, that was  
12 coordinated principally by a scientist at Rand,

13 Paul Chakel, who had done that very early in the  
14 process, where we basically rated all of the  
15 articles as either evidence by a very rigorous  
16 series of criteria, or opinion, and actually those  
17 articles which were not deemed of sufficient  
18 quality to be considered evidence by their  
19 definition were not included in the review, almost  
20 as if evidence ignored by the panel, although it  
21 could certainly be incorporated in the opinion  
22 components of the guidelines, but not, but they  
23 wouldn't be included if the guideline as  
24 designated as evidence, it would only be based on  
25 the few studies that had been considered evidence

00022

1 by the group. And that was done formally and  
2 quite early in the process.

3 DR. TUNIS: And so within that range  
4 again, where did the recommendations related to  
5 carnitine supplementation, where did those fall  
6 within that spectrum?

7 DR. CHERTOW: They were based on a  
8 combination of evidence and opinion. But again,  
9 because the quality of the evidence was less in  
10 that domain than in some of the other areas that  
11 we studied, there was probably more opinion and  
12 less evidence, though it was clearly a combination  
13 of the two. Would you agree with that,  
14 Dr. Kopple?

15 MR. SUGARMAN: I'm sorry to keep going  
16 over the same issue, but is it fair to say then  
17 that the way you reconciled an evidence based  
18 process with the lack of evidence was by  
19 supplementing opinion?

20 DR. CHERTOW: Exactly.

21 MR. SUGARMAN: Thank you.

22 DR. HOLOHAN: I should take the  
23 opportunity to do some marketing Dr. Chakel, whom  
24 Dr. Chertow referred to, as a researcher full time  
25 at the West Los Angeles Veterans Administration

00023

1 Medical Center.

2 DR. TUNIS: The only thing I want to  
3 mention about DOQI and I am not an expert on this,  
4 but could somebody describe it, it's my  
5 understanding that the funding for DOQI came  
6 entirely from AMGEN, or was it combined AMGEN and  
7 the National Kidney Foundation or what was the  
8 sort of sponsorship and -- but I know it was  
9 contracted then to an independent consulting firm  
10 or something, but can you just describe that  
11 arrangement at all?

12 DR. CHERTOW: I could, but since  
13 Dr. Kopple is the past president of the National  
14 Kidney Foundation, he could probably comment on it  
15 more knowledgeably than I.

16 DR. TUNIS: Is that all right?

17 DR. HOLOHAN: Sure.

18 DR. KOPPLE: My name is Joel D. Kopple,  
19 K-O-P-P-L-E, and you're almost correct. The first  
20 four guidelines and a number of the ones that are  
21 currently in process are funded not entirely but  
22 largely by AMGEN. The nutrition, chronic renal  
23 failure guidelines in fact were funded not  
24 entirely, but largely by Sigma Tau.

25 Can I make one other comment to this

00024

1 young woman about, in answer to your question?  
2 Carnitine is essential for life. Children with  
3 inborn errors of carnitine synthesis usually die  
4 at the age of eight, nine, ten, 11 years old.  
5 Their death is usually due either to intractable  
6 congestive heart failure, they develop a massive  
7 dilated cardiomyopathy, or to fatal arrhythmias.  
8 In these children, treatment with carnitine is  
9 life saving, completely; if you get them in time,  
10 the treatment essentially saves their life, they  
11 may be able to live a normal life style.

12 DR. HELZLSouer: What about the other  
13 area? You mentioned the valproic acids. Are  
14 there other ones that might be a little more  
15 assimilated in inborn errors of metabolism so the  
16 situation we're dealing with if there is a  
17 deficiency here in what has, what's known about

18 that?

19 DR. KOPPLE: I'm going to have to take  
20 the same defense that Dr. Chertow did, I'm not a  
21 pediatrician. My reading of that literature is in  
22 fact that it will reduce the severity of the  
23 lactic acid or the other acidemias, organic  
24 acidemias that occur. The result is not as  
25 dramatic, in my understanding, as it is if in fact

00025

1 carnitine was not synthesized.

2 DR. HELZLSOUER: Thank you.

3 DR. TUNIS: Thank you. Any other  
4 questions for Dr. Chertow? Again, he will be  
5 available later in the day.

6 I believe the next item on the agenda  
7 is the FDA presentation. The FDA staff person  
8 wasn't able to attend in person and they did  
9 within the last few minutes, they were finally  
10 able to submit to us by fax a statement which I'm  
11 going to read to you all and which has just been  
12 circulated to the committee. We will make copies  
13 of this available and put it on the table outside  
14 for all members of the public, so this is the FDA  
15 statement:

16 The memo is from Dr. David G. Orloff,  
17 Director, Division of Metabolic and Endocrine Drug  
18 Products, it's a memo to Dr. John Whyte at HCFA.  
19 The subject: Brief summary of basis of approval  
20 of Carnitor for the prevention and treatment of  
21 carnitine deficiency associated with end stage  
22 renal disease in patients undergoing chronic  
23 hemodialysis.

24 Brief rationale for the approval:  
25 Patients with ESRD can develop secondary carnitine

00026

1 deficiency as a result of poor nutrition,  
2 inadequate endogenous biosynthesis, and through  
3 dialytic losses. Clinical manifestations of  
4 carnitine deficiency generally do not ensue until  
5 levels fall to less than 20 percent of normal.  
6 Under current standard of care, which includes



7 carnitine supplementation, hemodialysis patients  
8 do not develop clinically manifest carnitine  
9 deficiency. It would, furthermore, be unethical  
10 to subject patients to the risks and discomforts  
11 of frank carnitine deficiency in a study designed  
12 to assess the clinical benefit of carnitine  
13 supplementation. There is ample evidence that  
14 carnitine is an essential metabolic intermediate  
15 and that carnitine deficiency, regardless of  
16 cause, can be a serious and life threatening  
17 condition. In light of the safety of carnitine,  
18 an overall salutary effect of carnitine  
19 supplementation in ESRD can be inferred from data  
20 showing that carnitine levels are maintained or  
21 increased in these patients who are subject to  
22 carnitine depletion and ultimately, therefore, to  
23 clinical carnitine deficiency.

24           Review Summary: In response to a  
25 letter from the Agency in 1988 denying approval

00027

1 for the proposed indication in ESRD patients  
2 because of lack of evidence of clinical benefit,  
3 the sponsor submitted new data from two placebo  
4 controlled trials of the safety and efficacy of  
5 thrice weekly Carnitor injections after dialysis.  
6 These data addressed the effect of the treatment  
7 on serum carnitine levels as well as on  
8 biochemical parameters such as predialysis BUN,  
9 creatinine, phosphorus, on hematocrit, and on the  
10 incidence of hypotensive episodes in association  
11 with dialysis.

12           The data addressing the effect of  
13 carnitine at three different doses administered  
14 three times weekly after dialysis show that the  
15 therapy readily increases in predialysis carnitine  
16 levels. There were no safety issues raised in  
17 review.

18           The FDA clinical team leader's review  
19 notes the following: "The data clearly support the  
20 efficacy of intravenous levo-carnitine in  
21 maintaining or increasing carnitine serum levels  
22 in ESRD patients on dialysis; however, they do not

23 support improvements in clinical status or  
24 exercise tolerance, nor do they provide convincing  
25 evidence for decreases in BUN, creatinine,

00028

1 phosphorus, for increases in hematocrit, and for  
2 decreases in hypotensive episodes. Levo-carnitine  
3 supplementation after dialysis is a safe and  
4 effective means by which to treat or prevent  
5 clinical carnitine deficiency in ESRD."

6           And that's the end of that memo which  
7 you all have a copy of. And again, there will be  
8 copies of this made available for everyone in the  
9 audience. And given that I won't be able to take  
10 questions on that, we will move on to the HCFA  
11 presentation.

12           DR. WHYTE: Good morning, I am John  
13 Whyte, and over the next 20 minutes Dr. Klassen  
14 and I are going to do the HCFA presentation, and  
15 as you heard from Dr. Chertow, we do have a new  
16 name, so it is a misnomer and so I guess I really  
17 should call it the CMS presentation, for the  
18 Center for Medicare and Medicaid Services. Why  
19 it's not CMMS, I don't know, but it's CMS, for the  
20 Center for Medicare and Medicaid Services.

21           Now, you have heard from Dr. Chertow  
22 this morning about the clinical background of  
23 carnitine and carnitine deficiency, and Dr. Tunis  
24 has read a letter from the FDA, and you have in  
25 the packets that were sent to you prior to this

00029

1 meeting the FDA approval of parenteral  
2 levo-carnitine. I am not going to discuss the  
3 clinical background or the FDA process. It is  
4 important to our process and the point that I want  
5 to make is that FDA approval is a prerequisite but  
6 it is not a guarantee for coverage.

7           Now I'm going to talk a little bit  
8 about the reasons why we referred it to the  
9 Medicare Coverage Advisory Committee, and this  
10 issue first came to our attention several months  
11 ago when different carriers had different policies

12 on carnitine, and you have some copies of the  
13 local medical review policies which are the  
14 policies of the carriers, in the packet that was  
15 sent to you prior to this meeting.

16           When we started to look into this  
17 issue, what we found is that different groups had  
18 very different opinions on the same data. So  
19 Dr. Tunis, myself and others felt that it was  
20 important to have an open meeting where all  
21 participants could present their interpretation of  
22 the data, and we thought that would best be done  
23 with a systematic literature review, which we are  
24 going to go over, and then everyone would have an  
25 opportunity to present their opinions and everyone

00030

1 else would have opportunities to present their  
2 opinions on those people's opinions, so hopefully  
3 we will be able to do that today.

4           I talked about it all starts with a  
5 systematic literature review, and when you first  
6 start about doing this review, you have to think,  
7 what are the questions that we're trying to ask.  
8 So the questions that we determined were important  
9 relating to carnitine ESRD patients is first, what  
10 is the evidence that ESRD patients on hemodialysis  
11 develop carnitine deficiency?

12           We're going to stipulate up front that  
13 ESRD patients can develop carnitine deficiency, so  
14 we're not going to discuss at this meeting today  
15 whether or not ESRD patients develop carnitine  
16 deficiency but for the sake of discussion, let's  
17 assume that they can.

18           The second question is, what is the  
19 evidence that L-carnitine deficiency is involved  
20 in the pathogenesis of disease.

21           Third, what's the evidence that the  
22 administration of L-carnitine to ESRD patients  
23 improves clinical outcomes.

24           And then finally, what is the evidence  
25 that one particular route of administration or

00031

1 dosage regimen is superior.

2           So those were the questions that we  
3 started off when we wanted to do our systematic  
4 literature review. So in terms of our search  
5 strategy we used the words carnitine, kidney  
6 failure, chronic or renal dialysis, or dialysis.

7           What we found were 186 articles. 44  
8 were excluded on the first pass, either because  
9 they were non-English, they were case reports,  
10 they didn't deal with human subjects, they dealt  
11 with acute renal failure, and we were primarily  
12 interested in chronic renal failure. Of the  
13 remaining 142 studies, there were 16 randomized  
14 clinical trials, 51 prospective clinical trials,  
15 30 case controls or cohort studies, 22 reviews or  
16 editorials, and 23 letters to the editors.

17           So from this 142 articles, we had to  
18 develop some inclusion criteria and apply the  
19 inclusion criteria to these articles. These  
20 inclusion criteria were that they had to deal, the  
21 studies -- first of all they had to be clinical  
22 trials, but secondly, they had to deal with human  
23 ESRD subjects, they had to have a minimum of 10  
24 subjects in total, had to be published after 1980,  
25 had to have clinically relevant outcome measures.

00032

1 And by these clinically relevant outcome measures,  
2 we meant things such as cardiac function, lipid  
3 profile, hematology issues such as anemia or  
4 issues relating to coagulation. Had to relate to  
5 some metabolic outcome, they had to relate to  
6 muscle and exercise strength, or there had to be  
7 some quality of life issue or intra or  
8 interdialytic symptoms.

9           So after applying these inclusion  
10 criteria, we ended up with 36 articles, including  
11 all the RCTs that we started off with from the  
12 beginning, which were 16, 19 prospective clinical  
13 trials, and one case series.

14           At this point I'm going to turn to  
15 Dr. Preston Klassen, who is a nephrologist working  
16 with us in coverage, who will discuss the

17 literature review.

18 DR. KLASSEN: Thank you, Dr. White. My  
19 name is Preston Klassen. First I will make some  
20 comments about the 36 studies reviewed, and then  
21 summarize study data according to five categories  
22 of clinical condition to outcomes.

23 The overall subject population from the  
24 36 studies is approximately 1,100 subjects, which  
25 is a bit less than the summation of subjects

00033

1 across all the articles because there are several  
2 pairs of articles reporting different outcomes  
3 from the same study population. 24 studies  
4 investigated intravenous administration of  
5 carnitine, 12 studies investigate oral  
6 administration, and 4 looked at placing carnitine  
7 in the dialysate solution that equilibrates with a  
8 patient's plasma during the dialysis treatment  
9 procedures. These numbers add up to more than 36  
10 because several studies looked at multiple routes  
11 of carnitine administration.

12 I will also note that the vast majority  
13 of the studies examined L-carnitine. There were a  
14 small number of investigations of DL-carnitine  
15 prior to and in the early 1980s. L-carnitine was  
16 reported to cause a myosteoma-like neuromuscular  
17 syndrome that appeared to be dose dependent;  
18 however, that formulation is no longer used and  
19 similar symptoms have not been reported with  
20 L-carnitine.

21 In general, the number of subjects in  
22 the studies was small. In fact, only 9 of the 36  
23 studies enrolled more than 30 subjects. The study  
24 duration varied from as little as four weeks to  
25 greater than one year, with a mean follow-up of

00034

1 23.3 weeks. A majority of these studies did  
2 utilize double blinded methodology when a placebo  
3 control group was present. However, the  
4 statistical analysis of active therapy and control  
5 groups in a number of the studies utilized within

6 group comparisons between baseline and end of  
7 therapy parameters instead of between group  
8 comparisons. This type of within group analysis  
9 is less rigorous than between group comparisons  
10 and might affect the significance of the overall  
11 study outcome in that it does not account for  
12 potential placebo effects, and we'll take a look  
13 at an example of that as we review the study data.

14 We found that the reviewed studies  
15 reported on a wide variety of outcome measures,  
16 primarily putative surrogate measures. This  
17 variety of outcomes makes it difficult to talk  
18 about aggregate results across all the articles.  
19 We therefore grouped the studies into five general  
20 categories which were similar to categories used  
21 in the K/DOQI literature review, and those  
22 categories are: Anemia; this is primarily  
23 reporting on changes in hemoglobin, hematocrit and  
24 recombinant human erythropoietin requirements.  
25 Exercise capacity; this category includes

00035

1 primarily objective measurements of exercise  
2 muscle strength and changes in muscle fiber  
3 morphology by histologic examination of biopsy  
4 tissue. Cardiac function, which basically  
5 includes the presence of arrhythmia and  
6 quantification of ejection fraction. The next  
7 group is intra and interdialytic complications or  
8 symptoms; this primarily includes interdialytic  
9 hypotension, muscle cramps, fatigue, asthenia, as  
10 measures of general well being or quality of life.

11 Now, intradialytic hypotension has been  
12 categorized under the cardiac dysfunction category  
13 in some reviews, and cardiac dysfunction can cause  
14 vascular instability during dialysis. However,  
15 other noncardiac etiologies for hypotension do  
16 exist, and that includes excessive fluid removal  
17 during the dialysis procedure. In the absence of  
18 a specific examination of cardiac function, we  
19 consider hypotension under this symptom or  
20 complication category.

21 At the final category is lipid

22 metabolism.

23 I will now ask the panel to follow  
24 along in the handout that we presented as most of  
25 the summary tables may be difficult to read on the

00036

1 slides. The first category is the effect of  
2 carnitine on anemia parameters. 11 studies were  
3 reviewed. In five of the articles anemia was a  
4 primary focus, in the others it was a secondary  
5 outcome. All but two included less than 30  
6 patients. Eight studies involved IV carnitine,  
7 two involved oral carnitine, and one delivered  
8 carnitine via dialysate.

9 Since iron status is an important  
10 factor in the management of anemia in end stage  
11 renal disease patients, we looked at whether each  
12 study incorporated measures of iron status. Six  
13 did, including one study which used active iron  
14 therapy in all subjects, and five did not.

15 Hemoglobin was reported in six of the  
16 studies and not reported in five. Of the six that  
17 did report on hemoglobin, five showed no change  
18 after carnitine therapy and one showed a  
19 significant increase.

20 Of the seven studies reporting on  
21 hematocrit, three reported an increase in  
22 hematocrit after carnitine therapy, two reported  
23 no change in the active carnitine group but a  
24 decreased hematocrit in the placebo control group,  
25 and three showed no change with either no control

00037

1 group or no change in the control group.

2 This summary on the table does include  
3 a subgroup analysis which done in the paper by  
4 Caruso. In Caruso's study, 31 patients were  
5 randomized to six months by their IV carnitine  
6 therapy, one gram after each dialysis treatment,  
7 or placebo. After six months, both groups were  
8 followed for three months without any  
9 intervention, no carnitine, no placebo, for a  
10 total of nine months. Overall, there was no

11 statistical change in hematocrit in either group  
12 at phase two or the end of the six-month  
13 intervention, or at phase three, the end of the  
14 follow-up. However, when a subgroup analysis was  
15 performed on subjects older than 65, which was the  
16 majority of the study population, comprising 22  
17 patients, the placebo group had a lower hematocrit  
18 at the end of the follow-up at month nine, while  
19 the carnitine therapy group had no significant  
20 change.

21           Turning to recomitant human  
22 erythropoietin requirements, of the 11 studies,  
23 five reported on erythropoietin requirements. Of  
24 these, the study by Matsumura was a correlation  
25 between baseline carnitine levels and

00038

1 erythropoietin requirements without any carnitine  
2 supplement intervention. In this case series,  
3 erythropoietin requirements and indices of red  
4 cell hemolysis both correlated inversely with  
5 total and free plasma carnitine levels.

6           Of the four interventional trials,  
7 three showed a decrease in erythropoietin  
8 requirements after carnitine therapy, one showed  
9 no change in the carnitine group, but an increase  
10 in EPO requirements in the control group, and one  
11 showed no change overall.

12           This summary again includes that Caruso  
13 subgroup analysis. Overall, Caruso showed no  
14 change in the carnitine treated group, but an  
15 increase in EPO needs for the placebo group at the  
16 end of the follow-up, at nine months. In the  
17 subgroup analysis, so just patients over the age  
18 of 65, patients in the carnitine group did have  
19 lower EPO requirements after six months, and that  
20 requirement rose again significantly after three  
21 months of receiving nothing.

22           I will also point out two other studies  
23 showing a decrease in erythropoietin measurements.  
24 In Kletzmayer's randomized control trial of 40  
25 patients over eight months, the carnitine group



00039

1 had a nonsignificant decrease in erythropoietin  
2 requirements. Another measure that they used, the  
3 erythropoietin resistance index, which is a  
4 calculated measure, basically, the weekly dose of  
5 EPO per a gram of hemoglobin that's maintained by  
6 that dose, so it's a calculated index measure,  
7 that was calculated and a significant decline in  
8 its resistance index was seen in the carnitine  
9 treated group. They also pointed out that a  
10 positive effect of carnitine therapy could be seen  
11 in eight of 19 subjects, labeling these eight as  
12 responders and the others as nonresponders.

13           Labonia randomized 24 patients in a  
14 six-month trial. Subjects receiving carnitine had  
15 a 38 percent reduction in EPO dose, measured in  
16 terms of units per kilogram per week. Control  
17 subjects had no reduction. The authors note that  
18 this reduction in the carnitine group was powered  
19 by seven of 13 patients who responded, compared to  
20 six who did not respond, again, a finding of a  
21 differential effect of carnitine therapy similar  
22 to Kletzmayer.

23           The next slide summarizes the effects  
24 of carnitine on exercise, muscle strength and  
25 muscle morphology. These studies represent a

00040

1 variety of outcome measures; for example, muscle  
2 strength is analyzed in five articles, two using  
3 objective measures of torque or isometric force,  
4 one using objective EMG measures, and two using  
5 patient self assessment scores. The outcome  
6 measures across all studies are summarized in the  
7 second table on this page.

8           One study by Ahmad examined body  
9 anthropometric measures and found a significant  
10 increase in mid-arm muscle mass in the carnitine  
11 control group with no change in the placebo group.

12           Two studies examined maximal oxygen  
13 consumption, the studies by Ahmad and Brass.  
14 Ahmad showed an increase in maximal VO-2 in the  
15 carnitine group, Brass did not show a difference

16 in the primary analysis. They then performed a  
17 secondary analysis using different regression  
18 techniques, and did show a smaller decline in the  
19 max VO-2 in the carnitine group compared to the  
20 placebo. Ahmad also looked at exercise time and  
21 found no significant differences.

22 As I mentioned, five studies looked at  
23 muscle strength. Two were positive and three were  
24 negative. The two positive studies used objective  
25 measures, isometric force and EMG activity; the

00041

1 negative studies did include two subjective  
2 assessment scales and one objective measure of  
3 torque force. Muscle and fiber morphology was  
4 measured in three studies; two were positive,  
5 showing either an increase in fiber diameter or a  
6 decrease in fiber atrophy scores, and one was  
7 negative, showing no change.

8 The next clinical category is inter and  
9 intradialytic complications and patient well  
10 being, and again, this is a group of studies with  
11 heterogeneous outcome measures. Generally, these  
12 were intradialytic hypotension, muscle cramps,  
13 fatigue, asthenia, and quality of life  
14 measurements. Although the outcomes are varied,  
15 five studies had a positive effect or improvement  
16 in at least one outcome after carnitine therapy,  
17 three studies had no evidence of effect, one study  
18 had both positive early and negative late effects.  
19 Of the five IV studies, two were positive and  
20 three were negative. Of the oral studies, three  
21 were positive and one had both positive and  
22 negative effects.

23 The study with both positive and  
24 negative effects was authored by Sloan, involved a  
25 large number of subjects, 101, in what was really

00042

1 two studies, a compilation of two studies. One  
2 was a randomized control trial and the other was a  
3 double-blind crossover trial. Quality of life was  
4 measured by a standard SF-36 tool, and in both the

5 randomized control trial and in a combination of  
6 the two trials, it appeared that the intervention,  
7 oral carnitine, had initial positive effect on  
8 physical function and general health but that  
9 after a four to six-month period, there was a  
10 greater decline in the carnitine treated group  
11 compared to the subjects on placebo.

12 I would like to point out the study by  
13 Brass as an example of within group and between  
14 group comparisons. This is also a study of a  
15 large number of subjects, 183, and it involves two  
16 separate trials of 24 weeks duration, one a  
17 randomized control trial comparing 20 milligrams  
18 per kilogram IV and the other more of a dose  
19 finding study, or dose application study,  
20 randomizing patients at 10, 20 and 40 milligrams  
21 per kilogram, or placebo. Quality of life was  
22 measured by the KDQ, a kidney specific validated  
23 quality of life tool, and the difference between  
24 total quality of life scores at baseline and 24  
25 weeks is shown to be .44, the mean difference in

00043

1 score, for the carnitine treated group, and .29  
2 for the placebo treated patients. So carnitine  
3 treated patients appear to have a greater  
4 improvement. That's the within group comparison,  
5 baseline to end of study for each group.

6 But between group comparison  
7 essentially takes into account the changes in the  
8 placebo group when evaluating the change in the  
9 carnitine group, and this difference comes out to  
10 be not statistically significant can.

11 We reviewed four studies of cardiac  
12 function and carnitine. As a secondary outcome,  
13 Ahmad's randomized control trial of 82 subjects  
14 examined arrhythmias during dialysis. Overall,  
15 there was no decrease in arrhythmias in the  
16 carnitine group compared to the placebo group.  
17 Both groups did have few subjects with arrhythmias  
18 at baseline and the study may have been  
19 underpowered to detect a difference.

20 Suzuki also looked at arrhythmias,

21 specifically in eight subjects with premature  
22 beats, both ventricular and supraventricular,  
23 during dialysis. All subjects took oral carnitine  
24 for eight weeks and there was no control group for  
25 the carnitine administration phase. Suzuki showed

00044

1 a significant reduction in the number of premature  
2 beats both at four and eight weeks compared to the  
3 baseline values for that group.

4 Ejection fraction was measured by two  
5 studies, by Fagher and Van Es. Fagher performed a  
6 six-week randomized control trial in 28 subjects  
7 using echocardiography to evaluate ejection  
8 fraction and other cardiac parameters. Using  
9 between group statistical comparisons, there was  
10 no difference in any parameter. This study may  
11 have been limited in its ability to detect any  
12 difference by the short duration of the trial and  
13 the fact that overall the patients had normal  
14 ejection fractions to begin with.

15 Van Es looked at 16 patients in a  
16 prospective clinical trial, split into symptomatic  
17 and asymptomatic patients depending on whether or  
18 not they were experiencing hypotensive episodes  
19 during dialysis. Each subject received IV  
20 carnitine for three months and then had ejection  
21 fractions measured first at baseline and then at  
22 three months by gated pool nuclear imaging. Only  
23 13 patients had post-treatment examinations. Over  
24 the 13 patients as a group, there was an increase  
25 in ejection fraction from 24, I'm sorry, from 42.4

00045

1 to 48.6. This change was driven entirely by the  
2 seven symptomatic patients who started with lower  
3 ejection fractions to begin with, 30 compared to  
4 52, and in the symptomatic group that increased by  
5 37 percent compared to 9 percent in the  
6 asymptomatic group.

7 The final group of studies is concerned  
8 with carnitine and lipid parameters and in the  
9 interest of time I am not going to discuss the

10 specific individual studies, but I will summarize  
11 the 17 studies reviewed. The outcomes were  
12 triglycerides, cholesterol, HDL. LDL was reported  
13 actually in only a few of the studies. Ten  
14 studies used IV carnitine, six used oral, and two  
15 used dialysate delivery. There were six  
16 randomized control trials and 11 prospective  
17 clinical trials. Triglycerides showed no change  
18 in nine studies, a decrease in six studies, and an  
19 increase in one study. HDL showed no change in 11  
20 studies and an increase in three. Cholesterol  
21 showed no change in all 17 studies.

22 Overall, the majority of results  
23 revealed no significant changes in lipid  
24 parameters. There were no studies that directly  
25 compared carnitine therapy to conventional lipid

00046

1 lowering therapy.

2 Dr. Chertow summarized at the beginning  
3 the review and conclusions of K/DOQI with respect  
4 to carnitine and you have a copy of that in your  
5 packet. In all of the clinical categories that we  
6 have discussed, with the exception of lipids, our  
7 list of articles differed from what K/DOQI found  
8 by only one or two. There was a greater variation  
9 in the lipid articles; however, the general data  
10 summary was similar to the data summary found in  
11 K/DOQI.

12 At this point I am going to turn to  
13 Dr. Whyte for discussion on the questions that are  
14 now before the panel. Thank you very much.

15 DR. WHYTE: We'll come back to  
16 questions right afterwards. The questions  
17 relating to the panel, you have a copy in front of  
18 you and copies of the questions are also available  
19 on the table outside this room. These are the  
20 questions that we're going to ask you to vote on  
21 at the end of the meeting, and we ask that the  
22 speakers that come after us try to address these  
23 questions.

24 And essentially there's two questions,  
25 although as you will see on your paper there are

00047

1 some subquestions. The first question will be, is  
2 there adequate evidence that the administration of  
3 intravenous L-carnitine is effective as a therapy  
4 to improve clinical conditions or outcomes in  
5 patients with ESRD on hemodialysis?

6 The second part of that question will  
7 be, is there adequate evidence that the  
8 administration of oral L-carnitine is effective as  
9 a therapy to improve clinical conditions or  
10 outcomes in patients with ESRD on hemodialysis?

11 And we ask when you look at the  
12 clinical outcomes that you consider anemia,  
13 disorders of lipid metabolism, cardiac  
14 dysfunction, disorders of muscle strength,  
15 physical functioning or exercise capacity, and  
16 inter-intradialytic symptoms. And you can either  
17 look at that in the aggregate or you can decide to  
18 vote on those individually; we certainly defer to  
19 the panel to decide how you want to vote on that,  
20 again, whether in the aggregate or on each  
21 clinical condition.

22 So you first vote on intravenous and  
23 then you will vote on oral L-carnitine.

24 The second question will be, is there  
25 adequate evidence that the route of

00048

1 administration, whether intravenous, oral or the  
2 dialysis fluid, is an important factor in the  
3 clinical effectiveness or safety of L-carnitine  
4 therapy in patients with ESRD on hemodialysis?

5 And if you answer yes to that question,  
6 then we ask you to comment on which route of  
7 administration is preferred in the clinical care  
8 of these patients.

9 Also, on your handout, you have  
10 comments, which is our standard language about  
11 considering adequacy of study design, the  
12 consistency of results, and applicability beyond  
13 the research setting as you answer these  
14 questions.

15           And at this point in time, if the chair  
16 or other members of the panel have any questions  
17 for Dr. Klassen or myself, we would be happy to  
18 answer them.

19           DR. METZGER: I have a few, or two.  
20 The one on the lipids, my own analysis of these  
21 studies, it seems like there were an equal number  
22 of negative and positive studies for IV and PO,  
23 oral, which I don't know if you mentioned that.

24           DR. KLASSEN: I didn't comment  
25 specifically on the IV versus oral.

00049

1           DR. METZGER: Okay. And in fact in one  
2 study, the oral actually had a positive effect  
3 where the IV had a negative effect, or no  
4 difference.

5           The other question I had just reflects  
6 my own ignorance, I didn't have time to research  
7 this, but the Spagnoli study mentioned how the  
8 diameter of the type one fibers decreased and they  
9 referred to that as hypertrophy, and I could not  
10 understand that.

11           DR. KLASSEN: That's an interesting  
12 study. It's actually a carnitine withdrawal study  
13 because all the patients were receiving carnitine  
14 therapy for at least one year. They then had a  
15 withdrawal of carnitine, and I believe that was a  
16 four-month period of time, and then carnitine was  
17 initiated back in the dialysate this time, again  
18 for a four-month period of time. They did muscle  
19 biopsies at the start of the study, which was  
20 really the end of at least a year of therapy, so  
21 they never had baseline biopsies, so start of the  
22 study, the end of one year of carnitine therapy,  
23 again after four months of no therapy, and then  
24 again after four months of carnitine in the  
25 dialysate. And for the purpose of the study,

00050

1 there was really no difference between the second  
2 and the third biopsies, if I'm recalling that  
3 correctly.

4 So, type one muscle fibers are the  
5 fibers that exhibit primarily oxidated metabolism  
6 and would be expected to be affected by changes in  
7 carnitine, which affects (inaudible) fatty acids.  
8 So what they found was a decrease between when  
9 they were, had carnitine and then had a withdrawal  
10 or dialysate, a decrease in diameter of type one  
11 and if I recall correctly, a decrease in the  
12 hypertrophy score, which is just another  
13 reflection, and we can go over that at a break.

14 DR. METZGER: Sure. I may have misread  
15 it.

16 DR. KLASSEN: Without having it in  
17 front of me, my recollection of the data was that  
18 they were not disparate.

19 DR. HELZLSOUER: I have a question just  
20 on your terminology. You refer to prospective  
21 trials. Are you really meaning that they are  
22 uncontrolled because trials are prospective, so do  
23 you mean uncontrolled, no placebo, or are you  
24 referring to different designs?

25 DR. KLASSEN: That's a very good

00051

1 question and that term really encompasses a number  
2 of study types but they all include some  
3 interventional aspect that is not a randomized  
4 control trial. So, it may be an intervention on a  
5 group of patients with no control group or it may  
6 be an intervention on a group of patients that had  
7 a nonrandomized placebo control group.

8 DR. HELZLSOUER: Because my counts seem  
9 to have more randomized trials and I didn't know  
10 if you were including crossover designs in the  
11 prospective because they were still randomized,  
12 they were just crossover design, a different  
13 design.

14 DR. KLASSEN: For the purposes of our  
15 analysis, if a study said we randomized patients  
16 to two groups, group one started carnitine therapy  
17 for two months and then placebo for two months,  
18 the other group started placebo for two months and  
19 then carnitine for two months, the opposite, we



20 didn't consider that a randomized control trial  
21 because the two studies, the two groups were never  
22 directly compared to one another. We considered  
23 that to be prospective clinical, double blind  
24 placebo crossover trials, that's what we used.

25 DR. HELZLSOUER: You have to look at

00052

1 the terminology, because is puts it at a different  
2 level and I don't know if it necessarily should be  
3 that way, because there are recognized crossover  
4 designs.

5 DR. WHYTE: Sure. And we tried to  
6 specify that in your literature review that was  
7 sent to you prior to the meeting. We specified  
8 whether they were crossover designs, recognizing  
9 your point that some people might categorize those  
10 studies differently.

11 DR. HOLOHAN: Just one comment on the  
12 issue of randomization. I don't know how critical  
13 this is to you, but I only found one study that  
14 described the method they used to randomize. A  
15 lot of the studies said patients were randomized  
16 into two groups, but they didn't say how they did  
17 it, they didn't say whether they did it by day of  
18 the week or odd-evens. Only one study and that  
19 was Sloan's, actually described the mechanism for  
20 randomization.

21 DR. TUNIS: The only question I have,  
22 in the questions to the panel, obviously there is  
23 some focus on the issue of route of  
24 administration, and I don't know if in your sort  
25 of summary of evidence, did you at all try to look

00053

1 at any correlation between positive or negative  
2 studies in the route of administration, or is that  
3 just to be looked at kind of one study at a time?

4 DR. KLASSEN: Because of the  
5 difference, it's hard enough to look in the  
6 aggregate without considering dose of  
7 administration. Within the specific groups, when  
8 possible, we tried to at least report, you know,

9 which studies of IV had an effect, which studies  
10 PO had an effect, but it is a difficult issue to  
11 tackle in the aggregate. We did not specifically  
12 make a large section in the review about that.

13 DR. METZGER: I guess in my naivete I  
14 did a spreadsheet like that and it looked like, on  
15 the IV effects, if you do a spreadsheet with your  
16 parameters you're measuring versus IV versus PO,  
17 there are actually proportionately more positive  
18 studies with PO administration than with IV. IV  
19 had more negative or no difference, versus  
20 positive difference, for what that's worth.

21 DR. TUNIS: So we are now moving on to  
22 the scheduled public comments and the first  
23 scheduled presenter, C. Kenneth Merhling, from  
24 Sigma Tau Pharmaceuticals.

25 MR. MEHRLING: You see Brian

00054

1 Schreiber's presentation. I have a few comments  
2 I'd like to make first, so don't be confused that  
3 I'm going to talk off of his slides.

4 Good morning. My name is Ken Mehrling  
5 and I am the chief operating officer for Sigma Tau  
6 Pharmaceuticals in the United States and Canada  
7 and we are the makers of Carnitor injection, which  
8 is the topic of this Medicare Coverage Advisory  
9 Committee meeting. I would like to thank all of  
10 you for the time you have taken to review the  
11 material on this matter.

12 Sigma Tau has worked about ten years to  
13 develop the data and satisfy all the requirements  
14 that are necessary to obtain approval from the FDA  
15 in 1999 to market Carnitor injection for ESRD  
16 patients with carnitine deficiency. As will be  
17 described later, the approval was based and as you  
18 heard from Dr. Orloff, it was based on the FDA's  
19 careful assessment that the product was safe and  
20 effective for this indication.

21 It's also important to point out that  
22 Sigma Tau is the largest manufacturer and  
23 distributor of prescription levo-carnitine in the  
24 world. We have both the oral and intravenous

25 formulations on the market worldwide. In the

00055

1 United States we have submitted a request to the  
2 FDA to add a precaution to our package inserts for  
3 both Carnitor tablets and oral solution, and this  
4 precaution recommends against the use of the oral  
5 formulations in the ESRD patients, due to unknown  
6 safety concerns. These concerns will be discussed  
7 in subsequent presentations.

8           And we welcome the review by this  
9 panel, because we hope that it will help clarify  
10 the important role that Carnitor injection can  
11 play in the treatment of ESRD patients with  
12 carnitine deficiency. This review is crucial for  
13 our company and the patients it serves, and as a  
14 result, Sigma Tau Pharmaceuticals has provided  
15 financial assistance to various physician experts,  
16 FDA experts, patients and consumer advocates so  
17 that they could easily come to this meeting and  
18 testify.

19           I would like now to introduce Dr. Brian  
20 Schreiber, who is currently president of Fox  
21 Valley Nephrology in Appleton, Wisconsin, a  
22 practicing nephrologist who routinely treats ESRD  
23 patients. And I don't want to waste our limited  
24 time reading his credentials which are contained  
25 in the handouts, but suffice it to say that

00056

1 Dr. Schreiber has significant personal experience  
2 with use of Carnitor injection, in addition to an  
3 in-depth knowledge of both carnitine deficiency  
4 and its treatment. He has also consulted with us  
5 in preparing the materials that we submitted to  
6 you, and I would like to just turn that over, the  
7 remainder of our time to Dr. Brian Schreiber.  
8 Thank you.

9           DR. SCHREIBER: Thank you very much.  
10 Thank you for allowing me to address you today.  
11 As Mr. Mehrling said, I am primarily a clinical  
12 nephrologist in Wisconsin. We take care of the  
13 renal failure patients for a fairly large area in

14 Wisconsin. My interest in carnitine derives from  
15 what I observed clinically; because of what I  
16 observed clinically I developed an academic  
17 interest, and I have taught, lectured, published  
18 and done research with carnitine.

19           What I want to talk about today is  
20 from, however, the perspective of the clinician.  
21 In a sense, Dr. Klassen did an excellent job in  
22 reviewing the aggregate literature, and in the  
23 short period of time I have, I can't go over what  
24 he did. What I wanted to do, however, is look at  
25 that from a clinical perspective, because this

00057

1 panel has eminent experts in the statistical  
2 analysis of studies. However, every study, the  
3 conclusion of the study depends on the statistical  
4 aspects and the clinical aspects. And since my  
5 expertise is clinical I wanted to look at some of  
6 the clinical aspects of these studies that  
7 contribute to their findings.

8           I will talk briefly about clinical  
9 correlations and carnitine levels since these  
10 questions have been asked, mostly about medical  
11 evidence of efficacy based upon clinical  
12 differences in the study. We will try to glean  
13 from these clinical differences some general  
14 principles that may allow us to optimize the  
15 benefit from the use of carnitine in dialysis  
16 patients, speaking somewhat about oral versus IV  
17 as one of the important clinical differences, and  
18 if we have time, I would like to share with you  
19 algorithms that have been presented at the  
20 National Kidney Foundation national meetings and  
21 published that we have used in our dialysis units  
22 for several years now that have allowed us, I  
23 think, a responsible and reasonable and  
24 efficacious use of IV carnitine.

25           People have raised the issue of plasma

00058

1 levels and as a nephrologist I do feel that it is  
2 necessary to point out that these plasma levels,

3 all of which are quite low, represent maximum  
4 levels in the dialysis patients. These are the  
5 levels right before dialysis. Now we know for  
6 example, when we monitor potassium in our  
7 patients, potassium is a toxic, it is toxic to  
8 dialysis patients, we always get the highest level  
9 they're going to have, which is right before  
10 dialysis. We know that in the intradialytic  
11 period that level is quite a bit lower and we know  
12 that immediately after dialysis it's even lower.  
13 And the same has been shown with carnitine, that  
14 the post-dialysis levels of carnitine are  
15 extremely low, and actually below the 20 percent  
16 that you heard about, which is a threshold for  
17 severe problems.

18           If one, however, looks at the  
19 intradialytic levels, the average prevailing level  
20 of carnitine in the intradialytic period, these  
21 levels are very low and indeed, they are  
22 comparable to what one sees in the secondary  
23 carnitine deficiency of Fanconi syndrome and in  
24 primary carnitine deficiency, which as Dr. Kopple  
25 points out, is a deadly disease.

00059

1           It was based upon this analysis which  
2 had not really been done before, done by Evans in  
3 2000, that the FDA concluded that the levels  
4 observed in dialysis patients were important to be  
5 treated, because of how low they actually are.

6           Are there clinical correlations to low  
7 plasma carnitine levels? Absolutely. These are a  
8 number of them. Van Es was able to actually  
9 devise an equation by which he could predict the  
10 ejection fraction from the plasma through  
11 carnitine level. Hiatt found a correlation  
12 between muscle carnitine content and exercise  
13 performance. Correlations have been found for low  
14 functional activity scales, for hypotension during  
15 dialysis, for indices of congestive heart failure  
16 by Kudoh, and for red cell indices as well.

17           Now, based on the DOQI report and what  
18 the DOQI considered reasonable, recognizing the

19 heterogeneity of the clinical data, which is a  
20 problem in this field, it is a problem in many  
21 nephrology studies, and clinical nephrologists  
22 have to kind of be like gardeners, we have to go  
23 through the weeds and try to find what is there in  
24 order to treat our patients.

25                   However, based upon that as well as the

00060

1 recommendations from the expert panel of 1993  
2 convened by the American Association of Kidney  
3 Patients but actually containing professors of  
4 nephrology, deans of medical schools who reviewed  
5 the literature, these are the indications that  
6 seemed to be agreed upon in those two studies for  
7 the use of carnitine. They include  
8 cardiomyopathy, dialysis arrhythmias and  
9 hypotension, skeletal muscle weakness, and anemia.

10                   Please note that hyperlipidemia is not  
11 there. In 1993 the expert well recognized that  
12 this was not a consistent benefit and really,  
13 hyperlipidemia is not an indication for the use of  
14 carnitine, and I would shelve that as a waist of  
15 time to be spending your time with.

16                   Now, what I would like to try to show  
17 you is that if we look at the data, and as I said,  
18 Dr. Klassen did a very nice review, what I would  
19 like to do is a little bit differently just  
20 tabulate the data and looking at specific clinical  
21 features that help to distinguish studies that  
22 were positive from studies that were negative.  
23 And by looking at those clinical features, we can  
24 develop guidelines for the responsible use of  
25 carnitine.

00061

1                   These guidelines basically are that one  
2 must objectively document the condition for which  
3 carnitine is being prescribed, applying clear and  
4 defined standards for diagnosis. One must have an  
5 appropriate differential diagnosis for the  
6 indicating condition. You have to document prior  
7 use of appropriate conventional therapies for the

8 indicated condition. Carnitine, and this is very  
9 important, must be given for an adequate duration  
10 and use of intravenous carnitine is preferred for  
11 date that I will show you.

12 And because of the heterogeneity, and  
13 we can't get away from this, this is a drug that  
14 does not work for all the patient, even all the  
15 patients with the indications. We have to have a  
16 mechanism by which we can reevaluate by  
17 appropriate means whether the indication has been  
18 improved and only if improvement has occurred  
19 should we be continuing in this therapy.

20 I think it's important to realize, this  
21 was well summarized by Dr. Chertow, that the  
22 conditions for which levo-carnitine are being  
23 advocated are life threatening conditions. These  
24 are not trivial conditions. The life expectancy  
25 of a patient with congestive heart failure on

00062

1 dialysis is two and a half years. The point, and  
2 cardiomyopathy is the major cause of death in  
3 dialysis patients. Arrhythmias are a major and  
4 contribute to many deaths. Intradialytic  
5 hypotension not only has the side effects of MIs  
6 and strokes on dialysis, but also has the side  
7 effect of underdialysis due to an inability to  
8 deliver the actual prescription.

9 Muscle function is often  
10 underemphasized in its importance. 30 percent of  
11 dialysis patients cannot even perform their proper  
12 better washing and their proper toilet, and  
13 they're stuck in these lives. Low physical  
14 function moreover, in dialysis patients has been  
15 shown to double mortality and increase  
16 hospitalization by 50 percent. And muscle  
17 strength is the principal component of activities  
18 of daily living.

19 Muscle cramps are important because  
20 they also interfere with the delivery of adequate  
21 dialysis, which DOQI guidelines have clearly shown  
22 is connected to morbidities and mortalities.

23 Anemia is of great importance. For

24 every decrease of hemoglobin of one gram per  
25 deciliter, the risk of cardiac death goes up by 14

00063

1 percent and congestive heart failure by 28  
2 percent.

3                 Now let's look, therefor, at the  
4 medical evidence in a somewhat different way.  
5 First of all, this is just a tabulation of studies  
6 that show benefit or lack of benefit for different  
7 parameters of cardiac function. This is ejection  
8 fraction and ejection fraction improved in some  
9 studies, and this includes by the way, fractional  
10 shortening as well because it measures the same  
11 type of thing, or no effect in some studies.

12                 VO-2 max, absolutely right, this was a  
13 secondary analysis by Brass, but the secondary  
14 analysis did show a benefit, and Ahmad showed a  
15 benefit.

16                 And if one looks at arrhythmias, there  
17 is a consistency in benefit that you can see in  
18 your studies with the proviso that Ahmad did admit  
19 he didn't have enough patients with arrhythmias to  
20 really study, and that was pointed out by  
21 Dr. Klassen very nicely.

22                 Hypotension, yes. Does it belong in  
23 muscle, does it belong in heart? Well, most  
24 analyses of dialysis hypotension actually hold the  
25 heart more responsible than the muscle. If one

00064

1 looks at dialysis hypotension, the studies that  
2 have looked at that as a specific parameter have  
3 all showed benefit. One can see that the  
4 aggregate number of studies showed benefit.

5                 But you have seen this data. The  
6 question is, what can we learn from the negative  
7 studies? What can we learn about how not to use  
8 carnitine? I want to show you, since the greatest  
9 controversy was on ejection fraction and  
10 fractional shortening, what distinguished the  
11 positive and negative studies? These are studies  
12 that were positive on the bottom and these were



13 negative studies on the top a far as improving  
14 these parameters. And what one sees is that in  
15 two of the negative studies, the patient started  
16 out with normal ejection fractions or normal  
17 fractional shortening. You cannot fix what is not  
18 broken.

19 In addition, if one looks at the  
20 duration of these negative studies and compares  
21 with the duration of the positive studies, these  
22 were short duration studies. If one then looks  
23 for example at Fricke, where patients did have low  
24 ejection fractions, it was only a two-month study.  
25 In addition, appropriate clinical exclusions were

00065

1 not made. Other conditions that could exacerbate  
2 congestive heart failure in our patients were not  
3 accounted for. So these elements need to be  
4 incorporated as general principles of use.

5 Let's look at the differences between  
6 the myopathy studies. What I have done here is  
7 divide the studies into those that used oral  
8 levo-carnitine and those that used IV  
9 levo-carnitine. Note that there are a lot of  
10 positive signs here, improvement, improvement,  
11 improvement. The problem is if one looks here at  
12 the oral studies, all of the improvement except  
13 for one transient, this was the Sloan study that  
14 showed improvement at three months but degradation  
15 at six months, all the improvement in the oral  
16 studies was in symptoms.

17 And as Dr. Metzger pointed out, if you  
18 count up the studies, yes, a lot of oral studies  
19 show improvement, but it's in symptoms in these  
20 patients. The problem is that symptoms may not  
21 be -- we all worry about what the patient says  
22 when the doctor asks the patient, are you feeling  
23 better? Dialysis patients are very cooperative  
24 and they like to think they are saying what the  
25 doctor wants to hear. Now the problem is that

00066

1 symptoms have also been shown -- Ahmad, for

2 example, showed that intradialysis asthenia and  
3 post-dialysis asthenia, the symptom of just not  
4 feeling very good, improved in the placebo group  
5 as well. So our patients do respond to hope and  
6 something being done, so symptoms may not be as  
7 reliable.

8           Let's look at objective functional  
9 data, structural data, and data having to do with  
10 activities of daily living which have been shown  
11 to correlate with mortality and hospitalization  
12 both in dialysis and nondialysis patients. Here  
13 one sees that the data in support of objective and  
14 structural improvement is entirely from use of  
15 intravenous carnitine, and if one analyzes there  
16 this pattern, one sees that there is a significant  
17 difference in the bodies of evidence supporting  
18 one or the other, whether you use the oral or  
19 whether you use the IV.

20           In addition, if you look at the  
21 duration of studies here that are positive, they  
22 are considerable longer than the studies here.  
23 The six-month study using oral carnitine, as  
24 stated, was actually, the patients actually ended  
25 up worse. So longer term use and use of the

00067

1 intravenous form correlates with objective and  
2 structural improvement when one looks at these  
3 studies.

4           Anemia is a little bit simpler because  
5 we looked at the randomized control trials, the  
6 same ones that DOQI looked at, they only wanted to  
7 look at the RCTs, and if you look, all three RCTs,  
8 actually this was looking at EPO resistance. Now  
9 you can't, you're not going to detect a change in  
10 hematocrit if you're adjusting the EPO down to  
11 maintain a certain hematocrit. The way these  
12 studies were performed is we say we want to keep  
13 the hematocrit at a certain amount of the  
14 hemoglobin and we're going to see how much EPO we  
15 have to use. Well, the three studies of EPO  
16 resistance actually were positive, they showed  
17 benefit in EPO resistance, they used IV carnitine.

18 The study by Trovato did not look at EPO  
19 resistance. This was done in 1982 before EPO was  
20 being used and so we can't say what oral  
21 levo-carnitine does for EPO resistance.

22 The one negative study, Nilsson-Ehle  
23 had two characteristics, clinical characteristics.  
24 Number one, it was only a six-week study. Number  
25 two, there was no accounting for iron status most

00068

1 importantly, B-12 status, folate status, and other  
2 co-factors for blood production, these were not  
3 included in that study. But in the studies that  
4 accounted for those factors that used IV  
5 carnitine, there was a consistent improvement in  
6 EPO resistance.

7 This brings up the issue of oral versus  
8 IV carnitine, since it seems to be important in  
9 muscle, it seems to be important in terms of  
10 blood. Now there is this issue of  
11 bioavailability. In normal patients, only 5 to 15  
12 percent of oral levo-carnitine is absorbed. Now,  
13 this not only deprives the patient of the benefit  
14 of the medication; however, what's perhaps more  
15 worrisome is that the unabsorbed carnitine is  
16 susceptible to bacterial degradation with  
17 formation of possibly toxic metabolites which I  
18 will discuss.

19 The IV form has 100 percent  
20 bioavailability. Now, as bioavailability  
21 expresses itself, one actually compares a tissue  
22 and levels in oral versus IV treated patients.  
23 And I think the best study, if one looks at the  
24 muscle levels achieved with oral levo-carnitine,  
25 they are considerably lower than one achieves with

00069

1 IV levo-carnitine. The best way to look, though,  
2 is for the same period of time.

3 If one looks at Albertazzi's study over  
4 six months period of time achieving a level of 28  
5 micromols per gram of NCP as opposed to Ahmad over  
6 the same period, 50; and Siami when he used over

7 six months, was 52.6. So even used over the same  
8 period, the tissues accumulate the carnitine to a  
9 substantially greater degree with IV than with  
10 oral.

11 Studies have directly compared the same  
12 parameter using IV and oral carnitine. If you  
13 look at studies of anthropometric improvement,  
14 which the DOQI nutritional guideline believed was  
15 a valid way of following patients nutritional  
16 status and muscular status. The Rogerson study  
17 looked at that using oral carnitine and the  
18 outcome was negative. The Ahmad study used IV,  
19 the outcome was positive.

20 Giovenali is very telling, because  
21 Giovenali had different arms in the way he gave  
22 carnitine to the patients over a six-month period,  
23 and then he measured isometric muscle strength by  
24 well validated measures. He found that the arm  
25 given oral carnitine had to statistically

00070

1 significant improvement in isometric muscle  
2 strength, whereas the arm given IV carnitine had  
3 statistically significant improvement.

4 The problem is, as we discussed in the  
5 table on skeletal myopathy, that the strength of  
6 evidence for the benefit of oral carnitine is not  
7 as great as the strength of evidence for the  
8 benefit of IV if you accept the fact that mere  
9 symptomatic improvement is not as predictive.  
10 Improvements in activities of daily living have  
11 been well correlated to mortality and  
12 hospitalization, there are numerous studies  
13 showing that. This is not true with symptoms.  
14 And as I say, placebos improve symptoms as well.

15 No study using oral carnitine has shown  
16 the improvement in objective or structural  
17 parameters of muscle function either because they  
18 weren't examined or were shown not to improve.  
19 Oral studies have shown improvement only in  
20 subjective symptoms. Moreover, there are far  
21 fewer long-term studies with oral levo-carnitine  
22 and our patients are with us for the long haul

23 primarily, especially in the age of transplants.  
24 Patients are on dialysis for years.  
25 And only one study using oral carnitine

00071

1 alone for muscle weakness, looking at muscle  
2 weakness, lasted greater than two months, and that  
3 study showed a negative outcome. There have been  
4 five studies using IV carnitine alone for this  
5 purpose lasting greater than six months.

6 In randomized control trials, the  
7 largest randomized control trial of Ahmad  
8 showed -- I'm sorry -- the largest randomized  
9 control oral study of Sloan showed initial  
10 improvement followed by deterioration in general  
11 health, mental health and vitality at six months.  
12 They patients ended up worse than they began.

13 With IV, the Ahmad study, randomized  
14 control trial, six months, showed benefit in a  
15 number of parameters, not only symptoms and  
16 dialytic morbidities by symptoms, but improvements  
17 in anthropometric measures, VO-2 max, with no  
18 deterioration in clinical condition noted with the  
19 use of IV carnitine. So there is a difference in  
20 the findings.

21 Why is there a difference? Well, there  
22 are toxicity issues and we have to address these.  
23 The toxicity issues relate to the different ways  
24 in which oral and IV carnitine are metabolized.  
25 Oral carnitine is metabolized to form

00072

1 trimethylamine, dimethylamine and  
2 N-nitroso-dimethylamine. IV carnitine directly  
3 enters the blood stream. The renal failure makes  
4 this a more important issue because usually  
5 trimethylamine is eliminated ultimately by the  
6 kidney and this doesn't happen in our patients,  
7 and dialysis patients have clinically been shown  
8 to have higher plasma levels of trimethylamine,  
9 dimethylamine and N-nitroso-dimethylamine.

10 Why is this a problem?  
11 N-nitroso-dimethylamine is a potent carcinogen in

12 humans and many other species. TMA and DMA are  
13 known to be teratogenic, inhibiting production of  
14 DNA, RNA and protein. Increased plasma TMA and  
15 DMA in dialysis patients correlates with  
16 neurological deterioration. This was clearly  
17 shown by Simenhoff in lengthening choice reaction  
18 times. Increased plasma TMA correlates with  
19 deterioration in the EEG in hemodialysis patients  
20 and TMA and DMA are responsible for malodorous  
21 uremic breath, which though it seems a trivial  
22 problem is a serious problem for our patients and  
23 is socially isolating.

24 I was hoping to go over some of the  
25 clinical algorithms that we use and I would be

00073

1 very happy to present those, this is a very  
2 limited time, but we have developed clinical  
3 algorithms in respect to the time problem, in  
4 which we can look at specific indications, the  
5 ways that they've been employed, these have been  
6 widely seen by the nephrology community, presented  
7 at the NKF and published, and I would be delighted  
8 to go over at least one of those to show how one  
9 can incorporate these clinical distinctions into a  
10 responsible policy of use, but I will only do that  
11 if you want me to. Thank you very much.

12 DR. TUNIS: We can certainly do that in  
13 response to specific questions during the open  
14 comment period, depending on the panel's interest  
15 in that, but we do have some time for questions.

16 DR. HOLOHAN: I have one. I believe  
17 you said cardiomyopathy is the major cause of  
18 death in dialysis patients. Can you define what  
19 you mean by cardiomyopathy?

20 DR. SCHREIBER: I'm sorry. Congestive  
21 heart failure. It's present in 42 percent; if you  
22 look at all dialysis patients, 42 percent have  
23 congestive heart failure. It was pointed out by  
24 Dr. Chertow that 10 percent of all  
25 hospitalizations --

00074

1 DR. HOLOHAN: I understand that, I'm  
2 just trying to clarify. You said cardiomyopathy.

3 DR. SCHREIBER: Right. We tent to  
4 interplay terms.

5 DR. HOLOHAN: Okay.

6 MR. SUGARMAN: Would it not be  
7 relatively easy to do a retrospective analysis of  
8 mortality from cardiac disease in patients who are  
9 on carnitine versus patients who are not?

10 DR. SCHREIBER: First of all, there is  
11 retrospective data which will be presented later  
12 in this discussion that I am aware of, and as far  
13 as would it be easy, well, you could certainly do  
14 that analysis. You would have to first of all  
15 make sure that the patients were properly chosen  
16 for the use of carnitine therapy. I think part of  
17 the problem has been, again, if you see that  
18 patients are given carnitine for, who don't have  
19 abnormal ejection fractions, you see, if makes it  
20 more difficult to know whether the carnitine  
21 really had benefit or not. On the other hand, if  
22 you don't know if those patients were not given  
23 carnitine for other factors, I think you could do  
24 that and we have some data that would be important  
25 to see in that regard.

00075

1 MR. SUGARMAN: But it's not been done  
2 as a published trial. I mean, retrospective  
3 analysis, I think would be fairly easy.

4 I guess my other question is that  
5 Sigma Tau is probably in the best position to do a  
6 head to head trial comparing oral versus IV, that  
7 would be relatively simple. I'm not talking about  
8 a placebo versus treatment trial, I'm talking  
9 about a head to head with two drugs that are  
10 currently approved, at least FDA approved, and  
11 that hasn't been done.

12 DR. SCHREIBER: I would like to address  
13 that briefly because I work with Dr. Simenhoff.  
14 Dr. Simenhoff is the father, without making him  
15 seem too old, he is the father and grandfather of  
16 trimethylamines in dialysis. And Dr. Simenhoff

17 and I have been trying for quite some time now to  
18 just do the simple thing of administering oral  
19 carnitine and developing a curve of the  
20 trimethylamine amounts with the oral carnitine  
21 administration, and two institutional review  
22 boards have not allowed us to do that because of  
23 what they consider to already be evidence that  
24 these metabolites are toxic in dialysis patients.  
25 So I think that my own experience with that is

00076

1 that people are aware who review these things that  
2 trimethylamines do correlate with a number of  
3 problems, so it's actually been difficult to get  
4 permission to actually do, to actually be  
5 administering oral carnitine.

6 I find it ironic that we're talking  
7 about possible having that as a preferred form and  
8 I can't get two institutional review boards to  
9 allow me to do it for a month in dialysis  
10 patients. What Sigma Tau is in a position to do,  
11 I'm a clinical nephrologist and you'd have to ask  
12 them what they are in a position to do.

13 DR. METZGER: I might be able to help  
14 with that. The Trovato study in 1982 in Italy,  
15 you mentioned that all of the oral studies were  
16 symptomatic studies. The Trovato study was a  
17 measurement of RBC with reference to anemia. That  
18 lasted 12 months and it showed a definite  
19 improvement in RBC survival progressively over the  
20 12 months, and interestingly, the oral form of the  
21 carnitine was supplied by Sigma Tau in Rome,  
22 Italy.

23 DR. SCHREIBER: Yes. I would like to  
24 clarify. When I was talking about that specific  
25 aspect, I was talking about skeletal myopathy.

00077

1 When I was distinguishing objective from  
2 subjective, that was in the context of skeletal  
3 myopathy. I do want to point out that there have  
4 been studies giving oral carnitine for longer than  
5 six months; however, they did not do a systematic



6 analysis as done in the Sloan study using SF-36 at  
7 the end of the patient's health status. They were  
8 looking at one parameter as you say, the  
9 hematologic parameter or cardiac parameter, but  
10 did not do a systematic analysis of health status  
11 at the even. For that study, that's why the Sloan  
12 study is unique in that regard.

13 But the comments about objective and  
14 subjective really refer to skeletal myopathy.

15 DR. TUNIS: Maybe one more question  
16 now, and then the rest we can save for the  
17 committee deliberation period. Go ahead,  
18 Commissioner Grant.

19 COMMISSIONER GRANT: Yes, I have two  
20 sort of related questions.

21 The first is, I just want a common  
22 sense understanding as a clinician, particularly  
23 in light of the FDA letter, which seems to say  
24 basically as an end in itself, the presence of  
25 L-carnitine is good, but then proceeds to

00078

1 distinguish what the evidence did not show,  
2 certain clinical issues. In your clinical  
3 experience, what do you use this for? Without  
4 getting into the elaborate protocol, but what do  
5 you find it useful for?

6 DR. SCHREIBER: What we use it for is  
7 cardiomyopathy or congestive heart failure that  
8 has not be responsive to the usual therapies,  
9 skeletal muscle weakness having a significant  
10 impact on the patient's health. If the patient's  
11 life is being limited by his Alzheimer's disease,  
12 not his skeletal muscle weakness, we don't -- you  
13 know, we have to see what will the patient get by  
14 having stronger skeletal muscles. And skeletal  
15 muscle weakness having significant impact that has  
16 not been adequately responsive to improving the  
17 anemia, improving the dialysis, et cetera.

18 COMMISSIONER GRANT: So while you may  
19 be parsing the various studies, there may not be  
20 the data to show that, is that your clinical  
21 experience, that it does help in those areas?

22 DR. SCHREIBER: Well, what I tried to  
23 show is that in these studies --

24 COMMISSIONER GRANT: I'm not talking  
25 about studies, I'm just -- your clinical

00079

1 experience is however it's working or not working,  
2 whatever the mechanism is, you have had experience  
3 that it seems to be doing something.

4 DR. SCHREIBER: Right. I have had many  
5 years, and I will tell you that you have to use it  
6 for the right indications. If you take carnitine  
7 and you throw it, you know, you've got mixers in  
8 the back room that mix your dialysate, you can't  
9 just throw it in the mixer and give it to  
10 everybody, because you're not going to see an  
11 aggregate change. What you have to do is give it  
12 to people with the proper indications, number one.

13 Number two, you have to make sure that  
14 you've improved everything else, that you're doing  
15 good dialysis and that you're treating everything  
16 else. And if you do that, you give it for the  
17 proper indications, you treat everything else and  
18 you give it for a long enough period of time, the  
19 reason I'm here today is because of the  
20 improvement that I have seen. A nephrologist is  
21 only as good as his tools.

22 COMMISSIONER GRANT: Thank you.

23 DR. TUNIS: Dr. Schreiber, the last  
24 thing is, I may have missed it, but could you just  
25 for the record again state any financial

00080

1 relationships you have related to Sigma Tau or  
2 others?

3 DR. SCHREIBER: Right, I'm sorry. I  
4 thought Mr. Mehrling had covered that. Yes. I  
5 have been paid for the time that I give Sigma Tau  
6 coming down here and when I do consulting work for  
7 them, I get paid for that time.

8 DR. TUNIS: Thanks, and you will be  
9 around for the rest of the day for any additional  
10 questions?

11 DR. SCHREIBER: Absolutely.

12 DR. TUNIS: Very good. I would like to  
13 move on at this point to Dr. Kadree's presentation  
14 and then we will have a brief break after that to  
15 fix our AV system.

16 Maybe while we're waiting, I didn't  
17 know if Mr. Mehrling had any comment related to  
18 Dr. Sugarman's question about the clinical trial  
19 of IV versus PO, did you want to make any comment  
20 about that?

21 MR. MEHRLING: We have been looking at  
22 this since 1982. We have done an awful lot of  
23 work between then and now on the product, and it's  
24 the opinion of the company with the advanced  
25 technology to measure both serum and tissue

00081

1 concentrations, that between the ability to  
2 achieve significant tissue concentrations as well  
3 as the potential and the unknown with the  
4 metabolites that are formed, we don't think it's a  
5 very good decision to pursue oral.

6 DR. TUNIS: And can you describe a  
7 little further the nature -- you had mentioned  
8 something about working with the FDA to develop a  
9 precaution. Is the precaution that you are trying  
10 to develop related to the dimethylamine,  
11 trimethylamine?

12 MR. MEHRLING: It is. If you'd like, I  
13 can read exactly what it is. It is the same  
14 issues, it relates to the metabolites that are  
15 formed and the potential physiologic  
16 complications in the ESRD population.

17 DR. HOLOHAN: You said that is being  
18 submitted to the FDA?

19 MR. MEHRLING: It is at the FDA, yes,  
20 sir, as a change in effect for the package insert.

21 DR. HOLOHAN: And the FDA has agreed  
22 that this is appropriate?

23 MR. MEHRLING: In a situation where a  
24 safety consideration is made, it's unlikely that  
25 if a manufacturer submits that, unless there is a

00082

1 tremendous concern that it is irrational, that  
2 they will accept that, and I think the scientific  
3 review that accompanies that would be a reasonable  
4 thing for an unknown.

5 MR. SUGARMAN: Why is that not a  
6 concern for the other --

7 MR. MEHRLING: Indications for which  
8 the PO is used? You need to excrete the  
9 metabolites, number one. The liver will do the  
10 breakdown and then the kidney excretes it. If you  
11 have normal kidney function, it will be excreted.

12 DR. TUNIS: We will get back into this  
13 more later, but I was filling time, but thanks.

14 DR. KADREE: Good morning, everyone.  
15 There is never a presentation where there isn't an  
16 AV problem, all of my experience in presentations.  
17 Anyway, I am the medical director of Part A  
18 Medicare for Blue Cross/Blue Shield of Georgia,  
19 and I'm also a member of the ESRD work group that  
20 represents a conglomeration of the fiscal  
21 intermediaries for HCFA who have a significant  
22 number of ESRD patients under their jurisdictions.

23 Just to give you a little bit of a  
24 background, Blue Cross/Blue Shield Georgia has  
25 been a fiscal intermediary for HCFA for

00083

1 approximately 30 years, and as such is currently  
2 one of the major FIs for many dialysis facilities.  
3 In fact, we provide services for dialysis  
4 facilities in about 34 states around the country.  
5 So, looking at ESRD issues is definitely very  
6 important to us and we do monitor dialysis  
7 services in particular.

8 Around the middle of 1997, which was  
9 before my time, before my joining Blue Cross/Blue  
10 Shield of Georgia, one of the nurses doing medical  
11 review of ESRD claims noted that carnitine was  
12 cropping up quite frequently, and so that  
13 triggered the utilization, and here we see that  
14 between January and June of 1998, approximately 2  
15 million was billed for about a thousand patients,

16 and this doubled in the subsequent six months, and  
17 if you compare the data for 1998 to 1999, you had  
18 a 2.5 factor increase in the amount of billing for  
19 carnitine.

20           And this is all before formal FDA  
21 approval of Carnitor for ESRD patients. If one  
22 were to look at the billed charges for individual  
23 patients for Carnitor alone, just looking at how  
24 much was billed for Carnitor, and comparing it to  
25 all other drugs that are billed outside of the

00084

1 competent rate for ESRD patients, and I include  
2 erythropoietin, which as everyone knows is quite  
3 expensive, we still find that billed charges for  
4 Carnitor is 1.13 times that for all other drugs,  
5 so we can see that this presents a significant  
6 issue for us.

7           As a result of the examination of the  
8 utilization in 1998 work was begun in developing a  
9 local medical policy, and the process took about  
10 18 months all together, resulting in a draft  
11 policy that reflected input by numerous  
12 nephrologists, other fiscal intermediaries other  
13 than Blue Cross/Blue Shield of Georgia, as well as  
14 a review by Part B, the carrier advisory  
15 committee, because fiscal intermediaries don't  
16 usually have formal advisory committees looking at  
17 these types of issues. And the decision was made  
18 that the data available supporting the medical  
19 necessity of intravenous carnitine was inadequate  
20 and as such, this is an ESRD population that is,  
21 and as such, the only coverage for intravenous  
22 carnitine would be in patients with an inborn  
23 error in metabolism where the data is much  
24 stronger this is indeed beneficial and where  
25 indeed you do have life threatening consequences

00085

1 when carnitine is not administered, so refocusing  
2 on the ESRD patients and whether this drug is  
3 indeed necessary given in the intravenous form to  
4 these patients.

5                   In December of 1999, the FDA did  
6 approve intravenous Carnitor to use in ESRD  
7 patients, and basically the package insert for the  
8 drug states that intravenous Carnitor does indeed  
9 raise plasma carnitine levels, which is not  
10 astounding, but the package insert very  
11 interestingly goes on to state that the effects of  
12 supplemental carnitine on modifying or relieving  
13 signs and symptoms of carnitine deficiency as well  
14 as clinical outcomes in the ESRD population have  
15 not yet been determined, and to me that's a very  
16 profound statement.

17                   Well, for Y2K, the fiscal  
18 intermediaries, and remember, the fiscal  
19 intermediaries are the ones who tend to, are the  
20 contractors who tend to have to deal with ESRD  
21 claims, the fiscal intermediaries have had a high  
22 volume of ESRD patients, or had Carnitor  
23 noncoverage policies, or known to be developing  
24 policies, were bombarded with form letters from  
25 providers as well as Congressional inquiries.

00086

1                   In the fall of 2000, the fiscal  
2 intermediaries who had a high volume of ESRD  
3 patients decided to form a work group in an  
4 attempt to provide some kind of common ground, a  
5 sort of think tank for development of policies  
6 that primarily affect ESRD clients, and not  
7 surprisingly, carnitine is just one of them, and  
8 actually carnitine is just one of the issues that  
9 we were concerned about.

10                   An extensive reassessment of the  
11 literature on carnitine was done in the fall of  
12 2000 and again, the same conclusion arrived at,  
13 that the medical necessity for intravenous  
14 carnitine in ESRD patients was not clearly  
15 supported.

16                   The issue was raised to a national  
17 level, so here we are today again trying to  
18 address this very important issue.

19                   And just to reiterate what Dr. Whyte  
20 has already said, basically you need to establish

21 whether the available evidence makes a strong case  
22 for the effectiveness of levo-carnitine in ESRD  
23 patients and for us of course, we are referring  
24 primarily to the use of intravenous form of  
25 carnitine. Is it medically necessary to

00087

1 replenish plasma carnitine and muscle carnitine in  
2 the intravenous form.

3 The DOQI group, as was mentioned  
4 earlier, they did review carnitine, and with the  
5 wealth of experts in that group, it's not  
6 surprising that whatever recommendations they make  
7 are looked at seriously in terms of this type of  
8 review. And they indicated that the data was  
9 insufficient to support the routine use of  
10 levo-carnitine. And they did go further and say  
11 there may be some patients who may benefit from  
12 carnitine supplementation after all other  
13 interventions have failed. However, they were  
14 also very strong on the fact that additional  
15 clinical trials need to be done to resolve some  
16 very critical issues.

17 Blue Cross/Blue Shield of Georgia did  
18 offer directly Sigma Tau, the manufacturer, the  
19 opportunity to act as a facilitator for such  
20 studies, because we have a large population and we  
21 felt that we could used as a resource to assist  
22 them in answering some of these questions, because  
23 we are indeed concerned that if this drug is of  
24 value to these patients that we are making the  
25 correct decision in terms of administering it or

00088

1 not.

2 Specific recommendations that DOQI had  
3 made with regard to the additional studies needed,  
4 with regards to erythropoietin resistant anemia,  
5 carefully accounting for anticipated differences  
6 in response based on factors such as iron stores,  
7 inflammatory mediators, as we well know, carnitine  
8 deficiency is not usually highlighted as a primary  
9 reason for EPO resistant anemia and you absolutely

10 have to look at some of the probable more common  
11 causes before assuming that it may be due to  
12 carnitine deficiency.

13           Also using an outcomes approach,  
14 identifying patient subgroups who are likely to  
15 respond to carnitine for one or more of its  
16 proposed indications, doing a randomized clinical  
17 trial of carnitine in dialysis dependent patients  
18 who have cardiomyopathy and reduced ejection  
19 fraction, and doing randomized clinical trials for  
20 the treatment of hyperlipidemia, which is also  
21 something that is a fairly hot topic.

22           Now the ESRD work group, as I said, has  
23 been looking at this issue, and some of the  
24 crucial questions that we've asked is first of  
25 all, how does one actually define carnitine

00089

1 deficiency? Carnitine deficiency is most commonly  
2 defined as a state of carnitine concentration in  
3 plasma or tissue that is below the requirement for  
4 the normal function of the organs. However, based  
5 on the literature, the clinical significance of  
6 carnitine deficiency lies within the disturbance  
7 of the balance between the functional carnitine  
8 requirements and actual carnitine levels. So it's  
9 not simply a straightforward relationship.

10           Of course the, in the studies, most of  
11 the studies used, and also in the package insert,  
12 the modality used to determine carnitine  
13 deficiency clinically has been the objective  
14 modality which has been the plasma carnitine  
15 level. And as I said, it's well-known that that's  
16 not ideal. And certainly when we look at plasma  
17 carnitine levels as a reflection of what's going  
18 on in the muscle, I think we have even more  
19 problems, because the skeletal muscle and cardiac  
20 muscle account for 98 percent or more of the  
21 carnitine body stores and we know that the level  
22 of carnitine in muscle is about 50 to 100 times  
23 that of plasma.

24           Furthermore, although we do not have a  
25 good handle on the pharmacokinetics of carnitine,



00090

1 we know that the plasma carnitine turnover in  
2 skeletal muscle is about five to seven days and if  
3 you look at the total body turnover of carnitine,  
4 it's about 65 days. So, you know, that's very  
5 significant in terms of if we were reflecting on a  
6 patient who has muscle problems related  
7 potentially to carnitine deficiency whether it's  
8 necessary to give the drug in an intravenous form,  
9 whether that's actually particularly useful. Yes,  
10 you will raise plasma carnitine levels, but are  
11 you actually going to arrive at, are you going to  
12 actually increase the muscle levels any more  
13 rapidly.

14 I also want to add that in terms of the  
15 pharmacokinetics, it's pretty well established now  
16 that uptake of carnitine from plasma into muscle  
17 is transport, it's carrier mediated, which means  
18 that it's going to be saturated, so you really  
19 after a finite concentration of carnitine, you do  
20 not increase the amount of transfer of carnitine  
21 from the plasma to muscle any more rapidly.

22 And just one more piece about the  
23 muscle and carnitine issue, and that is, we were  
24 told earlier that the major importance of  
25 carnitine in the body is the transport of fatty

00091

1 acids from the cytosol into mitochondria, where  
2 fatty acid oxidation occurs, and that in turn  
3 leads to production of energy. And this indeed is  
4 the primary source of energy for skeletal muscle.

5 Therefore, one might expect that the  
6 levels of fatty acid, oxidation and skeletal  
7 muscle might be a good surrogate marker of  
8 physiologically significant carnitine deficiency.  
9 Now only a few studies have attempted to examine  
10 the functional effect of subnormal muscle  
11 carnitine levels and the data that is available  
12 does suggest that carnitine levels would have to  
13 be severely depleted before fatty acid oxidation  
14 is impaired and in fact, in one study by

15 (inaudible), patients with muscle carnitine levels  
16 as low as 1.5 percent that of the norm, may not  
17 have any significant signs of myopathy, and that  
18 level of depletion is not usually observed in  
19 patients on hemodialysis.

20 Siami, which is one of the studies that  
21 you all have reviewed, he conducted a double blind  
22 study that specifically evaluated the effects of  
23 intravenous carnitine on fatty acid oxidation in  
24 muscle. 14 patients on hemodialysis were given  
25 two grams of carnitine post-dialysis three times a

00092

1 week for six months. Prior to the initiation of  
2 carnitine and at the end of the study, muscle  
3 biopsies were performed on 13 patients. Muscle  
4 biopsy was also obtained from six healthy  
5 controls. Fatty acid oxidation and carnitine  
6 levels were measured in each muscle biopsy. It  
7 was noted that fatty acid oxidation was  
8 significantly lower in the carnitine treated  
9 hemodialysis patients than the controls. However,  
10 of great interest, the observation that in spite  
11 of the supplemental carnitine tripling the  
12 carnitine concentration in muscle, this did not  
13 lead to any significant increase in fatty acid  
14 oxidation levels. Therefore, again, there is not  
15 a simple equation in terms of the levels of  
16 carnitine in muscle and fatty acid oxidation in  
17 patients with ESRD disease, so this really needs  
18 to be looked at very closely.

19 Now what about the specific signs and  
20 symptoms of carnitine deficiency? If you're going  
21 to administer a drug, hopefully you have some  
22 means of recognizing when the patient may in fact  
23 be able to benefit from that. Well, all of the  
24 indications for carnitine, you know, weakness,  
25 easy fatiguability, post-hemodialysis asthenia,

00093

1 intradialytic hypotension, chest pain, muscle  
2 cramps, are very common complaints among  
3 hemodialysis patient and this is in fact related

4 in large part to the multiple comorbidities that  
5 these patients suffer as a result of their kidney  
6 disease and their endocrine abnormalities that may  
7 result from that, never mind the fact that they  
8 are usually malnourished, et cetera, et cetera, so  
9 the list goes on.

10 So again, we don't have any true and  
11 tried signs and symptoms that we can relate  
12 specifically to carnitine deficiency. And  
13 remember now that also the plasma levels of  
14 carnitine does not help us very much in terms of  
15 identifying someone who is truly carnitine  
16 deficient.

17 Other issues raised by the ESRD work  
18 group. We know that the oral drug does replenish  
19 plasma carnitine levels satisfactorily, so in what  
20 instances then does it become medically necessary  
21 to administer the intravenous drug? And if indeed  
22 you do use the intravenous drug, what are your end  
23 points? Again, the ESRD work group states, if it  
24 should ever become necessary to administer  
25 carnitine intravenously, might it not be more

00094

1 appropriate to consider it as just another part of  
2 the dialysis service and so cover it under the  
3 competent rate?

4 What is the true clinical significance  
5 of carnitine deficiency? I think I have pretty  
6 much gone over that particular aspect. But  
7 another problem that we have is, what is actually  
8 the dose of carnitine that is physiologically  
9 appropriate? Studies have shown -- currently the  
10 recommended dosing is 10 to 20 milligrams per  
11 kilogram. However, studies have shown that doses  
12 as low as 2 to 3 milligrams per kilogram given  
13 intravenously are very adequate, and they also  
14 mention the aspect about saturation of the carrier  
15 proteins.

16 So you know, one is hard pressed to  
17 determine how to administer the drug appropriately  
18 when we're not even sure what the correct dosages  
19 should be. We know that current intravenous

20 dosing, dosing at the current recommended  
21 intravenous dosages does lead to supernormal  
22 levels of carnitine. What are the long-term  
23 effects of this?  
24 Carnitine is actually a metacholine and  
25 combined to acidize choline receptors. Are we

00095

1 going to start seeing, if we decide to use the  
2 drug liberally, are we going to start seeing side  
3 effects of the drug related to this type of  
4 binding? Again, we need to answer questions like  
5 this.

6 Furthermore, we have to make absolutely  
7 sure that patients on dialysis are properly  
8 evaluated in terms of their coexisting morbidities  
9 before one considers adding yet another drug whose  
10 medical effectiveness is somewhat questionable.  
11 You know, we have to make sure that we are looking  
12 at it closely, and I can tell you from direct  
13 experience of evaluating claims and so forth that  
14 usually the rationale for administering carnitine  
15 is not well stated, it just seems like it's just  
16 given without much attention to the reason, and  
17 while I'm quite sure that for those practitioners  
18 who are at the forefront in this, that they are  
19 using a rational process to do it. This is not  
20 the case for the majority of practitioners in the  
21 field.

22 And as I say, I know for a fact that  
23 many times, and this is not just applying to  
24 carnitine but even drugs such as erythropoietin  
25 and so forth, that reasons for the patient having

00096

1 problems are not always examined in administering  
2 the drugs. So, I am coming to the end.

3 In approving drugs for coverage, should  
4 we deviate from the clinical efficacy and outcomes  
5 data in determining medical necessity? And last,  
6 but by no means least, where do we go from here?  
7 Hopefully, today's session will allow us to arrive  
8 at some consensus in terms of the appropriate

9 decision on this issue, and I speak on behalf of  
10 the ESRD work group when I say that the evidence  
11 supporting the medical necessity of intravenous  
12 carnitine at the time is not substantial and  
13 therefore, care must be taken to insure that  
14 whatever decision is arrived at does not ignore  
15 this fact because of potential political or  
16 pharmaceutical company pressure. Thank you very  
17 much.

18 DR. TUNIS: Thanks, Dr. Kadree. It  
19 looks like there's a -- maybe if we could just  
20 have a couple questions and then, you will be  
21 around for the rest of the day as well?

22 DR. KADREE: Yes.

23 DR. TUNIS: So we will try to get back  
24 on track. Go ahead, Dr. Metzger.

25 DR. METZGER: Doctor, you're the

00097

1 medical director of Georgia?

2 DR. KADREE: Yes.

3 DR. METZGER: Considering all you've  
4 presented today, including the great many steps of  
5 fatty acid oxidation, isn't it your policy is that  
6 allows for coverage of that in the April and the  
7 May '01 policy?

8 DR. KADREE: Right. We had been  
9 barraged by a lot of requests pertaining to  
10 noncoverage and so forth, and it was decided that  
11 we would call a panel, put a panel together and  
12 take a look at this issue, and based on the  
13 results of the panel, it was decided that we would  
14 liberalize the policy.

15 If you look at the policy very closely  
16 though, you realize that there are some very very  
17 stringent requirements that need to be met, and we  
18 feel that, well first of all, all claims for  
19 carnitine is being subject to medical review, and  
20 we feel that as I said earlier, there probably is  
21 a subset of patients who can benefit from this  
22 drug, but there are lots of questions that are  
23 unanswered. I feel that the criteria that has  
24 been developed by Blue Cross/Blue Shield of

25 Georgia is strong enough and stringent enough to

00098

1 insure that when it is administered, it is indeed  
2 the appropriate thing to do. So this, as I say,  
3 was a liberalization of the policy and certainly  
4 not meant to imply that it should be something  
5 that is used routinely.

6 DR. TUNIS: Go ahead, Mitch.

7 MR. SUGARMAN: Thanks, Dr. Kadree.

8 Since you raise the issue just in your fourth and  
9 fifth slide where you look at utilization, it  
10 looks like \$9 million from July to December '99,  
11 and 1.13 times for all other drugs, was that using  
12 oral or IV?

13 DR. KADREE: Intravenous, because  
14 Medicare does not cover oral. This is strictly  
15 intravenous.

16 MR. SUGARMAN: And it would be  
17 significantly different if it were oral?

18 DR. KADREE: I'm sorry?

19 MR. SUGARMAN: If there was a Medicare  
20 drug benefit and you covered the oral dose, it  
21 would be a significantly different number I  
22 suspect.

23 DR. KADREE: Yes, I imagine so.

24 MR. SUGARMAN: Thanks.

25 DR. TUNIS: Okay. We will now take a

00099

1 ten-minute break, and we will start exactly in ten  
2 minutes. For the later sessions, we will adhere  
3 brutally to our assigned times.

4 (Break.)

5 MS. LONG: Okay. We are going to  
6 continue now with scheduled public comments. The  
7 next speaker is Dr. Jill Lindberg. And just as a  
8 reminder for the speakers, there is a light up at  
9 the podium that will flash when you have, it will  
10 say sum up, and then when it does go red, that's  
11 it, it will cut off. Thank you.

12 DR. LINDBERG: My pleasure to be here.  
13 I'm a nephrologist at Ochsner Clinic, New Orleans.

14 Some of my patients are on carnitine and I have a  
15 video for each of you. I'm here because of them,  
16 because clinically it has made such a difference  
17 in their life and also their quality of life, and  
18 we will pass those videos out. The video was  
19 produced by Ochsner, not by Sigma Tau.

20 My financial interest in Sigma Tau is I  
21 am paid for coming here, consulting and for  
22 speaking, but -- and I have this documented, we  
23 have a healthy start fund for patient education.  
24 I have been a leader in the nation in developing  
25 healthy start programs to keep patients off

00100

1 dialysis with creatinines of 1.5 and greater, and  
2 to educate them very well before dialysis, and we  
3 have decreased hospitalization costs three months  
4 before and after the start of dialysis in the 174  
5 patients who have gone through the program in the  
6 last two years by \$22,000 per patient over six  
7 months. So, we didn't have funding for that, so  
8 my honoraria go into that fund.

9 I want to tell a little story about  
10 studies in dialysis patients before I get started.  
11 Studies in dialysis patients are tough. I think  
12 we've seen that. It's really hard, you're very  
13 restricted in the control arm and the treatment  
14 arm because they are so sick. And often they end  
15 early, they are closed, there's not enough  
16 recruitment because patients don't want to be  
17 bothered, they are very very hard to do.

18 One example. We have been giving  
19 calcium binders to bind phosphate in dialysis  
20 patients for years and all of a sudden we saw this  
21 high increase in calcification in our patients.  
22 Our patients die of cardiovascular disease, and  
23 one of the reasons is they come to us too late  
24 with severe left ventricular hypertrophy which  
25 then develops into congestive heart failure and

00101

1 end stage cardiomyopathy, and the other reason is  
2 the calcification.

3                   And it wasn't until Jeffrey Block took  
4 6,405 patients from the morbidity and mortality  
5 study and the case mixed study and looked at that  
6 data retrospectively, as you have suggested, and  
7 found that phosphorus levels of 7 and greater have  
8 a 34 percent higher risk of mortality. Everybody  
9 was saying oh, if you have a high phosphorus  
10 level, a little bone disease, a little itching,  
11 huh-uh, calcium phosphorus products, even in our  
12 children on dialysis, if you have a high calcium  
13 phosphorus product, because we've been feeding our  
14 patient calcium as binder, and you can't get rid  
15 of it, you will have a scan of your chest that  
16 will have a hundred times the calcium in it as  
17 another child. So, we had to find this out with  
18 retrospective review and that's what I'm going to  
19 present to you today.

20                   (Pause for audiovisual support.)

21                   The mortality rate due to  
22 cardiovascular disease is 10 to 20 times higher  
23 among ESRD patients compared to the general  
24 population. What this retrospective review did is  
25 to look at changes in morbidity, hospitalizations,

00102

1 mortality, laboratory test results and drug dosing  
2 of epogen and iron before and after exposure to  
3 carnitine.

4                   This isn't it.

5                   (Pause for audiovisual support.)

6                   The objective of this retrospective  
7 review of data, the database, is to describe  
8 changes in morbidity, hospitalizations, mortality,  
9 laboratory test results, drug dosing with epogen  
10 and iron before and after exposure to carnitine.  
11 We used a database from Fresenius Medical Care,  
12 it's a well known database and it's been used for  
13 many studies retrospectively of morbidity and  
14 mortality in our patient population. The data  
15 integrity was managed by statisticians from Emory  
16 University and Tulane.

17                   The analytic strategy is to describe  
18 the cohort of 12,477 patients and changes in the



19 outcomes measured before and during carnitine  
20 administration. We're going to separate into  
21 Group 1 and Group 2; the 8,100 patients who  
22 received carnitine for greater than three months,  
23 Group 1, compared to 4,377 who received carnitine  
24 for less than three months, compare probability of  
25 hospitalizations, cardiac events while controlling

00103

1 for other variables, and difference in laboratory  
2 results were also compared in these patients.

3 The patients, the 8,100 received IV  
4 carnitine for at least three months, and the  
5 4,377, Group 2, for less than three months. The  
6 reason for dividing the patients was to have two  
7 groups with comparable baseline characteristics  
8 since both groups had indications for the  
9 initiation of IV carnitine.

10 IV carnitine is not for everybody, you  
11 have to have a specific indication to order it. I  
12 don't even, my nurses won't even put it on the,  
13 hang it on the machine if it's not.

14 Outcomes measured, hospitalization  
15 rates, and frequency of specific morbidities for  
16 which patients were hospitalized, mortality and  
17 various lab values, which I'll go over in a  
18 minute.

19 This is very important, the descriptive  
20 statistics, the mean values, this is during the  
21 period they had, the patients 8,100 were on 13  
22 months. You need to be on carnitine, in my  
23 experience, at least four to five months to see  
24 changes. The ones who were on it less than three  
25 months were only on 1.3 months. Time on dialysis

00104

1 before carnitine, 34 months and 32 months, that's  
2 very important. I see these patients as the  
3 babies that are born, like floppy baby syndrome.  
4 They are circling the drain, you've improved their  
5 KT/V, you've improved their EPO dose and you're  
6 just not getting anywhere, and they are  
7 circulating the drain, and it's not your whole

8 population.

9           Diabetics were equal, females were  
10 equal, and deaths and hospitalizations, actually  
11 this was a sicker group, which is not unexpected  
12 with patients who received it for a longer period  
13 of time. Average hospitalization rate for any  
14 reason of greater than 24 hours per thousand  
15 person years, this any reason and greater than 24  
16 hours, was significantly different in Group 1,  
17 pardon me, Group 2 -- Group 1, the 8,100 patients,  
18 versus Group 2, the patients who had received  
19 carnitine for less than three months, an average  
20 of 1.3 months. Group 1 had a 20 percent greater  
21 likelihood of being hospitalized for any reason  
22 than Group 2, without adjustment for confounding  
23 variables.

24           These are the confounding variables.  
25 These are often used, this is fairly standard in

00105

1 all of our retrospective dialysis data analysis,  
2 age, length of time on dialysis, diabetic status,  
3 adequacy of dialysis which we use URR, albumin,  
4 hemoglobin hematocrit, and epogen dosage.

5           We adjusted for that and we looked  
6 again. In Group 1, who had had carnitine for less  
7 than three months, again 1.3 months was the  
8 average, they are 1.3 times more likely to be  
9 hospitalized than Group 2 where again, the average  
10 carnitine was 13 months in this group. So when  
11 you adjust for these confounding variables it was  
12 even a more significant difference.

13           Similar results were shown for  
14 hospitalizations less than 24 hours with an  
15 adjusted odds ratio of 1.4. Why is that  
16 important? Very commonly dialysis patients end up  
17 in the hospital for 24 hours because they have  
18 hypotension on dialysis, we fix them, they go home  
19 the next day, or they're cramping, or we can't get  
20 the fluid off because their hearts are bad.

21           Average morbidity, ICD-9 event codes  
22 per thousand person years with Group 1 and  
23 Group 2, you can see there was a significant

24 difference in the hospitalizations of less than 24  
25 hours, being much greater in Group 1, the ones who

00106

1 had only received 1.3 months of carnitine.

2 CHF was significantly greater, and  
3 fluid overload disorders, which of course is  
4 classic when you can't get the fluid off these  
5 patients.

6 Logistic regression, patients in  
7 Group 1 were 1.37 times more likely to have  
8 congestive heart failure than patients in Group 2.  
9 And here's mortality; the deaths in Group 1, the  
10 4,377 patients, 1.3 months average, were 35  
11 percent per thousand person years because we had  
12 to adjust for the time they received the  
13 carnitine, versus Group 2 that was 30 percent, and  
14 this was a significant difference. When you look  
15 at the average mortality rates for these two  
16 groups, the mortality rate, significant increase  
17 in mortality rate in Group 2, P less than .001.

18 Now, if you look at lab results, in  
19 using 8,100 patients, Group 2, there was a  
20 statistically significant increase in hematocrit,  
21 hemoglobin, red cell count and URR, as compared to  
22 the other group. In Group 2 patients beyond three  
23 months, the increase in hematocrit and hemoglobin  
24 was not fully accounted for by the increase in URR  
25 and average epogen doses, suggesting an effect of

00107

1 carnitine. The point is, I have two graphs that  
2 are in your handout, but I don't have time to go  
3 over them; it was actually a negative correlation,  
4 hemoglobin versus epogen, and hemoglobin versus  
5 URR, the point being that carnitine was having an  
6 effect here when you worked this out.

7 In summary, the use of IV carnitine by  
8 dialysis patients for greater than three months  
9 correlated to the following positive outcomes:  
10 Decreased rate of absolute hospitalizations,  
11 decreased rates of hospitalizations for cardiac  
12 morbidities, decreased death rate, increased

13 adequacy of dialysis, improved hemoglobin  
14 hematocrit.

15           And with that, I would like to end and  
16 tell you that I'm here. I'm supposed to be at a  
17 regional soccer tournament for my son, and I chose  
18 to come here because of my patients, and they are  
19 going to tell you about it when you look at the  
20 video.

21           DR. TUNIS: Thanks very much. I think  
22 we will hold all questions until the open panel  
23 deliberation when we can direct questions to any  
24 of the public speakers. Thanks.

25           MS. LONG: Okay. And the next speaker

00108

1 is Abbey Meyers. Following Abbey Meyers will be  
2 Dr. Paula Bonino.

3           MS. MEYERS: I don't have any slides,  
4 and you should be very grateful because I would  
5 really screw up this computer. My name is Abbey  
6 Meyers, I am the president of the National  
7 Association for Rare Disorders, which is known as  
8 NORD, and we represent over 6,000 different rare  
9 diseases. We are a nonprofit voluntary health  
10 agency dedicated to the identification, treatment  
11 and cure of orphan diseases.

12           The Federal Orphan Drug Act of 1983  
13 defines an orphan disease or condition as any that  
14 affects fewer than 200,000 Americans. The Orphan  
15 Drug Act was created because prior to 1983,  
16 pharmaceutical companies did not want to develop  
17 drugs for low incidence health conditions because  
18 they were perceived to have little commercial  
19 value, and this amounts to subcategories of the  
20 dialysis market.

21           I am here today to speak on behalf of  
22 all orphan drugs and their need to be made  
23 available and reimbursed through all health care  
24 programs. While I will be speaking about Carnitor  
25 injection today, I would be just as enthusiastic

00109

1 in my support for any other orphan drug in a

2 similar situation.

3 I would like to also say that Sigma Tau  
4 is a very small company, you're not dealing with  
5 Bristol Myers here, and when you talk about doing  
6 a lot of extra studies, they are not going to  
7 happen, because they don't have the financial  
8 means as the larger companies do. If the  
9 manufacturer of Carnitor injection knew that  
10 Medicare would not reimburse for this treatment,  
11 they would not have spent the millions of dollars  
12 to get the drug approved for dialysis patients,  
13 and people who need dialysis would be medically  
14 disenfranchised.

15 Carnitor injection is the only product  
16 approved by the FDA for treatment of carnitine  
17 deficiency in end stage renal disease, and  
18 Carnitor injection is not approved for the  
19 treatment of myoglobinuria. Some of the Medicare  
20 carriers say that they will reimburse for, the  
21 fiscal intermediaries will reimburse for an  
22 unlabeled indication, myoglobinuria, but not for  
23 dialysis patients. It's incomprehensible and this  
24 should be corrected.

25 It's come to our attention that some

00110

1 people are even recommending the use of oral  
2 levo-carnitine in place of Carnitor injection.  
3 Oral levo-carnitine is not proven safe and  
4 effective for dialysis, and there is evidence that  
5 it may not be safe for that indication.  
6 Furthermore, it's unacceptable for anyone to  
7 suggest, as some fiscal intermediaries have, that  
8 an unregulated dietary supplement version of oral  
9 carnitine could substitute for the prescription  
10 carnitine injection. The FDA does not regulate  
11 dietary supplements and they are often subpotent.  
12 For dialysis patients, Carnitor injection is  
13 necessary to treat carnitine deficiency and oral  
14 carnitine may not be safe or effective, and is no  
15 other alternative.

16 On behalf of NORD, we ask you to  
17 consider reimbursement for Carnitor injection that

18 will allow physicians to determine the selection  
19 of appropriate treatment. When a manufacturer  
20 invests in research and development of an orphan  
21 drug, they know that the potential market for the  
22 treatment will be small. Nevertheless, they have  
23 to prove their drug is safe and effective for a  
24 particular indication, and the FDA confirms this  
25 by approving the drug for sale in the United

00111

1 States. Carnitine injection is not only proven  
2 safe and effective, it's the only compound  
3 approved and labeled by the FDA for treatment of  
4 carnitine deficiency in dialysis patients, so  
5 denying reimbursement for Carnitor injection in  
6 the dialysis population leaves no treatment  
7 options available for these patients and their  
8 physicians.

9 In my written statement, I explain that  
10 Sigma Tau will be covering my expenses for coming  
11 down here, and I'm very happy to be here and happy  
12 that you are holding public hearings to allow  
13 public input.

14 Dr. Kadree just brought up that it  
15 seems to be a financial problem, and we were  
16 active years ago when EPO was approved and believe  
17 it or not, and I find this incomprehensible, EPO  
18 came on the market as an orphan drug, and their  
19 negotiations with HCFA at the time was to settle  
20 on a price for the drug, on the premise that only  
21 something like 20 or 40 percent of dialysis  
22 patients would be taking the drug. And of course,  
23 we know that it's turned into one of the most  
24 profitable drugs in the world now, not just for  
25 the dialysis market, but for chemotherapy

00112

1 patients, et cetera. And I get the sense that  
2 HCFA would like to avoid another EPO debacle with  
3 this drug, that it's really a financial problem,  
4 that it's really not a medical problem, but to the  
5 patients it's a medical problem.

6 So I would suggest that you think,

7 number one, find a way so that carnitine IV or  
8 injectable will not be prescribed  
9 indiscriminately; there should be some laboratory  
10 tests that are required before a person qualifies  
11 for taking it. And then, that you try to  
12 negotiate a price with the company so that you  
13 will be able to project what your annual costs are  
14 going to be.

15           When I saw the slide that Dr. Kadree  
16 put up there amount the large amount of increase  
17 in this prescribing of this drug, I understand  
18 what your concerns are, but that's not the  
19 concerns of the patients. The patients are  
20 concern that they are treated appropriately and if  
21 there is a financial problem here that is stopping  
22 them from being treated appropriately, you have to  
23 handle it in a rational way so that you can  
24 understand what your costs are going to be.

25           We want you to endorse a policy for

00113

1 Carnitor injection and reaffirm the valid medical  
2 need of these patients. Thank you.

3           MS. LONG: Okay. We're going to move  
4 on to Dr. Paula Bonino. You will notice on the  
5 agenda, the next speaker was to be Dr. Suhail  
6 Ahmad. He isn't here today, so that's why we're  
7 moving ahead, and then the speaker following  
8 Dr. Bonino will be Carole Hernandez.

9           DR. BONINO: Good morning. While he's  
10 getting my laptop up, let me just say that I have  
11 one of those LMRPs that has myoglobinuria. Let me  
12 read you the definition of the ICD-9 code 791.3.  
13 myoglobinuria (carnitine polymethyl transferase  
14 deficiency). It is the only ICD-9 code available;  
15 we do not have any ability to make up these codes,  
16 this is the only code for carnitine deficiency.

17           So we have more problems in developing  
18 policies. I have many things I'd like to talk to  
19 you, just like everyone here today, I'm just going  
20 to give you one question that I would hope would  
21 be addressed at some point today because I'm  
22 having trouble understanding it. And that is that

23 75 percent of carnitine is taken in orally in the  
24 diet. Now I understand that primarily it comes  
25 from red meat and among the dialysis population,

00114

1 many of these patients are on protein restricted  
2 diets.

3 My concern about the discussion about  
4 the trimethylamine toxicity issue with oral  
5 Carnitor is, you know, we all take this in diet;  
6 we're talking about supernormal doses I would  
7 guess is what the issue is, and if we have an  
8 active metabolite that's toxic, does dialysis  
9 remove it? These patients are on dialysis three  
10 times a week; if the problem is their kidney  
11 function is impaired, isn't it being removed by  
12 the dialysis? And I don't know those answers.  
13 Okay.

14 I'm only going to focus on two issues,  
15 the considerations of the Medicare contractors  
16 employed by HCFA to develop local coverage  
17 decisions or policies, and I will try to slow  
18 down, and to review the experience with  
19 levo-carnitine in Pennsylvania. I will not review  
20 all the clinical issues. I am an internist and  
21 geriatrician, I do not currently have in my  
22 practice any patients on hemodialysis or receiving  
23 IV carnitine.

24 I will tell you also on my other  
25 disclaimers that there's no line in my budget and

00115

1 my contract with HCFA to pay for me to come here.  
2 That's why you don't see more CMDs from these  
3 contractors here today, we have no payment  
4 mechanism to come here. We have payment to  
5 support HCFA and we do that, and most of us have  
6 sent in written documentation on this issue to  
7 HCFA, but we are not paid to come and present.

8 Considerations that we have. LMRPs are  
9 administrative and educational tools that assist  
10 providers to submit claims correctly for payment.  
11 Their focus is on Section 1862(a)(1)(A) of the



12 Social Security Act, which is the reasonable and  
13 medically necessary section, and they have three  
14 major rules. They are to be consistent with  
15 national guidance, there isn't any for  
16 levo-carnitine and that's why we are here today.  
17 They are to be consistent with scientific evidence  
18 and clinical practice, and you've heard a lot  
19 today and I will tell you what Pennsylvania's  
20 clinicians have to say on this topic. And they  
21 are developed with input from medical  
22 professionals, which is why all the physicians and  
23 other clinicians are here today.

24 Further, the Medicare Program Integrity  
25 Manual, Chapter 1, section 2.3.1, further directs

00116

1 us to develop LMRP for those services that have  
2 demonstrated a significant risk to the Medicare  
3 trust funds. These include identified or  
4 potentially high dollar and/or high volume  
5 services. It doesn't mean we don't pay for them,  
6 it directs us to give guidance on what is  
7 appropriate to pay for and what is not.

8 As you have heard today, a prescription  
9 drug benefit for Medicare beneficiaries does not  
10 now exist, there is no payment for oral  
11 medications with a few exceptions related to  
12 cancer chemotherapy and others. That's one of the  
13 major reasons this issue came to the forefront.

14 For fiscal year 1998, we're turning now  
15 to Pennsylvania's experience, we looked at our  
16 overall data to see where our Medicare dollars  
17 were being spent in Pennsylvania. We had at that  
18 time 7,690 ESRD patients for whom we processed  
19 claims. The costs for everything related to those  
20 patients was more than \$100 million. Of that, we  
21 found that intravenous drugs accounted for \$16  
22 million, and in 1998 prior to the FDA approval for  
23 this use, levo-carnitine accounted for 3.6 million  
24 of those \$16 million. The other major players in  
25 the intravenous drug were the Vitamin D analogs

00117

1 and iron supplements; you all probably are aware  
2 that a lot of iron supplements are now covered by  
3 national coverage determinations, the Vitamin D we  
4 are all struggling with individually.

5           In 1998 we found that the use was  
6 extremely variable in the state of Pennsylvania.  
7 There were units that used it for everyone, units  
8 that never used it and units that used it for  
9 selected patients. Ten of the 174 hospitals in  
10 Pennsylvania at that time that we processed claims  
11 for used levo-carnitine in their treatment of ESRD  
12 patients and believe it or not, Pennsylvania is  
13 extremely rural.

14           Except for Pittsburgh and Philadelphia  
15 and maybe Harrisburg, it's a very rural state so  
16 in some areas, patients do get their chronic  
17 hemodialysis at the hospital. This is not just  
18 about acute care, these are chronic hemodialysis  
19 patients. Of the 96 freestanding dialysis units  
20 that we processed claims for at that time, 52 used  
21 the drugs, or a little over half. However, of  
22 those 62, 10 and 52, half of the people who used  
23 it used it for fewer than 10 patients, so it was  
24 clearly not the universal standard of care for all  
25 dialysis patients. In fact, the drug was used in

00118

1 only 717 of the 7,690 patients, or about 9 percent  
2 of the population.

3           A central issue of course that we're  
4 all talking about today is who needs it, is oral  
5 okay, if it's not okay how do we identify which  
6 patients need it, and one of the issues that we  
7 saw in Pennsylvania at the time was the desire on  
8 the part of a few folks to use it broadly for  
9 every patient on dialysis, not for the selected  
10 individuals that we've heard talked about this  
11 morning.

12           IV levo-carnitine represented 23  
13 percent of all drug expenditures for these  
14 patients in Pennsylvania in 1998 prior to the  
15 approval for this use, while it was used in only  
16 9 percent of those patients. If it were to be

17 used for every dialysis patient, we would be up to  
18 230 percent of expenses. I don't mean to say that  
19 this is all about costs the, but to infer that  
20 cost bears no importance is not right either in  
21 the sense that the Medicare trust fund is a  
22 limited source of money and we have to be cautious  
23 about the implications so that when we develop  
24 policies, we try to develop them for clinically  
25 prudent, medically proven reasonable and necessary

00119

1 uses.

2           As with all drugs, no one's mentioned  
3 the side effects yet today. Seizures have been  
4 reported in patients taking levo-carnitine. I  
5 found the information about valproic interesting,  
6 and this is patients who do or do not have  
7 preexisting seizure activity, and it's been found  
8 with both the oral or IV form. In those patients  
9 who have preexisting seizure, an increase in  
10 frequency and/or severity has been reported.

11           We looked at the literature, you've all  
12 looked at it. At the time, the review revealed  
13 that oral carnitine might be helpful in the anemia  
14 question, and our review is that erythropoietin  
15 was better than carnitine at the time, although  
16 these issues of existence are coming up now, and  
17 erythropoietin of course was already covered.

18           So we went on to do our job and develop  
19 an LMRP that gave specific coverage guidelines.  
20 We talked to Pennsylvania's nephrology community,  
21 we talked to Pennsylvania's ESRD network.  
22 Pennsylvania's clinicians did not feel this was a  
23 drug that Medicare should be paying for at this  
24 time. Therefore, our indication is for the acute  
25 treatment of patients with the inborn error of

00120

1 metabolism that results in a carnitine deficiency  
2 either primary or secondary. It is not covered  
3 for the routine use for all ESRD patients in  
4 Pennsylvania.

5           LMRPs also, as you may or may not be

6 aware, have the ability to have medical exceptions  
7 requested. I'm afraid to put this slide up,  
8 because I also don't have a staff of people to  
9 answer the 3,000 mail requests I'm going to get  
10 tomorrow, but if I have 3,000 people in  
11 Pennsylvania that need this, I need to be hearing  
12 from them.

13 In fiscal year 1999, before the LRMP  
14 went into effect, you will notice that our 62  
15 providers went up to 70, our 717 patients  
16 increased to 905, and we paid out \$4.6 million for  
17 this drug. In fiscal year 2000, after the LMRP  
18 went into effect, 15 providers submitted claims  
19 for 55 patients, and they were paid. I have had  
20 not a single request for a medical exception since  
21 the day this policy went into effect.

22 From a clinical standpoint, these  
23 patients are young for Medicare, but they are  
24 frail. They have multiple serious illnesses.  
25 Proper medication use in this population is

00121

1 essential, and it's a valid quality of care issue.  
2 Your work for today is the work we've all  
3 described. Should we cover it, is it reasonable  
4 and medically necessary is the cornerstone of that  
5 argument and if indeed it is, can we identify  
6 who's going to benefit, should there be a  
7 requirement for a trial of oral, and we have to, I  
8 think, think about whether this is or is not the  
9 nation's standard of care.

10 MS. LONG: Thank you, Dr. Bonino. The  
11 next speaker is Carole Hernandez, and following  
12 Ms. Hernandez is Edwin Scott.

13 MS. HERNANDEZ: Let me say at the  
14 outset that my expenses to be here today from New  
15 Jersey are being reimbursed by Sigma Tau. Good  
16 morning.

17 I am glad I could arrange to be here  
18 today to relate how IV Carnitor has affected my  
19 life. I am a dialysis patient for close to 25  
20 years. I have seen many things come and go, some  
21 good, like Carnitor, and some not so good, like

22 the Bentley button for hemodialysis.  
23 I think it's significant when something  
24 comes along to improve the quality of life for  
25 dialysis patients. I know from personal

00122

1 experience Carnitor is that something. My quality  
2 of life directly affects a number of people and  
3 indirectly even more. I live with my husband of  
4 almost 29 years, a teenage niece, and a cat. I  
5 love them all and I do my best to take care of  
6 them if even in small ways.

7 I have restless leg syndrome. RLS is a  
8 problem that causes a crawling feeling in my legs  
9 that is only relieved by moving them. It  
10 according to NORD, the National Organization for  
11 Rare Disorders, typically occurs at sleep or rest,  
12 is chronic and progressive. This has been my  
13 experience for close to 40 years. According to  
14 the Awake magazine of 11/22/2000, RLS affects up  
15 to almost 15 percent of the U.S. population.  
16 Chronic disease may cause RLS symptoms,  
17 particularly kidney disease.

18 I was a young girl when symptoms  
19 started and it usually occurred late at night in  
20 the car, coming home from a family outing. I was  
21 told to sit still and behave, but I just have to  
22 shake my legs and change my position constantly.  
23 This was a rare occurrence back then that had no  
24 name, and has become chronic and progressive.  
25 Over the years, the episodes have become more

00123

1 frequent, they last longer and the symptoms are  
2 relentless.

3 Before Carnitor, IV Carnitor, my last  
4 experience with RLS had me barely going through  
5 the motions of life. I was awake every night  
6 walking, reading and rocking, writing letters  
7 while I moved my legs. I was given several  
8 medications, all one after the other. I was up to  
9 five. I was taking Ambien, Valium, Klonopin,  
10 Percocet and Elavil, all taken half an hour apart

11 from each other, so by three or four in the  
12 morning, I would finally go to sleep at the  
13 kitchen table or on the couch or in the rocking  
14 chair.

15           It was very upsetting to have my  
16 husband get up and down at night to check on me.  
17 It made me feel that I was causing him so much  
18 concern, it made me feel bad that I was causing  
19 him so much concern and loss of sleep. My mother  
20 would write me and say Carole, please stay away  
21 from the stairs while you're like that. I tried  
22 not to turn on too many lights and I learned to  
23 cry quietly. I was frustrated, depressed, and  
24 felt a burden on my husband.

25           I began to cut my dialysis treatment

00124

1 towards the last hour or hour and a half, because  
2 I was so restricted in movement I just felt like I  
3 could scream. They started to give me IV Valium,  
4 but that resulted in only maybe a five or  
5 ten-minute reprieve. Then my doctor, Mohammed  
6 Huq, decided to start me on IV Carnitor with the  
7 hope of helping my RLS.

8           We started out with a half a gram and  
9 increased to 2 grams after each treatment three  
10 times a week. My restless leg syndrome was gone,  
11 and it did not recur the years that I was on IV  
12 Carnitor. I had no episodes. My cardiac  
13 arrhythmias also went away completely. That is  
14 significant because in the book The Wisdom of  
15 Menopause, by Christine Northrup, she writes,  
16 "Carnitine helps prevent heart disease, helping to  
17 prevent cardiac arrhythmias" what a blessing that  
18 was.

19           Then the Carnitor was stopped because  
20 the new fiscal intermediary would not cover costs  
21 where the previous one did. Within weeks, the RLS  
22 returned, and the episodes are already more  
23 frequent, lasting longer, and symptoms severe.  
24 The cardiac arrhythmias are also back and  
25 frightening.

00125

1 In conclusion, my quality of life was  
2 much improved on Carnitor and the outlook without  
3 it is bleak at best. Thank you for your  
4 attention.

5 MS. LONG: Thank you, Miss Hernandez.  
6 The next speaker is Edwin Scott.

7 MR. SCOTT: Good morning. I appreciate  
8 being here with you folks today. I came up from  
9 Georgia, and my expenses were paid by Sigma Tau  
10 due to my disability. I am a naval veteran, and  
11 I'm 59 years old and have been on dialysis going  
12 on six years, and been on levo-carnitine since  
13 April 27th of 2000.

14 A little history, I am diabetic, I have  
15 had open heart surgery, I have an atrial defib,  
16 and a few medical problems. But my doctor in  
17 April of 2000 said Mr. Scott, we're going to put  
18 you on carnitine. I said fine. That was the  
19 27th. On the 28th I walked out after dialysis and  
20 went to a friend's business and walked out and  
21 fell down, and I took the palms off both my hands,  
22 I felt so good, and it has increased steadily. I  
23 have been on the drug 14 months. My leg muscles  
24 don't hurt, I don't cramp.

25 And in the packet I made to you folks

00126

1 today, there is an organ transplant letter from  
2 Piedmont Hospital showing an infraction of 25  
3 percent, signed by Dr. Wetzel, and there is also a  
4 VA Medical Center report on the infraction of my  
5 left ventricle after levo-carnitine. One of them  
6 was in February, the other one was in July, I went  
7 on carnitine on the 27th of April, and the VA  
8 Medical Center medical report that is enclosed  
9 shows a left ventricle injection fraction of 36  
10 percent. So just that one thing outside of my  
11 center, which I did personally, not my center,  
12 because my brother said, I'm going to give you a  
13 kidney buddy, I said okay, but he said this wasn't  
14 good enough to do it.

15 So here we stand. Carnitine has made

16 it so I can be here, I can take my father to his  
17 final resting place in February. Last year I  
18 couldn't climb stairs, but I climbed stairs today,  
19 here, at the hotel, wherever. These are things  
20 that increase our quality of life and our quality  
21 of life means a lot to us. We want to raise our  
22 grandkids, we want to see our brothers and  
23 sisters.

24           There has been a lot of talk about  
25 cost. Watching this presentation today, and I'm

00127

1 not reading today, I'm working from memory, but  
2 talking about costs, well, if we notice, most of  
3 the studies showed IV carnitine patients have less  
4 hospitalizations. Two years. So, you have  
5 300,000 patients, 10 percent of them are well  
6 patients. If you take 60 days a year at an  
7 average of \$1,000 a day in the hospital, and do  
8 the math. 30,000 patients, \$60,000 a year with  
9 well patients comes up to a whole lot of money.  
10 It's not millions, it gets into the billions, and  
11 not even figuring what it costs for ICU care,  
12 which is three times the cost of just a regular  
13 room. And these graphs showed it today.

14           Enclosed in my thing was a little  
15 letter from another patient in Georgia. I have to  
16 get my glasses out, because this fellow here, I  
17 have to talk about him.

18           Mr. McDonald, who I met by phone, have  
19 not met personally, but Mr. McDonald, and this is  
20 a letter from his wife: First let me express mine  
21 and my husband's thanks for all your efforts on  
22 our behalf in securing Carnitor for his benefit.  
23 I would like to explain just a few of the details  
24 when receiving Carnitor, losing the benefit of  
25 Carnitor and receiving Carnitor for six doses as

00128

1 of today.

2           Mr. McDonald began dialysis in late  
3 1996, first hemo, then PD. With PD he had lots of  
4 trouble, infection, double hernias, several



5 operations. After switching back to hemo he did  
6 fine for a while. His doctor put him on Carnitor,  
7 which helped his leg cramps and use of his  
8 muscles. He could walk, and we could go to the  
9 mall, and exercise three times a week. He was  
10 able to stand, preach in a small church for 15  
11 minutes on Sunday, able to perform short funerals  
12 and other assistance, with assistance, which of  
13 course kept his self esteem up. He had preached  
14 for 40 years and his doctor felt that this small  
15 involvement kept him from being depressed. His  
16 health remained steady until 2000 when he was  
17 taken off Carnitor.

18 From that date until this month, he has  
19 steadily declined. He has had a heart attack, has  
20 given up any preaching, and any other assisted  
21 work, and it says work of any kind. He now cannot  
22 walk from the den to the mailbox at the end of the  
23 driveway, or from a chair to the bathroom down the  
24 hall, 40 feet. This of course has caused  
25 depression and his self esteem has deteriorated.

00129

1 Our retirement income is very limited and this has  
2 created another mental anguish problem for him  
3 since he cannot supplement the income, and it goes  
4 to say, the medical output increases.

5 With your efforts and Carnitor being  
6 returned during his treatments, he is now able to  
7 walk without assistance, his leg cramps are gone,  
8 he can breathe without the use of oxygen. His  
9 breathing had become so difficult that even eating  
10 he would have to stop halfway through and rest.  
11 At this point, he is sleeping on two pillows, but  
12 is able to breathe without difficulty. He could  
13 not shower and dress without taking two hours  
14 stopping for rest periods. However, now, only a  
15 slight shortness of breath is at completion.

16 Mr. McDonald's heart doctor now feels  
17 that his heart muscle is in shape enough that he  
18 has began mild cardiac rehab activities. His  
19 children cannot believe the difference in his  
20 stability, and have been able to take him out to

21 eat. After only these few treatments, and we're  
22 talking about two weeks, six treatments, the  
23 noticed difference is substantial. He is also  
24 able to speak over the phone to his family away  
25 from our state.

00130

1 Another surprise, last Saturday  
2 morning, he was able to stand up and actually cook  
3 grits and eggs for himself. To you this may not  
4 be anything special, but to us it's a miracle.

5 In other words, summing up, we believe  
6 in Carnitor. I only wish everyone who is on  
7 dialysis could receive it. Carnitine level does  
8 not always send the proper message. I can truly  
9 say to you, I believe I have my husband back from  
10 near death. And again, thank you. Mrs. Elvyn  
11 McDonald.

12 Folks, without this, there are many of  
13 us that will leave as my dad did in February, will  
14 leave this world. We need the drug to make this  
15 better for all of us. Your sister, your brother,  
16 your grandmother, think about how many people that  
17 you know, and everybody here has heard of somebody  
18 on dialysis. And we also need to get it in the VA  
19 system, got to get you on this one.

20 DR. HOLOHAN: We will get to that  
21 later.

22 MR. SCOTT: I'm just saying that from  
23 the patient standpoint, we feel that we're on the  
24 bottom of the chain and every time we start to get  
25 up just a little bit, they try to kick us down,

00131

1 including other drugs in our regimen. Thank you.

2 MS. LONG: Thank you, Mr. Scott. The  
3 next speaker is Dr. Joel Kopple, and following Dr.  
4 Kopple it will be Kris Robinson.

5 DR. KOPPLE: Hello. My name is  
6 Dr. Joel Kopple. I am a professor of medicine and  
7 public health at UCLA and also the head of the  
8 decision of nephrology and hypertension at Harbor  
9 UCLA Medical Center. I am here at the expense and

10 also the request of Sigma Tau. I sometimes speak  
11 for them, sometimes consult for them, and they  
12 have funded from time to time a number of my  
13 research projects. I have done a number of  
14 research studies on carnitine over the years.

15 Now, let's see if I can get this to  
16 work. I may need you back, or maybe you ought to  
17 stand next to me. You have a dinosaur before you,  
18 I apologize for that. Okay.

19 Now, as Dr. Chertow mentioned, I  
20 chaired the National Kidney Foundation K/DOQI  
21 clinical practice guidelines for nutrition in  
22 chronic renal failure. It's a longstanding  
23 several decade interest of mine, and I am here to  
24 talk a bit about the guidelines and also a bit  
25 about oral versus IV local carnitine.

00132

1 I should mention that I specifically  
2 appointed Glenn Chertow to oversee the development  
3 of the guideline on carnitine because Glenn is not  
4 only, as you can see, very bright and extremely  
5 expert in nephrology and medicine, but also  
6 because he has no conflict of interests  
7 whatsoever, and that was the reason why he  
8 developed this particular guideline within the  
9 work group and I stepped back for a bit.

10 Now, just a word about the guidelines,  
11 and I will try not to be too repetitive. First,  
12 we did use a classic, more or less a classic  
13 guideline development structured comprehensive  
14 review of the medical literature. We started with  
15 around roughly 24,000 titles and eventually, as in  
16 our experience, they ended up down to about 250  
17 manuscripts which were carefully examined and  
18 rated.

19 We employed the Rand/UCLA  
20 appropriateness method, which follows the JAMA  
21 published guidelines for structure review and  
22 clinical guideline, practice guideline  
23 development, and also the, it used to be called  
24 the AHCP, I think it's now called the AHQR, I  
25 think it is, or AHRQ.

00133

1 DR. HOLOHAN: AHRQ.

2 DR. KOPPLE: And it's staffed, and I  
3 apologize to Dr. Holohan for this, not only by the  
4 Rand Corporation, which as you know, Paul Chakel  
5 works at, but also by the West LA VA, which I  
6 actually worked at for 18 years.

7 DR. HOLOHAN: I read your CV.

8 DR. KOPPLE: It was actually maybe,  
9 probably the best time in my whole life was when I  
10 was there.

11 All decisions were made by private  
12 vote, and the guidelines were sent out  
13 sequentially to three different groups of people  
14 before they were finalized, first the K/DOQI, a  
15 very large steering committee which as  
16 Dr. Paganini has mentioned, is very  
17 multidisciplinary in itself and has  
18 representatives from organizations not only  
19 throughout the United States, but even some from  
20 outside this country. Then it went out to a large  
21 array of organizations, both within the nephrology  
22 and also the community, the nutrition community,  
23 both nephrology and nutrition organizations, as  
24 well as just general medical organizations. And  
25 finally it went out to roughly about 400

00134

1 interested participants, and these reviews were  
2 actually, comments were tabulated, critically  
3 analyzed, and then the final decisions were made  
4 about with the guideline development.

5 Now as you probably know, the guideline  
6 on carnitine reads as follows: There are  
7 insufficient data to support the routine use of  
8 L-carnitine for maintenance dialysis patients, and  
9 I would like to emphasize the word routine. I  
10 think the language was very carefully crafted and  
11 the word routine was put in there because it was  
12 clearly felt that obviously, it shouldn't be used  
13 for everybody. There is no evidence whatsoever  
14 that every dialysis patient should get it. But it

15 was on the other hand felt, the question in fact  
16 was left remaining as to whether it might be good  
17 for certain subsets of dialysis patients.

18           And there were two qualifying  
19 statements, which is very typical for most of our  
20 guidelines. The first was, and I read this,  
21 although the administration of L-carnitine may  
22 improve subjective symptoms such as malaise,  
23 muscle weakness, interdialytic cramps and  
24 hypotension, and quality of life in selected  
25 maintenance dialysis patients, the totality of

00135

1 evidence is insufficient to recommend its routine  
2 provision for any proposed clinical disorder  
3 without prior evaluation attempts at standard  
4 therapy.

5           Second, and last qualifying statement  
6 was, the most promising of proposed applications  
7 was in the treatment of erythropoietin resistant  
8 anemia.

9           Now just to summarize, this list  
10 contains what probably most people would consider  
11 potential indications for L-carnitine use, and  
12 they have been addressed earlier. These include  
13 malaise, asthenia, muscle weakness, decreased  
14 exercise capacity, intradialytic muscle cramps,  
15 intradialytic hypotension, impaired cardiac  
16 function, arrhythmias, low quality of life or in  
17 other words, a particularly poor sense of well  
18 being, erythropoietin resistant anemia, and  
19 hypertriglyceridemia.

20           I would actually like to congratulate  
21 and compliment Dr. Klassen, who I thought actually  
22 put together a very incisive and comprehensive  
23 examination of literature, but I do have to make  
24 one qualification, which is based on our own  
25 structured review and upon my own experience both

00136

1 with studying carnitine and with reading and  
2 examining the literature, and that is that it's  
3 really rather hard to compare these studies. And

4 particularly where it says there was not benefit  
5 versus there was a benefit.

6 For example, the Ahmad study which a  
7 number of people have referred to and which I was  
8 both one of the four principal investigators and  
9 also one of the architects of. Actually, and I  
10 think Dr. Klassen mentioned this, actually it  
11 evaluated arrhythmias and found no difference  
12 between placebo and a control group, and one of  
13 our problems in the study is it in fact was  
14 underpowered. We had a very very low incidence in  
15 both groups. Similarly, the incidence of  
16 hypertriglyceridemia in both groups at baseline  
17 was very small, so small that one would have  
18 predicted it would have been about four or six in  
19 each group, and it would have been impossible to  
20 show a difference even if carnitine does cause  
21 such a difference.

22 I think one needs to be careful in  
23 interpreting some of the negative studies, because  
24 sometimes there wasn't a high enough incidence of  
25 the outcome in question to, or excuse me, of the

00137

1 manifestation in question to adequately test it.  
2 And of course as Dr. Klassen also pointed out, a  
3 number of the outcomes were, number of the studies  
4 were in fact underpowered just by the small  
5 numbers of patients studied.

6 Now, every one of the guidelines has a  
7 rationale section in it, and the rationale section  
8 for the carnitine guideline included a brief  
9 overview of some of the research studies and some  
10 of the issues involved with trying to interpret  
11 the data. Nonetheless, it ended up with, this was  
12 one of the statements with which it ended, which  
13 reads, in selected individuals who manifest the  
14 above symptoms or disorders, and who have not  
15 responded adequately to standard therapies, a  
16 trial of L-carnitine may be considered in reaching  
17 these conclusions, because of the strength of  
18 evidence, of available evidence, as well as the  
19 alternative therapies available for each potential

20 indication. It should also be recognized that  
21 L-carnitine in fact, at least in my judgment,  
22 experience, as well as reading, in fact has a very  
23 safe adverse effects profile.

24 I must say that I was quite surprised  
25 at the strong association between seizures and the

00138

1 use of L-carnitine that Dr. Bonino has described.

2 I must tell you, I was not aware of that, and I  
3 should point out that seizures are not uncommon,  
4 novo seizures and changes in the frequency of  
5 seizures are not uncommon in dialysis patients,  
6 and whether it's related to carnitine in her  
7 patients may well be the case. I must say that I  
8 just find this rather a striking association.

9 Now, the work group did not address the  
10 issue of oral versus IV carnitine, and I think  
11 it's fair to say that the reason that it didn't  
12 was because again, it felt the data was not  
13 substantial enough to really examine this question  
14 in detail, and -- but, what I'm going to say now  
15 is in fact --

16 DR. TUNIS: You have about 30 more  
17 seconds.

18 DR. KOPPLE: 30 more seconds. My  
19 personal opinion, that is that the bioavailability  
20 is small. Tests on bacterial flora are increased.  
21 There are logarithms greater, and they are in the  
22 small intestine in dialysis patients. So in fact,  
23 this is quite usual in normal, so they have the  
24 opportunity to actually degrade carnitine. There  
25 is evidence that some of the compounds that it may

00139

1 metabolize may be toxic in humans. And in fact  
2 conversely, carnitine in vitro may in fact promote  
3 proliferation.

4 This says many more trials; it should  
5 say including larger numbers of patients, probably  
6 more than just the number of trials, and this is  
7 my last slide, indicate potential benefits of IV  
8 carnitine than oral. That's my read of the

9 literature and experience.

10 And finally, oral carnitine may be as  
11 safe and effective as IV, but I would argue that  
12 we know less about it, and we don't have a good  
13 safety profile, and I'm not sure therefore, that  
14 it should be mandated.

15 Perhaps I will close, if I can, with  
16 one personal statement and that's my last slide.  
17 That is that I know if I was a maintenance  
18 dialysis patient and I had some of these  
19 multiplicity of symptoms these individuals has,  
20 and if I didn't respond to standard therapy, I  
21 would demand carnitine, not because I was certain  
22 it would help me, because it might help me, and I  
23 would demand it for my family for the same reason.  
24 And also because I think in fact it's safe, and  
25 because more is known about IV than oral, I would

00140

1 demand IV, and I thank you for your attention.

2 MS. LONG: Thank you, Dr. Kopple. Our  
3 next speaker is Kris Robinson, and following is  
4 Dr. Alexander Fleming.

5 MS. ROBINSON: Good morning. I'm Kris  
6 Robinson, I'm the executive director of the  
7 American Association of Kidney Patients and I am  
8 also a kidney transplant recipient. AAKP  
9 appreciates the opportunity to provide oral  
10 testimony to the Drugs, Biologics and Therapeutics  
11 Panel of the Medicare advisory committee today.

12 I would like you to know that we were  
13 invited here today by CMS, recently known as HCFA,  
14 and that none of my travel expenses have been  
15 covered by any company here represented. The  
16 American Association of Kidney Patients, also  
17 known as AAKP, is the voluntary patient  
18 organization which for over 30 years has been  
19 dedicated to helping renal patients and their  
20 families deal with the social, physical and  
21 emotional impact of kidney disease. As the only  
22 national kidney patient association directed by  
23 patients specifically for patients, we realize the  
24 important need to insure quality of care and



25 access to all dialysis, potential dialysis

00141

1 patients, and transplant recipients. Access to  
2 care for patients is a primary concern for AAKP  
3 and the patients we represent.

4           Though we do not have the expertise to  
5 be involved with reimbursement decisions and the  
6 cost of therapies, we do recognize that access to  
7 care must never be jeopardized for patients.

8 Thus, there are several points which we wish to  
9 make to the panel today. Number one, AAKP is  
10 concerned that the differences in each  
11 intermediary's reimbursement policy results in a  
12 situation where some dialysis patients have access  
13 to drug reimbursement and the medicines their  
14 doctors prescribe while others do not. The  
15 inconsistencies across the United States leave  
16 patients confused at the very least, and lacking  
17 coverage that exists for others at the very most.  
18 It is our belief that when medication or treatment  
19 is approved by coverage by certain intermediaries,  
20 it should be reimbursed by all to allow for an  
21 even playing field amongst patient care.

22           Point number two, AAKP is concerned  
23 about how physician prescriptions may be altered  
24 due to inconsistent policies. Dialysis  
25 facilities, as you may know, use different

00142

1 intermediaries for billing. Thus, though a  
2 patient may receive a prescription from his  
3 physician for a medication and receive it in his  
4 unit because it is Medicare reimbursable through  
5 that intermediary, that same patient may travel  
6 for business or pleasure, and find that he cannot  
7 receive his medication in another area due to an  
8 intermediary's decision. Thus, if the patient is  
9 not able to pay for the drug himself or through a  
10 secondary policy, the prescribed medication that  
11 he has been receiving at his home unit is denied.  
12 This is in direct conflict with the doctor's  
13 prescription.

14 Point number three. The patchwork  
15 nature of the current process can discriminate  
16 according to geographical location, again because  
17 dialysis facilities use different intermediaries  
18 for billing, a patient dialyzing in one part of  
19 town may be able to receive prescribed medication  
20 reimbursed by Medicare, while another patient  
21 dialyzing at a unit across town may not. Without  
22 a consistent national policy, we worry that access  
23 could prevent a segment of the population from  
24 securing services.

25 AAKP commends the panel for addressing

00143

1 the issues of access to medications and therapies  
2 for ESRD patients. We appreciate the opportunity  
3 to provide you with input into your efforts and  
4 encourage you to assure that today's outcome will  
5 provide for consistent access to Medicare benefits  
6 for all patients. Thank you.

7 MS. LONG: Thank you. Our next speaker  
8 is Dr. Alexander Fleming.

9 DR. FLEMING: Thank you very much,  
10 Mr. Chairman. In my capacity as the chief  
11 scientific officer of a contract research  
12 organization, I have occasionally provided  
13 Sigma Tau consultation services, and Sigma Tau has  
14 compensated me for appearing here today.

15 I think my role here is to comment on  
16 the FDA approval process in general as it pertains  
17 to the review of Carnitor or L-carnitine. I left  
18 the Agency three years ago after 16 years of  
19 service in the public health service, first at NIH  
20 and then for 12 years at FDA. When I left the  
21 Agency I was senior endocrinologist. I do  
22 acknowledge Dr. Klassen's important point that FDA  
23 approval is necessary but not in itself sufficient  
24 for authorizing Medicare coverage for an approved  
25 therapy, but I would also add that the FDA review

00144

1 process integrates a wide number of  
2 considerations; it's what we might consider as

3 where the rubber meets the road in terms of the  
4 interface between clinical practice, scientific  
5 evaluation and public policy.

6           Just a quick review of the general  
7 principles of FDA therapeutic process, and for  
8 probably most of you, this is not really  
9 necessary. But I think it's well understood that  
10 generally two well controlled studies are required  
11 to provide substantial evidence and substantial  
12 evidence is an important concept here. A specific  
13 therapeutic benefit needs to be identified, and  
14 the Agency has to assess whether the benefit to  
15 risk relationship for the proposed treatment is  
16 acceptable for the proposed clinical indication.  
17 Ultimately, the task is to determine if a therapy  
18 is safe and effective for the intended use, based,  
19 on a review again, of substantial evidence.

20           FDA's considerations in determining  
21 what constitutes substantial evidence is probably  
22 relevant to the deliberations today. As you can  
23 understand, the size of the targeted patient  
24 population is certainly relevant. When large  
25 numbers of patients are available for clinical

00145

1 trials, it makes it easier to conduct robust  
2 studies, and that is the theory behind orphan drug  
3 considerations. These therapies are certainly  
4 important when any unmet medical need exists, and  
5 there is a greater priority to fill that need.

6           Ethical considerations are certainly  
7 important in what can and cannot be answered with  
8 clinical studies, and I think we ought to come  
9 back to that point as it pertains to the  
10 comparison of oral carnitine and intravenous  
11 carnitine.

12           Finally, the kinds of outcomes that can  
13 reasonably be measured in the real world have to  
14 be considered. And there are many other  
15 considerations, but I think those are enough for  
16 now.

17           Let's talk about the FDA's  
18 considerations in determining how effectiveness

19 should be measured. First of all, there is the  
20 issue of what kinds of outcomes should be measured  
21 and we will drill down on that in a moment. Then  
22 there is the issue of what kind of magnitude of  
23 response would be considered clinically  
24 meaningful, and of course that is a human  
25 judgment. Then there is the issue of whether

00146

1 there is the need to demonstrate an ultimate  
2 clinical outcome and if so, when, in relationship  
3 to approval of the therapy. Finally, there is  
4 often the challenge of balancing the competing  
5 priorities of reaping scientific conclusiveness  
6 and providing for unmet patient needs.

7           Now, just a few words about surrogates  
8 in therapeutic development and regulation, because  
9 that is of course very pertinent to today's  
10 discussion. Surrogates in this context are  
11 outcomes that are deemed very likely to reflect  
12 but not actually represent in themselves clinical  
13 benefits. Obviously, surrogates have had very  
14 important roles in the approval of therapies for  
15 many chronic diseases. I think all of you are  
16 aware of the stores of the lipidfluorine  
17 therapies, therapies for diabetes and hypertension  
18 as being good examples here. The concept of  
19 surrogates in therapeutic regulation is well  
20 established in FDA lore, and more recently has  
21 been codified in law with the FDA Modernization  
22 Act of 1997 being an example.

23           And I might just mention that the  
24 distinction between a clinical outcome and a  
25 surrogate outcome is not always clear. And as an

00147

1 example, I would point out that blood glucose is a  
2 surrogate for diabetic complications, but also it  
3 is a clinical parameter in itself that is directly  
4 related to symptoms and metabolic derangement that  
5 requires immediate treatment. And by the way, the  
6 surrogate, glucose as a surrogate for diabetic  
7 complications has taken 40 years to confirm, but

8 in the landmark studies reported in the past five  
9 years have actually shown that relationship. So  
10 what this comes down to is that any given  
11 therapeutic indication has a wide spectrum of  
12 possible outcomes for supporting it at the  
13 regulatory review level.

14           What about FDA options when a surrogate  
15 outcome is the basis of an approval? First of  
16 all, for the past eight years there has been  
17 something called the accelerated approval  
18 mechanism, which actually makes it possible to  
19 provide a conditional NDA approval. The effect of  
20 this is that a therapy can be approved but a  
21 confirmatory study of the clinical benefits is  
22 required and must be recorded within a stated  
23 period of time. The therapy can be, or the  
24 approval can be withdrawn if the results of the  
25 study are not confirmatory or if the data

00148

1 themselves are not forthcoming.

2           The FDA may also, and frequently does  
3 place requirements on the sponsor for conducting  
4 post-approval studies, and this is seen very  
5 commonly with therapies of all sorts and involving  
6 populations of all sizes. And finally of course,  
7 the FDA has the option of not requiring any  
8 further studies at all.

9           And going back to Dr. Tunis's question  
10 I think early on about grading the kinds of  
11 situations that may be encountered, we could  
12 consider these perhaps grade A, B and C.

13           Key facts in the review of carnitine  
14 that are available in the public record and which  
15 I have had reviewed are simply summarized here.  
16 First of all, and all this has been well  
17 presented, I won't go into detail, but obviously  
18 hemodialysis clearly removes carnitine from the  
19 blood. Patients with end stage renal disease on  
20 hemodialysis have or are at risk for carnitine  
21 deficiency. Parenteral carnitine supplementation  
22 comes down to being the only practical means for  
23 repleting the deficiency state resulting from

24 dialysis, and manifestations of carnitine  
25 deficiency have been well described in patients

00149

1 with the condition for which carnitine was  
2 previously approved.

3           Important observations that are  
4 actually documented in the FDA review include the  
5 fact that carnitine deficiency can lead to serious  
6 and life threatening conditions, as observed in  
7 other disease studies where carnitine is  
8 deficient. Again, dialysis patients were  
9 acknowledged as suffering from carnitine  
10 deficiency, and they have frequently a clinical  
11 picture resembling the syndrome that has been  
12 observed in patients with other carnitine  
13 deficiencies.

14           IV carnitine was clearly efficacious in  
15 raising carnitine levels, and that was ultimately  
16 the basis for approval by the FDA. Furthermore,  
17 they looked at the meta-analysis of controlled  
18 trials and other studies and decided that there  
19 was a sense of clinical effectiveness, though  
20 these studies cannot by themselves be considered  
21 definitive. The probability ultimately appeared  
22 high, and they documented this in their review,  
23 that dialysis patients would clinically benefit  
24 from carnitine supplementation.

25           Improvements in the clinical status of

00150

1 exercise tolerance were not shown and this was  
2 pointed out in the review and it was insisted that  
3 this be reflected in the drug product label.  
4 However, the significance of this stipulation  
5 should be understood. This was a way of informing  
6 the prescribing physicians about the nature of the  
7 data on which the approval was based. It was not  
8 to indicate that the FDA approval was based on  
9 less than substantial evidence or the clinical  
10 benefit should not be expected from carnitine  
11 therapy.

12           Ultimately, the FDA agreed --

13 DR. HOLOHAN: Dr. Fleming, I'm going to  
14 ask you to try to wrap it up.

15 DR. FLEMING: This is my last slide.

16 DR. HOLOHAN: You are significantly  
17 over time.

18 DR. FLEMING: The FDA did obviously  
19 approve the therapy and did so on the basis of  
20 pivotal NDA studies that were statistically  
21 powered to biochemical outcome, but were not  
22 powered to demonstrate clinical benefits.  
23 Importantly, additional trials to substantiate the  
24 clinical benefits could not be justified in the  
25 eyes of the FDA and that probably deserves further

00151

1 discussion.

2 Thank you, and I apologize for running  
3 over.

4 MS. LONG: Thank you. Our final  
5 speaker is Vyoone Lewis.

6 DR. LEWIS: Good afternoon. My name is  
7 Dr. Vyoone Lewis, and I am executive director of  
8 Renal Beginnings, which is an organization  
9 designed by Early Intervention and Education  
10 Services to minority populations at risk for  
11 chronic kidney disease. I do serve as a medical  
12 consultant with Sigma Tau Pharmaceuticals, but the  
13 data I will be presenting this afternoon is on  
14 behalf of Dr. James Bazemore, who is the president  
15 of the Georgia Society of Nephrology, and  
16 Dr. Stephanie Woollen, both of whom have no  
17 financial interest with Sigma Tau Pharmaceuticals.

18 I was asked to come today on their  
19 behalf to present data that in the spring of 2000,  
20 patients at their dialysis centers who had been  
21 previously treated with IV carnitine had to  
22 discontinue therapy because of the negative  
23 coverage decision by Blue Cross/Blue Shield of  
24 Georgia. They took this unfortunate opportunity  
25 to study the effect of that withdrawal on health

00152

1 of such patients, and this was a very unique

2 opportunity in that a lot of the studies that  
3 you've heard about today really have not looked at  
4 the effect of carnitine therapy once it was  
5 discontinued in those patients throughout those  
6 studies. And I will go through these slides for  
7 Dr. Bazemore and Dr. Woollen.

8           It was a retrospective observational  
9 analysis. 35 patients were included in the review  
10 and they looked at the patients data six month  
11 prior to the patients being on IV levo-carnitine,  
12 six months of levo-carnitine supplementation, and  
13 then six months following discontinuation of IV  
14 levo-carnitine therapy.

15           This is the demographic data. The  
16 total patients reviewed were 35, there were 20  
17 females. There mean age was about 53.5 years.  
18 They were on dialysis for about 1.5 years. Mean  
19 URR was 67. The mean length of time on dialysis  
20 was 9.3 months, and the average carnitine dose was  
21 1.5 grams of IV following each hemodialysis  
22 session.

23           The type of dialyzer were F-80s, and  
24 this was also interesting from some of the other  
25 studies that we have seen today in that these

00153

1 patients in this review were actually included  
2 because they were picked for an indication for  
3 carnitine therapy similar to what we saw in  
4 Dr. Lindberg's data.

5           The rationale for IV carnitine therapy  
6 in 20 of the patients was what they call  
7 cardiomyopathy, which was not responsive to  
8 standard therapies. Now there was an interesting  
9 question about defining cardiomyopathy earlier,  
10 and that was my question to Dr. Bazemore and  
11 Dr. Woollen, what do you mean when you say  
12 cardiomyopathy? And it really means in a  
13 nephrologist's mind any patient that has a  
14 congestive heart failure, dialysis induced  
15 hypotension, and arrhythmia. So those were  
16 patients who were included in this review that  
17 they had had on other conventional therapies that



18 were not responding appropriately, and it was  
19 there method of sort of a search to look at some  
20 other alternative therapy to help and manage these  
21 patients.

22           They also had eight patients that they  
23 had on therapy that were hyporesponsive to epogen  
24 that were on high doses of epogen that were not  
25 responding in terms of improvement of hemoglobin

00154

1 hematocrit values, and seven patients that had  
2 just severe malnutrition that was just doing  
3 poorly, low energy levels that they wanted to see  
4 if this therapy would help.

5           What they did was they used a paired  
6 student T test and they looked at some parameters  
7 at the time periods set out. Earlier, they looked  
8 at ejection fractions, they measured frequency of  
9 hypotensive episodes, they looked at serum  
10 albumin, hematocrit and ferritin levels, epogen  
11 dosage, and also the patient's perception of their  
12 functional capacity.

13           I am going to go through each one.  
14 This is actually the ejection fraction data and  
15 this shows the group mean ejection fraction, there  
16 was only 7 of the 20 patients that had actually  
17 had echocardiograms done and had ejection  
18 fractions, but the baseline, the rate here  
19 represents what their baseline ejection fractions  
20 were prior to therapy, and then the green line  
21 represents six months following IV levo-carnitine  
22 therapy. And their mean ejection fractions prior  
23 to baseline were about 17.5 percent with a  
24 standard deviation of about 2.5. And we see after  
25 six months of IV levo-carnitine, their ejection

00155

1 fraction has significantly increased to 30  
2 percent, with a standard deviation of 40, and a p  
3 value of .001.

4           And that's the group mean, but if we  
5 actually look at the individual ejection fractions  
6 individually -- I mean, I thought about Mr. Scott

7 as I present this data, because this is Mr. Scott,  
8 I mean, his ejection fractions goes from a low of  
9 15 to a high of 30, and this is a difference  
10 between a patient that has a severe cardiac  
11 compromise, a patient who has severe congestive  
12 heart failure, and you're improving that patient's  
13 cardiac status.

14           When we look at the group mean number  
15 of hypotensive episodes, these were monthly  
16 values. Baseline, the hypotensive episodes were  
17 about 12.2. After six months of IV  
18 levo-carnitine, their hypotensive episodes were as  
19 low as 4.5, and Drs. Bazemore and Woollen have  
20 indicated that this therapy really has helped in  
21 improving dialysis runs with these patients. I  
22 don't know if you know what it means for a patient  
23 to have a hypotensive episode when they are on  
24 dialysis, but it's very painful, it interrupts the  
25 treatment, you cannot adequately dialyze them, so

00156

1 you don't accomplish your goal of dialysis and  
2 it's really very difficult. So this has meant a  
3 lot in their clinics in terms of being able to  
4 adequately dialyze their patients and reach their  
5 treatment goals.

6           And we see after the carnitine was  
7 discontinued for six months, those hypotensive  
8 episode once again went up in those patients. And  
9 I suspect these are probably those severely  
10 cardiac compromised patients; those are the  
11 patients that are more prone to these frequent  
12 hypotensive episodes. We know that these episodes  
13 are multifactorial. As we've heard, they can be  
14 related to fluid overload, a lot of problems, but  
15 there are some episodes that can be helped with  
16 carnitine therapy.

17           When you look at the data, all 20  
18 patients the initiated therapy secondary to what  
19 she called refractive cardiomyopathy, which I  
20 learned is the patients with congestive heart  
21 failure, hypotensive episodes and arrhythmias,  
22 nephrologists have unique ways of defining things,

23 they had a significant improvement in frequency of  
24 hypotensive episodes with a p value of .001. And  
25 once the IV levo-carnitine was discontinued, they

00157

1 saw a significant in hypotensive episodes with a p  
2 value of .005, and these patients were the  
3 patients that actually reported an improved sense  
4 of well being related to their functional capacity  
5 when they were receiving IV levo-carnitine  
6 therapy.

7           These are the category of seven  
8 patients that they had that were hyporesponsive to  
9 epogen therapy, and they defined hyporesponsive as  
10 patients that were on 10,000 or more units, and  
11 there were seven patients that they put on  
12 carnitine therapy for this reason, and actually  
13 there was a very heterogeneous response with these  
14 patients. When you look at the seven patients,  
15 only about four of the seven actually had a  
16 significant decrease in epogen therapy when they  
17 were on carnitine therapy, as well as the  
18 improvement in hematocrit values which you will  
19 see in the next slide. The blue represents the  
20 baseline, and the purple was after six months, and  
21 then the yellow is the discontinuation of therapy.

22           And this was that group's mean  
23 hematocrit values, the hematocrit values at  
24 baseline were about 35.4, they had improved to a  
25 level of 37.1 after six months of carnitine

00158

1 therapy, and then they went back down to about 35  
2 once the carnitine therapy was discontinued.

3           So in four of those seven patients,  
4 there was actually a 30 to 50 percent reduction in  
5 epogen dosage with normal iron status.  
6 Improvements in hematocrit values were seen in  
7 these patients despite decreased epogen dosage and  
8 stable iron supplementation. And once the therapy  
9 was discontinued, a significant decrease in  
10 hematocrit levels and increase in epogen dosage  
11 was noted.

12           Now I know some of the scientific  
13 experts earlier mentioned that there are  
14 multifactorial reasons why these patients are  
15 hyporesponsive to epogen, so its always good to  
16 rule out before you put the patients on carnitine  
17 some of those other reasons, and I think that's  
18 the approach that Dr. Woollen has taken in this  
19 data set, and I think that's why she's terming it  
20 refractory cardiomyopathy.

21           She also looked in the patients that  
22 she put on for malnutrition, she looked at serum  
23 albumin levels, and I know there is a lot of  
24 controversy now in the nephrology community about  
25 albumin as an indicator of malnutrition, because

00159

1 it is also a marker of inflammation in those  
2 patients. But this is the marker that she used to  
3 monitor if the patients were improving in terms of  
4 their malnutrition status while they were taking  
5 IV carnitine therapy. And what she found was the  
6 green is the before, after six months, and then  
7 after discontinuation. There were really no  
8 changes in albumin levels, it didn't have any  
9 effect on albumin levels at all in any of those  
10 patients, so there was no significant change in  
11 serum albumin during or following IV  
12 levo-carnitine therapy.

13           So I think, when I think of  
14 Dr. Woollen, Dr. Kadree mentioned earlier that the  
15 experts in nephrology know how to pick patients,  
16 the subset of patients that will benefit from this  
17 therapy, and I don't think this person, she's this  
18 country doctor in Georgia is what I think of, and  
19 I think if we can develop a prudent policy that  
20 would help the nephrologists identify which subset  
21 of patients would benefit, what are the  
22 interventions that we should rule out before  
23 putting those patients on therapy, then we can  
24 select those patients that would appropriately  
25 benefit.

00160

1           And I do want to make one other comment  
2 to Dr. Kadree's and Dr. Bonino's data on cost  
3 utilization, since we seem to keep coming back to  
4 costs. A lot of that data was collected prior to  
5 1999, and this product has been indicated since  
6 December of 1999, and as a result of that, there  
7 has been a huge, and I know because I am an  
8 ex-renal dietician, I have been out there in the  
9 community, there has been a huge lack of education  
10 about the use of this product in dialysis  
11 patients, and not because of Sigma Tau not wanting  
12 to educate the dialysis providers, because they  
13 couldn't because it was not indicated.

14           But I think now that there is an  
15 indication, if this committee can come together  
16 and put together a prudent policy, that we should  
17 be able to identify those patients who would  
18 benefit from therapy. And I thank you for your  
19 time and attention.

20           MS. LONG: Thank you. We will now  
21 break for lunch. We would like to try and do it  
22 for 45 minutes, if that's possible. So according  
23 to my watch, 45 minutes would be about five after,  
24 possibly ten after.

25           DR. HOLOHAN: Ten after.

00161

1           MS. LONG: Okay, ten after. Thank you.  
2           (Luncheon recess from 12:26 p.m. to  
3 1:30 p.m.)

4           DR. HOLOHAN: Thank you, Sean. In  
5 discussion with HCFA personnel prior to the  
6 meeting, we had concluded that there would be two  
7 reviewers, primary and secondary, but the primary  
8 and secondary were never specified, of the  
9 evidence. Cathleen Dooley and myself were, how  
10 shall I say, suggested and nominated by the  
11 members of the panel. There were only two  
12 dissenting votes.

13           But in any event, we are going to try  
14 to do a short summary of the data that we had  
15 available, made available to us by the Health Care  
16 Financing Administration. Some of this may be a

17 little repetitious from some of the presentations  
18 earlier this morning. I will try to be concise  
19 and precise and emphasize some slightly different  
20 issues.

21 I presume, I know the panel, I don't  
22 know if the audience has the evidence charts,  
23 evidence tables that I put together. My  
24 indications are a little bit different than those  
25 on the evidence tables used by the Health Care

00162

1 Financing Administration. Some studies are  
2 repeated, because a number of the published  
3 studies had multiple outcome measures. I will  
4 make some comments during the mention of a few of  
5 these published papers of what I believe to be  
6 some problems with the study or the protocol as  
7 reported.

8 I presume the panel has in front of  
9 them these evidence tables. I am not going to go  
10 through these study by study, but there will be a  
11 summary at the bottom of each chart and I will  
12 mention a few issues that haven't been emphasized  
13 so far by either HCFA or some of the proponents or  
14 some of the people who have questioned the use of  
15 carnitine IV.

16 The first table is entitled Effect of  
17 Exogenous L-C Upon Exercise Capacity and Strength.  
18 I decided to combine exercise capacity and  
19 strength, since the studies were fairly few, I  
20 think there are only seven. Ahmad has been cited  
21 a number of times, and this was a multicenter  
22 randomized control trial. I should tell Cathy, I  
23 use the words randomized if there was any  
24 randomization at the beginning. The only other  
25 categories I included were crossovers and case

00163

1 series. So randomized control trial is used in  
2 its broadest sense.

3 In Ahmad's study on maximum O<sub>2</sub>  
4 consumption, they only measured this in 37 of the  
5 82 patients, and it was only measured at three of

6 four centers, so this measure was not uniformly  
7 made across all 82 patients in the four centers  
8 involved. They measured exercise capacity by  
9 maximum O2 consumption using a bicycle ergometer,  
10 and the load was increased until patients couldn't  
11 maintain a 50 RPM baseline. So it was essentially  
12 exercise to max capacity or exhaustion.

13 The results according to the authors  
14 were that levo-carnitine, which was given in the  
15 dosage indicated, resulted in an increase in max  
16 O2 consumption. However, the magnitude of the  
17 increase was from 1,140 to 1,250 milliliters per  
18 minute, and that's corrected for body weight, and  
19 I will leave it to the panelists to determine if  
20 the difference between 1,140 and 1,250 is  
21 clinically significant.

22 Brass did a similar study. This was a  
23 two-part randomized control trial, and the  
24 protocol called for two separate groups of people  
25 and the patients randomized to L-C in each of

00164

1 those, Studies A and B, got different dosages of  
2 L-carnitine. One was 60 milligrams per kilogram  
3 per week IV, based on three dialyses, and the  
4 second study actually used a dose escalation,  
5 three different dosages. They also used a bicycle  
6 ergometer and they found no difference between  
7 levo-carnitine and the placebo in either Study A  
8 or Study B.

9 What they did though, was to do a  
10 secondary analysis where they combined Studies A  
11 and B and showed a small positive effect. They  
12 said they used a mixed linear model adjusting for  
13 baseline data and dry weight. The placebo showed  
14 a slight decrease in max O2 consumption, the  
15 levo-carnitine showed no decrease, and this is  
16 what they describe as a small positive effect.  
17 Again, the difference was 56 milliliters of oxygen  
18 per minute. And again, I will leave it to the  
19 panel to determine if 56 is a significant  
20 difference compared to baselines of 1,250 to  
21 1,400.

22                   There's something more important in  
23 this, though, and this was something that appeared  
24 in a number of other studies, and that was a post  
25 hoc analysis after completion of the protocol.

00165

1 Let me read a comment from Tricia Greenwald, who  
2 wrote a series of papers published in the British  
3 Medical Journal on statistics for the  
4 nonstatisticians, which I guess fit most of us  
5 here. And one of the things she talked about was  
6 looking at a study to see if the data were  
7 analyzed according to the original protocol. I'm  
8 going to take a few minutes, or few seconds, to  
9 quote exactly what she said.

10                   "If you play coin toss with someone, no  
11 matter how far you fall behind, there will still  
12 come a time when you are one ahead. Most people  
13 would agree that to stop the game then would not  
14 be a fair way to play. So it is with research.  
15 If you make it inevitable that you will eventually  
16 get an apparently positive result, you will also  
17 make it inevitable that you will be misleading  
18 yourself about the justice of your case.

19                   "Raking over your data for 'interesting  
20 results', retrospective subgroup analysis can lead  
21 to false conclusions. In an early stud on the use  
22 of aspirin in preventing stroke, the result showed  
23 a significant effect in both sexes combined. A  
24 retrospective subgroup analysis seemed to show the  
25 effect was confined to men. This conclusion lead

00166

1 to aspirin being withheld from women for many  
2 years until the results of other studies showed  
3 that the subgroup effect was spurious."

4                   People who are into methodology can  
5 find many other reviews and commentary similar  
6 that talk about the danger of what I guess most  
7 people in medicine call data dredging,  
8 retrospective post hoc analyses. I won't go  
9 through all of these in detail.

10                   Bellinghieri used PO IV carnitine,



11 tested knee flexion, three-step climbing, after  
12 and between analysis, and they assessed fatigue by  
13 the time it took and the number of steps a patient  
14 could achieve, and they presented the results  
15 graphically, so it was kind of hard to get  
16 magnitudes, but it appeared that the post-dialysis  
17 fatigue measures decreased approximately 2.5 down  
18 to .25 on a one-to-three scale, and the authors  
19 concluded that was a dramatic fall in those  
20 symptoms.

21 Fagher used knee torque with a  
22 dynamometer and found no significant difference.

23 Giovenali used maximum voluntary  
24 isometric quad contraction, a reference to  
25 methodology they used, but they didn't specify

00167

1 exactly how they did the study, and found  
2 significant increase in force values for two of  
3 their three groups; those two groups were on  
4 intravenous as opposed to PO L-carnitine. But it  
5 only occurred in seven patients out of 16. This  
6 is something we're going to see repetitively, that  
7 even where an overall group analysis showed  
8 significant difference, it may have been  
9 restricted to some of the patients, almost all the  
10 benefit occurred in some of the patients and  
11 another fraction showed absolutely no benefit.

12 Siami measured overall activity on an  
13 interview scale that ranged from normal activities  
14 of daily life to bed bound. The placebo group  
15 went from an average score of 3.5 to 3.1, slight  
16 improvement; the carnitine group from 3.4 to 2, it  
17 wasn't statistically significant, but the authors  
18 also claimed a cluster of responders, again, after  
19 completing the study, so this was also a post hoc  
20 analysis.

21 In summary, for these studies, there  
22 were five randomized control trials, one  
23 crossover, one case series. Four studies showed  
24 no difference in exercise capacity and strength,  
25 and three showed improvement. Of the three

00168

1 showing improvement, two used the intravenous dose  
2 form, and one oral dose form.

3           Regarding cholesterol, triglyceride and  
4 HDL levels, we've been told by an earlier speaker  
5 to ignore all this, but in fact, this is the most  
6 common set of outcome measures that appear in all  
7 of the literature provided by HCFA, so although we  
8 are told to ignore it, apparently the researchers  
9 did not.

10           I won't go through these piece by  
11 piece, but in this series, there were six  
12 randomized control trials, ten case series, one  
13 crossover, one control group that was not  
14 randomized or at least apparently not assigned in  
15 a random fashion, and one study that used as a  
16 control group predialysis patients, and one could  
17 argue I think convincingly that a patient who's  
18 predialysis is not intrinsically medically  
19 comparable to a patient who is on dialysis.

20           No studies showed changes in  
21 cholesterol. With regard to triglycerides, four  
22 reported a decrease, one an increase, two an  
23 increase only in the phase off levo-carnitine,  
24 nine described no change. Decreases in  
25 triglycerides occurred both with PO and with IV

00169

1 use.

2           For high density lipoproteins, three  
3 studies reported an increase, which is good, ten  
4 no change, and the increase occurred both in PO  
5 and in IV administration.

6           There are some additional things to  
7 remark on. In the first study, Bellinghiere, all  
8 the patients had triglyceride levels at the  
9 beginning that were less than 230, which is really  
10 not hypertriglyceride anemia by most clinical  
11 criteria. Elisaf, who said that triglyceride  
12 levels decreased with IV use, the average  
13 triglyceride level went from 225 to 211, which is  
14 not clinically significant; both are slightly  
15 above the normal range. Similarly, for Lacour's

16 study, the triglyceride decrease was fairly  
17 modest. And in Vacha's study, a case series of 29  
18 patients, there was probably quite a significant  
19 decrease, from 350 to 150, but it appeared only to  
20 occur in 12 of the 29 patients who started with  
21 low HDL levels.

22 So again, the benefits on cholesterol,  
23 triglyceride and HDL levels are inconsistent.

24 In terms of effects upon hemoglobin  
25 hematocrit and red cell counts, which were

00170

1 measured variably by different investigators,  
2 there were five randomized control trials, one  
3 controlled trial with a control arm that wasn't  
4 clear that they were in fact randomly assigned,  
5 two crossover, one case series. For hemoglobin  
6 levels, one study showed an increase on  
7 levo-carnitine, four no change. For hematocrit,  
8 three showed an increase, three no change. And  
9 for red cell count, one showed an increase, two no  
10 change.

11 In terms of cardiac dysfunction, which  
12 we've heard a fair amount earlier, the studies  
13 that I found among those forwarded to me by HCFA  
14 used as a measure arrhythmias, dyspnea on  
15 exertion, ventricular or supraventricular  
16 premature beats, and an ejection fraction the day  
17 after dialysis. The measurement tools were  
18 respectively a Holter EKG, patient reports of  
19 dyspnea after 10, 20 and a 30-step climb and what  
20 the authors describe as strolling for 100 and 500  
21 meters. Suzuki used continuous EKG during  
22 dialysis, and van Es who measured the ejection  
23 fraction, didn't specify the technique they used;  
24 my presumption was since it was 1992, they  
25 probably used ultrasound measures of ejection

00171

1 fraction.

2 Two of the four studies showed an  
3 improvement in these measures. Both of those,  
4 Casciani and Suzuki, administered L-carnitine by

5 mouth. Van Es's study in again, a post hoc  
6 subgroup analysis, determined that ejection  
7 fraction had increased in seven patients who were  
8 symptomatic, which they defined as hypotension in  
9 dialysis, but not in six asymptomatic patients.  
10 Their initial protocol for this study didn't  
11 prespecify whether patients would be evaluated on  
12 the basis of hypotensive symptoms during dialysis.

13 I found only three studies on the  
14 erythropoietin requirements, Kletzmayer, Labonia  
15 and Semeniuk. All of these used intravenous  
16 formulation. Two were randomized control trial,  
17 Semeniuk's was a crossover study. The dosage  
18 varied. Kletzmayer found that there was a mean  
19 decrease in erythropoietin requirements of 36  
20 percent, but it occurred in only eight of 19 of  
21 the experimental group patients; in other words,  
22 the 36 percent average decrease was totally due to  
23 dramatic decreases in a little fewer than half of  
24 the experimental group patients.

25 The authors concluded from this that a

00172

1 disturbance of levo-carnitine metabolism is not  
2 simply a deficiency that can be restored  
3 necessarily with supplementation; they didn't  
4 provide further explanation. Similarly in  
5 Labonia's study, the mean decrease of 38 percent,  
6 very very similar to that reported by Kletzmayer,  
7 was really a result of the decrease in seven of  
8 the 13 experimental group patients, again  
9 indicating that there may be some subgroup effects  
10 which really should be addressed in a follow-up  
11 study.

12 What I used as quality of life were  
13 only the studies that used an available reliable  
14 and validated measure such as the kidney dialysis  
15 questionnaire or the Short Form 36. One was a  
16 small study of 16 cases and the other was  
17 101-patient randomized control trial. Sloan, we  
18 have had referred to before; they reported an  
19 improved general health and physical function  
20 which is two of the eight SF-36 scales, but that

21 was not sustained for the duration of the study.

22           And finally, the effect of exogenous  
23 levo-carnitine upon symptoms, there are a fair  
24 number of studies. I will limit my comments to  
25 the fact that Ahmad's study, for example, reported

00173

1 improvement in symptoms but they reported it by  
2 patient numbers, so if the patient reported an  
3 improvement in symptoms, the magnitude of that  
4 improvement wasn't counted, the patient was  
5 counted as a yes. So it was basically an all or  
6 none test, patient either reported improvement in  
7 symptoms or did not report improvement in  
8 symptoms, the order of magnitude of that  
9 improvement was not assessed.

10           And they concluded that asthenia  
11 decreased, hypotension decreased and cramps  
12 decreased, all were significantly different from  
13 the placebo. Brass's study had a statistically  
14 significant improvement in fatigue, none of the  
15 other measures, but on a Leichert scale of seven  
16 to one where seven is asymptomatic and one is  
17 severe, the improvement in fatigue went up by .05  
18 out of a scale of zero to seven. The exercise  
19 testing from Brass, we've already talked about.  
20 Casciani looked at symptoms, and curiously, they  
21 said they monitored 11 symptom but they only told  
22 about four, which were asthenia, cramps,  
23 hypotension, and dysnea on exertion. These were  
24 assessed by patient interviews every two weeks,  
25 and their conclusion was that there were no

00174

1 differences between the levo-carnitine and the  
2 placebo arms. We don't know what the other seven  
3 symptoms that they didn't monitor were.

4           In sum, in these studies there were  
5 four randomized trials, two crossovers, one case  
6 series. Four of the studies showed improvement,  
7 two used the IV formulation, two used the oral  
8 formulation. And three studies showed no  
9 difference between levo-carnitine and placebo on

10 symptoms; all of those three studies used the IV  
11 formulation. I am finished.

12 MS. DOOLEY: As Dr. Holohan mentioned,  
13 I am the second reviewer and what I'm going to do  
14 is just basically go through and look at the  
15 questions that HCFA asked us. The question posed  
16 to the panel was whether there was adequate  
17 evidence that carnitine deficiencies associated  
18 with the clinical outcomes in patients with ESRD  
19 on hemodialysis.

20 We were provided 36 articles by HCFA as  
21 well as a significant amount of information that  
22 was submitted by the manufacturer Sigma Tau. Most  
23 of the articles and information related to  
24 clinical outcomes and although the evidence is  
25 somewhat limited, it appeared that sufficient

00175

1 evidence had been provided to permit a conclusion  
2 that carnitine deficiency is associated with  
3 clinical outcomes in patients with ESRD on  
4 hemodialysis.

5 We were asked whether there was  
6 adequate evidence that the administration of  
7 L-carnitine was effective as a therapy to improve  
8 clinical outcomes in patients with ESRD. We were  
9 advised to consider the evidence both in aggregate  
10 as well as specific clinical conditions such as  
11 anemia management, cardiac dysfunction, disorders  
12 of muscle strength, and physical functioning or  
13 exercise capacity. As noted, there are some  
14 limitations associated with many of the studies of  
15 L-carnitine, and many examples this morning have  
16 been cited as why those limitations might exist.

17 For example, many sample sizes were  
18 small, the duration of the studies were variable,  
19 and the focus was also on subjective symptoms  
20 which are difficult to evaluate in an unbiased  
21 manner. Dr. Holohan and others have described the  
22 studies in detail so I won't duplicate that  
23 information. I think the DOQI opinion that there  
24 is insufficient data to support the routine use of  
25 L-carnitine for maintenance in dialysis patients

00176

1 has probably been demonstrated. However, I think  
2 there is an overall impression of the studies that  
3 when you consider them as a whole, they suggest  
4 that certain dialysis patients who have not  
5 responded to standard therapy can have improved  
6 outcomes when treated with L-carnitine.

7           We were asked whether there was  
8 adequate evidence that the effectiveness of  
9 L-carnitine is different from IV administration  
10 compared with oral administration, and I think  
11 there are two issues that need to be addressed on  
12 this. In light of the studies which form the  
13 basis of the FDA approval of IV L-carnitine in  
14 patients on dialysis, there is no question that IV  
15 administration is effective in raising L-carnitine  
16 levels. Also, IV administration has proven to be  
17 safe at fairly high doses and there are no  
18 warnings or contraindications listed in the PI.

19           Second, it's my opinion that there is  
20 inadequate evidence regarding the safety and  
21 efficacy of the oral administration and  
22 furthermore, the manufacturer noted in its  
23 submission that the long-term exposure to oral  
24 L-carnitine can lead to the accumulation of a  
25 potential precarcinogen in patients with renal

00177

1 impairment. Although this risk is theoretical, it  
2 should not be overlooked, especially in light of  
3 the availability of an FDA approved IV  
4 formulation.

5           I am sure like many of you, we have  
6 seen L-carnitine advertised in dietary  
7 supplements, but I think one thing to make sure we  
8 note is that the issue before us is L-carnitine  
9 approval as a drug. Both FDA and HCFA have  
10 definitions of drugs, and for Medicare the  
11 definition of a drug is specified in the Social  
12 Security Act. The key point that L-carnitine is  
13 listed in the USP and therefore qualifies as a  
14 drug for both FDA and Medicare purposes.

15           There is additional information that I  
16 think is pertinent to our deliberations that need  
17 to be brought to the panel's attention, and the  
18 first of that relates to the FDA review process  
19 and the use of the surrogate end point for the  
20 approval of L-carnitine. This slide summarizes  
21 the FDA's traditional standard for approval of new  
22 drugs. And the Federal Food Drug and Cosmetic Act  
23 requires substantial evidence of the effect that  
24 it is claimed to have based on the information  
25 presented in well controlled studies, that the

00178

1 current conditions described.

2           I think most carrier and intermediary  
3 medical directors are familiar with this standard,  
4 and they generally rely on the FDA label as an  
5 indication that a particular drug is safe and  
6 effective, and therefore eligible for Medicare  
7 coverage. However, in the case of L-carnitine,  
8 there is a statement on the label that causes  
9 concern and obviously has been the focus of some  
10 discussion.

11           The specific statement in the FDA label  
12 which reads, the effects of supplemental carnitine  
13 on the signs and symptoms of carnitine deficiency  
14 and on clinical outcomes in this population have  
15 not been determined. Clearly, if you took at that  
16 statement alone, it raises serious questions in  
17 the context of Medicare coverage and I can see how  
18 some carrier and intermediary medical directors  
19 when they reviewed this statement, they could  
20 conclude that there is no basis for coverage under  
21 Medicare, because the effects of carnitine have  
22 not been determined. But I think when we look at  
23 this we need to also look at it in the context of  
24 the FDA review process.

25           The FDA reviewed the clinical data and

00179

1 information that was presented to it by the  
2 manufacturer and in the case of L-carnitine, that  
3 did include two placebo controlled studies which



4 have been reviewed this morning. The FDA does not  
5 conduct independent review of the medical  
6 literature as a routine part of their drug  
7 application review process and consequently this  
8 statement in the FDA label cannot be interpreted  
9 to mean there's no evidence of L-carnitine  
10 clinical effectiveness.

11 If we look at how the FDA concluded the  
12 effects of supplemental carnitine on the signs and  
13 symptoms of carnitine deficiency and on clinical  
14 outcomes in this population, how that was  
15 determined and how the approval was made, I think  
16 it was noted this morning that FDA's approval is  
17 based on surrogate end points of L-carnitine, and  
18 I think people are familiar with the fact that the  
19 FDA, and as noted in the FDA review material that  
20 we received in the panel, that there was ample  
21 evidence that carnitine deficiency can be a  
22 serious life-threatening condition, there is ample  
23 evidence that hemodialysis depletes carnitine  
24 stores, and in light of the safety of carnitine,  
25 efficacy in the treatment of carnitine deficiency

00180

1 may be inferred from the data showing that  
2 carnitine levels are maintained or actually  
3 increased.

4 This statement is included in the FDA  
5 guidance documents and was cited in material from  
6 the manufacturer that was provided to us by HCFA,  
7 and I think what it says is that FDA can accept a  
8 surrogate end point in the absence of the data on  
9 mortality and morbidity which is traditionally  
10 accepted with a new drug application.

11 I think one thing to note, and someone  
12 noted this earlier, that L-carnitine is considered  
13 an orphan drug, and I think people are familiar  
14 with the Orphan Drug Act that was signed in 1983.  
15 It's again not intended for routine use, but there  
16 may be a certain defined patient population from  
17 the studies that we saw that actually do benefit  
18 from the treatment with L-carnitine.

19 Another point of background information

20 I think we have to consider as we begin our  
21 deliberations regarding Medicare coverage is the  
22 coverage for drugs and biologics as outlined in  
23 the Medicare coverage manual. Obviously this is a  
24 longstanding policy, and I think the key phrase is  
25 actually bolded, specifically, FDA approved drugs

00181

1 are considered safe and effective when used for  
2 indications specified in the labeling, for drugs'  
3 safety and efficacy are longstanding criteria that  
4 are used to determine whether or not an item of  
5 service is reasonable and necessary and therefore  
6 covered under Medicare.

7           As HCFA has revised its coverage  
8 decisions over the past several years, the  
9 criteria for determining whether an item or  
10 service is reasonable and necessary have been in  
11 evolution and in light of this longstanding  
12 coverage policy, we have to have a discussion and  
13 understand what would make this a reasonable and  
14 necessary coverage decision.

15           The last issue that I think has to be  
16 raised is the existing Medicare policy that has a  
17 direct bearing on our deliberations, because  
18 L-carnitine is available both in oral and  
19 parenteral administration. If you look at the  
20 slide, and this is from the Medicare carriers  
21 manual, it says medication given by injection is  
22 not covered if standard medical practice indicates  
23 the administration of the medication by mouth is  
24 effective and is the accepted or preferred method  
25 of administration. Under this policy, injectable

00182

1 drugs are not covered if the oral route is  
2 accepted or the preferred method of  
3 administration.

4           In addition to the studies we have  
5 available for our review, I think we also have to  
6 take into account this current policy and consider  
7 whether oral L-carnitine is the accepted or  
8 preferred method of administration, and I think

9 that from the information we saw this morning,  
10 obviously the safety and efficacy was demonstrated  
11 in IV. Thank you for your time.

12 DR. TUNIS: Okay. We have temporarily  
13 lost our chair, but we will move on to the part of  
14 the agenda which is an opportunity for open public  
15 comments at this point. Could I see just by a  
16 show of hands how many individuals would like an  
17 opportunity to address the panel during the open  
18 public comment period? So, each of these  
19 individuals could have about three to four minutes  
20 of time in this open comment period, and why don't  
21 we start over here, with the gentleman in the back  
22 and if you would, restate your name and your  
23 affiliation, although I think the folks here know  
24 who you are, but for the purposes of the record,  
25 please restate your name and affiliation.

00183

1 MR. SCOTT: Mr. Edwin Scott, from Villa  
2 Rica, Georgia, a long way away from here, here at  
3 Sigma Tau's beckoning, and they have helped with  
4 the expenses.

5 My feeling is that we're looking at a  
6 population of roughly 300 million people in this  
7 country and we are talking about 300,000. And of  
8 these 300,000, we're not saying 300,000 need the  
9 drug, and nobody has stated that today. We're  
10 stating that there are people like myself who  
11 exceed the drug, Mrs. Hernandez, who the drug has  
12 helped her, Mr. McDonald, who is back on the drug  
13 for two weeks and can cook his own breakfast,  
14 doesn't sound like a whole lot. But to us, I just  
15 walked to a restaurant, probably two blocks and  
16 two blocks back. That's a big thing for us, by  
17 being able to get up and go.

18 We spend millions and millions of  
19 dollars on all the other millions of people that  
20 are HIV positive and everything, but we are  
21 talking about a very little segment of this  
22 population. As I said before, every time we get  
23 our head up over the wall, we seem to get kicked  
24 in the head and have to get knocked back down.

25 This would help. It helps me, it helps other

00184

1 people. We don't want a blanket coverage, we just  
2 want our nephrologist to be able to say, go  
3 through our records and say you're qualified,  
4 we're going to try this for six months; if it does  
5 good, fine, we'll keep you on it, but if it  
6 doesn't, okay. But we don't need to make a  
7 regulation so strict that it makes the provider,  
8 our dialysis companies shy away from it.

9           It was enumerated here by Dr. Kadree  
10 that they are what we call hooking in the patient  
11 class, medical review, every Carnitor claim. No.  
12 Why should we all be hooked if it's doing us good?  
13 If our nephrologist is not qualified to be a  
14 doctor and figure out what's best for their  
15 patients, they are not doing their job. They  
16 don't need to have somebody over at HMO, sorry,  
17 you can't do that. Who's making the decisions?  
18 We're here to make a decision today for several  
19 though patients in the country who need to be so  
20 situated as I am. I thank you for your time.

21           DR. TUNIS: Thank you, sir. Do you  
22 want to go next?

23           DR. SCHREIBER: I am Dr. Brian  
24 Schreiber, Fox Valley Nephrology Partners. I did  
25 want to be able to present at least one of the

00185

1 algorithms and discuss it. I have my disk here  
2 but there's probably not time to bring it out. I  
3 know that copies of my slides were distributed and  
4 it would be on page 27, but let me refer to how  
5 one can actually effect a practical way of using  
6 this. I want to emphasize as people have, that no  
7 responsible nephrologist of which I am aware, and  
8 I certainly would never advocate routine use of  
9 intravenous levo-carnitine for hemodialysis.  
10 That's a strawman that has been held up for so  
11 long as a reason not to cover it for the people  
12 who need it for indicated uses. I want to make  
13 that very clear, and that was because of the

14 heterogeneity that the chairman alluded to in a  
15 very detailed manner that we have in our units now  
16 for several years been following very strict and  
17 very detailed algorithms that have to be followed  
18 for this to be used.

19           These algorithms require for example, a  
20 cardiomyopathy, and I have to apologize to the  
21 chairman. I thought he was asking me how I as a  
22 nephrologist used the word and I misunderstood the  
23 question, and nephrologists are not cardiologists.  
24 A cardiomyopathy as shown in the algorithm refers  
25 to sickness of the heart muscle which can take

00186

1 many forms, and if one looks at the algorithm for  
2 cardiomyopathy, let me just say, if a person has  
3 cardiomyopathy, and I apologize that we don't have  
4 the slides up, one has to determine if it's the  
5 type of cardiomyopathy for which levo-carnitine  
6 has been shown to have benefits.

7           How do you determine type of  
8 cardiomyopathy, what subset of heart sickness you  
9 have? You do an echocardiogram, that's the  
10 practical safe way to do it, it's done in dialysis  
11 units now. And you do an echo and if it shows  
12 what's called diastolic dysfunction, which is  
13 thickening of the heart, which obliterates the  
14 heart cavity so the heart can't fill, that has  
15 nothing to do, there is no study showing that  
16 levo-carnitine improves that. You treat  
17 appropriately for diastolic dysfunction things  
18 that have been shown to help, beta blockers, CCBs,  
19 et cetera.

20           If you do the echo and you see a  
21 certain region of the heart that's not contracting  
22 properly, this regional abnormality is not a total  
23 heart problem, it suggests that the coronary  
24 artery is not delivering blood to that region.  
25 That person need to be worked up for coronary

00187

1 disease. How do you work people up? The same way  
2 everybody else gets worked up, cardiac

3 catheterization, fix the lesion if you can. Those  
4 patients are excluded. We don't want to ever see  
5 L-carnitine used as a treatment for critical  
6 coronary stenosis; that would be crazy and wrong  
7 and bad medicine.

8           But if you go through that elimination  
9 criteria and then you see a person has global  
10 hypokinesia, another subset of cardiomyopathy  
11 which, this is often what people in slang will  
12 call congestive or dilated cardiomyopathy, those  
13 patients then get further looked at and we see,  
14 have we done everything that's conventional to  
15 treat this condition? The patient's volume status  
16 control, is their blood pressure on a good  
17 control? Have we controlled arrhythmias that they  
18 may if we have been able to, and have we applied  
19 the other medications that are conventionally  
20 applied for this?

21           And then if we've done that, we  
22 reevaluate the patient. We say okay, is it better  
23 now? How do you reevaluate? Well, the best way  
24 is the echo. And if it's not better, if the  
25 patient is still having the same problem, we have

00188

1 gone through an illumination and we've tried the  
2 therapies, then we ask ourselves several  
3 questions. Has the patient been on dialysis long  
4 enough to become carnitine deficient, greater than  
5 six months? If it's yes, then in our units we  
6 measure a level. Now this does properly exclude  
7 some people who may be cardiac deficient but not  
8 blood deficient, but we have to have standards.  
9 And we measure a level, if it's less than 35, then  
10 we give a trial of IV levo-carnitine for six to  
11 nine months and then we reevaluate, usually by  
12 echo along with symptoms. And we reevaluate, we  
13 do a reevaluation, and if the echo shows  
14 improvement we continue it, if the patient has not  
15 improved in terms of symptoms of congestive heart  
16 failure on echo, we stop the drug. Thank you very  
17 much.

18           DR. TUNIS: I'm sure there will be more

19 opportunity for you during the open discussion,  
20 several of the panelists will clearly call upon  
21 you again in terms of these and other questions.

22 DR. SCHREIBER: I would be very  
23 grateful, thank you.

24 DR. LINDBERG: I am in agreement with  
25 Dr. Schreiber's comments. We have a very strict

00189

1 algorithm for starting carnitine. We have  
2 actually a check sheet and we have the codes, and  
3 we go through the patients on an every three-month  
4 basis and reevaluate them in our kinetic session,  
5 where we review everything. We at that time  
6 review carnitine use.

7 But basically, what happens to this  
8 population is they have kind of become a  
9 population that people thought were sick and not  
10 worth our time for a long time. I have trouble  
11 getting cardiologists or thoracic surgeons to do  
12 their CABGs, orthopedics to replace their hips,  
13 and they are not the same population they were ten  
14 years ago. They are better dialyzed, they have  
15 EPO. I have 43 percent of my unit working full  
16 time. They are contributing to society, I think  
17 you have seen people here, but after a certain  
18 period of time, and you have to have corrected  
19 everything, they are as, if any of you have  
20 listened to the video as one patient said,  
21 circling the drain, and this makes a difference.  
22 It is a deficiency that as John Newman said when I  
23 lectured on this at NPF recently, why isn't  
24 everybody on it after they have been on dialysis  
25 at least four to five years, which seems to be the

00190

1 time when this occurs.

2 There are a lot of studies, they have  
3 been summarized here, and they have very very  
4 different types of results, combined results, but  
5 this is what happens in this patient population.  
6 They aren't easy to study. There are so many  
7 confounding variables. I have done a lot of NES

8 studies, EPO studies, and it's very tough because  
9 of confounding variables. The average enrollment  
10 in these large FDA studies and companies with lots  
11 of money is 2 to 3 percent, because these patients  
12 are so difficult to fit inclusion-exclusion  
13 criteria.

14 So these studies are certainly  
15 heterogeneous results but when you look at the  
16 retrospective review, that's not very  
17 heterogeneous, it's numbers. I compared it to  
18 when we retrospectively reviewed our calcium  
19 phosphorus issues in our patients, and before --  
20 the task before you is to carve out coverage but  
21 certainly not to take it away from those who so  
22 desperately need it. Thank you.

23 MR. MEHRLING: I am Ken Mehrling, the  
24 chief operating officer from Sigma Tau. I wanted  
25 to try to address three things that I think were

00191

1 discussed this morning.

2 One is, the usage prior to the FDA  
3 approval has been mentioned several times. I  
4 would like to make it very clear that it was not  
5 driven by Sigma Tau and I think if you've read  
6 some of the outcomes in patient responses, it  
7 would not be hard to imagine a physician wanting  
8 to try it on more people perhaps than they had  
9 done the appropriate qualification. It's a new  
10 medicine and it is under review.

11 That did concern us. We actually  
12 funded through the National Kidney Foundation a  
13 nutrition study group so that we could end up with  
14 a learned group of people to give us advice on how  
15 best to have this product utilized. And when our  
16 approval came in December of 1999, we actually  
17 have incorporated in our promotional materials  
18 algorithms, et cetera, that tie back to what the  
19 K/DOQI recommendations were. We in no way have  
20 intended that this product should be a first line  
21 product, nor have we ever intended that it should  
22 be routinely used in all dialysis patients. In  
23 fact, one of the key points for us is it is not



24 routine usage but more appropriate usage.

25 And it was even mentioned with regard

00192

1 to the Georgia Blue Cross and Blue Shield policy  
2 that there is a subset of patients that it does  
3 appear to benefit, and I think that the  
4 heterogeneity of study result makes it difficult  
5 for us to try to determine who those are, which is  
6 why the K/DOQI guidelines have been very helpful  
7 and we tried to incorporate them. Thank you.

8 DR. HOLOHAN: Thank you. I should  
9 point out to the panel that Sigma Tau could not  
10 have promoted an unlabeled use of an approved  
11 drug. That's not legal, so at least from my point  
12 of view, I never suspected that.

13 DR. FORNACINI: My name is John  
14 Fornacini (phonetic) and I'm vice president of  
15 regulatory science for Sigma Tau Pharmaceuticals.  
16 I would like to make a couple of comments  
17 regarding this issue. Actually in 12 years that  
18 the drug has been marketed, we have a little less  
19 than 20 cases of seizures, about 55 percent oral,  
20 45 percent in IV. We put in a package insert the  
21 seizure before to get an approval in a  
22 (unintelligible) because in a (unintelligible)  
23 patient, we only have two or three cases of  
24 seizure.

25 In about two cases -- one case was a

00193

1 (unintelligible) seizure. In another case the  
2 follow-up showed it was a calcification of the  
3 temporal frontal lobe that the investigator  
4 classified as a possible cause of seizure. So we  
5 can clearly state that more than 95 percent of the  
6 cases of seizure were in patients not in dialysis,  
7 or patients with abnormal metabolism.

8 And I want to make another comment  
9 about trimethylamine. Some people explained that  
10 also trimethylamine can be removed by the dialysis  
11 process. That is true, but the efficiency of the  
12 removal of the trimethylamines is less efficient

13 than L-carnitine, because L-carnitine is a  
14 (unintelligible) ammonium, so the association is  
15 pH independent, is always in a (unintelligible)  
16 form, so it is very water soluble. Instead,  
17 trimethylamine is vis-avis the association, pH  
18 dependent, physiologic pH of 7.4. there is a  
19 certain percentage that is disassociated and when  
20 it is disassociated becomes very volatile and can  
21 be absorbed very easily in very lipophilic tissue.  
22 There are studies by Simenov (phonetic) in 1978,  
23 that show accumulation of trimethylamine and  
24 methylamine in the nervous system, central nervous  
25 system, and I remember that trimethylamine anuria

00194

1 is a particularly rare disorder due to an  
2 impairment of the flavine monooxygenase enzyme  
3 that transforms trimethylamine into methylamine  
4 oxide, and was associating in many cases -- in  
5 some cases, sorry, with seizure. So  
6 trimethylamine anuria, an accumulation of  
7 trimethylamine in plasma has been associated with  
8 seizure. Thank you.

9 DR. TUNIS: Before we jump into the  
10 open discussion, I just wanted to make a couple of  
11 comment related to the charge of the panel and  
12 some of the things that the panel should be  
13 considering or shouldn't be considering.

14 First of all, since the issue of cost  
15 was raised a couple times, I want to make it clear  
16 that the factor of cost itself is not an issue for  
17 this panel and it's not an issue related to  
18 coverage policy development of the Medicare  
19 program. Where cost has been mentioned today is  
20 that cost is sometimes a factor in whether or not  
21 an issue raises to the level of visibility that it  
22 ends up being considered for a national coverage  
23 determination by either the carriers or by other  
24 requestors, but the issue of the economic  
25 implications to the Medicare program are not a

00195

1 factor that is to be judged in terms of questions

2 about is this worth doing.

3           The issue of is this worth doing is to  
4 be totally focused on the adequacy of the evidence  
5 that you have heard today and that evidence is to  
6 include the scientific studies that have been  
7 reviewed, the professional guidelines, the expert  
8 testimony, and the testimony of the beneficiaries  
9 and patients that have spoken today.

10           So I just wanted to be clear on that,  
11 and that the charge of course for this committee  
12 is to make a recommendation to HCFA that we will  
13 consider in developing the coverage policy for  
14 levo-carnitine and your recommendation should be  
15 essentially about related to the questions that  
16 have been asked here, the adequacy of evidence and  
17 we will go through those in the discussion. So we  
18 need your recommendation on the adequacy of the  
19 evidence in answering the questions about the  
20 effectiveness of this product and any other  
21 factors that you believe should be considered in  
22 making the coverage policy.

23           So with that as context, I want to give  
24 it back to Dr. Holohan to mediate the discussion.

25           DR. HOLOHAN: Before we actually begin

00196

1 the discussion, I'm going to ask Dr. Bonino to  
2 come back up, because I think some of the  
3 information she provided addresses some issues  
4 that have come up repeatedly, including following  
5 her presentation. Dr. Schreiber, whom I believe  
6 said no responsible nephrologist would prescribe  
7 this without going through perhaps not something  
8 as ornate as the algorithm he provided us, but  
9 that this is something that is at least  
10 intuitively done on a regular basis.

11           And I thought that that seemed to  
12 differ from Dr. Bonino's comments about the  
13 observation of routine practice in dialysis  
14 patients in Pennsylvania, the heterogeneity and  
15 regional variability and how that changed with the  
16 promulgation of guidelines which as I understand  
17 it, and maybe with a little more time you can

18 elaborate on how these were developed by  
19 clinicians in Pennsylvania, not by payers, how  
20 these guidelines dramatically altered the pattern  
21 of use, and whether in fact these guidelines are  
22 similar or dissimilar to those in Dr. Schreiber's  
23 algorithm, and whether you believe that the use of  
24 these guidelines would provide some criteria for  
25 selecting the putative subset of patients who

00197

1 would benefit.

2 That's a long-winded question.

3 DR. BONINO: I'll try to remember most  
4 of it. We did find, and again, it was three years  
5 ago in 1998, that the patter of use was very  
6 disparate. There were units where it was used in  
7 pretty much every patient, and I didn't review  
8 every single one of the claims for the 717  
9 patients. Sampling of that does show that there  
10 was not what we would love to see, the kind of  
11 documentation that Dr. Schreiber is recommending,  
12 explaining why it was chosen for these patients.  
13 In most cases it was given, and absolutely no  
14 documentation about why, outcomes, effect and so  
15 forth occurred. There were units where it was  
16 never used.

17 The data that I gave was that of the  
18 174 hospitals in Pennsylvania, and I apologize  
19 that I don't have the number of those that have  
20 dialysis units. Thinking about the nature of the  
21 hospitals we would have now, it's not every single  
22 one that has a dialysis unit, but certainly more  
23 than ten, and only ten of those hospitals ever  
24 used levo-carnitine in that year and billed  
25 Medicare for it on behalf of the ESRD patients.

00198

1 We had at that time 99 free standing  
2 dialysis units and 54 percent, 52 units, ever used  
3 the drug. The other 46 percent never used it. So  
4 clear discrepancies and differences in use, and we  
5 have no reason to believe that the patients were  
6 uniformly different between those dialysis units

7 based on the rest of the claim information.

8 DR. HOLOHAN: This is probably asking a  
9 little bit too much, but do you have data as to  
10 whether the mortality rates on dialysis were  
11 different?

12 DR. BONINO: I didn't look to that  
13 data, no.

14 DR. HOLOHAN: Okay.

15 DR. BONINO: Of the providers, of the  
16 62 providers who at that time used levo-carnitine,  
17 31 of those providers used it in fewer than ten  
18 patients, so one would guess that those folks were  
19 beginning to use criteria; we didn't see it in the  
20 claims.

21 How do we do medical policy on a local  
22 level? Very briefly, it's as I described. Issues  
23 are identified through a number of areas, one of  
24 which is by high cost, high volume, that's not the  
25 only way we identify policies or issues that may

00199

1 require guidance.

2 DR. HOLOHAN: No, but I asked about the  
3 guidelines.

4 DR. BONINO: The input, right. What we  
5 did was go out to the Pennsylvania nephrology  
6 community, and I have to say something because  
7 someone else will if I don't, and it's slightly  
8 different for the fiscal intermediaries than the  
9 carriers. Prior to 1998, Medicare fiscal  
10 intermediaries did not have medical directors, nor  
11 did they have well established advisory  
12 committees.

13 We still do not have regulation to  
14 describe an advisory committee structure for the  
15 intermediaries, so we have used a carrier advisory  
16 committee and built upon that to allow us to have  
17 access to those nephrologists and to that  
18 community. We worked with our ESRD network, which  
19 is essentially the PRO, the peer review  
20 organization for Medicare ESRD beneficiaries;  
21 worked with our carrier advisory committee which  
22 has a distinct and well spelled out by regulation

23 compilation of clinical members, and asked them to  
24 number one, give us the same kind of evidence,  
25 what's your read on this literature and what's

00200

1 your clinical opinion, your expert opinion on how  
2 it should be used.

3 To be absolutely open, we had I would  
4 say eight to a dozen letters that came in from  
5 nephrologists who supported the use of  
6 levo-carnitine. They didn't contain any data or  
7 scientific evidence; they were more of the single  
8 patient testimonials. But our nephrologists who  
9 did review the scientific evidence and then gave  
10 their expert opinion, it was the one slide I  
11 showed, which was oral carnitine may benefit some  
12 folks, primary for the hypolipidemia, it may  
13 benefit anemia, but at the time it was thought  
14 that erythropoietin was a better drug to use.

15 And there might be patients who like  
16 we've heard described today, who have severe  
17 skeletal muscle problems or severe cardio  
18 problems, who have been through all the rest, for  
19 whom it might be used. Because a local medical  
20 review policy is not law, we can pay for those  
21 patients to receive levo-carnitine that fit those  
22 medical exception criteria. I have not had  
23 requests even from those eight or 12 physicians  
24 that wrote to me earlier for exceptions for their  
25 patients.

00201

1 We've had a few that -- the utilization  
2 went from, in dollars, 4.6 million six to less  
3 than 50,000. In claims, or patients rather, it  
4 went from 905 down to 55 patients. So we are  
5 paying for some.

6 DR. HOLOHAN: Let me be sure I  
7 understand. This panel of clinicians who for all  
8 intents and purposes are all in private practice?

9 DR. BONINO: Or academic, yes.

10 DR. HOLOHAN: Not employees of a payor  
11 or an insurance company.

12 DR. BONINO: Correct.

13 DR. HOLOHAN: Came up with a set of  
14 clinical guidelines.

15 DR. BONINO: Actually, they basically  
16 said they wouldn't pay for the IV except for a  
17 very rare situation where someone had been through  
18 everything else and it was sort of --

19 DR. HOLOHAN: Well, in terms of the  
20 been through everything, was it specified, been  
21 through what?

22 DR. BONINO: I'm sorry.

23 DR. HOLOHAN: You said patients who had  
24 been everything else, and I think the example you  
25 were using was cardiac dysfunction?

00202

1 DR. BONINO: Right, that basically had  
2 gone through the regular standard of care  
3 treatments and for whom --

4 DR. HOLOHAN: Did they specify the  
5 regular standard of care?

6 DR. BONINO: No, but again, this is  
7 1998, and we had planned to take this issue back  
8 out, knowing what's going on, but knowing that it  
9 came here to a national decision, it's not  
10 reasonable for us to go forward with a revision of  
11 a local until this is done.

12 DR. TUNIS: I know that Mitch Sugarman  
13 has to leave at 3, I believe. So I was just  
14 wondering --

15 DR. HOLOHAN: We'll just go through.

16 DR. TUNIS: And I wonder if, Mitch, you  
17 want to make any comments or ask any questions.

18 MR. SUGARMAN: Actually, I have a  
19 couple questions for two of our speakers, is that  
20 okay?

21 First, Miss Hernandez, since we are  
22 being asked to consider, in addition to the  
23 clinical scientific evidence, testimonial, and I  
24 appreciate your coming to give that. I just had a  
25 question of clarification and then a follow-up

00203

1 question. Did your restless leg syndrome begin  
2 prior to dialysis?

3 MS. HERNANDEZ: Yes, that started like  
4 40 years ago when I was a young girl.

5 MR. SUGARMAN: So it may not be  
6 associated then with a carnitine deficiency  
7 itself, or carnitine may in some way be of some  
8 benefit to restless leg syndrome possibly aside  
9 from what it does for patients with ESRD and  
10 nothing else.

11 MS. HERNANDEZ: That seems apparent in  
12 my case, because restless leg syndrome became  
13 progressively worse, but it did also stop the  
14 cardiac arrhythmias that I had.

15 MR. SUGARMAN: I guess the second  
16 question I had was, when the IV carnitine was  
17 taken away, did you consider or try oral carnitine  
18 either the pharmaceutical type or over the counter  
19 from the health food store as a supplement and if  
20 not, why not, if so, what effect did it have?

21 MS. HERNANDEZ: No, I didn't. I did  
22 think about it. I saw it, actually in GNC, I  
23 thought it was very expensive, too expensive, and  
24 I was just hoping that we would maybe get it paid  
25 for again. And then after testimony here today, I

00204

1 don't think I would want to try oral Carnitor,  
2 because of the effects it might have because of  
3 what it's broken down to and how the kidneys don't  
4 get rid of it, and the possible carcinogen effect  
5 of one of their components and what it may do in  
6 the gut. I have enough problems already; I don't  
7 want to open myself up to more trouble.

8 MR. SUGARMAN: Thank you very much. I  
9 have actually a very similar question for you,  
10 Ms. Lewis. Actually, first of, the study that was  
11 presented, was done I guess by Dr. Woollen, it's  
12 difficult for I think everyone on this committee  
13 to take that kind of information into account when  
14 you haven't had a chance to look at it in a peer  
15 reviewed published form. Has it been submitted  
16 for peer review?



17 DR. LEWIS: That is her plan. This was  
18 her -- this was not meant to be sort of, this is a  
19 peer review publication. It was just a  
20 clinician's account of the impact the policy  
21 development has had on their practice, and I think  
22 that she plans on doing that, that that's a plan,  
23 but this was really to just give an account of,  
24 you know, we had patients on therapy for a length  
25 of time, they were doing well, we lost coverage

00205

1 and we tried to maintain, which they have. I know  
2 Dr. Woollen and Dr. Bazemore have talked to me  
3 quite in detail about trying to maintain and cover  
4 the costs for some of those patients that are  
5 currently on. They are a stand-alone dialysis  
6 unit and that's what the ESRD program was actually  
7 set up for, to help those patients.

8 MR. SUGARMAN: It is on the surface the  
9 kind of study that, albeit it's kind of small, but  
10 this is the kind of study that one would like to  
11 see.

12 DR. LEWIS: Exactly.

13 MR. SUGARMAN: The only other question  
14 was, are you aware of whether of those patients  
15 who were on carnitine, were then removed or  
16 carnitine was made not available to them, did any  
17 of them go to oral carnitine?

18 DR. LEWIS: Yes, there were probably  
19 about four of those patients that were on oral for  
20 a short period of time, and because of the  
21 problems tolerating it, they are no longer on  
22 oral, and there was only four of those patients  
23 because of costs and other reasons. Dr. Woollen  
24 and Bazemore didn't prescribe it for those  
25 patients but the patients went out and stated I

00206

1 want to have something, an alternative, but there  
2 were problems with it too.

3 MR. SUGARMAN: Thank you. I realize  
4 it's anecdotal, but I was curious about that since  
5 we're considering anecdotal and testimonial

6 evidence, I wanted to be clear.

7 DR. LEWIS: Yeah. If I can make one  
8 comment, because we've been told that with this  
9 data before, I know Dr. Woollen was very  
10 intimately involved with the Blue Cross and Blue  
11 Shield of Georgia policy, and this is part of the  
12 data that she presented and that was the comment  
13 to her, that it's anecdotal, but it is a  
14 retrospective analysis. I mean, it's far beyond  
15 anecdotal evidence. I mean, it's really one of  
16 the only studies that we have that looked at what  
17 were the health outcomes when you took those  
18 patients off of therapy.

19 MR. SUGARMAN: I think subjecting it to  
20 peer review would be a very worthwhile endeavor.

21 DR. LEWIS: Exactly. It remains  
22 debatable until it's peer reviewed, yeah.

23 MR. SUGARMAN: Thank you.

24 DR. TUNIS: I'm just curious,  
25 Dr. Paganini, you've been thoughtfully taking all

00207

1 this in, and you are our resident clinical expert  
2 for the panel. I wonder if you had any thoughts  
3 or questions or wanted to weigh in at this point.

4 DR. PAGANINI: I have been sort of  
5 impressed and unimpressed straight through. I  
6 came sort of with a fairly open mind. In the  
7 clinic where I practice, there are some folks who  
8 use it and some folks who don't, and it seems to  
9 be used mostly in subgroups of patients that are  
10 on dialysis that you've tried everything else and  
11 why not try this.

12 In reviewing the literature for this  
13 meeting, I was relatively unimpressed with the  
14 outcomes that was purported. However, there is  
15 some data that seems to sort of made me more  
16 interested. And I would like to ask Jill  
17 Lindberg, if I could, a couple of questions, and  
18 also like to ask Dr. Kadree a couple questions, if  
19 that's okay.

20 DR. LINDBERG: I don't have my slides  
21 with me.

22 DR. PAGANINI: These are generic  
23 questions. During the same period of time that  
24 you looked in this retrospective period of folks,  
25 you had both those that had greater than two

00208

1 months, which was closer to nine months, and then  
2 those that had less than three months, which was  
3 about two months.

4 DR. LINDBERG: Thirteen months versus  
5 1.3 months.

6 (Telephone ringing.)

7 DR. PAGANINI: You're technologically  
8 overloaded, you know that.

9 DR. LINDBERG: It's a baby sitter,  
10 sorry.

11 DR. PAGANINI: During that period of  
12 time --

13 DR. LINDBERG: My other life.

14 DR. PAGANINI: The soccer coach or the  
15 baby sitter?

16 DR. LINDBERG: Well, she's trying to  
17 get my son to the airport to go to the regional  
18 tournament. I was supposed to do that, so that's  
19 basically it.

20 DR. PAGANINI: During that period of  
21 time of review, retrospective review, was there a  
22 change in KT over V, or dialysis dose delivered?

23 DR. LINDBERG: Improved URR, and it was  
24 in one of the slides and it's in your packet. It  
25 was significantly improved URR, and why that may

00209

1 be, a higher URR, I can only explain that they may  
2 have been eating better because their albumins  
3 went up, and higher BUNs and maybe they had  
4 dialysis increased. I don't have that individual  
5 data.

6 DR. HELZLSOUER: What is URR?

7 DR. LINDBERG: Oh, urea reduction rate  
8 is a standard we use; 65 percent is accepted by  
9 our networks as adequacy of dialysis. We know  
10 that adequacy correlates with decreased morbidity

11 and mortality. I'm sorry, I should have said  
12 that.

13 DR. PAGANINI: The question is actually  
14 focused basically for the panelists. There are  
15 during that same period of time, there was a  
16 concerted effort across the country to try to  
17 increase the dose of dialysis, and that increase  
18 in dose of dialysis as measured by whatever  
19 measure you want to use, URR, a bunch of things,  
20 are associated with an improvement in outcome.

21 And so one of the confounders in this  
22 retrospective review is also a concerted effort in  
23 improving dialysis. And as such, were both groups  
24 improved to the same extent or not?

25 DR. LINDBERG: No.

00210

1 DR. PAGANINI: So therefore, could you  
2 define a subgroup in that retrospective analysis  
3 that might benefit more by way of patient  
4 characteristics from this as opposed to the  
5 generic total population?

6 DR. LINDBERG: Yes.

7 DR. PAGANINI: And if so, can you  
8 withdraw those folks that didn't last the nine  
9 months, in other words, they died beforehand, were  
10 they withdrawn for a specific reason from  
11 carnitine after two months, or did they just die  
12 at two months, and so they never really got care?

13 DR. LINDBERG: 71 I think died -- I'm  
14 trying to do it from memory. 71 died at two  
15 months, they were not withdrawn. I don't know  
16 about the deaths.

17 DR. PAGANINI: So in effect what you're  
18 doing is you're having those that lasted for nine  
19 months be self, sort of sequestered, those are  
20 longevity people, so you really can't compare the  
21 two.

22 DR. LINDBERG: No, not really, because  
23 their hospitalization rate before, when you look  
24 at the patient characteristics, and their deaths  
25 overall were higher than the control group, they

00211

1 were actually sicker, the 13-month group.

2 DR. PAGANINI: Thank you, Jill.

3 DR. HOLOHAN: Could I interject and ask  
4 the same question, Dr. Lindberg, that Dr. Sugarman  
5 asked the previous witness; has this been  
6 submitted for publication?

7 DR. LINDBERG: Yes, AJKD.

8 DR. PAGANINI: American Journal of  
9 Kidney Disease.

10 DR. HOLOHAN: Submitted but not yet  
11 published or accepted.

12 DR. LINDBERG: Correct.

13 DR. PAGANINI: Can I ask Dr. Kadree, I  
14 was extremely pleased with the way that you  
15 handled this question in Georgia, you originally  
16 said no and then you said let's take a look at it,  
17 and then you had your panel get together as I  
18 understand it. The panel then defined a subgroup  
19 of folks and then you set up hoops through which  
20 people had to move in order to get this paid for.  
21 Is that sort of a --

22 DR. KADREE: Well, I wouldn't call it  
23 hoops, I would just say that we required certain  
24 documentation to be present on the chart to insure  
25 that the drug was being appropriately used.

00212

1 DR. PAGANINI: So it would be sort of  
2 like an algorithmic approach.

3 DR. KADREE: Absolutely.

4 DR. PAGANINI: That you established as  
5 policy in order to have this.

6 DR. KADREE: Right, and I would say  
7 that all the documentation requirements are things  
8 that have been substantiated in the literature in  
9 terms of measurement of those particular  
10 quantities for which carnitine is being used for.

11 DR. PAGANINI: And not to belabor the  
12 point of finances, but your group will also allow  
13 the payment for these requirements prior to giving  
14 carnitine, in other words, if someone needed an  
15 echo, you would pay for the echo.

16 DR. KADREE: Well, all procedures that  
17 are medically justifiable are usually covered.

18 DR. PAGANINI: And if it were to  
19 prepare the way for a carnitine --

20 DR. KADREE: That would be appropriate,  
21 because it would be unrealistic and unreasonable  
22 to expect them to provide certain documentation  
23 and yet at the same time say that it's not going  
24 to be covered.

25 DR. PAGANINI: Thank you. And one

00213

1 other question to Joel Kopple, if I could, and  
2 then I will stop.

3 Joel, on one of your slides here, you  
4 mentioned that the indications, especially from  
5 K/DOQI, that the indications should be fairly  
6 restrictive and should only be given to those  
7 patients that have a certain list of indications,  
8 and then you went ahead and listed indications,  
9 malaise, anesthesia, muscle weakness, et cetera, it  
10 goes down the entire list through poor sense of  
11 well being. Aren't you describing the entire ESRD  
12 population with this list, or do you think this  
13 can be defined specifically and if so, what  
14 percent of population do you believe that this  
15 would be addressed to?

16 DR. KOPPLE: First, Emil, I don't  
17 believe that I'm defining the entire ESRD  
18 population. It also has to be emphasized that  
19 these individuals first must be evaluated as to  
20 potential causes and their response to standard  
21 therapy has to be evaluated. Not said and not  
22 stated by any of these review groups but what I  
23 personally would add, and I think it's perhaps  
24 misunderstood, is that the person has to have a  
25 condition, has to have a clinical condition where

00214

1 it might be anticipated that they would have some  
2 ability to respond.

3 What I mean by this for example is if a  
4 person for example has disseminated carcinomatosis

5 for example, if a person has anemia which is  
6 resistant to erythropoietin but is also associated  
7 with chronic gastrointestinal blood loss, for  
8 example from multiple AV malformations, one would  
9 not use carnitine.

10 On the other hand, it's also my  
11 perception that you know, those of you who are  
12 physicians, probably I don't need to say this, but  
13 because you are I think appropriately so, come  
14 from heterogeneous backgrounds, let me just say  
15 this, that the chronic dialysis patient is a  
16 chronically individual. In fact, if you look at  
17 the two people who are testifying who in fact are  
18 consumers, you can see this just from the way they  
19 walk. When we just remember that the death rate  
20 of these people nationally is around 22 percent,  
21 and often when a doctor is confronted with a  
22 dialysis patient on rounds, a person has a bunch  
23 of complaints and you don't know what the cause of  
24 them are, even after you've gone through a  
25 systematic evaluation.

00215

1 Emil, am I overstating this? Do you  
2 think I'm overstating the condition in chronic  
3 dialysis?

4 DR. PAGANINI: No. I think what I'm  
5 trying to do, I honestly, Joel, I think that  
6 carnitine may in fact have some significant  
7 improvement effects in some patients, and I'm  
8 trying to get a handle on who those patients are.  
9 And by what you listed here, you know, and I don't  
10 think it is supposed to be a debate, but what you  
11 listed here, I can sort of list just about all the  
12 patients that I have ever come in contact with on  
13 dialysis into one of these systems. And yet, the  
14 literature doesn't seem to support that, so I'm  
15 just trying to get to a handle on who that  
16 subgroup might be that would truly benefit and  
17 whether or not there is information out there.

18 There are people who believe in this  
19 drug, there are patients who believe in this drug,  
20 but when you have to believe in something rather

21 than actually prove something, it tends to be sort  
22 of weak. It's not an EPO, certainly this is not  
23 an EPO. EPO was clearly effective in changing  
24 hemoglobin hematocrit, clearly effective in  
25 changing lives, because that was a major

00216

1 improvement. This is not an EPO but it does have  
2 a place somewhere, I'm just not sure where, and  
3 I'm not sure what subgroup would really benefit  
4 from it.

5           And I'm afraid that if we -- and again,  
6 this is just a personal view from -- you know, you  
7 guys asked me to come here, and I just think that  
8 I don't like to see, I wouldn't like to see this  
9 not supported because there are some people who  
10 would really, are definitely supportive, and you  
11 have heard testimony. On the other side of the  
12 coin, I don't think we really know who those  
13 people are and until we go through a Georgia type  
14 approach where you have very restricted  
15 documentation, who's going to do that, who's going  
16 to review that, who's going to put that together?  
17 That's very expensive and very time consuming, so  
18 it becomes most difficult.

19           As far as use is concerned, it's an  
20 education issue. I think when we saw in  
21 Pennsylvania where one unit was using it all the  
22 time, it was being reimbursed, everybody gets it,  
23 that's fine. If it's not reimbursed or reviewed,  
24 then nobody gets it. Some units, a lot of people  
25 got it, other units, only significant people got

00217

1 it. That's education, that's an education of the  
2 physician as a provider, and I think that's  
3 something that we probably have to address, and I  
4 don't think that's there yet.

5           DR. KOPPLE: May I just respond,  
6 because I think in retrospect that slide may have  
7 been a little misleading, the one to which you are  
8 alluding. I can see how one, it may have be more  
9 ambiguous, somewhat ambiguous. I point out, to me



10 one of the key operative words there is the word  
11 potential, and I wanted to emphasize, I was trying  
12 to list what most of the publications that I have  
13 carefully reviewed, literature have listed as  
14 possible indicators. I am not arguing -- for  
15 example, I think that the data is particularly  
16 weak with regard, I personally believe, with  
17 regard to triglycerides; hypertriglyceridemia  
18 nonetheless, because that was discussed in the  
19 DOQI in the guideline appendix, I listed that as  
20 well.

21           It's my perception that although it  
22 would be challenging, I think there are ways in  
23 which one could in fact control its usage  
24 appropriately and in addition to algorithms, I  
25 would point out you could also put a time line on

00218

1 it, after which one for example has to demonstrate  
2 evidence that it has worked, or however you wish  
3 to do it. It's my judgment, in summary, that I do  
4 think as difficult as it is, there are ways in  
5 which one could control its use. Thank you.

6           DR. HOLOHAN: Okay. In the interest of  
7 time, since the issue with the VA has been raised  
8 at least twice, aside from my claiming Dr. Chakel  
9 as one of ours, before Mitch Sugarman has to  
10 leave, I wanted to make a comment about my  
11 investigation of the use in the Veterans Health  
12 Administration of parenteral carnitine. First,  
13 the issue of benefits in the VA is rarely if ever  
14 driven by dollars. If you talk to the American  
15 Legion or the Paralyzed Veterans of America or  
16 other groups, they will make that argument to  
17 Congress, but medical care is not determined on  
18 the basis of costs.

19           VA has for many years had a total drug  
20 benefit, oral, parenteral, it makes no difference,  
21 all drugs are provided. Prosthetics are provided.  
22 You can have your home or vehicle modified free if  
23 you are disabled; the VA buys you run-flat tires  
24 so you don't have to change your tire by the side  
25 of the road, et cetera. The point I'm making is

00219

1 that money is not a major consideration in the  
2 provision of care in the VA.

3 Parenteral levo-carnitine is not on  
4 VA's national formulary. The national formulary  
5 in the VA is determined by a medical advisory  
6 panel, which includes all clinicians and some  
7 pharmacists in the Veterans Administration.  
8 Requests for additions to the national formulary  
9 come from the ground up. They occasionally come  
10 from industry, but that's uncommon.

11 So items that are put on the national  
12 formulary are put on the national formulary  
13 because doctors in the VA and some pharmacists in  
14 the VA believe they are needed. Despite  
15 criticism, our national formulary process and its  
16 existence has been as you might expect, reviewed  
17 by every imaginable alphabet soup government  
18 agency. We have had a review by the Institute of  
19 Medicine that took two years. We have had an  
20 inspection by the Office of the Inspector General  
21 of the VA, and we have had a review of the  
22 formulary process by the General Accounting  
23 Office. All of those have endorsed the national  
24 formulary process as clinically driven, evidence  
25 driven and reasonable.

00220

1 I spoke to our field advisory group in  
2 nephrology in the VA two days ago, and it is the  
3 general belief of the nephrology field advisory  
4 group that there are few if any proven indications  
5 for the use of parenteral carnitine. If Medicare  
6 wishes, I can give you the names of the people who  
7 provided me that opinion.

8 I should hasten to add, one of the  
9 physicians who made that statement has himself  
10 been a hemodialysis patient for 17 years,  
11 Dr. David Cohen, who is chief of nephrology at the  
12 West Palm Beach VA. So in general, there is not  
13 the belief among nephrologists in the Veterans  
14 Health Administration that this should be

15 routinely or even rarely used in patients on  
16 carnitine ore dialysis, and it does not appear on  
17 our national formulary.

18 A small number of patient have been  
19 given it, you can request an exemption from the  
20 national formulary for local use, and that is  
21 granted 96 percent of the time, according to the  
22 Institute of Medicine study.

23 MR. JOHNSON: That was my question,  
24 Tom, does it require prior authorization?

25 DR. HOLOHAN: Yes. Any clinician in

00221

1 the VA can request an addition to the formulary.  
2 The local formularies of networks, of the 22  
3 networks, can be more extensive than the national  
4 formulary, but they have to include every item on  
5 the national formulary. They can be more  
6 expansive but not more restrictive.

7 MR. JOHNSON: As a follow-up, I wonder  
8 if Mitch, I know that Kaiser has a very good  
9 formulary process; is carnitine available at  
10 Kaiser?

11 MR. SUGARMAN: Sometimes I call Kaiser  
12 kind of a mini-VA or mini-HCFA, but I'm not sure  
13 it's really like that. The fact is that Kaiser,  
14 because we have at the moment eight different  
15 regions, we have eight different formularies. For  
16 all intents and purposes, the only ones you really  
17 want to think about very much are northern  
18 California and southern California.

19 Levo-carnitine is on the formulary in northern  
20 California, it is not on the formulary in southern  
21 California, so where does that leave us. It is  
22 about as consistent as the VA or --

23 DR. HOLOHAN: The VA is consistent.

24 MR. SUGARMAN: I'm sorry. About as  
25 consistent as Medicare. I did check with a number

00222

1 of our nephrologists and a number of our ESRD  
2 folks before coming here and in both northern and  
3 southern California, it is rarely used. They put

4 it on the formulary in northern California because  
5 it was FDA proved and there are other indications  
6 for it. In southern California where it's not on  
7 the formulary, like with the VA, you can make an  
8 exception policy for a patient. So, it is  
9 somewhat discretionary.

10 I will say that because our patients go  
11 into our hospitals, a reduction in hospital stay  
12 would be a significant cost savings to us, so I  
13 think if our experts in this area really felt that  
14 there was a significant benefit to putting  
15 patients on this, we would see it used a lot more.  
16 You know, it's not as though they are just looking  
17 at the cost of levo-carnitine. When a patient of  
18 ours goes into one of our hospitals, there is a  
19 significant cost to us there as well.

20 DR. JORDAN: Just a question, and maybe  
21 a stupid questions; I just wondered between the VA  
22 and Kaiser, is there any difference in the  
23 population of people that may need levo-carnitine,  
24 meaning, do you have as many ESRD patients as the  
25 Medicare population does, and is that a reason why

00223

1 your policies and/or the potential that you're he  
2 seeing less of it used is affecting your  
3 experience, or does Medicare know such questions?

4 DR. HOLOHAN: We have a far smaller  
5 number of ESRD patients than does Medicare, and  
6 there are many reasons. Despite the fact that VA  
7 had dialysis essentially universally available  
8 long before 1976. VA also did the first kidney  
9 transplant in the world. Just another shameless  
10 advertisement.

11 But what has happened with the passage  
12 of the requirement in 1976, right? No, no, no,  
13 the ESRD --

14 DR. PAGANINI: '73.

15 DR. HOLOHAN: '73, okay. Patients have  
16 a lot more choices and if it means traveling two  
17 or three hours to a VA hospital from Salem,  
18 Massachusetts to Jamaica Plains, when you can go  
19 to, and I don't mean this facetiously, but the

20 Acme Dialysis Center which is across the street,  
21 and you have Medicare benefits for that, the  
22 patient will choose what they wish, as they do  
23 with coronary bypass graft and anything else where  
24 they have dual eligibility, so our patients are  
25 much smaller in number.

00224

1 MR. SUGARMAN: I'm actually not certain  
2 what our number of ESRD patients is, but there is  
3 another factor I guess that's worth considering,  
4 and that's that at Kaiser, greater than 90 percent  
5 of our Medicare patients are Medicare plus choice  
6 and they are at risk, it's an at risk population,  
7 which means that they have a drug benefit. So  
8 whether this group decides levo-carnitine IV or  
9 not, if a Permanente physician decides to write  
10 for levo-carnitine, it's a covered benefit. In  
11 other words, for Medicare an oral dose becomes a  
12 nonissue, the cost is zero; for Kaiser or Medicare  
13 members, that's not the case, so it's somewhat  
14 irrelevant to Kaiser I think what decision this  
15 group comes out with.

16 DR. TUNIS: Before going too much  
17 further, it would be useful for me at least to  
18 hear some discussion of what is the clinical  
19 entity of carnitine deficiency? Eventually we're  
20 going to have to vote on something to do with it,  
21 that has the adequacy of evidence that there is a  
22 treatment for carnitine deficiency, and I'm not  
23 yet clear and I don't if maybe the rest of the  
24 panel is, on what the syndrome is. So I'm  
25 wondering if one of, maybe Dr. Kopple or

00225

1 Dr. Chertow or someone could venture to describe  
2 the entity that is carnitine deficiency. My guess  
3 at it is that it's below a certain serum level of  
4 carnitine with some of a long constellation of  
5 potential symptoms that may or may not be  
6 associated with that, but I'm, I would rather hear  
7 the official version.

8 DR. CHERTOW: Perhaps I will state what

9 we don't know and then allow Dr. Kopple to state  
10 sort of what we do. What we lack beyond some of  
11 the biochemical parameters are as we phrased in  
12 the DOQI guidelines, an outcomes approach. We  
13 don't have a population based survey where we  
14 could link either free, total, or other ratio  
15 carnitine levels to a variety of clinical  
16 parameters, be they ejection fraction, quality of  
17 life, any number of clinical factors. I think  
18 that kind of study is sorely needed.

19 DR. TUNIS: Can I have more on that,  
20 because this actually goes directly to the issue  
21 of, I don't understand how the FDA could use serum  
22 level of carnitine as a surrogate marker when  
23 you're telling us that there is no relationship  
24 between carnitine level and any clinical outcome  
25 measure. My understanding of surrogate markers is

00226

1 hypertension is a surrogate marker for risk for  
2 heart disease or stroke, because there are  
3 hundreds of studies that link different levels of  
4 blood pressure to differential risks for certain  
5 clinical outcomes. And I was curious as you were  
6 talking about the surrogate measure, and I don't  
7 know if Alexander Fleming is still here, and  
8 whether that's the original Alexander Fleming, but  
9 whether someone could speak to how the FDA  
10 determined that this would be a surrogate marker  
11 for carnitine deficiency.

12 DR. FLEMING: I want to emphasize that  
13 I was not directly involved in any of the  
14 approvals for the indications related to  
15 carnitine, but I think it's safe to say that given  
16 the size of the patient populations and the  
17 plausibility of the benefit, given what was known  
18 about the specific metabolic deficiency states,  
19 that it was concluded that this did represent a  
20 surrogate that is meaningful and could be depended  
21 upon for basing the NDA approval. Now, the fact  
22 that the FDA did not require an additional study  
23 or studies to be performed as a follow-up to the  
24 approval that was granted for ESRD related

25 carnitine deficiency I think indicates the Agency,

00227

1 going back to your interest in kind of a grading  
2 scale, felt that this was a situation where the  
3 evidence was relatively strong as things go, and  
4 taking into account again the difficulty of doing  
5 studies that would be any more definitive.

6 DR. HOLOHAN: Can I ask you to clarify  
7 something? You said the evidence was relatively  
8 strong. Do you mean the evidence from the point  
9 of view of the FDA was relatively strong that  
10 parenteral levo-carnitine would increase blood  
11 levels of carnitine?

12 DR. FLEMING: Well, certainly that's  
13 established, there is no issue there, but I think  
14 what I'm talking about is substantial evidence of  
15 clinical outcomes taken, you know, kind of  
16 meta-analysis that suggests that there are  
17 benefits likely for patients. Again, with the  
18 surrogate outcome, almost by definition, you can't  
19 have at the time of approval, clinical  
20 confirmation of the benefit, so it comes back to  
21 what is biologically plausible, and that's really  
22 the key here, is excellent plausibility for the  
23 surrogate given the understanding of the  
24 pathophysiologic state, the expectation that  
25 patients who have severe carnitine deficiency

00228

1 because of dialysis and have symptoms that are  
2 reasonably ascribable to carnitine deficiency,  
3 when their deficiency state is repleted that they  
4 would benefit.

5 DR. TUNIS: Okay.

6 DR. HOLOHAN: Well, I'm more confused  
7 now Sean than I was before, because the letter  
8 from the FDA says, the data clearly support the  
9 efficacy of intravenous levo-carnitine in  
10 maintaining or increasing carnitine serum levels  
11 in ESRD patients on dialysis. They do not support  
12 improvements in clinical status or exercise  
13 tolerance, et cetera, et cetera. So it sounds to

14 me as though the FDA said the data support IV  
15 levo-carnitine to maintain or increase carnitine  
16 serum levels in ESRD patients on dialysis, and  
17 didn't reach to clinical status, exercise  
18 tolerance, B-1 creatinine, et cetera.

19 DR. FLEMING: Yeah, I think that's an  
20 important point that deserves some detailed  
21 discussion. A distinction was being made there by  
22 looking at the primary outcomes that were explored  
23 or examined in the pivotal studies. What  
24 ultimately was concluded and is documented in the  
25 record, is that the studies that were performed

00229

1 were underpowered in retrospect, to provide  
2 definitive results with respect to the various  
3 outcomes that would be considered clinical  
4 benefits.

5 Now you're quite right, that by  
6 pointing out that those particular parameters had  
7 not been proved, the Agency felt compelled to  
8 include that information in the label, and they  
9 did that for the reason that I tried to explain,  
10 that is, to give physicians some perspective on  
11 the basis of approval. It was not to say that  
12 substantial evidence was not available, and I  
13 emphasize that, substantial evidence in toto was  
14 available, most of it, 90 percent was yes, the  
15 effect on the surrogate outcome, the repletion of  
16 carnitine levels. But I do think, and this is my  
17 perspective, my judgment from reading the record  
18 and reading between the lines, that the clinical  
19 reviewers felt that there was great plausibility  
20 of clinical benefit based on what was actually  
21 shown in what we would call the secondary body of  
22 data.

23 COMMISSIONER GRANT: Can I ask a  
24 follow-up to your question? This letter also says  
25 that clinical manifestations do not ensue until

00230

1 the level falls to less than 20 percent of  
2 "normal". Now, what is normal, and is that, was



3 the finding that in fact use of intravenous  
4 reestablishes a "normal", and if you crosswalk to  
5 the document presented by Sigma Tau, they actually  
6 give amounts that are "normal", and is that  
7 relevant to what we're talking about, if we're  
8 trying to figure out what a deficiency is? I  
9 mean, if that's all that is being established  
10 here, that is, a nondeficient situation which goes  
11 to normal?

12 DR. FLAMM: Well, you know, that's  
13 another perceptive question, because in my former  
14 business, we always made a distinction between a  
15 therapeutic approach that involved a kind of, well  
16 basically a pharmacologic approach, and one which  
17 was simply repleting a deficient hormone state.  
18 So in the case of some hormonal deficiency states,  
19 we would accept that just by virtue of showing a  
20 repletion, a normalization of plasma levels of  
21 that hormone, that you could accept that as  
22 sufficient for approving an indication for that  
23 hormonal replacement therapy.

24 We could have asked that a number of  
25 long-term outcome studies show that indeed by

00231

1 replacing the hormone in what has to be an  
2 artificial manner, that indeed, there is clinical  
3 benefit ultimately. But the Agency was always  
4 more reasonable when it came to starting with a  
5 deficiency state, using a therapy which in effect  
6 was an endogenous compound that could correct that  
7 deficiency state.

8 COMMISSIONER GRANT: Is that what's  
9 going on here?

10 DR. FLEMING: Well, I think it does tie  
11 in with the idea that the surrogate was plausible,  
12 biologically plausible, an observation of  
13 normalizing of depressed carnitine levels was  
14 observed and that in itself reaches a certain  
15 threshold of evidence, and ultimately accounted  
16 for a good part of the weight of why the Agency  
17 approved the therapy.

18 DR. TUNIS: Well, maybe Dr. Kopple, you

19 could just clarify for me which of these two  
20 alternatives is right, or if they're both wrong.

21 DR. HOLOHAN: Can I interject for a  
22 minute? I thought Commissioner Grant's question  
23 was pretty straightforward and that is, is 20  
24 percent a cutoff, 20 percent of normal a cutoff  
25 for when one should see clinical manifestations of

00232

1 carnitine deficiency, as the FDA alleges? And if  
2 that's not a reasonable definition of carnitine  
3 deficiency, what is and what's wrong with the  
4 FDA's guidance? Have I misstated what you were  
5 asking?

6 COMMISSIONER GRANT: No, because I was  
7 trying to follow up on your question of what are  
8 we looking at here.

9 DR. TUNIS: That's what I was -- maybe  
10 Dr. Kopple, but anyone else can fire in, is it  
11 that carnitine deficiency is, you have to be 20  
12 percent or below normal and have some list of  
13 symptoms associated with that, or is every patient  
14 with ESRD on dialysis with an unexplained symptom  
15 potentially carnitine deficient, or a third option  
16 which I don't know what it is?

17 DR. KOPPLE: One of the difficulties in  
18 coming to conclusion on this problem, and one of  
19 the reasons that I think that the panel was  
20 convened in the first place, is because  
21 unfortunately there doesn't seem to be a very  
22 obvious syndrome in which you can identify who is  
23 going to respond and who is not. Unfortunately,  
24 if in fact carnitine does have a benefit, it just,  
25 it is not -- the individual who may benefit from

00233

1 carnitine cannot be identified by a physician  
2 walking into the room, examining the patient, or  
3 running a simple blood test.

4 And my suspicion is that again, if it's  
5 beneficial, then this is one of the reasons it's  
6 been so hard to identify this subset who benefits.  
7 As a result of this conundrum, different -- there

8 is probably no single way in which everybody --  
9 there is no single way, I will tell you, in which  
10 every nephrologist would treat a dialysis patient  
11 with regard to starting or not starting carnitine  
12 therapy. It doesn't exist, and if you have looked  
13 for this in literature you will see that you  
14 haven't been able to find it.

15 Now, so I can suggest an approach, but  
16 I would emphasize two things. First of all, it  
17 would just be my idea, and second, I'm not sure  
18 that I'm right. In fact, I have a favorite  
19 statement, I'm always impressed with how often my  
20 ideas turn out to be wrong. But I think some  
21 combination of a low plasma carnitine level, if  
22 for no other reason than the FDA mandates that as  
23 an indication for using carnitine therapy, in  
24 association with one of several classes of  
25 symptoms or signs for which the patient has not

00234

1 responded to any conventional therapy.

2 And in addition, for which there is  
3 reasonably that the patient does not have a  
4 condition which would prevent the potential  
5 response to carnitine, such as in the case of  
6 erythropoietin resistant anemia, GI bleeding which  
7 cannot be stopped. There are many such conditions  
8 in our patients.

9 And I mentioned the word clusters. In  
10 my opinion, these clusters would include the  
11 following: One related to skeletal muscles,  
12 there's a whole series of different manifestations  
13 that are described in the guideline. The second  
14 is myocardial, certain types of cardiomyopathy.  
15 The third would be intradialytic, occurring during  
16 dialysis, cramps or hypotension, again, that can't  
17 be explained by other factors such as aggressive  
18 removal of fluid in order to bring the patient's  
19 water balance down to a healthy level.

20 Another would be, erythropoietin  
21 resistant anemia, whether hypertriglyceridemia,  
22 that's elevated certain triglycerides, should be  
23 on the list or not I think is debatable. But I

24 think that's about the best I can do, and what  
25 many people have done is that they will give a

00235

1 trial therapy and use the response to the clinical  
2 trial as itself, a diagnostic test.

3 DR. HELZLSOUER: Before you go, I have  
4 a question, because it was brought up before that,  
5 I heard one comment saying that after four to five  
6 years on dialysis, everyone is carnitine  
7 deficient. Is that true? I'm trying to get an  
8 idea of what percent of the population is  
9 appropriate for it. You talked a lot about  
10 appropriateness, so do you uniformly, does  
11 everybody become carnitine deficient on dialysis?  
12 If not, what percent do?

13 DR. KOPPLE: If you define by carnitine  
14 deficiency a reduction in total body carnitine  
15 pools --

16 DR. HELZLSOUER: Well, we have this one  
17 definition that we're talking about here of less  
18 than 20 percent.

19 DR. KOPPLE: It's nowhere near  
20 everybody. At that level, Dr. Fornacini probably  
21 give you a number but I would give you an  
22 estimate. It's probably around at that level,  
23 maybe 10 percent. John, what would you say?

24 DR. FORNACINI: I would --

25 DR. TUNIS: I do have to -- we are sort

00236

1 of in the panel deliberations so the only folks  
2 who can speak, unfortunately, are folks to whom  
3 one of the panelists has directed a question.

4 DR. KOPPLE: There are better people to  
5 answer your question than me. I would guess it's  
6 around 10 percent are at that number. As I said,  
7 I would not personally use that number alone as a  
8 basis for treatment, I also would like to see  
9 somebody with one of these disorders that did not  
10 have another cause or did not respond to more  
11 conventional therapy.

12 DR. TUNIS: Do you want to ask someone

13 else that question as well?

14 DR. HELZLSOUER: No, that's all right.

15 DR. HOLOHAN: Commissioner Grant, did  
16 you want to ask your question or anybody?

17 COMMISSIONER GRANT: No. I'm taking  
18 this in.

19 DR. TUNIS: I did have a question for  
20 Dr. Chertow, who keeps trying to get out of these,  
21 but I'm going to keep pulling him back in. It  
22 seems from looking at the most of the dates of the  
23 literature that has been reviewed that most of the  
24 information we have looked at today was available  
25 to your subgroup of N/DOQI, so am I understanding,

00237

1 and you can clarify this, that that was a fairly  
2 highly expert group both clinically and  
3 methodologically in the area of nutrition and  
4 nephrology, and so with essentially the same body  
5 of evidence, maybe missing a few very recent  
6 studies, their sort of conclusion was as you gave  
7 it, which -- and it sounded to me like one part of  
8 it that I remember, but maybe you could restate it  
9 now since it was a long time ago, one part was  
10 that it seemed that the most promising clinical  
11 use might be for EPO resistant anemia, but what  
12 was the more general conclusion of that particular  
13 group looking at this data?

14 DR. CHERTOW: Well, for a moment of  
15 background and to bring in what other people have  
16 said since I came this morning, I agree with  
17 Dr. Lindberg that conducting clinical trials in  
18 patients on dialysis is extremely difficult, and I  
19 did mention briefly in my presentation that many  
20 of the proposed indications for L-carnitine are  
21 difficult to measure ones, things like asthenia  
22 and muscle strength.

23 On the other hand, we have more than  
24 200,000 dialysis patients in the United States,  
25 and cross-sectional studies linking relevant

00238

1 clinical outcomes if you will, or clinical

2 parameters to carnitine levels is not a difficult  
3 study to conduct. And in addition to the paucity  
4 of randomized clinical trial evidence in support  
5 of the routine use of carnitine for a variety of  
6 these indications, we were also struck by the  
7 absence of the outcomes data that we have come to  
8 enjoy in cardiovascular disease, oncologic  
9 disease, and other diseases which the panel is  
10 familiar, perhaps more familiar.

11 You're a professor of epidemiology at  
12 Johns Hopkins, obviously the serum levels are at  
13 least a second order surrogate outcome, and as an  
14 epidemiologist, I wanted more first order  
15 surrogate outcomes in order for us to have more  
16 enthusiastically endorsed the use of carnitine.  
17 While plausible, and clearly plausible and clearly  
18 of concern to us, which as other people including  
19 Dr. Fleming have mentioned, the absence of more  
20 direct links was a concern.

21 DR. TUNIS: So was it the sense of that  
22 panel though, that some of what's been expressed  
23 here, that there seems to be some clinically  
24 appropriate use of L-carnitine in some subset of  
25 patients but it's hard to define who they are,

00239

1 what the indications would be, but it seems to  
2 have some use, and the most promising of those  
3 some potential uses is EPO resistant anemia. Is  
4 that a fair statement?

5 DR. CHERTOW: Well, for instance,  
6 anemia is a broad condition that requires therapy  
7 and is complex in its management in ESRD patients.  
8 The clinical finding of being erythropoietin  
9 resistant is an observable tangible clinical  
10 finding that then we can target, for instance. In  
11 the other indications, we didn't have the other  
12 links to the more distal outcome like anemia. For  
13 instance, in muscle strength, we didn't have a  
14 study that said if the muscles are X size or in X  
15 type of person, or a muscle biopsy characterized  
16 by X would then predict a response.

17 I think in the erythropoietin resistant

18 anemia example, we at least could identify a  
19 subgroup of patients or subjects who were not  
20 receiving adequate or optimal outcomes, and they  
21 could be identified as something tangible.

22 DR. TUNIS: One last point to make  
23 about the Rand methodology I don't think a lot of  
24 folks are probably familiar with, but it's a  
25 modified Delphi technique, which is essentially a

00240

1 quantitative method of consensus development using  
2 sequential scorings on a scale from one to nine.  
3 It's a very well described consensus development  
4 technique that takes into account clinical  
5 literature, but it's not -- in a sense, it  
6 deviates from a standard evidence based approach  
7 which only will look at the trials. So had there  
8 been strong consensus without any evidence amongst  
9 the nephrologists or the experts in your subgroup,  
10 that would have been reflected in a strong  
11 recommendation in favor of something, or did I  
12 characterize that correctly?

13 DR. CHERTOW: No, no, that's correct.  
14 Had the group decided that a score, if I'm not  
15 mistaken, of either seven, eight or nine had been  
16 not unanimously but nearly unanimously decided  
17 upon by the group, that it would have received a  
18 more favorable endorsement.

19 Just let me clarify that while there  
20 was a subcommittee within the committee that  
21 focused efforts on carnitine, the entire committee  
22 voted on the guideline statements which ultimately  
23 became one major guideline statement.

24 DR. PAGANINI: May I just enter, and  
25 correct me if I'm wrong, but if that were the

00241

1 case, that that statement would in fact be  
2 bracketed at the end, and say opinion based or  
3 not. In the DOQI guidelines when there were clear  
4 evidence, it was evidence based. When they were  
5 clearly, when the predominant thought process was  
6 opinion, it was identified as such, as opinion

7 based; is that right?

8 DR. CHERTOW: Right. If I'm not  
9 mistaken, this guideline was designated, while  
10 evidence was of course considered, that the  
11 guideline statement itself was deemed to be  
12 opinion based from the group.

13 DR. KOPPLE: I think it was both.

14 DR. TUNIS: Thank you. At some point  
15 here, sort of the last phase is to turn these  
16 questions, and everybody already has their  
17 one-page list of questions, at some point we have  
18 to actually turn these into a proposal for  
19 language that we will then vote to approve or not.  
20 And the language can either be exactly what's  
21 here, formed in the manner of a proposal, or you  
22 can choose as a committee to amend this language  
23 and then vote on it. So, if you walk, Tom, you  
24 can sort of walk folks through these questions and  
25 call for a motion basically of either the

00242

1 statement in the form of a question, just like  
2 Jeopardy, or a modification.

3 DR. HOLOHAN: Okay. Having read these  
4 questions, they are probably, don't demonstrate  
5 the clarity to me now that they necessarily did  
6 this morning, and let me explain why.

7 We begin by talking about evidence that  
8 the administration of intravenous L-C is effective  
9 as a therapy. I think the issue raised earlier by  
10 probably I guess yourself and Commissioner Grant  
11 may preceded this, and that is, what is carnitine  
12 deficiency? And I am wondering, and I will ask  
13 the panel for their opinions on this, should the  
14 first question be, can we identify a group of  
15 patients, a characteristic of a group of patients  
16 who have carnitine deficiency and then would be  
17 reasonable candidate for administration of  
18 supplemental carnitine by whatever route?

19 DR. HELZLSOUER: I'm not sure we're  
20 capable to define carnitine deficiency. The FDA  
21 had a definition based on blood levels, and then  
22 the question is, we've heard that it is not



23 adequate. And that was my question earlier on in  
24 the day, at the first presentation, what is this  
25 entity, and it's clear that it's not well defined,

00243

1 even before we get to the evidence.

2 The evidence that we have before us is  
3 very inconsistent, and none of those studies  
4 talked about a clear definition of carnitine  
5 deficiency, it was based on symptoms.

6 DR. HOLOHAN: Right, symptoms or --

7 DR. HELZLSOUER: For end stage renal  
8 disease patients.

9 DR. HOLOHAN: Or some clinical  
10 outcomes, maximum exercise capacity, what have  
11 you. I guess I'm asking for the consensus of the  
12 panel, or a majority at least, as to whether the  
13 issue of the definition of what is carnitine  
14 deficiency is important, critical, or should be  
15 ignored in favor of just looking at the bodies of  
16 available evidence that look at clinical  
17 measurement of one sort or another. Should we  
18 poll?

19 MR. JOHNSON: I think the latter is  
20 where I would come down on it. I don't think the  
21 evidence is clear.

22 DR. HOLOHAN: So you would not be in  
23 favor of attempting to address the definition of  
24 carnitine deficiency?

25 MR. JOHNSON: Correct.

00244

1 DR. HOLOHAN: Dr. Paganini?

2 DR. PAGANINI: I don't think I would  
3 like to try to define it, but I do believe that  
4 the data shows the clustering of improvement in  
5 some patients are rather dramatic and in fact may  
6 have carried some of those marginal studies  
7 because of a larger end, so I think that there is  
8 buried there evidence of improvement, sometimes  
9 drastic improvement, in some patients. My problem  
10 with this is identifying those patients a priori  
11 to getting the medication, as opposed to those

12 that are proved after a blanket deliberate  
13 medication.

14 DR. HOLOHAN: Dr. Helzlsouer?

15 DR. HELZLSOUER: Well yeah, I think as  
16 I just said, that I don't think we're in a  
17 position to define carnitine deficiency given the  
18 information we have and I agree, where I'm coming  
19 down to is I think the literature as a totality is  
20 very poor, studies when they are there are poorly  
21 designed for the most part, not all, so there may  
22 be some who benefit. And I know that it's  
23 difficult to do trials, it's difficult to do  
24 trials in any patient population, but you owe it  
25 to the patient population to do this, and to those

00245

1 who I've heard how difficult it is, I'd say you  
2 really owe it to your patients to try to sort this  
3 out, and the problem we will be faced with is  
4 defining in some way who might benefit from this.

5 And I agree with what you just said,  
6 but I'm not sure we have the capability to define,  
7 if the experts can't tell me what carnitine  
8 deficiency is, we won't be able to sort it out  
9 this afternoon.

10 DR. HOLOHAN: And by default, I presume  
11 you are not willing to accept the FDA definition.

12 DR. HELZLSOUER: Well, I just heard  
13 from the experts that that's not in and of itself  
14 appropriate, just by a percentage alone, but that  
15 certainly would be, a definition of any  
16 deficiency, you would have a cutoff value where  
17 you should replace.

18 DR. TUNIS: Faced with a similar  
19 problem last week related to the issue of no  
20 existing good definition of the syndrome of  
21 suspected white coat hypertension when we were  
22 looking at ambulatory blood pressure monitoring,  
23 they took the approach of making a recommendation  
24 about the adequacy of the evidence, but  
25 essentially recommending that coverage not begin

00246

1 until HCFA working with the professional universe  
2 developed a definition for suspected white coat  
3 hypertension. And this panel could consider an  
4 approach analogous to that, which is to say that  
5 we acknowledge that the entity is not well  
6 defined, we'll vote on the evidence such as it is,  
7 assuming that there will be an operational  
8 definition for carnitine deficiency that HCFA will  
9 work with the professional associations to  
10 develop. That's just the way we dealt with a  
11 similar problem in another context.

12 DR. HOLOHAN: I didn't want to get  
13 there that fast, but you have made the point,  
14 Dr. Metzger, about the definition of carnitine  
15 deficiency.

16 DR. METZGER: Being a bureaucrat, I'm  
17 looking at one of the company's supplemental  
18 submissions subsequent to the original approval,  
19 and they mention, currently a range of 40 to 60  
20 nanomoles per milliliter in blood is considered  
21 normal carnitine range, and if you take 20 percent  
22 of that of the lower, or the mean, that would be  
23 10, but that would just be something to hang your  
24 hat on as a minimum amount, in addition to other  
25 symptoms or signs.

00247

1 DR. HOLOHAN: Commissioner Grant.

2 COMMISSIONER GRANT: Well, I had my  
3 logic, before I lose it. And having sat in on the  
4 panel -- was this the Executive Committee?

5 DR. HOLOHAN: Yes.

6 COMMISSIONER GRANT: So having sat in  
7 on the panel at a lower level, I guess I'm going  
8 to come out a little different on that. It seems  
9 to me in this case that since the FDA approach for  
10 approval for an indication doesn't appear directly  
11 relevant to what we're hearing clinically, because  
12 clinically it sounds like there is a constellation  
13 of symptoms that emerge. We do have another body  
14 of evidence frankly in the hierarchy which goes  
15 back to the K/DOQI approach, that's the guideline  
16 approach, which is somewhere in between certainly

17 the body of literature that we don't have here and  
18 the white coat hypertension, where there was some  
19 guideline conversation, but I think K/DOQI  
20 guidelines here seem closer to allowing one to  
21 proceed.

22 And I have a problem at this point in  
23 the deliberations of saying not to proceed because  
24 we're also so much out in the environment in  
25 providing coverage for this and as a practical

00248

1 matter, I am very bothered that we heard for the  
2 first time today a couple case reports or however  
3 we characterize them that unfortunately haven't  
4 gone to peer review, and that's very troubling, if  
5 indeed there is enough information and the dilemma  
6 is how do you not hold up the process but strongly  
7 signal that if there is real data out there, then  
8 beneficiaries deserve that, to go to publication  
9 or not.

10 So I would -- you understand what I'm  
11 saying?

12 DR. HOLOHAN: You sound like  
13 Dr. Helzlsouer saying that -- are you saying you  
14 owe it to the patients to complete --

15 COMMISSIONER GRANT: I think that we  
16 have, we can't hang our hat on FDA in this case,  
17 but we do have the K/DOQI approach, albeit needing  
18 some more specification, so one could charge that  
19 group, which apparently spent a lot of time and  
20 energy in thinking about that, to be more precise,  
21 but that's a little different from postponing  
22 indefinitely, which was the case you talked about,  
23 so I think we could substitute the K/DOQI.

24 DR. TUNIS: Yeah, just, that actually  
25 was -- the EC's formulation was to actually say,

00249

1 in ambulatory blood pressure to say yes, there are  
2 situations in which it should be covered, and you  
3 can go ahead and proceed to do that assuming you  
4 work out, and that's not postponing it, that we  
5 will work out the definition.

6 COMMISSIONER GRANT: That's what I  
7 recall the lower group coming out with, but I  
8 thought I heard something different.

9 DR. TUNIS: It was assumed that it  
10 would be done in the time frame of when the  
11 coverage decision was due.

12 DR. HELZLSOUER: So the issue here  
13 would be that it would be up to you to define the  
14 subgroup of patients, or come up with a means to  
15 do that. Is that feasible, would you be  
16 comfortable with that?

17 DR. TUNIS: Well, it's a little bit by  
18 default that if the committee can't do it, then --

19 DR. HELZLSOUER: Somebody has to do it.

20 DR. HOLOHAN: Well, I don't want to  
21 speak for the panel, but I'm getting the feeling  
22 that people are kind of trying to arrive at a  
23 verdict that a British but not American jury can  
24 arrive at, which is not proven. You're not  
25 innocent, you're not guilty, we can't make a

00250

1 definitive statement that there should be  
2 universal coverage or there should be universal  
3 noncoverage. Is that it, or am I putting words in  
4 people's mouths?

5 DR. JORDAN: Well, on the definition of  
6 what a deficiency really is, one of the things  
7 that concerns me is the uneven application of  
8 policy that's going on right now in this patient  
9 population, and the fairness of that considering  
10 where the evidence lies, and what we've heard. I  
11 think it's critical that there be a national  
12 policy established that goes in one direction or  
13 another, and I happen to be leaning toward,  
14 because of I think the fact that we're pretty far  
15 out there on permitting a large number of patients  
16 to use these products and there are at least some  
17 that are benefitting from it, that until HCFA can  
18 establish some reason to exclude some population,  
19 that we're going to have to be more lenient on its  
20 use.

21 So I guess, you know, whether not

22 proven is an adequate response from the committee,  
23 I don't know. It doesn't summarize where I am, I  
24 guess.

25 DR. HOLOHAN: Sorry.

00251

1 COMMISSIONER GRANT: I was just saying  
2 the literature doesn't prove it, but the weight of  
3 the consensus panel by default, we don't have peer  
4 reviewed literature that proves it clearly, in my  
5 mind. We do have a strong clinical sense that I'm  
6 hearing, relying on the sense that this consensus  
7 group has, the way it was described as a coalition  
8 of a number of organizations, although they  
9 clearly didn't go far enough in specifying to be  
10 helpful, but it's hard to walk away from even that  
11 limited, albeit limited recommendation.

12 DR. JORDAN: There was a suggestion by  
13 Dr. Chertow that maybe we could establish some  
14 better evidence with a relatively simple trial.  
15 Is it possible that HCFA in its policy could set  
16 up some guideline, some hoop that requires the  
17 measurement of carnitine levels in people in a  
18 more routine manner so that we can begin to  
19 develop the body of evidence that might permit the  
20 exclusion in certain cases where there is  
21 inadequate evidence. They ought to be trying to  
22 define that in some way for those patients; we owe  
23 it to them I think was the words that Kathy used.

24 MS. DOOLEY: I also think what I have  
25 heard a number of people say is that the data that

00252

1 has not been peer reviewed may be helpful on that.  
2 I mean, it's just unfortunate that data, when it's  
3 not peer reviewed at this point in time is not  
4 considered or weighted as much as published data,  
5 but yet, you don't want to be making a decision if  
6 there is poor data or unpublished data that  
7 actually could help you further define that.

8 DR. TUNIS: Well maybe for the sake of  
9 moving further, we could try to stipulate at this  
10 point that we'll assume, we will make the

11 assumption that there is a definable entity of  
12 carnitine deficiency that we will not define here  
13 today but that will be defined following this  
14 meeting through a process that HCFA will work  
15 with either MCAC and/or other appropriate groups.  
16 And then maybe what you should look at, you know,  
17 someone proposing --

18 DR. HOLOHAN: Do you want someone on  
19 the panel to make a motion to that effect?  
20 Because I don't think you can.

21 DR. TUNIS: Sure, why don't we have it  
22 as a separate motion, or some version of it.

23 DR. JORDAN: I move that HCFA establish  
24 a process whereby they define carnitine  
25 deficiency, because sufficient evidence exists

00253

1 that such a condition exists.

2 MR. JOHNSON: And that would include  
3 the experts in the field, the DOQI group and so  
4 forth, that would participate in that process?

5 DR. JORDAN: Right.

6 MR. JOHNSON: I would support that  
7 motion. I second it.

8 DR. HOLOHAN: Any discussion?

9 DR. TUNIS: Kim has to go through some  
10 formality about mentioning the voting members that  
11 are here and stuff.

12 DR. HOLOHAN: Oh, okay.

13 MS. LONG: The voting members present  
14 at this time are Kathy Helzlsouer, Robert Johnson,  
15 Ronald Jordan, Emil Paganini.

16 DR. TUNIS: Okay. And I think Kim  
17 didn't get the wording for the motion, so could  
18 you, Ron, just try to repeat it?

19 DR. JORDAN: I move that HCFA establish  
20 a mechanism to define carnitine deficiency in the  
21 ESRD patient population because adequate evidence  
22 exists that such a condition exists.

23 MS. LONG: Correct me if I missed  
24 something, please. The motion is for HCFA to  
25 establish a mechanism to define carnitine

00254

1 deficiency in the ESRD patient population because  
2 there is adequate evidence, or adequate evidence  
3 exists?

4 DR. HOLOHAN: That such a condition  
5 exists, i.e., carnitine deficiency, truly exists.

6 MS. LONG: Okay. All those for, please  
7 show a hand. All those against. It was  
8 unanimous.

9 (Unanimous in affirmative.)

10 DR. HOLOHAN: Okay. I'm sorry to bring  
11 up the question of the definition, but I thought  
12 that logically preceded the other questions posed  
13 to the panel. Bear in mind, the panel should bear  
14 in mind that these are suggested questions and you  
15 can change them as you see fit or disregard them  
16 entirely if you also see fit.

17 The first question is, is there  
18 adequate evidence that the administration of  
19 intravenous L-carnitine is effective as a therapy  
20 to improve clinical conditions or outcomes in  
21 patients with end stage renal disease on  
22 hemodialysis?

23 And in considering this question, you  
24 are asked to consider the evidence both overall in  
25 aggregate as well as be specific clinical

00255

1 conditions such as anemia, disorders of lipid  
2 metabolism, cardiac dysfunction, disorders of  
3 muscle strength, physical functioning or exercise  
4 capacity, or inter or intradialytic complications,  
5 and patient well being, and the examples given are  
6 fatigue, muscle cramps, intradialytic hypotension,  
7 or quality of life.

8 Is there any discussion as to whether  
9 this question is appropriate for the panel to  
10 address and attempt to answer?

11 DR. PAGANINI: Mr. Chairman, I think  
12 what you're doing is defining a population and I  
13 think, wasn't that what we just voted on, was to  
14 define a population? The rationale behind that  
15 statement is that if you read the statement as you



16 read it and as it's printed, then we are also  
17 supposed to go through each of those subgroups. I  
18 suspect that that would be part of the definition  
19 of the population that in fact has carnitine  
20 deficiency and therefore, our first motion would  
21 include the definition of that. Otherwise, you  
22 would have to change the original sentence to  
23 improve clinical conditions and outcomes in some  
24 patients, or in a subgroup of patients with ESRD,  
25 as opposed to all ESRD patients.

00256

1 DR. HOLOHAN: Okay. So you would  
2 simply add the words, in some patients, in 1-A?

3 DR. JORDAN: Well, he's also saying it  
4 may not be necessary based on the first motion to  
5 even answer this question, because the process  
6 would be --

7 DR. HELZLSOUER: Well, I think it would  
8 be those patients with the defined condition,  
9 along with carnitine deficiency.

10 DR. HOLOHAN: Okay. So, the reason I  
11 went on from that to this is we had testimony that  
12 carnitine deficiency defined as levels was  
13 inappropriate, that there may not be, it may be  
14 that the best available evidence and opinion that  
15 HCFA can collect will still not adequately define  
16 a population.

17 DR. PAGANINI: I think the charge to  
18 HCFA was in fact to define carnitine deficiency  
19 not only by a blood level, but by utilizing all  
20 means possible to define that patient subgroup. I  
21 suspect that patient subgroup may be some  
22 combination of blood and symptomatology and so  
23 therefore, one or the other or both, but certainly  
24 not neither, and so I suspect that by doing that,  
25 we would have answered that and if in fact that is

00257

1 a subdefined group, then carnitine, the evidence  
2 of that defined group may well then be adequate in  
3 those studies we've seen and in those studies yet  
4 to come to be covered, so I would have no problem

5 if this original sentence was in carnitine  
6 deficient patients in ESRD, or in a subgroup of  
7 patients in ESRD, or something along those lines  
8 that would define what we asked HCFA to do in our  
9 first resolution.

10 DR. TUNIS: That sounds like the sort  
11 of question we do need to ask you to answer, which  
12 is in patients so defined, however that is from  
13 your first thing, is the evidence adequate, and  
14 then how the rest of this is phrased, you know,  
15 either in aggregate, taking some universe of  
16 symptoms or for these individual symptoms, of  
17 which you saw tables of data on, cardiovascular,  
18 anemia, et cetera. So those, I think, are the  
19 next series of questions, but modified as you  
20 modify them.

21 DR. JORDAN: So you're trying to narrow  
22 down the universe of what HCFA needs to look at?

23 DR. TUNIS: No, we're just trying to  
24 say, we'll take care of defining some group, but  
25 you still have to vote on the adequacy of evidence

00258

1 that treatment of that group --

2 DR. JORDAN: In these conditions that  
3 are listed.

4 DR. TUNIS: Right.

5 DR. HOLOHAN: All right. I think  
6 Dr. Paganini's point, and correct me if you think  
7 I'm putting words in your mouth, is given the fact  
8 that we yet don't know which patients in which of  
9 the studies that have been reviewed in fact were  
10 carnitine deficient, that it's impossible to  
11 answer that question pending the definition that  
12 HCFA is expected to provide. Have I rephrased  
13 what you said?

14 DR. PAGANINI: That's correct.

15 DR. HOLOHAN: So what he is saying is  
16 that question 1, both A and B, is not answerable.  
17 Let me again explain, and correct me if I'm wrong.  
18 I think what Dr. Paganini is saying is that we  
19 have reviewed painfully a large body of published  
20 studies which are in the main of mixed quality.

21 Some of those patients may have in fact had true  
22 carnitine deficiency, some of those patients may  
23 not have had true carnitine deficiency, definition  
24 to be provided. And until we are able to stratify  
25 those patients on the basis of something other

00259

1 than a disorder of lipid metabolism or a reduced  
2 exercise capacity, we don't know whether the  
3 reduced exercise capacity was in fact related or  
4 not related to carnitine deficiency, so it's  
5 impossible to answer this question unless you can  
6 specify the particular group of patients of  
7 concern.

8 DR. HELZLSOUER: But even if we specify  
9 that, given the evidence we have now, we still  
10 wouldn't be able to answer it, so basically we're  
11 saying the evidence is insufficient, I would  
12 think.

13 DR. HOLOHAN: Well, I was trying to  
14 clarify what I thought the point Dr. Paganini was  
15 making. I'm not trying to come to any  
16 conclusions. Have I misstated your --

17 DR. PAGANINI: No, I think you stated  
18 correctly what I wanted to do. I'm very concerned  
19 that if we take all of the data that has been  
20 presented and has been shown and has been  
21 published, that there are some very significant  
22 responders in that population that carry the mean  
23 of those studies. And if we say that there is no  
24 indication that carnitine does any good to anybody  
25 based on those studies which are very weak, we are

00260

1 going to eliminate a significant number, albeit  
2 not a large proportion, but still a significant  
3 number of folks that do respond to this therapy  
4 and have had dramatic responses not only to the  
5 delivery of therapy but also to the removal of  
6 therapy, and then the redelivery of therapy. We  
7 saw in cardiac dysfunction for example, again, in  
8 unpublished data. So I don't want to restrict  
9 this so that nobody gets it.

10           On the other side of the coin, I cannot  
11 see us approving on the face of the literature  
12 here for everyone, and then deciding who improves  
13 and who doesn't, and we just put everybody on for  
14 three months or six months, and whoever got better  
15 are those who had carnitine deficiency, and  
16 whoever didn't didn't, because it's going to be a  
17 smaller portion of those people that got better,  
18 and a larger portion that we're wasting drug and  
19 potentially giving them potential for side  
20 effects, whether it's oral or IV or whatever. No  
21 side effects, it's fine, until you get into large  
22 population studies.

23           So, I don't want to eliminate the drug,  
24 I want it to be covered, I want it to be given to  
25 patients that would benefit from it. In that

00261

1 literature, buried in there, has to be those folks  
2 that dramatically improved because of the drug.  
3 Define that subgroup and then approve it for that  
4 subgroup of people, that's what I'm saying. Now  
5 based on this literature, you can't say carnitine  
6 works, because it was diluted, but there were  
7 people who it really worked in. Why not give them  
8 drug, the benefit of that drug, even though  
9 they're -- you talked about orphan studies, this  
10 is even an orphan within an orphan, and that's a  
11 problem with it.

12           MR. JOHNSON: I agree with what  
13 Dr. Paganini is saying. How can we get a motion  
14 before you that will allow you to approve the drug  
15 once the appropriate people are identified that it  
16 would benefit?

17           DR. HOLOHAN: Kathy?

18           DR. HELZLSOUER: The best I can tell  
19 right now from looking at this is this is a  
20 diagnosis of exclusion. You look for everything  
21 else that can be correctable and then you're left  
22 with patients who have low levels and some  
23 symptoms, and you try it, and that's essentially  
24 what looking at the Georgia policy seems to have  
25 tried to describe, that you look for in these

00262

1 conditions, every other possible correctable cause  
2 and when those are exhausted, you try carnitine.  
3 I don't know, that may be the best we can do at  
4 this point, and it seems looking at this, it seems  
5 to be very reasonably written, and I think it's  
6 trying to put into place to make sure that those  
7 other correctable causes are looked for and  
8 corrected when possible.

9 DR. TUNIS: It sounds like though, I  
10 think Dr. Paganini's point, with which folks seem  
11 to generally be agreeing, is that you could turn  
12 that into a motion that says something like, we  
13 believe that there is adequate evidence that  
14 supplementation with carnitine improves outcomes  
15 in some albeit undefined population of patients  
16 with ESRD on dialysis. That's something that you  
17 could make in the form of a motion that people  
18 could vote on. That is obviously not as specific  
19 as we'd like, but it says I think what you just  
20 said, which is I believe, in totality there is  
21 adequate evidence to say this stuff helps some  
22 people in some circumstances. So I think at some  
23 point there needs to be a motion of that nature.

24 You may decline to make any motions on  
25 any specifics, that's fine. But do you see, you

00263

1 were saying you believe there is adequate evidence  
2 that convinced you of something, and I'm just  
3 trying to get you to say, make in the form of a  
4 motion what it convinced you of.

5 DR. PAGANINI: I will propose this,  
6 then. That there seems to be adequate evidence  
7 that certain subgroups of patients benefit from  
8 carnitine supplement, certain subgroups of end  
9 stage renal disease patients on dialysis seem to  
10 benefit from carnitine supplement.

11 DR. JORDAN: I think what Dr. Tunis was  
12 trying to say, with the addition of what Kathy  
13 talked about, which was clear I think from all the  
14 testimony and the literature that we saw, that

15 when patients have not responded to some of these  
16 symptoms that may be a part of that subgroup that  
17 we're trying to get at, when they haven't  
18 responded to conditional mechanisms, a trial on  
19 carnitine and if it works, makes sense. So, you  
20 know, would that help clarify the motion that you  
21 were trying to get us to make, Dr. Tunis, that we  
22 would suggest approval of carnitine use in  
23 patients who have not responded to traditional  
24 therapies in the conditions in question, or the  
25 categories in question, if they haven't responded

00264

1 to traditional therapy?

2 DR. HOLOHAN: Would you pose that  
3 proposal in the context of establishment of the  
4 kind of guidelines that Dr. Helzlsouer was talking  
5 about, rather than just say try everything,  
6 because everything depends on the definition of  
7 the person who is --

8 DR. JORDAN: Well, I think clearly  
9 according to, you know, reasonable clinical  
10 algorithms that have been demonstrated and  
11 proposed by people, that there ought to be a way  
12 to establish those, as Georgia had done.

13 DR. HOLOHAN: So let me see if I can  
14 recraft what everybody seems to be circling  
15 around. That it seems reasonable for Medicare not  
16 only to develop a mechanism to define as precisely  
17 as possible exactly what is carnitine deficiency,  
18 but to also develop a set of rational guidelines  
19 for selection of those patients who may prove to  
20 be the subset that would benefit, and the only  
21 reference I heard to any existing guidelines are  
22 those developed by the carrier in Georgia, which  
23 you seem to feel was reasonable.

24 I'm not arguing that that's the  
25 sine qua non, but for Medicare to develop a

00265

1 process to arrive at a set of reasonable  
2 guidelines for the selection of those patients who  
3 would be expected to be in the subgroup that would

4 benefit. Somebody want to --

5 DR. TUNIS: So maybe, that sounds like  
6 you could add that to the --

7 DR. HOLOHAN: I can't make the motion,  
8 somebody else has to.

9 DR. TUNIS: So maybe just to read back  
10 the motion that Dr. Paganini made, which then  
11 sounds like somebody wants to amend. You wrote  
12 that down, right.

13 MS. LONG: That there seems to be  
14 adequate evidence that certain subgroups of ESRD  
15 patients --

16 DR. HOLOHAN: Benefit from the  
17 administration of carnitine supplementation.

18 DR. TUNIS: Emil, do you want to try to  
19 reexpress it? I think your motion was something  
20 like, there is adequate evidence that a subgroup  
21 of patients with ESRD on hemodialysis will benefit  
22 from carnitine supplementation.

23 DR. PAGANINI: The administration of  
24 carnitine supplementation.

25 DR. TUNIS: There is adequate evidence

00266

1 that a subgroup of patients with ESRD on  
2 hemodialysis will benefit from administration of  
3 carnitine supplementation. And then if somebody  
4 wants to reform or add to that an amendment that  
5 would add something to do with development of  
6 guidelines, and list the rest of this  
7 conversation.

8 MR. JOHNSON: And upon establishment of  
9 rational guidelines that identify this patient  
10 population, that Medicare coverage be provided.

11 DR. TUNIS: Okay. And upon  
12 establishment of rational guidelines for  
13 administration?

14 MR. JOHNSON: That identify this  
15 patient population, that Medicare coverage be  
16 provided.

17 COMMISSIONER GRANT: Should we include  
18 that we should take this on back to the Georgia  
19 guidelines or is that understood?

20 DR. TUNIS: It's understood that we  
21 will go and look in all the appropriate places,  
22 yes.

23 DR. HELZLSOUER: I second the motion,  
24 as amended.

25 DR. PAGANINI: And I do accept the

00267

1 amended language.

2 MS. LONG: The motion is that there is  
3 adequate evidence that certain subgroups of ESRD  
4 patients on hemodialysis will benefit from the  
5 administration of carnitine supplementation and  
6 upon establishment rational guidelines that  
7 identify this patient population.

8 DR. JORDAN: And that Medicare coverage  
9 be provided.

10 DR. TUNIS: We don't actually vote on  
11 the Medicare coverage part, we just vote on the  
12 adequacy of evidence, so let's just leave that  
13 part off.

14 MS. LONG: All those in favor. It is  
15 unanimous.

16 DR. TUNIS: And you know, I think we're  
17 close to finishing up. I think we do have to  
18 address the question number two, somewhat in the  
19 form of a motion, which is the issue of the route  
20 of administration, whether there's adequate  
21 evidence that supports one route of administration  
22 over another and if so, which route of  
23 administration, and with that I'll leave it to you  
24 all.

25 DR. HOLOHAN: Well, let me offer my

00268

1 opinion, that I think with the evidence available,  
2 considering the first two motions, we can't come  
3 close to answering this question. I thought that  
4 most of the published data didn't clearly separate  
5 the benefit or lack thereof of oral and IV. We've  
6 heard allegations about toxic metabolites of the  
7 oral form, we have seen no published evidence  
8 indicating that that is in fact the case. I will



9 leave it to you to debate, but I'm not sure that  
10 we can come close to addressing this.

11 DR. METZGER: I would confirm that. I  
12 would just point us to the K/DOQI guidelines and  
13 where they became most specific, where they were  
14 most conclusive, with the EPO resistant anemia,  
15 and that subgroup that recommended a four-month  
16 trial said PO or IV. They didn't even distinguish  
17 in their most specific recommendations.

18 COMMISSIONER GRANT: I agree that there  
19 is insufficient evidence to make any kind of  
20 conclusion with that.

21 MR. JOHNSON: I agree also with that.

22 DR. JORDAN: The only problem with that  
23 is if that's used because it's available PO,  
24 that's a reason for a noncoverage decision, I'm  
25 not sure that's very acceptable.

00269

1 DR. HELZLSOUER: Then I think they have  
2 to come up with some evidence one way or the  
3 other. I agree that there's -- I mean, you hear  
4 about toxicity, but both have been said to be safe  
5 and there is no evidence one way or the other. I  
6 mean, the question is, is there adequate evidence,  
7 and I don't think there is right now with what's  
8 been presented to argue one way or the other. It  
9 may be that intravenous is better.

10 DR. JORDAN: So you have the company  
11 that is submitting a request for it to be a  
12 warning placed on the label, which is a safety  
13 issue. When a company makes a safety  
14 qualification to a product, it's very unlikely not  
15 to be approved, or not to be denied, have FDA say  
16 oh, we think it's safe anyway, despite the fact  
17 that you're recommending it isn't.

18 DR. HOLOHAN: But we don't know what  
19 the FDA will do.

20 DR. HELZLSOUER: Right.

21 DR. HOLOHAN: That was my point.

22 COMMISSIONER GRANT: But I do want to  
23 make sure as far as the quality of the evidence,  
24 we were sent a submission in these deliberations

25 and how are we supposed to treat, what weight do

00270

1 we give a representation from the company, a  
2 company on its own product, which does address at  
3 some length the pros and cons of oral versus IV?  
4 Without going into the merits, just does this or  
5 does this not have weight as evidence to CMS?

6 DR. TUNIS: It has weight and it really  
7 is left to you all to judge the weight of that  
8 versus the weight of the published evidence versus  
9 whatever other evidence, but it's not to be  
10 ignored, and it sort of has to be judged on its  
11 own merits.

12 DR. HOLOHAN: One of the things I  
13 should point out, I don't know how familiar most  
14 of the panelists are with the recommendations for  
15 evaluating effectiveness from the Executive  
16 Committee. It talks about in Section C, when the  
17 evidence is insufficient, which sounds like where  
18 we are right now, definitive studies are possible  
19 but have not been performed, and indicates the  
20 reasons why those studies may not have been  
21 performed, the newness of the technology, the cost  
22 of performing the studies is very high, studies  
23 have been performed but are not definitive, that  
24 the panel could form a judgment about promising  
25 studies and suggest that the technology might be

00271

1 considered by HCFA as coverable only in the  
2 context of an approved study.

3 So the panel could conclude that  
4 definitive studies are possible but haven't been  
5 performed, which is kind of what I thought  
6 Dr. Helzlsouer was getting at, and provide a  
7 formal encouragement for such studies to be  
8 conducted.

9 DR. PAGANINI: That's for the IV versus  
10 oral; is that right?

11 DR. HOLOHAN: Yes.

12 DR. PAGANINI: We have heard evidence  
13 here that two IRBs refused to allow oral drug to

14 be given, and I mean, that's pretty heavy evidence  
15 that oral probably shouldn't. We have also seen  
16 evidence from company that oral should not be  
17 given to ESRD patients, or suggested. That's  
18 fairly strong evidence from two areas.

19           Now what I would think is that if we  
20 come out with an approval for carnitine in certain  
21 subgroups of patients, as we did in the first  
22 group, and the group of administration is vague  
23 and clouded at the current time, that the best  
24 decision we could make is no decision at all.

25           A fallback position would in fact be

00272

1 that we need more information on IV versus oral  
2 and perhaps definitive studies should be done, or  
3 some definitive documentation should be adhered  
4 to. Now if that means that the FDA then slaps  
5 something on this drug and says ESRD shouldn't get  
6 oral, or that IRBs through the country say no, I  
7 think there's enough that I don't want to deal  
8 with it, then I think we're sort of pushed into IV  
9 as the only method to give the ESRD patient. But  
10 I don't think there's any evidence to that right  
11 now on either side, so right now the evidence is  
12 not one way or the other.

13           DR. HOLOHAN: Right, I would agree with  
14 you. To be a devil's advocate, I should clarify,  
15 that we heard testimony that IRBs had refused a  
16 suggested protocol, we haven't seen any evidence  
17 of that and we don't know why the IRBs -- the IRB  
18 was refuse to do it for many reasons that don't  
19 necessarily relate to oral toxicity, and I don't  
20 think we know that.

21           DR. METZGER: I have a question. Is  
22 there any precedent, I don't know, for the FDA  
23 refusing to issue a warning or a recall from a  
24 company who is marketing both products and who  
25 obviously, their interest is having an IV form the

00273

1 only remaining approved form? Has the FDA ever  
2 said we're not convinced and we're not going to

3 stop that kind of labeling when the company itself  
4 said we want you to do that?

5 DR. JORDAN: I seriously doubt it. Do  
6 you know, Cathleen?

7 MS. DOOLEY: I don't know, but I also  
8 think you would have to weigh the fact that if the  
9 company is voluntarily coming forward with some  
10 type of warning when they have used it oral, I  
11 think you have to respect that they're coming  
12 forward on that and not necessarily they are just  
13 doing that to have an IV coverage.

14 DR. METZGER: Well, I guess my question  
15 is, would they have to produce evidence that there  
16 is this toxicity, or only theoretical concerns?

17 MS. DOOLEY: I don't know the answer to  
18 that.

19 DR. HOLOHAN: I think probably the FDA  
20 would have to answer that.

21 DR. JORDAN: I think it comes down to,  
22 on this question, whether you're trying to  
23 approach it from the negative or the positive. If  
24 you're trying to look at, you know, IV versus oral  
25 is effective, then it's hard and the evidence is

00274

1 mixed. When you're talking about the negative,  
2 though, and the safety issue, I think we've seen  
3 evidence and actually the more we talk about it, I  
4 think it's adequate evidence that there is a  
5 safety question associated with oral, in a  
6 population to me that's already frail, and we have  
7 heard over and over again has chronic comorbidity  
8 problems that can lead to further problems. I  
9 have to say that that's adequate evidence from my  
10 point of view if I was one of those people in that  
11 population that the oral isn't safe. And should  
12 we just modify this question number 2 to say there  
13 is adequate evidence that the route of  
14 administration, IV, oral, dialysis fluid, is an  
15 important factor in the safety of levo-carnitine  
16 therapy in patients with ESRD?

17 DR. HOLOHAN: Well, I would disagree  
18 with that, because I don't think we have seen

19 evidence of lack of safety of the oral  
20 preparation, we have heard statements, but there  
21 was nothing in the material that I read that I  
22 thought was compelling evidence of safety issues  
23 with the oral form.

24 MS. DOOLEY: I think there is also  
25 nothing that we saw, we have to balance that with

00275

1 the fact that the FDA approval is in IV for ESRD,  
2 because I don't think there was evidence to Ron's  
3 point, that if you gave a very high dose of oral,  
4 that that person with renal failure could excrete  
5 it.

6 DR. HOLOHAN: Well, except that a  
7 supplementary NDA is always at the request of the  
8 company, not the FDA. It's not like the FDA  
9 reviewed the oral and the IV form and said no,  
10 only one of these is appropriate. I mean, I  
11 presume Sigma Tau could have if they chose gone to  
12 the FDA and asked for a supplemental NDA for the  
13 oral form in ESRD patients on dialysis, but they  
14 chose not to.

15 DR. JORDAN: Because they probably  
16 believed there was a problem with safety.

17 DR. HOLOHAN: Well, I don't know. I'm  
18 simply making the point that labeling changes  
19 don't originate with the Food and Drug  
20 Administration, except in safety issues.

21 DR. PAGANINI: I have to agree with the  
22 chairman. I don't believe there was evidence  
23 presented here that the oral form is egregiously  
24 problematic. Indeed, there is evidence that the  
25 oral form may be helpful in some subgroups, and I

00276

1 think Dr. Metzger showed some of that in his  
2 review, as you did in your review of the  
3 literature, so I think there are both sides of  
4 that currently here, shows evidence of efficacious  
5 subgroup improvement with both IV and/or oral in  
6 certain circumstances. I don't think the IV/oral  
7 issue is clear at all and I would say it would be

8 better for us not to make a decision one or the  
9 other until evidence shows that one or the other  
10 is clearly beneficial.

11 DR. HOLOHAN: Does anyone want to make  
12 a motion on the issue of the route of  
13 administration of levo-carnitine?

14 (Inaudible discussion.)

15 DR. TUNIS: I was just making a  
16 suggestion that one proposal is to just vote up or  
17 down on question number two, if that's suitable.

18 COMMISSIONER GRANT: But without  
19 belaboring this, you do have a hook. The size of  
20 the health effect if you're trying to compare the  
21 two, it could be either as effective but with  
22 advantages, or as effective and with no  
23 advantages. I mean, isn't that what the quality  
24 of the evidence, aren't you saying that right now  
25 there is no evidence that one is, that oral is

00277

1 either less effective or that IV has more  
2 advantages? Does that help you to get closer to  
3 precisely what the evidence is?

4 DR. TUNIS: That I think would be a  
5 follow-on question. First you'd have to vote on  
6 sort of the route question there, which is, is  
7 there adequate evidence that the route of  
8 administration is an important factor in clinical  
9 effectiveness or safety.

10 DR. HOLOHAN: Because Mr. Jordan may be  
11 rushing to catch a plane, he just pointed to his  
12 watch, Mr. Sugarman left a written statement that  
13 he asked to be read. It's dated today and it  
14 says, please let the record reflect the following  
15 comments and voting preference of Mitchell  
16 Sugarman.

17 With respect to the literature review,  
18 many of the studies were greater than five years  
19 old, some were greater than 15 years old, often  
20 considered "out of date" when conducting evidence  
21 based medicine analyses. The most recent studies,  
22 Brass, Kletzmayer, Sloan, showed very little  
23 benefit from the use of L-carnitine in the ESRD

24 patient.

25 Most of the studies were small, sample

00278

1 size less than 40, and possibly underpowered.  
2 K/DOQI recognized from the outset that lack of  
3 good quality scientific evidence made  
4 supplementation with opinion necessary. Such  
5 action weakens the claim that K/DOQI's guidelines  
6 are "evidence based."

7 My summary points: Minimal or no  
8 change on effects of anemia, and then he cites  
9 Brass, Kletzmayer, Semeniuk. Minimal or no change  
10 on muscle strength/morphology (Brass, Thomas).  
11 Only possible, reduction in  
12 arrhythmia. No change in lipid parameters. No  
13 data, comparing IV to oral PO  
14 carnitine, only theoretical arguments concerning  
15 toxicity from metabolites. Quality of life was  
16 the only measure which appeared to improve with  
17 carnitine (Brass and Sloan), which might also be  
18 bolstered by the emotional and compelling  
19 testimonials provided by the guest speakers during  
20 the MCAC meeting.

21 Conclusion/vote: Given the above,  
22 until such time as quality clinical studies are  
23 done which determine whether treatment of  
24 carnitine deficiency associated with hemodialysis  
25 by the administration (oral or IV) of carnitine

00279

1 result directly in improved health outcomes, HCFA  
2 should not cover, and we've been informed that we  
3 can't say that. Recommend multicenter study  
4 comparing IV to PO carnitine. Recommend large  
5 retrospective analysis of ESRD patients receiving  
6 carnitine compared to those not receiving  
7 carnitine. Recommend patient selection criteria  
8 based on these studies once they are done.

9 DR. TUNIS: All right. In the interest  
10 of time, I would request that somebody make a  
11 motion related to question number two for just  
12 that language, and we will have a vote on it.

13 DR. HELZLSOUER: I recommend there is  
14 insufficient evidence to make a judgment regarding  
15 the route of administration and its effectiveness.

16 DR. TUNIS: Is there a second?

17 DR. PAGANINI: Second.

18 DR. TUNIS: Dr. Paganini seconds it.  
19 Any more discussion?

20 MS. LONG: The motion is that there is  
21 insufficient evidence to conclude, there is not  
22 adequate evidence that the route of  
23 administration, intravenous, oral, dialysis fluid,  
24 is an important factor in the clinical  
25 effectiveness or safety of L-carnitine therapy in

00280

1 patients with ESRD on hemodialysis.

2 DR. TUNIS: Okay. And so voting yes  
3 means you're saying that there's insufficient  
4 evidence on the route of administration. So all  
5 in favor that there is insufficient evidence on  
6 the route? Opposed? Abstaining? The motion  
7 carries three to one, that the evidence is  
8 insufficient.

9 DR. HOLOHAN: Kimberly, could you  
10 quickly restate what the panel concluded, the  
11 several motions made, so that everybody before  
12 they leave, understands what they told HCFA?

13 MS. LONG: Sure. The first motion was  
14 for HCFA to establish a mechanism to define that  
15 such a condition, i.e., carnitine deficiency,  
16 exists in ESRD patient population. There was a  
17 unanimous vote for that:

18 Motion number two. There is adequate  
19 evidence that certain subgroups of ESRD patients  
20 on hemodialysis will benefit from the  
21 administration of carnitine supplementation and  
22 upon establishment of rational guidelines that  
23 define this patient population. Again, that was  
24 unanimous for that motion.

25 And then again, the last motion is that

00281

1 there is not adequate evidence that the route of



2 administration is an important factor in the  
3 clinical effectiveness or safety of L-carnitine  
4 in patients with ESRD, and that motion was passed  
5 with three votes for that and one against.

6 DR. HOLOHAN: Well, actually the  
7 schedule I have says HCFA announces adjourned.  
8 Kimberly?

9 MS. LONG: Because of time, I would  
10 just like to conclude today's session, and would  
11 someone move that this meeting be adjourned.

12 MR. JOHNSON: So move.

13 MS. LONG: Is there a second?

14 DR. PAGANINI: Second.

15 MS. LONG: Thank you everyone for your  
16 time and participation. The meeting is adjourned.

17 (Whereupon, the meeting adjourned at  
18 4:20 p.m.)

19

20

21

22

23

24

25