

00001

1
2
3
4
5
6
7
8
9

10

11 CENTERS FOR MEDICARE AND MEDICAID SERVICES

12 Medicare Coverage Advisory Committee

13

14

15

16

17

18

19 May 18, 2006

20

21 Centers for Medicare and Medicaid Services

22 7500 Security Boulevard

23 Baltimore, Maryland

24

25

00002

- 1 Panelists
- 2
- 3 Chairperson
- 4 Alan M. Garber, M.D., Ph.D.
- 5
- 6 Vice Chairperson
- 7 Alexander H. Krist, M.D.
- 8
- 9 Voting Members
- 10 Timothy M. Bateman, M.D.
- 11 Douglas D. Bradham, Dr.P.H.
- 12 David J. Cohen, M.D., M.Sc.
- 13 Carole Redding Flamm, M.D., M.P.H.
- 14 Clifford Goodman, Ph.D.
- 15 Rita F. Redberg, M.D., M.Sc.
- 16 Deborah Shatin, Ph.D.
- 17 Richard L. Wahl, M.D.
- 18
- 19 CMS Liaison
- 20 Steve Phurrough, M.D., M.P.A.
- 21
- 22 Consumer Representative
- 23 Charles J. Queenan, III
- 24
- 25

00003

1 Panelists (Continued)
2
3 Guest Panel Experts
4 Elliot Fishman, M.D.
5 David Lu, M.D.
6 Robert W. Peters, M.D.
7
8 Executive Secretary
9 Michelle Atkinson

10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

00004

1	TABLE OF CONTENTS	
2		Page
3		
4	Opening Remarks	
5	Michelle Atkinson/Steve Phurrough/ 6	
7	Alan Garber	6
8	Introduction of Panel	11
9		
10	CMC Presentation and Presentation of	
11	Voting Questions	
12	Stuart Caplan, R.N., M.A.S.	14
13		
14	CMS Clinical Background	
15	Jim Rollins, M.D.	22
16		
17	Presentation of the Technology Assessment	
18	Daniel Mark, M.D., M.P.H.	27
19	Lynne Hurwitz, M.D.	34
20	Manesh Patel, M.D.	43
21	Daniel Mark, M.D., M.P.H.	51
22		
23	Questions from Panelists	55
24		
25		

00005

1	Table of Contents (Continued)	
2		
3	Additional Presentations	
4	Elliott Fishman, M.D., A.C.R.	62
5	John Hodgson, M.D., F.S.C.A.L.	83
6	Kim Allan Williams, M.D.	96
7		
8	Scheduled Public Comments	
9	Michael Poon, M.D., F.A.C.C.	108
10	Cathleen Biga	114
11	Jason H. Cole, M.D., M.Sc.	120
12	Harvey Hecht, M.D.	125
13	Gregory S. Thomas, M.D.	131
14		
15	Questions to Presenters	137
16		
17	Lunch	169
18		
19	Questions to Presenters (Resumed)	169
20		
21	Discussion and Voting	210
22		
23	Closing Remarks/Adjournment	251
24		
25		

00006

1 PANEL PROCEEDINGS

2 (The meeting was called to order at
3 8:11 a.m., Thursday, May 18, 2006.)

4 MS. ATKINSON: Good morning and
5 welcome, committee chairperson, members and
6 guests. I am Michelle Atkinson, the executive
7 secretary for the Medicare Coverage Advisory
8 Committee. The committee is here today to discuss
9 the evidence, to hear presentations and public
10 comment, and make recommendations regarding the
11 use of noninvasive imaging technologies versus
12 cardiac catheterization in the diagnosis of
13 coronary artery disease.

14 The following announcement addresses
15 conflict of interest issues associated with this
16 meeting and is made part of the record. The
17 conflict of interest statutes prohibit special
18 government employees from participating in matters
19 that could affect their or their employer's
20 financial interests. Each member will be asked to
21 disclose any financial conflict of interest during
22 their introduction. We ask in the interest of
23 fairness that all persons making statements or
24 presentations also disclose any current or
25 previous financial involvement in any company that

00007

1 manufactures diagnostic coronary artery imaging
2 products. This includes direct financial
3 investments, consulting fees, and significant
4 institutional support. If you haven't already
5 received a disclosure statement, they are
6 available on the table outside of this room.
7 We ask that all presenters please
8 adhere to their time limit. We have numerous
9 presenters to hear from today and a very tight
10 agenda, and therefore cannot allow extra time.
11 There is a timer at the podium that you should
12 follow. The light will begin flashing when there
13 are two minutes remaining and then turn red when
14 your time is up.
15 For the record, voting members present
16 for today's meeting are Alex Krist, Timothy
17 Bateman, Douglas Bradham, David Cohen, Carole
18 Flamm, Clifford Goodman, Rita Redberg, Deborah
19 Shatlin, and Richard Wahl. A quorum is present
20 and no one has been recused because of conflict of
21 interest. The entire panel, including nonvoting
22 members, will participate in the voting. The
23 voting scores will be available on our web site
24 following the meeting. Two averages will be
25 calculated, one for the voting members and one for

00008

1 the entire panel.
2 Anyone requiring a taxi should sign up
3 at the registration desk during the break. I ask
4 that all panel members please speak directly into
5 the mike, and you may have to move the mikes
6 around. And lastly, please remember to discard
7 your trash in the trash cans located outside of
8 this room. And now I'd like to turn the meeting
9 over to Dr. Steve Phurrough.
10 DR. PHURROUGH: Good morning. Thank
11 you, Michelle. As an initial comment, let me
12 thank you for your forbearance this morning, as
13 this room was begun to be set up just shortly
14 before we arrived. The Secretary has a meeting
15 with the entire HHS staff this afternoon and
16 that's why we're in this small room, because he
17 has the room next to us. He did have a bit of
18 precedent over us, and this room was busy into the
19 evening last night so it was set up, as you can
20 see, just beginning this morning. Please use the
21 mikes. We have fewer mikes than usual, but just
22 pass them up and down. It's difficult to record
23 this, but we need to, if you're not speaking into
24 the mike.
25 I want to thank the panelists for

00009

1 agreeing to be here. We think this is an
2 important topic and we appreciate your willingness
3 to serve. We thank those members of the public
4 who are here to assist us in this conversation,
5 and we do want it to be a conversation and we are
6 interested in your views this morning. We do look
7 forward to a good healthy discussion.
8 There is some potential around
9 two o'clock that things may get a bit noisy in
10 that the 2,500 to 3,000 people who work here may
11 all be gathering in the room next to us, so we'll
12 sort of play that by ear to see if we need to take
13 a short break at that particular time. The good
14 news is that after that particular discussion
15 between two and three, there are going to be
16 refreshments in the cafeteria, and I'm sure you
17 will be invited. I didn't say that.
18 Again, thank you, and I will turn it
19 over to Alan.
20 DR. GARBBER: Thank you, Steve. I would
21 like to add to Steve's welcome to the panelists
22 and to the members of the public who have joined
23 us today. I just want to reiterate the necessity
24 of keeping to the schedule, and we're very strict
25 about enforcing the time limits for people who

00010

1 have speaker slots. And there is, we do have a
2 light here, I will be keeping track with the timer
3 and will cut people off mid-sentence if they go
4 beyond their time. And I apologize for that but
5 it's in the interest of giving everybody a chance
6 to speak, and usually there is more material than
7 we can possibly do justice to in one meeting. So
8 I'll just ask you to respect the time limits, if
9 you would.

10 I think that in today's meeting in
11 particular, we have a lot of data driven
12 presentations which, I'm sure I speak for many of
13 us, we find very gratifying, because that's the
14 kind of information we really need to consider in
15 a complex set of topics such as the one we're
16 dealing with today. But that's also going to put
17 some demands on the whole process of trying to
18 assimilate the information. There may be a lot of
19 questions for the speakers and so on, so time will
20 be a very important factor in today's meeting and
21 we will try to keep to a very strict schedule.

22 I just also want to add that some of
23 the panelists have bad backs and may pop up from
24 time to time, standing up behind their seats, and
25 I hope that any speaker who happens to be speaking

00011

1 at the time doesn't take that personally. Some of
2 us just have a hard time sitting for a long time,
3 so for whatever the reason may be, panelists will
4 be standing from time to time and nothing is meant
5 by that other than they need to stand up. So,
6 thanks once again for joining us today.
7 And we will be discussing the questions
8 repeatedly today, but I want to make sure that
9 everybody has a copy of the voting questions,
10 which all panelists should have in the little
11 portfolios that you were given. I hope everyone
12 in the audience has them, and there are printed
13 materials just outside the room. Thank you.
14 Now we need to go through the panel for
15 conflicts of interest and introductions. Please
16 state who you are for the audience, and indicate
17 any conflicts. Carole?
18 DR. FLAMM: Carole Redding Flamm. I am
19 employed by the Blue Cross Blue Shield
20 Association. I have no personal financial
21 conflicts of interests and have been involved in
22 the past in evaluation of cardiac CT angiography
23 with the technology evaluation center.
24 DR. COHEN: I'm David Cohen, a
25 cardiologist at Beth Israel Deaconess Medical

00012

1 Center in Boston, and associate professor of
2 medicine at Harvard Medical School. I have no
3 conflict of interests regarding this topic.
4 DR. BRADHAM: I'm Doug Bradham. I'm a
5 health economist with the Department of Veterans
6 Affairs involved in large clinical trials and
7 studies in that branch of their research group.
8 I'm also an associate professor at the University
9 of Maryland at Baltimore School of Medicine
10 located here in Baltimore, downtown. And I have
11 no personal finances, so I can't have any
12 conflicts.
13 DR. BATEMAN: My name is Tim Bateman
14 and I'm a cardiologist in Kansas City. I have no
15 personal financial conflicts. My research group
16 does receive funding from a number of radionuclide
17 companies, and no clinical research support at
18 this time from any CT companies.
19 DR. KRIST: My name is Alex Krist, I'm
20 with the department of family medicine at Virginia
21 Commonwealth University, and I don't have any
22 conflicts that apply to this topic.
23 DR. GARBER: Alan Garber. I'm a staff
24 physician with the Department of Veterans Affairs
25 and director of the Center for Health Policy at

00013

1 Stanford. I am involved in an ongoing study of
2 CT, cost effectiveness of CT angiography and other
3 aspects of CT angiography but have no financial
4 conflicts.

5 DR. GOODMAN: Cliff Goodman from the
6 Lewin Group. I have no personal conflicts of
7 interest. As a salaried employee for the Lewin
8 Group, I have worked on various studies involving
9 EB CT, contrasting with pharmaceuticals, and
10 ultrasound imaging. No conflicts of interest of a
11 personal nature.

12 DR. REDBERG: I'm Rita Redberg. I'm a
13 professor of medicine in the division of
14 cardiology at the University of California San
15 Francisco. I have no personal or financial
16 conflicts of interest. I do serve as the American
17 Heart Association representative on the American
18 College of Cardiology appropriateness technical
19 panel that evaluates CT and MR.

20 DR. SHATIN: Deborah Shatin. I do have
21 stock in General Electric. No other conflicts.

22 DR. WAHL: Richard Wahl. I am a
23 professor of radiology and oncology at Johns
24 Hopkins. I have no personal conflicts and no
25 other relevant conflicts on this topic.

00014

1 MR. QUEENAN: I'm Charlie Queenan, I'm
2 and independent consultant and I have no conflicts
3 to disclose.

4 DR. FISHMAN: I'm Elliott Fishman,
5 professor of radiology and oncology at Johns
6 Hopkins, and we do get research support in general
7 from Siemens and GE Healthcare, so I guess that's
8 a conflict, and we also get support from the NIH
9 for research on cardiac CT.

10 DR. LU: David Lu, I'm a cardiologist
11 at the Veterans Administration in D.C., I have no
12 conflicts of interest.

13 DR. PETERS: Bob Peters. I'm chief of
14 cardiology at the Veterans Administration Medical
15 Center and associate professor of medicine at the
16 University of Maryland at Baltimore. I have no
17 conflicts of interest.

18 DR. GARBER: Thank you. Next we will
19 proceed with the CMS presentation that will be
20 given by Stuart Caplan.

21 MR. CAPLAN: Good morning again,
22 everyone, Chairman Garber, panelists, invited
23 guests, members of the public. On behalf of the
24 Centers for Medicare and Medicaid Services, I
25 would like to welcome you again today to the

00015

1 Medicare Coverage Advisory Committee meeting on
2 noninvasive imaging for coronary artery disease.
3 The CMS analytic team for today's
4 presentation includes myself, Stuart Caplan as
5 lead analyst, Dr. James Rollins as the medical
6 officer, the MCAC executive secretary, Michelle
7 Atkinson, Dr. Louis Jacques, director of Division
8 of Items and Devices, and Dr. Steve Phurrough, the
9 director of the Coverage and Analysis Group. I
10 would also like to thank my colleagues at CMS who
11 worked diligently to help put our presentation
12 together today.
13 Today's presentation includes
14 information on coronary artery disease and related
15 imaging technologies, the technology assessment
16 commissioned through the Agency for Healthcare
17 Research and Quality and conducted by the Duke
18 Center for Health Policy Research and presented by
19 Dr. David Matchar, information on Medicare
20 coverage for coronary artery imaging, along with
21 MCAC panel question and discussions. You will
22 also hear presentations from a number of
23 interested parties.
24 The panel has received the following
25 materials, all of which are publicly available.

00016

1 The technology assessment provided by AHRQ, copies
2 of all the articles reviewed, the written
3 testimony of scheduled presenters, a summary of
4 evidence that's provided by CMS, and questions to
5 the panel.
6 According to the national clearing
7 house and the American Heart Association, coronary
8 artery disease is the leading cause of mortality
9 in the United States among the members of every
10 ethnic group. In 2003, coronary artery disease
11 was responsible for approximately 580,000 deaths.
12 In 2003, the death rate for coronary artery
13 disease per 100,000 people was 209 for white men,
14 241 for black men, 129 for white women, and 164
15 for black women. The estimated prevalence of
16 coronary artery disease in men is about 6.9
17 percent in the United States, and among women the
18 prevalence is close to 6 percent. That equates to
19 7.2 million males and 6 million females with
20 coronary artery disease. The annual cost of
21 coronary artery disease in the United States is
22 approximately \$130 billion.
23 Coronary artery x-ray angiography is
24 the most widely used diagnostic test used in
25 occluded coronary arteries, with the greatest

00017

1 amount of published peer reviewed evidence on its
2 utility. Angiography is an invasive test for
3 occluded coronary arteries. A catheter is
4 inserted into a peripheral artery, usually the
5 femoral artery, which is then threaded with x-ray
6 guidance to the origin of the coronary arteries.
7 A radiopaque dye is then injected that provides
8 images of the artery's anatomical structure.
9 Other cardiac function can also be evaluated and
10 this test is considered the gold standard against
11 which other diagnostic tests are compared.
12 In the context of this meeting,
13 computed tomography angiography or CTA refers to
14 multislice or multidetector cardiac computed
15 tomography angiography. As an x-ray source and
16 detectors move around the patient, 16, 32, 64 or
17 more slices up to a specified thickness are
18 acquired, and software then reconstructs the
19 images into three-dimensional images.
20 Magnetic resonance angiography, MRA, is
21 a type of magnetic resonance imaging, MRI, and is
22 based upon the phenomenon of nuclear magnetic
23 resonance, whereby a signal can be produced by
24 atomic nuclei. Software then reconstructs these
25 images into anatomic images.

00018

1 Positron emission tomography is a
2 minimally invasive nuclear medicine procedure used
3 to evaluate glucose metabolism in normal and
4 diseased tissues. Whereas CTA and MRI produce
5 anatomic images, PET produces metabolic images and
6 can detect metabolic function.
7 EBT, or electron beam tomography, also
8 known as ultrafast computed tomography, can
9 identify calcium in coronary arteries and is being
10 evaluated as a tool to detect coronary artery
11 disease.
12 This table shows that there are three
13 coverage categories for coronary artery imaging.
14 They are national coverage, national non-coverage,
15 and when Medicare is silent on coverage, coverage
16 is at local contractor discretion to either cover
17 or non-cover a service based on their reasonable
18 and necessary findings. As such, coverage may
19 vary from region to region.
20 Coronary artery x-ray angiography and
21 cardiac MRA are nationally covered services. CT
22 angiography is currently covered at contractor
23 discretion. FDG PET for evaluating coronary
24 arteries is a nationally non-covered service, but
25 CMS does cover Rubidium 82, an M-13 pneumonia

00019

1 for myocardial viability and myocardial perfusion.
2 Electron beam tomography is also being paid at
3 contractor discretion.
4 Now I would like to move on to the
5 questions for the panel. Question 1: How
6 confident are you that there is sufficient
7 evidence to determine the diagnostic accuracy of
8 the following noninvasive technologies for the
9 detection of obstructive coronary artery lesions:
10 Computed tomography angiography, or CTA; electron
11 beam tomography, EBT; magnetic resonance imaging;
12 or other identified technologies? The voting will
13 be very confident, somewhat confident, unsure,
14 somewhat unconfident, and very unconfident.
15 Panel Question 2: How confident are
16 you that there is sufficient evidence to determine
17 if these noninvasive technologies can accurately
18 determine the anatomic location of the obstructive
19 coronary artery lesion: CTA, EBT, CMRI, or other
20 identified technologies? Again, the same voting
21 questions, very confident, somewhat confident,
22 unsure, somewhat unconfident, and very
23 unconfident.
24 The third panel question: How
25 confident are you that there is sufficient

00020

1 evidence to determine if these noninvasive
2 technologies can accurately detect the relevant
3 morphology, such as size, shape and ulcerations of
4 obstructive coronary artery lesions? Again, the
5 technologies are CTA, EBT, MRI, or other
6 technologies, and the votes will include very
7 confident, somewhat confident, unsure, somewhat
8 unconfident, and very unconfident.
9 Panel Question 4: How confident are
10 you that the noninvasive imaging identified in
11 Questions 1 through 3 as having sufficient
12 evidence can be used instead of coronary artery
13 catheterization to determine treatment of coronary
14 artery disease? Four continues, if you are
15 confident or very confident, in which populations
16 are you confident that noninvasive imaging can be
17 used without results in adverse health outcomes?
18 Again, the answers will be very confident,
19 somewhat confident, unsure, somewhat unconfident,
20 and very unconfident.
21 Panel Question 5: If noninvasive
22 imaging identified in Questions 1 through 3 as
23 having sufficient evidence were to be used in
24 addition to coronary artery catheterization: (a),
25 how confident are you that the noninvasive imaging

00021

1 identified in 1-3 as having sufficient evidence
2 provides an incremental benefit or harm when used
3 before coronary artery angiography? The answers
4 again, very confident, somewhat confident, unsure,
5 somewhat unconfident, and very unconfident.
6 Panel Question 5(b): How confident are
7 you that noninvasive imaging identified in 1-3 as
8 having sufficient evidence provides an incremental
9 benefit or harm when used after coronary artery
10 angiography? Same answers, very confident,
11 somewhat confident, unsure, somewhat unconfident,
12 and very unconfident.
13 Panel Question 6: How confident are
14 you that, (a), the diagnostic test characteristics
15 of the technologies that were identified in 1-3 as
16 having sufficient evidence are generalizable to
17 the Medicare beneficiary population? Same
18 answers, very confident, somewhat confident,
19 unsure, somewhat unconfident, and very
20 unconfident.
21 Panel Question 6, the last part of it:
22 How confident are you that, (b), diagnostic and
23 treatment strategies using noninvasive imaging
24 that were identified in 1-3 as having sufficient
25 evidence of coronary artery disease provide a net

00022

1 health benefit to Medicare beneficiaries compared
2 to strategies that use invasive imaging. Answers,
3 very confident, somewhat confident, unsure,
4 somewhat unconfident, and very unconfident.
5 I would now like to introduce Dr. James
6 Rollins, the lead medical officer for this MCAC.
7 DR. ROLLINS: Good morning. I would
8 like to thank the members of the MCAC as well as
9 the general public for attending today's
10 presentation. In this presentation I would like
11 to discuss the following: Ischemic heart disease
12 and its burden on U.S. population, coronary
13 angiography and its indications as well as its
14 limitations, the frequency of coronary angiography
15 performed in the U.S., potential serious as well
16 as life-threatening complications, and the
17 relative contraindications to this procedure.
18 As noted on this slide, ischemic heart
19 disease causes significant mortality as well as
20 disability in this country as well as other
21 developed nations. It also causes a significant
22 financial burden. In the year 2000, the American
23 Heart Association estimated that more than 12
24 million people in the United States had ischemic
25 heart disease. The economic costs approached \$120

00023

1 billion that year. Ischemic heart disease is the
2 most common serious life-threatening illness in
3 the United States. Large increases in the
4 prevalence of ischemic heart disease is projected
5 throughout the world. In the year 2020, ischemic
6 heart disease is likely to become the most common
7 cause of death worldwide.
8 In the U.S., cardiovascular disease is
9 a leading cause of death in the elderly. As noted
10 on this slide, more than 12 million Americans have
11 ischemic heart disease, more than 6 million angina
12 pectoris, over 7 million have sustained a
13 myocardial infarction secondary due to ischemic
14 heart disease.
15 Coronary angiography is an invasive
16 investigation which accurately and reproducibly
17 assesses the anatomy of the coronary arteries and
18 is used in the diagnosis and management of
19 patients with known or suspected coronary artery
20 disease. As noted by Stuart earlier, coronary
21 angiography is the standard by which other
22 diagnostic tests are measured.
23 Though a number of clinicians have
24 proposed that coronary angiography be used as a
25 just-in-time diagnostic tool, its use in this

00024

1 situation is not practical because of the
2 following. Number one, it is difficult to predict
3 which vulnerable plaque will progress to
4 clinically significant coronary artery events.
5 Number two, most plaque that will cause a
6 myocardial infarction are clinically insignificant
7 up until the day of the clinical event. And
8 number three, over 25 percent of patients with
9 coronary artery disease will have their first
10 symptom be a myocardial infarction or sudden
11 cardiac death.
12 There are a number of indications for
13 coronary angiography. These include, number one,
14 patients with markedly positive noninvasive tests.
15 Number two, patients at high risk for coronary
16 artery disease in whom a course of empirical
17 anti-anginal therapy has failed. Number three,
18 patients with unstable or post-infarction angina.
19 Number four, patients with contraindications to
20 exercise or pharmacologic stress testing. And
21 number five, patients with equivocal results on
22 noninvasive stress testing when the diagnosis of
23 coronary artery disease remains unclear.
24 And though coronary angiography testing
25 does have its indications, it also has its

00025

1 limitations. Two of its limitations include its
2 inability to determine the functional significance
3 of coronary artery stenosis and which coronary
4 plaque are likely to rupture and result in acute
5 coronary syndrome. As with all invasive
6 procedures, there is the potential for harm, and
7 though coronary angiography has a small potential
8 for adverse events, there are some serious as well
9 as potentially life-threatening complications.
10 These include arrhythmias, strokes, coronary
11 artery dissection, access site bleeding, exposure
12 to radiation, blood clots, infections, myocardial
13 infarctions, trauma to the catheterized vessels,
14 as well as perforation of the heart or vessel.
15 When looking at all potential complications, the
16 mortality rate is less than two percent.
17 According to the ACC/AHA guidelines,
18 cardiac catheterization was performed in over a
19 million patients in 1993, making it the second
20 most frequent in-hospital operative procedure
21 performed in the United States. In the general
22 population from 1979 to 2000, the number of
23 coronary angiographies increased by 341 percent.
24 Approximately 48 percent of all catheterizations
25 are performed on patients 65 and older and the use

00026

1 of catheterization is expected to grow. Given the
2 predicted growth in population and age of the
3 population, it is possible that by the year 2010,
4 three million procedures will be performed
5 annually here in the United States.
6 The striking variation in use of
7 coronary angiography in the United States has led
8 to concerns about its appropriateness. A number
9 of studies have evaluated this issue and results
10 suggest that the incidence of inappropriate use of
11 coronary angiography is relatively low, ranging
12 between 4 and 18 percent, but if guidelines are
13 available which include indications for the
14 procedure, why should there be variations in the
15 use of this procedure?
16 And finally, there are a number of
17 relative contraindications to coronary
18 angiography, which include uncontrolled
19 ventricular irritability, uncontrolled hypokalemia
20 or digitalis toxicity, uncontrolled hypertension
21 which predisposes the heart to mild coronary
22 ischemia and/or heart failure during angioplasty,
23 intercurrent febrile illness, anticoagulated
24 state, severe allergy to radiographic contrast
25 media, and severe renal insufficiency and/or

00027

1 anuria, unless dialysis is planned to remove
2 fluids and radiographic contrast load.
3 So, in summary, despite these
4 shortcomings, the extent and severity of coronary
5 artery disease in conjunction with the measurement
6 of left ventricular function by left heart
7 catheterization are powerful predictors of
8 clinical outcomes. Thank you.
9 DR. GARBER: Next, we have a team
10 presentation now from the Duke team.
11 DR. MARK: Thanks, Dr. Garber, panel
12 members, guests. On behalf of the Duke
13 Evidence-Based Practice Center, I am pleased to be
14 here to be able to share with you the results of
15 our technology assessment for noninvasive direct
16 imaging of coronary artery disease. My name is
17 Dr. Daniel Mark, I am a clinical cardiologist and
18 director of the outcomes research group at ECRI.
19 I will be joined on the podium here by Dr. Manesh
20 Patel, who is joining our faculty in
21 interventional cardiology, and Dr. Lynne Hurwitz,
22 who's a member of the radiology department in the
23 cardiothoracic imaging division. This slide shows
24 our disclosures.
25 So, we have broken up our presentation

00028

1 into the following general sections.
2 Acknowledging the somewhat diverse background of
3 the people here today, we will start with a brief
4 overview of the diagnostic evaluation of coronary
5 disease in general, and that will be presented by
6 me. Dr. Hurwitz will then discuss the three
7 methods available for direct imaging of the
8 coronary arteries and give examples. Dr. Patel
9 will then present our methods for our technology
10 assessment literature review, and the findings of
11 our report on the first three questions of the six
12 that Mr. Caplan shared with you a few moments ago,
13 and then I will come back to finish with the last
14 three questions and a wrap-up.
15 So as far as background, just very
16 general stuff, but I think important in
17 understanding some of the difficulties in working
18 in this particular area. We're dealing basically
19 with atherosclerotic disease which takes the
20 manifestation of plaques or specific accumulations
21 of cholesterol and other material in the inner
22 lining of medium and large-sized arteries
23 throughout the body and particularly the coronary
24 arteries. And these plaques can cycle through
25 phases, which include periods of quiescence where

00029

1 the plaque does nothing, and sometimes periods of
2 rapid growth which can result from or lead to
3 clinical syndromes.
4 The growth in particular is often due
5 to the rupture of so-called vulnerable plaques and
6 this process of rupture and healing may actually
7 lead to further growth and expansion of the plaque
8 with additional narrowing of the coronary artery.
9 Paradoxically, the plaques that are the ones that
10 we focus on clinically, the ones that cause
11 symptoms and the ones that bring most of our
12 attention when we're doing diagnostic testing are
13 those that narrow the arterial lumen by more than
14 50 percent diameter, whereas most of the clinical
15 events in our current understanding of the
16 pathophysiology of this disease appear to be
17 caused by plaques that are less than or equal to
18 50 percent diameter stenosis on the antecedent
19 angiogram when such data are available.
20 You have already seen this slide from
21 Dr. Rollins, just emphasizing the fact that there
22 are many patients who present initially with
23 rupture of a plaque and an irreversible clinical
24 event, so it is a disease that is not always
25 progressing in an orderly fashion.

00030

1 So why do we use diagnostic tests just
2 as a general concept? I think it's important to
3 keep in mind, however, that the ultimate goal is
4 not to find some diagnosis but it is to improve
5 the outcome to patients, and so it's the ability
6 of the diagnostic tests to actually translate into
7 some sort of information that the clinicians can
8 use to improve the outcomes to patients that gives
9 them their power. Tests that do not change
10 management but perhaps are used for other purposes
11 are certainly at least much harder to value.
12 There is an interesting paradox, if you
13 will, in diagnostic testing. That is that we can
14 ask two different sorts of questions of our
15 diagnostic tests. We can ask, and I use
16 diagnostic tests here in a generic sense. We can
17 ask whether the patient in a coronary disease
18 situation has significant or severe disease,
19 however we choose to define it, an anatomic
20 question essentially. Or we can ask the
21 prognostic question, what's the risk that this
22 patient is going to die or have a major
23 irreversible cardiac event in the next six to 12
24 months after my clinic visit with him or her.
25 The paradox in this is that the

00031

1 diagnostic perspective and the prognostic
2 perspective don't always align, in fact they may
3 not align particularly well. So that considering
4 the example, hypothetical, of a patient with
5 three-vessel coronary artery disease was able to
6 go nine minutes on the Bruce protocol treadmill
7 with no evidence of ischemia, no ST segment
8 depression, no angina. From a diagnostic
9 perspective this testing is just wrong, it's a
10 false negative test and it represents a flaw in
11 the test performance. From the prognostic
12 perspective, however, the test tells you something
13 very important, and that is that the patient is in
14 a relatively lower risk stratum for that
15 particular type of disease.
16 Now there are a number of tests which
17 we're not going to discuss in any detail this
18 morning that are used to indirectly assess the
19 coronary circulation and look for the presentation
20 of CAD, all falling in the general heading of
21 stress testing, and these exercise or
22 pharmacologic stress studies are used to examine
23 patterns of either blood flow to the heart muscle,
24 that is perfusion, or patterns of ventricular
25 contraction at rest and during exercise stress.

00032

1 And the imaging techniques that can be used along
2 with the stress includes such things as
3 echocardiography to look at left ventricular
4 contraction patterns, or SPECT myocardial
5 perfusion or MR perfusion to look at blood flow
6 patterns. And the literature on these types of
7 tests include both types of evaluations that I
8 referred to a moment ago, that is diagnostic
9 correlations with coronary angiography and
10 evaluations of incremental prognostic value.
11 I will mention that the literature that
12 we're going to be examining in our technology
13 assessment only includes the former type of
14 evaluation so far, that is diagnostic
15 correlations.
16 Just a word about EBT and calcium
17 scores. As you heard a few moments ago, the EBT
18 test is used to identify primarily coronary
19 calcium burden, and there are in fact a number of
20 studies correlating the diagnostic and prognostic
21 significance of calcium burden incrementally to
22 other clinical factors. However, our evaluation
23 found that the spatial resolution of this
24 technology is fairly limited for coronary anatomy
25 and for that reason it is not included in our

00033

1 direct assessment technology list to evaluate the
2 coronary lumen. And finally, the calcium score
3 burden increases with age, which is relevant to
4 some things we will get to in a few moments.
5 Just a word about the amount of
6 evidence that's available on other types of
7 assessments in coronary artery disease, and this
8 is from the recent ACC/AHA literature review. You
9 can see that the literature now includes about
10 24,000 patients that have been studied in an
11 evaluative way with standard exercise and ECG
12 testing, although there's only about 2,400
13 patients that have been studied under the more
14 strictly defined context of limiting workup bias.
15 There's about 28, 29,000 patients that have been
16 studied evaluating perfusion scintigraphy, over
17 5,000 patients studied using exercise
18 echocardiography, and the EBT work that I referred
19 to a moment ago involves over 3,700 patients.
20 So, our evaluation is going to focus on
21 direct assessment, that is direct imaging of the
22 coronary artery anatomy, and there are three
23 options for doing this. There is the gold
24 standard that you heard about, x-ray angiography,
25 and then there are the two newer noninvasive

00034

1 technologies, CTA and MRA, and Dr. Hurwitz is
2 going to come up and take you through those
3 technologies.

4 DR. HURWITZ: So, I'm going to discuss
5 a little bit about traditional coronary
6 angiography and CT and MRA. The discussion will
7 be limited to native coronary artery assessment.
8 As has been alluded to by the previous speakers,
9 there are current clinical indications for doing
10 diagnostic coronary angiography. Those include
11 patients with known or suspected coronary artery
12 disease or symptoms or patients with abnormal
13 stress studies, acute coronary symptoms,
14 evaluation post-coronary revascularization for a
15 patient with symptoms or abnormal stress tests,
16 pre-operative evaluation before non-cardiac
17 surgery or for valvular surgery or congenital
18 heart surgery, as well as evaluation in patients
19 with congestive heart failure, systolic
20 dysfunction, and patients previously having
21 cardiac arrest.

22 As has been noted, coronary angiography
23 is performed with the direct catheterization of
24 the arteries and contrast agent being injected
25 into the arteries. Multiple contrast injections

00035

1 are taken and multiple projections are obtained of
2 the different coronary arteries and they are
3 reviewed on several projections and in both
4 streaming and still pictures.
5 These images demonstrate an example
6 case. As you can see, these images give a luminal
7 assessment of the coronary arteries and lay out
8 here for you the main coronary arteries that are
9 evaluated, left main, circumflex, right coronary
10 artery, LAD and their branches. Note that we can
11 see very nicely the very small vessels seen in the
12 coronary arteries with this traditional technique.
13 As has been also noted, there are
14 complications from x-ray angiography, as there are
15 with any procedure performed in the medical field.
16 As you can tell from this slide, overall the total
17 complication rate has stabilized or slightly
18 decreased, and the main complications overall are
19 related to the induction of myocardial infarction,
20 neurologic detriment, arrhythmia, vascular
21 complications, complications related to contrast
22 administration. Radiation risk is one that is not
23 documented and overall, all radiation procedures
24 tend to go by the recommendation of using as low
25 as reasonably achievable to provide diagnostic

00036

1 images.
2 So in contrast to traditional
3 diagnostic angiography, coronary CTA provides for
4 assessment of the coronary arteries through
5 intravenous administration of contrast. So as
6 seen on this patient, the patient is lying on a CT
7 scanner, a large bore IV is placed into the
8 peripheral arm and contrast is administered
9 through a very high rate of injection, usually
10 somewhere between three to six cc's a second.
11 Prior to the patient being placed on this scanner,
12 assessment of the patient's underlying heart rate
13 and rhythm is needed, and that's because the data
14 has to be acquired in conjunction with the
15 patient's heart rate, and this is because we need
16 to evaluate the condition during the time periods
17 of overall decreased motion of the heart cycle.
18 To help with that problem, we often utilize
19 beta-blockers to reduce patient's heart rates;
20 that allows for an increase in the overall time of
21 diastole relative to decreased motion of the
22 heart.
23 Subsequently, the patient is then
24 placed on the CT scanner and x-rays are emitted
25 during the time of contrast administration and an

00037

1 axial data set is acquired. This data is then
2 reconstructed into cursory formats and direct
3 cross-sectional images of the vessels.
4 MRA uses a similar appearing machine,
5 and may or may not use a contrast through a
6 peripheral IV injection. But in contrast to CT
7 and angiography, radio frequency pulses are
8 applied instead of using x-rays to acquire the
9 images.
10 So what do these images look like?
11 We've included some examples from both the
12 16-array multi-detector scanners and the 64. As
13 you can see on this image, these are two cursive
14 formats from the right coronary artery in two
15 different patients and illustrate areas of
16 narrowing and stenosis involving the mid to distal
17 right coronary and the more proximal right
18 coronary on the other patient. What the CT allows
19 for in addition to intraluminal assessment, we can
20 actually look at the walls and actually see these
21 areas of narrowing directly, as well as evaluating
22 for calcified areas.
23 This is another example of a patient's
24 right coronary artery again coming off the aorta,
25 and in the distal aspect you can see enlargement

00038

1 of the vessel, filling in of soft tissues with
2 increased thrombosis.
3 Here's an example of the same patient
4 with a CT angio and a traditional diagnostic
5 angio, and what we're demonstrating here with
6 these arrows is you can see the areas in the
7 coronary CTA in the left coronary artery, the left
8 anterior descending, and you see them again in the
9 same locations on the traditional angiography. So
10 this was just to show you an example of how we can
11 evaluate these areas.
12 This is an example from one of the more
13 newer scanners, 64-slice scanners, and you see we
14 get very nice pictures, laying out the entire
15 anatomy of the coronary artery, even the branches.
16 What you will notice in contrast to the
17 traditional angio images that I showed you a
18 moment ago, is that you can see all the distal
19 branches as the vessels get much smaller.
20 This is an image from an MR, this was
21 performed without intravenous contrast and again,
22 you will see the nice anatomy of the vessels,
23 though you will notice that the edge definition of
24 the vessels is not quite as good as CTA, and this
25 is due to differences in resolution.

00039

1 So what are some of the challenges of
2 noninvasive imaging? We've broken it down and two
3 of the biggest problems we have is with cardiac
4 and respiratory motion, and these can really
5 hamper an evaluation of the entire coronary artery
6 or a segment of the coronary artery, as I will
7 elaborate on or illustrate in the next two slides.
8 Additionally troubling is that because
9 of the nature of the coronary arteries, that they
10 are so small and are constantly moving, we're
11 having to fight issues of getting images that are
12 very high spatial resolution. And this is mainly
13 because the coronary arteries are very small,
14 about four millimeters in diameter more
15 proximally, extending down to about .5 millimeters
16 more distally.
17 Additionally, we need very high
18 temporal resolution to try to acquire the images
19 in a relatively motion-free time period. And
20 methods that we use to do this is we use ECG
21 gating, and this allocates out of the data in
22 correlation with the patient's heart rate and
23 rhythm so that we can image and separate out those
24 data to time periods of least motion. By feeding
25 patients beta-blockers, we can increase this time

00040

1 of diastole and allow for improved image quality.
2 Additionally, breath holding for respiratory
3 motion or timing with the diaphragm motion on MRA
4 is used to handle the issues related to
5 respiratory motion artifact.
6 So while some of the images that I
7 showed before are very nicely diagnostic of the
8 examples of stenosis, we not uncommonly will see
9 times when you can see the proximal right coronary
10 artery, and on this slide you can see it very
11 nicely, but right in the mid portion you have one
12 of these stairstep artifacts, and this is all from
13 cardiac motion, and then more distally you can see
14 that the vessel is nicely laid out and you can
15 evaluate it. So this leads to problems with what
16 to do with the areas of cardiac motion and making
17 them uninterpretable.
18 This is an example of a patient with a
19 respiratory motion artifact. You can see the
20 motion artifact as seen in the chest wall.
21 And as we talked about with the spatial
22 and temporal resolution, this slide illustrates
23 how the CT technology is progressing. So on one
24 axis we have time in milliseconds, and on the X
25 axis we have labeled out different multi-detector

00041

1 scanners, so four, 16, 64, compared to electronic
2 beam CT and cardiac cath. The overall temporal
3 resolution and rate of imaging has improved and is
4 getting closer towards cath, and this is mainly
5 due to improvements in gantry rotation times of
6 the CT scanners. With the improvement in temporal
7 resolution and gantry rotation, scan times overall
8 are decreasing. So before when we were imaging
9 for 40 seconds, or 20 to 30 seconds for the
10 16-slice scanners, we are now down to about eight
11 to ten seconds with a 64-array. And with that,
12 the contrast dose has decreased from 200 cc's to
13 about 80 cc's now of contrast.
14 Additionally, spatial resolution has
15 improved. Now for the higher quality scanners,
16 the 16 and the 64, we are actually getting near
17 spatial resolution with cardiac cath and also
18 other volumetric imaging.
19 So now that I've talked a little bit
20 about sort of the technical issues related to the
21 CT technology, some issues come up related
22 specifically to patients, and that has to do with
23 the presence or absence of coronary calcification.
24 When there's a significant amount of calcium
25 burden in the coronary arteries due to partial

00042

1 volume averaging and beam hardening, evaluations
2 of lumens can be very difficult. Sometimes these
3 areas of calcification can lie eccentrically and
4 even further off from the actual lumen being
5 evaluated, but sometimes they can be very
6 problematic to evaluate the lumen in the region of
7 the calcification. As you see here, this patient
8 has significant amounts of calcification in both
9 the right and left coronary systems.
10 Additionally, timing boluses becomes
11 very important. While studies now suggest that
12 boluses are timed very specifically for
13 everybody's cardiac output, there can be times
14 when the boluses are not optimal and that leads to
15 a poor contrast-to-noise ratio. As you see here,
16 there is more contrast in the pulmonary artery
17 than the left atrium, which leads to very poor
18 assessment of the coronary arteries.
19 Additionally, signal-to-noise becomes a
20 big issue, particularly when looking at very small
21 vessels. As we're imaging with a very small, very
22 fine spatial resolution, we need high signal-to-
23 noise ratio and in order to do that we have to
24 increase our MA or tube current. Especially when
25 dealing with large patients, as you can see here,

00043

1 there is a significant amount of modeling and a
2 lot of noise, which can hamper evaluation.
3 And finally, while there are benefits
4 and risks for every procedure, the risk for
5 radiation has been brought up repeatedly in the
6 literature with coronary CTA, the recognition that
7 while there are many ways to measure radiation
8 doses, almost all studies have concluded and shown
9 data that overall, coronary CTA has noticeably
10 more radiation exposure to patients than
11 traditional angiography.
12 DR. PATEL: Thank you. So as Dr. Mark
13 stated, we were going to evaluate the evidence
14 first and then the technologies, and our
15 preliminary evaluation of the literature on
16 noninvasive direct imaging of the coronary
17 arteries was also conducted with two goals,
18 looking at the operating characteristics and the
19 clinical impact. We looked to identify
20 technologies on the horizon also, and in general
21 discussed issues to establish some of the studies
22 and evaluate them.
23 So let me tell you some of the methods.
24 We identified key articles using indexing terms to
25 generate our search strategy and focus on CT and

00044

1 MRI/MRA as already mentioned. We then also
2 reviewed the reviews for additional studies to
3 identify emerging technologies in kind of a
4 horizon scan. Of this, we found 114 primary
5 articles and 123 reviews.
6 So, the inclusion and exclusion
7 criteria for the methodology we reviewed, first of
8 all we looked at, since it's such a rapidly
9 evolving field, for published literature.
10 Certainly within the field of radiology and
11 cardiology, there are many abstracts coming out.
12 So for this we looked throughout the published
13 literature and then also looked at a direct
14 comparison between the direct noninvasive method
15 including CT angiography or MRA angiography, with
16 x-ray angiography. We did an examination for
17 native coronary arteries so that there would be a
18 direct comparison. We excluded congenital
19 coronary anomalies and we also excluded studies
20 that focused only on prior coronary stents or
21 bypass grafts, since the first question was the
22 detection of obstructive coronary disease and this
23 patient population represented patients that
24 already had known obstructive coronary disease.
25 We excluded studies of technology that was less

00045

1 than 16-slice/detector for CT. In a rapidly
2 evolving field, we felt we had to draw the line
3 somewhere and this seemed appropriate.
4 So the factors influencing the quality
5 and relevance to the questions, these are some of
6 the things that we looked through. Numerous
7 studies, were they prospective assessments of
8 consecutive patients. In diagnostic imaging, as
9 Dr. Mark has already discussed, the patient sample
10 is obviously very important. We also looked to
11 see if there was a standardized image technique
12 applied, if there was blinded interpretation
13 across the studies, and we wanted to see
14 information on the interpretation of the images.
15 Of course as already mentioned, we
16 looked to make sure there was a comparison with
17 the gold standard, x-ray angiography in this case,
18 and we wanted to see if the assessment was on the
19 patient level, the vessel level, or segmental
20 level. All three were reported with different
21 variables in these studies. Finally, we wanted to
22 see if the patients were representative of the
23 Medicare beneficiaries.
24 Just a brief review of some key
25 concepts that have already been covered.

00046

1 Sensitivity is the proportion of patients with
2 disease in positive tests, the true positives.
3 Specificity is those without disease and negative
4 testing or the true negatives. And prevalence,
5 which I'll come back to in our studies, is an
6 important concept, the proportion of patients that
7 were tested that actually had the disease.
8 Sensitivity and specificity may
9 actually vary according to the population tested
10 depending on that prevalence. Often in imaging
11 studies, there are proof of concept studies that
12 use clinically obvious cases for initial
13 evaluation of diagnostic performance. This may
14 overestimate the performance when applied to
15 clinically relevant populations. In general,
16 increasing test sensitivity typically decreases
17 specificity and vice versa, although there may be
18 arguments against that.
19 The post-test probability of disease is
20 a direct function of the pre-test probability and
21 the test operating characteristics. We applied
22 Bayes' method of statistical models to come to
23 that. In general, the post-test probability which
24 may be estimated from a data set that's used to
25 estimate sensitivity and specificity shouldn't be

00047

1 felt to be truly representative because the data
2 set would have to be exactly the same if you
3 retested the population with the same prevalence,
4 the same operating characteristics.
5 So with those comments, let me tell you
6 what we found with regards to the literature. The
7 literature search identified 29 CTA studies and 13
8 MRA studies. There are six studies which I'm sure
9 you'll hear about some more today, including our
10 assessment, that used 64-array CTA. All of the MR
11 studies used 1.5 test on magnets. The CTA studies
12 excluded a variable portion of patients due to
13 poor image quality scans and so forth; it would be
14 patients or segments that were excluded due to
15 diagnostic uncertainty due to some of the
16 artifacts that you have seen before. The majority
17 of the MRA studies were unable to visualize the
18 full extent of the major coronary arteries, the
19 distal third in many cases.
20 So for the noninvasive coronary imaging
21 with 16-slice, this is an example of the four
22 studies that have greater than a hundred patients
23 that are prospective. This is the largest in the
24 literature that we could find. It's consecutive
25 patients, 149 patients using 16-slice. 23 percent

00048

1 of the coronary segments were of poor quality and
2 24 percent of the patients had artifacts from
3 motion or calcium. By coronary segment, the
4 sensitivity is listed here as 30, and specificity
5 of 91, and by patient the sensitivity was 86
6 percent with specificity of 49.
7 An example of 64, which certainly had
8 better results, is this study by Raff, et al.,
9 which took 70 consecutive patients referred for
10 invasive angiography. 41 of these patients had
11 coronary artery disease. This tends to be the
12 case with most of these studies because invasive
13 angiography is the gold standard, and the
14 population tends to be a population that's being
15 referred for angiography, and CT angiography is
16 done in concert with that. They had limited
17 exclusions, analyzed all vessels, and found that
18 88 percent of the segments were analyzable. By
19 segment, the sensitivity was, as you can see,
20 improved to 86 percent with specificity of 95
21 percent. By patient, the sensitivity was 95
22 percent and specificity 90 percent.
23 So the results, this is the results for
24 MRA, we thought this was fairly representative,
25 potentially one of the higher quality articles.

00049

1 This was 109 patients from seven institutions
2 enrolled from 6/99 to 10/00, and this study using
3 MR angiography, so a multicenter study looking at
4 noninvasive angiography. They were referred for
5 coronary angiography, again, for suspected CAD.
6 84 percent of the proximal and middle segments
7 were interpretable by MRA, 68 percent for example
8 for the mid circumflex and 93 percent for proximal
9 RCA. The distal segments were not evaluable,
10 almost in the majority of these patients. The
11 sensitivity was 93 percent and specificity was 42
12 percent in this study.
13 So having reviewed some of the general
14 literature for Question 1, the ability to detect
15 obstructive coronary artery disease with CTA, we
16 found as I stated, 29 total studies, four studies
17 with CTA that used 16 slices with greater than a
18 hundred patients. Six studies with 64-array MDCT,
19 all with less than a hundred patients, a total of
20 397 patients studied in published literature to
21 date. Reportedly, of these 397, one of the
22 studies didn't tell you how many patients actually
23 had coronary artery disease, but the prevalence
24 looks to be somewhere around 50 percent, if not
25 higher, around 54 percent. The total reported

00050

1 sensitivity and specificity of these studies with
2 knowledge that the 64-slice seemed to be
3 performing better, were 85 to 100 percent, and
4 specificity was 49 to 98 percent, and this is
5 based on a patient level. On a segmental level,
6 you can see the numbers you have up there varying
7 from 30 to 99 percent.
8 With regard to the same question for
9 MRA, there is a meta analysis in the literature, as
10 there is for CT angiography, that identified 28
11 studies of 980 patients. In this group of
12 studies, only four studies had more than 50
13 patients, and we could find only one that enrolled
14 consecutive patients. We reviewed 13 studies for
15 the present report. The largest was the Beth
16 Israel study. In the meta analysis, when
17 non-evaluable segments were included, the pooled
18 sensitivity was 58 percent and sensitivity was 70
19 percent.
20 How about the anatomic location of the
21 obstructive lesions? Well, the evidence suggests
22 better performance for both modalities for more
23 proximal portions of the coronary tree than distal
24 portions. There have been literature statements
25 about vessels less than 1.5 millimeters versus

00051

1 greater than 1.5 millimeters, and 64-slice has
2 improved the (inaudible) that's covered in the
3 16-slice. The studies reviewed seems to be biased
4 by exclusion of coronary segments of inadequate
5 quality so that they were not included in the
6 sensitivity and specificity analysis. There were
7 fewer inevaluable segments in the 64-slice
8 studies. We felt it was not possible to reliably
9 estimate test performance by anatomic location
10 based on that literature.
11 Question 3, morphology of obstructing
12 lesion, what is the morphology of the obstructive
13 lesion? We found five studies with noninvasive
14 technology versus intravascular intracoronary
15 ultrasound. All had 50 or 60 or fewer patients
16 and usually examined one artery, and usually in
17 segments without obstructing lesions.
18 DR. MARK: So, the first three
19 questions address rather technical issues about
20 the performance of these technologies. The second
21 three questions evaluated the translation of those
22 technical characteristics into clinical
23 applications. I'm not going to repeat the
24 questions.
25 But Questions 4 and 5 when pooled, they

00052

1 basically deal with the question of using these
2 noninvasive direct imaging techniques to look at
3 coronary arteries instead of or in addition to the
4 gold standard of x-ray angiography. The bottom
5 line here is there is no direct data for native
6 coronary arteries allowing us to make any
7 statement about this.
8 And then Question 6 applies to the
9 issue of generalizability of the findings to
10 Medicare beneficiaries specifically. Of the
11 studies we reviewed, only one had subjects with a
12 mean age greater than 65. We found no studies
13 that provided appropriate subgroup analysis by
14 age, and the problem of extrapolating data from
15 younger patients to older patients is made
16 difficult by the fact that calcium deposits are
17 substantially more likely to appear in older
18 patients, and based on the data that we were
19 presented if we were to decrease test specificity,
20 so we just, again, don't have sufficient data.
21 On the issue of the horizon scan
22 looking forward to see what may be coming down the
23 pike in the next five to ten years in this area,
24 our assessments from looking at the literature
25 that we reviewed was that CTA and MRA are the

00053

1 current likely near to medium term technologies
2 that will be used for this application. And
3 further down the horizon, our conclusion was that
4 the main thing that's going to happen is further
5 technological improvements in these two
6 technologies and work to evaluate combinations of
7 these technologies with these so-called functional
8 exercise or stress studies.
9 So to summarize our findings, first of
10 all, we found that both CTA and MRA provide
11 anatomic information about the coronary
12 circulation but are currently less accurate than
13 x-ray angiography. Second, the test performance
14 cannot be assessed definitively at this time due
15 to substantial limitations in the current
16 published studies and the rapid evolution of these
17 technologies is continuing.
18 Both CTA and MRA do eliminate the
19 specific risks associated with having an
20 intraarterial catheter placed. MRA, in addition,
21 does not involve any radiation exposure or
22 exposure to iodinated contrast. On the other
23 hand, compared to x-ray angiography, CTA does
24 involve the same basic contrast risks and somewhat
25 higher radiation exposure, as was discussed by

00054

1 Dr. Hurwitz before. The rates and types of
2 unintended consequences of using these
3 technologies such as incidental findings that have
4 to be worked up with additional tests, perhaps in
5 the lung or other parts of the thorax needs to be
6 defined. The literature from EBT suggests that
7 this is a substantial issue, it may not always be
8 in the patient's interest, and certainly deserves
9 further study. There was no information available
10 for these technologies on this point that we were
11 able to identify. And there are yet no empirical
12 data related to the availability, convenience,
13 resource implications and other health services
14 considerations regarding these technologies.
15 Finally, there is no evidence that CTA
16 or MRA can currently replace x-ray angiography
17 prior to performance of PCI or bypass surgery.
18 There is no evidence that these noninvasive
19 technologies provide a useful adjunct to x-ray
20 angiography for native coronary artery evaluation.
21 And the test performance in the Medicare-aged
22 population remains to be defined. Thank you.
23 DR. GARBBER: Thank you, Dr. Mark, and
24 to the rest of the presenters from Duke. At this
25 point, I would like to remind the panelists that

00055

1 we can ask questions of the presenters at a period
2 that's currently scheduled for after lunch, but I
3 would welcome questions now that are purely of a
4 factual nature related to any lack of clarity or
5 any clarification you might need with the
6 presentation you just heard. So that type of
7 question now, we'll get into further questioning
8 later. Yes, Richard.

9 DR. WAHL: Just one comment to the
10 previous presentation. You commented that none of
11 the mean ages were over 65, but could you comment
12 as to what the fraction of the patients in those
13 studies were over 65, because I suspect there
14 would be a moderate fraction that would be
15 relevant, and the clinical mean would be less
16 relevant than the total who are 65 and over.

17 DR. MARK: Again, I think we attempted
18 to try to parse out some of that, but the problem
19 that we had is that the studies are not generally
20 reported in sufficient detail to allow one to
21 confidently make that assessment. You might get
22 within a standard deviation or something, but
23 trying to figure out what that translates into in
24 proportion to Medicare-aged patients just seemed a
25 little too speculative for us.

00056

1 DR. GARBER: Cliff.

2 DR. GOODMAN: Thanks. In the
3 literature review, you went back only to 2005 and
4 2006, except went to review articles as far as
5 back as 2002. I just want to confirm that you're
6 confident that that covers the literature
7 sufficiently for these types of technologies, yes?

8 DR. MARK: I think we actually looked
9 at articles that were potentially further back.
10 In the tables, there may be one or two articles
11 that we felt confident that the 64-slice was
12 undergoing rapid change, and our literature review
13 covers all the 64-slice published articles.

14 DR. GOODMAN: So you felt you went back
15 far enough to capture things relevant to our
16 questions today?

17 DR. MARK: Yes.

18 DR. GOODMAN: Okay. I just wanted to
19 confirm as well, you identified absolutely no
20 studies that evaluated the clinical impact of
21 diagnostic strategies for these technologies,
22 there is not a single study you found that told
23 you anything about how information from these
24 studies informed the treatment decision or further
25 downstream, health outcomes; is that correct?

00057

1 DR. MARK: Yes, for native coronary
2 arteries, that's what we focused on.

3 DR. GARBER: Could I ask a quick
4 question about that last part? Does that mean
5 also that there was no follow-up on people, say,
6 who were false negative on CTA or MRA in the
7 following sense? The studies as you analyzed them
8 used angiography as the gold standard and the
9 question is, there is a claim sometimes made about
10 some of these noninvasive tests that angiography
11 may not be perfect. And so, was there any
12 follow-up that enabled you to say for example,
13 somebody who was negative on angio but was
14 positive on MRA or CTA ended up having an event
15 later?

16 DR. MARK: We haven't found anything in
17 the published literature. There are studies I
18 think that are percolating through various
19 meetings, abstracts, I've seen some unpublished
20 data prognostic, but nothing that has actually hit
21 the peer reviewed literature currently.

22 DR. GARBER: All right.

23 DR. BATEMAN: Just to follow up on
24 Alan's question, the coronary angiogram is
25 virtually assessed for 50 percent severity, is

00058

1 notoriously variable, and I just wondered in these
2 proof of principal studies, whether any of them
3 used quantitative coronary angiography and if the
4 threshold was varied, 75, 90, so forth, did you
5 find any difference in the comparisons?
6 DR. PATEL: That's a good question. I
7 should have mentioned that in order to meet the
8 gold standard was an invasive coronary angiography
9 with a greater than 50 percent stenosis. Some of
10 the studies varied, a fair number of studies used
11 quantitative data for that determination. Some of
12 the studies also report the sensitivity and
13 specificity for greater than 70 percent stenosis,
14 and then also anatomic location, proximal versus
15 distal. For the purpose of doing one single
16 analysis to compare the studies, we presented data
17 on greater than 50 percent stenosis.
18 As regards the variability in
19 interpretation on the CT angiography side, many of
20 the studies report one or two readers or
21 observers. We didn't find it in the latest
22 literature, and a lot of data on variability for
23 multiple years at different centers.
24 DR. GARBER: Elliott, did you have a
25 question?

00059

1 DR. FISHMAN: Just, I think one issue
2 in viewing the current literature in something as
3 quickly changing as CT is that in doing the
4 literature search, you have some articles
5 published in the last two months that aren't
6 listed, so that's one thing. So, you know, I
7 always like to say particularly with this
8 coronary, this is not so much state of the art as
9 much as state of the moment. I would look through
10 them, but I think a number of speakers will show
11 that later.
12 The other comment in regard to
13 incidental findings, there have been articles
14 published on that. I know we've published, so the
15 rate of three to five percent, and following those
16 patients up, if you use very strict guidelines,
17 the American Thoracic Society, following up lung
18 articles, you do pick up a percent of patients
19 with incidental lung cancers. So in some ways as
20 long as you follow strict criteria, you do get
21 additional information that can be very valuable
22 to the patient's health from a CT scan, beyond the
23 findings.
24 DR. GARBER: David.
25 DR. LU: Most of the studies give you

00060

1 excellent sensitivity and specificity for by
2 patient analysis rather than by segment. In order
3 to compare CT angio versus coronary angiography,
4 should the panel base their decision more on the
5 patient or by segment analysis?
6 DR. MARK: I think both are going to be
7 relevant to different parts of the questions that
8 are being examined. If your question is does the
9 patient have atherosclerotic disease or do they
10 have any significant disease, finding one may be
11 sufficient to trigger some further decisions, if
12 that's the decision allegory that you're working
13 with. You just need to find that there is
14 evidence, and then you're going to do whatever you
15 plan after that. If you're mapping out a specific
16 therapy strategy, say eventually you're going to
17 be able to move directly to interventional
18 procedures from this noninvasive technology, you
19 would want to know the detailed very specific and
20 very accurate information about what you've got,
21 so you make the right changes of both therapy and
22 interventions.
23 DR. GARBER: I think we may want to
24 save this issue of how to use the information for
25 our later discussion, but we will certainly want

00061

1 to return to that. Rita.
2 DR. REDBERG: I just wanted to follow
3 up on the comment on incidental findings, because
4 there may be the rare lung cancer, but I think
5 more commonly there are other findings of unknown
6 significance that certainly end up with a lot more
7 procedures, a lot more recommendations for further
8 tests, and a lot of anxiety, patient anxiety
9 knowing about those things they don't know what to
10 do with, and we have to get a handle on it.
11 DR. GARBBER: So, we will return to
12 that, and this will be the last question. Tim.
13 DR. BATEMAN: I wondered, a fair
14 percentage of stress imaging is done after
15 catheterization to resolve uncertainties in the
16 cath lab. I wonder if you saw very much in the
17 literature that would pertain to that issue, using
18 CTA to clarify the significance of stenosis in
19 different areas.
20 DR. MARK: We didn't see too much with
21 regards to that. We saw more specific studies
22 with equivocal results that then went to CT angio,
23 where a CT angiograph was potentially planned. We
24 had a few studies that seemed to revolve around
25 that question but it is of unclear significance.

00062

1 DR. GARBER: Okay. Thank you. Next,
2 Elliott Fishman will be presenting. What I'm
3 going to propose we do is have our break right
4 after Elliott's presentation, and it will only be
5 a ten-minute-exactly break, that means we start at
6 ten minutes, not ten meaning 15 or 20, okay?
7 DR. GOODMAN: Alan, could I ask, one of
8 our guest panelists making a presentation, is that
9 a little unusual or is that okay?
10 DR. PHURROUGH: No, we've used that in
11 the past. Most of our guest panelists commonly
12 have, we have them as guest panelists because they
13 have certain knowledge levels that we are
14 interested in, and it's not uncommon that we have
15 them present too.
16 DR. GOODMAN: And they're non-voting
17 members of the panel?
18 DR. PHURROUGH: Correct.
19 DR. GOODMAN: Thank you.
20 DR. FISHMAN: You had me scared for a
21 second, that I'd have to drive back to Owings
22 Mills without getting my per diem.
23 It's a pleasure to be here. I will
24 apologize in advance that I am about to use a PC
25 which I never use, and I have this really good

00063

1 working thing on the MacIntosh that allows me to
2 move all my slides around interactively so I can
3 respond to prior speakers, but everything is in
4 there so hopefully I won't have to skip too much.
5 There is no doubt the hardest thing in
6 looking at a topic such as this is the fact that
7 things are rapidly changing. You can see how,
8 even the interest of the public in Time magazine,
9 and one could ask why the panel is meeting now and
10 why, since cardiac CT has been around for a long
11 time, why everything is of interest now. And
12 that's surely because of changes in technology and
13 really, 64-slice CT I think is really what's
14 drawing the interest, both in the lay public but
15 also within radiology and cardiology, and medicine
16 in general. When you look at doing CT scanning,
17 the challenge really is in the heart. You need a
18 system that provides high spatial resolution, high
19 temporal resolution, and provides true volume data
20 sets.
21 When you look at this chart, this is
22 really where CT has gone, where CT is, but it's
23 not just telling you where CT is going. Right
24 now, 64-slice scanners basically rotate three
25 times a second, so you get 200 images per second,

00064

1 a slice thickness in the range of about 25
2 millimeters. We can scan or reconstruct as close
3 as we want to within a range of about 25
4 millimeters, and we get literally hundreds of
5 thousands of slides per patient. The number of
6 slices of course is not related to radiation dose,
7 it's how we process the data. The average number
8 of slices we get in cardiac CTA is about 3,500
9 slices.
10 Because we can deliver contrast rapidly
11 and because now we can scan very quickly, it is
12 very easy for us to be at a specific point in
13 time, which is the optimal visualization of
14 vessels. Because of this high temporal resolution
15 and high spatial resolution, the data sets we get
16 now are isotropic, which means that the data in
17 the X, Y and Z axes has the same spatial
18 resolution so when we go to process data, even
19 though we acquire images in the axial plane,
20 regardless of how we look at the position, the
21 resolution is the same.
22 Now the other important aspect of doing
23 CT with cardiac is not just the scanner, but truly
24 on the work stations, so a lot of what I will show
25 you to try to bring everybody to the same point is

00065

1 thinking about getting the scan, and then you have
2 to process the data and display the data, and that
3 becomes very critical. Now when looking at the
4 literature, and with all respect to the Duke
5 group, it's a very difficult thing to do.
6 If I looked at this article which was
7 published in December '04, you might say well,
8 cardiac CT is so-so, it has lots of problems. But
9 if you read this article carefully, you were on a
10 four-slice scanner and in fact that's pretty
11 impressive. And if you look at this incredible
12 article from Johns Hopkins we wrote, which was
13 published in May 2005, you can see I resisted the
14 editor making the change to how we do it in 2005,
15 because by the time this article was published,
16 which was analysis of how we do it and how we use
17 it, this was on 16-slice CT, and when the article
18 came out, we hadn't used 16-slice CT for eight
19 months. So even the literature trying to keep up
20 to date is very difficult.
21 There are a range of applications of
22 course with cardiac CT and we are speaking only
23 about the coronaries, and the important thing from
24 a patient perspective, the study is a relatively
25 easy study, the actual exam itself takes less than

00066

1 five minutes, most of the work is on the prep of
2 the patient and then the post-processing side.
3 CT has been around a long time and we
4 know about calcium scoring, it was mentioned, the
5 visibility of the presence of calcium structure
6 over 130 calcial units, and that provided lots of
7 information, but again, could give you no
8 information as to vessel patency. Calcium scoring
9 could be done in four-slice scanners, could be
10 done in 16-slice scanners, but really for doing
11 coronary CTA, 16-slice was at best, I would say,
12 you could get some reasonable results, but it was
13 really proof of concept that it really worked.
14 Now one of the things that shows that
15 cardiac CT is becoming more mainstream is the fact
16 that protocols are becoming fairly standardized.
17 We looked across a range of centers and they are
18 becoming pretty much well defined. From a patient
19 perspective, the patient requires about ten
20 seconds of cooperation to do the study. It's a
21 single breath hold, single injection of contrast
22 material. We do premedicate the patient. At this
23 point everyone agrees with a heart rate of between
24 60 and 65, so we end up beta-blocking about 85
25 percent of all our cases, patients arrive about an

00067

1 hour before the study and typically that works out
2 very nicely.
3 If you look to the future, of course,
4 there are new scanners that are being introduced
5 now, these dual source scanners which instead of
6 having a scan time of 160 milliseconds temporal
7 resolution, are now down to 83 milliseconds, and
8 so beta-blocking will be something that probably
9 will be eliminated in the future.
10 Now in terms of timing, as mentioned
11 before, we do define a specific time for each
12 case. You can't just preset timing, we use a test
13 bolus, we use IV contrast, but in CT, the average
14 volume of contrast study depending on the site is
15 between 80 and 100 cc's. Particularly in the
16 Medicare-aged patient, the lower volume the
17 better, because there always is the potential of
18 contrast toxicity, so we tend to be very careful
19 on that.
20 Protocols are defined here. We try to
21 minimize the dose, radiation dose is something
22 we're very much aware of. There are many
23 different scans now that reduce the dose 40
24 percent. The newest scanners will reduce dose by
25 50 percent routinely and the average dose will be

00068

1 in the range of five to six millicuries, which
2 should be very satisfactory for study. It's
3 typically a study done from about the level of
4 tracheal bifurcation to the base of the heart,
5 roughly typically about 13 centimeters.
6 One of the key things in terms of the
7 accuracy of cardiac CT is how you do the study. I
8 will agree that one of the things that has been
9 weak in the literature is really an analysis of
10 multiple readers, as well as analysis of the
11 varying techniques you can use. If you're looking
12 at a structure that's four millimeters or less and
13 you're trying to define it, and you look at these
14 schematic diagrams, it becomes clear that what you
15 need to do is really look at the vessels in as
16 many planes as possible. So just to show you a
17 typical cardiac CT scan, if you look at the axial
18 images, that's how we acquire the data, and here's
19 the LAD for example, and then you take that
20 information and go beyond that into other planes.
21 What we're trying to do, of course, is follow each
22 of the vessels.
23 Now using classic axial planes or
24 coronal planes or sagittal planes, that would be
25 very difficult, so then we switch things into

00069

1 different types of reconstruction. So we're able
2 to use things like volume rendering, which gives
3 you a global perspective of the vessel relative to
4 the chambers of the heart. We can use volume or
5 we can use MIP, maximum intensity projection, and
6 each of these techniques has certain advantages
7 and disadvantages.
8 And you can see one of the key
9 advantages, of course on the CT, although I'm
10 showing you static images, when we do the work
11 back home, everything is on a work station, so we
12 have an infinite number of views, unlike cardiac
13 cath which has a set view, we have infinite views.
14 So if I want to look at this patient and look at
15 the right coronary artery, yes, you see it in
16 cross-section, and yes, you can follow it a bit
17 here and you can follow it there. What we would
18 simply do is look at things in different planes
19 and cross-sections, and then use the 3-D imaging
20 to lay out the vessel.
21 Again, the use of MIP in this example,
22 volume rendering allows us to look at the vessel
23 in its entirety and look at it from a range of
24 perspectives, and so when we're trying to
25 determine the presence or absence of stenosis,

00070

1 presence or absence of disease, we don't rely on
2 any one point of perspective or any one rendering
3 technique. And you can see the visualization of
4 the structures. You can see for example at 64,
5 you routinely get the branch vessel and you have a
6 good visualization. You also of course have the
7 advantages of CT of being able to see the
8 individual chambers, myocardial enhancement, also
9 the ability to look at the aortic valves. You can
10 see from this one schematic looking at the right
11 coronary, how the visualization of this patient
12 with some mild plaque and no stenosis will change
13 based on visualization.
14 Now one of the things that's often very
15 important in terms of being about being able to
16 use this in a practical basis is the speed to
17 diagnosis, particularly to use this in an
18 emergency setting. So one of the things that
19 we're seeing now is new software for developing
20 that, so I'll just show you a series of images.
21 Here is the axial plane again, looking at the left
22 anterior and the left main coronary artery. And
23 then you can see it here, the right coronary in
24 two perspectives. And now we switch to look at
25 the volume display, again, now looking at it in a

00071

1 more classic angiographic perspective. Then
2 switching over to a MIP display, which shows the
3 vessel in its entirety. We're able to look again
4 with MIP and volume display, again, simply
5 changing the axis of rotation to be able to
6 visualize the space to evaluate for lack of any
7 disease.
8 We're also able now with current planar
9 reconstruction, these little red lines here, to
10 simply choose the beginning and end of the vessel.
11 The computer is automatically drawing the vessel
12 and we are then able to rotate around the center
13 axis, so you're able to really look at the vessel
14 from literally any perspective. And when we use
15 coronary angiography as the gold standard, one
16 thing to remember is that an advantage of CTA is
17 that you can look at things from any plane or
18 perspective, which can be of tremendous advantage.
19 There is also the issue of
20 calcification, particularly in this aged
21 population. One of the things, of course, that
22 was initially said was that when calcification
23 appears nicely on this patient's LAD, is that
24 calcification maybe should be a contraindication
25 for doing this study. But if you start looking,

00072

1 particularly at 64, the calcifications really
2 aren't quite as problematic, because you're able
3 to lay out each of the vessels. You can see in
4 this case the calcification at that point would
5 not be narrowing the lumen. We can use color
6 mapping, we can use different perspectives going
7 through the vessel to really define whether or not
8 that calcification is indeed causing narrowing or
9 is simply just on the vessel.
10 You can see here, this narrows the
11 lumen but not quite at 50 percent and again, being
12 able to analyze that with a closer range of planes
13 and perspectives. You can see the ability with
14 64-slice to see soft plaque. At 16-slice, it is
15 very hard to see soft plaque routinely; at
16 64-slice, you can see these studies literally done
17 last week, and you can see soft plaque very
18 nicely. And again, in areas of calcified plaque,
19 the ability to separate the calcified plaque and
20 outline the soft plaque becomes very much
21 possible, again depending upon the rendering
22 techniques. And again, taking those same vessels,
23 right coronary, minimal calcified plaque, and then
24 laying out the LAD, and I can't point, but you can
25 see the soft plaque just proximal to the calcified

00073

1 plaque, causing narrowing in a ratio of 30 to 40
2 percent.
3 So one of the key things in terms of
4 looking at this technology is really looking at
5 its capabilities and functionality. And you can
6 see just one more example in that patient, and
7 this patient actually is 64 years of age, so not
8 meeting the 65 age criteria. So that patient was
9 64. And you can see very nicely, this patient was
10 under medication, underwent cholesterol stress
11 tests and the like.
12 You also can see in this example very
13 much extensive calcification, but again, you can
14 see in this case a critical stenosis of the RCA,
15 so that becomes very very important, to show that
16 even with the presence of a calcium score of
17 roughly 900, we were able to make a very specific
18 diagnosis. This patient was cathed, had a stent
19 placed and did fine, but again, we can do
20 different renderings to show you that
21 visualization there and there.
22 One last example, this is a patient.
23 Literally, this patient is 63, I apologize for him
24 not being older. Vague chest pain, normal stress
25 test, extensive calcification, coronary aggregate

00074

1 score of 1300. Look at the LAD. Here's stenosis
2 right here, over 70 percent stenosis. This
3 patient as an outpatient had a stent placed about
4 six hours later, and you can see just with the
5 range of perspectives confirming specifically
6 where we're able to visualize.
7 I think that I will try to move forward
8 in my slides to make the point that if you think
9 about where we stand with cardiac CT and making
10 decisions of what is the right thing to do, I
11 think a key thing to really look at is the
12 technology. I would say looking at the question
13 before, looking at the literature before 2002, I
14 think it's hard to look at the literature before
15 2006. I think things are rapidly evolving, I
16 think the technology is really changing how we can
17 do things, whether it's the technology on the
18 acquisition side or whether it's the technology on
19 the processing side. So in deciding whether or
20 not cardiac CT as a study is acceptable at the
21 present time, I think those are the questions that
22 need to be answered, how we do the study, on what
23 systems we do the study, and how we require people
24 to analyze the study, because when all is said and
25 done, the results that will be published and are

00075

1 being published really are dependent on those
2 questions. So I will stop there and thank you for
3 your attention.

4 DR. GARBER: Thank you, Elliott.

5 Again, any quick factual questions about the
6 presentation? Yes, David.

7 DR. COHEN: A simple question. For the
8 current scans with the 80 to 100 cc's of contrast
9 that you mentioned, do those always provide
10 information on ventricular function, wall motion
11 as well, in the same study with that contrast
12 load?

13 DR. FISHMAN: Yeah. Basically what we
14 do is, since all these scans are dated, what we're
15 doing with every patient is we reconstruct the
16 images at ten percent of the R values, you have
17 ten sequences, and then we use the computer to
18 simulate motion. So we routinely look at every
19 patient's wall motion and routinely look at valve
20 motion. And there is an article that will be
21 published next month from us stating that 95
22 percent of the time you get a good valve
23 visualization. So you do get a lot of additional
24 information, and one of the things that is a very
25 important point is there is additional information

00076

1 far beyond the coronaries that you get on the CT.
2 DR. GARBER: Tim, and then Rita.
3 DR. BATEMAN: I was really intrigued by
4 one of the slides you put up on the importance of
5 post-processing. And recognizing the differences
6 in acquisition and timings and different
7 challenges and so forth, I wondered what your
8 thoughts were about these differences that we've
9 seen and the accuracy between 16 and 64-slice, and
10 if this latest breed of post-processing software
11 was used on 16-slice data, would the 16-slice data
12 look substantively better than was published.
13 DR. FISHMAN: There is no doubt that as
14 software gets better, it makes things easier. We
15 did CTA at 16 and 64, and the best way I can say
16 it about 16 is if you have the perfect patient,
17 and the sun and moon and stars line up, you can
18 get a good study. That's not going to happen in
19 70 percent of the cases. In our practice doing
20 cardiac CT, we get an excellent study in 95
21 percent of the cases. And it's not that
22 everything needs to be perfect, so I think that is
23 the big difference. There is no question that the
24 software now is better, but it's just the data,
25 the best work station is still limited by initial

00077

1 data. What are the limitations? I read cardiac
2 CTs besides Hopkins, we perform CTs at other sites
3 in the Hopkins network, and one of the things you
4 do learn is that your ability and your accuracy is
5 dependent on your acquisition. If your
6 acquisition is not perfect acquisition, your
7 accuracy will suffer.

8 DR. GARBER: Rita.

9 DR. REDBERG: On the picture you showed
10 with LAD stenosis and then the patient got a stent
11 six hours later, did the patient get the stent
12 directly after the CT or did they have an
13 angiography after the CT scan?

14 DR. FISHMAN: No. The patient, one of
15 the things that we have found, and it's in my
16 latest slides, is that it is having a major
17 impact. One of the things I've noticed both from
18 a cardiologist perspective and internal medicine
19 perspective is that physicians truly believe the
20 images that they see on the cardiac CT. That
21 patient basically was treated by what I would say
22 was the best cardiologist at Hopkins. I called
23 him on the phone and said here's the bottom line,
24 the patient is sitting with me, and you know, he
25 scheduled the cath right there, put in the stent.

00078

1 DR. REDBERG: Did they have an
2 angiogram?
3 DR. FISHMAN: Not before, no. They had
4 an angio to put the stent in.
5 DR. REDBERG: They shot the dye and put
6 the stent in?
7 DR. FISHMAN: Well, they would always
8 have to do that.
9 DR. REDBERG: Right, to see it, to put
10 a stent there, do you have to do an angiogram?
11 DR. FISHMAN: The thing is with the
12 stent you're doing an angiogram. You're
13 injecting -- well, no one puts a stent anywhere
14 before injecting contrast right before they put
15 the stent in, that's true for an aortic stent,
16 that's true for a renal stent, you know, people
17 inject ten cc's of contrast at the time you do it.
18 But they were not doing it in the sense of a
19 diagnostic angio, that patient went to angio to
20 get the stent placed.
21 DR. LU: I'm concerned now, that's the
22 exact thing I'm worried about. You have the best
23 Hopkins cardiologist following the patient, and I
24 assume he had some symptoms, or he didn't have
25 symptoms and suddenly he's reacting to a study

00079

1 that shows stenosis, what happened to his clinical
2 findings?
3 DR. FISHMAN: The patient's history was
4 that he had some vague chest pains, (inaudible)
5 previous period, so he went to the cardiologist,
6 had a stress test, the stress test was negative,
7 borderline cholesterol. In fact he came to see us
8 for a CT scan of his chest to rule out other
9 noncardiac causes of his chest pain. And he was a
10 friend of mine, he looked pathetic, he looked
11 really bad, so I said why don't we just do the
12 coronary at the same time, and that's how it
13 started, so in a sense, but --
14 DR. LU: So there was some clinical --
15 DR. FISHMAN: There was no clinical
16 suspicion, but what ended up happening was --
17 DR. PETERS: Was it a pharmacologic
18 stress test or an exercise test?
19 DR. FISHMAN: A treadmill.
20 DR. LU: You also mentioned that the
21 technology is improving very quickly, and you
22 know, they now have 128 and even 256. Should our
23 decision be based on the 64-slice, and then will
24 it change as the technology comes in? I read
25 something to the effect that you really don't get

00080

1 that much more by going up to 256.
2 DR. FISHMAN: Yeah. I mean, we are
3 doing some analysis on the 128, but one of the
4 inventors has a 256-slice scanner. One of the
5 issues, of course, is the 256 is incredible
6 radiation, that's one thing, so that's not going
7 to be practical at least in the short term. But I
8 think 64 is kind of a critical point in time where
9 it allows you to do excellent quality studies.
10 I think when you look at this new dual
11 source scanner, the two x-ray tubes, it's still
12 basically a 64-slice scanner. The biggest thing
13 is your beta-blockers, you have better, you know
14 spatial temporal resolution. But I think things
15 will always get better. I have no doubt that if
16 you look five years from now, the scanner will not
17 take eight or ten seconds but will take one second
18 to do a study, so it will be one heartbeat or
19 less. So I think things will progress, but I
20 think we're at a critical point now.
21 DR. KRIST: I have a quick question,
22 it's for Elliott or the Duke center, and it ties
23 into the cases that you explained. In some of the
24 cases you didn't get to, Elliott, that I saw in
25 the handout that you mentioned, patient selection

00081

1 in 2006, you list examples like patient with
2 unexplained chest pain without coronary artery
3 disease, patients with intermediate cardiac risks
4 without incidence of coronary artery disease, and
5 your case example builds on that. The technology
6 assessment, all the data that we had was for
7 people with coronary artery disease, higher risk
8 individuals going in and having a cath already.
9 My question is, I'm just interested in the state
10 of the evidence for these other populations, where
11 is the evidence at for our considerations for
12 later today?

13 DR. FISHMAN: I can answer personally,
14 I guess, but what I meant to put on there, I
15 realized there was more in my handout than I was
16 going to get through today, so I wanted to give
17 people background. What I did when I looked at a
18 lot of the literature and a lot of the
19 presentations at meetings, recognized there were
20 presentations at meetings running about 12 to 18
21 months ahead of the literature, and those were the
22 things that people were suggesting were good
23 recommendations. That's in literature from the
24 American College of Cardiology, American College
25 of Radiology, so those are some of the

00082

1 populations. There is also, I think when you look
2 at the tracking codes now, those are some of the
3 scenarios that track relatively well.

4 DR. KRIST: A lot of the scenarios came
5 up in the assessments too, so I'm partly
6 interested, is there any published evidence on
7 those populations and studies on those
8 populations?

9 DR. PATEL: I think the question you're
10 asking is clinically very relevant to what's going
11 on. The published literature is six studies of
12 64-slice CT angiography of patients who are all
13 going to coronary cath, where the prevalence of
14 obstructive coronary artery disease is about 50 to
15 54 percent of that patient population. At the
16 American College of Cardiology meetings and at the
17 radiology meetings, there has been presented
18 abstracts of randomized trial of patients in the
19 emergency room undergoing 64-slice angiography.
20 There's an effort in cardiology to do a
21 multicenter emergency room study using CT
22 angiography for ruling out patients, but that
23 patient population where the prevalence may be
24 between ten or less percent of obstructive
25 coronary artery disease has not been published

00083

1 that I'm aware of.

2 DR. FISHMAN: But they are in the
3 pipelines.

4 DR. GARBER: Okay, thank you. We're
5 going to take a break now. According to my watch
6 it's about 9:43. We'll resume in ten minutes.
7 (Recess.)

8 DR. GARBER: We're going to get started
9 now, if I could ask everybody to take their seats.
10 The next speaker is John Hodgson, from
11 the Society for Cardiovascular Angiography and
12 Interventions.

13 DR. HODGSON: Thank you very much, and
14 it's a pleasure to be here on behalf of the
15 society. In terms of the disclosures, I just got
16 this form this morning. You should know that in
17 terms of financial interests, I have had some, I
18 have been involved in intravascular ultrasound
19 since 1984. I've had financial conflicts with
20 both EndoSonics and now their current owner,
21 Volcano. I have received financial support in
22 terms of a speakers bureau from General Electric.
23 We get grant support from General Electric for
24 educational projects, and the society has had
25 educational meeting support from everybody, GE,

00084

1 Siemens, Toshiba, Vital Imaging, and Terra-Recon.
2 The society has paid my expenses for coming to the
3 meeting. I have served on another board of sorts,
4 I have been on the American College of Cardiology
5 appropriateness committee, as well as the
6 committee evaluating the competency issues for
7 interpretation of these types of studies. And I
8 was contacted by other parties, my fellow
9 colleagues at the society as well as at the
10 American College of Cardiology, to discuss this
11 meeting previously.
12 With that said, the society that I'm
13 here representing was formed in 1978 by Doctors
14 Judkins and Sones, very familiar names to all of
15 you, and our mission has been to promote
16 excellence in invasive and interventional
17 cardiovascular medicine through physician
18 education, representation in the advancement of
19 quality standards which would enhance patient
20 care.
21 My name is John Hodgson. As I
22 mentioned, I'm the past president of the society
23 from several years ago. I am currently a
24 full-time employee of Catholic Healthcare West as
25 an academic cardiologist, and I have really

00085

1 dedicated my entire professional career to the
2 study of coronary anatomy and physiology, with
3 many works in the range of Doppler and flow
4 studies both in animals and humans, as well as the
5 study of coronary anatomy with both angiography
6 and intravascular ultrasound, and now cardiac CT.
7 We are going to limit our comments to
8 the cardiac CT angiography rather than the other
9 technologies under discussion this morning, and we
10 believe this is because it's a natural extension
11 of the base of traditional coronary angiography
12 that we have been performing and studying for well
13 over 40 years now.
14 There are some fundamentals that have
15 already been gone over by a number of the previous
16 speakers that primarily take somewhat of an issue
17 with the concept that everything we need to know
18 to treat coronary artery disease depends on the
19 finding of, quote, obstructive lesions. And one
20 of the facts that made it difficult to fully
21 analyze these questions was that we were not
22 presented with any type of clinical scenario on
23 which the assumption was that we were dealing with
24 symptomatic patients who presented to a physician
25 for evaluation of some sort of symptom, and

00086

1 clearly I don't believe we were talking about
2 looking at asymptomatic patients, so we assumed
3 that there was sort of symptoms involved here.
4 And also, obstructive lesions was
5 really not defined, and clearly obstruction could
6 be 10 percent or it could be 100 percent. And as
7 you know from the previous speakers and especially
8 the Duke group, many of the studies have used an
9 arbitrary 50 percent by cath definition of
10 obstruction, and I hope in the next few minutes to
11 indicate that we don't believe that that's all you
12 need to know about a coronary artery or about a
13 patient in order to effectively manage them.
14 Obviously the knowledge of their
15 functional status, the state of their symptoms,
16 the nature of their symptoms, and their functional
17 capacity in terms of the stress testing is also
18 critically important in the management of patients
19 who may have symptoms that suggest coronary artery
20 disease. The other point I want to make early on
21 is that diagnostic cardiac catheterization has
22 many other uses for structural heart disease or
23 valvular disease, for the evaluation of
24 hemodynamics and again, to lump all diagnostic
25 cath into a category that would be compared to

00087

1 cardiac CT or MR or any other technology would be
2 inappropriate. So we are limiting our comments
3 and in the written comments that you have really,
4 to try to focus on those diagnostic
5 catheterizations that were performed solely to
6 evaluate the status of coronary obstructions.
7 Finally, the degree of coronary
8 obstruction is only roughly correlated with the
9 presence of flow limitation or ischemia, and this
10 has been shown in many, many studies documenting
11 that there is a large intermediate zone variably
12 between 30 to 40 percent and 70 to 80 percent
13 diameter stenosis narrowing by cardiac angiography
14 that may or may not be functionally important. In
15 other words, patients with stenosis in that range
16 might have a positive stress test and beginning
17 ischemia from that lesion, or they might not. And
18 so the arbitrary selection of a 50 percent cut
19 point is exactly that, it is arbitrary, but
20 obviously for these studies, you need to pick
21 something.
22 A couple of other background issues,
23 and this has already been mentioned as well.
24 There are really two important questions and we
25 need to be clear which of these questions that we

00088

1 are asking when we are presented with a patient.
2 First of all, is the patient symptomatic or
3 asymptomatic, and we're going to assume that the
4 patient has come with some sort of symptoms. And
5 then we are trying to decide two important things,
6 do they have coronary disease or not. This is
7 purely a function, or excuse me, an anatomic
8 issue. In other words, is there atherosclerotic
9 plaque in the wall of their vessel that will make
10 the diagnosis of coronary artery disease? And
11 that fact alone will have important implications
12 for how we're going to treat the patient.
13 Secondly, is there the presence of
14 ischemia due to presumably a flow obstruction, and
15 I will exclude those very few patients who have
16 so-called syndrome X where they may actually be
17 getting ischemia due to microvessel disease which
18 none of these technologies, including cardiac
19 cath, can image. The vast majority of patients
20 who have ischemia have it because of a
21 flow-limiting obstructive lesion in the coronary
22 artery. So if that is the question at hand, then
23 obviously the types of work-up that we need to do
24 are somewhat different.
25 So I think it's important as we

00089

1 evaluate these technologies to keep in mind what
2 our fundamental question is and what is the nature
3 of our patient population. As you heard from Dr.
4 Mark, the pretest likelihood and applying the
5 whole phase theorem situation is critically
6 important in how we utilize and evaluate these
7 tests.

8 So with regard to the first question,
9 can CT accurately identify coronary obstructions,
10 as you've already heard multiple times now, there
11 are six studies with the more recent current
12 generation 64-slice scanners and you have already
13 seen all of this data. I just want to highlight
14 that for really basically a first generation set
15 of studies, these sensitivities and specificities
16 are actually quite good. And I want to especially
17 highlight the negative predictive value of that.
18 It has not been mentioned before but it is in the
19 table of my handout and a number of the other
20 technical assessments.

21 So the negative predictive value, in
22 other words, when you say a test is normal, it
23 really is normal, is extremely important for the
24 type of patients that we're asked to see. A
25 patient comes to us with unusual symptoms, we have

00090

1 a suspicion, probably relatively low, that the
2 patient might or might not have coronary disease,
3 and the ability to tell them with certainty that
4 they do not have coronary artery disease is
5 extremely important. And the finding of any
6 coronary disease obviously puts patients at risk
7 for all the nasty things we saw in the first
8 couple of slides, sudden death, acute myocardial
9 infarction, unstable syndromes, all of this sort
10 of end-stage manifestations of disease. And if we
11 can tell someone you do not have the substrate for
12 that, that of course is a very important thing for
13 them and so I think the negative predictive value
14 of these tests is extremely important to keep in
15 mind.

16 There are obviously some unevaluable
17 segments, and we talked about that, but I would
18 argue that if you are missing a small lesion in a
19 one-millimeter vessel, that would have very little
20 therapeutic implication, none of us would do
21 bypass surgery or stenting on anybody with a
22 lesion of that sort, and the chance that they
23 would only have a single atherosclerotic lesion in
24 a one-millimeter vessel and nothing else on the
25 cardiac CT would be very unlikely. So even

00091

1 subclinical atherosclerosis we would not miss in
2 those patients. So I think, again, that's sort of
3 an arbitrary cut point. We do not stent or bypass
4 vessels of that size, so in terms of guiding our
5 therapeutic decision, that is really in my mind
6 not a patient management limitation as much as
7 just a technical fact at this point.
8 The question is whether CT can in fact
9 define the location, this really gets to
10 Question 2, and as Dr. Fishman nicely showed for
11 you now, we get isotropic vessels which have very
12 nice spatial resolution, and you can see here a
13 comparison from the Hoffman study of the coronary
14 angiogram on your right and the coronary CT on the
15 left, and it very faithfully reproduces the
16 anatomy that is represented on the CT.
17 This question was a little bit
18 confusing. Clearly we can see where the lesions
19 are and it is very anatomically correct, so we are
20 very confident that you can define where these
21 stenoses are. And again, the limitation of very
22 small vessels, as I mentioned previously, would
23 not change our patient management decisions.
24 The important point, I think, is that
25 in all of these studies the negative predictive

00092

1 value is extremely important, so as you're
2 evaluating patients, the ability to tell them you
3 do not have coronary disease will be critically
4 important, and I think is in some ways a more
5 important measure of how this test might be useful
6 than the absolute sensitivity and specificity in
7 these tiny vessels.
8 I just want to highlight this slide as
9 well. Dr. Fishman mentioned this, that the
10 processing and interpretation skills are
11 critically important. The society, along with
12 many others, have focused heavily on competency
13 statements, providing educational opportunities
14 and trying to further the software capabilities so
15 that we can accurately and easily interpret these
16 studies. Here on your right you see a
17 cross-section through that yellow part of the
18 longitudinal image that shows a section of the
19 coronary with some calcium with two different
20 window level settings. On the top one, obviously
21 you see a lot of calcium which might lead you to
22 overestimate its clinical importance. Then the
23 bottom, with just some adjustments in the window
24 level, you can see that actually the lumen is
25 quite well preserved and the calcium is all in the

00093

1 vessel wall. So again, a focus on appropriate
2 interpretation, excellence in clinical
3 interpretation and training for the folks who will
4 be interpreting these things is also very
5 important.
6 The third question has to do with, can
7 it identify relevant coronary morphology, and this
8 one really was a bit confusing to me. Clearly as
9 you can see from this study from Leber's study, or
10 this picture from Leber's study, it very
11 accurately defines this complex proximal
12 circumflex lesion, but really cath and CT are
13 looking at two different things. Whereas the cath
14 can only look at the lumen, the CT as you can see,
15 can also look into the vessel wall. So in
16 addition to seeing a narrowing in the lumen, you
17 can also evaluate what is causing that narrowing,
18 whether it is calcified plaque or non-calcified
19 plaque, where the lumen is traversing through that
20 plaque, is it eccentric or concentric, and this
21 information may be very useful in the future, and
22 we have not been able to get this accommodation.
23 Obviously, we've been able to do this with all
24 this, and as we've talked about already, I believe
25 there are a number of studies correlating this

00094

1 with others and showing a good correlation, but
2 we're finding now that we're getting different
3 information. So certainly we can see morphology,
4 but really the two are not directly comparable
5 because you get additional information on the
6 cardiac CT that is just technically impossible on
7 a cath.
8 Can it be used instead of CT
9 angiography? This really hinges on the point of
10 whether we manage people based on the 50 percent
11 lesion or not. We believe that in many cases CT
12 angiography could be used instead of conventional
13 angiography. This is where this pretest
14 likelihood becomes critical. I've reproduced for
15 you one of the tables from an exercise, a
16 guideline document from the ACC, and in many cases
17 with low probability of CAD, we believe that
18 cardiac CT can be performed instead, or replacing
19 diagnostic CT for a diagnosis. In cases of very
20 high CAD probability, then obviously cardiac CT
21 would not be the optimal choice because we would
22 like to take those patients directly to cardiac
23 catheterization where the therapeutic intervention
24 could be performed. So you want to try to use
25 this on patients with a low likelihood of needing

00095

1 an invasive treatment modality such as angioplasty
2 or bypass surgery so that we can try to send only
3 those patients to the cath lab who need that kind
4 of procedure, and we can in many cases rule out
5 coronary disease very effectively with the cardiac
6 CT and thereby avoid an invasive procedure.
7 So let me just summarize, that we
8 believe that noninvasive CT angiography is really
9 a significant advance, and I would argue a very
10 significant advance in our capacity to diagnose
11 and plan the treatment of patients suspected of
12 coronary disease. I think it should be considered
13 complementary to invasive angiography and if it's
14 applied appropriately, and we are very much in
15 favor of appropriate application, it should allow
16 us to triage patients who are likely to need
17 intervention into the cath lab for further
18 invasive and possibly therapeutic intervention.
19 And finally, we haven't really talked
20 about this, but it can detect subclinical
21 atherosclerosis, the actual anatomic substrate for
22 all of the bad things that happen to patients, and
23 this finding may fundamentally alter the way we
24 treat patients. We've already seen that very
25 effective risk factor modification can alter

00096

1 clinical prognosis for the patients, and we
2 believe that this is why this will be a paradigm
3 shift in technology allowing us to evaluate
4 patients who actually have the disease and not
5 just the end-stage manifestation of the disease
6 with an MI or an ischemia-producing lesion. Thank
7 you.

8 DR. GARBER: Thank you. Just very
9 brief, any clarifying questions? Okay. Thank you
10 very much. Next, Kim Allan Williams, from the
11 ACC.

12 DR. WILLIAMS: On behalf of the
13 American College of Cardiology, I am pleased to be
14 here addressing the Medicare Coverage Advisory
15 Committee today. As you may know, the ACC is a
16 33,000-member non-profit medical society and
17 teaching institution whose mission really is to
18 advocate for quality cardiovascular care through
19 educational programs, research development,
20 application of standards and guidelines, and to
21 influence in a positive way health care policy.
22 The college represents over 90 percent of the
23 cardiologists practicing in the United States
24 today.
25 My name is Kim Williams. I am

00097

1 currently a professor of medicine in radiology in
2 the department of cardiology and nuclear medicine
3 at the University of Chicago, and I direct the
4 nuclear cardiology laboratory. I will be speaking
5 today on behalf of the ACC, but by way of
6 disclosure, I am immediate past president of the
7 American Society of Nuclear Cardiology, and I am
8 an active member of other organizations who will
9 be testifying here today, including the American
10 College of Radiology, the Society for
11 Cardiovascular Computed Tomography, and the
12 Society for Cardiovascular Magnetic Resonance. On
13 a personal basis, I have the disclosure of being
14 an advisor to GE, particularly in regards to
15 nuclear cardiology, but I will not be making any
16 proprietary remarks today. My travel was paid by
17 the ACC.
18 The ACC's testimony today is really to
19 give some perspective and overview about the
20 issues brought up by CMS rather than scoring the
21 individual questions, which will continue to be
22 addressed by our colleagues from other
23 organizations. As an overall comment, the ACC is
24 really committed to insuring that cardiovascular
25 imaging services are used appropriately to enhance

00098

1 the diagnosis and treatment of patients with
2 cardiovascular diseases. We have devoted a
3 significant amount of resources to developing
4 tools to help physicians gain the skills necessary
5 to provide these imaging services and to select
6 tests appropriate for their patients.
7 So earlier this year, ACC, along with
8 the Duke University, held a think tank that
9 generated a commitment from multiple stakeholders
10 in the imaging area to further enhance the quality
11 of imaging through the development of various
12 standards and tools, including appropriate
13 criteria, accreditation, standardization of
14 reports, performance measures and outcome
15 evaluation.
16 The college is really committed to
17 providing assistance to policy makers and health
18 plans as they try to make informed decisions about
19 the array of services to be covered, so working
20 with CMS to extend and improve Medicare coverage
21 is one of the things that is a priority for the
22 ACC. The ACC's efforts are of particular
23 relevance today in terms of developing cardiac
24 imaging guidelines and more recently,
25 appropriateness criteria for cardiac imaging

00099

1 modalities. Together these documents provide a
2 summary of the evidence supporting the use of
3 these services and guidance for physicians about
4 when these services are useful and when they're
5 likely not to be generally useful.
6 Last fall the ACC and the ASNC
7 published the myocardial perfusion SPECT
8 appropriateness criteria. The ACC is partnering
9 with several other cardiovascular organizations to
10 develop CT and MR appropriateness criteria, and
11 those should be published within the next few
12 months. Hopefully we will go on to do
13 echocardiography and coronary angiography within
14 the next year.
15 In approaching the topic before you
16 today, the ACC would sort of like to ask that the
17 MCAC remain mindful of several key principles, one
18 of which has been brought up already today. One
19 is that physicians use a variety of imaging
20 techniques to evaluate patients who present with
21 symptoms of CAD and some of these techniques,
22 particularly echo, radionuclide imaging, and cath,
23 have a long history. Other modalities that are on
24 topic today are here because their history is
25 fairly short, they continue to be defined, refined

00100

1 and developed, and they have enormous potential,
2 but the best uses of these technologies is really
3 not known yet, and we would like to emphasize that
4 at this time you cannot consider them as
5 substitutes for one another. Physicians caring
6 for Medicare patients really should have access to
7 the full array of appropriate diagnostic tools in
8 order to help the individual patient.
9 Now the application of CT and MR for
10 cardiac indications, as I said, are developing and
11 as new clinical evidence come on it becomes
12 outdated, and as Dr. Fishman said, it's really
13 about 2006, because the technology is improving
14 continuously. So the college is in the process of
15 planning for revision of each of the guidelines
16 and each of the appropriateness criteria, and we
17 expect that after publication of the CT/MR
18 appropriateness criteria, that we will be revising
19 it within 12 months. So we encourage CMS to take
20 that sort of attitude as well, that the
21 noninvasive imaging for coronary artery disease
22 will have to be reevaluated over the next several
23 years and probably several times. If the Medicare
24 patients are going to benefit from these advances,
25 we have to remain flexible in terms of payment, in

00101

1 terms of understanding the body of knowledge and
2 the clinical utility.
3 Now before beginning to respond to the
4 specific questions that CMS has set up for the
5 panel, I'd like to make a few comments about those
6 questions. First of all, in terms of CT, MR and
7 EBCT, we really wouldn't want to talk about them
8 necessarily to the exclusion of the other imaging
9 modalities that have been mentioned today. I
10 would just like to emphasize the importance of
11 physiologic imaging, particularly in its
12 complementary role to anatomic imaging and the
13 fact that some studies indicate that the
14 prognostic capabilities of the physiologic
15 parameters are actually superior to anatomic
16 variables, and so that really can help the
17 physician make the right choices and optimize cost
18 expenditures.
19 Secondly, these questions really assume
20 that the primary utilization of these tests is to
21 try to define who's going to have interventions
22 such as bypass surgery and coronary percutaneous
23 stenting, et cetera, but one of the best uses of
24 the test, particularly the physiologic one, is to
25 figure out who's going to benefit from the medical

00102

1 therapy and does not need coronary angiography.
2 Third, we would like to emphasize that
3 it's really important as we accumulate more
4 knowledge about this, to identify the coronary
5 artery disease that's not going to be apparent.
6 As noted earlier by several of the speakers, the
7 majority of myocardial infarctions occur on
8 vulnerable plaques that have stenoses that are
9 significantly less than 50 percent narrowed.
10 Therefore, we anticipate that there would be a
11 much greater role for the tests that can look at
12 degree and function and functional significance of
13 extraluminal and intraluminal plaque formation.
14 So CMS has asked the panel to evaluate
15 whether the evidence is sufficient to determine
16 the diagnostic accuracy of noninvasive imaging
17 technology for detecting obstructive coronary
18 lesions. Each of the cardiovascular imaging
19 modalities has some strength and some weakness in
20 this regard. Based on the evidence thus far, we
21 believe that MDCT can be a valuable diagnostic
22 tool when ordered by a physician and used in
23 selected patients in a careful way. It's rapidly
24 evolving and the evidence continues to grow, and
25 the accuracy really, as you heard today, depends

00103

1 on image quality and the expertise of the
2 physician performing the study, as well as patient
3 selection and patient preparation.
4 The major strength of CTA is going to
5 be that the diagnosis of coronary disease gives us
6 a high negative predictive value and that is
7 particularly true in patients with a low to
8 intermediate likelihood of significant coronary
9 disease. In terms of EBCT without contrast, it's
10 very sensitive for coronary calcium and that has
11 been very helpful prognostically over the years.
12 It's true now that you probably will not see much
13 in terms of development of CT angiography with
14 this low resolution technique. It also is true
15 that the MDCT has taken over this capability and
16 has been shown to match the coronary calcium
17 scoring that we saw with EBCT.
18 Cardiovascular MR has the ability to
19 look at a wide variety of things, cardiac,
20 vascular structures, function, as well as
21 perfusion. We can look at the late enhancement of
22 gadolinium to detect myocardial viability, which
23 is very helpful. It can look at stress perfusion
24 defects. In terms of MRA, however, we have
25 somewhat more limited data than a CT angiography,

00104

1 but it has been shown to be at least equivalent to
2 the 16-slice CT scan.
3 CMS has questioned the capability of
4 these noninvasive technologies for the evaluation
5 of morphology of obstructions and we would say
6 that with CTA, this is something that is becoming
7 more and more robust, although it has been less
8 robust than the evidence for the evaluation for
9 stenosis. But what is clear is that we are able
10 to distinguish calcified from noncalcified plaque,
11 as you saw earlier, and we're hoping to get to the
12 point where you can actually look at lipid-rich
13 versus high risk plaque, and that should be around
14 the corner. The evidence of coronary angiography,
15 as Dr. Hodgson pointed out, is actually limited in
16 this regard, and so we would like to focus on the
17 fact that the ability to look at vulnerable plaque
18 probably will be afforded to us by the newer
19 technologies rather than coronary angiography.
20 In terms of whether noninvasive imaging
21 technologies can be effective instead of
22 catheterization to determine treatment, it's clear
23 that CTAs have a high negative predictive value in
24 patients with a low or intermediate probability of
25 coronary artery disease. It really can help us

00105

1 identify people who do not need to go forth for
2 cardiac catheterization because it's not likely
3 that they're going to need revascularization.
4 People can be treated, therefore, with medical
5 therapy as appropriate, or other modalities.
6 There is less experience with MR in
7 this regard, but for patients who have symptoms
8 and have significantly higher risks for CAD, those
9 patients probably should go to catheterization,
10 and CT angiography will offer little if any
11 additional benefit, while increasing both the
12 risks in terms of iodination, iodinated contrast
13 and radiation exposure.
14 Both CT and MR have some uses after
15 catheterization in occasional circumstances,
16 particularly locating anomalous coronary arteries,
17 which can be very difficult invasively, trying to
18 do selective catheterization of unusual locations,
19 and looking at the patency of coronary artery
20 bypass grafts. And so as we see these cases, we
21 know that this is something that we expect to
22 increase over time. Using CTA for stent
23 occlusion, on the other hand, is something that
24 will need further development, although you have
25 some initial literature suggesting that can be

00106

1 done.
2 CMS is also interested in whether we
3 can appropriately generalize this information to
4 the Medicare population. As noted earlier, the
5 CTA population that's been studied is very similar
6 to the Medicare population, but that really hasn't
7 been tested specifically.
8 So in closing my remarks, I want to
9 sort of leave you with some observations of how
10 this is sort of evolving as a person who actually
11 does all these techniques on a daily basis. A
12 patient recently was referred to my clinic because
13 he had gone to my nuclear lab and was felt to have
14 a very mildly abnormal perfusion scan, the kind
15 that you would normally just treat with
16 medication. However, there was one high risk
17 finding, that is the presence of transient
18 ischemic dilation of the ventricle which typically
19 occurs when you have high risk multi-vessel
20 disease or left main coronary artery stenosis. If
21 you're going to have proximal disease, you can
22 actually do a pretty good job of detecting that
23 with CT angiography, so that was a choice that we
24 were able to make, as opposed to a year or year
25 and a half ago where we would have had to send

00107

1 that patient to coronary angiography to be certain
2 about the anatomy. The CTA confirmed that he had
3 mild calcification, minimal stenoses, and no
4 proximal significant left main disease. So we
5 really felt like we saved that patient the expense
6 and risk of a cardiac catheterization.
7 And as time goes on, you will find that
8 there are more and more scenarios that are just
9 like that. So I would predict with time, we will
10 refine these techniques and understand many more
11 scenarios that will allow us to do noninvasive
12 imaging in preference to invasive testing, and the
13 invasive testing, as many speakers have said
14 today, will go more towards preparing people for
15 immediate coronary interventions.
16 So I thank you for the opportunity to
17 address you today and will be happy to respond to
18 any questions that you have.
19 DR. GARBBER: Thank you. Unless there
20 is some burning question, why don't we defer the
21 questions until we have the questioning period
22 from the panel, because I think a lot of the
23 speakers have touched on some of the same issues.
24 Now we turn to the open public
25 comments. I'm sorry, the scheduled public

00108

1 comments, and the first speaker is Michael Poon,
2 to be followed -- I would like each speaker to
3 come up toward the front before, while the other
4 person is speaking, and next will be Cathleen
5 Biga.
6 DR. POON: Good morning. My name is
7 Michael Poon. I'm chief of cardiology at the
8 Cabrini Medical Center New York, and associate
9 professor of medicine at the Mount Sinai School of
10 Medicine. I'm currently president-elect and chair
11 of the efficacy committee of the Society of
12 Cardiovascular CT. I'm on the scientific advisory
13 board of Siemens Medical, (inaudible) and Chase
14 Medical, Inc., and I'm currently holding a
15 research grant from Siemens Medical on the study
16 and growth of multi-detectable CT in early
17 detection of coronary artery disease.
18 Today CT coronary angiography using at
19 least 16-slice multi-detectable CT permits high
20 resolution imaging of the coronary arteries as
21 seen here in this slide. However, the (inaudible)
22 testing remains a key clinical parameter for
23 determination or prognosis, and any further need
24 of diagnostic testing and therapeutic
25 intervention. For example, coronary

00109

1 echocardiology and nuclear cardiology imaging are
2 commonly being used in everyday clinical practice
3 to assess the association between cardiac symptoms
4 and associated pathophysiology, even though they
5 are not directly visualized through the coronary
6 arteries or accurately determined as the
7 anatomical location of the obstructed coronary
8 artery lesions.
9 Over the past 12 years, we have seen a
10 dramatic improvement in the technical side of the
11 CT technology both in the spatial resolution, that
12 is the ability to see smaller and smaller
13 pathology in greater detail with the
14 multi-detectable CT from the early days of EBCT as
15 shown on the far left here. At the same time, the
16 multi-detectable CT has also improved in its
17 temporal resolutions very quickly over the last
18 two years, approaching that of the EBCT, but there
19 is still a way to go. With the introduction of
20 the new source, that gap is getting smaller and
21 smaller. And the whole point of having better
22 temporal resolution is to be able to freeze the
23 motion of the beating heart, which is the most
24 challenging aspect of cardiac CT.
25 Today's CT technology can detect

00110

1 coronary lesions as shown in this slide very much
2 similar to what you see on x-ray angiography, and
3 this is a particular example showing the strength
4 of cardiac CT which is able to see lesions,
5 particularly those noncalcified ones, to be almost
6 exactly like what you see with x-ray angiography.
7 In fact, CT can show you the tissue
8 characteristics, which is information which is not
9 available on the conventional x-ray angiography,
10 and then they provide very important pathobiology
11 of the disease process.
12 Coronary CTA can also be used to detect
13 patency of bypass graft as shown in this pair of
14 images. Occlusion and patency of bypass grafts
15 can be assessed with very high accuracy. However,
16 the detection of coronary stenosis at the MI site
17 and even in native coronary arteries after the
18 bypass surgery graft remains difficult. Rarely,
19 coronary CT may be used following x-ray
20 angiography to show if the graft had been missed
21 during the prior invasive coronary angiography.
22 The major limitation of this bursting
23 imaging technology are due to artifacts caused by
24 motions of the beating heart or extensive
25 calcification, as shown in the panels on the left

00111

1 and center here. These artifacts can severely
2 impair the evaluability of a study. With a modern
3 scanner with at least 16-slice multi-detector CT
4 and aggressive premedication with heart wave
5 lowering agents, the percent of unevaluable
6 segments are infrequent.
7 Due to the artifacts caused by the high
8 density of metal, the assessment of stents
9 concerning in-stent stenosis by CT is
10 substantially more difficult than the assessment
11 of native coronary arteries or bypass grafts, as
12 shown in the far right here. The visualization of
13 stent lumen can be impaired by these artifacts and
14 are influenced essentially both by the scanner
15 technology as well as size and type of stent.
16 There is not yet sufficient evidence to support
17 the use of CT angiography to routinely follow up
18 patients after coronary stent implantations.
19 To date, more than 15 scientific
20 reports, all published in reputable peer reviewed
21 journals, show that accuracy of coronary CTA in
22 comparison with that of conventional x-ray
23 angiography to be very very good. This slide
24 shows the most recent reports on the latest
25 16-slice technology, with another six reports on

00112

1 the latest 64-slice CT scanner. It reports
2 similar accuracy in both sensitivity, specificity,
3 negative predictive value, and percent unevaluable
4 segments.
5 Similarly, the accuracy of
6 multi-detectable CT to detect patients with at
7 least one significant coronary stenosis is equally
8 high. This is commonly referred to as per-patient
9 analysis, as shown in this slide. With the
10 exception of one study, as pointed out by other
11 speakers, that this study used the earliest CT
12 scanner technology, all of the studies basically
13 showed that the 16-slice technology is comparable
14 to that of 64-slice in terms of its accuracy on a
15 per-patient analysis basis.
16 The currently available body of
17 evidence demonstrated that coronary CTA can
18 reliably rule out the presence of significant
19 coronary artery disease in patients with low to
20 intermediate probability of having coronary artery
21 disease, and can reliably achieve a high degree of
22 diagnostic accuracy and technical performance
23 necessary to replace conventional angiography.
24 Severe form of calcification is a
25 reason for impaired evaluability of CT coronary

00113

1 angiography due to partial volume effects which
2 are a consequence of limited spatial resolution.
3 The overall rate of an unevaluable study has been
4 lowered with recent technology, but CTA may have a
5 somewhat higher rate of unevaluable study and
6 lower accuracy in the Medicare beneficiary
7 population due to the increase in coronary
8 calcifications. However, that fact is not likely
9 to be of significant magnitude. In fact, it has
10 been shown that age alone does not have an
11 influence on the accuracy of CT coronary
12 angiography for the detection of coronary artery
13 stenosis.

14 As shown in this patient, an 83-year-
15 old with atypical chest pain, equivocal stress
16 perfusion test, and on CTA showed absolutely no
17 obstructive coronary artery disease, and saved
18 this patient from having unnecessary and
19 potentially dangerous invasive procedures.
20 Another patient, a 72-year-old
21 scheduled for surgery of a benign cardiac tumor,
22 and CTA showed normal coronary and the surgeon
23 accepted the patient for surgery without
24 additional invasive coronary catheterization.
25 And these are just some of the examples

00114

1 to show what the CTA can achieve and potentially
2 avoid some of the complications associated with an
3 invasive test. Even for the younger population,
4 for example this patient, a 43-year-old with
5 congenital bicuspid aortic valve and dilated
6 descending aorta, CTA also ruled out the presence
7 of significant coronary artery disease and
8 eliminated the need of a pre-op coronary artery
9 catheterization.

10 And there are times that the patients
11 may not be able to go through a further invasive
12 test because of other complications associated
13 with the vascular structure, and CTA will be able
14 to show, as this case, the bypass graft, which is
15 very difficult to show on conventional x-ray
16 angiography but shows very nicely on the CTA.

17 DR. GARBBER: Thank you very much.

18 Next, Cathleen Biga. She will be followed by
19 Jason Cole.

20 MS. BIGA: Good morning. My name is
21 Cathy Biga, I'm the president and CEO of
22 Cardiovascular Management of Illinois and am here
23 representing my two private practice groups that
24 consist of 50 cardiologists, as well as the
25 Cardiology Advocacy Alliance. The Cardiology

00115

1 Advocacy Alliance is a consortium of 3,500
2 practicing cardiologists in private practice. My
3 disclosure statement is that I do do extensive
4 lecturing on the operationalizing and economic
5 impact of CT angiography across the United States,
6 and I'm also on GE's speakers bureau.
7 I would like to take this opportunity
8 to share with you the clinical and administrative
9 experiences that exist with multi-slice CT
10 angiography. With the advent of 64-slice CT
11 scanning, we entered a new diagnostic era. It's
12 realized rapidly increasing acceptance within the
13 cardiology and radiology communities as a
14 scientifically accurate and complementary
15 modality.
16 It should be noted that we believe
17 there is a distinction between the 64-slice
18 scanners and the previous multi-slice scanners,
19 specifically in the visualization of stents,
20 post-CABG grafts, and the ability to detect
21 subclinical atherosclerosis, as several of the
22 other speakers have already identified.
23 Obstructive coronary artery disease can indeed be
24 reliably diagnosed using 64-slice scanning. If
25 the detection of any amount of coronary

00116

1 atherosclerosis is our goal, i.e., for effective
2 management, we believe that the CTA has no peer.
3 CTA angiography detects atherosclerosis
4 much earlier than other modalities, except perhaps
5 intercoronary ultrasound. In regards to detecting
6 a hemodynamically significant lesion, one that
7 would land a patient in the cath lab, for
8 instance, the sensitivity and specificity for both
9 approach the upper 90s, as you've already seen in
10 several slides. Equally important is the negative
11 predictive value, which we have shown in our
12 studies to be between 97 and 99 percent; no other
13 modality comes close to this.
14 With this growing experience, the
15 sensitivity in detecting the existence of coronary
16 atherosclerosis could surpass and then exceed
17 stress echo and stress nuclear imaging.
18 Anatomical location, as you have seen, is very
19 easy with a coronary CT with the multiple
20 resolutions we get from these scanners. There is
21 no question or doubt as to the location of the
22 particular lesion, whether it be a calcified
23 lesion or noncalcified lesion. And like the prior
24 speakers, we have sent several patients directly
25 to surgery without having to undergo a cardiac

00117

1 catheterization.
2 All other imaging modalities lack the
3 ability to detect the size, shape, ulceration and
4 other aspects of morphology that coronary CT can
5 and will continue to improve on. Until now,
6 imaging modalities have offered neither the
7 sensitivity nor the specificity to be reliably
8 compared to cardiac catheterization, the current
9 gold standard. Indeed, many patients most likely
10 would have gone untreated or undertreated because
11 of the relative high false negative rates with the
12 existing imaging modalities. We believe that
13 coronary CT can begin to breach this gap.
14 Many normal cardiac catheters have actually
15 shown mild nonobstructive disease on 64-slice
16 coronary CTAs and yet, we have seen a decrease in
17 our normal coronary catheterization rate from an
18 18 percent to an 11 percent rate. These patients
19 are often mislabeled as normal on a cardiac cath
20 and miss out on potentially life-saving therapies
21 such as stents, anti-platelets, life-altering
22 events, smoking cessation, weight loss, et cetera.
23 Cardiac cath gives us an indirect view
24 of the lumen, which shows nothing of the outside
25 of the lumen. Coronary CT angiography not only

00118

1 shows the lumen but its surrounding structures.
2 CT angiography would provide a tangible benefit to
3 patients by identifying those patients who would
4 not need a cardiac cath. It would save those
5 patients the risk and inconvenience of an invasive
6 procedure, the additional contrast, loss of work,
7 and hospitalization. Coronary CT angiography
8 would be an effective gatekeeper for the cath
9 labs.
10 There would be little use for coronary
11 angiography, CT angiography after a cardiac cath,
12 with the exception perhaps of a bypass evaluation
13 of grafts not found in cath. This use could help
14 reduce the risk of stroke or bypass surgery.
15 Of the newer technologies, coronary CT
16 angiography, EBCT and MR, only coronary CT
17 angiography is generalizable to the Medicare
18 beneficiary population. We believe that EBCT is
19 less compelling to the Medicare population because
20 of its calcium scoring. 37 to 40 percent of our
21 patients are in the Medicare population.
22 In closing, I would just like to say
23 that while I have not presented any of our case
24 studies, some of the initial case studies that we
25 have, specifically a 77-year-old with unexplained

00119

1 chest pain, a negative nuclear stress test, showed
2 on coronary CT angiography that he had significant
3 right coronary lesions and had the stent a few
4 hours after the coronary CTA.
5 An analysis of our database and
6 registry for our 1,300 coronary CTAs, we have
7 determined that if coronary CTAs are ordered
8 appropriately following narrowly defined and
9 appropriate clinical indicators, they are
10 clinically useful in determining treatment plans,
11 has resulted, as I already mentioned, in a
12 decrease in our normal cath rate, has not become a
13 third test. In fact, our first 250 cases showed
14 only 3.7 percent of patients come back for a third
15 test, and in our first thousand patients, 6
16 percent only went on for a third test. It's
17 excellent in determining state patency and
18 post-CABG graft patency.
19 It's an important modality in the
20 diagnosis and treatment of coronary disease when
21 used appropriately with good patient selection
22 criteria, patient preparation including beta
23 blockers the night before and the morning of,
24 gating, contrast dosing, performed by a skilled
25 technician and supervised by a credentialed

00120

1 interpreting physician, resulting in high quality
2 studies. Thank you.
3 DR. GARBBER: Thank you. Next will be
4 Jason Cole, to be followed by Harvey Hecht.
5 DR. COLE: Thank you very much for the
6 chance to talk. My name is Jason Cole, I am a
7 cardiologist with a 25-person private practice
8 cardiology group in Mobile, Alabama, and I'm
9 speaking today on behalf solely of myself and my
10 partners, as well as the cardiology alliance. I
11 do have relationships with GE Healthcare in that
12 I've served on their speakers bureau, their
13 medical advisory board, and do have some research
14 support for CT angiography and cath relation
15 studies from GE Healthcare. My transportation
16 here was paid by our practice.
17 There really are three points that I
18 want to make relatively briefly and directly. The
19 first one is that there are enormous questions
20 related to this technology to come out in terms of
21 how generalizable is it. There are studies that
22 have reduced, can it be done, can it be reproduced
23 in a practice setting, and I'm here to tell you
24 that absolutely it can because we're doing it.
25 We have been practicing with a 64-slice

00121

1 CT angiogram for the past, a little over the past
2 year. We have done over 1,500 studies. We have
3 maintained the data prospectively in a database
4 and we have gotten excellent results of well over
5 90 to 95 percent of the patients that we imaged,
6 we were able to image. There is certainly some
7 amount of a learning curve that goes along with
8 it, but it can be very well controlled. We paid
9 tremendous attention to the data that's already
10 out there.
11 We had initially three physicians who
12 were trained to read this. We have been able to
13 learn a large number of things about how the
14 studies can be read. The issues related to
15 coronary calcification are certainly there, as
16 they have been shown to you today, but as you get
17 the ability to read these and you develop the
18 ability to read a cross-sectional analysis, and
19 understand exocytic calcium versus calcium that
20 intrudes into the lumen. These are very easy
21 things to overcome. There certainly are some
22 patients who cannot be imaged adequately because
23 their calcium scores are extremely high. It is
24 very simple to put in place processes so that
25 those patients solely get an initial low dose

00122

1 screening x-ray and then can be screened out from
2 needing to go on to further CT angiography.
3 The second thing I wanted to address is
4 the question of how do we use this test. How is
5 this test actually being used in practice when
6 it's able to be used? We have focused, as you've
7 begun to hear as the drum rolling theme, focusing
8 on the negative predictive value of the test. We
9 believe in that as an extremely strong point. The
10 numbers that have been shown are 90 to 99 percent
11 negative predictive value, and remembering that
12 there were some issues raised by the fact that
13 those studies have been derived from patients who
14 were going into catheterization and may have a 50
15 percent prevalence of coronary disease, as we look
16 at low to intermediate risk patient populations,
17 it's important to recognize that that negative
18 predictive value will be even higher. We have
19 enormous confidence in using this technology to
20 avoid using catheterizations.
21 We have also used it to identify
22 coronary artery disease in patients who we
23 otherwise wouldn't know, and we considered some of
24 the other noninvasive imaging technologies and
25 that's the important initial point of comparison,

00123

1 because really this is being compared to other
2 noninvasive ways of looking at the coronaries.
3 You can identify early coronary atherosclerosis,
4 things that are truly transforming, and we have a
5 number of case examples of patients, both patients
6 65 years of age and older and younger patients,
7 who have had a very similar experience where other
8 diagnostic tests don't show disease, they have
9 this test and it picks up disease. This is an
10 important, very clinically relevant point.
11 The third thing that I want to say is
12 based on our own data and using this test
13 appropriately, we can identify patients and target
14 their care directly. One of the questions that
15 has been raised is, you know, do these patients
16 still require invasive catheterizations? Well,
17 certainly as far as an original procedure, they
18 do. But it shapes the way that you think; this is
19 the closest correlation to our pathophysiologic
20 understanding of coronary atherosclerosis, because
21 we see it. If we go directly to catheterization,
22 everything including choosing the guide and
23 catheter that's used based on the angle at which
24 the artery is taking off is an extremely valuable
25 tool.

00124

1 We have identified in our database a
2 particular niche for this use in patients who have
3 the equivocal or low risk nuclear studies, and
4 this is our data that we've derived and has been
5 presented at national meetings, so far published
6 only in abstract form. But what we have shown is
7 that in a carefully selected group of individuals,
8 certainly less than 10 percent of the patients
9 undergoing nuclear perfusion studies in our
10 practice, we found that we can get adequate
11 studies to avoid having to go to catheterization
12 in 60 to 65 percent of these individuals. So in
13 an appropriate patient population, we can then use
14 this test to make a decision. And it's a big deal
15 for a patient when they don't have to go through
16 catheterization, they avoid the risks that are
17 there. The preliminary cost analysis that we did
18 also showed that this was a cost saving technique.
19 So number one, this test can absolutely
20 be used in clinical practice, it can be done.
21 Training is important, it is available, and
22 doctors can learn to use it. We use it focusing
23 on the negative predictive value, that's the
24 reason to use this test, because when it's
25 negative and it's negative enough, you know that

00125

1 you don't have to proceed. If you've got mild
2 disease, you also know to treat that medically in
3 a way you had to do before, and in many cases you
4 can actually target what you do in an
5 interventional lab, and you can also identify
6 patients who truly would have otherwise gone on
7 for invasive procedures who don't need to. It's
8 an exciting technology and as we work with it, it
9 becomes integral in the way that we take care of
10 patients. It's enormously valuable to us as well
11 as to all of our Medicare patients that we're
12 caring for. Thank you.

13 DR. GARBER: Thank you. Next, Harvey
14 Hecht, to be followed by Greg Thomas.

15 DR. HECHT: Thank you. My name is
16 Harvey Hecht, I am the director of cardiovascular
17 CT at the Heart and Vascular Institute in New
18 York, and formerly professor of medicine at the
19 Albert Einstein College of Medicine. My conflict
20 of interest disclosure is that I have research
21 grants from Philips and indeed, Philips did pay my
22 transportation down here.
23 Let me assure you I'm not going to go
24 over the same territory that has been done before
25 and the presenters have done a superb job.

00126

1 However, what I will do is elaborate on a few of
2 those themes and perhaps generalize it. And I
3 would start off by showing you an example of a
4 patient who has minimal abnormalities on his CTA,
5 a patient who we evaluated for atypical chest
6 discomfort. There is a little bit of narrowing in
7 the proximal LAD but there is clearly nothing
8 obstructive. Does this patient need to have a
9 stress test, and the answer is obviously no. He
10 has nothing close to obstructive disease on this
11 test, his symptoms are atypical, he was low to
12 intermediate likelihood of disease to enter, so he
13 does not need a stress test and certainly he does
14 not need an angiogram.
15 The next patient, low to intermediate
16 risk of disease on entering, atypical pain, and
17 he's got calcified plaque there in the proximal
18 LAD and there is noncalcified plaque as well. If
19 you try to measure the stenosis, it will come out
20 somewhere in the 50 to 70 percent range perhaps,
21 and this is an indication where you do need to do
22 a stress test to determine the functional
23 significance of this abnormality. You would not
24 send the patient directly to the cath lab just on
25 the basis of the CTA, you would do the stress

00127

1 test. If the stress test were significantly
2 abnormal, then you would send the patient for a
3 cath; if it were not, then again, you would not do
4 an invasive procedure.
5 Finally, we have a patient here again
6 with atypical pain post-bypass surgery, and we did
7 a CTA and there was a critical left main stenosis
8 which was not adequately revascularized because
9 they did not vascularize the circumflex, which was
10 jeopardized by the left main. You are not going
11 to go ahead and do a stress test on this patient
12 clearly, you're going to go straight to the
13 angiogram.
14 So we're talking about this, then, in
15 the context of triaging patients for the cath lab,
16 and avoiding procedures, both noninvasive and
17 invasive. I mean, how has this played out in
18 practice? Well, the best example so far is the
19 one that Tracy Callister has presented at numerous
20 meetings. In his two-year experience with CTA in
21 a large practice, international, the number of
22 normal caths in his practice declined by 40
23 percent; the number of nuclear stress tests
24 declined by 33 percent, and he did not own the
25 CTA. So the trust in the CTA as the first test to

00128

1 do in the evaluation of these patients was
2 validated by the results clinically in terms of
3 utilization of other resources which, unnecessary
4 utilization was dramatically decreased.
5 So to put it in a greater context, we
6 were told at the beginning of this session that
7 there are 1.8 million catheterizations in the
8 country. If you look at the national level, I
9 think it's fair to say that there are probably 18
10 to 20 percent of these that reveal no significant
11 obstructive disease, and how you define that is
12 probably less than 50 percent stenosis. If you
13 had a noninvasive test, which by virtue of the
14 extraordinary sensitivity and specificity could
15 accurately diagnose those patients and save 95
16 percent of them from entering the cath lab, well,
17 95 percent of 1.8 million is about 350,000
18 diagnostic caths that could have been prevented.
19 We were told that the mortality rate
20 for diagnostic cath is one out of a thousand, .1
21 percent, so that's 350 deaths. The major adverse
22 event rate is 2 percent; that's about 7,000
23 adverse events that could have been prevented by
24 CTA. The cost of the procedure to the government,
25 to Medicare, to the payers, to the patient in

00129

1 terms of time off from work, to society, is
2 enormous, and the cost saving consequently is also
3 enormous.
4 Moving to a slightly different topic,
5 the cross-sectional aspects of CTA have been
6 emphasized in terms of it being (inaudible)
7 equivalency, and yes, there is not an enormous
8 amount of data on this, but all of us who use CTA
9 are constantly astounded by our (inaudible) views
10 that we have of the coronary arteries by use of
11 CTA. We see noncalcified plaque, we see very very
12 low density noncalcified plaque. Is this the tip
13 of the thin cath fiber atheroma, can we put it in
14 correlations of (inaudible) to find out? We are
15 using it, as previously alluded, CTA on a daily
16 basis to change, to transform the way we do
17 interventions at Lenox Hill. We are guided by the
18 results on CTA. We have avoided going through
19 significant left main stenoses that have not been
20 appreciated on conventional angiogram because of
21 the limited views en route to dilating LAD
22 stenosis, so the information that you obtain
23 beforehand can very profoundly affect the way you
24 do your interventions.
25 On coronary calcium, in our laboratory

00130

1 now there is no coronary calcium score that
2 excludes the patient from having a CTA, for the
3 reasons that have been given. Dr. Hodgson showed
4 a beautiful example of a very heavily calcified
5 plaque that diminished in size when you adjusted
6 the leveling in the window, and this can routinely
7 happen. It's a rare plaque that you cannot
8 interpret whether or not it's obstructive. And
9 equally important, it is usually not the calcified
10 plaque that's responsible for obstruction anyhow,
11 and it's the rare patient who has significant
12 calcification and in whom you cannot detect a
13 significant stenosis in the area of a noncalcified
14 plaque when it's there.
15 Finally, I would like to talk a little
16 bit about radiation because the Duke group talked
17 about it, and yes, there is radiation from CTA.
18 It has been put in the perspective of invasive
19 angiography, but radiation from CTA is going to
20 decline over the years as the technology improves,
21 but also keep it in the perspective of other tests
22 that are being used to evaluate patients. It's
23 safe to say that the radiation from CTA is at
24 worst equal to, and in fact according to most
25 studies, significantly less than that of a nuclear

00131

1 stress test, and we rarely consider radiation load
2 on a routine basis for nuclear stress testing.
3 So in summary, I would ask you perhaps
4 to change the focus of this discussion really not
5 to ask the question, can CTA replace invasive
6 angiography. We're not saying that. We're saying
7 what is the role of CTA in the comparative
8 diagnostic paradigm, can CTA be the gatekeeper,
9 can it be the triage or entry into the
10 catheterization laboratory, and the answer is an
11 unequivocal resounding yes. Thank you.
12 DR. GARBBER: Thank you. Next, Greg
13 Thomas.
14 DR. THOMAS: Well, after three hours of
15 testimony, it's kind of like following the late
16 night talk show hosts, so I've got to think of
17 some things new to say. I don't have a monologue
18 or a top ten, but I do have a top five, and I'd
19 like to bring up some new points. My name is Greg
20 Thomas, I'm currently president-elect of the
21 American Society of Nuclear Cardiology and a
22 clinical assistant professor at the University of
23 California, Irvine.
24 ASNC is a 5,000-member professional
25 society to foster, or develop and foster nuclear

00132

1 cardiology in terms of training, education,
2 accreditation or certification, and more recently
3 added cardiovascular CT as a complementary
4 anatomic evaluation to go along with the
5 well-documented prognostic and diagnostic value of
6 the physiologic tests.
7 One of the comments Dr. Rollins made
8 was in terms of the cath rate, and obviously as
9 we're looking at where does this test come, are we
10 going to save lives, are we going to save tests.
11 The cath rate interestingly last year did go down.
12 I think you mentioned, Dr. Rollins, maybe three
13 million in 2010, but as you may know, the more
14 recent data from Medicare is that in 2005 the cath
15 rate, diagnostic cath rate went down 15 percent.
16 So in the past it was rising, then leveling, and
17 now it's down 15 percent, so I don't see that
18 continuing to go up.
19 Also in terms of statistics, we're
20 looking, we haven't talked about costs, but
21 underlying a lot of what we're thinking about and
22 particularly as we look at the cost evaluation,
23 the value to the public of this test will become
24 very important. And I think to look at the cost
25 in the global context with other cardiology

00133

1 testing, cardiology technology, I want to bring up
2 how well we've done in cardiology. With
3 cardiologists taking care of the patients, along
4 with the internists and family physicians, since
5 1970 we have had an annual three percent average
6 decrease in mortality for cardiac disease, so the
7 diseases of the heart according to the CDC
8 comparing 1970 to now is down by two-thirds.
9 In fact it's accelerated over the last
10 several years such that between 2003 and 2004, the
11 death rate for cardiac disease went down 3.5
12 percent. And lastly, it was announced that
13 cardiac disease, the age-adjusted death rate went
14 down 6.5 percent between 2003 and 2004. So I
15 think we're getting a great deal of bang for the
16 buck with our technological evaluation for
17 diagnosing disease, treating disease, with a 9.5
18 percent drop in age-adjusted mortality just in the
19 last two years, for example.
20 As well as, if you compare the causes
21 of death between 1999 and 2004, disease of the
22 heart was 40 percent in 1999. Disease of the
23 heart last week, according to the CDC data, was
24 33.5 percent. So the money we're spending in
25 cardiology, which is substantial, I think we're

00134

1 giving the public a great deal of value for that,
2 and I don't want us to limit new technology such
3 as CT, such as MR, because of the cost of it and
4 because it will require more tests, because I
5 think we are getting a great deal, again, a bang
6 for the buck, for this technology we've used and
7 technology to come, and if we don't allow
8 promising technology to develop, it's unlikely we
9 will see this continued drop in heart disease.
10 One of the things brought up was the
11 prognostic studies, and again, none of them have
12 seen the light of day as Dr. Mark suggested, in
13 terms of peer reviewed literature. There are two
14 articles, one accepted, one in review, looking at
15 the prognostic with some very nice slides, as you
16 would expect. For example, looking at the
17 decrease in the mortality based on the number of
18 vessels, one-vessel disease like this based on CT,
19 two-vessel like this, three-vessel like this, and
20 the same for severity based on CT. So very soon
21 we will be seeing that data as well as other data
22 that's still in review.
23 So what I would suggest based on this
24 and based on the fact that it has been well
25 stated, we don't have clinical utility studies,

00135

1 I'd suggest that the MCAC panel not develop a
2 national coverage determination and that in fact
3 you allow this technique to develop and to be
4 evaluated on a state-by-state basis using the LCD
5 process. As you know, 33 percent of states now
6 have LCDs either approved or in draft form and
7 some, like in California, have a generous set of
8 indications, some elsewhere have a less generous
9 set of indications. So it's a moving target for
10 something that is, as Dr. Fishman mentioned, state
11 of the moment rather than state of the art.
12 I would suggest you allow these
13 national experiments to occur on the LCD basis
14 rather than coming out with a national coverage
15 decision which will require a higher level of
16 evidence. I see an NCD as potentially decreasing
17 access to care and decreasing their ability to
18 further develop that clinical utility data.
19 One of the other comments raised was
20 the 16-slice scanners, and in the evidence review
21 from Duke only four of the studies were included.
22 Bachs and colleagues published a meta-analysis
23 about a year ago looking at studies that were
24 commonly done prior to 2005 and if you just
25 included the 1.5-millimeter vessels which are

00136

1 likely to be fixed, the sensitivity and
2 specificity are very good. In fact, the
3 sensitivity is 88 percent using a weighted average
4 for 16-slice CT using, again, 1.5 millimeters or
5 greater, and 96 percent specificity. So 16-slice,
6 while harder to read, as Dr. Fishman pointed out,
7 still has excellent negative predictive value in
8 particular, and it is also a moving target. The
9 16-slice scanners now can spin often at 375
10 milliseconds, whereas in the past they were
11 spinning at much slower rates, so I think that we
12 don't want to stop that technology, the evaluation
13 by 16-slice, which are about \$500,000 cheaper as
14 well.

15 And as I sum up to talk about the
16 Medicare population, the specific Question 6,
17 looking at a study by Paul Rogge, the mean score
18 for calcium scoring is not particularly high in
19 older patients. Comparing those who are 50 to 55,
20 men and women, compared to those who are 65 to 70,
21 the average woman has a zero calcium score in the
22 younger group and a score of only 24 in the older
23 group. As far as men, we have a score averaging
24 41 in the population 50 to 55 and a score of only
25 151 in those 65 to 70. So I suggest that the test

00137

1 will perform well in that Medicare population. It
2 may decrease specificity a little bit because of
3 the calcification, but because of the higher
4 pretest likelihood of disease in that population,
5 the sensitivity would be expected to go up
6 compared to a more middle-aged population. Thank
7 you very much.

8 DR. GARBER: Thank you. Now we move to
9 the open public comments. I believe nobody has
10 signed up. Is there somebody who wishes to
11 address the committee before we move on to
12 questions for the presenters? Okay. Thank you
13 very much.

14 Just for everybody in the room, the
15 plan is to do the questions to presenters. I
16 would like us to try to finish by 11:30, have an
17 early lunch, and then return here at about noon.
18 So, I would like to open it to the panelists for
19 questions to the presenters. Rita.

20 DR. REDBERG: Just in thinking about
21 who CT angiography would be used for, I think one
22 of the presenters said low to moderate probability
23 of coronary disease. But first of all, I divide
24 my patients into asymptomatic and symptomatic, and
25 certainly when talking about low, that can be

00138

1 asymptomatic with some differentiation. So for
2 asymptomatic people, the only thing we have is
3 risk factor reduction, which is really powerful,
4 but certainly not something that we need to have
5 any kind of a CT angiography to do risk factor
6 reduction. You know, the only, PCI doesn't,
7 hasn't been shown to make people live longer and
8 it's certainly not going to make an asymptomatic
9 person feel better. So to me it's hard to argue
10 that in an asymptomatic population, there could be
11 any benefit from coronary artery imaging by
12 catheterization, CT angiography or any other way,
13 and we can certainly do risk factor reduction, but
14 none of that would be based on any kind of testing
15 except for risk factor assessment.
16 Then if we start looking at low to
17 moderate probability of coronary disease, assuming
18 that we're talking a symptomatic population,
19 that's the population that usually would start out
20 with functional testing, and I think many of the
21 presenters mentioned that we can't predict who's
22 going to have an MI on the basis of anatomic
23 findings, but I think the Duke group mentioned we
24 do get some prognostic information from functional
25 testing. So I guess in my mind, that's a

00139

1 population where I would be thinking about
2 functional testing, not about taking anyone to the
3 cath lab if they have a low to moderate
4 probability of coronary disease.
5 So when I think about who would I use
6 this test for I'm having, you know, a hard time
7 seeing a low to moderate probability where there's
8 been some functional assessment for the risk
9 reduction, assuming they're symptomatic. Assuming
10 they're asymptomatic, I think the data we have is
11 all in favor of risk factor reduction, and there's
12 not any data that I know of that would suggest
13 there is any improvement in patient outcomes. So
14 I guess what I'm thinking about, I'm trying to
15 thin who is it that could theoretically benefit.
16 I know we don't have any data on actual patient
17 benefit, but who is it that would theoretically
18 benefit and how would we use those data?
19 DR. GARBER: Elliott.
20 DR. FISHMAN: I guess if you looked at
21 just some of the work on calcium scoring alone, it
22 would seem that provides an added benefit in terms
23 of risk analysis, so I think the calcium scoring
24 portion is sort of step one. There have been
25 several articles, an article from, several

00140

1 articles from Lou Bechter at Hopkins on similar
2 studies looking at the population, particularly in
3 women where there would be 30 percent of the
4 population they evaluated were in a clinically
5 moderate to high risk category rather than low
6 risk based on calcium scoring.

7 DR. REDBERG: But there isn't any data
8 to suggest improved patient benefit based on that
9 calcium score.

10 DR. GARBER: I don't believe there is
11 any direct data. Maybe I could just follow up on
12 that. Elliott, I think it was in your
13 presentation that you had said that this is
14 really -- well, several presenters said it was a
15 good test for ruling out and that it avoided cath.
16 I think in your case it was a calcium low risk
17 person. And it might have been in Dr. Hodgson's
18 presentation on the question, though, what do you
19 mean by low risk? In the sense that there is
20 pretest risk before they've had any testing, a
21 patient comes in, atypical chest pain, maybe it's
22 a woman, or a young woman in more typical chest
23 pain perhaps. Pretest probability may be around
24 25 percent, and the question is, is that kind of
25 person a candidate for CT angiography or are they

00141

1 a candidate for another noninvasive test as the
2 first step, or do you mean low risk after a
3 noninvasive test, which is really a different
4 ballpark?

5 DR. FISHMAN: There are several
6 articles now looking at the population with
7 atypical chest pain in the ER setting, for
8 example. There is a publication by White from the
9 University of Maryland, and I heard a
10 presentation, though it's not published yet, from
11 Ellis Casoverde at the University of Michigan. At
12 the University of Michigan they evaluated all
13 patients at a chest pain center in the ER setting.
14 And because of the high negative predictive value
15 of CT, they looked at the cost analysis, and they
16 would basically save \$3 million a year by simply
17 being able to triage patients where if the CT was
18 negative, you know, they would be discharge
19 patients.

20 So, I think a few of the other speakers
21 mentioned if you look at all literature, whether
22 it's things from Steffan Achenbaugh or it's things
23 from Russo, Becker, all those articles, basically
24 the one thing they all came down very strong on
25 was there was a 99 percent, or close to 99 percent

00142

1 negative predictive value, so a quality study
2 basically excludes the presence of disease, so
3 that might be a very good situation.

4 DR. GARBBER: And would you generalize
5 the office setting then? Somebody comes in,
6 you're suggesting it would be a replacement
7 potentially for noninvasive tests, not necessarily
8 just cardiac cath?

9 DR. FISHMAN: Right. These studies
10 basically looked at cost analysis and it's based
11 on all tests.

12 DR. GARBBER: Someone, one of the
13 speakers.

14 DR. WILLIAMS: Yes. I just wanted to
15 put Rita's question in perspective. As people
16 have noted, there is a lot of data in prognosis in
17 coronary calcium. We can actually model this and
18 there was published a study with the Framingham
19 risk factor scoring in asymptomatic populations.
20 With this, we could actually put people into
21 quartiles, and it turns out that the lower
22 quartile, coronary calcium scoring even at the
23 highest level, would not put them at a risk of
24 eight to ten percent, which people sort of draw a
25 line in the sand and say that's where you would

00143

1 start doing things more aggressively, more
2 testing, more therapy, you couldn't get in either
3 the low or the low to intermediate group.
4 Similarly, in the high probability group, that was
5 already defined as high, distinguishing those
6 groups based on calcium didn't get them below that
7 eight to ten percent.
8 But that third tercile, where you
9 actually had the high to intermediate group,
10 that's where a high coronary calcium score really
11 would push you over and change your management.
12 An so this actually had been done. When you look
13 at studies, they're always mixed in terms of
14 populations, but there have been publications from
15 Tracy Callister's group and more recently from the
16 St. Francis group that having coronary calcium
17 data will actually allow you to look
18 therapeutically. And we haven't seen regression,
19 which is what we would all like to see, but
20 perhaps that's not something that's going to
21 happen because it's basically an osteoplasty type
22 of activity. But what it does show is that
23 therapy can slow down the progression, and that
24 becomes a therapeutic target. Now once we have CT
25 angiography data that has prognostic data like

00144

1 that, then we can actually do that kind of
2 modeling again, but it's going to be a while.
3 DR. REDBERG: I think that's
4 interesting with calcium scoring and looking at
5 prognosis, there isn't any data showing that any
6 calcium score will improve patient outcome. And
7 the data on progression as we know from the recent
8 studies that came out observing high dose tests,
9 or low dose tests, they didn't show a change in
10 coronary calcium, so they were not following
11 progression. But I actually didn't think we were
12 talking so much about coronary calcium per se.
13 DR. GARBER: Okay. Dr. Hecht and then
14 Cliff.
15 DR. HECHT: In response to the
16 questions about who should have the test, two
17 populations are emerging, the asymptomatic patient
18 and the symptomatic patient. The ACC-AHA
19 guideline for stress testing in the asymptomatic
20 population is restricted to those with multiple
21 risk factors and whom the stress testing is to be
22 done for prognostic value. This is unfortunately
23 a group of patients in whom there is a
24 well-defined percentage of false positives,
25 because the specificity of nuclear stress testing

00145

1 or stress cardiography is not that high, and these
2 patients then up in a cath lab. So using the
3 stress testing as the evaluation tool, which is
4 ACC-AHA recommended, I don't think is the best way
5 to go because it will result in unnecessary
6 procedures. I would suggest that CTA be
7 substituted for stress testing in that subset of
8 patients.
9 In a symptomatic patient, as I
10 mentioned in my talk, we have the same conundrum,
11 20 percent of the diagnostic caths in this
12 country, we deal with no significant obstructive
13 disease, and it would be to everybody's benefit to
14 utilize CTA in this group of patients to
15 determine, A, is there a further need for stress
16 testing? And a lot of these patients are going to
17 have perfectly normal coronaries or no clear-cut
18 evidence of significant obstruction, some will
19 have peripheral disease and we will take them
20 straight to the cath lab, and the intermediate
21 group can then have stress tests done. So it's
22 hard for me actually, except for the high risk
23 patients, the high risk symptomatic patients, to
24 think of patients who would not benefit from
25 having a CTA.

00146

1 In response to the coronary calcium
2 issue, I think that there is no sense in really
3 debating this anymore. The vast majority of
4 people in this country firmly believe that
5 coronary calcium adds to prognostic value, and
6 there is no doubt that it will shortly be
7 incorporated into recommendations for evaluation
8 of risk and treatment of the intermediate risk
9 patient.

10 DR. GARBER: Cliff.

11 DR. GOODMAN: Thank you. I hope, I'm
12 wondering if someone can disabuse me of the
13 following impressions I've gotten. Various
14 speakers have said that CTA is great for ruling
15 out the need for coronary angiography, and
16 although I understand that intuitively, I'm pretty
17 sure I haven't seen a single published study that
18 offers that. I also heard, I think it was Jason
19 Cole, and I was glad to hear this, he said,
20 because I'm wondering about how CTA or these other
21 modalities help decide treatment, and he said that
22 CTA, I think if it's mild to positive, helps you
23 to decide about medical therapy, maybe about risk
24 factor reduction. I think he also said, no one
25 else said this, that a positive CT might even help

00147

1 you choose the catheter or the angle of the
2 problem or help you choose the kind of instrument,
3 which all sounds plausible and interesting, and
4 I'm pretty sure I haven't seen any published data
5 on that whatsoever.
6 Harvey Hecht said that CTA can
7 profoundly affect the care of these patients and
8 that CTA, yes, is unequivocally a gatekeeper for
9 the triage. And these all make intuitive sense to
10 me. I'm puzzled, as I asked the Duke people
11 earlier, why there isn't a single published peer
12 reviewed article showing that.
13 John Hodgson made a nice presentation
14 where he showed his slides side by side and asked
15 if the CT can detect morphology, and on the left
16 side he had coronary angiography that seemed to
17 show stenosis and then on the right side he had CT
18 angio that also showed stenosis, but I think it
19 also showed some plaque. And I'm wondering, faced
20 with those two pictures, why these patients would
21 get any different treatment. Aren't you going to
22 pop a stent no matter what, whether you've got the
23 right picture or the left picture?
24 So, a lot of these claims that we're
25 hearing are certainly plausible. We've heard

00148

1 people make comments about how they do it in their
2 clinic, we've heard a lot of anecdotal stories
3 about how it might have changed physician
4 behavior. We have nothing about outcomes, by the
5 way. But I'm puzzled why there isn't a single
6 published article showing this, and if I were CMS
7 or a payer, I would certainly want to see a little
8 bit of something in the published literature about
9 this and I'm wondering why. Is the technology,
10 are they so new that people haven't built up
11 enough data to show this. And if that's the case,
12 maybe they're all investigational technologies,
13 and I'm pretty sure payers don't go out of their
14 way to pay for investigational things unless there
15 is some other arrangement to pay partially for
16 data, additional coverage and all that, but I
17 would like to hear somebody say that there's some
18 published data on any of these technologies, and
19 for me that would be a little bit more persuasive.
20 DR. GARBBER: Go ahead, Dr. Hodgson, and
21 then Dr. Thomas.
22 DR. HODGSON: Well, I can't say that
23 there is any published data because as you've
24 appropriately noted, this technology is relatively
25 new and there just hasn't been enough time for

00149

1 outcomes data, although as you heard from Dr.
2 Fishman, it is being presented, it's in review and
3 it will be forthcoming. But I don't think it's
4 fair to say this is a totally experimental
5 technology. This is a technology which is a
6 natural outgrowth of a very long history with CT,
7 so we understand a lot about CT, and also a
8 natural outgrowth of the injection of radio
9 iodinated contrast into coronary arteries which we
10 have been doing for well over 40 years.
11 So this is a technology which is not so
12 much new or investigational, it's just now
13 technically possible. So I think it's not a great
14 leap of faith to say that whether we can see the
15 stenosis by directly injecting dye into the artery
16 and taking x-ray pictures of it, or injecting dye
17 into the vein and taking x-ray pictures of it is a
18 great difference. And that's I think what we have
19 been trying to present this morning, is that they
20 are very similar technologies, iodinated contrast
21 x-ray producing an image of the vascular
22 structures.
23 And the advantage of CT now is that you
24 can also view the vessel wall. And so yes, those
25 two pictures, either of those two pictures that

00150

1 you just mentioned could lead to placing a stent,
2 but that really wasn't the question. The question
3 was, can you identify, quote, relevant morphology,
4 which again, I don't know what that is. As a
5 practicing interventionalist, some things are
6 relevant, some things aren't relevant. In fact to
7 me, the more relevant thing is is there any
8 calcium in the vessel wall, and it has been shown
9 that cardiac CT can be more accurate at that.
10 But I don't think this is a
11 fundamentally different technique. In fact, I
12 think it's a natural outgrowth of two techniques
13 which have been very well developed and because of
14 technological advances now, can come together and
15 provide a noninvasive x-ray-based angiographic
16 view of the vessels.
17 DR. GOODMAN: When I think about how
18 medical professional societies establish
19 evidence-based practice guidelines or how
20 third-party payers set criteria, such as Blue
21 Cross Blue Shield, or even Medicare's own criteria
22 for making coverage decisions, the body of
23 evidence presented so far doesn't seem quite ready
24 to close that gap to meet those criteria, and I'm
25 wondering about that. I fully appreciate the need

00151

1 to continue to evolve these technologies and to
2 collect data as we're going, and to help guide
3 further research and so forth, but there remains a
4 yawning gap between the claims that are made here
5 and the kind of base of evidence that is typically
6 required for practice guidelines and informed
7 payer decisions.
8 DR. GARBER: Greg?
9 DR. THOMAS: Yeah, Dr. Goodman, I
10 concur, and that's why I suggest that we allow the
11 state process or LCDs to continue in some states
12 where I think Harvey wants to use this as a
13 gatekeeper in New York, and elsewhere it's going
14 to be much more restricted. Again, that's part of
15 Medicare.
16 And I think it's an appropriate point
17 in terms of asymptomatic, I agree as well, that
18 this would uncommonly be done in someone. Another
19 thing to think about is the potential in terms of
20 the prognostic parts. I can refine risk
21 stratification and so, if someone comes in, for
22 example, a symptomatic patient to the ER with
23 chest pain, we can send them home and they do
24 well, but I would like to see the studies evolve
25 so we can see if there is a difference between

00152

1 someone who had a normal nuclear test and someone
2 who has a CT scan which has no disease, and at
3 that time they can be restudied. I understand
4 what you're talking about, but I think that the
5 bottom line, this is our opportunity for the
6 technique to flourish and these --
7 DR. GARBBER: Can I just interrupt for a
8 second? I think one of the great difficulties is
9 what exactly would you change about risk factor
10 management, would it just be based on calcium
11 score, or shift the ROP curve up a little bit
12 because you have some slides indicating that? And
13 this doesn't only apply to the EBCT, there's many
14 tests for which these kind of claims are being
15 made. How exactly -- I haven't seen a paper, by
16 the way, that modeled out what you would do and
17 what effect that would have on outcomes. There
18 was some well-known cardiologists who said we
19 should put statins in the water supply. I find it
20 a little hard to believe that many cardiologists
21 would stay their hands to prescribe a statin in
22 someone who otherwise seems to be elevated risk on
23 the basis of any one of these tests.
24 But, are you aware of some studies, and
25 I invite anybody else to answer this question,

00153

1 that show how outcomes are improved by using the
2 added risk prediction from EBCT to any other tests
3 in this kind of setting, that is, it's really
4 primary prevention here we are talking about?
5 DR. THOMAS: I was one of those that
6 voted for statin in the water. In a
7 cardiovascular review a year and a half ago, I
8 suggested that many above, or men at 30 and women
9 at menopause consider, the physicians consider
10 adding the drug for them. But I think that
11 actually a more precise way to do it, and this is
12 theoretical, hopefully someone will do this,
13 perhaps you, but can we instead of in the water,
14 can we have it in the water for those with
15 positive calcium scores, for example?
16 You can ask a group of cardiologists,
17 say, are you taking a statin or not? If I ask,
18 and I've done this a number of times, out of ten
19 of them, say nine, or eight will be taking a
20 statin, okay? And I'll say, and I bet you guys
21 have a negative calcium score, and you know what,
22 that's almost always what happens. So we're
23 voting with our feet, but we don't have the data
24 yet. But again, that's what's so exciting about
25 cardiology and being able to decrease that death

00154

1 rate further and further.
2 DR. GARBBER: Well, I have to point out
3 that if you do the numbers, the people who are not
4 taking the statin on the basis of the calcium
5 score are probably making a mistake, unless you
6 think that the statins have significant toxicity,
7 because -- and you look at those ROC curves,
8 that's also a mistake, unless you think there is
9 toxicity of the statin that would make it not
10 worth taking. But it's not, it's simply none of
11 these individual variables are enough of a
12 predictor to allow you to do that.
13 DR. GARBBER: Yeah, Dr. Poon was next.
14 DR. POON: I just had a comment maybe
15 about some of the lack of outcome data from the CT
16 field, and I think that it's an evolving field, as
17 Dr. Fishman has eloquently mentioned before, and
18 the technology keeps changing every few months.
19 And this year for the first time, we see two
20 different vendors going in different directions in
21 terms of the way that they design the scan, so it
22 makes it very hard to design a study in
23 multicenter trials.
24 And being a former molecular
25 cardiologist, I would love to control every

00155

1 molecule as precisely as possible and look at the
2 changes, but that doesn't mean that we shouldn't
3 do more studies. We are all working very hard
4 from the society standpoint and with the college
5 to have more outcome studies, and I think the
6 first group of outcome studies that you will see
7 will be a cost effectiveness analysis and to do CT
8 in the ER situation for the assessment of new
9 chest pain.
10 But we also have to do some of the
11 clinical judgment. As a cardiologist who does a
12 lot of, I used to do a lot of interventional
13 procedures and I do see many complications from
14 cath, which is real. Mortality may not be as
15 great as what we quote, one in a thousand, but
16 morbidity is certainly very common with groin
17 complications and so on and so forth. And right
18 now the debate we have is on CT's accuracy in
19 comparing with the x-ray angiography, and it's
20 very impressive and we cannot deny that or ignore
21 that data. That is real data and is published,
22 peer reviewed, and we have to combine it with
23 clinical judgment.
24 And being an angiographer, when I see a
25 patient that I suspect certain diagnosis, the CT

00156

1 will be much better. For example, congenital
2 anomaly would be a very good one. Trying to prove
3 that in a multicenter trial would take you years
4 and years, and that may never be proven, that CT
5 is better. But as a clinician and as an imager, I
6 think all of us would agree that CT gives you a
7 phenomenal image of congenital anomalies that
8 perhaps angiography is not able to show you. So
9 if you use a gold standard which is really not the
10 gold standard to compare, and I don't think that
11 would be fair here.

12 So I think we really need to look at
13 the science and the clinical practice and how
14 should we use it for the patient's interests, and
15 that's why we have this discussion and that's why
16 we would like to show you from the clinical
17 standpoint how this clinical modality can affect
18 our management of many of these cardiac patients.

19 DR. GARBER: Dr. Hecht?

20 DR. HECHT: I'd like to address some of
21 the issues that were raised by Doctors Goodman and
22 Garber regarding, yes, all this data seems
23 possible but where are the studies, where are the
24 outcome data that the technology affects prognosis
25 or affects treatment. Well, let's put it in the

00157

1 perspective of all the technology that we have
2 available in cardiology.
3 We do electrocardiograms, and is there
4 a single study, randomized controlled study, one
5 group of patients have an EKG, another group of
6 patients don't have an EKG, and then look at those
7 outcomes? We have echocardiographs in patients
8 with congestive heart failure; is there a single
9 study that shows if you used echocardiography in
10 one randomized group and you don't use it in the
11 other, it affects the outcomes of these patients?
12 The same applies to nuclear cardiology.
13 The kind of trial that you're asking
14 for has not been done in a satisfactory rigorous
15 scientific fashion for any of the technologies
16 that we employ. But yet, they are still valuable.
17 Nobody would argue that echocardiography is not a
18 superb tool for cardiac anatomy and function, nor
19 that nuclear cardiology is not a superb tool for
20 evaluating myocardial perfusion.
21 So I think perhaps the more appropriate
22 question is do we think that CTA is an excellent
23 and readily acceptable tool for defining
24 atherosclerosis. And if is, then it should be
25 used to define atherosclerosis, for which there is

00158

1 ample prognostic data. The more atherosclerosis
2 you have, the worse the prognosis; the less
3 atherosclerosis, the less the prognosis. And
4 that's the answer to the critique of coronary
5 calcium, and it's the same thing for CTA. Can CTA
6 effectively define the cardiac anatomy? Can it
7 distinguish between significant and nonsignificant
8 obstructive disease? And if you can, as the data,
9 albeit preliminary because it is a very new
10 technology, suggest that you can with a
11 sensitivity and specificity in the mid-90s for
12 both, then yes, this is a tool that should be
13 used.

14 The prognostic studies can be applied
15 to particular uses perhaps in certain populations
16 but the question, that's not the question we're
17 thinking of. Should CTA be used to evaluate
18 atherosclerosis? If you think atherosclerosis is
19 important to evaluate, then that's your decision,
20 but I think we all agree that it is, and now we
21 have a tool to do it better than our preexisting
22 tools.

23 DR. GARBER: Thank you. I wanted to
24 clarify one thing. Speaking for myself and I
25 believe probably for Cliff, I don't think anybody

00159

1 was asking for an RCT. But to suggest that there
2 are studies, observational studies to establish
3 what's available for some of the other noninvasive
4 modalities, that's the question. And what we've
5 seen suggests far less is known about these, and
6 that seems to be quite clear from the Duke report.
7 But let me, Dr. Redberg and then Dr. Cohen.
8 DR. REDBERG: Right. And I certainly
9 agree that we don't have all the data we'd like
10 about EKG, although I think the Duke study shows
11 24,000 patients for stress testing, so we're
12 certainly looking at a lot more data than the few
13 hundred we're looking at here in the CT studies.
14 But that was also all done and came into being at
15 a time when, you know, health care spending was
16 probably six percent of GDP and we're now at 18
17 percent of GDP. And so I think we're just in an
18 era where we really need to see a lot more data.
19 We may be seeing some of the same kind of data,
20 but I don't think we can really compare, because
21 the studies we saw today were interesting, but
22 they were all single center studies with less than
23 a hundred patients.
24 And certainly, I have a lot of concerns
25 about how this would play out in the Medicare

00160

1 population. I think the Duke report points out
2 that these are kind of proof concept, they are
3 very selective, a lot of them had significant bias
4 in the population. And now you're talking about a
5 Medicare population which is over 65, has a lot of
6 morbidity, certainly will have more calcium, I
7 can't imagine them holding their breath for 20 or
8 25 seconds. I think that we barely have the data
9 to look at the middle-aged healthy population from
10 these studies, and I don't think we have data to
11 evaluate it for the Medicare population.

12 DR. GARBBER: We will have more
13 discussions in the committee deliberations.
14 David, and then Tim.

15 DR. COHEN: My question builds a little
16 bit on what Rita mentioned which, again, brings us
17 back to the Medicare question. I think that
18 virtually all the presenters and all the data that
19 I have seen raises the issue of, if the optimum
20 current application of this technology is going to
21 be exclude coronary disease maybe for those who
22 are relatively low risk in the ER setting or the
23 office setting through whatever mechanism, and my
24 question is, what proportion of patients do you
25 think this applies to who are actually Medicare

00161

1 patients? My perception is this is sort of, you
2 know, a 30-to-40-year-old kind of patient type of
3 tool, and most patients who fall into that low
4 risk category are not 70 to 75, which is the
5 typical age for a Medicare patient. Does anybody
6 have an answer to that from the studies that have
7 been done?

8 DR. GARBER: Dr. Williams, did you want
9 to respond to that?

10 DR. WILLIAMS: If I understand what
11 you're saying, it's that you're concerned that
12 this is going to be something that's going to be
13 employed mostly to younger people, and I think
14 that's really true for the coronary calcium. But
15 for CT angiography, these are people who typically
16 have some demonstration of abnormality and they're
17 going to go on, and the conventional thinking is
18 that you don't want to be invasive with peripheral
19 vascular disease, so there are some high risk
20 people who end up going to CT angiography to try
21 to avoid the invasive demonstration. But for the
22 most part, these are the routine coronary disease
23 populations.

24 DR. COHEN: I know just in my own
25 experience as a coronary angiographer, most of the

00162

1 patients in whom I do catheterizations that turn
2 out to be normal are not 65 or older, that's the
3 point I'm trying to make.

4 DR. WILLIAMS: That's correct.

5 DR. GARBER: Dr. Hodgson, did you want
6 to comment?

7 DR. HODGSON: Well, the question about
8 people getting the cath now are highly selected,
9 but if you just go back to the table I presented
10 here about the pretest probability, and the oldest
11 group they have is 60 to 69, which gets us into
12 this range. With the exception of definite angina
13 pectoris in men and women, everyone else is either
14 low or immediate risk. So at least by the
15 published standards, you're going to have a large
16 proportion of those people who are exactly what
17 we're talking about, atypical or funny chest pain
18 who would fall into the category where the test
19 should be performed less.

20 DR. COHEN: I don't deny that there are
21 some patients, I just notice that that table
22 doesn't tell us anything about what proportion of
23 patients may fall into those risk categories, that
24 is what I was really trying to drive at.

25 DR. GARBER: Let me just do a quick

00163

1 time check question, if I might. We've got two
2 more questions from the panelists and Dr. Cole
3 would like to speak. It is now about 11:35.
4 There are some significant advantages of getting
5 to the cafeteria fairly soon. So, first of all,
6 do you want to do your questions right now?
7 Because as long as the presenters are willing to
8 stick around, we can continue with questions to
9 presenters after the lunch break. So, will the
10 presenters all be here after lunch? Okay. So
11 just real quickly, Tim -- actually, why don't we
12 let Dr. Cole answer, he's been waiting for a long
13 time.
14 DR. COLE: Just real quickly, actually
15 I will address that issue. I think the
16 information that you get from the data, once
17 again, I'm looking at negative and positive
18 predictive values that are out there that are
19 based on pretest likelihood of probably 50 percent
20 coronary disease. So that's the evidence basis on
21 which I'm clinically practicing, and I can tell
22 you from a clinical practice standpoint, it is an
23 enormously valuable test in these patients because
24 it's true they have a higher prevalence of
25 coronary disease.

00164

1 DR. HODGSON: But the other thing that
2 hasn't been mentioned is there's no obligation to
3 do invasive catheterization if you document that a
4 patient has single vessel coronary disease and
5 then you can manage them medically. You know
6 that, you absolutely know that with CT
7 angiography.
8 And then a very brief point that has
9 been raised earlier in terms of, you know, who the
10 right patients are for the test and evidence. I
11 mean, we are responding. We are still
12 participating in multicenter studies to hopefully
13 get to the multicenter correlation data that you
14 want. But based on the overwhelming list of 16
15 and 64-slice studies, there is evidence for
16 negative predictive value for these tests, so as
17 we use that, we are using it in an evidence basis.
18 And who do you choose? Well, if you choose a low
19 to intermediate risk patient population and you do
20 this test, you know if you get an adequate study
21 whether or not they have coronary disease, and you
22 don't know that with any other diagnostic test.
23 DR. REDBERG: Just to clarify, are you
24 telling me that a patient in your practice ended
25 up in a cath lab or stress test, whatever, and

00165

1 they had single vessel disease, you would not
2 stent, you would treat it medically?
3 DR. HODGSON: I'm telling you that I
4 believe actually, and this is actually true
5 clinical experience, they are much more likely to
6 get a trial of medical therapy after a CT
7 angiogram than they are after a cath, because if
8 you're already in the cath lab, it's very
9 difficult to restrain putting a stent into that
10 vessel, but if you're doing CT angiogram and you
11 see clear single vessel coronary disease, their
12 symptoms seem to have abated, you can be very
13 comfortable treating that patient medically. So
14 there has been actual clinical experiences where I
15 have actually not done catheterizations where I
16 might have otherwise.
17 DR. REDBERG: So if you see single
18 vessel on CTA, you might not --
19 DR. HODGSON: If I see single vessel on
20 CTA and it's not proximal or left main disease.
21 DR. GARBBER: We're going to have to
22 move on real quickly. David?
23 DR. LU: Just a comment following up on
24 Dave Cohen. Isn't the final decision more than
25 juts what a physician does? A lot of times the

00166

1 patient plays a part in this. If the patient
2 knows they have a single vessel disease, they're
3 going to push their physician to do something, and
4 it has happened many, many times, so I think there
5 are many factors to that.
6 I will get to my questions after lunch.
7 DR. GARBER: Are they long or short?
8 DR. LU: Well, I guess as all
9 physicians, we base our care on angiography to
10 identify atherosclerosis, you know, with the
11 patient's symptoms and physical studies. And you
12 know, some of the studies show that most of the
13 physicians perform the tests appropriately and the
14 ACC-AHA guidelines would be very important. I'm
15 just sort of concerned about what's happened in
16 the real world. The group from Illinois who had
17 50 cardiologists where they did CT angio and six
18 percent went to cath, so my question is, what
19 happened to the other 90 to 95 percent? Are they
20 ordering the tests appropriately? Why are we
21 seeing so many tests when only six percent go to
22 cath.
23 MS. BIGA: I think I can say this
24 quickly and then we can all go eat. What I said
25 was six percent went on for an additional third

00167

1 test, meaning that they -- one of the big concerns
2 we had with our Blue Cross carrier is that we
3 wouldn't order a nuclear test after a coronary
4 CTA, and in fact that's not true. In the first
5 thousand patients, 27 percent of them went for a
6 cath and then of those, 50 percent were stented,
7 50-some-plus percent were stented, and 17 percent
8 went on for a CABG, and the rest were medically
9 managed.

10 DR. LU: So it's pretty similar to the
11 published data?

12 MS. BIGA: Yes. And as I think Dr.
13 Cole mentioned, I think that the economic impact
14 papers that we're looking at doing some
15 longitudinal studies, that will get us back to the
16 evidence base and give us some of that data that
17 people are looking for.

18 DR. GARBER: Tim.

19 DR. BATEMAN: Well, just, you know, I'm
20 one of these guys in private practice so I'm a
21 scientist at nighttime but a clinician during the
22 daytime, and I don't know how much science that
23 you really need once you have some of this
24 diagnostic accuracy type data. Every day I see
25 many, many Medicare patients who I really don't

00168

1 want to see go to the cath lab, you know, I work
2 hard to try to keep them out of the cath lab.
3 And one patient population that I see a
4 lot of is the one that Dr. Williams brought up
5 with an abnormal nuclear scan that may be very
6 high risk, but may be absolutely nothing and in
7 fact, with what the data says, probably only about
8 10 or 20 percent of those people actually have any
9 disease. If we can answer that question with a
10 noninvasive test, I'm sure they're not going to
11 worry about radiation exposure in a 70 or 75-year-
12 old person.
13 It just seems to me that maybe we
14 should be carving out certain indications where it
15 just seems obvious, you must go on and do another
16 test in that type of patient, and you've only got
17 two choices, you've got invasive angiogram and
18 there isn't a lot of data there either, or you can
19 rule out disease with CTA. So I just wanted to
20 kind of refrain some of this. We could have
21 hierarchical science for some things but we still
22 have to answer questions for patients, and when we
23 have a lower-risk simpler and cheaper procedure
24 with demonstrated accuracy, I don't think you have
25 to do a large prospective randomized study to

00169

1 convince me that it has a place.

2 DR. GARBER: Okay, thank you. It's now

3 11:45. We will resume this discussion at 12:15.

4 (Luncheon recess.)

5 DR. GARBER: First I will recognize

6 Alex, who has been waiting very, very patiently to
7 ask his question.

8 DR. KRIST: Actually I don't have as

9 much of a question, it's more, I had a response

10 and had an opposite opinion to Dr. Bateman, that

11 he made right before our lunch break. He was

12 saying that he was impressed with the sensitivity

13 and specificity data and in many ways we heard

14 some speakers say that for comparing some

15 techniques that seem somewhat similar, I think we

16 could suggest that the sensitivity and specificity

17 is equal, that they're pretty comfortable with

18 this.

19 The more I've been listening to the

20 group, the more I've actually been thinking, well,

21 we really do need to have not just prognostic

22 information, we need outcomes information.

23 Because I'm hearing people saying more and more

24 that they're changing what they're doing based on

25 what they're going to start off with. For

00170

1 example, if you're starting off with the CT, you
2 know, there is this potential that people aren't
3 going to have a cath, you might defer a cath, you
4 might defer an opportunity to have a stent. Maybe
5 that's a good thing, and from the data that we're
6 hearing about negative predictive value, there are
7 some positive things. We're also hearing people
8 talk about deferring medical therapy, you have a
9 normal CT and you stop the statin, you stop being
10 more aggressive with your blood pressure and some
11 of your primary prevention measures.
12 Conversely, there is a possibility
13 which we've sort of been skirting around here
14 about false positives. So people go and they have
15 the CT scan, there's going to be some element of
16 false positives, we haven't talked as much about
17 the positive predictive value, but people will go
18 on to catheters and other interventions that they may
19 not get otherwise.
20 We've been talking about which patients
21 are going to be indicated for having this
22 procedure. There is a natural barrier for doing a
23 cath, it's an invasive procedure, a lot of
24 patients don't want to do it and clinicians don't
25 want to do it. That barrier will go away. If

00171

1 it's a CT, there's a benefit that some of the
2 invasiveness is not there. But there is also a
3 possibility to extend this to other patient
4 populations, patients who you wouldn't normally do
5 some type of imaging technique and know their
6 anatomy in the coronary arteries, and that does
7 have potential to lead to harms with positive
8 predictive value.
9 We talked about identifying subclinical
10 disease and the benefits with that, and there are
11 some benefits. As a family physician, what I
12 struggle with every day is getting people to do
13 the things that we already know are indicated.
14 And I have people come to me with their coronary
15 calcium scores, and about all that happens is I'm
16 finally able to get them on the therapy that they
17 should be on. I haven't had a scenario yet where
18 I say well, you're on maximum medical therapy,
19 let's now move your statins to get your LDL from
20 100 to 70. I haven't had that, I know it can
21 happen.
22 But there is this case too on the
23 negative end that we identify more subclinical
24 disease and then there's a set of things that
25 people are talking about doing different, and

00172

1 doing those things different have their associated
2 potential benefits and potential harms. And so
3 I'm actually in a position different than
4 Dr. Bateman. The more I hear people talk, the
5 more I want to see some outcomes data, and not
6 just sensitivity and specificity data.
7 DR. GARBBER: Thank you. I wanted to
8 ask the Duke team if they would be willing to
9 address some of the questions that were addressed
10 during the preceding session, especially about
11 where we have evidence and where we don't. A lot
12 of this was already answered in the report, but I
13 wonder if you might want to expand on that.
14 DR. MARK: So, I just want to take a
15 moment to add a few comments perhaps to stir the
16 pot a little more, and I'll let my colleagues add
17 anything if I've forgotten to say something that I
18 should have said. It seems like part of this
19 discussion is being driven around the question of
20 what the nature of this technology is and whether
21 we're dealing with a technology that's
22 substantially similar to other technologies that
23 we already have in place so that we can, by
24 borrowing or extrapolation, really assume that we
25 know a great deal about it and how it performs and

00173

1 what it does and what it means.
2 It's like we're saying this is a knife,
3 we have other knives in our armamentarium, we know
4 what a knife is, we know what it looks like, we
5 know approximately how to tell what its properties
6 are, and therefore, what, do we need to do a lot
7 of large fancy outcome trials or randomized trials
8 to figure out, yes, this is in fact a knife? But
9 the question is, is it in fact a knife, does it
10 cut meat the same as it cuts bread, does it cut
11 ripe tomatoes, does it perform the same under
12 different circumstances, and can we assume that we
13 actually understand how it performs under all
14 those clinically relevant different circumstances.
15 There has been a lot of discussion
16 about the negative predictive value of CTA. I
17 think a lot of confusion probably attends to the
18 use of the word predicted value. If you think of
19 it as a post-test probability of disease instead
20 of predicted value, you would realize it's not a
21 feature of the test at all, that predictive value
22 isn't a characteristic of the test, it is a
23 function of the sensitivity, specificity and
24 prevalence. It's a calculated value. It just so
25 happens people tend to calculate it in the papers

00174

1 where they also calculate sensitivity and
2 specificity. And journal editors don't edit that
3 out, which is what they should do, or at least add
4 the caveat that this is relevant only to this
5 population and substantially similar populations.
6 So we don't know what the predictive
7 value is or the post-test probability. We can
8 calculate it, but that assumes we know the
9 prevalence in the new target population and we
10 know the appropriate sensitivity and specificity
11 in that population, which we would have to assume
12 can be extrapolated from the populations that have
13 been studied. Because what I'm hearing is that
14 people have intentions to study populations with
15 this that are not representative of the patients
16 that have been studied in the literature so far.
17 For example, the emergency room
18 setting, an interesting population to study. I
19 think it's important to keep in mind, though, and
20 this is a good example of one of the problems with
21 a new technology, is that there is a lot of
22 information that we already have about those
23 patients, and we actually do pretty well managing
24 those patients. Harry Selter had a paper a few
25 years ago, a multicenter trial looking at over

00175

1 10,000 patients coming in to rule out coronary
2 disease using a predictive instrument that he
3 developed. And he showed that basically with
4 simple clinical tools without even a troponin
5 test, you could basically, you had a two percent
6 rate of people going home from the emergency room
7 with an MI, so a very low risk of making the wrong
8 decision if the concern was sending somebody home
9 who's got a diagnosis that may be potentially
10 life-threatening.
11 So in that context, how are you going
12 to be able to actually show that you're going to
13 do substantially better? You may change the mix
14 of how you're going to do the test or the risk
15 stratification tests, but I think some of the
16 calls for outcomes simply suggested one of the
17 features of the noninvasive imaging of coronary
18 arteries is that it lowers the bar for evaluating
19 coronary arteries. You don't have to pay that
20 little price of sticking a catheter into the
21 artery and potentially causing a catastrophe in
22 that way, even though the risk is small.
23 But there may be, as I said before,
24 unintended consequences of getting that
25 information. One of the things that I think is

00176

1 hard to evaluate from the literature right now is
2 how many patients are going to have those
3 intermediate risk lesions, the thing that looks
4 like something but I can't quite tell, and I'm not
5 comfortable just ignoring it, maybe I ought to get
6 an angiogram. So I study a patient that I wasn't
7 intending to study with invasive study, with a
8 noninvasive study that leads me to get an invasive
9 study just to clarify it, and then while I'm here,
10 maybe I should do a PCI procedure on it.
11 I'm not saying that's necessarily the
12 most likely scenario, but I'm saying what should
13 happen or what would be logical and most likely
14 will happen is the difference between the
15 theoretical considerations that we've heard this
16 morning, and outcome data that actually show us
17 what the truth is when you put this thing into
18 play in large practical scenarios. Guys, anything
19 to add? Thanks.
20 DR. GARBBER: Thank you, Dan. Do the
21 panelists have questions?
22 DR. PETERS: I just found out yesterday
23 that one of the big private practices in Baltimore
24 decided to make electron beam CT for calcium
25 scoring free for anybody who walks in. Now these

00177

1 people are businessmen, they are not doing this to
2 help humanity, and I doubt that Baltimore is too
3 different from any other city. The people who
4 presented today are the best possible people you
5 want doing this, they're here for that reason.
6 Otherwise they'd be out making money, they
7 wouldn't be here.
8 So before we throw these techniques out
9 to the wolves and let people just use them
10 indiscriminately, I think we need very very
11 specific data and information as to what to use
12 them for. Once an office gets 64-slice CT, you
13 know they're going to use that on every single
14 patient because it's going to have to pay for
15 itself, and that's the problem. It's not you guys
16 in here, I would trust you guys to do what is
17 right. But at least in Baltimore, these things
18 are run as businesses, and these people are as
19 good at business as we are at medicine, and that's
20 what they're trying to do. And I'm afraid that's
21 what we're going to wind up doing unless we are
22 very, very careful with these new and very, very
23 promising techniques., .
24 DR. REDBERG: I agree. I have been
25 told by more than one hospital physician executive

00178

1 that they offer EBCT as a loss leader because it
2 will generate so much downstream testing for the
3 cath lab for nuclear testing. I don't know what
4 Steve was going to volunteer, but just from what
5 Dan was just mentioning, I think, I just did a
6 back of the envelope calculation, and if you
7 change the prevalence of CAD from 50 percent, as
8 it was in these studies that we looked at, to 10
9 percent, the false positive rate goes up to about
10 40 or 50 percent, so clearly the false positive
11 rate is going to be a lot higher in the low risk
12 kind of population. And I would just comment that
13 I know at UCSF where we offer it but I don't see
14 it being used much clinically, but the big surges
15 have been after Oprah goes on TV and talks about
16 it, the Time magazine cover that you showed,
17 Elliott, shows a CT image and says this test can
18 prevent a heart attack. Well, I would like to
19 know how that test can prevent a heart attack and
20 that's what I think we have to be mindful of. We
21 don't have the data that this test can prevent a
22 heart attack, or any test can prevent a heart
23 attack, but we're going to have a lot of demand
24 for it. And we're now talking about this test
25 plus all of the downstream testing, and I think we

00179

1 really need some data or decision models at least.
2 DR. GARBBER: John, go ahead.
3 DR. HODGSON: I just want to address
4 Dr. Peters' comments. I think we have to stay
5 above the fray of what unscrupulous people might
6 be doing. We're here to evaluate this
7 scientifically as people who are interested in the
8 public's best health care, and whether you're
9 talking about having your car fixed or going to
10 Burger King, there are people out there trying to
11 make money and doing things that aren't
12 necessarily appropriate.
13 So, I don't think anybody here would
14 advocate anybody using these tests
15 inappropriately, which is why myself and many
16 others in the room are working diligently to have
17 appropriateness criteria and to be sure people are
18 adequately and appropriately trained, and then
19 labs are accredited, physicians are certified,
20 et cetera, et cetera. So we would share your
21 concern that any technology, whether it's EKG,
22 nuclear scan, echo, whatever, can be abused, and
23 we would strongly dissuade that and are working
24 diligently to try to prevent that from happening.
25 DR. FISHMAN: I can speak up to defend

00180

1 Baltimore. I mean, the same, it is always going
2 to be an issue, and I was guessing the wrong
3 hospital, but one of the other hospitals in a very
4 similar thing with lung cancer screening, that was
5 kind of the rage a couple years ago where they
6 said okay, free lung cancer screening, or was it
7 \$25, something like that. And one of the things
8 of course is to require, a typical situation is
9 they allow people to come off the street and just
10 show up and get the scan.
11 I mean, whether it was screening CT or
12 anything else in terms of cardiac, we would never
13 have a patient come in without being referred by a
14 doctor, so there have to be some rules. And
15 people will always find the lowest denominator of
16 getting through, and I agree that is potentially
17 an issue.
18 I think in terms of the other comments
19 related to when we start using a more general
20 population, how will it change things in terms of
21 management, I think that's a valid point. One of
22 the things which is probably no great surprise is
23 we've run CT on everything from the adrenal to the
24 kidney and to the heart. When they are 55 years
25 old, it's not the same thing as coming with the

00181

1 original equipment when you're 20 years old. So
2 when you read articles presented on whole body
3 screening, the people in California, nothing
4 against California, said that, Eisenberg wrote an
5 article that 80 percent of all the patients who
6 came for screening CT were positive. Now it's
7 impossible for 80 percent of healthy people to be
8 abnormal. But of course we know that if you go
9 for a CT scan of your whole body at age 55, you're
10 going to have scars in your lung, 80 percent, 50
11 percent will have a nodule under five millimeters.
12 The same thing with cardiac, the more patients you
13 scan in that population, you will see minimal
14 disease, and as the scanners get better, with the
15 16-slice, I would think you didn't see the little
16 soft plaques or things like that, but with 64 you
17 do see a lot of minimal disease. So I think
18 people will need to be in some ways recalibrated
19 as to what is significant disease and what is not.
20 But it is a true point that the more people get
21 screened and the better technology gets, the more
22 you will pick up subclinical disease or very
23 minimal disease.
24 DR. KRIST: Well, I was just going to
25 say, with all these examples as a primary care doc

00182

1 dealing downstream with it, and all those false
2 positives that you mentioned, they're not
3 insignificant. I mean, people come in with their
4 abnormal CAT scan for their lung cancer finding
5 and then for years afterwards, even after you've
6 done tests to say it's okay, even after you've
7 done risk factor modification and you're dealing
8 with all the consequences of this abnormal test
9 and how often do you repeat things and what do you
10 do. And that's where I just think that that
11 outcomes data is important, because that will at
12 least capture some of those downstream unintended
13 consequences that are significant, they are real
14 for the patients, they're real for the clinicians
15 who are left to interpret and try to deal with the
16 results.
17 And I'll even take, Bob, what you were
18 saying one step further. You were concerned about
19 it being used unscrupulously. I have concerns
20 about it being used with the best intentions
21 because there is an allure for information. I
22 mean, that's one of the reasons it's on the cover
23 of Time magazine, because this is a great source
24 of information and people like information. And
25 it's very easy for a clinician to say well, we're

00183

1 going to do a test and we're going to find this
2 out; it's much harder to use clinical judgment,
3 risk stratification and those other things, and so
4 it just becomes easier. And so even if there is
5 not business and money generating overuse, there
6 are other natural tendencies with good intentions
7 that increase the use as well.
8 DR. GARBER: I would like to change to
9 a different subject that's also for you, Elliott,
10 and I would like to invite the other speakers to
11 respond to this. One of the things that I think
12 we have to grapple with is an issue you raised in
13 your presentation and other people have also
14 raised, which is that this technology is improving
15 constantly. And it sounds like there was, we
16 heard varying views about the differences between
17 16 and 64-slice, but clearly 64 seems to be
18 better. And the question I have is, with any
19 technology that's evolving, at some point you have
20 to say we're going to evaluate it now, what it is
21 now. We know it's probably going to be better in
22 the future, we know it was worse in the past, but
23 what is it that we're really evaluating. And CMS
24 is in the position, having to make a decision
25 based on the evidence that we have today, which is

00184

1 for a mixture of technologies.
2 So, the first part of the question is,
3 should we assume that 16 and 64-slice and
4 different ways of doing it are similar enough that
5 it's all one technology and that's what we're
6 evaluating, or should we split them up and take
7 one of them? What do we do then about the very
8 limited data, and for which purposes can we lump
9 or split these different variants? One of the
10 things I'm disturbed by are the claims that this
11 is getting better which, based on what I've heard
12 today and what I've read in the literature, I have
13 no doubts about that, and I also suspect that
14 we're going to end up using this pretty widely at
15 some point, but that's partly based on optimism of
16 our future technological change. We need to
17 advise CMS about what to do based on the evidence
18 at hand. So is this a good time for us to take a
19 look at the technology, or is it premature because
20 it's going to be a lot better in six months or a
21 year, or we'll have better evidence? Is this the
22 right time? And should we treat this all as one
23 thing? And by the way, I'm only referring to CT
24 angiography, we haven't really discussed MRA
25 today, but is this a good time to look and should

00185

1 we treat these separately or the same?
2 DR. FISHMAN: I would say it is a good
3 time. The way I kind of look at deciding what's a
4 good time, I mean, recognizing that things will
5 always change, it's kind of the equivalent of
6 never buying a computer, because the next Apple
7 will always get better. The reason I think that
8 the time is right is because if you look at the
9 percent successes of doing a good study, that
10 really to me is the magic number, and if you're in
11 a situation where 70 percent of the studies are
12 adequate, then you say well, that technology is
13 not really there. When you look around and you
14 easily can get in the high 90s for doing an
15 excellent study patient after patient, not, you
16 know, excluding patients because they didn't meet
17 this criteria or that criteria, just taking
18 consecutive patients, to me that means the study
19 is ready for prime time.
20 I think also, I mentioned both the
21 technology side from the scanners to the
22 post-processing. I think one of the great
23 variabilities in any type of imaging is the
24 reading of the study, for instance, is there a
25 single reader or are they read by multiple people,

00186

1 you know. I think now with a lot of very
2 dedicated software -- initially cardiac CT was
3 read with the same software that the aorta was
4 read with and everything else, so you really
5 didn't have slope or design for four-millimeter
6 vessels. Now every vendor is providing
7 cardiac-specific software, so I think that that
8 makes it for the common man much easier to do the
9 study and to make the study results more
10 reproducible.
11 In saying that 64 versus 16, Hopkins
12 runs a number of courses, so if I surveyed the
13 audience, I would say at this point a show of
14 hands typically, and this is general practice
15 radiologists, I think, roughly about 30 percent
16 have access to 64-slice technology. And then if
17 you ask the question, how many people are going to
18 have it within six to nine months, almost
19 everybody else raises their hand. It has become
20 almost a consensus on the grassroots level, it
21 seems to me, that if you want to do cardiac CT and
22 do it well, you need to be at 64. Yes, there's
23 some articles that are very good at 16, the Duke
24 group presented those, but in looking at what
25 we've done and what we do, and in looking at what

00187

1 people are doing across the board, I think
2 everyone who is really taking cardiac CT very
3 seriously and putting an effort into it is doing
4 it at 64, and I think that's really where the
5 standard has to be.
6 And I think the data is just
7 substantial. I mean basically, again, we can
8 argue about the patient selection and everything,
9 but article after article is impressive. The
10 presenters today were speaking about, as I said,
11 99 percent negative predictive value, positive
12 predictive value at 88 to 92 percent. That's very
13 strong numbers taking all comers. So I think
14 really, the time is here. You know, we can say
15 dual source energy, flat panel, everything that's
16 going to come along, but I think for a good period
17 of time we're at really a sweet spot, this is
18 going to be the technology that will be, the
19 Medicare patients will be able to get on a routine
20 basis, and I think it's a very stable technology.
21 DR. GARBBER: Yes.
22 DR. WILLIAMS: I think you're asking a
23 good question, and this was sort of the basis of
24 our comments from the ACC, that it really is a
25 moving target and that's why we're having to do

00188

1 the guidelines over and over again. But to
2 amplify that a little bit, we hear some very
3 different things about, there are some new
4 technologies available that will make this a
5 little better than it is now, but we're also
6 hearing from some experts that with the 128 and
7 the 256-slice machines, that the radiation
8 exposure is higher, and that's the price you pay
9 for thinner slices, so that we may have 64 slices
10 for quite a while. And to the extent that that's
11 true, this is a good time to evaluate them, as
12 long as we take the attitude that this will have
13 to be reevaluated at some point in the near
14 future.
15 I wanted to mention, in that same
16 regard, something that Dr. Hecht said about
17 nuclear and putting it on the list of things where
18 there wasn't data. Well, nuclear cardiology
19 actually came up at a time where there was a lot
20 of demands for competing tests. And there is data
21 randomized to do the test or don't do the test, or
22 do the test but don't tell the doctor, look at the
23 outcomes. And there is outcomes data showing that
24 it's cost effective and useful. But I wanted you
25 to understand that all this data was collected

00189

1 after people like Dr. Bigman had put together
2 guidelines and there were organizations to
3 solidify how the test should be done so all the
4 manufacturers had come together, there was
5 software available, so people really had a stable
6 thing that they could actually do long-term
7 outcome studies on, and the studies were all being
8 paid for at that time.

9 So I think, you know, you have to sort
10 of put the data in that kind of perspective to get
11 that history, but you're going to have to put up
12 with the fact that it will have to develop and it
13 will have to be supported until it can stand on
14 its own.

15 DR. GARBER: Dr. Poon.

16 DR. POON: I just find it a little
17 confusing when we are looking for an evidence base
18 to discuss but when we look at the literature, the
19 16 and the 64-slice technology are very comparable
20 and there is a lot more published data on the 16,
21 probably three times, to show that the accuracy is
22 very similar. I grew up in a four-detector
23 generation and I have to say four-detector is
24 impossible to do, but once in a while we were able
25 to do a couple patients on a four-detector. 16

00190

1 was really the technology that changed the entire
2 way that we look at the heart. And when 64 came
3 along, I thought that it was easier, and I think
4 maybe for the radiologists who are not comfortable
5 with using a heart rate lowering regime, 64
6 definitely is an easier technology to handle and
7 you don't have to spend as much time preparing the
8 patient.
9 But from a cardiologist's standpoint, I
10 really did not see a dramatic difference in terms
11 of the image quality compared to the 16-slice
12 data, particularly when we're looking at the
13 negative predictive value or the specificity of
14 the tests. I thought that if you have a normal
15 coronary, it doesn't matter whether it's 16 or 64,
16 they look very similar. It's only when you have
17 tough lesions that the 64 really gives you that
18 .2-millimeter resolution improvement that may
19 help, and it cuts down the loss due to
20 calcification, but for the strength of CT, I don't
21 see the advantage in my everyday clinical
22 practice. So I just think that since there is a
23 lot of 16-slice already out there and people who
24 use this technology know it very well, to just
25 have to raise the bar because of the ease of use,

00191

1 I just don't think that that is a good enough
2 reason.

3 DR. GARBBER: Yes, Dave Lu.

4 DR. LU: I think the people who work on
5 MBCT are making the negative predictive value very
6 high, and that's the major thing that they are
7 stressing, but that's not going to affect the
8 outcomes of a patient. What we're looking for the
9 patients affecting impact is the positive
10 predictive value. And so you're pushing this
11 technology as far as negative predictive value,
12 and yet the patient will not get a cardiac cath,
13 but what's going to happen to the positive value
14 with that technology?

15 DR. GARBBER: Carole.

16 DR. FLAMM: Well, I just wanted to make
17 a few comments in relation to this discussion of
18 the 16-slice technical performance issues. In the
19 published studies and as reviewed in the
20 technology assessment, the rate of patients who
21 had some technical limitation in their images,
22 some blurring, some inadequate evaluative value,
23 were up to a quarter of the patients. And I think
24 if we're using this test for its negative
25 predictive value for ruling out significant CAD,

00192

1 giving them a clean bill of health, we have to see
2 all their vessels well. And if any one of their
3 vessels are not well seen, you can't exclude a
4 lesion in that area.

5 And also, I think that 16-slice studies
6 in particular focus on the larger caliber spectrum
7 of vessels that are 1.5 millimeters or greater,
8 and so you're not really seeing the smaller
9 vessels, some of which may have some implications,
10 not a complete clean bill of health. So if we're
11 using this for ruling out CAD, taking people off
12 of medical management and giving them a clean bill
13 of health, the 16-slice imaging may not be
14 adequate for that in a robust reliable fashion
15 across the country.

16 DR. GARBER: Yes.

17 DR. HODGSON: I guess I'm a little
18 confused about where this conversation is going.
19 The test at hand was to determine whether these
20 technologies could find obstructive lesions in the
21 coronary arteries vis-a-vis invasive cardiac cath.
22 Now all of a sudden we're talking about, you know,
23 finding subclinical disease in minor vessels that
24 nobody is going to do anything about. So we
25 should probably try to focus, unless we're going

00193

1 to change the question. And if the question is,
2 can cardiac CT exclude subclinical
3 atherosclerosis, but I don't think that was the
4 question that was posed to the panel, and I think
5 we simply need to focus, because we're wandering
6 all over the place here as a group.

7 DR. GARBBER: I would like to -- yes, go
8 ahead.

9 DR. WAHL: I just wanted to wander a
10 little further about the data on this. I do think
11 that to answer these questions, one concern I have
12 is a lot of the discussions had to do with low
13 prevalence patient populations, and these
14 specificity figures for 16-slice are really low.
15 And I am concerned, as was pointed out, that if
16 you have a lot of people who don't have disease
17 and you have a test with low specificity, you're
18 going to generate a lot of false positives. The
19 impact of those false positives is going to be to
20 do downstream medical testing of a variety of
21 types which may not in any way benefit society,
22 they won't benefit the patient, and they certainly
23 will raise costs.
24 So, costs aren't supposed to be part of
25 our discussion, but I am concerned that the one

00194

1 study that the Duke group pointed out with a
2 sensitivity of 30 percent, specificity of 49
3 percent on a patient basis, I mean, that level of
4 specificity could really result in a high
5 frequency of false positives with 16-slice. I
6 have access to a couple 16-slice CT machines that
7 are hybrid devices as far as our nuclear medicine
8 program, and because of some of these issues we
9 limit our use of these CTA devices because the
10 other technology does seem to be better. So I do
11 think it's appropriate to worry about what the
12 effects would be of performance characteristics
13 which are potentially, or which appear to be worse
14 with less, with older technology.
15 Further, the selection bias in terms of
16 introducing the studies, I mean, the fact that the
17 patients had to be able to hold their breath for
18 20 to 25 seconds, and even with that you have a
19 20-plus percent frequency of failure to study in
20 some technical manner, or near failure, that
21 suggests to me that there are major issues with
22 applying that technology to a patient population
23 that would be the ones hardest to take the cath.
24 So I think that discussion is appropriate, and I
25 do think I feel far more comfortable discussing

00195

1 technology that has a lower failure rate, a higher
2 frequency of including the entire population, and
3 a lower false positive rate, and I think that
4 would be 64 or more, just from what I see in this
5 literature. I would have to look at all the
6 literature before I make a decision, but I'm
7 concerned that there are differences.

8 DR. LU: Let's narrow down the
9 question. Is the CT angio, is it equivalent to or
10 is it going to replace cardio cath? CT angio
11 unfortunately (inaudible) greater than 50 percent.
12 There are very few centers that have used CT angio
13 at greater than 75 percent, which may be
14 clinically much more significant than 50 percent.
15 Since neither of these studies can really
16 (inaudible) determine which ones are (inaudible),
17 so let's just stay with the lesion severity. Do
18 you think that the lesion severity with the CT is
19 as good as cardiac cath, narrowing it down to that
20 question.

21 DR. HODGSON: Well, I want to point out
22 that evaluation of lesion severity has been
23 attempted even with cath for years and is
24 notoriously variable, as you know. The
25 fundamental question here is can we use cardiac CT

00196

1 to determine the trigger point for a patient, and
2 I believe the answer to that is yes. And whether
3 that's a 70 percent or 69 percent or 73 percent
4 doesn't influence me. Does it influence you, 73
5 versus 69?
6 DR. LU: Again, taking (inaudible).
7 DR. HODGSON: Well, sure. This is an
8 anatomic test, it has to be applied by a physician
9 to the specific situation that the patient
10 presents with, which is what we really all are
11 talking about. What is the right population to do
12 this in? We've all said low to intermediate
13 probability; nobody is going to suggest this for
14 somebody who comes in with an HDL of 25, clutching
15 their chest with a cigarette in their mouth, no,
16 we're going to send them to the cath lab. Those
17 are very high probability patients, but these
18 other folks -- and these angio comparison
19 criticisms are fundamentally an issue because
20 those patients got in the study because they were
21 going to have a cath, so they are already on the
22 way to the cath lab, and then they also had a CT
23 to do the comparison on.
24 The lowest prevalence in any of those
25 studies is in the 30s, and if we extrapolate back

00197

1 to 10 percent, then you're right, there will be
2 some potentially false positive studies, but a
3 whole lot of people who were spared additional
4 tests. And you really have to choose, are you
5 going to use a different test as a first one? In
6 other words, let's say you wanted to pick a stress
7 test, what's the sensitivity and specificity for
8 that test, is it really better? I don't think so.
9 Will it lead to downstream testing? You work in a
10 cath lab, you know very well there are a lot of
11 patients referred for an equivocal stress test.
12 And then also, the alternative is to do a cath on
13 everybody. Then you have subjected a lot of
14 people to potentially a morbid or mortal procedure
15 who may not have needed it.
16 So, obviously we all would like to have
17 a perfect test, 100 percent sensitivity, 100
18 percent specificity, works the same in any patient
19 population. We don't have any of those, but I
20 think given the armamentarium that we do have, for
21 an appropriate physician in an appropriate
22 patient, this test can be very helpful in
23 discriminating who needs additional workup and who
24 doesn't.
25 DR. GARBER: Could I just ask you, if I

00198

1 heard you correctly, you're saying that the
2 studies say that the best population for this test
3 is the low to intermediate probability population.
4 The test has been studied in intermediate and high
5 risk populations, so we have to make one
6 extrapolation to --
7 DR. HODGSON: I'm not sure it has been
8 studied in a high risk population because we don't
9 really know the risks in most of those studies.
10 Maybe the Duke group can answer that. Was there a
11 clear listing of what we're talking about,
12 calculated for those patients?
13 DR. GARBER: There is a prevalence in
14 all --
15 DR. HODGSON: Well, prevalence isn't
16 risk. Prevalence is knowing what the cath showed.
17 DR. GARBER: That's what I mean. So
18 you're saying its design --
19 DR. HODGSON: They may all have been
20 low risk patients for all I know, in terms of
21 their pretest likelihood. Obviously a lot of them
22 had disease but --
23 DR. GARBER: Well, this gets to the
24 second part of my question. So the studies, and
25 Manesh may correct me if I'm wrong, the studies

00199

1 are done primarily in an intermediate and high
2 probability population, of having angiographic
3 coronary disease; is that correct?
4 DR. PATEL: Right, but let me just make
5 sure this point is clear. I don't think, none of
6 the studies published what the TIMI risk or
7 whatever chest pain risk score is for the patient
8 going in. However, the studies tell you that the
9 reasons were that someone suspected coronary
10 artery obstructive disease and were referring the
11 patient for coronary angiography, invasive
12 angiography, so the physician suspected coronary
13 artery disease, or the patient in some of the
14 studies had no coronary artery disease with a
15 stent or bypass, few of those are included in
16 these studies, or the patients had a positive
17 functional study or stress study before this that
18 then led them to go to an invasive coronary
19 angiography and got a CT angio. Unfortunately, in
20 these six 64-slice CT, you cannot tease out the
21 population and say what was the clinical
22 indication for every single patient. And you're
23 right, there is no risk score applied to all
24 patients.
25 DR. GARBER: What was the prevalence of

00200

1 the angiograph coronary disease?

2 DR. PATEL: The prevalence, one study
3 does not report it, the weighted prevalence seems
4 to be about 54 percent.

5 DR. GARBER: Right. So intermediate to
6 high probability, and we're talking about using it
7 in pretest risks that are low to intermediate
8 probability. The difference between this test and
9 some of the other noninvasive tests is that they
10 have been validated, the other tests have been
11 validated prognostic tests. So although they
12 appear to have inferior test performance
13 characteristics of predictive angiographic
14 disease, they are validated as prognostic tests,
15 so there is an important difference, but we're
16 lacking some data and don't have direct tests of
17 test performance in the relevant population, nor
18 do we have information about their prognostic
19 implications. Is that a fair statement about the
20 state of the literature? Yes.

21 DR. HECHT: I think the question here
22 is not a prognostic question. We're not doing
23 this test, CT angio, to determine what the
24 patient's prognosis is, we're doing it to
25 determine whether or not he needs to go to the

00201

1 cath lab for a possible intervention. We don't
2 take patients to the cath lab for prognostication,
3 we do it to see if there's an obstructive lesion,
4 and if we have sufficient evidence, then we go
5 ahead and we fix it. So it's nice to have
6 prognostic data, but then you'd be talking about
7 using it as a prognostic tool, but that's really
8 not the issue.

9 The second thing I would like to
10 mention is since I was up here before, I was
11 updated. We did query the ACC national cardiac
12 data registry in terms of what percentage of the
13 366,000 patients in the database had indeed
14 non-obstructive disease. I gave a number of 18 to
15 20 percent, that number is 35.8 percent, so the
16 magnitude of the problem is far greater than we
17 appreciated.

18 DR. GARBER: Thank you. Rita.

19 DR. REDBERG: I would say that in the
20 low to medium probability that we're talking
21 about, I don't think we're talking about taking
22 people to the cath lab to decide what to do,
23 because that's a population where medical therapy
24 is going to be better than anything else we could
25 do based on the cath lab. So, I still think we're

00202

1 back to the situation we talked about that we need
2 a test that's going to give you some information
3 about events, and I think patients are interested
4 in not so much whether or not they have a blockage
5 but whether or not they're going to have a heart
6 attack or die, and that information we do have
7 from functional testing to base treatment on,
8 among other things.

9 DR. GARBER: This leads to the question
10 I have for Dr. Williams, actually. We've heard a
11 variety of possible uses for CT angiography which
12 includes this question of going to cath, but it
13 also includes the question of should somebody be
14 put on an aggressive risk factor modification
15 program. And I earlier asked the question about
16 the technical characteristics of the test, how
17 it's changed over time, and it seems to me we're
18 hearing some evidence today that there are some
19 different views about its role in management. Do
20 you see the management algorithms that incorporate
21 CT angiography as undergoing change? And I think
22 in your talk you did suggest that and that's part
23 of the reason to keep revisiting the guidelines
24 and updating them.
25 DR. WILLIAMS: Right. And I think if

00203

1 you're asking from a clinical point of view, I'm
2 going to give you an evidence-based answer that no
3 one else would agree with.
4 Number one is if you take, you brought
5 up the topic of single vessel disease. There
6 really is no evidence that you're going to improve
7 the person's prognosis in single vessel disease by
8 taking them to the cath lab and doing a
9 revascularization. Perhaps in proximal LAD that's
10 questionable, definitely in left main nobody even
11 has randomized data, you just get revascularized.
12 But for the rest, there is really no value in
13 that. And so who do you really need for
14 angiogram, who do you really need for
15 revascularization, that's a real valid question,
16 and so far, you know, the data is relatively
17 small.
18 Most people are going to benefit from
19 medical therapy, from an exercise program, from
20 excessive diet therapy that is evidence-based and
21 most people ignore, and those are tried and true
22 things. So to that end, you can actually do a
23 lot. You can actually bring a test on line like
24 CT angiography that can rule out -- you know,
25 everyone is talking about 1.5 millimeters, but

00204

1 give me a 4 to 6-millimeter left main that you can
2 see clearly, and a 5-millimeter LAD and circumflex
3 that you can see clearly in the proximal portion
4 and show me there's not evidence of disease there.
5 And I think you've got, obviously you will have to
6 test this to satisfy that side of the table, but
7 these are things that as a clinician you can use
8 in a robust way to say this is going to affect
9 patient management.

10 DR. GARBBER: Yes, Dr. Hodgson.

11 DR. HODGSON: I go back to your point a
12 moment ago about the prevalence. Going to this
13 table compiled by Givens, et al., intermediate
14 prevalence is defined as 10 to 90 percent, so
15 certainly the 50 falls right in there.

16 (Laughter.)

17 DR. HODGSON: And other schemes are
18 similar to this, so high prevalence is really
19 high, and intermediate is relatively wide.

20 DR. GARBBER: That's one definition, but
21 some of the others use different definitions of
22 intermediate.

23 Are the panelists ready to move on to
24 the voting questions? And I should add that if
25 you feel you need to ask more questions to

00205

1 presenters, you're open to do that during this
2 period of discussion, but for the most part if you
3 know you have some questions, now is the time.

4 Yes, Charlie?

5 MR. QUEENAN: Did we come to a
6 conclusion, or are we going to come to a
7 conclusion with the panel vis-a-vis the question
8 you asked a little while ago, that started the
9 discussion about the difference between 16 and 64,
10 whether we will look at those separately.

11 DR. GARBBER: Well, I think that, my
12 intent was for us to pursue that in the context of
13 the questions, but it may be a good thing to
14 discuss now, since it will cut across questions.
15 Do we want to restrict our considerations to the
16 64-slice for some or all of the questions, or do
17 we want to lump it together, or for some
18 indications look at both? There are many ways to
19 go with this. The idea is so that this will have
20 some clarity about how we assessed the evidence
21 base. Well, that was a resounding thud.

22 DR. COHEN: It sounds like from what we
23 heard earlier that even if we include 16 in our
24 assessment today, everybody is going to have 64 by
25 the time this sees the light of day anyways, so I

00206

1 wonder if it's so important, and I think, I guess
2 what that means is we are probably implicitly
3 going to 64 because that's where it's headed.
4 DR. FISHMAN: You know, I think, again,
5 in terms of where things are, you're right. In
6 terms of the marketplace, that's definitely true.
7 And we're not going to have some technology that
8 is limited to a few sites, and create rules that
9 nobody can execute. I think if you look at the
10 Duke group, their presentation, or look at Blue
11 Cross Blue Shield's analysis of 16, I mean
12 everyone has basically said that based on the
13 literature, no one would approve 16-slice CT as a
14 technology for reimbursement. So I think maybe
15 it's almost like beating a dead horse. So you
16 really have to say, you know, the question you had
17 before, should we wait for the next technology,
18 which would result in never getting anything done,
19 which is the flip side of the question.
20 I think 64 is the state of technology.
21 There's a big difference between 64 and what's
22 going to follow, and there's a very practical
23 reason. If you look at CT scanning cost of
24 purchase, in 1980 to buy a scanner that took 10
25 seconds a slice, it was 1.1 to 1.3 million. The

00207

1 first spiral scanner cost 1.1 to 1.3 million in
2 1989. The 4-slice in 1993 cost 1.1 to 1.3. The
3 16-slice in 1999, 1.1 to 1.3. The 64-slice, 1.1
4 to 1.3. The dual source and following, 2.5 to 4
5 million. So there is a substantial difference,
6 basically a minimum of twice the cost. I think
7 that's going to be a major limitation of that
8 technology regardless of how much better it is in
9 theory, if it is better. 64, as I think I said,
10 in terms of distribution across the country for
11 big hospitals, small hospitals, community,
12 academic, small city, big city, it is becoming the
13 state of the art.

14 DR. GARBBER: Let me ask, I'm not in a
15 position to make a motion or anything of the sort
16 as chair, but I would ask if this is a reasonable
17 way to approach this. Assume that 64 is what
18 we're interested in. When we look at data on 16,
19 we might assume that the data that we're lacking
20 on 64 will be at least as good as for 16, in other
21 words, sensitivity, specificity, indeterminate
22 rate and so on. So in that sense we might want to
23 consider the evidence on 16, assuming that the
24 data on 64 will be at least as good. And that's,
25 I think I've summarized what you've said, but go

00208

1 ahead.

2 DR. FISHMAN: If you just look at,
3 there is no way than you can do worse than a 64,
4 hopefully. I mean, you're basically getting a
5 scanner that spins a minimum as fast with improved
6 spatial resolution.

7 DR. REDBERG: I think concerns were
8 raised about specificity and I think that's what I
9 worry about, especially in the low prevalence
10 population, that we're going to see things that
11 really aren't anything.

12 DR. GARBER: Maybe I could ask the Duke
13 people, because this is always a tough question,
14 the variance across studies within one technology
15 versus across two. Did you think that the
16 specificity was clearly worse for the 64-slice, or
17 is this within the range of variation within a
18 technology?

19 DR. PATEL: I just want to make sure I
20 understand the question. Was the variance in 64
21 different than 16?

22 DR. GARBER: Basically, is the
23 specificity different for 64 than 16?

24 DR. PATEL: We didn't do formal
25 testing. Inherently when you look at the studies,

00209

1 there's more 16-slice studies.

2 DR. GARBER: Yeah, so it's narrower.

3 DR. PATEL: The numbers are narrower

4 and they do seem to be higher for 64, but there

5 are six 64 and many more 16, I think in the range

6 of 13 or 16, so since there's more studies,

7 there's more variance.

8 DR. GARBER: Okay.

9 DR. HODGSON: This isn't going to be

10 horribly scientific, but obviously the 16 provided

11 the learning curve for the 64, so many of you

12 learned how to read these better on a 16. There

13 were advances on the cardiac software but

14 fundamentally, and Dr. Fishman can correct me if

15 I'm wrong, many of the 16-slice scanners have the

16 same width and rotation speed as the 64s. What

17 you get on a 64 is a larger field of view over the

18 area that can be covered, and therefore you can

19 scan the heart in less time, allowing less time

20 for heart rate variability and less time for the

21 patient to move or breathe. And that's where a

22 lot of the gains come in, is that they don't have

23 as much time to move or breathe or have an

24 arrhythmia or whatever. But the technical aspects

25 of it, maybe some small changes in the tube

00210

1 current and stuff like that, but the slice
2 thickness and the gantry rotation speed are pretty
3 similar in a lot of those.
4 So I would actually favor a current 16,
5 a 16 today, not even three years ago, but a 16
6 today with the current software and what we've
7 learned about reading them, I think in many
8 patients can give very acceptable studies.
9 DR. FISHMAN: I think the statement is
10 what you said in the last line, for many patients.
11 I think particularly in the Medicare population
12 where it is not as easy to hold your breath for
13 20-plus seconds, it's a lot easier to do it for
14 eight or ten seconds, so the chance of a study
15 succeeding, as Dr. Flamm said, I think is
16 significantly greater. The reality is technology
17 is different, the spatial and temporal resolutions
18 are far superior in 64, but the chance of
19 executing an acceptable study I think goes from
20 probably 70 percent to 95 percent. And those
21 little things in an older population who have
22 calcification, 64 has less issue with blooming,
23 and I think makes a significant difference.
24 DR. GARBER: Okay. I think it's time
25 for us to move on to the voting questions, and I'm

00211

1 not sure we're going to be able to resolve 16
2 versus 64 much more completely than we have now.
3 So now everybody -- do you want to
4 explain the cards? Does every panelist have their
5 numbers? So we are going to discuss each question
6 one by one on this confidence scale of one to
7 five, five being very confident and one being very
8 unconfident, diffident, whatever term you want to
9 use.
10 The first one is going to be about CT
11 angio, electron beam CT, MRI, that being MR
12 angiography, and other identified technology which
13 I think, if I understand, to be the other commonly
14 accepted noninvasive tests for coronary disease.
15 Is that the intent? Well, since there is no
16 contradiction, I'll assume that's the case.
17 So let's open discussion on number one.
18 How confident are you that there is sufficient
19 evidence to determine the diagnostic accuracy of
20 the following noninvasive technologies for the
21 detection of obstructive coronary artery lesions?
22 You are only voting not on how good they are or
23 anything of the sort, just how good the evidence
24 base is, do you feel confident that you have
25 enough information to judge. Discussion? No.

00212

1 DR. COHEN: Alan, for the other
2 identified technology, are we supposed to assume
3 something, or specify what they are, do we care
4 what they are?

5 DR. GARBER: I think as I understood
6 the question, and Steve will promptly contradict
7 me, I'm sure, we have some idea about the evidence
8 base, I think for treadmill tests, for the various
9 variations of the nuclear scans and for echo,
10 stress echo, so I think that's kind of what we
11 have in mind. Is there good evidence about these
12 other types of tests.

13 DR. FISHMAN: You mean not tests.

14 DR. GRABER: This says noninvasive
15 technologies.

16 DR. HODGSON: I'm just not sure where
17 that definition came from. I interpreted it as
18 saying other tests that somebody in the panel or
19 maybe the Duke people identified as an up and
20 coming noninvasive mechanism for evaluating
21 obstructive disease. Is that different? Because
22 now if you've just thrown in all nuclear, echo
23 stress, everything else into this fourth category,
24 that was never discussed anywhere.

25 DR. PHURROUGH: D was to include other

00213

1 things that would do what these are doing, if any
2 were identified in this meeting.

3 (Unintelligible colloquy.)

4 DR. GARBER: We haven't discussed
5 anything else, so D is moot.

6 DR. PATEL: I thought D from our
7 perspective was a horizon scan to see if there
8 were other technologies that would soon be able to
9 do something like this, and we didn't find any
10 other technologies that would be able to do the
11 ones we discussed.

12 DR. GARBER: Yeah, so that means if we
13 didn't discuss them today, that we don't need to
14 vote on this one.

15 DR. PHURROUGH: You don't need to vote
16 on D.

17 DR. GARBER: Okay. Charlie.

18 MR. QUEENAN: I'm still confused on the
19 16 versus 64, but the only suggestion I would make
20 is you call for a vote on the two separately,
21 because it sounds like some people may assign
22 different rankings to a 16 versus 64.

23 DR. GARBER: I think that's a really
24 good suggestion, so just a straw vote. Do people
25 want to consider them as two distinct technologies

00214

1 or would you want to lump them together the way I
2 have suggested? So how many people would like to
3 consider them separately.

4 (Hands raised.)

5 DR. GARBER: So we've got, I think six.

6 And how many people would rather lump them
7 together?

8 (Hands raised.)

9 DR. GARBER: Five. We're going to
10 consider them separately. Okay. CT
11 angiography -- oh, Richard?

12 DR. WAHL: Just to clarify, we didn't
13 spend much time discussing EBCT or MRI, I would
14 suggest that we not, that B and C might be moot as
15 well, but I just wanted to put that out there to
16 lessen the numbers we have to vote on.

17 DR. PHURROUGH: I'm sorry, Richard,
18 we're not going to let you do that. Particularly
19 for Question 1, I think it's important to answer B
20 and C, and if the answer to B and C is such that
21 you think there is no evidence, then you couldn't
22 answer the rest of the questions, so I think
23 that's how you would handle B and C.

24 DR. GARBER: So first, I would like
25 your votes on CT angiography in the 16-slice

00215

1 variant. So put up your numbers.

2 (Members displayed votes.)

3 DR. GARBER: And remember, this is
4 about adequacy of evidence, this is 16 right now,
5 adequacy of evidence, not how good it is.

6 DR. KRIST: I think that concept
7 doesn't come across.

8 DR. GARBER: Well, in other words, let
9 me just point out, there are more studies for
10 16-slice than 64-slice, so unless you think the
11 studies are better for 64-slice, you probably
12 shouldn't give a higher confidence rating for
13 64-slice. So we're only talking about the
14 evidence, not how good you think the test is.

15 Okay, everybody's comfortable.

16 Now, CT angiography, 64-slice version.

17 (Members displayed votes.)

18 DR. GARBER: Electron beam computer
19 tomography. This is for detection of obstruction
20 of coronary lesions, so how good is the evidence.

21 DR. FISHMAN: This only means you know
22 what the results are, right?

23 DR. GARBER: Yeah, it doesn't mean you
24 think it's good as a test. The question is, do
25 you think there is a good evidence base from which

00216

1 you can draw conclusions.
2 (Members displayed votes.)
3 DR. GARBBER: Now, MR angiography, MRI.
4 (Members displayed votes.)
5 DR. GARBBER: Thank you. You folks are
6 fast. Good form too. Okay. Number two. This is
7 the thing I think you were waiting for.
8 How confident are you that there is
9 sufficient evidence -- I'm sorry, this is not the
10 one. This is the same question about, can you
11 accurately determine the anatomic location of
12 obstructive coronary artery lesions, can you
13 accurately determine the location. Again, this is
14 about your confidence in the evidence, not do you
15 think the test is good for this. So first we'll
16 ask about 16-slice CT.
17 (Members displayed votes.)
18 DR. GARBBER: 64-slice CT.
19 (Members displayed votes.)
20 DR. GARBBER: Electron beam CT.
21 (Members displayed votes.)
22 DR. GARBBER: MRI.
23 (Members displayed votes.)
24 DR. GARBBER: Okay. Question 3. How
25 confident are you that there is sufficient

00217

1 evidence to determine if these noninvasive
2 technologies can accurately detect the relevant
3 morphology, size, shape, et cetera, of obstructive
4 coronary artery lesions? So we're still on the
5 adequacy of the evidence issue here. 16-slice CT.
6 (Members displayed votes.)
7 DR. GARBER: 64-slice CT.
8 (Members displayed votes.)
9 DR. GARBER: Electron beam CT.
10 (Members displayed votes.)
11 DR. GARBER: MRI.
12 (Members displayed votes.)
13 (Dr. Garber and Dr. Phurrough conferred
14 privately.)
15 DR. GARBER: Let me just explain what
16 Steve just said. Because the next questions are
17 phrased as for which there is sufficient evidence,
18 in other words, what are the results if you think
19 there is sufficient evidence, we're going to need
20 to quickly tally what the evidence ratings were.
21 MR. QUEENAN: Alan, while they're doing
22 that, could I ask a question that relates to
23 these?
24 DR. GARBER: Sure.
25 MR. QUEENAN: You asked a follow-up

00218

1 question when we talked about 16 versus 64, and
2 then also sort of what clinical practice was in
3 mind or what protocol was in mind when one
4 evaluated that, and I wonder if we may need some
5 clarification when we get to this set of
6 questions, so we have a common understanding of
7 what that background practice would be.
8 I have my own suggestion for that,
9 which would be without trying to be specific
10 because it doesn't sound like we could be
11 specific, without giving many, many variations
12 that, you know, there is a presumption that it is,
13 to try to define that, and I'm not the one to do
14 it, but to try to define that in terms of what
15 common practice is today for people who are using
16 16 or 64.
17 DR. GARBBER: Charlie, I think that's a
18 really excellent point. I guess my interpretation
19 of this question is that you have at least some
20 way in mind. Take number four, that it might be
21 used instead of coronary angiography, x-ray
22 angiography, that there is some specific use, and
23 I think it would be useful for the panelists to
24 discuss which situation they think that might be,
25 or situations, so that we have some common idea.

00219

1 We don't necessarily have to reach agreement,
2 there just has to be an understanding that there
3 is some study in which it could be used to replace
4 it, but it would be useful to find out what those
5 situations might be from the panelists' point of
6 view. So I think they might want to address that,
7 if they feel it does have a use. David.
8 DR. COHEN: I think as we've heard,
9 several, even most of the purported uses at least
10 on the CT side are areas where there is generally
11 a suspicion that coronary angiography adds little
12 already, and that avoiding an invasive procedure
13 is a good idea, so some of the suggestions such as
14 emergency room uses for patients who are suspected
15 of not having obstructive coronary disease, or
16 patients who currently undergo catheterization
17 prior to valve or other heart surgery, where it's
18 being done as the gold standard, but because there
19 is a low prevalence of disease and relatively high
20 sensitivity in tests such as CT angio, those might
21 be two applications where I can perceive that you
22 might truly be able to avoid catheterization. And
23 I think those are the ones that we have heard the
24 most about. There are obviously others, but there
25 are certainly at least those two.

00220

1 DR. GARBER: Any other comments,
2 agreement, disagreement, additions? Okay. Yes,
3 Deborah.

4 DR. SHATIN: For this Question 4, is it
5 relevant for each (inaudible).

6 DR. GARBER: Well, yeah, there's two
7 possible voting mechanisms. One is based on the
8 individual ratings and the other is the group was
9 over some number. I think probably, do you want
10 to use --

11 DR. COHEN: Because otherwise, the
12 people who voted low don't get counted in this
13 vote. So you have to use the group, because
14 otherwise, if you said you were unconfident of the
15 evidence, then you're silent on the voting in this
16 process.

17 DR. GARBER: Yeah. I mean, the other
18 option would be to reject what was -- what David
19 is saying is people who said they weren't
20 confident might also give it a relatively negative
21 grading, it's a different sample than the people
22 who said they were confident, so it's not an
23 accurate reflection of the panelists' views. So
24 that's one reason to do it. An alternative
25 procedure would be to rephrase the question and

00221

1 allow everybody to vote on this regardless of the
2 evidence, but there is a logical problem. If you
3 think the evidence is no good, to then say what
4 the evidence show and what conclusions you draw,
5 but other than that, it's inconclusive.
6 So you know, I think what David is
7 saying is pretty sensible, even though it means we
8 don't have a vote from the people who thought that
9 there was no confidence.

10 MR. QUEENAN: So we're going to exclude
11 people who --

12 DR. GARBEN: No, no. What David
13 suggested, and we can vote on the procedure too,
14 and if there's disagreement, we probably should.
15 The procedure that David proposed is that we say
16 who, what's the average vote on confidence, and if
17 the average vote turns out not confident, and
18 there is a second question here, what numerical
19 score means we're not confident, which we will
20 have to resolve. But if we decide we don't have
21 confidence in these questions, that means there is
22 no vote for Questions 4, 5 and 6, they're moot.
23 So let me ask, are people comfortable with that
24 voting mechanism? Charlie?

25 MR. QUEENAN: I mean, I think we need

00222

1 to hear the tallies, this is anticipating the
2 answer, but my guess is we should vote on 16 and
3 64, and we should knock out the rest. I think
4 there are enough, my suspicion is there will be
5 enough people that individually thought there was
6 adequate evidence on 16 and 64 that it would be
7 worth having follow-on votes for that, whereas I
8 doubt that was the case for all the rest of these.
9 Well, let's wait for the numbers.
10 DR. GARBER: Cliff, did you have a
11 comment you wanted to make?
12 DR. GOODMAN: So you're talking about
13 voting on each one for 16 and 64?
14 MR. QUEENAN: Yes.
15 DR. GARBER: Does anybody want to
16 suggest what cutoff we should use for being
17 confident versus not confident?
18 DR. KRIST: Above three.
19 DR. BRADHAM: If we're above a three,
20 we feel like we've got some confidence.
21 DR. GARBER: Okay. So greater than
22 three, not equal to three.
23 DR. SHATIN: Before the scores are
24 being read, we're talking about three different
25 questions which may have variations, so is it an

00223

1 average of one, two and three, or is the first
2 question the most critical, with whatever the
3 score is for that?

4 DR. GARBBER: Well, it depends on
5 whether you want to have a model when Steve comes
6 back. I think for most people, Question 1 is
7 going to be the most important, I could be
8 guessing wrong, about evidence adequacy. But
9 you're right, there are other ways to do it if you
10 take the average over all three questions. But I
11 didn't hear much talk about how the morphology,
12 for example, would be critical in the management
13 algorithm. In fact some people, we had the
14 question about whether morphology really mattered
15 and as I heard the answer, it doesn't at this
16 point clearly affect treatment.

17 DR. GOODMAN: Alan, a little different
18 tact here. I think there is a fundamental
19 difference between the first few questions and the
20 last couple questions. Even if in the first few
21 questions there is a little bit of evidence,
22 there's not much evidence, you have little
23 confidence in the amount of evidence, you could
24 still, and I think we should still answer the last
25 couple of questions, because even if there is not

00224

1 much evidence, you may still try to draw some
2 conclusions about the generalizability as in
3 Question 6.A, for instance, and Question 6.B about
4 net health benefit. What it really means, I
5 think, is you can still come up with a score for
6 each of those, but as we know, there's going to be
7 a big fat confidence interval around that because
8 the evidence is kind of limited. So I would
9 rather answer all the questions, and if we didn't
10 think there was a lot of evidence upon which to
11 base those answers. I would just say that there
12 is a lot of overage and underage possibilities for
13 any score you might give. And I really do think
14 if I were in the profession or if I were in
15 industry or if I was another stakeholder, I would
16 want to know what this group thinks about how
17 generalizable they are to Medicare, even if
18 there's not a lot of data, and how much confidence
19 we have about benefits or harm, even if there
20 aren't a lot of data, that would be helpful for me
21 to know.
22 DR. GARBER: Let me make sure I
23 understand your proposal about 6.A in particular,
24 the one about generalizability. If we conclude
25 that there is not enough data to draw conclusions

00225

1 about anybody, then the question is, can we
2 generalize this kind of vague thing where we don't
3 know anything to the Medicare population. The way
4 I interpret this is, do you have enough
5 information specifically about the elderly to draw
6 conclusions. You have less data specifically
7 about the elderly than the whole sample. So is
8 the idea to give a signal that, yeah, the studies
9 if they were bigger would do it, or if there were
10 more of them? What would be the interpretation if
11 you were to say we're confident it's
12 generalizable, although we're not all confident
13 that we know anything?

14 DR. GOODMAN: You may have few studies
15 and/or limited data, but in the real world
16 sometimes, policy-makers here at CMS have to make
17 a decision on a coverage or medical necessity
18 question, payers have to do that all the time in
19 the absence of perfect data. And I will posit
20 that even if I have limited data from these
21 studies, I want to say how I generalize what those
22 few studies with limited data are to the Medicare
23 population, that would be a useful observation.

24 DR. REDBERG: The American College of
25 Cardiology does this all the time with guidelines,

00226

1 they have level A if there was good data, level B
2 if there was little, and level C if we gave an
3 opinion. But you know, that's how life is in our
4 world.

5 DR. GARBER: So, do people want to go
6 through, and I know you're all waiting to find out
7 if this discussion has any point, but do you want,
8 if it turns out that there is not enough evidence,
9 do you want to go through all the questions? I
10 think that's what you were proposing, Cliff. Is
11 that the general sense of the panel?

12 DR. COHEN: I don't think it's going to
13 hurt, and I think you will factor the confidence
14 level in as you hear the results.

15 DR. GARBER: Okay. If we do this
16 quickly, you may even get to hear the secretary's
17 talk.

18 DR. PHURROUGH: So, do you even care
19 now?

20 DR. GARBER: We're going to go through
21 them all.

22 DR. PHURROUGH: Do you want to know
23 what the scores are?

24 DR. BRADHAM: Not if we're going to
25 proceed.

00227

1 DR. GARBER: Okay. Let's have a vote.
2 Who wants to hear the scores before we vote on the
3 other questions? Okay. I take it the rest of you
4 are nays, okay. Question 4.
5 DR. SHATIN: I have a question about
6 Question 4. The way it's worded, first it's
7 general and then it goes to a specific population.
8 But if you believe it would be helpful for a
9 specific population, can that be incorporated in
10 terms of what number we give it? In other words,
11 if it can help with a certain population, would
12 that kick it into a higher category?
13 DR. REDBERG: Does the first part mean
14 that it could be used in any situation, or in one
15 particular key finding?
16 DR. GARBER: In any situation, and then
17 David has proposed, you gave two examples.
18 DR. COHEN: They were just examples.
19 DR. GARBER: Two examples, right, and I
20 asked if anything anybody else wanted to add to
21 that and I didn't hear any.
22 DR. REDBERG: Well, I could see being
23 in a situation where you really don't know whether
24 the cath is useful or not, but we do it. Like
25 what David suggested, I think preoperative

00228

1 assessment a lot of times, or someone going for a
2 valve replacement and they often get a routine
3 angiogram, even though they might not otherwise
4 get an angiogram. I think those kinds of
5 situations, there might be enough evidence.
6 DR. COHEN: I'm still trying to figure
7 out this question. I mean, are we trying to
8 figure out scenarios and then vote on individual
9 scenarios or, I mean, the way the question is
10 written, it sort of goes the other direction. And
11 the other thing is, all the guys here who
12 presented all this stuff from all their practices,
13 none of these areas have been talked about,
14 they're all in the outpatient practice where
15 they're doing thousands and thousands of CT
16 angios, so we're not even voting on all those.
17 DR. GARBBER: As I understand this, and
18 Steve, correct me if I'm wrong, CMS wants to know,
19 are there some scenarios. We would like you to be
20 able to describe them, but we're not going to vote
21 scenario by scenario unless you wanted to do that.
22 I mean, you might care more about that if you had
23 a high rating for the evidence level, right? It
24 would get a little ridiculous to go through
25 scenario by scenario unless you gave it a one, and

00229

1 I hope I'm not surprising anybody, but the average
2 is not one for any of these questions, as you saw.
3 So I think you have to just be able to give aye
4 votes, we've heard a few possible examples, it's
5 really a question about is there a scenario where
6 you think this could be placed.
7 SPEAKER: The other data we've heard
8 was not necessarily about Medicare beneficiary, it
9 was more a middle-aged population.
10 DR. PHURROUGH: Right, and this
11 particular question is on the data. The
12 population, the next question will ask about
13 Medicare. And the question is, can you substitute
14 some noninvasive imaging for coronary
15 catheterization in making the determination of
16 whether you need to intervene in this person's
17 coronary arteries? That's really the question.
18 DR. KRIST: I was going to say too, for
19 the cases that Rita and David presented, I mean,
20 those are the exceptions cases as opposed to the
21 general stuff we have been considering this whole
22 MCAC. So if you're considering that as a
23 population, I think we would be doing a disservice
24 to what we're here for.
25 DR. FISHMAN: I would expand that to

00230

1 say there are certainly things that aren't that
2 controversial. One of them, of course, is
3 comments about aberrant origin of coronary
4 arteries, that's been pretty well documented, so
5 you would add that to the population. I think
6 from a practical basis and what people do on a
7 daily basis, at least what we do, is take patients
8 with indeterminate stress tests, they're very
9 commonly referred to cardiac CT, so there are many
10 more than those. Those are just two examples.

11 DR. GARBER: So if you believe that
12 that's an appropriate indication, then it's how
13 confident are you that it could replace cardiac
14 catheterization in that setting.

15 DR. PETERS: Are we asking about all
16 settings combined in this question?

17 DR. GARBER: This is not restricted to
18 the Medicare population.

19 DR. PETERS: In other words, if you can
20 think of five different applications where this
21 would be true, you give it a higher score than if
22 you can only think of two, is that what you're
23 saying?

24 DR. GARBER: No, I think if you're
25 confident in any one.

00231

1 DR. PETERS: Even though it's a very
2 small percentage?

3 DR. GARBER: That's what this question
4 is asking. Then we'd have to explain what those
5 populations are as the second part to the
6 question.

7 DR. COHEN: So in the next go-round,
8 you would ask us what scenarios we think might be
9 qualified.

10 DR. GARBER: Those of you who are
11 prepared to offer any, yeah. So, are we ready to
12 vote?

13 DR. LU: The treatment plan, I assume
14 you -- okay.

15 DR. GARBER: So this is, again, a
16 two-part question. Can we agree that you have to
17 have more than a three on the first one for us to
18 have a discussion about what the scenarios are? I
19 mean less than three in absolute value, to have a
20 discussion about what scenarios you think should
21 replace catheterization. So let's start first
22 with 16-slice CT, how confident are you that it
23 could be used instead of coronary cath to
24 determine treatment of coronary disease, 16-slice?
25 (Members displayed votes.)

00232

1 DR. GARBER: It doesn't make it. Okay.
2 64-slice CT.
3 (Members displayed votes.)
4 DR. GARBER: Doesn't make it.
5 Electron beam CT.
6 DR. COHEN: What do you mean, it
7 doesn't make it?
8 DR. GARBER: It means that the
9 evidence, we don't discuss the second part for
10 those.
11 DR. COHEN: Why?
12 DR. GARBER: Because it's greater than
13 three, and it has to be less than three in
14 absolute value.
15 DR. COHEN: You've got it reversed.
16 DR. GARBER: Oh, I'm sorry.
17 (Laughter.)
18 DR. GARBER: Actually, let's discuss
19 them then before we go on to the other
20 technologies. I assume that you all think the
21 indications are the same for 16 and 64, so we
22 don't have to do those separately, so let's go
23 around and hear your reasons. Carole.
24 DR. FLAMM: Well, I was one of the more
25 uncertain people all along. So let me qualify

00233

1 that I think for me, I shouldn't go back to
2 Questions 1, 2 and 3, but I have some
3 uncertainties given the limited size and quality
4 of existing studies that sort of color some of my
5 votes. If we took the sensitivity and specificity
6 and talking about just this question, I do think
7 that there are some limited populations such as
8 the ones that have been mentioned that I would be
9 more confident about, but I think my votes were
10 reflecting the overall quality of the evidence.
11 The indications would be things like the pre-op
12 evaluation, the lower risk population, those where
13 you really don't want to do an invasive procedure,
14 so if the patient is at low risk for CAD, you
15 could then do a CT angiogram for other reasons,
16 things like that.
17 DR. COHEN: I more or less am in
18 agreement with Carole. I think these are patients
19 at this point with CT angiography focused on these
20 patients who are low risk. I am very skeptical as
21 to the value of it for pursuing equivocal stress
22 tests, because I don't know why you would be
23 pursuing those to begin with. I don't think there
24 is a lot of prognostic value that you could
25 ascribe to that, and most of the patients with

00234

1 equivocal stress tests don't have a lot of
2 symptoms either, so I'm fairly skeptical of that
3 application, which is a fairly large reported
4 application for this technology. But I do think
5 there are clearly areas where it will be valuable
6 even as currently developed, the ones I mentioned
7 earlier, pre-op evaluation of outpatients,
8 patients who you would otherwise send to cath but
9 you think are at low risk for some reason, but are
10 defining your diagnostic abilities.

11 DR. BRADHAM: I would agree with what
12 David just elaborated on. I think the idea of
13 trying to open this up as a second level test for
14 equivocal treadmill or pharmaceutical stress test
15 is not a great idea at all, that just opens up
16 duplications that are going to be a bad situation
17 downstream.

18 DR. BATEMAN: I hate to disagree with
19 everybody, but I think I do. I'm most impressed
20 after some of the numbers that we've heard, you
21 know, 50 percent of the caths in the comparative
22 studies turned out to be normal, 37 percent of all
23 the caths in the cardiology database are normal.
24 I think we have a big problem in this country with
25 a \$4,000 test that carries morbidity and mortality

00235

1 numbers, and I think there's a big opening for a
2 test that can identify those patients who are
3 normal and don't need that procedure. So I do
4 think when I reflect on our patient population
5 that a big percentage of those people who do have
6 equivocal stress tests of one type or another, and
7 I do think it's bad medicine when those people are
8 labeled as having coronary disease, I think they
9 are followed differently and I think they do add a
10 lot of cost. So I think that is an important
11 patient population, and certainly the anomalies
12 and the before surgery are populations that are
13 pretty -- it's a simple question we're asking,
14 does a patient have coronary disease or not, and I
15 think this test can address that pretty
16 definitively in those populations.
17 DR. KRIST: It's good we're sitting
18 next to each other because I also disagree. I
19 gave three, unsure, because I was sticking with
20 the evidence we were reviewing and everything. I
21 want to see the outcomes, that this is going to
22 change outcomes. I think the promise of these
23 tests with the existing specificity data looks
24 impressive, and I got confused there at the end
25 for voting because Elliott and David came up with

00236

1 cases where I can see where we could do this. But
2 I wanted to stick to the cases in the areas we're
3 talking about and if we're sticking to that and
4 the evidence we're reviewing, I have to say I'm
5 unsure and I need more information before I could
6 say that this could be used to replace
7 catheterization.

8 DR. PETERS: I agree with a lot of what
9 has been said. There are clearly situations where
10 I think it would be helpful. I was especially
11 impressed with people in the emergency room with
12 chest pain, that's a huge population and a lot of
13 them wind up getting admitted, and this might be
14 helpful. On the other hand, I'm very much afraid
15 if the door is opened as a follow-up to stress
16 test, because there's a whole lot of people with
17 equivocal stress tests and I think this could be
18 very overutilized in that situation.

19 DR. LU: My feeling is that the CT is
20 good for assessing patients without coronary
21 disease rather than for a patient with coronary
22 disease in terms of management. It's good for
23 patients because it has such highly predictive
24 value. Part of the 30 percent negative cardiac
25 cath, I think is a reflection of both the

00237

1 defensive medicine and tendency to cath to please
2 a patient, and obviously there are some monetary
3 incentives in that. When you see 20 percent, if
4 not 30 percent or slightly higher than 30 percent,
5 I think ACC should look into this, but when CT
6 comes out, I think that number is going to go
7 higher.

8 DR. FISHMAN: I will agree with some of
9 the indications and comments before. I think one
10 of the things that makes the question a little
11 tricky, I don't think we're really saying we're
12 doing cardiac CT instead of coronary artery
13 catheterization. I think that's not exactly the
14 question. The question is really more, where does
15 it fit into the workup of a patient.
16 That's why in the situation with a
17 patient with a family history of coronary disease
18 and an indeterminate stress test, it seems to be a
19 great application of the cardiac CT, but it
20 probably wouldn't have been somebody you would
21 just send for an x-ray cath. I think the
22 importance, as mentioned by many speakers today,
23 the difference in the intensity of the study, you
24 know, a study that takes a couple seconds with a
25 low complication rate versus an angiogram which

00238

1 has the potential of high risk, particularly in
2 older patients, there is a significant difference.
3 Again, there is the issue which I agree with, the
4 danger of course with people abusing it or
5 overusing it. That, you know, with any modality
6 is always an issue.
7 But I think the thing we ought to focus
8 on is that it provides information that's
9 essentially or almost equivalent, having looked at
10 the data, to a cardiac cath without any of the
11 complications, it's an outpatient procedure, and
12 it basically allows you to confine the risk to
13 that patient or the management of that patient. I
14 think in our practice, the way I see people using
15 it as a way of coming to a definitive answer on
16 the patient's care many times, and I think it's
17 one of the things that provides a lot of
18 certainty, showing a negative side as well as the
19 positive side. So you know, that's why I think
20 there are a range of applications that many of the
21 speakers spoke about that I think really
22 contribute to its strength.
23 DR. GARBBER: Charlie.
24 MR. QUEENAN: I guess I took a little
25 bit of a different tack, primarily because I don't

00239

1 feel I'm in a position to answer this question
2 with respect to any specific application, although
3 I certainly believe that's useful and necessary
4 for CMS to look at. But rather from the
5 perspective that this is now used in a variety of
6 different settings, different calculations and,
7 you know, different clinical practices, and each
8 of those clinical practices may think of this
9 question or this protocol in a slightly different
10 way.
11 So I basically answered the question
12 from the perspective of an amalgam of those,
13 thinking about whether I was unsure, et cetera, as
14 to whether that would result in a, you know, an
15 ability to use this technology as opposed to CA.
16 So from that perspective, I think with respect to
17 the 16, I was unsure, because I think there's some
18 questions there, but with the 64, I was confident.
19 DR. WAHL: I came to a somewhat
20 confident level and, you know, obviously the
21 database is far from mature, but I consider my
22 practice as being moderately on the cutting edge,
23 and there are cases in which nuclear scans are
24 completely negative but have very good negative
25 predictive value, or are very positive that have

00240

1 pretty bad prognostic value unless there is an
2 intervention, but there are some that are in
3 between that, as I think Dr. Bateman pointed out.
4 In some of those cases, I could see
5 that that group of patients could potentially
6 benefit from a quick clarification from a CTA and
7 it might well prevent a cath. And I could see
8 that that group of patients who would be at low to
9 intermediate risk but at a higher than baseline
10 risk, the coronary disease might be at higher risk
11 than the cath. That is, they might be obese, they
12 might have bleeding disorders, there would be some
13 specific reasons. So I don't think it's hard to
14 think there would be specific indications where
15 this would be a very useful test to really risk-
16 stratify patients who still aren't really that
17 well stratified.
18 I am concerned that the specificity of
19 the test, that is the ability to find small 40 to
20 50 to 60 percent lesions in a lot of people who
21 are elderly, may result in a lot of false
22 positives if it were used as a first line test
23 routinely. I would be concerned that there would
24 be not such a good positive predictive value to
25 that extent in the Medicare population, and that's

00241

1 why my thoughts on its use were somewhat limited
2 at the movement until the evidence develops
3 further.

4 DR. SHATIN: I think it's important to
5 consider the risk/benefit, and therefore the
6 patients that are at relatively low risk and would
7 be at high risk to get cardiac cath, it is
8 important to have this technology available. We
9 also haven't really spoken about the potential
10 increased risk for the elderly population who
11 undergo cardiac cath, so I can envision some of
12 that population, the borderline elderly, where it
13 might be critical to have this available.

14 DR. REDBERG: I think that our history,
15 particularly with the use of testing, our cardiac
16 imaging volume has gone up about 23 percent a
17 year, and although some people propose this test
18 would be a gatekeeper, I don't actually find from
19 our experience in testing that it would work that
20 way. And I just think it's important for us to
21 understand what the impact would be on patient
22 management and outcomes, and I haven't heard any
23 data today on outcomes or on patient management or
24 benefit, and so I don't see how this is going to
25 help us take better care of our patients, although

00242

1 we were certainly given some very beautiful
2 pictures.
3 I think Harvey pointed out that the
4 normal cath rate has gone up to 30 percent, and I
5 think that if we started using CTA more
6 frequently, we could get an even higher normal
7 cath rate because I think we would be using it in
8 low risk patients that would have more false
9 positives and then get referred to the cath lab.
10 I think that's what happened to cath with primary
11 calcium testing. I certainly have talked to all
12 of my colleagues saying that people who get
13 coronary consults who are pretty low risk for
14 whatever reason, they have an inclination to send
15 them to the cath lab, and so I think that's why
16 we're seeing a lot more normal caths.
17 And so I think before we
18 enthusiastically embrace another test that
19 currently just gives us a beautiful picture, we
20 have to find out how it's going to help us take
21 better care of our patients and I don't think we
22 have that data. Having said that, I think there
23 are situations like pre-op testing where, you
24 know, perhaps -- personally I don't think people
25 need a test before a stress test, but if you

00243

1 wanted to do one, that this would be equally
2 beneficial, or if you wanted to do it before an
3 aortic valve replacement where an older person
4 goes for valve replacement, situations like that.
5 But otherwise, I think you're only increasing the
6 volume of caths by opening another gateway to
7 caths.

8 DR. GOODMAN: Nothing to add.

9 DR. GARBER: Okay, thank you. Now
10 we're going to the same question for, you asked
11 for this, electron beam CT and MRI. So how
12 confident are you it could be used instead of
13 coronary catheterization to determine treatment of
14 coronary artery disease, for EBCT?

15 (Members displayed votes.)

16 DR. GARBER: Okay. Now I think I've
17 got it. And for MRI.

18 (Members displayed votes.)

19 DR. GARBER: So we don't have to
20 discuss your reasons for that one.
21 Now we're on Question 5, if noninvasive
22 imaging were to be used in addition to coronary
23 artery catheterization, how confident are you that
24 noninvasive imaging provides an incremental
25 benefit or harm when used before coronary artery

00244

1 catheterization?

2 DR. REDBERG: Are you going to separate
3 those?

4 DR. GARBBER: Yes. So first, 16-slice.

5 DR. REDBERG: No, benefit or harm, I
6 meant separate benefit or harm.

7 DR. GARBBER: We can change it to net
8 benefit.

9 DR. COHEN: Can I ask a clarifying
10 question? The way this is worded, so, are we
11 talking about using them both together or are we
12 talking about using them in sequence and
13 potentially avoiding one? That's not clear to me.
14 I think much of what we've been discussing has
15 related to using one as a potential way of
16 avoiding invasive catheterizations, which is, you
17 know, but recognizing that some people still go on
18 to catheterization depending on the findings, but
19 then the other way is as though they come
20 together.

21 DR. GARBBER: So, I thought it was in
22 place of other noninvasive tests.

23 DR. ROLLINS: This was essentially
24 sequential. In other words, if you decided that
25 you couldn't replace coronary cath with this but

00245

1 you needed to do both of them together in some
2 way, would you combine them with this before cath,
3 this after cath? We realized that you couldn't
4 literally do them simultaneously, but the time
5 frame separating them in sequence could be as
6 short as a few hours, or it might be years. You
7 know, if you were going to do it before cath,
8 whether that means as a gateway to cath or not is
9 up to the panel to decide, but do you see a role
10 for it in some sort of sequence that includes
11 cardiac cath.

12 DR. GARBBER: But does it include
13 cardiac cath 100 percent of the time for this
14 question?

15 DR. ROLLINS: Not necessarily for this
16 question.

17 DR. GARBBER: I'm not positive I
18 understand, but one of the things we already
19 considered was using it in place of other
20 noninvasive tests like, in someone who is low
21 risk, you might do this instead of a treadmill or
22 stress treadmill, or perfusion scan, in which case
23 one of the advantages is you much of the time
24 won't have to do a cath, so would we consider that
25 to be one of the --

00246

1 DR. ROLLINS: Yeah, conceptually if you
2 had a model or algorithm where noninvasive testing
3 was sort of upstream from cath, do you foresee
4 that kind of model being developed?
5 DR. GARBER: Okay.
6 DR. COHEN: So that model implies there
7 could be cases where you did it and then decided
8 that the cath wasn't necessary.
9 DR. GARBER: Right.
10 DR. COHEN: Okay.
11 DR. GARBER: Okay. Are people ready to
12 vote? 16-slice CT.
13 (Members displayed votes.)
14 DR. GARBER: 64-slice CT.
15 (Members displayed votes.)
16 DR. GARBER: Electron beam CT.
17 (Members displayed votes.)
18 DR. GARBER: And MRI.
19 (Members displayed votes.)
20 DR. GARBER: B. How confident are you
21 that noninvasive imaging provides an incremental
22 benefit or harm when used after coronary artery
23 catheterization? Again, net benefit, and this is
24 where you already have the anatomy from x-ray
25 angiography.

00247

1 DR. FISHMAN: I guess the question
2 would be what did they do in primary cath, did
3 they put in a stent, or if nothing was done if the
4 cath was normal or had some mild disease, then why
5 would we be going backwards and doing a CT in
6 those situations?

7 DR. BATEMAN: Or that there was concern
8 about a left main lesion.

9 DR. FLAMM: And are we considering here
10 things where the technical success of the
11 procedure may have been limited, you couldn't see
12 everything well, suspected coronary anomaly, that
13 sort of thing?

14 DR. GARBER: Could I ask, did you want
15 to include PCI in this or just diagnostic tests?

16 DR. ROLLINS: We were really talking
17 more about diagnostic cath, focused on native
18 coronary arteries, so the focus of the question
19 was, is there some paradigm or model where having
20 had a coronary angiogram would not completely
21 eliminate the necessity or possibility of
22 noninvasive testing.

23 DR. WAHL: Could you specify the time
24 line, like three years later or what?

25 DR. ROLLINS: Well, I think that is up

00248

1 to the panel. One of the things that was
2 discussed today was sort of what is the life span
3 of a test result for a noninvasive test. If
4 you're noninvasive this week and you have other
5 symptoms next week, do you get another noninvasive
6 test, or is the one you had this week good enough
7 to last you for three years, five years, whatever,
8 so we did not predefine that. However, if the
9 panel wants to do that, they may.

10 DR. GARBER: Am I right in assuming you
11 mean in the same episode of care, however that's
12 defined?

13 DR. ROLLINS: Yes, I mean in general.

14 DR. LU: Again, incremental meaning any
15 small group or the majority of the patients?

16 DR. GARBER: Have a case scenario in
17 mind. Is there a scenario for which this provides
18 a net benefit. Okay? Further discussion? Okay.
19 We're voting. 16-slice.
20 (Members displayed votes.)

21 DR. GARBER: 64-slice.
22 (Members displayed votes.)

23 DR. GARBER: Electron beam CT.
24 (Members displayed votes.)

25 DR. GARBER: MRI.

00249

1 (Members displayed votes.)
2 DR. GARBBER: Everybody doing okay?
3 Okay, number six. How confident are you that,
4 this is, again, this is the generalizability
5 discussion that we started to have, the diagnostic
6 characteristics of the technologies are
7 generalizable to the Medicare beneficiary
8 population? 16-slice CT.
9 (Members displayed votes.)
10 DR. GARBBER: 64-slice CT.
11 (Members displayed votes.)
12 DR. GARBBER: Electron beam CT.
13 (Members displayed votes.)
14 DR. GARBBER: And MRI.
15 (Members displayed votes.)
16 DR. GARBBER: Now, 6.B, diagnostic and
17 treatment strategies using noninvasive imaging of
18 coronary artery disease provide a net health
19 benefit to Medicare beneficiaries compared to
20 strategies that use invasive imaging. And I take
21 it this means any strategies. Any discussion?
22 MR. QUEENAN: Just for clarification,
23 I'm assuming this would be strategies that include
24 the noninvasive imaging, but then the additional
25 step may also include invasive tests.

00250

1 DR. GARBER: Yeah, it's some kind of
2 management approach that incorporates it.
3 16-slice CT.
4 (Members displayed votes.)
5 DR. GARBER: 64-slice CT.
6 (Members displayed votes.)
7 DR. GARBER: Electron beam CT.
8 (Members displayed votes.)
9 DR. GARBER: And MRI.
10 (Members displayed votes.)
11 DR. GARBER: Congratulations.
12 MR. QUEENAN: Could we get the answers
13 now?
14 DR. PHURROUGH: I can give you the
15 answers for the first three questions. The others
16 will take a while to do. Okay. For 16-slice for
17 the three questions averaged together, 3.74.
18 Question 1 was 3.69, Question 2 was 4.15, Question
19 3 was 3.38. These are for all panel members, not
20 separating out voting members.
21 For the 64-slice, Question 1 was 3.69,
22 Question 2 was 4.31, Question 3 was 3.54, average
23 was 3.85.
24 For electron beam, Question 1 was 2.31,
25 Question 2 was 2.38, Question 3 was 2, average

00251

1 2.23.
2 MRI, Question 1, 2.69, Question 2,
3 2.85, Question 3, 2.77, average 2.77.
4 Just another piece of interesting
5 information, I always find how flexible and
6 entrepreneurial cardiologists are. So, I have a
7 data run we did earlier this year on the number of
8 left heart cathes paid for by Medicare in 2005.
9 Since it was done earlier this year, it doesn't
10 include all cases, some obviously have not been
11 reported yet, but in this particular run, a bit
12 over a million left heart cathes were paid for. It
13 gives you a breakdown of where they were
14 performed. 57 percent in inpatient hospitals, 34
15 percent in outpatient hospitals, a little over 6
16 percent in office, that makes up the vast
17 majority, about 99 percent. But then there were
18 some interesting ones. Four were done at home.
19 Two were done at school, school nurse now has a
20 different connotation. And to demonstrate that
21 there are other physicians who are homing in on
22 your jobs, two were done in birthing centers.
23 DR. GARBER: That's full service
24 birthing centers.
25 DR. PHURROUGH: All right. Let me

00252

1 thank the panel for all their work. As usual,
2 these are invigorating discussions, they are never
3 discussions in which everyone agrees, otherwise we
4 wouldn't have to have this kind of meeting. As
5 usual, the questions are never clear when we get
6 here and discuss them, when they were very clear
7 when we put them together and had our
8 conversations over the phone. So actually, it was
9 much better this time since we didn't have to
10 rewrite all the questions.
11 Let me give you just sort of a brief
12 discussion of what we plan to do. The purpose of
13 this particular meeting was not to arrive at a
14 recommendation as to what the Agency should do
15 for, in the area of coverage for these particular
16 technologies. We do have MCACs that are part of
17 national coverage determinations where we take the
18 recommendations of the MCAC and let that inform us
19 as to what our potential decision would be for
20 coverage. This was not one of those. The purpose
21 here was to put out in a public forum some
22 discussion of what the current state of evidence
23 is around these particular technologies. And as
24 many mentioned, our expectation is that we will
25 need to do this again sometime in the not too

00253

1 distant future to reassess again. Whether it will
2 be a year, two years, some of that depends on our
3 ability to budget for these particular MCAC
4 meetings. We are able to have four or five a
5 year. More than that, I have to write a check
6 for, and I tend to not like doing that.
7 So we will summarize this meeting, we
8 will make that summary public, the transcript will
9 be public, we will have the voting questions
10 summarized and on our web site later tonight or
11 first thing in the morning, so that you can see
12 what the rest of the numbers were.
13 But I think it is always helpful for us
14 as we try to make sure our decisions around the
15 things that we should be addressing in a national
16 decision, to have these public discussions so that
17 we can be somewhat better informed as to the
18 consensus or lack thereof in a particular
19 technology.
20 So again, panel, thank you for your
21 time and for your interest, and for those who
22 attended from the public, we appreciate your
23 interest also. And with that, I'll give it back
24 to Alan.
25 DR. GARBBER: I would just like to thank

00254

1 the panelists for their very thoughtful
2 deliberations. I also wanted to thank CMS staff
3 and the Duke team for their excellent work
4 preparing this. I would also like to thank the
5 outside presenters, who really did a remarkably
6 good job in assisting us in our deliberations.
7 Your presentations were very much focused on the
8 material we needed to know and you were very
9 candid and helpful in the question and answer
10 sessions. I wish I could say it's always this
11 way. It is what we strive for, and I really
12 appreciate that you made the effort to help us out
13 here.

14 So with that, is there a motion to
15 adjourn?

16 MR. QUEENAN: So move.

17 DR. BRADHAM: Second.

18 MR. GARBER: All in favor?

19 (Whereupon, the meeting adjourned at
20 2:20 p.m.)

21

22

23

24

25