

# Transcript for March 1, 2000 Meeting

*Please Note: This transcript has not been edited and CMS makes no representation regarding its accuracy.*

00001

1

2

3

4

5 HEALTH CARE FINANCING ADMINISTRATION

6

7

8

9

10 Medicare Coverage Advisory Committee  
11 Executive Committee Meeting

12

13

14

15 March 1, 2000

16

17

18

19 Health Care Financing Administration  
20 Main Auditorium  
21 7500 Security Boulevard  
22 Baltimore, Maryland 21244

23

24

25

.00002

1

PANELISTS

2

Chairperson: Harold C. Sox, M.D.

3

Vice-Chairperson: Robert H. Brook, M.D.

4

5 Voting Members:

6

Thomas V. Holohan, M.A., M.D., F.A.C.P.

7

Leslie P. Francis, J.D., Ph.D.

8

John H. Ferguson, M.D.

9

Robert L. Murray, Ph.D.

10

Alan M. Garber, M.D., Ph.D.

11 Michael D. Maves, M.D., M.B.A.  
12 Frank J. Papatheofanis, M.D., Ph.D.  
13 Ronald M. Davis, M.D.  
14 Daisy Alford-Smith, Ph.D.  
15 Joe W. Johnson, D.C.

16  
17 HCFA Liaison:  
18 Hugh F. Hill, III, M.D., J.D.  
19 Jeffrey L. Kang, M.D., M.P.H.  
20 Consumer Representative:  
21 Linda A. Bergthold, Ph.D.  
22 Industry Representative:  
23 Randel E. Richner, M.P.H.  
24 Executive Secretary:  
25 Sharon K. Lappalainen

.00003

1	TABLE OF CONTENTS	
2		Page
3	Welcome and Introduction	
4	Harold C. Sox, M.D.	5
5	Conflict of Interest Statement	
6	Sharon Lappalainen	7
7	Opening Remarks	
8	Jeffrey L. Kang, M.D., M.P.H.	8
9	Hugh F. Hill, III, M.D., J.D.	9
10	Subcommittee Report/Chairperson's Remarks	
11	Harold C. Sox, M.D.	12
12	Open Public Session and	
13	Scheduled Commentaries	
14	Guido Tricot, M.D., Ph.D.	39
15	Richard Justman, M.D.	43
16	Morgan Downey	53
17	Donald S. Baim, M.D.	61
18	Wayne I. Roe	69
19	Vicki Gottlich, Esquire	80
20	Larry M. Weisenthal, M.D., Ph.D.	88
21	Sandy Sherman	98
22	Thomas Meskan	100
23	Recitation of Letter from ACP-ASIM	
24	Sharon Lappalainen	110
25		

.00004

1	TABLE OF CONTENTS (Cont'd)
---	----------------------------

	Page
2	
3 HCFA Response to Subcommittee Report	
4     Jeffrey L. Kang, M.D.	115
5     Hugh F. Hill, III, M.D., J.D.	117
6 Open Committee Deliberation	124
7 Lunch Recess	164
8 Open Committee Deliberation	164
9 Open Public Comments	
10     Stephen J. Northrup	258
11     Thomas Meskan	264
12     Steven Lascher, D.V.M., M.P.H.	266
13 Closing Comments	
14     Jeffrey L. Kang, M.D., M.P.H.	271
15 Conclusion	276

16  
17  
18  
19  
20  
21  
22  
23  
24  
25

.00005

1                   PANEL PROCEEDINGS

2                   (The Executive committee meeting was  
3 called to order at 8:11 a.m., Wednesday, March 1,  
4 2000)

5                   DR. SOX: I'd like to welcome everybody to  
6 this meeting of the Executive Committee of the  
7 MCAC. The purpose of this meeting is to discuss  
8 the recommendations of the subcommittee that  
9 developed recommendations for all principles and  
10 procedures for the panels, and we'll be hearing  
11 from a number of representatives of the public  
12 today as well as from HCFA as well as from the  
13 subcommittee.

14                   We're going to start off by introducing  
15 the members of the Executive Committee who have  
16 made it already. And I'll start on this side,  
17 and hopefully people will show up before we get  
18 around to the other side.

19                   Randel, will you introduce yourself and  
20 say where you're from.

21                   MS. RICHNER:  Randel Richner, Boston  
22 Scientific, industry representative.

23                   DR. BERGTHOLD:  I'm Linda Bergthold,  
24 and I'm the consumer representative.

25                   DR. MURRAY:  I'm Bob Murray from the  
.00006

1  Laboratory and Diagnostic Services panel.

2                   DR. HOLOHAN:  Tom Holohan, Chief of  
3 Patient Care Services, VA, headquartered in  
4 Washington.

5                   DR. HILL:  Hugh Hill, HCFA.

6                   DR. SOX:  I'm Hal Sox.  I'm from  
7 Dartmouth Medical School and Chairman of the  
8 Executive Committee.

9                   Jeff, will you introduce yourself.

10                   DR. KANG:  Hi.  Jeff Kang, Health Care  
11 Financing Administration.  I'll introduce myself  
12 later on also.  I apologize.  I'm a little under  
13 the weather here, as you can tell from my voice.

14                   MS. LAPPALAINEN:  Hello.  I'm Sharon  
15 Lappalainen with the Health Care Financing  
16 Administration.  I'm the Executive Secretary for  
17 the panel.

18                   DR. BROOK:  Robert Brook from RAND,  
19 UCLA.

20                   DR. GARBER:  Alan Garber, Department of  
21 Veterans Affairs, Stanford University.

22                   DR. DAVIS:  Ron Davis from the Henry  
23 Ford Health System in Detroit.

24                   DR. PAPTATHEOFANIS:  Frank  
25 Papatheofanis, University of California in

.00007  
1  San Diego.

2                   DR. SMITH:  I'm Daisy Alford-Smith.  
3 I'm the Director of the Summit County Department  
4 of Human Services in Ohio as well as the  
5 Chairperson of the DME panel.

6                   DR. FERGUSON:  I'm John Ferguson, Chair  
7 of the Laboratory and Diagnostic Services panel  
8 as a consultant in healthcare.

9                   DR. SOX:  Now we're going to hear from

10 Sharon with some procedural matters.

11 MS. LAPPALAINEN: Good morning and  
12 welcome to the panel, chairperson, the Executive  
13 Committee and members of the audience.

14 The committee is here today to hear  
15 reports from its subcommittee and will discuss  
16 and consider the levels of evidence and types and  
17 presentation of information that it believes  
18 should be considered by the medical specialty  
19 panels at future MCAC meetings.

20 For the record, I will read the  
21 conflict of interest statement for this panel.

22 Conflict of interest for the Executive  
23 Committee meeting, March 1, 2000.

24 The following announcement addresses  
25 conflict of interest issues associated with this

.00008

1 meeting and is made part of the record to  
2 preclude even the appearance of an impropriety.  
3 To determine if any conflict existed, the agency  
4 reviewed the submitted agenda and all financial  
5 interests reported by the committee participants.  
6 The conflict of interest statutes prohibit  
7 special government's employees from participating  
8 in matters that could affect their or their  
9 employer's financial interests.

10 The agency has determined that all  
11 members may participate in the matters before the  
12 committee today. With respect to all other  
13 participants, we ask in the interest of fairness  
14 that all persons making statements or  
15 presentations disclose any current or previous  
16 financial involvement with any firm whose  
17 products or services they may wish to comment  
18 upon.

19 And at this time I'll turn the panel  
20 over to Dr. Sox.

21 DR. SOX: Thank you. First we're going  
22 to hear some opening remarks from Dr. Jeffrey  
23 Kang, who is Director of the Office of Clinical  
24 Standards and Quality.

25 DR. KANG: Dr. Sox, thanks a lot.

.00009

1 Given my voice, I actually have some remarks that  
2 I really want to make at 10:30, 10:40, and I'm  
3 going to ask Hugh to read those for me.

4 I just want to say in addition to being  
5 the director of the office, I am HCFA's chief  
6 clinical officer, and coverage is one of several  
7 responsibilities that I have. I am greatly  
8 appreciative of the efforts of the Medicare  
9 Coverage Advisory Committee on coverage  
10 decisions.

11 DR. SOX: Thank you.

12 DR. HILL: If I can say Jeff's prepared  
13 remarks, thank you. Good morning to you all.  
14 And on behalf of him, I would welcome you all and  
15 indicate that the office of clinical standards  
16 and quality are the folks that this committee and  
17 through you the other MCAC panels advise. He's  
18 had a chance to meet many of you personally, but  
19 he wanted to welcome you and the members of the  
20 public that are here to the second meeting of the  
21 Executive Committee of the Medicare Coverage  
22 Advisory Committee.

23 Jeff wanted me to express our  
24 appreciation to all those present for your  
25 participation in this process, and on behalf of

.00010

1 HCFA's administrator Nancy-Ann DeParle, we want  
2 to especially thank the members of the committee  
3 for their service.

4 Involvement in the initial phase of  
5 anything can be challenging and perhaps even more  
6 so when the government makes a change. This  
7 seems to be true even when that change is  
8 universally applauded as an improvement in the  
9 way HCFA fulfills its responsibilities to our  
10 beneficiaries and the American public generally.

11 Since the Medicare program began a  
12 little over a third of a century ago, some things  
13 have changed, and many have stayed the same. We  
14 continue to see our mission as beneficiary  
15 focused. While we strive for leadership in  
16 improving the health of all Americans, our goal  
17 remains assuring access to healthcare for the

18 Medicare-eligible population as we increase our  
19 concern for planning in the access of future  
20 beneficiaries as well as today.

21 We have moved towards working with  
22 providers of all types as customers and partners  
23 in delivering care in recognition of the  
24 continued central role of the care professional  
25 in assuring our beneficiaries' health. My

.00011

1 office, Jeff's office, has important new tools  
2 and programs for measuring and improving quality,  
3 but our eyes remain firmly fixed on Medicare's  
4 original and continued goal, better health.

5 Let me tell you -- myself as well as  
6 Jeff would like to tell you -- although there are  
7 those that would say otherwise, making good  
8 beneficiary-focused coverage decisions is not a  
9 new goal for HCFA. Yes, we've shifted from the  
10 role of processor and payer to the role of  
11 prudent purchaser. And yes, we are more attuned  
12 to projections of future Medicare costs than we  
13 were at the program's beginnings, but coverage  
14 questions have been with us from the beginning.

15 Congress gave us some guidance in the  
16 original statute. Told us not to pay for  
17 anything that wasn't reasonable and necessary.  
18 You are, I think, aware of our renewed efforts to  
19 define what we think those terms mean. But  
20 clearly, unarguably, science should have a role  
21 when we decide whether or not something is  
22 reasonable or necessary. We think science should  
23 have the most important role.

24 We recognize that the critical  
25 examination of the scientific literature is

.00012

1 complex in every case and difficult in many.  
2 That's why we need your very expert help, and we  
3 are profoundly grateful for it. Thank you.

4 DR. SOX: Thank you. The next agenda  
5 item is the subcommittee report. I'm going to  
6 deliver the subcommittee report, and if I could  
7 ask for the first transparency, we can get  
8 started.

9 First let me introduce the members of  
10 the subcommittee, Randel Richner, Linda  
11 Bergthold, myself, Bob Brook, Alan Garber, and  
12 David Eddy was also a participant. Dr. Eddy,  
13 because of the extreme press of other businesses,  
14 had to resign from the MCAC, but he nonetheless  
15 has substantial input into this document.

16 DR. BERGTHOLD: No, he hasn't.

17 DR. SOX: I beg your pardon?

18 DR. HILL: We're still talking.

19 DR. SOX: Oh. We're still talking?

20 DR. HILL: We're hoping to keep him  
21 involved one way or another.

22 DR. KANG: He's resigned actually from  
23 being a chair of the panel but would like to stay  
24 on as a member of the MCAC.

25 DR. SOX: Wonderful. Thank you for

.00013

1 that correction. I appreciate that.

2 So our document has two purposes. The  
3 first is to provide general guidance to the  
4 panels in the form of suggestions -- general  
5 suggestions, not detailed suggestions -- about  
6 how to evaluate evidence and focus on two  
7 characteristics of the evidence.

8 The first is is it adequate to draw  
9 conclusions? And the second is how big is the  
10 benefit of the intervention?

11 So in fact, we asked these two  
12 questions. Is the evidence concerning  
13 effectiveness in the Medicare population adequate  
14 to draw conclusions about magnitude of the  
15 effectiveness relative to other items or  
16 services? And then secondly, if the evidence is  
17 adequate, how does the magnitude of effectiveness  
18 of the new medical item or service compare with  
19 that of other available interventions?

20 Then the second major purpose of our  
21 document is to suggest specific procedures that  
22 the panels should follow in trying to draw  
23 conclusions about the adequacy of the evidence  
24 and the magnitude of the effect. And these  
25 procedures are drawn from the collected



.00014

1 experience of the members of the subcommittee in  
2 doing this sort of work in other venues.

3           So the goal basically of our document  
4 is to make the evaluation process more  
5 predictable for the proponents of technology so  
6 they know what's going to happen and can prepare  
7 for it and therefore avoid unnecessary delays in  
8 getting an effective intervention through the  
9 coverage process, to make sure that our panels  
10 are consistent from one panel to the other and  
11 from one technology to the other, to make our  
12 decisions, or rather, our recommendations, more  
13 understandable to the proponents of the general  
14 public, and finally, to make sure that the panels  
15 are accountable both to each other and the  
16 Executive Committee for the quality of work that  
17 they do, but also more accountable to HCFA and to  
18 the public. So the whole notion is to try to  
19 make this process more transparent so that both  
20 proponents and the public understand the basis  
21 for coverage decisions that HCFA would make based  
22 on our assessment of the evidence.

23           So let's turn to the next transparency  
24 where we deal with what is probably the most  
25 difficult problem, which is deciding whether the

.00015

1 evidence is adequate. Our statement is that the  
2 panels must determine whether the scientific  
3 evidence is adequate to draw conclusions about  
4 the effectiveness of the intervention in routine  
5 clinical use in the population of Medicare  
6 beneficiaries.

7           And that statement really can be broken  
8 down into two substatements. The first is is the  
9 evidence valid? Do the conclusions really  
10 represent what actually happened? And secondly,  
11 is the evidence applicable to Medicare  
12 beneficiaries, the population of interest? So  
13 let's spend some time talking about each one of  
14 those.

15           Now, the first question you have to ask  
16 when you're comparing the effects of a new

17 intervention to an old established intervention  
18 is are the two populations of patients that  
19 you're using to make that comparison truly  
20 comparable so that the only difference between  
21 them that might affect the outcomes that you're  
22 trying to measure is the intervention itself? So  
23 when we ask about bias, we ask whether the study  
24 systematically overestimates or underestimates  
25 the effect of the intervention because of

.00016

1 possible bias or other errors in assigning  
2 patients to either the intervention group or the  
3 controlled group.

4           An example might help here. Suppose  
5 there's a surgical procedure of unknown  
6 effectiveness, but pretty risky. It's the sort  
7 of thing that you wouldn't do on somebody who was  
8 real sick for fear that they would die  
9 prematurely as a result of the intervention  
10 rather than of the disease for which the  
11 intervention is intended.

12           In an observational study in which you  
13 try to compare the outcomes of using this  
14 intervention with the previous intervention,  
15 which is let's say less dangerous, but possibly  
16 less effective as well, the problem would ensue,  
17 when the surgeon looks at a patient and says this  
18 patient is simply too sick to go through this  
19 procedure, so I'm going to assign this patient to  
20 the controlled group, it's not going to get the  
21 procedure. And through a series of such  
22 decisions, you end up with the study population  
23 that gets the intervention, who's basically  
24 pretty well because they're well enough to get  
25 through the procedure safely, and the controlled

.00017

1 group, which are all the sick patients, who look  
2 like they wouldn't be able to get through the  
3 procedure.

4           So a year later when you look at the  
5 outcomes, sure enough, the people who got the  
6 procedure, many more of them are still alive and  
7 functioning well as compared with the controlled

8 group, but because the two groups are very  
9 different in their composition, you can't tell  
10 whether it was the intervention that led to them  
11 being more healthy after the intervention or  
12 whether it was the fact they were healthier  
13 before the intervention as a result of assignment  
14 on the basis of their ability to survive the  
15 procedure. So that's an example of biased  
16 allocation of patients to intervention and  
17 controlled group that could lead to a very  
18 misleading interpretation of the outcomes at one  
19 year.

20 So how do you avoid bias? Well, the  
21 best way to avoid bias is simply to allocate  
22 patients randomly to the controlled group or to  
23 the intervention group. Random allocation  
24 eliminates the type of systematic bias that I  
25 described in my example, although it's still

.00018

1 possible that the two groups could be unbalanced  
2 because of just the random allocation process,  
3 which doesn't necessarily assign people to the  
4 two groups in equal numbers if the numbers in the  
5 two groups are relatively small.

6 Now, in an observational nonrandomized  
7 study such as the one I described in my example,  
8 it's often very difficult to decide whether the  
9 results were due to bias or due to the  
10 intervention. And so we're advising the panels  
11 to be very alert to the possibility of systematic  
12 allocation bias and observational studies by  
13 considering, first of all, the comprehensiveness  
14 of the available data, how the patients were  
15 selected to receive the intervention and the  
16 extent of disease in intervention and controlled  
17 groups.

18 And it's possible, using statistical  
19 methods, to control for the variables that you  
20 know about if you've measured them carefully.  
21 The big problem is that you can't control for the  
22 variables you don't know about. And that's the  
23 beauty of the randomized approach is that the  
24 intervention and the controlled group are

25 equivalent, not just for the variables you know  
.00019

1 about, but also for the variables you don't know  
2 about. It's a very powerful idea,  
3 randomization.

4           In some cases the panel may decide that  
5 it can't draw firm conclusions about the  
6 effectiveness of an intervention without  
7 randomized trials. And you can see how that  
8 might be the case from the example I described.  
9 But in some other cases, perhaps many cases, the  
10 panel will determine that observational evidence  
11 is sufficient to draw conclusions about  
12 effectiveness.

13           When they do that, it's really the  
14 panel's obligation to describe potential sources  
15 of bias that they perceive and to explain why  
16 biased allocation as the result of those factors  
17 doesn't account for the results. So in other  
18 words, there's a substantial burden of proof on  
19 the part of the panel to show that it was really  
20 the intervention that made the difference rather  
21 than some other difference in the two study  
22 populations.

23           Finally, the subcommittee made, I  
24 think, a very strong statement saying that a body  
25 of evidence that consisted only of uncontrolled  
.00020

1 studies, whether based on anecdotal evidence,  
2 testimonials or case series or disease registries  
3 without adequate historical controls, is never  
4 adequate. So we really feel strongly there needs  
5 to be some form of control even if it's only  
6 historical controls.

7           So let's move on then to the question  
8 of external validity basically asking the very  
9 simple question, do the results apply to the  
10 Medicare population? Do we expect that we will  
11 see these results in the Medicare population if  
12 they receive the intervention?

13           For a long time randomized studies  
14 tended to deal with populations that did not  
15 include the elderly. Part of the reason for that

16 is that the older people have other diseases that  
17 may cause their death before the disease for  
18 which the intervention that you're testing is  
19 intended. And so it's much better if you get a  
20 population of patients who have only the disease  
21 that you're trying to evaluate as the potential  
22 cause of death. And so as a result, until  
23 relatively recently, elderly patients were not  
24 included in randomized trials.

25 For example, there are no women over  
.00021

1 the age of 75 in randomized trials of screening  
2 for breast cancer despite the fact that the  
3 incidence of breast cancer continues to rise  
4 through the 70s.

5 Now, increasingly, randomized trials  
6 are including elderly men and women. However, if  
7 elderly men and women are included in those  
8 studies only in proportion to their numbers in  
9 the population as opposed to a study that's only  
10 including elderly people, there may be too few  
11 older people in the study to draw firm  
12 statistical conclusions about the effect of the  
13 intervention.

14 There's also a concern if the study  
15 population is not the same as the general  
16 population, the Medicare beneficiaries, then you  
17 have to decide that results in a particular  
18 subsection of Medicare beneficiaries apply to all  
19 Medicare beneficiaries that might eventually  
20 receive the intervention.

21 So we call upon the panel to explain  
22 its reasoning in deciding that the findings of a  
23 series of studies really apply to all Medicare  
24 populations. And in fact, the panel might  
25 conclude that they don't, and it would be up to

.00022

1 HCFA then to decide on coverage based on that  
2 conclusion.

3 Finally, interventions vary from site  
4 to site. What works at Johns Hopkins or at Mass  
5 General may not work in a community hospital. So  
6 the panel has to explain whether the results that

7 are published are going to apply to all  
8 healthcare settings and explain why they think  
9 that would be the case.

10 So far we've talked about how you  
11 evaluate the adequacy of the body of evidence.  
12 And the issues, again just to repeat them, are,  
13 first of all, biased allocation of patients to  
14 the intervention group and the controlled group  
15 as something that interferes with the ability to  
16 draw a conclusion about whether it's the  
17 intervention that really made the difference,  
18 and secondly, the general applicability of the  
19 results to the Medicare population.

20 So let's now turn to talk about the  
21 size of the health effect. And our statement is  
22 that evidence from well-designed studies that  
23 meet the first criterion -- that is to say  
24 adequate evidence -- must establish how the  
25 effectiveness of the new intervention compares

.00023

1 with the effect of established services and  
2 medical items.

3 And we think that we've helped HCFA  
4 with its assignment to make coverage decisions by  
5 placing both the size of the effect and the  
6 direction of the effect as compared with  
7 established services or medical items into one of  
8 these seven categories. And by the direction of  
9 the effect, I mean is it better or is it the same  
10 or is it worse?

11 So one category would be a breakthrough  
12 technology. This is something that we all want  
13 to see a lot more of, something that causes such  
14 a large improvement in healthcare outcomes that  
15 it becomes overnight standard of care.

16 The second category would be more  
17 effective. The new intervention improves  
18 healthcare outcomes by a definite significant,  
19 albeit small, margin as compared with established  
20 services or medical items.

21 The third category would be as  
22 effective, but with advantages. So the  
23 intervention has the same effect on healthcare

24 outcomes as established medical services or  
25 items, but it has some advantages that would be  
.00024

1 important to some if not all patients, such as  
2 convenience, rapiditive effect, fewer side  
3 effects and so forth. So some people might  
4 prefer it over existing interventions.

5 Then there's a category called as  
6 effective, but with no advantages, an  
7 intervention that basically has the same effects  
8 on healthcare outcomes as existing services and  
9 doesn't have any substantial advantages.

10 A fifth category is less effective, but  
11 with advantages. So it's certainly possible that  
12 an intervention could be somewhat less effective  
13 than existing alternatives, but it would have  
14 some advantages that would be so important to  
15 some patients that they might choose it even  
16 though it might not have the same effect on their  
17 health status as existing interventions.

18 The sixth category is less effective  
19 with no advantages. The intervention is less  
20 effective than established alternatives, but more  
21 effective than doing nothing, and doesn't have  
22 any significant advantages.

23 The last category is not effective.  
24 The intervention has no effect or has deleterious  
25 effects on healthcare outcomes when compared with

.00025

1 doing nothing, such as treatment with placebo or  
2 patient management without the use of a  
3 diagnostic test in the case of a diagnostic test.

4 So let's then move on from two  
5 principles by which the panels can hopefully  
6 provide consistent, understandable advice to HCFA  
7 about the quality of the evidence and the  
8 magnitude of the effect on healthcare outcomes.

9 Now we're going to get into operational  
10 procedures, how the subcommittee feels the panel  
11 should operate in order to provide consistent  
12 results from panel to panel and from intervention  
13 to intervention.

14 And the first basic principle is that

15 the panel must explain its conclusions in  
16 writing. And this requirement is clearly aimed  
17 at trying to improve the transparency of the  
18 process and the accountability to the public as  
19 well as to the proponents of the technology.

20 We've also put it in the hands of the  
21 panel chair to be responsible for writing the  
22 explanation of the panel's conclusions.

23 The next procedural recommendation has  
24 to do with structuring the evidence so that the  
25 panels can function effectively. So we recommend

.00026

1 that the panels should receive well-organized,  
2 high-quality background information before they  
3 begin their deliberations about the adequacy of  
4 the evidence and the size of the effect. And we  
5 recommend that the evidence should be summarized  
6 in a report, which we call an evidence report,  
7 not simply presented as a collection of data or  
8 primary studies. And there's ample precedent for  
9 this in the technology evaluation efforts of many  
10 other organizations.

11 So our basic principle is the integrity  
12 of the coverage decision process begins with  
13 complete critical evaluation of the literature.  
14 And we feel that the standard for HCFA should be  
15 the best that's out there in other settings, such  
16 as the private sector where Blue Cross Blue  
17 Shield has a long track record of doing  
18 evaluations of the evidence and making coverage  
19 decisions in what is a process that's both  
20 efficient and I think highly regarded by  
21 professional organizations such as the ACP-ASIM  
22 and by other federally sponsored panels. The  
23 Agency for Health Research and Quality has a  
24 series of evidence-based practice centers in  
25 various universities, and I think there are a

.00027

1 couple of private settings around the country,  
2 and they provide technical support for the U.S.  
3 Preventive Services Task Force on which I serve.

4 Now, evaluating the evidence carefully  
5 and providing a balanced, well-organized report



6 of it to the panels is a task that inevitably is  
7 going to take some time. It's the opinion of the  
8 subcommittee that it should be possible to do  
9 these reports in six months or less. Those of  
10 you who are experienced in doing this work know  
11 that that's fast for doing an adequate evidence  
12 report, but we think that HCFA should meet that  
13 standard.

14 The next procedural recommendation is  
15 basically that members of the panel should be  
16 actively involved in the process of reviewing the  
17 evidence, and that's based on quite a lot of  
18 experience with other health technology  
19 programs.

20 So for example, we think that the chair  
21 of the panel and perhaps others -- but certainly  
22 the chair -- should work with HCFA to establish  
23 which are the most important questions that the  
24 evidence report should address, and then  
25 ultimately the panel must answer as part of its

.00028

1 deliberations.

2 Secondly, we feel that several members  
3 of the panel should be active participants in  
4 designing the evidence review and preparing the  
5 evidence report that the panel will consider.  
6 And that's based in part on what we feel is the  
7 need to have real expertise on the panel on the  
8 topic in question. And the best way to get that  
9 expertise is to participate in the design of the  
10 evidence review and the writing of the report.

11 Finally, we feel that it's very  
12 important that each evidence report be given an  
13 extremely careful review. We expect that all  
14 members of the panel will read the report very  
15 carefully, but we also recommend that one or two  
16 members of the group be assigned to be what are  
17 called primary reviewers, and we expect those  
18 people to really dig into that report, do their  
19 best to find any potential problems with the  
20 report so that the panel will know that the  
21 report has been given sort of the ultimate in  
22 very close scrutiny.

23 Finally, we recommend that there be  
24 expert review of the evidence report. To ensure  
25 that the evidence report is complete and free

.00029

1 from bias, the Executive Committee recommends  
2 expert review of the evidence reports. This is  
3 going to mean in general subjecting the reports  
4 to external review. And the purpose of that is  
5 to assure everybody, the public, the proponents  
6 and the panel, that the evidence report is  
7 complete and that it's fair.

8 That external review should take place  
9 before the panels meet, and the evidence report  
10 as well as the comments of expert reviewers will  
11 be part of the public record of the panel's  
12 deliberations. We envision a relatively small  
13 number of expert reviewers, perhaps a half dozen,  
14 and we will require them to complete their review  
15 in a timely fashion, within a month.

16 Now, the last transparency is not part  
17 of our report, but it's based on what you could  
18 read in the report as a possible time line for a  
19 typical MCAC evaluation. So times zero is the  
20 time that HCFA decides to go to MCAC for an  
21 opinion about the adequacy of the evidence. Then  
22 in the first month HCFA and the panel chair would  
23 decide on what are the key questions that the  
24 panel needs to address and what are the key  
25 requirements of the evidence report. In

.00030

1 addition, HCFA would decide who would do the  
2 evidence report.

3 Month two to seven would represent the  
4 time during which the evidence report would be  
5 prepared. And again, it might not be month two  
6 to seven. It might be month two to five if the  
7 topic was one that led itself to a more speedy  
8 conclusion of the review of the evidence.

9 In month eight the report is out for  
10 external review. It's out to members of the  
11 panel for review. And at the end of that month  
12 there's a meeting of the panel that leads to a  
13 report to the Executive Committee. And certainly

14 in the ideal world, the timing of the Executive  
15 Committee meetings would be closely tied to panel  
16 meetings, so the Executive Committee could sign  
17 off on the recommendations of the panel within a  
18 month after the completion of the panel meeting.  
19 And then it will be up to HCFA to decide on its  
20 own time schedule about coverage policy.

21 So that concludes the report of the  
22 subcommittee. And I think it would be good now  
23 for members of the subcommittee to say anything  
24 that they wish about my report to be sure that it  
25 reflects the views of the members of the

.00031

1 subcommittee.

2 So would anybody on the subcommittee  
3 like to comment at this point on my review?

4 MS. RICHNER: I have something.

5 DR. SOX: Randel, please.

6 MS. RICHNER: I actually wrote  
7 something last night. I wanted to write them all  
8 down so that I didn't forget anything. So excuse  
9 me while I load up here to get something. If  
10 anybody else has anything to say -- I didn't know  
11 that this was my time to talk.

12 DR. SOX: Randel, is it okay if John  
13 makes a few remarks?

14 MS. RICHNER: Sure.

15 DR. FERGUSON: Just a few. First of  
16 all, I think that this is a very nice road map.  
17 It's an idealistic road map in my view. And I  
18 guess my overall view is although I think that  
19 this is something that we all might like to shoot  
20 for, that the end result following this totally  
21 might tie the process so that it wouldn't work,  
22 and I would not like to see that happen.

23 A couple of specifics. Point one on  
24 the adequacy of the evidence.

25 DR. SOX: John, actually, if you don't

.00032

1 mind, I think I'm going to interrupt you. We're  
2 going to have an opportunity later on in the  
3 morning to present our concerns about the  
4 report. I think maybe it would be better to do

5 that later and just have the members of the  
6 subcommittee comment on whether I have given the  
7 report as they think it is. Is that okay?

8 DR. FERGUSON: Sure. You meant from  
9 the members of the subcommittee?

10 DR. SOX: Yes.

11 DR. FERGUSON: Excuse me.

12 DR. SOX: If you wouldn't mind holding  
13 it.

14 DR. FERGUSON: That's fine.

15 DR. SOX: Has that given you enough  
16 time to get your thing up on the computer?

17 MS. RICHNER: Once again, I'm sorry to  
18 have to do it this way, but I decided to write  
19 this on the computer last night, so I didn't have  
20 any way to print it.

21 DR. KANG: We can print it for you.

22 MS. RICHNER: That's okay. I'll just  
23 read it.

24 In my work to date with MCAC, I have  
25 attempted to bring views on the impact of our

.00033

1 coverage and process recommendations on the  
2 industry, on technology development and  
3 innovation, and first and most importantly, of  
4 the impact of these recommendations on patient  
5 access to new technology.

6 My views are derived from years of  
7 practical experience and applied research from  
8 being a nephrology transplant nurse, public  
9 health research background, including health  
10 economics -- now comes research for the  
11 pharmaceutical industry -- and most recently, as  
12 the vice president of a large manufacturer of  
13 minimally invasive technology.

14 I've always considered myself one who  
15 comes from a scientific and clinical perspective  
16 and passionate about what is important for the  
17 patient. Having said this, I am certain that no  
18 matter what I say, it will not be to the liking  
19 of at least one if not several of the  
20 constituencies represented here today.

21 While I was invited to participate in

22 the subcommittee who has drafted this document, I  
23 can say that I am not completely satisfied with  
24 the final output of this draft. First, I was  
25 particularly concerned with the tone, which

.00034

1 implied a lack of flexibility in reviewing and  
2 assessing the information that is available for  
3 technology assessments. I feel that overall the  
4 document assumes that new technology information  
5 is innately flawed, or another way of saying it,  
6 that all technology is guilty until proven  
7 innocent and that it is HCFA's responsibility to  
8 protect the public.

9           Second, we do not take into account the  
10 availability and rigor of evidence that is  
11 available over time for a technology. Depending  
12 upon when the technology is referred to MCAC, the  
13 life cycle of the technology can have a profound  
14 impact on the level and the types of evidence to  
15 be reviewed.

16           Third, our primary task was to describe  
17 a process for which the panels could make  
18 efficient decisions. I felt the draft was never  
19 clear on the who, what and when directions for  
20 the panels. I also was concerned that we have  
21 added on time and many additional reviewers that  
22 would make the overall process arduous for any  
23 technology to overcome.

24           However, I must strongly support that  
25 we, the industry -- and I assume that we're all

.00035

1 the industry in some ways -- have a  
2 responsibility to the patient to ensure that the  
3 technologies we develop and expect to be covered  
4 and paid for will ultimately produce some  
5 additional benefit to the Medicare patient. This  
6 should be expected and demanded by consumers of  
7 healthcare services and products.

8           Finally, I feel that HCFA should have  
9 provided MCAC more guidance for the Executive  
10 Committee on content and process. I feel that  
11 the lack of published guidelines could have  
12 provided clearer guidance on criteria for which

13 the technology should be assessed. They've  
14 essentially left it de facto to the committee.

15 I'm very committed to the MCAC  
16 process. We have an incredible resource of  
17 dedicated, highly talented individuals from which  
18 we can freely draw and use their expertise for a  
19 technology assessment process that is workable,  
20 doable, predictable and fair.

21 The committee should have had  
22 instruction on the goal of coverage evaluations  
23 in a divided, fragmented coverage and payment  
24 system that no one can possibly understand who is  
25 not intimately involved with the inner workings

.00036

1 of HCFA. I even wonder if those inside HCFA  
2 really understand how one system affects  
3 another. It's very important.

4 As a quick example, how many times have  
5 I heard recently from very educated individuals,  
6 why can't we simply get them, HCFA, to increase  
7 the DRG payment to cover the new technology?  
8 J&J did it with stents. I hear that one all the  
9 time.

10 In conclusion, all the dialogue has  
11 been particularly useful to move this to the  
12 point where I believe we can now successfully  
13 design a process and criteria that will work for  
14 fair technology assessments. With some open and  
15 frank discussions I expect we'll have today, I  
16 hope that we can enable a definitive coverage  
17 process for promising therapies and  
18 technologies. Thank you.

19 DR. SOX: Thank you very much.

20 Would any other member of the  
21 subcommittee wish to make any remarks?

22 Well, since there are no further  
23 remarks from the subcommittee, it's now time for  
24 us to go into open public session. And let me  
25 just briefly lay out the ground rules. We have

.00037

1 nine people.

2 DR. BERGTHOLD: I'd just like to say  
3 one thing for the record.

4 DR. SOX: Thank you very much.

5 DR. BERGTHOLD: I just wanted to  
6 comment on the process of the subcommittee for  
7 those of you who didn't have the opportunity to  
8 be involved, including people here around the  
9 table, and that is that Hal as chair was very  
10 open to all kinds of our concerns about nuance,  
11 word and tone, and I believe this went through at  
12 least a dozen drafts and iterate of drafts trying  
13 to be sure that the tone was clear.

14 And so while some may think that this  
15 looks negative, I think it is incumbent upon  
16 everyone, not only here, but in the audience, to  
17 really carefully read this document. Almost  
18 every word was discussed and talked about at  
19 great length so that the tone would be clearly  
20 that while there's a gold standard for evidence,  
21 we understood, all of us, that not every new  
22 technology will meet that standard.

23 So I just wanted to make that clear,  
24 that we had this level of discussion at the  
25 subcommittee level, and I wanted to thank Hal for

.00038

1 being very receptive and open to everybody's  
2 comments. Thank you.

3 DR. SOX: Thank you very much.

4 Any other comments before we move on?

5 In that case we'll go into open public  
6 session. The plan is to have five speakers in  
7 the next hour, then take a 20-minute break, and  
8 then come back for the last four speakers, then  
9 move on to the HCFA presentation at approximately  
10 a quarter to 11:00.

11 So five divided into 60 goes 12 minutes  
12 per speaker. Excuse me.

13 Could you approach the mic if you have  
14 to make a comment.

15 DR. WEISENTHAL: My name is Larry  
16 Weisenthal, and I just have a protest concerning  
17 the allocation of time to the speakers. I  
18 noticed that your five speakers for the first 60  
19 minutes have 12 minutes a piece, and that leaves  
20 four speakers in 20 minutes for five minutes a

21 piece. So the first speakers get 12 minutes.

22 The second speakers get five minutes.

23 I paid \$900 of my own money to fly from  
24 California and miss two days of work, and I was  
25 told in advance I'd have ten minutes. I can say

.00039

1 it in ten minutes, but I'd really like to have  
2 12.

3 DR. SOX: Thank you very much.

4 Everybody's going to have the same amount of  
5 time. Let's see. We've got basically an hour  
6 and -- I think what we'll basically say is ten  
7 minutes per speaker, which I guess is what you  
8 were led to expect, and we'll just let the time  
9 fall where it may.

10 So I'm going to ask you to stop at ten  
11 minutes, and I will be impolite and tell you to  
12 sit down if you try to go over, just so you  
13 understand that's the way I am. And I'll raise  
14 my hand with about a minute to go to give you a  
15 chance to wrap up.

16 So let's start with Guido Tricot, who  
17 is Director of the Myeloma Transplant Center at  
18 the University of Arkansas. Welcome.

19 DR. TRICOT: Thank you very much for  
20 giving me the time to bring up a few issues. My  
21 name is Guido Tricot. I'm the director of the  
22 myeloma program at the University of Arkansas.

23 The first issue I would like to bring  
24 up is the age issue. Although we assume that  
25 Medicare is mainly for patients over the age of

.00040

1 65, when we reviewed the records of patients who  
2 had transplants for myeloma, approximately  
3 one-third of the patients were under the age of  
4 65. That's one issue.

5 The second issue about age is that most  
6 of the reasons why age has become a problem --

7 MS. LAPPALAINEN: Could you bring the  
8 mic closer to you? It's wireless, so you can  
9 pick it up, if you'd like.

10 DR. TRICOT: -- why age has become a  
11 problem is because of the comorbid conditions



12 that the patients may have. And in most studies  
13 there are sufficient exclusion criteria to deal  
14 with the comorbid conditions. And rather than  
15 making age an issue, because we all know that  
16 there is basically no difference between a  
17 patient who is 64 years and 11 months and  
18 somebody who is 65 years, and that there's a  
19 difference between calendar age and biologic age,  
20 I think exclusion criteria rather than age itself  
21 should be the main thing to exclude comorbid  
22 conditions.

23 A second point that I would like to  
24 bring up is that in the explanation of panel's  
25 conclusion, the panel chair is responsible for

.00041

1 writing the explanation of the panel's  
2 conclusion. We need to make sure that there are  
3 mechanisms in place that the report is a  
4 reflection of the whole group of the panel and  
5 not necessarily mainly a reflection of what the  
6 chair's vision is.

7 A third point is the external review by  
8 experts. Although it states that this will  
9 become part of the public record, we need to make  
10 sure that this becomes part of the public record  
11 prior to the panel meeting and that there's  
12 adequate time to review and comment at the time  
13 that the proponents will make the report.

14 A smaller comment is on the randomized  
15 studies. Although we all would like to have many  
16 randomized studies all showing the same results  
17 and going in the same directions, we also need to  
18 be aware of the fact that once there is one  
19 randomized study that shows that one treatment is  
20 better than the other, it becomes difficult to do  
21 further randomized studies. In principle you're  
22 only supposed to do randomized studies if as a  
23 physician you're not convinced that one treatment  
24 is better than the other and that you have no  
25 bias toward any of the treatment modalities.

.00042

1 There's also a problem with referral  
2 patterns. We at the University of Arkansas have

3 tried to do randomized studies, but the patients  
4 that are coming to our institution come from  
5 everywhere, and they come because they want a  
6 certain procedure done, and we have never been  
7 able to do randomized studies because of that.

8           And the last point I would like to  
9 bring up is that there is a tremendous time lapse  
10 between initiation of the process and the point  
11 in time the proponents are convinced that what is  
12 proposed is better than what has been available  
13 before and the ultimate approval. And it's going  
14 to be at least nine months, and probably more  
15 likely, 12 months or more. And I think there  
16 should be a mechanism in place that provides  
17 temporary approvals in between this 12-month  
18 lapse and that a committee of experts can be  
19 gathered to give temporary approvals until the  
20 final decision by HCFA is made.

21           I think those are my main concerns.  
22 Thank you very much for giving me this time.

23           DR. SOX: I should remind the members  
24 of the Executive Committee that we're going to  
25 have about an hour to ask questions of the people

.00043

1 who are going to speak. So take notes and be  
2 ready to ask some questions during the hour that  
3 will be reserved for discussion with them.

4           With that, we'll move on to Richard  
5 Justman, who is medical director of United  
6 Healthcare and the American Association of Health  
7 Plans.

8           DR. JUSTMAN: Thank you. Good  
9 morning. My name is Dick Justman, and I do not  
10 have any financial connection to technology or  
11 device manufacturers. In my current position  
12 that would be very difficult.

13           My name is Dick Justman, and I'm the  
14 national medical director of United Health Group.

15           DR. HILL: Excuse me, Dr. Justman.  
16 Would you do the same thing with your  
17 microphone? Folks in the back are indicating  
18 they can't hear.

19           DR. JUSTMAN: Is that better?

20 DR. HILL: Thank you.

21 DR. JUSTMAN: I'm the national medical  
22 director of United Health Group, and I'm here  
23 today speaking on behalf of the American  
24 Association of Health Plans. AAHP represents  
25 more than a thousand health maintenance

.00044

1 organizations, preferred provider organizations  
2 and other similar network-based health delivery  
3 systems that provide healthcare to more than 150  
4 million Americans. AAHP member health plans are  
5 dedicated to the philosophy that we put patients  
6 first by offering them benefit packages offering  
7 coordinated comprehensive healthcare.

8 United Health Group, the company for  
9 which I work, has 40 health plans around the  
10 United States serving approximately 14 million  
11 commercial enrollees in HMO, PPO point of service  
12 and exclusive provider organization products. We  
13 also have approximately 400,000 Medicare  
14 enrollees.

15 As you may have read recently in the  
16 newspapers, United Health Group has recently  
17 embarked upon a program which we call care  
18 coordination, and this is a model of healthcare  
19 coverage which essentially allows physicians and  
20 patients to make healthcare decisions with  
21 minimal intrusion by the health plan subject only  
22 to the limitations of benefit design. However,  
23 we feel very strongly that for this endeavor to  
24 work, we need to be covering procedures to  
25 biases, treatments and drugs that we know

.00045

1 actually do work.

2 We strongly endorse a rigorous,  
3 evidence-based approach to coverage  
4 determinations. We applaud the establishment of  
5 the Medicare Coverage Advisory Committee to  
6 assist HCFA to evaluate the clinical evidence  
7 about the relative effectiveness of new medical  
8 devices, services and other technologies.

9 The report of the Executive Committee  
10 working group to be discussed today will promote

11 systematic and consistent evaluation of the  
12 clinical evidence by the panels that we believe  
13 should meet the needs of all the stakeholders.

14           There is compelling evidence, including  
15 evidence cited by President Clinton's own  
16 advisory commission on consumer protection of  
17 quality in the healthcare industry, that  
18 Americans do not always receive the best possible  
19 healthcare. In many instances they do not  
20 receive important healthcare services that they  
21 should, and yet in other instances they receive  
22 services of uncertain value, and unfortunately in  
23 yet other instances they receive services of  
24 questionable quality.

25           Also, too often medical treatments are  
.00046

1 widely disseminated before they have been proven  
2 to be effective putting patients potentially at  
3 risk of harm, and this also discourages for  
4 further research.

5           Both of these problems, the variation  
6 and the use and quality of healthcare services  
7 and the proliferation of unproven treatments,  
8 illuminate the importance of promoting a delivery  
9 care that is based upon robust, scientific  
10 evidence.

11           To give you an example, a recent study  
12 showed that between 1987 and 1991, only 21  
13 percent of eligible elderly patients were treated  
14 with beta blockers for ischemic heart disease,  
15 myocardial infarction and related disorders and  
16 that the subsequent mortality rate for those who  
17 did receive the treatment was 43 percent lower  
18 than for those who did not receive the  
19 treatment. This translates into, in that study  
20 group, 18,000 potentially avoidable deaths that  
21 would not happen because the appropriate  
22 treatment was not given.

23           What is really stunning in this case is  
24 that in the words of the American Medical  
25 Association, beta blockers are one of the most  
.00047

1 scientifically studied and substantiated medical

2 therapies. There is a plethora of published  
3 evidence about them. The American College of  
4 Cardiology and the American Heart Association  
5 have brought guidelines and physician statements  
6 promoting their use. And despite this and  
7 despite voluminous evidence, there are many  
8 eligible people who potentially would have  
9 benefited from beta blockers who have not  
10 received them.

11 A second problem undermining the  
12 quality of care is the proliferation of  
13 treatments that have been widely disseminated in  
14 the absence of proof that they are effective. In  
15 such cases patients may be harmed because they  
16 forego a standard proven therapy in favor of a  
17 treatment that may be less effective than the  
18 standard one.

19 A most recent example is that of high-  
20 dose chemotherapy and bone marrow transplantation  
21 for women with breast cancer. An assumption was  
22 made many years ago that if women are partially  
23 responsive to standard dose chemotherapy, that  
24 high-dose chemotherapy coupled with bone marrow  
25 or peripheral stem cell rescue would be even more

.00048

1 effective. Unfortunately at the time this  
2 assumption was made, there was little evidence to  
3 support this, little robust scientific evidence.  
4 And in fact, this became widely disseminated as a  
5 treatment that women must have. Well-intentioned  
6 advocacy groups promoted its use. Many states  
7 actually passed laws mandating coverage for  
8 this. And this essentially became a  
9 self-fulfilling prophecy.

10 Women assumed that if states were  
11 mandating coverage for this, this must be a  
12 preferred and effective treatment. This  
13 essentially made it very difficult for women to  
14 randomize themselves into controlled trials  
15 because women were afraid that if they were  
16 randomized into the standard treatment group,  
17 they would miss out on treatment that might be  
18 effective. So in fact, there was circular

19 reasoning here.

20           And as you know, there has been recent  
21 published evidence that says that if anything,  
22 high-dose chemotherapy bone marrow  
23 transplantation is no more effective than  
24 standard chemotherapy for women with breast  
25 cancer although the morbidity of high-dose

.00049

1 chemotherapy is substantially greater. So this  
2 is a very stunning example of a situation in  
3 which a therapy is rapidly proliferated in the  
4 absence of scientific evidence, and it is very  
5 difficult now to reverse that trend.

6           Another example of a less life-  
7 threatening but equally pervasive disorder has to  
8 do with low-back pain. Approximately a year ago  
9 in a national news weekly, a device was  
10 discussed, which presumably through a heat  
11 treatment, reduces significantly diskogenic  
12 low-back pain. This was widely reported, and  
13 many providers in many regions of the country  
14 began to promote this treatment.

15           At the time that this was done, there  
16 was almost no scientific evidence published at  
17 all. All the scientific evidence that was  
18 available was available on a website.

19           To make matters worse, there were yet  
20 other providers who began to use this device to  
21 treat neuropathic pain, for which the FDA  
22 indications never existed in the first place. So  
23 this is yet another example where in the absence  
24 of scientific evidence, there can be rapid  
25 proliferation of technology that desperate people

.00050

1 will try to use.

2           Health plans have taken a prominent  
3 role in promoting evidence-based care.

4 Increasingly, health plans are working with  
5 physicians to reduce the variation in practice  
6 patterns through the dissemination of chemical  
7 profiling tools and processes of care that guide  
8 physicians to provide their patients the right  
9 care at the right time and in the right setting.

10 Health plans distribute and encourage  
11 the use of evidence-based processes of care by  
12 physicians and other healthcare providers.  
13 Health plans also provide feedback to physicians  
14 about how their treatment practice patterns,  
15 including underutilization and overutilization,  
16 compared to scientific evidence and also to the  
17 practice patterns of their peers. Health plans  
18 make scientific coverage determinations based  
19 upon the best available evidence. Through these  
20 and other activities, health plans actively  
21 promote the use of evidence-based care.

22 Through technology assessment, health  
23 plans are working to approve coverage of new  
24 treatments supported by medical evidence and to  
25 avoid the coverage of treatments for which there

.00051

1 is no scientific evidence and for which these  
2 treatments may actually harm patients. In  
3 technology assessment organizations gather and  
4 evaluate the scientifically valid evidence  
5 available, including, but not limited to,  
6 surgical procedures, devices and drugs.

7 First, they determine whether the  
8 evidence demonstrates that the treatment is  
9 safe. Second, they evaluate whether or not the  
10 evidence demonstrates that the treatment is as  
11 effective or more effective than an existing  
12 treatment if an existing treatment does exist.

13 Health plans use this information in  
14 determining whether or not the treatment should  
15 be a covered service. By implementing a  
16 structured method for evaluating new or existing  
17 treatments and not covering treatments not proven  
18 to be effective, health plans are working to  
19 reduce the proliferation of unproven and  
20 potentially unsafe treatments.

21 However, health plans cannot solve this  
22 problem alone. We need the help of others within  
23 the system, including Medicare, Medicaid  
24 providers, researchers and manufacturers.  
25 Increasingly, the healthcare community and policy

.00052

1 makers recognize the importance of promoting  
2 evidence-based care and are working to change the  
3 current environment.

4 In addition to health plans, others in  
5 the healthcare community understand the  
6 importance of promoting and providing evidence-  
7 based care, and in order to be valid, the  
8 evidence itself must meet certain criteria.

9 We support very definitely the use of  
10 the best possible scientific evidence, and we are  
11 aware that randomized controlled trials ideally  
12 are the best evidence. We recognize also,  
13 however, that those are not always possible,  
14 either due to the lack of availability of a  
15 control arm, the size of the cohort or other  
16 factors. However, we believe very strongly that  
17 we must always seek the best scientific evidence  
18 that is available and the best methodology  
19 available in order to make coverage decisions.

20 In conclusion, I would like to stress  
21 that the first goal of the healthcare system  
22 should be to provide quality healthcare  
23 services. In our current system too often  
24 quality is compromised because the care delivered  
25 is not consistent with the best available medical

.00053

1 evidence.

2 Health plans are committed to improving  
3 quality care through reliance on medical evidence  
4 when making coverage determinations, when  
5 evaluating new therapies and in communicating  
6 with providers. In order to improve the quality  
7 for all patients, however, all stakeholders in  
8 the healthcare system, not just the health plans,  
9 must be actively committed to the process of  
10 using evidence-based medicine. Thank you.

11 DR. SOX: Thank you very much. Just so  
12 that the speaker knows when there's one minute to  
13 go, I'm going to stand up, which hopefully will  
14 catch your eye. Putting up my hand didn't seem  
15 to work very well.

16 Our next speaker is Morgan Downey,  
17 Executive Director of the American Obesity



18 Association.

19 MR. DOWNEY: Thank you, Mr. Chairman  
20 and members. It's a pleasure to be here with you  
21 this morning.

22 My name is Morgan Downey, and I am the  
23 Executive Director of the American Obesity  
24 Association. This association is about four  
25 years old, and it was founded as an adequacy

.00054

1 organization to promote research, treatment,  
2 prevention and intervention in the epidemic the  
3 country is going through, obesity.

4 I'm very pleased to be able to address  
5 the complex issues of obesity in the Medicare  
6 program with you this morning. For the record,  
7 the American Obesity Association is supported by  
8 several major companies, including Amgen Hoffman-  
9 LaRoche and all pharmaceuticals, Weight Watchers  
10 International, in dues from professional and lay  
11 members. To the best of my knowledge, no  
12 supporter has a specific coverage issue before  
13 the Medicare Coverage Advisory Committee at this  
14 time.

15 At the outset I'd like to put our  
16 current and immediately foreseeable situation on  
17 the record. Over half of the United States  
18 population is overweight, and about a quarter is  
19 obese measured as their body mass index of over  
20 25 and over 30 respectively. According to 1991  
21 data, the percentages of the Medicare population,  
22 with the BMI of over 27.8 percent for males and  
23 27.3 for females, ranged from 23.8 percent for  
24 white males to 48.7 percent for black females.

25 As you well know, obesity is a major

.00055

1 independent risk factor for conditions such as  
2 Type II diabetes, hypertension, heart disease,  
3 stroke, several cancers, arthritis, end stage  
4 renal disease, gallbladder disease and sleep  
5 apnea, to name a few of the 30 or so conditions  
6 where associations have been found.

7 We know that obesity is increasing  
8 rapidly in the population. Jeffrey Copeland,

9 Director of the Centers for Disease Control and  
10 Prevention, has likened its spread to that same  
11 in infectious diseases. According to a recent  
12 article in JAMA in October, between 1991 and  
13 1998, the prevalence of obesity measured as a BMI  
14 over 30 among persons age 60 to 69 increased 44.9  
15 percent. The prevalence among persons over 70  
16 increased 28.6 percent. That is a rate of 6.4  
17 percent per year at a BMI level of 30 and four  
18 percent a year increase for a person over 70.

19 We also know that obesity is a major  
20 generator of healthcare costs. According to a  
21 study of the American Obesity Association  
22 commission from the Lewin group last year, the  
23 direct healthcare cost of obesity exceeded a  
24 hundred billion dollars in 1999. This figure  
25 does not include indirect costs or costs spent on

.00056

1 treating obesity itself. We did not ask for a  
2 breakdown by payers, but I think it's fair to  
3 assume that the Medicare program plays a  
4 significant if not majority component of those  
5 costs.

6 So it's not without substantial  
7 justification that obesity is now listed as one  
8 of the nation's ten leading health indicators, as  
9 announced a few weeks ago by the surgeon  
10 general.

11 We concede, therefore, that more and  
12 more Americans are becoming obese, which will  
13 dramatically increase their risk for diseases,  
14 which Medicare will pay for. These people will  
15 come into the Medicare program, both as they age,  
16 and also as they become eligible for disability  
17 under Social Security disability procedures.

18 The standards for the evaluation of  
19 obesity under Social Security is currently  
20 undergoing some changes, but we expect that the  
21 current number of 137,000 persons who receive  
22 Social Security disability under their obesity  
23 listing will continue to increase. And as you  
24 know, after two years on disability, these  
25 individuals start receiving healthcare coverage

.00057

1 under the Medicare program.

2 Our interests today are twofold.

3 First, we propose that the committee consider  
4 when evaluating new medical profits, be they  
5 laboratory tests, diagnostic procedures,  
6 preventative intervention or treatment, that a  
7 large portion, a quarter to a half of the  
8 Medicare population, is overweight or obese.

9 Questions might be asked were the  
10 studies in support of the procedures conducted in  
11 a representative sample of the current population  
12 by weight? Can Medicare beneficiaries who are  
13 obese access the new technologies?

14 As an example, there are recent studies  
15 showing, for example, that obese women receive  
16 pap smears and mammograms with less frequency  
17 than do nonobese women.

18 Last fall the representative of HCFA,  
19 speaking at a conference we had on public policy  
20 implications of obesity, indicated that the bone  
21 marrow transplantation protocols in this country  
22 exclude persons with obesity without medical  
23 justification.

24 Second, we propose that the committee  
25 begin the process of clarifying Medicare coverage

.00058

1 of obesity. Paragraph 3526 of the coverage  
2 manual states, quote, obesity itself cannot be  
3 considered an illness. The immediate cause is a  
4 caloric intake, which is consistent with a higher  
5 than caloric output. Program commitment may not  
6 be made for the treatment of obesity alone since  
7 this treatment is not reasonable and necessary  
8 for the diagnosis and treatment of an illness or  
9 injury. Yet under paragraph 3540, obesity  
10 surgery, bariatric surgery is covered if  
11 medically appropriate and necessary to correct an  
12 illness caused or aggravated by obesity.

13 Clearly these two paragraphs are  
14 inconsistent. If obesity cannot be considered an  
15 illness, the surgery to correct it can't be  
16 covered. On the other hand, as a reduction of

17 weight can correct an illness or injury  
18 aggravated by obesity, what possible  
19 justification is there for covering exclusively  
20 the most drastic and life-threatening  
21 intervention when other equally effective and  
22 less risky treatments are available? Clearly  
23 3526 of the coverage manual is wrong and should  
24 be considered an embarrassment to the Health Care  
25 Financing Administration.

.00059

1           Illness is synonymous with disease.  
2 Virtually every medical and scientific definition  
3 define diseases as, for example, does Stedman's  
4 medical dictionary, which is, one, an  
5 interruption, cessation or disorder of body  
6 functions, systems or organs, or two, a disease  
7 entity characterized by at least two of these  
8 criteria; one, recognized etiologic agent or  
9 agents, two, an identifiable group of signs and  
10 symptoms, three, consistent anatomical  
11 alterations. Clearly obesity means all three of  
12 these criteria.

13           Any analysis of the definitions of  
14 illness and injury disorder will demonstrate that  
15 obesity is considered an illness by the vast  
16 weight of modern, scientific and medical  
17 understanding. Therefore, we'd like to suggest  
18 two issues for your consideration.

19           First, given the increase in the  
20 overall Medicare population which is obese and  
21 the increases in medical technology, we want to  
22 be sure that all such advances are available to  
23 the obese Medicare population. Therefore, AOA  
24 suggests that all future subjects for Medicare  
25 coverage determinations be evaluated with this

.00060

1 population in mind.

2           Second, we suggest the committee  
3 establish a subcommittee or working group to  
4 revise the current and incorrect coverage manual  
5 paragraph 3526. There are many professional  
6 guidelines for the treatment of obesity in adults  
7 including that developed two years ago by the

8 National Institutes of Health, which relies on  
9 literally hundreds of randomized controlled  
10 clinical trials and other studies which would  
11 meet the criteria earlier elucidated by the  
12 chairman regarding the considerations of this  
13 committee.

14 The American Obesity Association would  
15 be pleased to provide whatever assistance or  
16 support would be helpful to the committee in  
17 these undertakings. Thank you.

18 DR. SOX: Thank you very much. Our  
19 next speaker is Donald Baim.

20 DR. KANG: Hal?

21 DR. SOX: Jeff?

22 DR. KANG: Mr. Downey, on your second  
23 issue, procedurally -- I think you got our April  
24 notice last year -- you really need to submit a  
25 coverage decision internally. MCAC gets only a

.00061

1 very small subset referred to by HCFA. This is  
2 actually the first time I'm aware of that  
3 coverage manual issue, and we'd be happy to look  
4 at it, but maybe we can talk about that off line  
5 how to get that done.

6 MR. DOWNEY: Okay.

7 DR. SOX: Thank you very much.

8 Our next speaker is Dr. Donald Baim,  
9 Chief of the Interventional Cardiology Section at  
10 the Beth Israel Deaconess Hospital, and he's  
11 speaking today on behalf of the Health Industry  
12 Manufacturers Association.

13 DR. BAIM: Thanks. It's my pleasure to  
14 be down here. HIMA asked me to speak about some  
15 of the real world applicability of technology  
16 innovation and adoption in the interventional  
17 cardiology area and specifically as it pertains  
18 to the coverage decisions by this group.

19 Can I see the first overhead, please.  
20 I think we all share common goals in terms of  
21 encouraging industry to develop newer devices and  
22 device improvements and facilitate the rapid  
23 adoption of safe and effective new diagnostic and  
24 therapeutic technologies in healthcare to improve

25 the well-being of our population. We more than  
.00062

1 anyone endorse the use of robust-data-driven  
2 approaches and avoiding technologies that are  
3 less effective. And I'll talk a little bit about  
4 where the FDA process has gone in interventional  
5 cardiology.

6 But in reading the report of the  
7 committee, I'm concerned that we preserve the  
8 nimbleness and responsiveness of a system of  
9 coverage decisions both to allow rapid adoption  
10 of technology and avoid placing already strapped  
11 hospitals in further financial jeopardy by  
12 forcing them to buy effective new technologies  
13 without offsetting reimbursement. And we'll talk  
14 about an example of that next.

15 So I want to make three basic points in  
16 this ten-minute slot. The first is that we  
17 really need a variety of evidentiary sources,  
18 randomized clinical trials being one of them, but  
19 also including registries, equivalence trials and  
20 OPCs to deal with different situations.

21 The second is to point out that the  
22 trials that are currently being done for FDA  
23 approval are large and very methodical and should  
24 be the first points considered as new  
25 technologies emerge from the FDA process and are

.00063

1 considered for coverage. I'll talk a little bit  
2 about the fact that I do believe they're  
3 sufficiently generalizable to apply to the care  
4 of Medicare population by mainstream operators.

5 And third, that delayed HCFA coverage  
6 approval restricts application of new and better  
7 therapies and adds financial burdens to hospitals  
8 with an expense reimbursement gap as well as  
9 industry.

10 So I really want to cover that first  
11 point, the variety, the spectrum of evidentiary  
12 sources. At different points in the development  
13 of new technology, pilot registries may be  
14 valuable for proof of concept and device  
15 refinement, although not for the coverage

16 decisions you're talking about here, but broader  
17 registries that may contain thousands of patients  
18 may be adequate for approval of certain well-  
19 characterized devices.

20 Third, randomized equivalency trials  
21 are now being used by FDA to approve new  
22 generation stents that we'll talk about in a  
23 second and demonstrate noninferiority relative to  
24 other established therapies. The randomized  
25 superiority trials that the guidance document

.00064

1 focuses on to establish superior outcomes or  
2 cost-effectiveness of high-volume, high-cost or  
3 high-risk procedures once they're mature versus  
4 the prior standard of care are not the only sort  
5 of valid evidence that needs to be considered in  
6 the coverage decision.

7 And finally, the importance of post FDA  
8 approval collection of population-based outcome  
9 data to document the use, patterns and risk-  
10 adjusted outcomes of competitive procedures for  
11 certain conditions in the real world should not  
12 be underestimated.

13 I just wanted to talk briefly about how  
14 this whole interventional cardiology got here,  
15 and it was through registries. The NHLBI PTCA  
16 Registry 1, in 1977 to 1981, led to the adoption  
17 of this therapy, and the Registry 2, in 1985 and  
18 '86, documented the improvement in devices and  
19 technique. Katherine Detre from the University  
20 of Pittsburgh and I, with NHLBI funding, set up a  
21 third registry in 1989 that ended up enrolling  
22 some 4500 patients with seven new interventional  
23 devices and really still constitutes the largest  
24 series of patients with core angiographic  
25 laboratory evaluation of one-year follow-up for

.00065

1 many of these devices.

2 That type of registry approach,  
3 however, was not sufficient to lead to the  
4 approval of stents. So in 1993 the first stent  
5 versus angioplasty randomized trials were  
6 performed within the NACI registry that use

7 single indications, a full randomized clinical  
8 trial machinery and lead to the approval of the  
9 J&J stent in a rigorous FDA process in 1994,  
10 making the United States the last of the  
11 industrialized countries to receive approval for  
12 this device. So it's a very slow process,  
13 randomized trials. Particularly as new  
14 technology becomes accepted, there's emerging  
15 reluctance to randomize stentable patients to  
16 conventional angioplasty, and that leads to a  
17 very prolonged approval for the second stent to  
18 try to go through this randomized comparison to  
19 angioplasty.

20 So how have the variety of stents that  
21 are now in interventional practice gotten through  
22 this FDA process? It's really been by a change  
23 in paradigm. And the change in paradigm that  
24 took place in 1996 was really to say we don't  
25 need to randomize stents versus angioplasty any

.00066

1 longer, that documenting equivalency to approved  
2 stent designs would be also an acceptable  
3 approach. And the last half a dozen stents to be  
4 approved have been done in that format, usually a  
5 thousand patients randomized to a new versus an  
6 old stent. Recruitment is faster because  
7 everyone gets a stent, and it's a good solution  
8 to follow-on improvements and accepted  
9 technology. It has the rigor of an RCT, but  
10 without a placebo group. It can also monitor for  
11 improvements in stent designs, but it's a  
12 paradigm that's showing signs of age because  
13 showing equivalency to a first generation stent  
14 is probably not good enough, and it wastes the  
15 money of reconfirming the performance of the  
16 first generation stent in each successive trial.

17 So where we're headed in this new  
18 device era in 2000 and beyond is to develop OPCs,  
19 objective performance criteria, that will collect  
20 registry data and document performance consistent  
21 with the OPCs for stent performance. The reason  
22 I go through this series of evaluation paradigms  
23 is really we're right back now with registries,



24 and each of these different formats for evidence  
25 collection has been appropriate for a different  
.00067

1 point in the development of the technology. We  
2 can't just fixate on randomized clinical trials.

3 I just wanted to show you what this new  
4 device era has meant in our own practice, and  
5 this one shows in stacked bars the different  
6 therapies used in our program over the five years  
7 from 1994, when the J&J stent was approved,  
8 through 1998. Angioplasty is the bottom bar  
9 shown in red, conventional balloon angioplasty,  
10 which has now fallen to 21 percent in  
11 interventions. Stenting over that period has  
12 risen, the yellow bar, from 29 to 68 and now 79  
13 percent last year in 1999 with two atherectomy  
14 technologies accounting for the final quarter.

15 So this adoption of technologies has  
16 really revolutionized our field. The J&J stent,  
17 as we said, was approved in 1994. And Medicare  
18 decision about coverage and assignment to DRG  
19 116, however, did not take place until 1997. And  
20 in those three years between FDA approval and  
21 Medicare reimbursement coverage, the hospitals  
22 were having to buy this effective technology from  
23 manufacturers without any incremental  
24 reimbursement, and it contributed in no small way  
25 to the financial deneument of many of the leading

.00068

1 institutions.

2 Now, one could say this rapid adoption  
3 of technology is just to appease technology-  
4 crazed operators, but this shows the  
5 corresponding incidence of major complications  
6 over that same time period. And the adoption of  
7 these technologies has in fact cut major  
8 complications in half, so we need to keep  
9 facilitating this rapid adoption process.

10 I just want to close by taking you  
11 through one of the trials, a Boat trial and  
12 atherectomy trial, to give you a flavor for the  
13 generalizability of the Medicare population.  
14 This trial enrolled a thousand patients over a

15 one-year time frame, actually 16 months, to  
16 angioplasty versus atherectomy. This was done at  
17 36 centers, and this shows that they are  
18 geographically distributed, and they're both  
19 active practice centers.

20 One concern is the age of patients, and  
21 what I've shown on this is the cumulative  
22 distribution in yellow of our own interventional  
23 patients whose median age is 64 compared to the  
24 age in pink, I guess, of 12 trials with 8,000  
25 patients that have been run by our daily

.00069

1 coordinating center showing the median age of 63.  
2 So the age distribution in the interventional  
3 trials is representative of about half the  
4 Medicare population of routine practice.

5 The issue about few golden operators  
6 driving the results of these trials, I think, is  
7 addressed here showing the center-by-center  
8 performance in this trial. There's a wide  
9 variety of operators and operator experience, and  
10 as you can see in the DCA results shown in the  
11 yellow bars, in terms of residual stenosis  
12 there's a wide variety of practice patterns.  
13 Thank you.

14 DR. SOX: Thank you very much. Our  
15 next speaker is Wayne Roe, who is Chairman of  
16 Covance Health Economics & Outcome Services in  
17 Washington, D.C., and he's speaking on behalf of  
18 the Health Industry Manufacturers Association.

19 MR. ROE: Good morning. I'm glad to be  
20 here. I'm actually speaking on behalf of  
21 myself. I'm speaking at the behest of HIMA. I  
22 have lots of reasons to have conquest in this  
23 business, and I do a little bit of consulting in  
24 the coverage policy area, very little bit from  
25 the old days. I'm on the boards of six medical

.00070

1 start-up copies in the California area, involved  
2 with three venture capital firms who fund life  
3 sciences companies, all of whom will have things  
4 that will come before HCFA someday, but maybe not  
5 for three or four years.

6 I think HIMA asked me to be here  
7 because I spent the last 15 years getting gray  
8 hair by coming to HCFA and working on coverage  
9 policies for probably over a hundred different  
10 devices, drugs, diagnostic tests and surgical  
11 procedures. I've learned a lot about the  
12 process, got a lot of headaches through the  
13 process, have a lot of respect for the people  
14 doing coverage, and I think this group has its  
15 work cut out for it. This is incredibly  
16 complicated stuff, as you hear today. It's not  
17 simple, it's not trivial, and it can be academic  
18 and inherently judgmental no matter what you do.

19 I'll start out with just a few  
20 comments. HIMA doesn't know what I'm going to  
21 say because I wrote this last night when I was  
22 helping my daughter do chemistry, having read  
23 your paper several times. I want to commend the  
24 MCAC. I think you've done some very thoughtful  
25 work. I think in 11 or 12 or 13 pages there's

.00071

1 lots of good stuff in there. I'm not going to  
2 try to wordsmith it at all. I congratulate you  
3 on seven categories on the size of health  
4 effects. I think those are pretty novel, pretty  
5 creative. I think they really importantly  
6 reflect the fact that most new technologies in  
7 medicine, like it or not, are incremental. They  
8 have a whole wide range of possible effects,  
9 positive and negative.

10 Unfortunately, we believe there are too  
11 few breakthrough technologies. It seems to be  
12 the way things work. I wish we had more of  
13 them. I think we want to encourage people to  
14 have more of them. But I think having those  
15 categories three or four that clearly ought to  
16 lead to positive Medicare coverage decisions is  
17 kind of a good way to kind of simplify the  
18 world.

19 I spent the last ten years telling  
20 medical developers I think they should stop  
21 thinking about thinking about themselves -- and a  
22 lot of this comes out of reading the work of Dr.

23 Brook and Hal Sox and David Eddy and so forth --  
24 stop thinking about themselves as making tools or  
25 making drugs, but think about themselves as

.00072

1 changing outcomes or changing the practice of  
2 care. And if they don't do the right kind of  
3 research or science to demonstrate a change in  
4 how their product has an impact on how the  
5 patient does or at least how the patient is  
6 managed, then they shouldn't be bringing their  
7 technologies to HCFA or Blue Cross Association or  
8 anyone else.

9 I think by and large that kind of  
10 admonition, which lots of people have been saying  
11 is getting through in the overall level of  
12 science, in the life sciences world, is a hell of  
13 a lot better today than it was 10 or 12 years  
14 ago. There's no question about it. No one even  
15 thought about any kind of randomized study, even  
16 controlled study, 12, 14, 15 years ago when I  
17 entered the device industry and we had the old  
18 National Center for Healthcare and Technology,  
19 which said many of the same things we've said  
20 that you are trying to say to today.

21 And I encourage you to appreciate  
22 really that the document you're writing here is  
23 going to be a sentinel of technology  
24 gatekeeping. We don't like to think this  
25 sometimes, but the bottom line is it's going to

.00073

1 get read by lots of people, the final document,  
2 and it's going to be used by lots of people to  
3 make decisions. It's a gatekeeping signpost.  
4 Obviously HCFA is a gatekeeper, but you all are  
5 the experts.

6 We have a luminary panel here, the best  
7 and brightest we have in terms of doing outcomes  
8 research, and I think it's appropriate and  
9 important for you to encourage better science, to  
10 challenge the innovators to do better scientific  
11 work. And I think the tone of this should be to  
12 do that. On the other hand, I think it would be  
13 very bad to discourage them, to tell them well,

14 we want everybody to high jump eight feet, and  
15 less than eight feet was never going to be  
16 adequate, but you know, we really know behind the  
17 scenes six, five or six, six is going to be  
18 okay. I think that's a discouraging kind of  
19 tone, and I encourage you to take a look at the  
20 tone again.

21 HCFA staff and the care and medical  
22 directors, as we're here today, to private  
23 managed care medical directors, will read what  
24 you say, and they'll use it. You don't want to  
25 give them the excuse to hide behind it, to not

.00074

1 make decisions, to put everything on randomized  
2 controlled trials, because the bottom line is  
3 we're not going to have them all. We're never  
4 going to have them all. And it would be kind of  
5 an academic pipe dream to expect we're going to  
6 have it. I don't think you should set the bar so  
7 high for people to use that as an excuse not to  
8 make tough decisions, not to allow progress in  
9 medicine. So please be realistic. You can't be  
10 academic in this exercise even though you want to  
11 be.

12 I guarantee you I've been through  
13 this. Somewhere in Menlo Park, California there  
14 is someone sitting down making a decision to fund  
15 \$20 million for an Internet taco business versus  
16 some promising technology that will gather up  
17 plaque during cardiac endarterectomies that might  
18 save one of our lives someday. You don't want to  
19 discourage those people who might get the money  
20 to do the atherectomy device or filtration  
21 technology with the idea that you have to have  
22 two huge randomized controlled trials in order to  
23 get coverage. That is just a bad thing to send.  
24 But those decisions happen all the time with  
25 increasing frequency. You've got your capital

.00075

1 world and the pharmaceutical firms and so forth  
2 who are going to read this document and look at  
3 it, and they're going to look to you for some  
4 guidance. Give them hope, give them a challenge,

5 but don't let them feel like it's hopeless  
6 because they'll go and fund those Internet taco  
7 businesses, and I don't think we need that as  
8 much as we need things to deal with  
9 endarterectomy.

10           Specific suggestions. First, I find it  
11 quite amazing -- a little hyperbole in all of  
12 this, of course -- that there's no mention  
13 whatsoever -- maybe one mention -- of the FDA  
14 standard of evidence or labeling in this  
15 document. Everything goes through the FDA to  
16 start. I know we all in the coverage policy  
17 arena realize maybe it's not enough sometimes,  
18 but every new technology is studied with the FDA  
19 in mind. And the FDA has very good outcomes  
20 researchers there, and they require sometimes  
21 randomized trials, sometimes not randomized  
22 trials, sometimes controlled trials, sometimes  
23 not, depending upon the product. It seems to me  
24 there ought to be some recognition that the FDA  
25 is enough for certain things, particularly

.00076

1 pharmaceuticals.

2           The concept that people do  
3 well-controlled randomized trials, two of them in  
4 pharmaceuticals, for the purposes of  
5 demonstrating safety and efficacy and they're  
6 labeled to do and not to say hey, those things  
7 we're not going to take a look at and do a report  
8 on just seems to me to make your job more  
9 difficult and question what we have the FDA for.  
10 So I'd take a hard look what the FDA says.

11           I had these discussions years ago with  
12 the Food and Drug Administration. For whoever  
13 you talk to, the people I've talked to up there  
14 say when we approve something, be it a device,  
15 drug, diagnostic test, we're not approving it for  
16 Stanford, Hopkins or Cleveland Clinic. We  
17 believe that if we let it in the marketplace,  
18 it's going to work when lots of people use it,  
19 everybody uses it, the average physician who is  
20 licensed and capable of using it. You may  
21 question that, but the FDA doesn't say that. If

22 we think that only certain experts can use it,  
23 it's going to be effective there, then we're  
24 going to put that in the labeling and  
25 restrictive. So take a look at that question.

.00077

1           You heard this before. The document in  
2 places, I think it needs more tone editing. Far  
3 too much weight on randomized controlled trials  
4 as the desired level of evidence. We're going to  
5 have them, we're going to have more of them, but  
6 they're going to be rare. And we can't afford  
7 them all. And we all know there are lots and  
8 lots and lots of reasons why we can't do them.  
9 And the FDA doesn't require them every time even  
10 for drugs. So I think you have to recognize  
11 that. There's lots of good science being done  
12 far better than before. Overemphasis on  
13 randomized controlled trials is going to make  
14 other research seem inadequate, and I think it  
15 will lead to some research not being done, some  
16 good research not being done, and things not  
17 being developed.

18           I think in the probably hundred things  
19 I've taken to HCFA over the last 15 years for  
20 national coverage evaluations or at least a peek  
21 at the national level without decisions being  
22 made to float down to the care level, maybe five  
23 technologies had very good powerful two or three  
24 randomized controlled clinical trials, but I  
25 never brought anything up here that wasn't pretty

.00078

1 good scientific evidence that would lead someone  
2 to believe this is something that should have a  
3 good shot at being covered, and I'd say  
4 two-thirds of the time they were. So I'd go back  
5 and recognize that there's a pragmatic end to  
6 this area, and if you put five or six clinical  
7 experts in a room before you to develop a  
8 technology, you can probably get to a scientific  
9 result that will make people feel that there's a  
10 benefit there.

11           I think there's a serious source of  
12 bias in this document. The bias is against new

13 innovations. Effectively what you're saying here  
14 is -- and Dr. Brook and others have published on  
15 this -- ten percent or less of all medicine that  
16 we have right now has any scientific controlled  
17 studies done on it. This effectively says we're  
18 grandfathering all the old stuff. We're not  
19 going to take a look at what we're comparing it  
20 to. We want you to compare it to the old stuff.  
21 What if the old stuff's never been studied? To  
22 me one of the biggest problems we have in  
23 technology evaluation of coverage policies is we  
24 can't get rid of the old stuff.

25 For example, if the HMOs feel that ABMT  
.00079

1 for breast cancer is not any good, are they still  
2 covering it today? We need to take a look at  
3 this. We've got to get rid of the old stuff and  
4 question that before we just say the bar's higher  
5 now for everything new. The science behind  
6 everything new is definitely better.

7 Timing. I worry about how long this is  
8 going to take. Reports, consultants, et cetera,  
9 there's no way this is a six-month deal. It's  
10 hard to believe. There may not be enough top  
11 flight people with time who aren't publishing and  
12 doing research to be able to do this evaluation.  
13 I think MCAC should seriously take a look at  
14 talking with HCFA on provisional coverage. If  
15 the data isn't quite right, but we think it's  
16 promising, then let's think about a situation  
17 where we set out these are the outcomes we'd like  
18 to have you take a look at. We will cover for a  
19 fixed time period and stick to it, six months, a  
20 year. This technology and other things that are  
21 being done require you, the person who's getting  
22 the benefit of having the thing covered, to  
23 collect the information, come back to us a year  
24 later because the clock stops, the coverage stops  
25 here till you give it to us. I think you need

.00080

1 some kind of innovative idea here which will  
2 allow research to be done.

3 So in short, be realistic in what you



4 ask for. Use the FDA. They've got to have a  
5 role here. Don't ask for what you can't have.  
6 It's very discouraging. Question the old stuff.  
7 Don't be advised against the new. And time is  
8 money and opportunity. I think you can  
9 incentivize better science with coverage, and  
10 we're not doing enough of it now, and I think  
11 that can be done even within the legal  
12 parameters. Thank you.

13 DR. SOX: Thank you very much. At this  
14 point we've earned ourselves a break of about 20  
15 minutes. So be back at five minutes after 10:00  
16 o'clock.

17 (Whereupon, recess taken -- 9:45 a.m.)

18 (Whereupon, after recess -- 10:05 a.m.)

19 DR. SOX: If I could call the meeting  
20 back to order, please. The first speaker is  
21 Vicki Gottlich, Center for Medicare Advocacy and  
22 Healthcare Rights Project.

23 MS. GOTTLICH: I'm Vicki Gottlich, an  
24 attorney with the Center for Medicare Advocacy  
25 and their Healthcare Rights Project in

.00081

1 Washington, D.C. The center is about 15 years  
2 old. Our organization represents low income  
3 Medicare beneficiaries. We currently have about  
4 60,000 open case files in which we're trying to  
5 get Medicare to pay for medically necessary  
6 services for our clients.

7 I appreciate the opportunity to speak  
8 here today, and I particularly appreciate the  
9 opportunity to be representing beneficiaries  
10 before this committee.

11 It is imperative for our clients that  
12 HCFA establish a mechanism for protecting the  
13 rights and interests of beneficiaries to receive  
14 medically necessary care and services authorized  
15 by their doctors. The current processes  
16 available to beneficiaries, the claims and  
17 appeals process and the national coverage  
18 determination process under discussion today do  
19 not protect beneficiary rights. Our clients and  
20 other beneficiaries have had limited success with

21 the NCD process often because that process has  
22 not been open to them. Few patients know they  
23 will need a procedure or technology when the  
24 process is underway, and even if they have timely  
25 knowledge, they generally do not have the

.00082

1 resources to participate in the process.

2 Of utmost importance, the current  
3 process for evaluating new procedures and  
4 technologies and for reevaluating previous  
5 coverage determinations is too slow. Conditions  
6 deteriorate, and beneficiaries die, and I really  
7 want to emphasize that we have had clients die  
8 while waiting for HCFA to decide to cover  
9 services, technologies and devices covered by  
10 other insurers, including private industry, the  
11 Department of Veterans Affairs and state Medicaid  
12 agencies.

13 We applaud the subcommittee for their  
14 efforts to clarify the national coverage  
15 determination process. We are greatly concerned,  
16 however, that the process used by HCFA and under  
17 consideration today exceeds the agency's  
18 authority by depriving beneficiaries of services  
19 prescribed by their physicians for extended  
20 periods of time.

21 Let me explain. I really don't need to  
22 describe to this group what the Medicare statute  
23 says because you're all familiar with the  
24 Medicare statute. And the statute provides that  
25 services will be covered as long as they are

.00083

1 medically necessary or Medicare will not pay for  
2 services that are not reasonable and necessary.

3 The key point to the exception that  
4 HCFA will not cover services is a determination  
5 by HCFA that a service is not reasonable or  
6 necessary. In other words, Congress placed the  
7 burden on the agency to overcome the presumption  
8 that the service is covered. Congress did not  
9 prohibit coverage of services prescribed by  
10 beneficiaries' doctors simply because enough or  
11 the right kinds of studies showing their positive

12 value have not yet been amassed. This  
13 interpretation is in keeping with the prohibition  
14 against controlling the practice of medicine or  
15 the manner in which medical services are  
16 provided.

17 But the proposals today follow HCFA's  
18 practice of placing the burden of proof on the  
19 proponent to show why a service or technology  
20 should be covered and to produce evidence of a  
21 certain type in standard that is not always  
22 available or even appropriate to the  
23 beneficiaries who actually need the service.

24 The proposals do nothing to assure that  
25 beneficiaries will receive quick access to the

.00084

1 services their own physicians found reasonable  
2 and necessary.

3 For example, the suggestion that  
4 outside experts be used in certain situations to  
5 evaluate the evidence exasperates the delay  
6 problem. In addition to harming beneficiaries,  
7 such delays cause further disparities between  
8 Medicare and private insurance coverage and  
9 result in carriers having to deny Medicare  
10 coverage for services they cover in their own  
11 private insurance practice.

12 The proposals also fail to address  
13 adequately the needs of the over five million  
14 beneficiaries under age 65. Many members of this  
15 community are adversely affected by HCFA's  
16 failure to include new devices and technologies  
17 among Medicare's covered services. Delays in the  
18 processing for approving devices and technologies  
19 result in beneficiaries with disabilities losing  
20 their independence or their ability to function  
21 to their maximum capacity.

22 Beneficiaries with disabilities are  
23 also adversely affected by national coverage  
24 determinations that are based on evidence  
25 applicable only to the population over age 65.

.00085

1 For example, the Office of Civil Rights  
2 of the Department of Health and Human Services

3 last year worked on and assisted a Medicare  
4 beneficiary in her mid 40s who was denied  
5 coverage of a potentially life-saving cancer  
6 treatment because of a national coverage  
7 determination. The national coverage  
8 determination was based on evidence that the  
9 treatment was not efficacious for women over age  
10 65. Ample evidence existed, however, that the  
11 procedure was effective for younger women, and  
12 the Medicare HMO in which the woman was enrolled  
13 covered the procedure for its non-Medicare  
14 population.

15 While the appeals process is not a  
16 concern of this group, it is really an important  
17 element for our clients because the appeals  
18 process provides no recourse for beneficiaries  
19 who seek to challenge the national coverage  
20 determination or to get Medicare coverage of a  
21 technology or device not yet approved by  
22 Medicare. The Medicare statute makes it nearly  
23 impossible to challenge a national coverage  
24 determination rule upon which services were  
25 denied by preventing consideration of the issue

.00086

1 at the administrative level. If the claim  
2 reaches federal court, a federal judge who  
3 determines that the record is incomplete or  
4 insufficient to support the validity of the  
5 national coverage determination must remand the  
6 case for supplementation of the record. The  
7 court may only determine that an item or service  
8 is covered after review of the supplemented  
9 record.

10 So the individual who was adversely  
11 affected by the obesity ruling that was discussed  
12 earlier today would have to go through the whole  
13 national coverage determination process and  
14 couldn't go through an appeals process in order  
15 to change the ability to get coverage for  
16 treatment for obesity. If the national coverage  
17 determination process is as lengthy as the  
18 appeals process, it is going to be years, and  
19 that's why we are very concerned about the

20 delays.

21 In sum, we are not advocating that  
22 Medicare pay for quack services, which have been  
23 shown to lack medical value. We are advocating  
24 for an efficient coverage determination process  
25 that allows Medicare beneficiaries to receive

.00087

1 Medicare payment for services and procedures,  
2 devices and technologies that have been approved  
3 by the FDA where appropriately are being covered  
4 by private insurers, the VA and Medicaid, and are  
5 found by the beneficiary's own physician to be  
6 reasonable and necessary for treatment of that  
7 beneficiary's illness or condition.

8 We also seek an effective and  
9 expeditious appeals process that will allow  
10 beneficiaries to challenge a denial of coverage  
11 based on an NCD that is no longer supported by  
12 medical evidence and practice. And while that's  
13 not within your jurisdiction, we do ask that you  
14 consider an expedited process to consider NCDs  
15 that don't have any support for them. And there  
16 are a lot, as I'm sure that you are aware. Thank  
17 you very much.

18 MS. LAPPALAINEN: Vicki, would you  
19 state for the record whether you have any  
20 financial interest in the --

21 MS. GOTTLICH: I'm sorry. Our  
22 organization has no financial interest in any  
23 medical devices, and neither do I. Thank you.

24 DR. SOX: Our next speaker is Larry  
25 Weisenthal from the Weisenthal Cancer Group.

.00088

1 DR. WEISENTHAL: My name is Larry  
2 Weisenthal. I'm a medical oncologist in private  
3 practice, and I provide the service that I'll be  
4 describing. I'm a medical oncologist from  
5 Huntington Beach, California. I participated in  
6 the Medicare Coverage Advisory Committee meeting  
7 last November 15th and 16th. My experience  
8 related to this meeting is what now compels me  
9 to offer comments concerning the structure and  
10 procedures for future MCAC reviews.

11 My specific concerns involve, one,  
12 serious defects in the advanced draft outline of  
13 the proposed review process, and two, a lack of  
14 appreciation for special considerations related  
15 to laboratory testing in a draft proposal which  
16 seems exclusively directed toward the review of  
17 direct therapeutic interventions.

18 Rather than speaking in a theoretical  
19 sense, I would like to use my own experience with  
20 the November MCAC meeting to convey my concerns.  
21 The draft proposal places heavy emphasis on a  
22 series of independent reviews by so-called  
23 experts in the field. Essentially the process  
24 would be centered around a collection of up to  
25 six independent written reviews by these

.00089

1 experts. There would appear to be a relatively  
2 small role for the proponents of the technology  
3 under consideration as they would have no  
4 opportunity to rebut these reviews in advance of  
5 the meeting. One can easily project proponents  
6 having to use their entire 15 or 20 minutes or  
7 less of allocated time at the meeting just to  
8 hurry through complicated rebuttals of complex  
9 and misconstrued data.

10 The November MCAC meeting considered  
11 the issue of human tumor assays, which involved  
12 short-term cultures of fresh biopsies of human  
13 tumors in the presence and the absence of  
14 anticancer drugs. Following cell culture, drug  
15 effects are assessed by one of two end points,  
16 either cell proliferation or cell death.

17 Historically all work in this area was  
18 effectively abandoned in American universities in  
19 the mid-1980s. The only major academic group  
20 continuing work in this area was the lung cancer  
21 group at the National Cancer Institute. However,  
22 the NCI investigators had a primary focus on  
23 creating cell lines through passaging and  
24 subculturing. I anticipated a major emphasis on  
25 three public studies arising from this work, and

.00090

1 I quoted several pages of my proposal, submitted

2 two and one-half months in advance of the  
3 November meeting, to a detailed rebuttal of this  
4 work.

5           Fearful that this rebuttal would be  
6 overlooked, I was also forced to devote precious  
7 minutes of my oral presentation to this issue,  
8 which gave me no time to take the committee  
9 through the many important positive studies and  
10 prestigious peer-reviewed journals, which were  
11 included in my written proposal, but which were  
12 ignored by all the reviewers chosen by HCFA.

13           The major reviewer of the cell death  
14 technologies proposed for coverage by me was Dr.  
15 Edward Sauceville, associate director of a  
16 developmental therapeutics program at the  
17 National Cancer Institute. Dr. Sauceville did  
18 not attend the morning presentations by the  
19 proponents and their supporters. This led to the  
20 following embarrassing statement, quote, you can  
21 tell a patient who has the unfortunate diagnosis  
22 of pancreatic cancer that they're likely not  
23 going to respond to a medicine chosen after  
24 having gone through an additional test to obtain  
25 tissue and then test it for assay resistance.

.00091

1           This statement was embarrassing because  
2 one of the earlier speakers had been a pancreatic  
3 cancer patient who has been in complete remission  
4 for more than three years after presenting with  
5 liver and kidney metastases and then being  
6 treated with an assay-selective drug regimen,  
7 which everyone agrees would never have been  
8 chosen absent performing the test.

9           Dr. Sauceville was also either not  
10 shown or did not bother to read my written  
11 proposal submitted two and one half months in  
12 advance of the meeting. He showed his complete  
13 ignorance of the field by failing to even  
14 mention, much less consider, 80 percent of the  
15 studies, totalling more than 1500 patients,  
16 confining his review almost exclusively to  
17 studies published before 1987 and to the  
18 irrelevant studies that the NCI lung cancer group

19 alluded to previously. Neither did he nor any of  
20 the other HCFA reviewers review and describe most  
21 of the many studies correlating assay results  
22 with patient survival.

23           Again, all these data references were  
24 provided to HCFA two and a half months in advance  
25 of the meeting. Nonconsideration of these

.00092

1 studies led to the following remark at the  
2 December Executive Committee meeting by one of  
3 your members, Dr. Ferguson, who related, quote,  
4 we had very little survival information. There  
5 were some unsettled elements. I don't remember  
6 that there were other ones.

7           This remark forced me to make the  
8 following frustrated comment at the December  
9 Executive Committee meeting, quote, there were  
10 many misrepresentations made, such as the lack of  
11 survival data. I showed a slide at the meeting.  
12 There are 15 studies showing strong correlations  
13 with survival. This is not just based on  
14 response.

15           That the above assessment of the  
16 inadequacy of the outside review process is not  
17 just a figment of my imagination was shown by the  
18 comments of the committee chairman Dr. John  
19 Ferguson again at the prior meeting of this  
20 Executive Committee in December. Quote, another  
21 was that the NCI representative presented a paper  
22 which in my view I was a bit disappointed in  
23 coming from my former institution that it did not  
24 seem to me to be up to date and lacked in that  
25 aspect. Dr. Ferguson went on to say so I am not

.00093

1 certain that the protagonists were given all the  
2 critiquing information. We didn't have it. We  
3 tried to give the protagonists time to respond.  
4 I think that that could have been done a little  
5 bit better in the sense that if all the critiques  
6 of presented papers could have been given to the  
7 presenters in advance, they might have had time  
8 to prepare some rebuttal in response to the  
9 critiques.



10 Even more egregiously misleading than  
11 Dr. Sauceville's inadequate review was the  
12 horribly misleading review of HCFA's Dr. Burken,  
13 which by objective evidence demonstrably and  
14 unfairly damaged the case put forward by the  
15 proponents. By way of background, one of the  
16 technologies proposed for consideration of  
17 coverage was the cell proliferation assay based  
18 on measuring tritiated radionuclide incorporation  
19 as an assay end point.

20 Data was presented to document the high  
21 specificity of this assay in identifying drug  
22 resistance. In his review of the literature, Dr.  
23 Burken devoted considerable time to technologies  
24 which had been abandoned 10 to 15 years  
25 previously and which were not proposed for

.00094

1 Medicare coverage by anyone in the November  
2 review. One of these abandoned technologies was  
3 a radionuclide precursor incorporation assay  
4 measuring the incorporation of tritiated  
5 thymidine or uridine only three hours after the  
6 addition of anticancer drugs to freshly  
7 disassociate the tumor cells.

8 This contrasts with the technology  
9 under MCAC consideration which measured thymidine  
10 incorporation five days -- not three hours --  
11 after drug administration. Whereas the five-day  
12 assay predicted for drug resistance with very  
13 high specificity, the three-hour assay gave very  
14 poor results and was abandoned by its own  
15 proponents in the 1980s. Yet Dr. Burken showed  
16 four different slides detailing the poor results  
17 with this assay. This demonstrably confused and  
18 mislead the panel, as conveyed by the panel's  
19 industry representative, who showed us a table  
20 constructed and to specify the MCAC panel  
21 depicting the negative predictive accuracy  
22 reported in the various studies and prominently  
23 including the four studies with the long  
24 abandoned three-hour assay which showed such poor  
25 correlations.

.00095

1           The verbatim transcripts of the MCAC  
2 panel's deliberations revealed the damaging  
3 effect which the inclusion of these irrelevant  
4 studies had on the MCAC enthusiasm for coverage.  
5 Although clear from the transcript that there was  
6 overwhelming support for HCFA developing a policy  
7 to include coverage of these assays in at least  
8 some clinical situations, this support would have  
9 clearly been less reserved in the absence of the  
10 misleading presentations by the reviewers chosen  
11 by HCFA. This is crystal clear in the  
12 transcripts of the meeting.

13           But the purpose of my comments here is  
14 not so much to complain about the past as to help  
15 the Executive Committee develop a better process  
16 for future reviews. To this end we must begin to  
17 appreciate that we are working in a time when an  
18 increasing number of important advances in  
19 medicine are occurring outside the traditional  
20 NIH and university research system.

21           In the case of human tumor assays,  
22 there are no experts at all in either American  
23 universities or at the NIH. No investigator at  
24 these institutions has contributed in any way to  
25 the literature in the field I represent of cell

.00096

1 culture drug-resistance assays with cell death  
2 end points. In my 20 years of full-time work in  
3 this field, I've talked with hundreds of  
4 university and NIH-based investigators with an  
5 opinion about this field. It's been more than  
6 ten years since I last had a discussion with a  
7 non-European and non-Japanese university-based  
8 investigator to be able to discuss the subject  
9 based on an intelligent understanding of concepts  
10 and literature.

11           So HCFA must be very careful to ensure  
12 a central role of the proponents of the new  
13 technology in presenting and explaining data to  
14 the MCAC panels.

15           Cutting to the chase, we propose the  
16 following modification in the overall outline of  
17 the proposed system. First, the process begins

18 with a formal request to HCFA for coverage  
19 consideration. Once informed that HCFA agrees to  
20 consider the issue, the proponents are  
21 responsible for presenting a formal defense of  
22 their proposal centered around a description of  
23 technology and complete review of all relevant  
24 data and literature. This proposal is then sent  
25 to each of the outside reviewers. The outside

.00097

1 reviewers then prepare their own independent  
2 reviews, which are then given back to the  
3 proponents for rebuttal. The rebuttals go back  
4 to the reviewers who are allowed to have the  
5 final word in the pre-meeting written  
6 presentations and reviews provided to the MCAC  
7 panel. The proponents should also certainly  
8 receive a copy of this final review while in  
9 advance of the meeting.

10 The meeting itself could then take  
11 place with all the complicated and contentious  
12 issues having already been pre-argued. The  
13 meeting itself would begin with relatively brief  
14 summations by both proponents and reviewers,  
15 followed by a devotion of most of the time to  
16 open discussion by the committee with committee-  
17 directed questions to both proponents and  
18 reviewers. However, prior to final deliberations  
19 and votings, both proponents and reviewers should  
20 have the opportunity to make brief final remarks.

21 I've got one page here which I won't go  
22 over the time, but could this be put into the  
23 record?

24 DR. SOX: Sure. If you want to submit  
25 something in writing.

.00098

1 DR. WEISENTHAL: Thank you.

2 DR. SOX: Our next speaker is Sandy  
3 Sherman, Assistant Director of Division of  
4 Federal Affairs & Outreach of the American  
5 Medical Association.

6 MS. SHERMAN: Good morning. I just  
7 have a brief statement from Dr. E. Radcliffe  
8 Anderson, who's the Executive Vice President and

9 CEO of the AMA, regarding your discussion paper.

10 After the first MCAC Executive  
11 Committee meeting in December, I wrote to  
12 Nancy-Ann DeParle to say that the AMA was  
13 impressed and gratified by the commitment of the  
14 advisors and HCFA to ensure that MCAC  
15 recommendations would be grounded in scientific  
16 evidence of clinical effectiveness. I also said  
17 that the meeting made it clear that she had  
18 fulfilled her promise to create an open, timely  
19 and accountable process for making national  
20 coverage decisions.

21 The discussion paper that the committee  
22 members prepared for today's meeting underscores  
23 the observations we made in December. The  
24 recommendations for evaluating evidence clearly  
25 state the key issues to consider in assessing the

.00099

1 state of the knowledge regarding medical  
2 interventions proposed for Medicare coverage. We  
3 are pleased that in addition to recommending a  
4 critical review of evidence from clinical trials,  
5 the Executive Committee or the members who  
6 prepared this proposal recommend that the  
7 standard of excellence for the evidence report  
8 include work developed by the national medical  
9 specialty societies. We also commend the  
10 advisors for recommending that panel members take  
11 an active role in framing the questions to be  
12 addressed by the evidence report, participate in  
13 the report's preparation and seek external review  
14 of the evidence reports.

15 Prior to the MCAC's formation, the AMA  
16 had expressed concern that Medicare coverage  
17 decisions might be driven to a large degree by  
18 information presented by those with a vested  
19 interest in coverage instead of by the available  
20 scientific and clinical evidence. The discussion  
21 paper developed by the advisors has allayed our  
22 concerns in this regard, and we encourage  
23 adoption of its recommendations.

24 DR. SOX: Thank you very much.

25 Our last speaker is Thomas Meskan,

.00100

1 president of Medical Alley.

2 MR. MESKAN: Good morning. My name is  
3 Tom Meskan, president of Medical Alley. In terms  
4 of your financial statement, obviously we have  
5 members who pay dues to our association, and I  
6 presume that a number of them have issues pending  
7 before the agency.

8 For those of you who aren't familiar  
9 with Medical Alley, we're a 15-year-old not-for-  
10 profit trade association based in Minnesota who  
11 has members from all aspects of healthcare. Our  
12 members include health plans, medical device  
13 manufacturers, hospitals, clinics, long-term care  
14 organizations and academic health centers. Our  
15 mission is to serve as a collaborative form which  
16 promotes an environment to enhance innovation in  
17 healthcare.

18 I appreciate the opportunity to share  
19 our perspective and thoughts as they relate to  
20 the discussion paper. We think that the MCAC  
21 process is an important aspect of Medicare's  
22 decision making and want to acknowledge and  
23 express our thanks for the time and effort all of  
24 the people, both you as panel members and agency  
25 staff, are spending to try and make the MCAC a

.00101

1 valued component of Medicare decision making.

2 To help you get a sense of the  
3 orientation of our organization, I will point out  
4 that we believe that Medicare should be a prudent  
5 purchaser of services, and we think that it is  
6 important that the agency has appropriate levels  
7 of resources to do its job. At the same time we  
8 believe that the environment surrounding  
9 Medicare, and for that matter, all of healthcare,  
10 should be dynamic so that patient care improves  
11 in a timely and continuous manner.

12 With regard to our principles on  
13 generating evidence, they are that HCFA  
14 preferences for how evidence is presented should  
15 be transparent. Any approach to decisions about  
16 coverage criteria should be administratively

17 feasible for both the agency and the  
18 stakeholder. It is desirable that stakeholders  
19 achieve the level of valid scientific evidence  
20 necessary to demonstrate that a service should be  
21 covered, and there should be a minimization of  
22 potential for bias into conduct, reporting and  
23 analysis of studies.

24 Our comments today fall into two  
25 categories. First, we want to offer some

.00102

1 observations about the role of perceptions in the  
2 success of your efforts. Second, we will offer  
3 some specific reactions to some of the text in  
4 the discussion document.

5 It is clear by looking at the names  
6 which make up this committee and the impressive  
7 roster of individuals that make up the MCAC  
8 panels that there is a wealth of expertise  
9 available to the agency. I had the opportunity  
10 to introduce myself to Dr. Sox during the break,  
11 and he, if I can paraphrase him, said what he  
12 liked about his involvement in this committee is  
13 its potential effect to a large number of human  
14 beings and their health condition. And I think  
15 that that's a very accurate statement. And the  
16 most important point is we must make sure that  
17 you guys do everything you can to maximize your  
18 potential.

19 Obviously each of you are approaching  
20 your MCAC responsibilities in good faith and with  
21 a desire to achieve the goals of consistency and  
22 accountability. Further, you have laid out the  
23 recommendations in a manner which strongly  
24 signals your interest in promoting the greatest  
25 possible degree of rigor in the methods used to

.00103

1 generate evidence.

2 We too want to encourage the  
3 development of a decision-making process that  
4 will be informed, and we also support the  
5 continued improvement in the way the supporting  
6 data is collected and utilized. Nonetheless,  
7 this committee, the agency and external

8 stakeholders must acknowledge the history of  
9 coverage policy development so that whatever  
10 process this committee decides upon enjoys  
11 support of the largest possible percentage of  
12 affected stakeholders. In this manner you can  
13 ensure that your time and efforts are valuable.

14 In brief, that history suggests that  
15 whatever approach is taken by the agency and  
16 those who advise it to create greater detail on  
17 the concept of reasonable and necessary will be  
18 subject to extremely close scrutiny.

19 We know the examples, a coverage  
20 regulation that has been kicked around since  
21 1987, the fact that this committee is just  
22 starting to get off the ground two years after  
23 the GAO found the act to be in violation of FACA.  
24 We also know that frequently in coverage decision  
25 making it becomes subject to second-guessing by

.00104

1 Congress.

2 We raise this because we want to  
3 encourage you to get this process off on the  
4 right foot. We want the MCAC process to succeed  
5 and be used. And while I heard Dr. Bergthold's  
6 comments about the effort that you went towards  
7 submitting this, it serves no one's interest if  
8 your approach is perceived incorrectly or not as  
9 so academically grounded that MCAC becomes  
10 nothing more than another health policy center  
11 which provides insights that have little life  
12 beyond those who formulate and to make them  
13 internally.

14 We believe it is fair to say that  
15 outcomes research and technology assessment are  
16 evolving disciplines. Further, while the  
17 document does not say so, it is extremely rare  
18 that data is ever perfect. Similarly, a number  
19 of decisions faced by panels are likely to  
20 inquire around one of the truisms that surround  
21 healthcare. That is part art and part science.

22 Therefore, we encourage you to modify  
23 your discussion document to acknowledge these  
24 factors and create the opportunity for our

25 acceptance of your approach. Similarly, it will  
.00105

1 enhance your opportunity to improve the  
2 effectiveness of the panels.

3           We offer you the following language as  
4 an example of a kind of statement that you might  
5 make. Evidence presented to support a coverage  
6 decision should be deemed acceptable if it is  
7 ethically appropriate, administratively feasible  
8 and if it meets the current generally accepted  
9 used requirements for evaluation of a health  
10 service typically found within a technology  
11 assessment literature that were in place at the  
12 time the study was undertaken. This is not to  
13 say that the evidence is then accepted as meeting  
14 a case for coverage, but rather reflects a common  
15 sense approach to considering the practical  
16 implementation issues which surround the  
17 methodology options for generating data.

18           It is simply the case that a majority  
19 of the people who are involved in generating  
20 evidence for decision making are well-meaning  
21 people who want to do the best job they can.  
22 This does not mean that they are at all as  
23 schooled and knowledgeable as you on the nuances  
24 of evidence generation. Your document needs to  
25 implicitly acknowledge these individuals and to

.00106

1 speak to them in a manner which allows them to  
2 see clear, feasible pathways to being  
3 constructive contributors to Medicare coverage  
4 decision making.

5           We suggest that with that opportunity  
6 comes an obligation. We would suggest that the  
7 document be modified to express the interest of  
8 panels in receiving from stakeholders the  
9 rationale which drove such things as the study  
10 design, data sources utilized, the rationale for  
11 what the service is being compared to, the time  
12 horizon that's chosen and the statistical  
13 analysis methods used to address random events.  
14 In addition, we think it's appropriate for  
15 stakeholders to describe this data from



16 unpublished sources. This will provide useful  
17 information to the panels as they seek to weigh  
18 the value of the evidence presented.

19 Let me now move to our observations  
20 about the specific aspects of the document.  
21 First of all, we would note that the paper fails  
22 to acknowledge those stakeholders who have  
23 already completed or are currently in the process  
24 of carrying out efforts to generate data for a  
25 national coverage decision. The paper needs to

.00107

1 provide some guidance so that these stakeholders  
2 and/or the panels do not feel that an  
3 organization must necessarily go back to square  
4 one in generating evidence because of this  
5 document.

6 Moving to another area, while we  
7 recognize the panel's purpose is to focus on  
8 issues of science and evidence, it's somewhat  
9 ironic that the words or concept of a patient do  
10 not appear until page 6. While the document's  
11 failure in this regard could be seen as semantic  
12 window dressing, we believe it's important that  
13 we all keep front and center in the end. This is  
14 what we're all about.

15 That said, the committee has indicated  
16 its interest in the panel's making conclusions  
17 about health outcomes. We would ask that the  
18 committee modify the text on page 7 or at least  
19 my Internet version on page 7, item 3. This text  
20 addresses the need for the panel to explain its  
21 conclusions. We suggest that the committee ask  
22 the panels to describe as specifically as  
23 possible how each of the various health outcomes,  
24 including, but not limited to, mortality,  
25 morbidity, functional status, quality of life and

.00108

1 patient experience were factored into its  
2 decision making. By making the reporting  
3 requirements more detailed, the goals articulated  
4 in this item will be better achieved.

5 We also believe that significant  
6 thought should be put into the item on page 7

7 about the evidence reports provided to the  
8 panels. Although the ability of this proposal to  
9 operate in a timely manner is suspect, we are  
10 also very concerned that the document does not in  
11 any way provide affirmative action between the  
12 stakeholder and MCAC on what materials will be  
13 contained in the evidence report. We think the  
14 document should provide a mechanism for dialogue  
15 between stakeholders and the appropriate panel  
16 representatives before submitting the report.

17 Another area of concern is found on  
18 page 5, the last sentence dealing with bias. The  
19 text can be read to require that the panels  
20 describe why bias does not account for the  
21 results. Conversely, the subjectivity, if you  
22 will, in judgment calls which are involved with  
23 these issues, we believe that the panel should be  
24 empowered to describe why it's comfortable with  
25 its conclusions.

.00109

1 Finally, on page 6, the last two  
2 sentences on external validity, the terms typical  
3 practice setting and general practice setting  
4 appear to be used interchangeably. Because of  
5 the importance that the agency puts on  
6 appropriateness of making decisions, we believe  
7 it would be valuable to clarify what the terms  
8 typical and general mean.

9 In sum, we believe that all Medicare  
10 stakeholders are benefited by the recognition  
11 that improving the Medicare coverage decision-  
12 making process is a long road. We believe the  
13 MCAC process is an important resource for the  
14 agency and for external stakeholders, but at  
15 these early stages of this effort care must be  
16 taken to create conditions for success. We know  
17 that the talent, insight and good efforts exist  
18 on this committee to achieve these conditions.  
19 We stand ready to assist you in every way we can  
20 and thank you for your attention and  
21 consideration of our views.

22 DR. SOX: Thank you very much. Before  
23 we go on to the HCFA presentation, Sharon's going

24 to read a letter that we just received today from  
25 the ACP-ASIM on the same day that AMA commented  
.00110

1 on our document.

2 MS. LAPPALAINEN: The letter is  
3 addressed Dear Ms. Lappalainen, the American  
4 College of Physicians-American Society of  
5 Internal Medicine (ACP-ASIM), representing over  
6 115,000 physicians who specialize in internal  
7 medicine and medical students, wishes to offer  
8 its comments and concerns on the draft report of  
9 the subcommittee of the Medicare Coverage  
10 Advisory Committee's Executive Committee  
11 entitled, Recommendations for Evaluating  
12 Effectiveness. ACP-ASIM is generally supportive  
13 of these recommendations, but feels it critical  
14 that the MCAC strike a healthy balance between  
15 assuring a coverage review process which is  
16 credible and defensible from a scientific  
17 viewpoint, yet not so mired in technical detail  
18 that final coverage decisions are unreasonably  
19 delayed.

20 ACP-ASIM is very supportive of the  
21 draft report's objectives; that important  
22 clinical coverage decisions be reviewed on the  
23 basis of sound and objective clinical evidence by  
24 the MCAC's six medical specialty panels, and that  
25 there be a standardized methodology and format

.00111

1 for panels to present their recommendations to  
2 the MCAC Executive Committee, thereby allowing  
3 the Executive Committee to make uniform,  
4 high-quality and scientifically defensible  
5 coverage recommendations to HCFA. We also  
6 support the draft report's recommendation that  
7 the MCAC only focus on the clinical and  
8 scientific questions around the medical  
9 effectiveness of new items and services and the  
10 comparative effectiveness of new items and  
11 services relative to existing alternatives, and  
12 that the MCAC not address questions about dollar  
13 costs of new items or services.

14 We are impressed with the amount of

15 scientific rigor the draft report proposes for  
16 assessing the adequacy of clinical evidence  
17 related to a new item or service and calculating  
18 the magnitude of the health benefit such coverage  
19 would have on the Medicare population. We do  
20 wish to raise some technical concerns under the  
21 draft report's section on Evaluation of  
22 Evidence.

23           On page 3 the discussion of potential  
24 sources of bias has some noteworthy omissions,  
25 including double-binding, perfect compliance,

.00112

1 adequate length of follow-up, distinct treatment  
2 separation and inappropriate statistical  
3 analysis. Imperfections in any of these would  
4 permit bias to enter into a randomized controlled  
5 clinical trial and thus make the results less  
6 valid for the population under study and thus  
7 difficult from which to generalize.

8           We also feel the draft report's  
9 recommendation on page 4, that MCAC panels be  
10 required to describe possible sources of bias and  
11 explain why a panel decided that bias does not  
12 account for the results, should be applied in all  
13 coverage decisions, not just the limited  
14 circumstance of uncontrolled studies described on  
15 page 4.

16           Also, on page 5 where seven categories  
17 of size of health effect are presented, there  
18 appears to be one category omitted, which we  
19 would recommend the addition of, more effective,  
20 but with disadvantages.

21           In summary, ACP-ASIM believes it is  
22 vital that coverage decisions remain in the hands  
23 of the medical experts comprising the panels of  
24 the MCAC and that the credibility of this body  
25 will depend on striking a balance between

.00113

1 scientific rigor and decision making which is not  
2 bogged down in process. Decisions reached by the  
3 MCAC must be based on the best mix of objective  
4 data and professional judgment possible and lead  
5 to coverage recommendations that have a

6 compelling weight of evidence, yet are rendered  
7 in reasonable time frames to avoid work backlogs  
8 which might undermine MCAC effectiveness and  
9 credibility.

10 ACP-ASIM supports the MCAC coverage  
11 decision process and welcomes the opportunity to  
12 contribute to its evolution. We believe the time  
13 spent now will pay great dividends in the future  
14 and that the MCAC's evidence-based decision-  
15 making model will soon become one of which we can  
16 all be proud. Sincerely, it is signed by Whitney  
17 W. Addington, M.D., F.A.C.P, president. Thank  
18 you.

19 DR. SOX: We'll now move on to the HCFA  
20 presentation by Dr. Kang and Dr. Hill. Jeff, go  
21 ahead. Well, Bob, you had something to say.

22 DR. BROOK: I don't quite understand  
23 the transition here, and I'd like some  
24 clarification on the process. Up to now we've  
25 had a description of the subcommittee report and

.00114

1 then a public session with public comment. What  
2 is this part?

3 DR. KANG: This is actually the HCFA  
4 comment.

5 DR. BROOK: Is this the response to our  
6 subcommittee report?

7 DR. KANG: Yes.

8 DR. BROOK: I'm wondering whether the  
9 process we ought to -- I mean since we are an  
10 advisory committee to HCFA, do we want to have  
11 some discussion of the committee before we hear  
12 what HCFA thought of the report in relationship  
13 to the public report or is this a process that's  
14 prescribed by law or something that we can't do  
15 this? I'm just wondering which way we want to do  
16 this since we're advisory to HCFA anyway. Do you  
17 want us to put all this together when we try to  
18 deliberate or just look at the public response  
19 first?

20 DR. KANG: I'm actually okay either  
21 way, quite frankly, because there's many of the  
22 issues here which have been raised which I think

23 we can resolve through discussion. So if we want  
24 to kind of cut to the chase here, that's fine  
25 with me.

.00115

1 DR. HILL: In the sense that the  
2 subcommittee asked for a comment and a report to  
3 be given, when something's presented to the  
4 panel, we also would like to be able to comment  
5 about the subcommittee report at this point and  
6 hope that you would take that into consideration  
7 in your mix.

8 DR. SOX: Alan, do you have a  
9 suggestion?

10 DR. GARBER: Just speaking for myself,  
11 I would like to hear HCFA's comments before the  
12 committee deliberates so we can deal with all of  
13 the comments as a whole.

14 DR. KANG: I'm going to nix my  
15 presentation then. I actually had only one  
16 comment then. Dr. Hill has a bunch.

17 I wanted to note that when I was a real  
18 doctor -- I guess I'm no longer a real doctor --  
19 it's been awhile since I've practiced --  
20 practicing geriatrics, I had to make very  
21 difficult choices and/or recommendations for my  
22 patients almost every minute of the day which  
23 diagnostic test to order, should I recommend  
24 hospitalization or home care, what treatment  
25 options should I suggest et cetera. Usually this

.00116

1 involved choices amongst well-understood,  
2 commonly utilized possibilities.

3 Sometimes, though, something new or  
4 something new to me was as an appropriate  
5 consideration. Usually in these situations I  
6 turned to the medical evidence and the literature  
7 to help me make a choice in this decision. I  
8 think I did that largely in part because I wanted  
9 to be sure before abandoning the old that using  
10 the new would be better. I think in many ways  
11 this is what we're wrestling with, and this is  
12 what national coverage decisions are about that  
13 we face frequently with new technology. What

14 does the evidence or science say about the new  
15 technology?

16 In practice, though, I must admit I  
17 also recall the patient's condition and the  
18 availability of alternatives had a lot to do with  
19 how I reviewed the evidence. If our patient was  
20 in serious trouble and there was a lack of any  
21 other beneficial alternatives, it actually made  
22 me more likely to offer the service even if the  
23 literature was suboptimal. I think this was  
24 especially true if the risk of the service or  
25 procedure was very small.

.00117

1 So I just ask in your deliberations  
2 today that you discuss whether or not the  
3 patient's condition, the availability of other  
4 alternatives and the risks associated with the  
5 service should affect how we actually view the  
6 evidence.

7 That said, I applaud and thank you for  
8 your efforts to deal with this in a consistent  
9 manner for all panelists on how we read the  
10 evidence. I believe that actually you're off to  
11 a great start, and there's many things that can  
12 be resolved today.

13 DR. HILL: Thank you. I'll be as brief  
14 as I can. First of all, I want to say on behalf  
15 of our group within HCFA that the subcommittee  
16 report is both admired and appreciated by us.  
17 Nothing that I will say should be taken as a  
18 denigration or a disparagement of this important  
19 contribution to HCFA's efforts to improve our  
20 coverage decision-making process.

21 The report's recommendations for an  
22 optimal process, speaking from the position of  
23 the people who are going to have to carry this  
24 out, appear to be well-challenging. It may be  
25 that at least for some decisions, we will have to

.00118

1 commit to all the steps you outlined, but that  
2 possibility causes us as well as others to have a  
3 care for the time required.

4 This is the most open and accountable

5 process for making national coverage decisions in  
6 the history of Medicare. When we designed and  
7 started this new way of doing business, including  
8 the MCAC, we knew that the period required to  
9 reach a decision would often include required  
10 minimum components and time periods because of  
11 the steps. For example, announcing the planning  
12 of MCAC panels' open public meeting means some  
13 time is needed. As we talk today about how to  
14 prepare for and get the best advice from MCAC  
15 panels, we're thinking again about the time  
16 required. But let me be plain. We were not  
17 then, and we are not now, hiding behind the  
18 process to delay coverage, to delay getting the  
19 latest evidence-proven treatments to Medicare  
20 beneficiaries, and we do not want anyone else to  
21 either.

22 Our intentions and success in meeting  
23 those intentions are and will continue to be  
24 clear. We announce matters under consideration  
25 for coverage decisions on the web with due

.00119

1 dates. If we can't meet our self-imposed  
2 deadlines, we give our reasons, again posting  
3 them publicly. This process must not be driven  
4 back into a black box by criticism of that  
5 process, including criticism of timing.

6 Our goal is to reach well-reasoned,  
7 scientifically sound decisions as rapidly as can  
8 be consistent with that level of quality. We  
9 believe that this committee shares that goal with  
10 us, and we appreciate its comments on how to keep  
11 things moving.

12 Let me refer to a couple of specifics  
13 in the subcommittee report that may raise  
14 concerns for process duration. The suggestion  
15 that each panel explain its conclusions in  
16 writing should not in our view delay a decision  
17 until a second panel meeting months later is  
18 voting on that right. We should be able to  
19 address this commendable desire for  
20 accountability, as consistently expressed in this  
21 suggestion, without more time than is already



22 contemplated to write up and post the summary of  
23 that meeting. This is something we're already  
24 going through.

25 The suggestions regarding the structure  
.00120

1 of the evidence presented to the panel should not  
2 delay. We are committed to presenting high-  
3 quality and well-organized information as called  
4 for in the subcommittee report and doing so  
5 within the time frames previously contemplated.  
6 We will get help doing this in a timely way when  
7 necessary, and we are already doing this for the  
8 next planned panels.

9 I'm pleased to see Dr. Deborah Zarin  
10 from our well-respected sibling, the Agency for  
11 Health Research and Quality, with us today in the  
12 audience. Dr. Kang and I have met on multiple  
13 occasions with AHRQ's leadership, and we look  
14 forward to their involvement as an important  
15 resource for us in examining evidence and  
16 preparing for MCAC panels. We'll be talking  
17 about the subcommittee's time frames with them.

18 Finally, on the time frame issues I  
19 want to respond to the subcommittee's item number  
20 6, expert review of evidence reports. At the  
21 present time we are not planning to do this in  
22 every case. Even if time were not an issue --  
23 and it may not be if this added step can be  
24 accomplished within current expectations -- we  
25 still regard this as a quality control feature.

.00121

1 If we're doing a good job with the presentations  
2 to the panels and the postings on the web, if the  
3 process seems to be working without this step, we  
4 do not presently intend to make additional  
5 external review part of the routine.

6 The other major concern we have heard  
7 about the subcommittee report -- you've heard it  
8 too -- is that it seems to set some impossibly  
9 high hurdle to bar every new technology without  
10 any regard for type. We don't read your  
11 statement that way, but this should not be a  
12 concern regardless because we continue to explain

13 that we are not abrogating our responsibilities.  
14 We understand that we have to make the coverage  
15 decisions. You advise us, and we decide in part  
16 basing our decision on your advice. So we want  
17 to know the basis of your advice, your  
18 recommendations, your thinking. We will want to  
19 know what's behind the MCAC panel's inclusion  
20 about evidence. We don't expect the panel to,  
21 nor can we allow the panel to, decide for us  
22 whether or not there's enough evidence to allow  
23 us to cover it.

24 For example, when the subcommittee  
25 report says uncontrolled studies are never

.00122

1 applicable, I read, in the context of that  
2 section, that if a clinical experiment reported  
3 in medical literature carries the possibility of  
4 bias in selection of patients, we understand the  
5 difficulties of explaining away that bias without  
6 randomization or other forms of controls.

7 Dr. Sykes gave a good explanation of  
8 bias in his presentation to the subcommittee  
9 report. Does the risk of unaccounted for  
10 selection bias mean that we shouldn't give the  
11 experiments' results much weight in deciding  
12 whether or not to cover the tested treatment?  
13 Possibly. Does it mean we automatically refuse  
14 to cover? No.

15 As the subcommittee report suggests,  
16 observations alone may sometimes allow a panel to  
17 make conclusions about effectiveness. Such  
18 suboptimal evidence may allow us to conclude that  
19 Medicare should cover the service. Deadly  
20 diseases without alternatives come to my mind  
21 immediately as such a situation, also logical  
22 consistency with general medical science  
23 understanding. The proof required to allow  
24 applicability to the Medicare population might be  
25 less where the application makes sense than when

.00123

1 it's counterintuitive or inconsistent, hard to  
2 explain in the context of the rest of the  
3 science.

4 I also see no credibility in the  
5 assertion that the committee is threatening to  
6 tell HCFA that one threshold fits all. No one  
7 should take seriously the suggestion that we  
8 might require unrealistic trials such as double-  
9 blind tests of surgically implantable devices as  
10 a dodge to avoid covering something. We said,  
11 and I say again, that the sector-specific  
12 guidance documents are purely of our  
13 quality-oriented coverage plan, and they are the  
14 next step after a coverage regulation proposal in  
15 the federal register. We have already  
16 demonstrated, in the coverage decisions made so  
17 far under our new process, that we are aware of  
18 and can properly include the flexibility  
19 necessary for the variety of situations we face.

20 But the questions you ask are at least  
21 potentially constant, and the important questions  
22 you've asked of this document can't be ignored.  
23 We still want to know whether studies that do not  
24 focus on patients over 65 produce results that  
25 can be applied to the Medicare population of that

.00124

1 age group. It's possible that the answer can be  
2 no or even unsafe over 65, and we might consider  
3 still covering, but only for our disabled and  
4 ESRD beneficiaries who are within the age range  
5 where medical benefit is shown by the evidence.

6 So to the subcommittee we say thank you  
7 for this important contribution. Thank you for  
8 these questions. To industry and those who want  
9 to cover our product or service, we say let's  
10 look together at these questions. We understand,  
11 and you know we understand, that these questions  
12 do not control HCFA's coverage decision making,  
13 but they will help inform and improve the quality  
14 of those decisions. And to our beneficiaries and  
15 the public generally we say we will be faithful  
16 stewards of your health and the health of the  
17 future beneficiaries. We will ask these  
18 questions. We will continue the work begun two  
19 years ago, always listening to the medical  
20 community, providers, consumers and manufacturers

21 and promoters, the work of improving Medicare's  
22 national coverage decision process. Let's keep  
23 going together.

24 DR. SOX: Thank you. We now go into an  
25 open committee deliberation, and what I'd like to

.00125

1 suggest is that we start our deliberations and  
2 perhaps spend as much of the next hour as it  
3 takes to ask follow-up questions of people who  
4 made presentations to us, both from the public as  
5 well as HCFA, and then, again depending on how  
6 much time it takes us, either proceed on to  
7 starting a round table discussion of this  
8 document and what we need to do to come to a vote  
9 to recommend to HCFA.

10 So with that brief introduction, I'd  
11 like to focus for now on trying to ask questions  
12 of the various presenters and so forth. Bob?

13 DR. BROOK: Panel, can I raise a  
14 process issue of what we're trying to accomplish  
15 today? Let me tell you what I've heard. I  
16 didn't hear anyone except maybe HCFA have a --  
17 I'll retract that. I didn't hear anybody sort of  
18 say the document is out of bounds. It should be  
19 burnt and thrown away. I've heard a lot of  
20 wordsmithing in some places, a lot of questions  
21 about tone and other questions, but no wholesale  
22 disregard for it.

23 The question I'm asking is should we  
24 consider on this committee a bifurcated process?  
25 We need something to help the next set of panels

.00126

1 get started with. We could say that we've gotten  
2 there with this document as getting started, and  
3 we could ask the people that presented as well as  
4 other people to take the document we have and  
5 actually instead of doing what we did here,  
6 require them to do what we did ourselves, which  
7 is to white out, edit, alter whatever they would  
8 like in that document and provide a justification  
9 and a reason for what they're trying to  
10 accomplish by doing that and then take this so  
11 that we would actually have a written record that

12 basically would allow us to look at this  
13 paragraph by paragraph, sentence by sentence on  
14 the belief that both the people at HCFA and the  
15 people of the subcommittee and people of the  
16 committee will disappear sooner than we can  
17 probably imagine given our mortality.

18           And I wonder whether that kind of a  
19 process would be one that we would then have a  
20 written record of what people really would do to  
21 this document if they were all part of the  
22 subcommittee. And then the subcommittee would  
23 then take those, produce a written record of how  
24 we responded to that and in a document that then  
25 we would do and produce as a second version and

.00127

1 continue to involve this process over time as we  
2 get experience with it.

3           So the thought here is go with what  
4 we've got now as advice to the committees to do  
5 the next round of the panels, get written input,  
6 continue to revise, continue to deal with this  
7 kind of a document and make it an evolutionary  
8 document with a history behind it so that we can  
9 continue the process forward.

10           And as we get feedback, both from how  
11 it worked in the panels, and what the public  
12 believes about this feedback, we could then  
13 continue to modify this document and do it as  
14 sort of that kind of an approach as opposed to us  
15 trying to ask questions, get off-the-cuff  
16 responses, some of them well thought out, but not  
17 sort of at the level of how would you change this  
18 sentence? When you mean tone, okay, what do you  
19 really want done here? So getting commitment in  
20 writing to what people really want done.

21           I'm wondering whether that would be a  
22 process that would get us further along.

23           DR. SOX: Let's discuss that. It's a  
24 reasonable proposal. Let's have some serious  
25 discussion.

.00128

1           MS. LAPPALAINEN: Right. We have the  
2 document available for projection, and we are

3 prepared to have someone make edits now. For the  
4 entire afternoon we have set aside a large amount  
5 of time today for the committee to make those  
6 kinds of suggestions to the document. Because  
7 the subcommittee met in essence in private, the  
8 deliberation and the review of the document needs  
9 to be in public today in order to satisfy the  
10 Federal Advisory Committee Act. And this is why  
11 we have called the meeting today so that the  
12 entire Executive Committee could deliberate and  
13 review in open public format this document.

14 DR. SOX: Okay. Well, Bob, in essence,  
15 I think, has said that we need to get rolling  
16 with the process, that the document that we've  
17 generated so far doesn't have any deadly flaws in  
18 it, but at the same time we've had some very  
19 useful comments and perspectives that might  
20 strengthen the document if they were incorporated  
21 into it.

22 And perhaps we could simply have a  
23 two-part process, which we would decide whether  
24 or not to use the document as it is now to help  
25 the panels in their deliberations that are on the

.00129

1 schedule right now and meanwhile give the public  
2 an opportunity for input into the document and  
3 reframe it as seems appropriate, then come back  
4 at our next meeting to present what we've come up  
5 with for further discussion and options.

6 DR. BROOK: That's not what I said.  
7 It's close, Hal.

8 DR. SOX: Thank you.

9 DR. BROOK: I think that we could have  
10 open deliberation today at the level of a  
11 committee about do we think this is good enough  
12 to overcome some of the major problems with the  
13 running of the next set of panels? And we ought  
14 to confine our discussion to that for us at this  
15 moment. But at the same process, I've heard that  
16 there are people that really want significant  
17 written changes in this document that we all may  
18 think there's no problem with, and it would  
19 improve the document.

20           And if we had a process of saying --  
21 and I don't know the timing of this here, but you  
22 have six weeks to take this document and to write  
23 down, not just the edits, but just the reason you  
24 want it changed, the justification, what you're  
25 trying to accomplish, and then have the

.00130

1   subcommittee look at that and then try to  
2   incorporate as much as this into a revised  
3   document and bring it back to the Executive  
4   Committee so that we get closer to what people  
5   really want and go through the step before we  
6   meet again as an Executive Committee of actually  
7   looking seriously at those changes and  
8   incorporating them, then we would have a written  
9   reason, a written justification, and then we  
10  could respond as a committee and say yes, we  
11  agree with, no, we don't, for these reasons. And  
12  this would be a different kind of a process.

13           DR. SOX: So we have comments. I was  
14 looking this way. So Alan, why don't you take  
15 the first one.

16           DR. GARBER: I'll be very brief. I  
17 just wanted to remind everyone -- and correct me  
18 if my memory is incorrect -- that at our last  
19 Executive Committee meeting we said that the  
20 subcommittee would produce a document that's  
21 really intended to be interim to provide guidance  
22 to the panels until HCFA issues its regulations.  
23 So one thing to keep in mind, none of us, I  
24 think, have the intention of producing something  
25 that's going to be permanent. If this does

.00131

1   happen to coincide perfectly with the rules that  
2   HCFA eventually develops, that would be great. I  
3   don't think we have the expectation that that  
4   will necessarily happen.

5           So this is indeed an interim document,  
6   and I don't think the idea is to make this so  
7   pristine and perfect that it never needs to be  
8   changed because we are almost bound to change  
9   this in the course of the next year, year and a  
10  half, however long it takes.

11           The second point is that I think we  
12 said at the previous meeting that we hoped that  
13 we would more or less wrap this up at this  
14 meeting, and I think it's premature to talk about  
15 longer term changes until we've heard from the  
16 members of the Executive Committee, who did not  
17 yet have an opportunity to comment on the  
18 document, to get some sense of whether this is  
19 very close to the right ballpark and just needs  
20 some technical revisions that can be handled  
21 today or if it needs very extensive revisions.

22           So I think we need to discuss ongoing  
23 revision only after we've heard from the  
24 Executive Committee has a whole.

25           DR. SOX: So Alan, let me understand

.00132

1 you correctly. Are you saying that we can't act  
2 on Bob's proposals until we discuss the document  
3 as it currently stands looking at it as an  
4 interim document that's going to help us get off  
5 the ground in the next 12 months or so?

6           DR. GARBER: Exactly.

7           DR. SOX: That certainly seems like a  
8 reasonable suggestion. But why don't we see if  
9 there are any other comments.

10           Jeff, did you have your hand up?

11 Leslie?

12           DR. FRANCIS: I wanted to comment that  
13 I think that we should go actually section by  
14 section with the idea of whether or not there are  
15 things in this document, using it as a general  
16 framework, that we think are problematic even on  
17 an interim basis. One example might be the  
18 implication in the generalizability section to  
19 the Medicare population, that the Medicare  
20 population is only the elderly.

21           DR. KANG: Yeah. I would actually  
22 agree with that. I think we need some minor  
23 tweaks here and more along the line of tone or  
24 clarification, and I don't think we're that far  
25 apart.

.00133

1           Listening to the comments, I read this



2 document in a completely different way than many  
3 of the commenters are reading it, and that really  
4 suggests that we have somewhat of a problem.

5         The first is I did not read in this  
6 document that there's an implication that  
7 everyone has to have a randomized controlled  
8 trial. What this document in my mind says is  
9 that's the gold standard, but to the extent that  
10 you deviate from the gold standard, you have to  
11 explain biases, how you dealt with it et cetera.

12         So clearly a case controlled trial  
13 where the biases let's say against device or  
14 service or whatever, someone can say well, that's  
15 okay. All the biases are against it. That's a  
16 good trial.

17         The second observation I had was the  
18 same as Dr. Francis', and this really actually  
19 dealt with, I think, the Medicare beneficiary  
20 rights testimony and a couple of other  
21 testimonies. I think we do have to clarify that  
22 the results associated with the study population  
23 are the results associated with the study  
24 population. Now, it so happens that the study  
25 population excluded people under the age of 65,

.00134

1 and if you want to broaden that coverage, you  
2 actually have to deal with whether you can get  
3 there or not.

4         As it turns out, as the doctor with  
5 multiple myeloma from Arkansas was saying, if in  
6 fact the study didn't have age exclusion but  
7 actually had another exclusionary criteria, then  
8 the age probably goes away. You just actually  
9 write a coverage decision that had the  
10 exclusionary criteria.

11         The whole point, though, is you look at  
12 the study population, and you agree with the  
13 results. And then to the extent that you want to  
14 cover beyond the study population, you actually  
15 have to justify why it had reason to do that and  
16 explain why that's an okay thing to do.

17         So I would actually see that those two  
18 minor tweaks -- and maybe they're not minor, but

19 I think what Bob is suggesting is they still  
20 require a fair amount of wording, but I think  
21 that gets to most of the problems that have  
22 actually been identified by the presenters that  
23 there are some process problems.

24 DR. DAVIS: Well, I agree with a lot of  
25 the comments that have been made. And to pull

.00135

1 them together, what I would like to see is I  
2 agree with Leslie that a section-by-section  
3 review would be appropriate today. We're not  
4 going to do all the things that need to be done  
5 to the document, but we can do a lot to fix  
6 this. So I think a section-by-section review  
7 would be good, and then by the end of the day  
8 approve it with the fixes that the committee  
9 agrees to, and then approve it as work in  
10 progress, then give it to the panels as a  
11 framework to guide their work in the coming  
12 months, and then continue to come back to the  
13 document and refine it as necessary, especially  
14 considering that when panels begin to use it,  
15 that will represent a pilot test, if you will, of  
16 how appropriate and practical the document is,  
17 but again coming back to it over time refining it  
18 as necessary. And also, I'm sure we'll want to  
19 take into consideration more detailed comments  
20 from the public and from various stakeholders.

21 DR. SOX: Ron, maybe you could also  
22 speak briefly to the concept Bob has advanced  
23 about getting public input to this document. To  
24 me it's kind of an attractive idea that we would  
25 really seek broad input. We would have to make

.00136

1 the final call on the wording, but it would give  
2 us an opportunity to make some changes in tone,  
3 and if it seems appropriate to do so, that may be  
4 very difficult to accomplish in the short-term.

5 What do you think of the overall  
6 strategy of getting public input?

7 DR. DAVIS: Well, we've obviously had  
8 some already today, we had some before we came  
9 here today, and we'll have more later on this

10 afternoon. So my sense is let's try and improve  
11 it today. Maybe we can go section by section and  
12 allow people to propose improvements, and maybe  
13 those can be approved as we go along by the  
14 committee or disapproved, then hear some more  
15 public comment from 3:15 to 3:30 or whenever that  
16 happens as listed on the agenda, and then leave  
17 the final approval by the committee to the end  
18 of the day as the agenda indicates. Then there  
19 will be more detailed commentary after we adjourn  
20 today, and we'll take that into account when we  
21 reconvene in a couple of months.

22 DR. SOX: Other comments about the  
23 process? I would like to advance a notion and  
24 see how it flies with you. I'm a little worried  
25 that we're going to get into wordsmithing over

.00137

1 tone that's going to kind of bog us down and  
2 would like to propose that we try to focus more  
3 on technical content and less on tone during our  
4 discussion, explicitly recognizing that we're  
5 going to get a fair amount of public input  
6 hopefully in writing, I would suggest, on how we  
7 alter the tone in a useful way.

8 My guess is that as long as this  
9 document continues to be an interim working  
10 document in the next few months, these issues of  
11 tone probably aren't central to getting on with  
12 that work.

13 Does that feel pretty comfortable to  
14 you all that we focus on technical content and  
15 recognize we have a process for modifying the  
16 tone in response to public comment both here and  
17 that we may receive later on? Alan?

18 DR. GARBER: Well, I want to make sure  
19 I understand the implications of what you're  
20 proposing. I just know my panel, medical surgery  
21 panel, is meeting in a little more than a month,  
22 and I suspect that members of my panel won't care  
23 much about the tone of the document and will care  
24 a great deal about content. And if by technical  
25 issues, you mean the content -- that is how are

.00138

1 you going to evaluate the evidence and so on --  
2 that's great. That's what we need. And I agree  
3 the wordsmithing about tone is not going to be  
4 the number one concern of our panel.

5           So if we could end today with the  
6 consensus about content as in what are the  
7 specific directions that the panels will receive.  
8 And let's not forget that although this is a  
9 public document, its primary purpose is to guide  
10 work for the panels. So that's really what we  
11 should be focusing on.

12           If we can come to some consensus today,  
13 that would be extremely helpful to us and I  
14 suspect all the other panels.

15           DR. SOX: Bob, did you want to  
16 comment?

17           DR. BROOK: From a process perspective,  
18 I believe that the question we ought to ask the  
19 committee, as a guide for the first panel  
20 meetings, is there anything you find in the  
21 document that's objectionable that would allow  
22 you not to want to give this to the panel as  
23 guidance for the first meeting?

24           If we limit ourselves to that question,  
25 then I think we could do the task that people

.00139

1 have talked about, going section through  
2 section. If we do anything else, I don't think  
3 we're going to succeed.

4           I think that, however, this is  
5 basically not a technical document, but a  
6 political document written by a technical group,  
7 and I would urge that we view it as such and  
8 therefore insist that before we finally approve  
9 the document, I think we can say to the panels  
10 use it as a guidance for the first thing, that we  
11 get absolutely specific written comments from  
12 anyone in the public who wants to give it to us  
13 with a justification for what they're trying to  
14 achieve by that comment so that we can explicitly  
15 respond in writing, do the same thing we're  
16 asking the panel to do, to explicitly respond in  
17 writing why we believe that this word ought to

18 stay the same, this word ought to change or that  
19 we consider this other thing, and then do this as  
20 an evolutionary process.

21 So my concern is do we have enough  
22 discipline to hold ourselves for this  
23 conversation around the table to say what's in  
24 here that really the chair should not use at the  
25 first set of panel meetings, not what you think

.00140

1 about the tone and structure and everything, what  
2 we think this eventual document will look like?

3 DR. SOX: So it's partly objectionable,  
4 but it's also unclear and confusing. I mean if  
5 you don't understand the document, you can't  
6 instruct the panel about problems. We've got to  
7 deal with those problems as well. Okay. I think  
8 we're all together. Bob?

9 DR. MURRAY: I'd like to comment that I  
10 think it's inevitable that this is a guidance  
11 that is titled recommendations. It's filled with  
12 words like should, it's expected to, would  
13 normally. It's only a guideline. It's not a  
14 prescriptive legal statute.

15 Secondly, it's inevitable that it's  
16 going to be treated as such because we have only  
17 a month or six weeks before the next panel  
18 meeting, and one of the provisions calls for a  
19 six-month or anticipates a six-month time line in  
20 order to get to the panel meeting. Well, of  
21 course, you're not going to squeeze six months'  
22 work into six weeks.

23 My feeling is that we should approve it  
24 as is or with minor modifications because it's a  
25 guideline. It's a recommendation.

.00141

1 DR. SOX: I think we're all clear. My  
2 suggestion is that we take it section by section  
3 and we take a few minutes before starting the  
4 discussion for people to go back over and if they  
5 haven't already identified concerns, to do so.  
6 I'm not sure everybody has a comment.

7 Have most people already marked it up?  
8 Great. In that case we can go right into it.

9 DR. HOLOHAN: Since we're switching our  
10 agenda a little bit, we're going to ask questions  
11 or make comments on some of the public  
12 statements, there are a couple of things I'd like  
13 to comment on before we start just to get them in  
14 the public record. The written comments that  
15 were supplied are, I presume, in the public  
16 record, and I think a few things have to be  
17 clarified.

18 One is HIMA has a statement that says  
19 the six months that are suggested in the document  
20 is the length of the life cycle of some  
21 technologies. I find that very difficult to  
22 believe. So it doesn't square with Mr. Roe's  
23 interest in people investing money into a --  
24 stent versus medical technology.

25 Secondly, there's a HIMA statement that  
.00142

1 says technologies have improved laparoscopic  
2 cholecystectomy -- would have difficulty in  
3 clearing the evidentiary hurdle. Laparoscopic  
4 cholecystectomy was actually decided as a  
5 coverage issue by Medicare on the basis of the  
6 request for review by the U.S. Public Health  
7 Service. Their standard, arguably lengthy  
8 procedure, that was extant in the early 1990s,  
9 and HCFA was able to make a coverage decision in  
10 a period of four months. So it's in the public  
11 record, but it's not entirely true.

12 The only other comment I'd like to  
13 make, Ms. Gottlich mentioned again VA coverage.  
14 I'm perhaps oversensitized to this because it  
15 came up four times at our panel discussion on  
16 treatment of multiple myeloma.

17 I think, as the only VA representative  
18 here, it's inappropriate to make comparisons  
19 between benefits provided by Veterans Health  
20 Administration and benefits provided by Medicare  
21 for two reasons. The major one is that HCFA's  
22 statutory requirements and the VA's statutory  
23 requirements are considerably different. The  
24 Veterans Administration is required by law to  
25 provide clinical care to patients to do research,

.00143

1 to provide medical education to medical students  
2 and house officers and to act as a backup for the  
3 Department of Defense, and I think it is  
4 misleading to see VA provision of medical care as  
5 some kind of a federal imprimatur about safety  
6 and effectiveness in part because of the fact  
7 that research is part and parcel of what VA  
8 does.

9           The second is that the VA benefits  
10 package extends far beyond medical care to things  
11 that HCFA doesn't cover, for example,  
12 modification of vehicles for patients with spinal  
13 cord injury, modification of homes, a much more  
14 expansive long-term care program. So I think  
15 it's simple to say well, since the VA does  
16 provide high-dose chemotherapy and stem cell  
17 support for some patients with multiple myeloma,  
18 that it's ipso facto or important to VA for the  
19 safe and effective therapy, and Medicare, as  
20 another federal program, should follow suit.  
21 It's deceptively simple, but it's in fact not the  
22 case.

23           DR. SOX: Let's begin. Let me suggest  
24 some ground rules that you want comments on  
25 elements of the text that seem objectionable as a

.00144

1 basis for your panel proceeding or the text is so  
2 unclear that you feel that you can't proceed, it  
3 doesn't give you instructions you can understand.

4           I'd like to suggest that people who  
5 have a problem with it try to identify the  
6 problem, if possible propose a solution, and the  
7 process for getting agreement is going to be  
8 mostly me looking around the room and seeing nods  
9 or asking if there's objections. Try not to take  
10 votes unless we go into something that's real  
11 controversial.

12           DR. DAVIS: Hal, can I ask a process  
13 question?

14           DR. SOX: Go ahead.

15           DR. DAVIS: I think what you've just  
16 outlined is fine, but I wonder if we go through

17 it section by section and stick to the issues  
18 that you mentioned a few moments ago, and if we  
19 have time perhaps we can go back section by  
20 section and address tone again if there's time.

21           Would that fit in with what you're  
22 trying to do?

23           DR. SOX: I agree with separating the  
24 two, and if we have time, it would be reasonable  
25 to address tone. I'm mindful of the fact that

.00145

1 there may be a few members who are going to have  
2 to leave a little early. So I'm hoping we can  
3 get done a little bit before it was scheduled for  
4 the end of the meeting so we have everybody here  
5 at the end. So I qualify it I guess.

6           MS. RICHNER: On that note I was  
7 wondering if it's possible to do process first.  
8 I think that's a critical component of what our  
9 mandate is here. A lot of this is so theoretical  
10 in the sense that we may get bogged down, and I'm  
11 very concerned that one of the huge issues is the  
12 evidentiary reports, and that whole section is  
13 very unclear, and I would love to be able to  
14 focus on that first.

15           DR. SOX: How do other people feel  
16 about that?

17           DR. GARBER: I guess although I think  
18 it's very important to get there, I think we  
19 should proceed in order. I think that there are  
20 two big issues that were raised overall, if I  
21 could summarize what the commentators said in the  
22 public testimony.

23           One of them had to do with the  
24 impression some had that -- trials would be  
25 necessary, and the other issue was timeliness.

.00146

1 So the first is in the first part of the  
2 document, and the second is in the process part  
3 of the document. I think we need to get through  
4 both, so that will be the responsibility of Hal  
5 to get us through this in a timely manner.

6           DR. SOX: Responsibility on all of us.  
7 Jeff?



8 DR. KANG: Mr. Chairman, if I could  
9 just add, as Dr. Hill was suggesting, the process  
10 in many ways, a lot of the timing is HCFA's  
11 responsibility, and we really have to work out  
12 the logistics et cetera. And during the  
13 presentation this is the first time I saw the  
14 time frame, and I quite frankly think we can do  
15 much better. So to the extent that we don't get  
16 there, I really just wanted to signal that we  
17 will very work very aggressively with the MCAC to  
18 speed up the time frames et cetera.

19 MS. RICHNER: Preparation of the  
20 evidentiary reports was another issue as well as  
21 the reviewers.

22 DR. KANG: I think we can do that  
23 faster. A lot of that responsibility, quite  
24 frankly, falls to HCFA because it's staff  
25 preparation. So I just want to send that message

.00147

1 loud and clear to the extent that we get bogged  
2 down. I actually think we should get to the  
3 content of guidance. And we are committed to  
4 working on the process issue and getting things  
5 done faster.

6 DR. SOX: I think we ought to focus on  
7 issues that seem really important to the panel  
8 chairs and co-chairs. So perhaps there won't be  
9 any comments on the preface since it's not  
10 procedural.

11 DR. BERGTHOLD: I would like to make a  
12 suggestion that we consider what we heard from  
13 the public today, which I thought was a very good  
14 point, and that we put explicitly up front in the  
15 preface, even though we all understand that, that  
16 this is for the Medicare beneficiaries to better  
17 serve them, so something like after the first  
18 sentence, provide advice regarding coverage so  
19 that Medicare beneficiaries can be better  
20 served. I can't make a vote, but if someone else  
21 would carry that vote.

22 DR. SOX: That's a tone thing.

23 DR. BERGTHOLD: I don't think it's a  
24 tone thing. I thought about that really hard. I

25 think it's a substantive thing that we missed.  
.00148

1 DR. SOX: Anybody have any problem with  
2 now saying observing Medicare beneficiaries?

3 DR. FRANCIS: I'd like to add an  
4 invitation to the panels -- this will be on the  
5 last paragraph in the preface -- to convey back  
6 to us concerns about the document as they work  
7 with it.

8 MS. LAPPALAINEN: Just a matter of  
9 helping our typist, when the committee makes a  
10 suggestion to modify the document, you can then  
11 ask yourself if it's all right. If then the  
12 committee agrees that that change is fine, if the  
13 person could then dictate slowly, and we can make  
14 that change. We don't have to necessarily do a  
15 vote for each individual change. We're hoping to  
16 have the document modified and that at the end of  
17 the day the entire document can be endorsed, if  
18 you will. Thank you.

19 DR. FRANCIS: My suggestion might be  
20 you just add the paragraph of the interim  
21 document a work in process. We invite panel  
22 comments about your impressions of the document  
23 and what changes they might recommend to the  
24 Executive Committee.

25 DR. SOX: Let's go down to the next to  
.00149

1 last paragraph. So you want some wording that  
2 might go on to have that paragraph, the last  
3 sentence, continue to say and in response to  
4 suggestions from the panel based on experience,  
5 something like that?

6 DR. FRANCIS: Sure. The Executive  
7 committee invites comments from the panels based  
8 on their experience with this interim document.

9 DR. BROOK: Why don't we just say we  
10 will modify these recommendations in response to  
11 panel feedback and as needed to respond to the  
12 HCFA final rule -- in response to feedback from  
13 panel members or something like that. We will  
14 modify these recommendations as reflected by  
15 input from the panelists and as needed in

16 response from the panel members.

17 DR. FRANCIS: Alan, are you clear that  
18 that's an open invitation to your panel to give  
19 us feedback on how it will work?

20 DR. GARBER: Yes.

21 DR. SOX: Okay. Any other changes to  
22 the preface? No objections? Okay.

23 Let's go on to Evaluation of Evidence.  
24 I'd like to suggest we basically go through it  
25 paragraph by paragraph so we're not jumping

.00150

1 around, and it will make it easier for the person  
2 who's trying to make the changes in the permanent  
3 record.

4 Any problems with the first paragraph?  
5 The second paragraph?

6 DR. DAVIS: We're talking about  
7 substantive process, right?

8 DR. SOX: We're talking about  
9 objectionable for the basis of panel action or  
10 unclear.

11 DR. DAVIS: Fine.

12 DR. SOX: So first paragraph? Second  
13 paragraph? What about the statement in boldface  
14 about the adequacy of the evidence, does that  
15 tell you what you need to know?

16 DR. MURRAY: This is one of the few  
17 places where the word must appears, and perhaps  
18 this is tone, but in the prior paragraph the word  
19 should is used.

20 Would this be inconsistent to change  
21 must to should or must to is expected to? I'm  
22 trying to address some of the concerns heard in  
23 the comments that this is overly prescriptive.

24 DR. SOX: Anybody have any problem with  
25 substituting should for must? Go ahead, Alan.

.00151

1 DR. GARBER: Well, I think this is the  
2 sine qua non of what panels do. Details are  
3 shoulds, but I can't see how a panel will  
4 discharge its duty if it does not determine  
5 whether the scientific evidence is adequate. So  
6 this is one place where I feel the word must is

7 used advisably.

8 DR. MURRAY: We must use must? I  
9 really don't have any objection to that.

10 DR. SOX: Any problem with using must  
11 here? Other comments on adequacy of the  
12 evidence? John?

13 DR. FERGUSON: Just a comment, and that  
14 is that it was my understanding that HCFA  
15 wouldn't send anything to the MCAC panels unless  
16 they had some pretty good indication that there  
17 was enough evidence. Now, that doesn't abrogate  
18 the panel's responsibility for judging it, but I  
19 think HCFA has said in their previous generation  
20 that they would not send things to the panel  
21 unless there was some clear evidence base.

22 DR. SOX: Do you have a wording change  
23 suggestion?

24 DR. FERGUSON: I would say probably in  
25 the paragraph before, the quality of the evidence

.00152

1 from these sources will vary, and the panels  
2 should weigh the evidence according to its  
3 quality, a portion of that weighing has been done  
4 by HCFA prior to sending the request to the  
5 panels or something like that.

6 DR. BROOK: Can we stay away from  
7 that? We don't know how HCFA will want to use  
8 this process in the future. Why don't we just  
9 write a document on what the panel should do, and  
10 HCFA can determine what it will do.

11 DR. KANG: I think that's correct. You  
12 can't presume what will happen here.

13 DR. SOX: That process isn't written  
14 down.

15 DR. KANG: Quite frankly, I think that  
16 the, quote, slam dunks, we'll just deal with  
17 administratively. And the reality is that on  
18 your broad shoulders we'll be getting the plain  
19 ones that are somewhat controversial, so I think  
20 that we have to be very careful there. I would  
21 just encourage you to just go ahead and do what  
22 you think is right.

23 DR. SOX: Anybody here who doesn't find

24 Alan and Jeff's point compelling?

25 Other comments on the boldfaced

.00153

1 adequacy of evidence? Any specific wording  
2 changes? I don't hear them.

3 So let's move on to the first paragraph  
4 under comment. I'm just going to expect you to  
5 holler.

6 Let's go on to the second paragraph,  
7 the one that says many forms of evidence.

8 Third paragraph, when several such  
9 well-designed trials, any changes to this?

10 How about the next one, the Executive  
11 Committee believes? Jeff?

12 DR. KANG: I hate to say that this is a  
13 tone also, but we say here in considering the  
14 evidence from any study, whether they're  
15 randomized clinical controlled trials or any  
16 other trials or whatever, you could say the MCAC  
17 now should try to answer these two main  
18 questions.

19 DR. DAVIS: Where are you?

20 DR. GARBER: It's the last paragraph  
21 before bias. You want to insert whether  
22 randomized controlled clinical trial or  
23 observational study?

24 DR. KANG: Or other controlled trials.

25 DR. GARBER: Or other controlled study?

.00154

1 DR. KANG: Yeah.

2 DR. SOX: So it's really any controlled  
3 study. It wouldn't apply to a noncontrolled  
4 study.

5 DR. KANG: Right. Any controlled study  
6 including randomized controlled trials because  
7 you do want to deal with bias, and even in an RTC  
8 it's possible.

9 DR. SOX: So the suggested wording is  
10 that after any, we would put any controlled  
11 study, including randomized controlled trials.

12 MS. RICHNER: What about the issue of  
13 registries again? I think that limits this.

14 DR. SOX: We speak later on to the

15 issue of registries without any form of control.

16 DR. GARBER: Well, there are some  
17 changes we might want to make later on, but I  
18 think we have to make it clear that registries  
19 can be controlled, and they can be uncontrolled,  
20 and I have some suggested wording later.

21 MS. RICHNER: But this wouldn't then  
22 negate evaluation of that type of evidence later  
23 on?

24 DR. GARBER: Right.

25 DR. SOX: If there was a control, then

.00155

1 it would fall into this.

2 MS. RICHNER: Okay. I see what you're  
3 saying.

4 DR. BROOK: Jeff, just to be clear,  
5 you've made this more limiting than it was  
6 before. The purpose by inserting all that  
7 nonsense, the purpose of this sentence, was  
8 basically to say this is not a rigid  
9 restriction. This is a general. And now by  
10 stating controlled trials in it, you've made it  
11 much more rigid. Study is very vague.

12 DR. KANG: I agreed with that point,  
13 but I was surprised by the comments that we were  
14 getting.

15 DR. SOX: Actually I think there's a  
16 logical reason for sticking it in there because  
17 the bias to controlled group and intervention  
18 group doesn't apply to a noncontrolled study. So  
19 in other words, the remark about bias isn't an  
20 issue unless you're comparing groups. So I think  
21 it makes much more sense.

22 DR. MAVES: Hal, that may be true, but  
23 I again like the way it was worded beforehand  
24 because it was more open, and it was broader and  
25 less sort of proscriptive. Unless Jeff has a

.00156

1 good reason for putting it in there.

2 DR. BROOK: What about this? In  
3 considering the evidence from any study, whether  
4 randomized or not, the MCAC should try to answer  
5 these two main questions. There can be bias in a

6 randomized trial study. So why don't we say  
7 considering the evidence from any study, whether  
8 randomized or not.

9 DR. KANG: That's fine.

10 MS. RICHNER: Thank you. That's  
11 better.

12 DR. SOX: Is that compromise agreeable  
13 with everybody? Okay. Any other comments on  
14 that paragraph?

15 How about the next paragraph, the one  
16 that defines effectively bias? Then we have a  
17 real long paragraph coming up, many opportunities  
18 for finding fault here. Anybody want to make  
19 suggestions about how to change this next  
20 paragraph on potential sources of bias?

21 DR. HOLOHAN: The investigators cannot  
22 be sure that they have measured all of the ways  
23 in which treated patients differ from untreated,  
24 do you really want to put in the word measure?

25 DR. SOX: Can you tell us where that

.00157

1 is, please.

2 DR. HOLOHAN: The fourth line down.  
3 It's talking about observational studies. The  
4 investigators can't be sure that they have  
5 measured all the ways --

6 DR. BROOK: Are you saying measure to  
7 assess?

8 DR. HOLOHAN: Measured implies a  
9 quantitative evaluation which may not be possible  
10 in many instances.

11 DR. MAVES: How about considered?

12 DR. SOX: Alan?

13 DR. GARBBER: The operational issue here  
14 is has it been recorded in some way that it can  
15 be incorporated into a study design? And to  
16 observe is not sufficient. To consider is not  
17 sufficient. It has to be recorded. Measure does  
18 not necessarily mean quantified in continuous  
19 terms. It can mean it's a binary variable.  
20 Doesn't necessarily mean quantitative. Measured  
21 means observed and recorded.

22 DR. HOLOHAN: Why don't we just say

23 observed and recorded.

24 DR. GARBER: Well, fine. I wouldn't  
25 have any objection to that.

.00158

1 DR. BROOK: That sounds fine. Observed  
2 and recorded.

3 DR. SOX: Great. Other comments on  
4 this paragraph?

5 Now we turn to the one paragraph that  
6 starts random allocation of patients. Any  
7 objections to this paragraph for lack of clarity?

8 Then let's go on to the next paragraph,  
9 in an observational, nonrandomized study.

10 Remember now we've got to focus on issues that  
11 are objectionable for the basis of panel action  
12 or unclear. Ron?

13 DR. DAVIS: I guess some of these  
14 comments could address interpretation by panels,  
15 so maybe I'll offer this comment which could be  
16 tone, could be interpretation.

17 At the very end where we say clinical  
18 trials of treatments for cancers that have an  
19 unpredictable natural history, for example, have  
20 repeatedly demonstrated that the results of  
21 observational studies are misleading, I wonder if  
22 we should say are often misleading.

23 DR. SOX: Yeah. They aren't always.  
24 Fair?

25 DR. BROOK: It's not that they're

.00159

1 misleading. They're overly optimistic of the  
2 value of the therapy.

3 DR. SOX: How about frequently  
4 overestimate the size of the treatment effect?

5 DR. BROOK: That would be better.

6 DR. SOX: The results of observational  
7 studies frequently overestimate the size of the  
8 treatment effect, and delete often misleading,  
9 and go back to the --

10 DR. BROOK: Remove repeatedly at the  
11 first part of that sentence.

12 DR. SOX: One more wordsmithing change  
13 in that sentence, repeatedly on the left hand



14 side, delete that. Okay. Good.

15 Next paragraph, to detect important  
16 bias. This one has a lot of operational  
17 implications. Does it really do it for you?  
18 Okay.

19 Next paragraph, although a body of  
20 evidence.

21 DR. HOLOHAN: Can I suggest that the  
22 phrase is never adequate be clarified a little  
23 bit? And I think what was meant by the  
24 subcommittee was that it would never reach to the  
25 reliability of a probably done randomized

.00160

1 controlled trial, but not that it is ipso facto  
2 inadequate.

3 DR. SOX: Alan, do you want to respond?

4 DR. GARBER: Well, I realize this is  
5 not a flash point, and I think we should be --  
6 the issue here that is I believe perhaps a  
7 semantic one -- I'm not certain -- and that is  
8 what do we mean by uncontrolled? And from  
9 hearing the comments today, I think that some of  
10 the people may have been under the impression  
11 that what was meant by uncontrolled is not  
12 randomized controlled, and that's not the case.

13 And I actually got some suggested  
14 rewording, and I don't know if this will do it.  
15 And Tom, I particularly appreciate your opinion  
16 about this. That is the first sentence of the  
17 paragraph would begin although they do not have  
18 randomized controls, all well-designed  
19 observational studies include some form of  
20 control. They may consist of an implicit or  
21 explicit controlled group or statistical  
22 controls, that body of evidence consisting only  
23 of uncontrolled studies. And I think that's  
24 intended to make it clear that registries are  
25 probably assigned observational analyses,

.00161

1 probably assigned controls, and the issue truly  
2 uncontrolled study, I think it's strictly true.  
3 If it is uncontrolled, it is not valid evidence  
4 by itself, yet there are plenty of studies that

5 could have valid controls that are not  
6 randomized, and I would hate for the readers of  
7 this document to think that this paragraphs means  
8 you have to have randomized controlled trials.

9           In fact, I was struck that some of the  
10 public comments seem to suggest that this  
11 document meant only randomized controls would be  
12 suitable. We put a great deal of effort on the  
13 part of the subcommittee to try to make it clear  
14 that observational data would often be -- well,  
15 at least would sometimes be adequate, and it  
16 really depends on the characteristics of the  
17 studies that were being done.

18           MS. RICHNER: I still think that's  
19 missing the mark in a sense because I think why  
20 this is so controversial in a sense is that once  
21 again when you're looking at the technology curve  
22 when you have very little evidence in the very  
23 beginning of adoption, it's rare that you're  
24 going to have the kind of rigorous studies that  
25 you're interested in. So I think what this does

.00162

1 is we want to make sure that you're looking at  
2 the composite of all possible data that's  
3 available. And this doesn't allow that.  
4 Essentially looking at perhaps unpublished data  
5 that might be available that would be  
6 interesting, case studies, et cetera, et cetera,  
7 and somehow this tone of this paragraph limits  
8 all of that.

9           DR. SOX: We've got to have something  
10 to vote on and some wording to vote on.

11           MS. RICHNER: And unfortunately I had  
12 wording that I sent to you that I thought was  
13 appropriate on e-mail that would have addressed  
14 that as well. Unfortunately my computer has now  
15 just died.

16           DR. BROOK: Can I suggest some  
17 wording? I want to suggest an alternative  
18 wording before we vote.

19           DR. SOX: I'm thinking that maybe what  
20 we need to do is to get -- this is a really an  
21 important issue, and that perhaps an approach

22 would be that we delay the vote on this. We can  
23 move on without this. Each of you submit your  
24 wording that we get it up there and we actually  
25 wordsmith out.

.00163

1 DR. BROOK: Can I suggest an approach  
2 to this background before we do that? I would  
3 like to suggest that we're limiting everything up  
4 to in some cases, and we start by saying in most  
5 cases given the current state of scientific  
6 evidence, panels will determine that well-  
7 collected observational evidence -- and then I  
8 think we ought to list in there what we mean by  
9 that -- will be sufficient to draw conclusions  
10 about effectiveness, and I think that that's the  
11 tone you want in this paragraph.

12 MS. RICHNER: Yes, that's much better.

13 DR. BROOK: Because with a large part  
14 of the technologies, that's what's going to  
15 happen. So that's how I would alter that  
16 paragraph. And I would then spell out in detail  
17 what we think are well-controlled observational  
18 kinds of studies, registries with historical  
19 controls, quasi experimental designs, et cetera,  
20 et cetera. And I think I'd even add the point  
21 that Jeff came up with. This would be especially  
22 true when we have breakthrough technologies and  
23 technologies dealing with people with severe  
24 diseases with no other recourse.

25 DR. KANG: That's good.

.00164

1 DR. BROOK: I think that's what the  
2 panels are going to do, and I think we might want  
3 to say it.

4 DR. KANG: May I make a suggestion  
5 since we're almost at lunch? I don't think we're  
6 that far apart. It actually strikes me that  
7 maybe Bob, Alan and Randel sit down at lunch and  
8 hack it out. I hate to infringe on your lunch  
9 period.

10 DR. SOX: I think that's actually a  
11 very good suggestion. We'll appoint a committee  
12 of three, and if any member of that committee is

13 not satisfied with what you come up with, then  
14 that person will submit an alternative, and we  
15 can vote on it. Does that sound reasonable?  
16 We've got about five minutes to 12:00. Should we  
17 give ourselves a break at this point? And we'll  
18 come back at 1:00 and continue the process.

19 (Whereupon, recess taken -- 11:55 a.m.)

20 (Whereupon, after recess -- 1:10 p.m.)

21 DR. SOX: Alan, do you have a report  
22 of the work group of the subcommittee?

23 DR. GARBER: We weren't able to locate  
24 one of the members of our subcommittee. Randel  
25 and I went over some language that I think we

.00165

1 agree on. So if I could read that to the  
2 committee and the audience.

3 DR. SOX: Should we perhaps have it --

4 DR. GARBER: Let me read it once first  
5 because there's a lot of changes. Okay. This  
6 refers to the bottom of that page. It's right  
7 above the subheading external validity, the last  
8 paragraph, and it currently starts although a  
9 body of evidence.

10 The new language is as follows.  
11 Although if they do not have randomized controls,  
12 all well-designed observational studies include  
13 some form of control. Controls may consist of an  
14 implicit or explicit controlled group or  
15 statistical controls. A body of evidence  
16 consisting solely of studies with no controls  
17 whatsoever, whether based on anecdotal evidence,  
18 testimonies or case series, is never adequate.  
19 And then the last sentence reads, now that  
20 there's a change in the last part, when these  
21 circumstances apply, the panel must describe  
22 possible sources of bias and explain the basis  
23 for its decision that bias does not account for  
24 the results.

25 Randel, does that reflect what we

.00166

1 said?

2 MS. RICHNER: Yeah. The key issue here  
3 is that any of the case series studies or

4 composite of any of those sort of testimonials,  
5 anecdotal studies combined, can never constitute  
6 the proper evidence if it's only those types of  
7 studies.

8 DR. GARBER: Only studies without  
9 controls.

10 MS. RICHNER: Right. Without some type  
11 of control. So even in an observational study,  
12 you can use a statistical methodology in which to  
13 observe or have a control as part of that. And  
14 that works. What do you think, Bob?

15 DR. BROOK: My fault. I didn't go to  
16 lunch, so I couldn't find you guys. So my  
17 fault.

18 DR. FERGUSON: Can that be written down  
19 and circulated?

20 DR. GARBER: I just wanted to get it  
21 done in general first.

22 DR. BROOK: In general terms I don't  
23 believe a document ought to ever use the word  
24 never.

25 MS. RICHNER: Then never is a problem.

.00167

1 I still don't like the never.

2 DR. BROOK: There is not a single  
3 testimonial that couldn't be put into historical  
4 context by some historian. Whether you choose to  
5 do it or not makes it adequate or inadequate, but  
6 there is no case series that could not be put in  
7 some historical context no matter how bad. And  
8 the panels are going to be left to judge how much  
9 effort and how good these controlled efforts have  
10 been. That's why I would have simplified this  
11 just to say -- I mean that's their job in terms  
12 of what's going on. That's okay. It's my fault,  
13 as I said, for not being there.

14 DR. SOX: Okay. Alan, do you want to  
15 read that one more time? Then we can have  
16 discussion of it and maybe start to get it on the  
17 document as well.

18 DR. GARBER: Should I read this line up  
19 to it? Insert at the beginning of the paragraph  
20 the following.

21 DR. BERGTHOLD: No. She's just going  
22 to type it separately for now.

23 DR. GARBER: Oh, okay. Fine. Although  
24 they do not have randomized controls, all well-  
25 designed observational studies include some form

.00168

1 of control. Controls may consist of an implicit  
2 or explicit controlled group or statistical  
3 controls. And then the next up is -- do you want  
4 to just retype the remainder of the paragraph?

5 THE TYPIST: Would that be here at the  
6 end?

7 DR. GARBER: It goes to the although.  
8 It's now the next sentence. The word although is  
9 struck and then a body of evidence. So you  
10 struck that. The body of evidence consisting  
11 solely, and then strike only, and then strike  
12 uncontrolled. And then after studies insert with  
13 no controls whatsoever. And then after case  
14 series strike and disease registries without  
15 adequate historical controls. Then it stays the  
16 same is never adequate. And then insert however  
17 before in. This is something I didn't mention  
18 that we changed also. Strike some and replace it  
19 with many. In many cases. Then it goes to the  
20 last part of the paragraph. Strike why it  
21 decided and insert the basis for its decision.

22 MS. RICHNER: Bob, you certainly still  
23 have a chance to comment.

24 DR. SOX: Well, it's time for comments  
25 or questions. Actually I have a question.

.00169

1 Statistical controls, could you explain what that  
2 means?

3 DR. GARBER: In other words, it's an  
4 observational study where they can collect data  
5 on a number of variables and basically look at  
6 patterns of outcomes, how they're explained by  
7 things like say age et cetera. That can be a  
8 form of statistical control.

9 DR. SOX: Is that multivariant analysis  
10 essentially?

11 DR. GARBER: Yes.

12 DR. KANG: This is different or the  
13 same? You do multivariant plus sensitivity  
14 analysis?

15 MS. RICHNER: I actually have some  
16 literature that is very recent from the  
17 pharmaceutical industry of which they do this  
18 type of methodology. And once again, I can't  
19 articulate it well, but there are methods to do  
20 this in using observational data that is well-  
21 grounded. I mean McMasters has done a lot of  
22 work at that.

23 DR. KANG: Could you take another  
24 attempt at trying to explain to me?

25 DR. GARBER: Let me tell you about some

.00170

1 of the work we've done using Medicare claims  
2 files. Let's say that you want to have an idea  
3 of whether revascularization in post MI improves  
4 outcomes. You can take Medicare claims files  
5 which have extensive information about discharged  
6 diagnoses, age, location and a number of other  
7 individual characteristics, and there are various  
8 statistical methods you can use to determine  
9 whether the people who have treated with  
10 revascularization did better. So you'll have Bob  
11 Brook saying that's all very hokey, but that's  
12 what statistical controls are, and the panels  
13 have to decide whether this type of evidence is  
14 adequate or not.

15 DR. HOLOHAN: It's retrospective.

16 DR. GARBER: Well, it's actually  
17 historical prospective. The point is we're not  
18 going to determine right now whether any  
19 particular study in science is adequate. The  
20 point is that there are methods, and there are  
21 cases where you can use that kind of a controlled  
22 group -- that is implicit statistical control --  
23 to draw conclusions. The panels may decide yes,  
24 this is convincing or they may decide it's not on  
25 a case-by-case basis.

.00171

1 DR. SOX: Any other questions or  
2 comments about this? Ron?

3 DR. DAVIS: Well, I like it. I just  
4 wanted to suggest one other small change at the  
5 end. Instead of saying that bias does not  
6 account for the results, to say that bias is  
7 unlikely to account for the results. I think the  
8 panel would more likely say we don't think bias  
9 accounts for the results. I don't think they'd  
10 say bias does not account for the results.

11 DR. SOX: Does that sound reasonable to  
12 you guys?

13 MS. RICHNER: We had that discussion as  
14 well. Are you comfortable with that?

15 DR. GARBER: Yeah, I think that's  
16 fine.

17 DR. SOX: Any other comments? So it  
18 goes. We now go on to external validity, first  
19 paragraph.

20 DR. FRANCIS: There's a replacement  
21 effort.

22 DR. KANG: If you don't mind, Dr.  
23 Francis and I, in going through it ourselves as a  
24 group of two, took another crack at this. So  
25 this is under external validity. And maybe we'll

.00172

1 read it.

2 DR. SOX: Is this suggested as a  
3 substitute for the paragraph?

4 DR. FRANCIS: Yeah. For the first  
5 paragraph.

6 MS. LAPPALAINEN: I'll read it out  
7 loud. Issues of external validity related to the  
8 study of population. Medicare beneficiaries  
9 include elderly, nonelderly, and disabled  
10 people. The Medicare population also may or may  
11 not include patients with comorbid disease. That  
12 said, historically many controlled trials  
13 unfortunately excluded older men and women,  
14 people with disabilities and people with comorbid  
15 disease. This means that even when a trial has  
16 adequate statistical power for the study  
17 population, that its results may or may not be  
18 generalizable to some portions or all of the  
19 Medicare population. If the requester is asking



20 for, or the panel is advising, coverage beyond  
21 the clinical and demographic characteristics of  
22 the study population, the panel should state that  
23 they believe the results of the trials are  
24 applicable to a broader population, define what  
25 that population is and explain its reasoning

.00173

1 why.

2 DR. SOX: So Leslie and Jeff, perhaps  
3 you could explain what lead you to make this  
4 change so we all understand what's behind it.

5 DR. FRANCIS: One thing that was behind  
6 it was the recognition that Medicare population  
7 is not just the elderly. And at least the way  
8 the myeloma panel was set up, the question that  
9 was posed to the panel was we've got a lot of  
10 data in there under 65s. Can we extrapolate from  
11 65s and over? And we wanted to take away any  
12 implication that that's the way stuff should be  
13 set up rather than focus on the question of what  
14 were the inclusion and exclusion criteria in  
15 studies and what that says about what are all  
16 portions of the management population coverage  
17 recommendations we are aiming for. So that's  
18 what we're trying, however inartfully, to  
19 capture.

20 DR. KANG: Part of the problem with the  
21 tone of this paragraph is it assumes that all  
22 Medicare coverage decisions are for the general  
23 population. We are now -- practically all of our  
24 coverage decisions are limited in some way, have  
25 exclusion criteria or inclusion criteria, and a

.00174

1 lot of times we do it for the study population.  
2 That is something, quite frankly, that's been  
3 new.

4 So I really think the issue here is is  
5 it a statistically valid study population -- then  
6 a request is for that study population. And we  
7 should cover for that study population. And if  
8 it so happens we only have three beneficiaries,  
9 that's okay. It's still covered for those three  
10 beneficiaries. That's more or less what we were

11 trying to get to.

12 DR. GARBER: Well, Jeff, I guess you  
13 correctly guess that my concern is the last part  
14 of this.

15 DR. KANG: That's correct.

16 DR. GARBER: And the problem is  
17 probably semantic, but as I read this revision,  
18 it could be applicable to a broader population,  
19 but it doesn't necessarily mean it could pass  
20 that criterion and still not necessarily be  
21 applicable to any defined population of Medicare  
22 beneficiaries. So the original language -- I  
23 mean I completely agree with the intent of this  
24 and with the rest of it, but the original  
25 language, just to remind people, is if the study

.00175

1 population in the available trials is not the  
2 same as the general population of Medicare  
3 beneficiaries who would be candidates to receive  
4 the intervention, the panel must state whether  
5 the results of the trials apply to typical  
6 Medicare patients and explain its reasoning.

7 And that language was really saying  
8 does this generalize to the relevant population  
9 of beneficiaries? And I'm not sure the language  
10 that you proposed at the end actually gets at  
11 that. So I would propose something like an  
12 amendment to the original language for the last  
13 part, and instead of saying typical Medicare  
14 patients, maybe two defined populations of  
15 Medicare beneficiaries so you cover ESRD,  
16 disabled et cetera.

17 DR. BROOK: Can I suggest changing  
18 broader population to the results of the trial  
19 applicable to any group of patients covered by  
20 Medicare? So that would then allow you total  
21 flexibility since we're writing this for  
22 Medicare.

23 MS. RICHNER: Results in the study too  
24 rather than trials.

25 DR. BROOK: The results of the trials

.00176

1 are applicable to any population covered by

2 Medicare or can be applied to any population  
3 covered by Medicare. Define what the Medicare  
4 population is and explain its reasonings why or  
5 what part of the Medicare population it applies  
6 to and explain its reasonings why.

7 DR. KANG: I'm not sure that gets it.  
8 I'm okay with it.

9 DR. GARBER: I like my wording better,  
10 which is defined populations of Medicare  
11 beneficiaries so you can say this is effective  
12 for ESRD beneficiaries, and this is effective for  
13 elderly Medicare beneficiaries, and this is for  
14 the disabled. But the point is that the panel  
15 should explicitly say which population of  
16 beneficiaries if any they believe the results of  
17 these trials apply to.

18 DR. SOX: Alan, are you proposing we go  
19 back to the wording of that last sentence?

20 DR. KANG: Alan, I'm not sure I  
21 understand that because we actually -- our  
22 coverage decisions are now running like this is  
23 effective for ESRD patients who don't have heart  
24 failure or whatever it is.

25 DR. GARBER: That's what we're saying,  
.00177

1 that the panel should say what the trials apply  
2 to, some population like that. Now, you could  
3 tell us look, we'll decide. We don't want the  
4 panels to get in the business of determining  
5 whether the trials apply to populations of  
6 beneficiaries. I think you'd be better off using  
7 panels to try and evaluate the evidence and see  
8 whether they think they can extrapolate from the  
9 trials to some population of interest to  
10 Medicare.

11 DR. FRANCIS: Why don't we just change  
12 the last sentence to say to populations or to  
13 groups covered by Medicare, define what those  
14 groups are, and explain the reason why.

15 DR. GARBER: Could you say the exact  
16 words?

17 DR. FRANCIS: Believe the results of  
18 the trials are applicable to some groups covered

19 by Medicare, define what those groups are and  
20 explain its reasons why.

21 DR. BROOK: Define it in clinical terms  
22 if you want to.

23 DR. GARBER: No. I think that's fine.

24 DR. BERGTHOLD: Does that allow  
25 Medicare to make, sort of, fine, sort of,

.00178

1 distinctions within those populations though?  
2 Because that almost sounds like if you're an ESRD  
3 person, you get this treatment even if you do  
4 have heart failure or whatever. No? That  
5 doesn't mean that?

6 DR. BROOK: No.

7 DR. KANG: No.

8 MS. RICHNER: The other question I  
9 would have here about define it in terms of just  
10 trials, wouldn't you want to make it a little  
11 broader in terms of studies? Because the whole  
12 part before was describing we're going to be  
13 looking at lots of different kinds of evidence,  
14 so therefore we don't want to limit ourselves to  
15 trials here.

16 DR. KANG: I was concerned this study  
17 has to be statistically -- so you could say --

18 MS. RICHNER: Well, yes, but that's  
19 covered in the part before.

20 DR. KANG: Okay.

21 DR. HILL: I don't think you meant,  
22 Leslie, to say that if the requester is asking,  
23 the panel should state. That first phrase is in  
24 the alternative. You only mean if they agree.

25 DR. FRANCIS: Right.

.00179

1 DR. HILL: So you state whether or not  
2 they believe.

3 DR. FRANCIS: Whether they believe.

4 DR. HILL: This way it's grammatically,  
5 if the requester asks, that they are being  
6 requested by you to state that they believe.

7 DR. FRANCIS: No. They should state  
8 whether they believe.

9 DR. FERGUSON: I have a question. Is

10 it true that the sentence that says the study  
11 population results may or may not be  
12 generalized -- wait a minute. If the requester  
13 is asking for, or the panel is advising,  
14 coverage, is HCFA comfortable with our panel's  
15 advising coverage? Are coverage questions going  
16 to be asked specifically?

17 DR. HILL: We've answered that as we've  
18 gone along and repeatedly said that we understand  
19 we have the responsibility for deciding coverage.  
20 So I take that to mean if you want to clean that  
21 language up, I'd be grateful, but I don't want to  
22 slow you down.

23 DR. FERGUSON: Safe and effective or  
24 some other words.

25 DR. KANG: See, this is tough because

.00180

1 by our federal register notice we are asking the  
2 requester to specify the population that they're  
3 seeking coverage for. We get that with varying  
4 degrees of success.

5 Maybe one of the ways we do that is to  
6 clean that up and really demand, before it gets  
7 to the panel, that they are very clear about what  
8 population they're looking for. Then the panel's  
9 decision is whether or not the evidence supports  
10 that.

11 The only thing that we get into  
12 somewhat of a problem is if it doesn't support  
13 it, then there's the question of well, what would  
14 it support?

15 DR. FERGUSON: But advising coverage  
16 and advising that the evidence supports coverage  
17 might be --

18 DR. HILL: May I suggest if the  
19 requester is asking for coverage or the panel  
20 concludes that medical benefit can be --

21 DR. SOX: I'd like to suggest -- I  
22 think we know what we're going to say here.  
23 Rather than try to wordsmith this thing in  
24 detail, I'd like to suggest that we take it down  
25 and somebody work on some language that doesn't

.00181

1 have us recommending coverage, but still allows  
2 the requester to request coverage. I think we  
3 know what we want to say.

4 DR. KANG: I think, John, advising  
5 support for will be okay. Let's just get it over  
6 with.

7 MS. RICHNER: And the other part about  
8 trials versus studies.

9 DR. KANG: We took care of that.

10 DR. BERGTHOLD: It doesn't apply  
11 above.

12 MS. RICHNER: That sentence,  
13 historically many controlled trials  
14 unfortunately --

15 DR. GARBER: Yeah. But that's true.  
16 It's much more common trials and observational  
17 studies to --

18 MS. RICHNER: Okay. I see what you're  
19 saying.

20 DR. KANG: That's correct. That's the  
21 ages within our society.

22 DR. SOX: I'd like to turn it over to a  
23 wordsmith to clean it up a little bit and make  
24 sure we're happy with the wording. Who would  
25 like to volunteer to be the wordsmith? Ron?

.00182

1 DR. KANG: I want to make sure you're  
2 okay with it. I don't think this violates your  
3 original intent.

4 DR. GARBER: I think it's probably  
5 fine. It's certainly not worth struggling over.

6 DR. SOX: Okay. Let's move on. We'll  
7 give this to Ron, he'll work on it, and we'll  
8 move on to issues of external validity also apply  
9 to the intervention. Any objections or  
10 clarifications required here?

11 MS. RICHNER: This paragraph we also  
12 discussed at lunch briefly. One of the issues  
13 here -- and I don't know if this example is the  
14 appropriate example in here. I mean I guess we  
15 can go ahead and use it, but I'm concerned about  
16 the interpretation of this. Certainly, once  
17 again, the technology, this skill of the surgeon

18 over time improves, and the outcomes associated  
19 with time improve as well. But once again, this  
20 is an example of external validity.

21 DR. SOX: Gets over the concept I think.

22 MS. RICHNER: Yeah, I think we're  
23 okay.

24 DR. SOX: Any other questions about  
25 this one?

.00183

1 DR. SMITH: I guess now that we have  
2 somewhat talked about the elderly and nonelderly  
3 and disabled, I guess my concern is I read where  
4 you have like demographics. Have we lost or does  
5 that encompass let's say racial and ethnic  
6 inclusions or should there be, can there be, some  
7 consideration given to that particular area?

8 DR. SOX: Are you talking about --

9 DR. KANG: She's talking about the  
10 previous.

11 DR. SOX: -- the previous paragraph?

12 DR. SMITH: The previous one. I mean  
13 it seems as if it's getting lost.

14 DR. KANG: Yeah. I think the reason  
15 why -- and I'm not sure I'm aware of a trial with  
16 racial exclusion, but I could be completely wrong  
17 on this. But I would not have any problems, I  
18 don't think, adding racial inclusion to the  
19 extent that it occurs.

20 DR. SMITH: I thought about it. It may  
21 even be something that could be stated in the  
22 preface rather than just in one specific area,  
23 and then that automatically would speak to it  
24 with some consistency throughout the document.

25 DR. KANG: Actually this would be the

.00184

1 place to deal with it I think.

2 DR. SOX: We need specific wording  
3 suggestions. Daisy, do you want to take a look  
4 at this paragraph after Ron gets done with it and  
5 suggest some language? Not all of us completely  
6 understand.

7 DR. SMITH: So when you have concerns,  
8 you just keep quiet, right?

9 DR. SOX: No. We need something to  
10 look at so we know whether we like it or not.

11 DR. HOLOHAN: Just as an editorial  
12 comment, the best example I can think of recently  
13 of a trial that was dramatically racially  
14 imbalanced are the studies of -- and hepatitis C  
15 patients. The patients tested do not represent  
16 the population of patients with hepatitic C in  
17 the United States today.

18 DR. KANG: So then probably we should  
19 add it along, and that would be the easiest way  
20 to deal with it.

21 DR. GARBER: Just to make maybe a  
22 substantive point because there will be a lot of  
23 interested parties here, we don't intend to imply  
24 that every study has to have adequate sample  
25 sizes of various ethnic groups and so on to draw

.00185

1 conclusions. Just the panel needs to decide  
2 whether they think the results of the studies  
3 apply to those populations. We don't want to  
4 send a message gee, you're going to have to have  
5 an adequate number of Hispanics, adequate number  
6 of Asian Americans and so on. That would be  
7 impossible.

8 MS. RICHNER: As a matter of fact,  
9 there's one more point I wanted to make about  
10 this, and that's foreign data. I don't know how  
11 you're going to address that, but certainly there  
12 are many studies that are done outside the U.S.  
13 And how does that apply to Medicare populations?  
14 And in turn, we run across this all the time.  
15 The FDA now accepts foreign data. So that is  
16 going to be an issue associated with this as  
17 well.

18 DR. KANG: By this language we're not  
19 excluding foreign. This language says if it's  
20 foreign, then say that I believe this is  
21 generalizable to the American population for  
22 these reasons.

23 MS. RICHNER: As long as we're talking  
24 about methodology and study design, et cetera,  
25 and evidence.



.00186

1 DR. HOLOHAN: The issue is can the  
2 panels extrapolate?

3 DR. FRANCIS: One of the things that  
4 was very striking about the myeloma discussions  
5 was that although the incidence of the disease is  
6 much higher in African-Americans, the actual  
7 apparent access to the therapy in the testimony  
8 of the patients, who were all white, there were  
9 obvious issues of access that underlay the whole  
10 discussion, and I wonder whether there's a way to  
11 go back to the preface and put in something about  
12 equity and the importance of equity in the  
13 coverage process.

14 DR. SOX: Is that something that we  
15 could deal with after today and still operate  
16 as --

17 DR. KANG: We can.

18 DR. SOX: I want to move on now to Size  
19 of Health Effect. Any problems with the way that  
20 is stated?

21 DR. FRANCIS: I have a clarification  
22 and a question. The clarification is I want to  
23 be sure that category 2, more effective --

24 DR. SOX: You're getting ahead of us.  
25 We're going paragraph by paragraph. First, just

.00187

1 the stuff that's in boldface. Any problems with  
2 that? John?

3 DR. FERGUSON: Must we have must  
4 instead of should?

5 DR. GARBER: Yeah. Because I think  
6 we're saying there's going to be a standardized  
7 way of reporting. Each panel reports the  
8 evidence into these same set of seven categories.  
9 And if there's any reason these seven categories  
10 aren't right, we should probably change the  
11 categories now rather than saying should.

12 MS. RICHNER: Well, there was a  
13 suggestion by the audience for an additional  
14 category that was from one of the letters. Not  
15 only that, I remember in our conference call that  
16 we had David Eddy suggested that there were

17 perhaps 15 different categories. So I think we  
18 do have to think carefully.

19 DR. FERGUSON: I withdraw my comment  
20 because I think what you're saying is the  
21 comparison is the must, and that's clear.

22 DR. SOX: Okay. So we've dealt with  
23 the stuff in boldface. Now let's go on to the  
24 first part of the comment, just that first couple  
25 of sentences. Then we'll go through the seven

.00188

1 categories. No problems? Then let's go to the  
2 seven categories.

3 I'd like to suggest that modifying  
4 these may be the sort of thing that we do after  
5 we have a chance to use them a little bit, and we  
6 may find that these categories need to be  
7 expanded in order to deal with circumstances that  
8 will come up only when we actually do a study and  
9 try to classify its effect size and find we  
10 really can't do it properly. It may work better  
11 than trying to wordsmith these categories or at  
12 least change significantly the categories right  
13 now. John?

14 DR. FERGUSON: Just a comment. And I'm  
15 sort of asking this. One of the advantages might  
16 be cost, something would cost less. And maybe we  
17 shouldn't put that in there, but it's certainly  
18 something that I would hope we sometimes are  
19 presented with as an advantage. Is that a no  
20 no? Can we list that as an example?

21 DR. SOX: Basically it's a no no.

22 DR. KANG: For the time being.

23 DR. FRANCIS: Can I just ask you about  
24 category 2? Does that include small benefits for  
25 lots of people as well as relatively significant

.00189

1 benefits for small numbers, but we don't know how  
2 to sort those out into identifiable subsets?

3 DR. SOX: Alan, do you want to respond?

4 DR. GARBER: The question comes down to  
5 whether they are prospectively identifiable  
6 categories of people who get substantial benefit.  
7 If they are identifiable, I would have

8 interpreted this to mean they go in category 1  
9 and category 2 for the other groups. And if they  
10 aren't identifiable, it's irrelevant. There's  
11 always some people who will benefit, but you  
12 don't have any way to sort them. You just have  
13 to go with the average benefit.

14 So the question is can you identify a  
15 category with greater benefit? Obviously if you  
16 give an intervention that's slightly better, what  
17 that usually means is that there's some people  
18 like you're measuring mortality, more people  
19 live, but you don't know for sure who's who.  
20 That's what subgroup analysis --

21 So the other just quick comment, the  
22 ACP-ASIM talked about more objective, but some  
23 disadvantages. I think that we discussed that in  
24 the conference call, and that would have gone  
25 into category 2. So what they're talking about

.00190

1 is subdividing category 2. And the subcommittee  
2 was trying to get the smallest number of  
3 categories that we thought would do a good job of  
4 classifying people. So it's up to the Executive  
5 Committee whether you think that should be  
6 expanded or not.

7 DR. SOX: I think we also want to get a  
8 sense from HCFA about whether those categories  
9 are likely to be beneficial to them in trying to  
10 make coverage decisions. That's certainly the  
11 principle purpose of this system of categories.

12 DR. KANG: I actually think it would  
13 be helpful, yeah. I mean obviously this is the  
14 place, quite frankly, where our final coverage  
15 criteria will interact, but at this point I think  
16 the better strategy is to go for more categories,  
17 whatever we can think of, and then to the extent  
18 that we're collapsing categories in the future --

19 DR. SOX: Debbie Zarin made the  
20 suggestion we've really got a three by three  
21 matrix for everything except for the breakthrough  
22 technologies, which would basically include every  
23 possible combination of effective on the three-  
24 point scale and advantages, no advantages or

25 disadvantages. So maybe we should simply use  
.00191

1 that and then collapse those categories if you  
2 find they're not useful. Alan?

3 DR. GARBER: I guess my experience  
4 regarding the technologies per Blue Cross Blue  
5 Shield is that the vast majority of technologies  
6 have some advantages and some disadvantages, and  
7 I think that we would be telling the angels how  
8 to repent if we tried to decide whether or not  
9 they were more or less advantageous. I mean some  
10 of these technologies have fewer side effects for  
11 the initial treatment, shorter duration of  
12 benefit. Some have greater convenience, but less  
13 effectiveness. And sometimes they trade off one  
14 side effect for another. So I like our original  
15 classification because I thought this  
16 classification keeps us from spending too much  
17 time pondering the imponderable.

18 DR. KANG: I'm going to withdraw. I've  
19 run into the same problems and gotten paralyzed  
20 from inaction. So I like this just fine.

21 DR. SOX: We could in our explanation  
22 say why we put it in a particular category and  
23 actually list any factors that led us to do that,  
24 and that might be more valuable to you than the  
25 category itself for making a judgment.

.00192

1 DR. KANG: I think that's correct.

2 DR. SOX: Randel?

3 MS. RICHNER: I wanted to ask the  
4 overall panel if anyone has any concerns about  
5 how to identify what the established service and  
6 medical item is that you're going to be comparing  
7 the technology to or the item to. Is that going  
8 to be an issue? That's a question I have for  
9 everyone. We've talked about that at length in  
10 the subcommittee about what an established  
11 medical service or item is and how do you  
12 determine what that is. Is that going to be an  
13 issue?

14 DR. HOLOHAN: Can you be more explicit  
15 in what you mean by how do you determine --

16 MS. RICHNER: What's usual care, what's  
17 usual practice. How are you going to decide that  
18 this technology -- what are you comparing it to  
19 for benchmarking this?

20 DR. HOLOHAN: You mean the term  
21 established services?

22 MS. RICHNER: Right.

23 DR. SOX: Originally we had it already  
24 covered, and we thought that would be too  
25 limiting.

.00193

1 MS. RICHNER: It is.

2 DR. KANG: Having thought about this  
3 problem a lot, I would actually suggest we're not  
4 going to be able to resolve this one today. I  
5 think that we ought to wrestle with this as we go  
6 on and refine this one. This really is a tough  
7 question.

8 MS. RICHNER: It's a tough question,  
9 but I think that the tumor assay issue sort of  
10 stems from all of that in terms of what is the  
11 comparison and what is the benchmark?

12 DR. SOX: I wonder whether it will vary  
13 from instance to instance. And part of this  
14 series of things that you do during that first  
15 month when you're trying to get the chart set up  
16 is to decide what the comparison technology is  
17 going to be.

18 DR. KANG: This is actually why Dr.  
19 Hill referred to sector-specific guidance  
20 documents. The reality is this is best addressed  
21 by the panels almost because this is going to  
22 vary from the sector that your talking about.  
23 Maybe we could indicate that the panel can at  
24 least in their context think about what the  
25 comparisons ought to be. But this at this level

.00194

1 is not a solvable problem.

2 DR. SOX: Or the panel chair in  
3 collaboration with HCFA staff is setting up the  
4 charts. So I think Jeff has withdrawn his  
5 proposal that we expand the number of categories,  
6 and we can probably take that matrix down for

7 now. So we're still at 7, and we're going to  
8 stay with established.

9 Are there any other comments about the  
10 categories before we move on?

11 Hearing none, we'll move on to  
12 Suggestions for Panel Operations. The first one  
13 is Explanation, A panel must explain its  
14 conclusions in writing. I think the basic reason  
15 for this, the rationale is pretty clear, probably  
16 not likely to cause much push back, but maybe the  
17 implementation is an issue.

18 DR. FERGUSON: A comment, and I'm not  
19 sure how the wording needs to be changed, but the  
20 panel's conclusions will still be established by  
21 voting; is that correct?

22 DR. SOX: That's correct.

23 DR. FERGUSON: Those who oppose a  
24 motion are supposed to say why. Those who vote  
25 yes, they presumably don't have to do that; is  
.00195

1 that correct, don't have to say why they're  
2 voting yes?

3 MS. LAPPALAINEN: Right. The  
4 individual panel chair has the discretion at each  
5 panel meeting to go round robin after the vote is  
6 taken. Generally a no will invoke a question of  
7 why you said no in order to make sure that any  
8 minority response gets to the record. And the  
9 other is, of course, the majority of the vote.  
10 But this does not preclude the members from  
11 expressing their opinion or even a dissension in  
12 writing.

13 DR. FERGUSON: Okay. So then the panel  
14 chair is responsible for summarizing the thought  
15 that went into the yes or no votes I guess.

16 And again, it's a common question, how  
17 to handle it. Maybe in case the panel chair, who  
18 does not vote unless there's a tie, would be  
19 responsible for writing this conclusion, and I  
20 might disagree with the conclusion, which has  
21 already happened once --

22 DR. SOX: It's the panel chair's  
23 responsibility to write the conclusion that

24 reflects the majority regardless of his or her  
25 own preference.

.00196

1 DR. KANG: Or, John, you could delegate  
2 to a majority. I mean it's really at your  
3 discretion.

4 DR. SOX: I would hope that panel chair  
5 is capable of writing a strong piece on something  
6 they disagree with. That's part of the job.

7 DR. DAVIS: I wanted to propose a  
8 change on this last sentence which picks up on  
9 this issue we're discussing. I wanted to suggest  
10 that we change it as follows. The panel chair is  
11 responsible for drafting the explanation of the  
12 panel's conclusions, which should be circulated  
13 to panel members for their comments and/or  
14 approval. I just don't think it should be solely  
15 in the hands of the chair without the opportunity  
16 of the panel members to see it.

17 DR. SOX: Sharon, did you want to say  
18 something?

19 MS. LAPPALAINEN: I just wanted to  
20 clarify something. A summary of what happened at  
21 the panel meeting is required by the Federal  
22 Advisory Committee Act. That summary is  
23 certified to by the executive secretary and the  
24 panel chair. That is a legal requirement that we  
25 will continue to do, and this is in addition to

.00197

1 that.

2 DR. SOX: Alan?

3 DR. GARBER: Well, I think Ron's  
4 suggestion kind of comes down to what this report  
5 of the conclusions is supposed to be in, and I  
6 guess in the course of our subcommittee's  
7 deliberations I had in mind saying it's going to  
8 be much more rapid, something like a one-page  
9 document that is approved at the time of the  
10 meeting.

11 I think we have to be very sensitive to  
12 the ways that we might unintentionally create in  
13 this process, and I thought we should be brief  
14 and very rapid in summarizing the results of the

15 meeting so that the panel can in real time  
16 approve the chairman's summary of the conclusions  
17 and the reasoning for the conclusions.

18 I think in most cases this is only a  
19 summary. It does not have to be an exhaustive  
20 review of what happened at the meeting because  
21 after all, transcripts will be available and the  
22 other materials that Sharon was talking about.  
23 So I had in mind something like a one-page report  
24 that is done at the meeting and wrapped up.

25 MS. RICHNER: But that's not clear.

.00198

1 DR. GARBER: I agree. So I guess Ron  
2 has a much clearer way of stating the one model,  
3 which is a longer process, but my intent had been  
4 we do something in real time.

5 DR. SOX: I like Ron's approach better  
6 because I think it's very difficult to write a  
7 one-pager that is really good on the fly. Maybe  
8 you can, Alan, but most of us can't.

9 And the alternative would be to require  
10 the panel chair to write it, get it out for  
11 comment, and if you don't hear from somebody in  
12 48 hours, then you would assume to send and have  
13 a requirement basically that it be back in HCFA's  
14 hand in a week. That would give a little bit  
15 more time to advise carefully and would give an  
16 opportunity for thoughtful review of what's been  
17 written. And I would think of it not so much as  
18 approval, but comment. And ultimately it's the  
19 responsibility of the chair to, in a just and  
20 fair way, take into account comments. So that's,  
21 I guess, more an attempt to telescope it out in  
22 the interest of clarity.

23 DR. GARBER: Can I make a proposal that  
24 we approach with discretion and collect some  
25 experience? Because it sounds like we're

.00199

1 planning to adopt different approaches.

2 DR. SOX: But I think we ought to have  
3 a sense of the group. Something ought to be back  
4 in HCFA's hands in ten days.

5 MS. RICHNER: It went from 48 hours to



6 seven days to ten days. That's too long.

7 DR. SOX: Does a week seem reasonable  
8 to get this done?

9 DR. GARBBER: My concern is that there  
10 are discrepancies in the comments. There's no  
11 problem if the only differences are points of  
12 clarification where there's no disagreement. But  
13 as we've seen in some of these issues, there can  
14 be considerable disagreement. And if you as the  
15 panel have to adjudicate between two members that  
16 say directly contradictory things, it's very hard  
17 to resolve that without having a conference call  
18 or face-to-face meeting. And I assume things  
19 really have to be public.

20 MS. LAPPALAINEN: Presumably that would  
21 fall under an operational aspect because we had  
22 the public meeting, and the public transcripts  
23 are available. The putting together of this  
24 document would be operational, so we could have  
25 another meeting to talk about the route.

.00200

1 DR. KANG: I actually have to agree  
2 with Alan. While I'm sensitive to actually  
3 Daisy's concerns, we do want to try and make sure  
4 that the process does not slow down. I think  
5 forcing a summary at the end actually forces  
6 people to agree on what they can agree on and  
7 disagree on what they can disagree on and  
8 actually get it up there. The transcripts are  
9 available to HCFA and its staff, and the whole  
10 richness of the discussion is available. And  
11 quite frankly, we would factor that in and look  
12 at that also and look at the summaries together.  
13 So I think forcing the summary before you go home  
14 is the way to go.

15 DR. SOX: Any other comments?

16 DR. BROOK: I can tell you what's going  
17 to happen here. People will reach agreement and  
18 have very different reasons why they got there.  
19 And the chair will only figure out what he  
20 thought he heard, and it will not be what each of  
21 the individual panel members voted yes or the  
22 majority opinion agreed. So we are stuck with

23 either the panel chair trying to summarize the  
24 evidence saying they voted already and to  
25 summarize the reason for it or to ask each member

.00201

1 of the panel before they go home to write a  
2 one-pager in support of their position, which  
3 then would be the summary.

4           Instead of having this long transcript,  
5 you could have a situation where the panel chair  
6 is not responsible for summary, but each person  
7 who votes is responsible for defending their vote  
8 yes or no, and therefore, that would be part of  
9 the evidence that goes with the vote. And nobody  
10 would try to reconcile that this person believed  
11 this because he liked that study, and this person  
12 believed this because that person wore a green  
13 tie, and this person believed this because they  
14 were tuned out and daydreaming.

15           I mean it would motivate each panelist  
16 to pay a little bit more attention -- I think  
17 everyone would anyway -- but to pay a little more  
18 attention to the process if they knew at the end  
19 of it they would have to justify their vote.

20           So I would change this to say that not  
21 only would this thing be voted on, but each  
22 panelist is responsible for explaining in writing  
23 at the panel's conclusion their individual vote.

24           DR. BERGTHOLD: There are seven  
25 questions sometimes or ten to answer, Bob, and

.00202

1 that means that's a lot of stuff to write.

2           MS. RICHNER: I'm thinking of the FDA  
3 advisory panel process. I mean it's been awhile  
4 since I've been there, but you decide that day,  
5 and you give your vote, and you say your  
6 explanation as to why you gave your vote, and  
7 it's on the transcripts, everybody knows it, you  
8 can use that data later on, and you don't leave  
9 that room until that's finished.

10           Sharon, correct me, but that's what I  
11 remember.

12           MS. LAPPALAINEN: You're right. The  
13 FDA process is as follows. Any primary reviewers

14 that are assigned to review prior to the panel  
15 meeting, those written recommendations are part  
16 of the administrative file for the particular  
17 matter in front of the committee. At the  
18 advisory committee transcripts are taken,  
19 summaries are written and certified to.  
20 Panelists will often think about what happened at  
21 the panel, and subsequent to the panel meeting,  
22 will send to FDA in writing, if they feel  
23 compelled to do so, or if they feel that they had  
24 a minority opinion that was not properly brought  
25 forward. Those things that are in writing are

.00203

1 also part of the administrative record of what  
2 happened at the panel.

3 DR. SOX: Our goals here, I think, are  
4 twofold, mostly to serve HCFA's needs, and  
5 secondly, to turn out a product that you can  
6 understand and reads well. And it seems to me  
7 that going around the room and explaining your  
8 vote really deals with Bob's issue, puts that on  
9 the record for HCFA to look at and say whoa,  
10 actually this person has a point, we'll do it  
11 this way instead of that way. So I think it  
12 deals pretty well with that issue.

13 I'm still, frankly, troubled, Jeff,  
14 with whether you're going to get the really good  
15 prose that you want to put on the Internet from  
16 trying to do it at the end of a long afternoon,  
17 but we'll try it and see how it goes the best.

18 DR. KANG: Sharon, I'm not familiar  
19 with the FDA process. On the FDA panels do they  
20 actually try to do what Alan is suggesting with  
21 the one page?

22 MS. LAPPALAINEN: Well, if I can have a  
23 long response, the FDA asks particular questions  
24 regarding particular matters that come in front  
25 of the committee, and the panelists generally go

.00204

1 round robin on those questions during the open  
2 committee deliberation. However, the vote for  
3 either premarket approval in the device world or  
4 new drug application in the drug world or

5 licensing application in the biologics world is  
6 actually approved. And the panel has three  
7 choices, to approve, to approve upon a condition  
8 or to not approve. And so the ultimate vote is  
9 really only on that issue and not the individual  
10 questions.

11 DR. SOX: Bob?

12 DR. MURRAY: I'm a bit concerned about  
13 trying to do it too quickly or in too frank a  
14 fashion. Several points.

15 Number one, if the purpose is to form a  
16 body of case law, then it has to be reasoned and  
17 organized, and I think doing it on a very short  
18 deadline before you leave in the afternoon would  
19 not serve that purpose.

20 Secondly, I don't think it would serve  
21 the purpose of giving a concise, logical document  
22 to be used by other committees, by other panels  
23 or by the same panel subsequently, if instead of  
24 a single document, you had 10 or 15 separate  
25 opinions each scribbled hastily.

.00205

1 And thirdly, if I were assigned to  
2 write the summary, I would like to look at the  
3 transcript because I would not want my summary,  
4 the words I use in my summary, to come back to  
5 haunt me if at a later meeting somebody had the  
6 transcript and were able to argue that I did not  
7 accurately summarize the expressions or the  
8 reasons for the vote.

9 So I think that for the purposes that  
10 we're intending this summary to serve, we're  
11 simply going to have to live with a longer time  
12 line, that it will have to be a week or more than  
13 a week, at least until the transcript is  
14 available, so that we can have the document that  
15 will meet the needs that we've set forth.

16 DR. HILL: For our purposes, we're  
17 going to have to take some responsibility in the  
18 questions that we ask the panel because what we  
19 need more than why you voted like you did is what  
20 your scientific reasoning is. So the points at  
21 which there's consensus of the panel and the

22 recommendations, that's going to be most helpful  
23 to us, not so much the details of the dissent,  
24 but the whys. And I think we can get at that  
25 with the questions.

.00206

1 So Sharon's two-step process, we can go  
2 ahead and fulfill our obligations under the  
3 federal law with a summary. And do you want to  
4 set a time frame today for how long you expect  
5 the panel to turn it around?

6 And one last question, if I may. May I  
7 take it that the Executive Committee is telling  
8 the panels that if the chairman of the panel  
9 disagrees as an individual with the findings of  
10 his or her panel, that they are tasked with  
11 writing or cooperating in the writing of the  
12 summary in the most favorable possible way  
13 against their own call, but in keeping with their  
14 panel's decision, rather than delegating?

15 DR. SOX: Well, that was my opinion,  
16 but others may disagree. I just think we're  
17 professionals, and we ought to be able to do  
18 that.

19 Jeff, can you give us a signal? Your  
20 voice is saying, and your face is saying, you're  
21 not sure whether a week or the same day is really  
22 going to serve us well.

23 DR. KANG: I'm not sure I understand  
24 the recommendation that's on the table or on the  
25 floor or whatever. I guess what I'm hearing is a

.00207

1 summary that discharges our responsibility under  
2 FACA, but then a formal, kind of, more thought-  
3 out, well-reasoned document following it?

4 DR. SOX: Maybe we can do two things.

5 DR. HILL: I'm suggesting that in most  
6 cases I think we're going to be able to go ahead  
7 and use the committee's recommendations on the  
8 basis of the preliminary thing, and if people  
9 want to get their statement on the record for the  
10 record, to further the record later on, I don't  
11 think we're going to have to wait.

12 DR. HOLOHAN: I think you've just

13 confused me. You can make your decision on the  
14 basis of want while waiting for a more formal  
15 explanation, which makes it seem like the  
16 explanation is ipso facto redundant.

17 DR. HILL: No, sir. I'm sorry. I  
18 didn't mean to say that. Thank you for pointing  
19 that out. I mean to suggest that we can begin  
20 the process of working with the results of the  
21 panel's findings, getting it into a form that we  
22 can use. We don't sit down the next day and say  
23 okay, that was it, here's the decision, and issue  
24 it. We've got to go through some more work with  
25 it ourselves. So if you take ten days, it's not

.00208

1 going to slow us down. We're going to begin our  
2 work right away.

3 DR. BROOK: Can I just make a  
4 suggestion here? We have three things on the  
5 table. Maybe we're just going too far. There's  
6 to be a vote at the end of this. There's this  
7 transcript. Sharon said that HCFA has to write a  
8 summary of it. Maybe we just ought to leave it  
9 at that and allow panelists the opportunity to  
10 submit within a couple of days any justification  
11 for their vote, if they so choose. And then we  
12 get away from the chair having to summarize  
13 opinion without voting and doing all this kind of  
14 stuff. But basically that they will have a vote  
15 on the issue.

16 They're already going to have a summary  
17 of the transcript that HCFA has to prepare and  
18 which presumably is going to be done technically  
19 competently. That will leave us with only the  
20 option that a panelist could offer, if they would  
21 like to explain their vote in writing, they could  
22 do it or not do it.

23 DR. SOX: The problem with leaving it  
24 as an option is that --

25 DR. BROOK: Then you come back to the

.00209

1 answer that you require each -- I don't see how  
2 you can avoid requiring each panelist within a  
3 reasonable time period -- doesn't affect the

4 vote -- to add anything else they want to add to  
5 the record. That's what you're asking them to do.

6 DR. SOX: I think giving each panel  
7 member the opportunity or the obligation to say  
8 why they voted is going to help HCFA to --

9 DR. BROOK: So the panelists either  
10 orally or in writing will be given the  
11 opportunity, both orally or in writing, to  
12 indicate why they voted on a particular issue.  
13 And that discharges their responsibility. And  
14 the panel chair's responsibility is to arrive at  
15 a vote on this subject, not to write the summary.  
16 And it's HCFA's responsibility, going over the  
17 transcript under whatever this law is, to  
18 basically write the summary. And then we don't  
19 have a lot of redundancy.

20 And I don't think any chair, believe it  
21 or not, is going to spend the next two days after  
22 getting the transcript reading the -- it takes  
23 two days to read it, right -- to read through the  
24 transcript to summarize it while HCFA is doing  
25 the same thing. That doesn't seem to make a lot

.00210

1 of sense.

2 DR. SOX: Alan?

3 DR. GARBBER: Well, I want a stab at  
4 this. I think a lot of this discussion is based  
5 on some unstated assumptions maybe I don't  
6 share. I think unlike the two panels that met  
7 already, the way the future recommendations in  
8 this report are implemented, it will be a highly  
9 structured evidence review. The issues the panel  
10 will have to deal with will be very sharply  
11 focused. The staff has done its job in preparing  
12 these reports. And it will boil down to a  
13 limited number of issues that the panel will have  
14 to make decisions about.

15 And frankly, I don't think it's that  
16 difficult to write a brief summary in real time  
17 that talks about those issues. It does not mean  
18 that you redo the work of HCFA staff as part of  
19 the report. And I have the sense that people are  
20 talking about a very extensive redredging of the

21 information and the arguments and so on, and I  
22 would suspect that will almost never be necessary  
23 if a good evidence report structured on the  
24 guidelines that this document states is  
25 available.

.00211

1 I think this is actually pretty  
2 simple. We're talking about what might amount to  
3 a handful of bullet points, to summarize it. And  
4 I think a longer report, given all the other  
5 materials will be issued, is not going to be  
6 particularly useful.

7 DR. SOX: Maybe what we should do is to  
8 require a brief summary and then leave it up to  
9 the chair, if he or she wishes, to write  
10 something that would be somewhat longer, that  
11 would be literate, logical and so forth, and then  
12 just see what happens, what feels right once he  
13 or she has some experience with that.

14 DR. HOLOHAN: One of the purposes of  
15 this is to get uniformity and consistency, and it  
16 sounds like we're now drifting away from that  
17 again.

18 DR. SOX: But on the other hand, we're  
19 in a mode of trying to learn by doing. And if we  
20 have an understanding that we're going to reach  
21 some final decision on this in a year, then we  
22 can have our cake and eat it too.

23 DR. MAVES: I actually support Bob's  
24 opinion on this. And I think if you do want to  
25 write a summary, if the chair wants to do it, I

.00212

1 think it would be fine as long as it was  
2 contemporaneously done, as Alan has indicated.  
3 I'd be very concerned about a report written a  
4 day or two after. You sort of go home on the  
5 airplane, you think about this, you do the  
6 inevitable Monday-morning quarterbacking, and the  
7 report that Sharon writes and the report that the  
8 chair writes may have a little different spin or  
9 a little different angle. Not much. But that  
10 could be very, very important as time goes on.

11 So I agree with Bob. I think we've got



12 two or three sort of summaries. You have a  
13 transcript. You have a HCFA-put-together summary  
14 of the meeting. You have the testimony of the  
15 individual panel members as they give their votes  
16 on each one of these things. I think that record  
17 should stand as is, and I think to do otherwise,  
18 except for perhaps a contemporaneously written  
19 document by the chair that's there, that we can  
20 see, that we can look at just like this, I would  
21 be very, very concerned about both panel members  
22 and the chair writing something after the fact  
23 that would potentially cause us more problems  
24 than fix them.

25 DR. SOX: It's pretty clear we're not  
.00213

1 going to reach a consensus on this, so I think we  
2 should have a motion and have a vote and move  
3 on. Anybody want to make a motion so we can get  
4 off this one? Mike, please.

5 DR. MAVES: I would move that the  
6 deliberative process that we use consists of the  
7 transcript, which is already being done by HCFA,  
8 the summary, which will be prepared by HCFA  
9 staff, the oral comments of the panel members as  
10 they testify, and that those three pieces of  
11 evidence suffice as the work product of the  
12 panelists.

13 DR. JOHNSON: Second.

14 DR. SOX: Any discussion of that motion?

15 DR. KANG: I have a modification.

16 DR. SOX: Please. A friendly amendment?

17 DR. KANG: A friendly amendment. I  
18 think what we want here is a summary, we want the  
19 transcript, and then we want the opportunity for  
20 dissent or whatever, which could always occur  
21 later.

22 The summary could be done either way.  
23 I would suggest it could either be a HCFA-done  
24 with, as I understand, FACA, with agreement with  
25 the chair, or they can go ahead and do it right

.00214

1 there and leave it up to the panel to figure it  
2 out. But the end result is the summary, either

3 HCFA-done, with approval of the chair, or Alan,  
4 kind of contemporaneously with whoever is doing  
5 it right there at the panel, the transcript, and  
6 then finally an opportunity for written further  
7 dissent, comments or whatever.

8 DR. DAVIS: And a vote tally.

9 DR. KANG: And a vote tally.

10 DR. SOX: Michael, is that acceptable?

11 DR. MAVES: I'll accept that.

12 DR. SOX: Okay. Do we second it? Any  
13 other comments?

14 DR. FERGUSON: Wait a second. So let  
15 me understand this. So this summary then,  
16 instead of being written by the chair, will be  
17 either written by HCFA and/or with the chair's  
18 input?

19 DR. KANG: The way FACA runs is by HCFA  
20 with approval of the chair. So essentially the  
21 chair is delegated to represent the whole  
22 committee, or in fact, given the tone and  
23 everything, they can just go ahead and write it  
24 right there.

25 DR. FERGUSON: Done at the end of the

.00215

1 meeting so that it's seen by all those present at  
2 the meeting; is that correct?

3 DR. KANG: Right. Either one. Up to  
4 the chair. Either way would be acceptable.

5 DR. SOX: It wouldn't have to be done  
6 at the meeting.

7 DR. KANG: Right.

8 DR. FERGUSON: So that this third thing  
9 on our proposal here is sort of nixed at this  
10 point? 3. Explanation: A panel must explain  
11 its conclusions in writing. We're now doing  
12 this --

13 DR. SOX: We've now operationalized  
14 that. We probably should add this to the  
15 document, add Mike's motion to the end of this  
16 just to make it operational.

17 DR. FERGUSON: The transcript is done  
18 anyway. That's a given.

19 DR. KANG: Right.

20 DR. SOX: Ready to vote? Bob?

21 DR. MURRAY: I would just like to make  
22 a comment. I'm inclined to vote against the  
23 motion. The reason is that when we had our  
24 executive meeting in December, I believe we got  
25 all of the documents that were discussed. We had

.00216

1 the HCFA summary of what had happened at the  
2 previous two panel meetings, and we had  
3 voluminous information. But in all of that, what  
4 I found most valuable was Tom's summary of his  
5 view. And what I hear happening is that we would  
6 not ordinarily get that unless somebody like Tom  
7 chose to do that.

8 I would rather see a reasoned summary  
9 done after the fact because that would make our  
10 job as an Executive Committee much easier and I  
11 think more effective.

12 DR. SOX: Other comments?

13 DR. BROOK: There are two ways of  
14 writing a summary. You've now recalled my  
15 memory. I believe the HCFA summary was day one  
16 began with this. These people testified. That's  
17 not a summary.

18 When Sharon said that HCFA is required  
19 to write a summary, I understood that to be an  
20 executive summary of the 400 pages like the chair  
21 did up to now, which says here's the evidence,  
22 here's the major evidence discussed, here's the  
23 opinions, and here's the results, and that the  
24 panel would actually look at this 20-page  
25 executive summary of these 400 pages of material

.00217

1 or maybe 30 pages of these 400 pages and have  
2 that kind of a document. But if that's not the  
3 case, then somebody has to write that document.

4 MS. LAPPALAINEN: But the summary must  
5 reflect the agenda and what happened that day, so  
6 the construction of the last three summaries,  
7 which should have been available -- as a matter  
8 of fact, we have one as a public handout now --  
9 followed the agenda of December 8th.

10 DR. BROOK: But it didn't have any

11 summary of the issues. It did not have anything  
12 that said the scientific evidence was presented.  
13 The panelists basically looked at it. The  
14 scientific consisted of this kind of information.  
15 In other words, it wasn't a contents summary. It  
16 was a process summary.

17 And I agree with you. Somebody should  
18 write for the record a 20-page or so contents  
19 summary of this voluminous amount of material  
20 that only a very few people are going to read.

21 DR. GARBBER: That's the evidence  
22 report. I think looking back on the last panel  
23 meeting, this is a misleading -- because we will  
24 have evidence reports in place. That is assuming  
25 that the recommendation goes forward. So a lot

.00218

1 of this would be superfluous.

2 DR. KANG: I think that's absolutely  
3 correct. We can't look at the last two meetings  
4 as -- these are all interactive. The reality is  
5 the first half of this discussion was setting  
6 guidance. It's saying that these are the  
7 questions they'd have to answer. Then the fact  
8 that -- good evidence report, this really tees up  
9 the issues, and I think that we're learning,  
10 quite frankly, as we're going along, and I really  
11 don't think that the first two will be  
12 representative of --

13 DR. SOX: I think we have a motion on  
14 the table. We've had some discussion. Is there  
15 anybody else who would like to offer discussion  
16 before we vote?

17 DR. FERGUSON: I guess my discussion is  
18 a question again. The last sentence here, the  
19 panel chair is responsible for writing the  
20 explanation of the panel's conclusions, modified  
21 with what Dr. Davis did, that's different than a  
22 summary, as Dr. Brook said. So we're not voting  
23 on whether or not the panel chair or a designee  
24 should write a summary of the panel's  
25 conclusions. We're voting on something else.

.00219

1 DR. SOX: Why don't we vote on this,

2 and then it seems to me that vote implies we  
3 ought to cross that out.

4 DR. KANG: How about the panel chair is  
5 responsible for writing the executive summary?

6 DR. SOX: But according to the motion,  
7 apparently not approved, it could be the panel  
8 chair or it could be HCFA staff with the panel  
9 chair.

10 DR. KANG: So HCFA staff or panel chair.

11 DR. SOX: I think we can basically  
12 delete that sentence and substitute the process  
13 that we voted on.

14 DR. FERGUSON: Delete this last  
15 sentence? Is that what you're saying?

16 DR. SOX: That would be implied if we  
17 vote this in. Any other questions?

18 DR. HOLOHAN: Can I ask for a  
19 restatement of the motion?

20 DR. SOX: Restatement of the motion,  
21 please.

22 DR. MAVES: I'll try. The motion was  
23 that the operational documents that would result  
24 from the panel meetings would be -- the  
25 transcript will be number one.

.00220

1 DR. SOX: Not quite so fast.

2 MS. LAPPALAINEN: Operational  
3 documents.

4 DR. MAVES: From the panel meetings  
5 would be the transcript of the meeting, the  
6 summary of the meeting -- and I think you could  
7 put in parentheses prepared by HCFA staff -- and  
8 the explanation of each member's votes for the  
9 deliberations or the questions that are asked by  
10 folks.

11 MS. LAPPALAINEN: With an opportunity  
12 for dissension?

13 DR. MAVES: With an opportunity for  
14 dissension.

15 DR. DAVIS: If I could ask a question.  
16 If there are seven questions posed to the panel,  
17 then you'll have to go around the table and get  
18 an explanation from every panel member for each

19 of the seven questions?

20 DR. MAVES: Yes. And I think that  
21 mirrors the practice that goes on at the FDA, if  
22 any of you have been out there.

23 MS. LAPPALAINEN: As I have it written,  
24 operational documents from the panel meeting will  
25 consist of the transcripts, the summary that is

.00221

1 prepared by HCFA and signed off by the panel  
2 chair, an explanation of each panel member's  
3 votes with an opportunity for panel member  
4 dissension.

5 DR. MAVES: Yes. I want to make sure  
6 my seconder is here. Jeff, you're comfortable  
7 with that?

8 DR. KANG: John, you were about to say  
9 something.

10 DR. FERGUSON: I'm not sure that's  
11 different than what we did before. I mean we  
12 went around and voted on each question, and we  
13 were obliged to say why we voted against  
14 something, not really obliged for why we voted  
15 for, and that was all captured in the transcript  
16 and then HCFA's summary.

17 DR. MAVES: The reason for this is my  
18 sense was that we're getting to a point where  
19 we're going to have a third document, which would  
20 be the chair or his designee's interpretation  
21 being done at some point afterwards. And my key  
22 concern about that was that you could have two  
23 different, if you will, interpretations of the  
24 same meeting. And rather than that we have this  
25 as much as possible, either contemporaneously

.00222

1 recorded and transcribed or as needs be done  
2 apparently through FACA, the HCFA summary of the  
3 meeting done as well so that we don't have  
4 situations -- and I think we had a little bit of  
5 that last time where the interpretation of the  
6 meeting and the HCFA document and the chair's  
7 recommendation or the chair's interpretation of  
8 the summary were two different things.

9 DR. SOX: I think there was one more

10 comment.

11 DR. BROOK: I want to just be clear  
12 about the HCFA thing. Sharon, when you write the  
13 HCFA summary, the last part of this is you're  
14 going to have the up-front evidence report, then  
15 you're going to have the explanation of the  
16 votes. So you're going to look at this, the two  
17 pieces of this stuff. Other than the process of  
18 the agenda, you're going to summarize something  
19 from the evidence report, a summary of the  
20 evidence report, what's available going in, and  
21 then the common themes across those whatever  
22 number of panel votes for each of those votes.

23 So if Alan said the reason I voted yes  
24 on this was because there were six controlled  
25 trials and seven of these, the benefit was this,

.00223

1 and I believe it could be extended, you're going  
2 to look at how they come across all the  
3 individual panelists and then summarize that in a  
4 factual manner so that it would be an aggregated  
5 factual summary across the vote. That's the key  
6 of what would have to happen. It would be  
7 factual, but the aggregate across the votes is  
8 based on reading the transcripts.

9 Is that what I understand this summary  
10 is going to be? If everyone has agreed or said  
11 the same thing, it could be one page?

12 MS. LAPPALAINEN: Right. The  
13 requirement for this motion -- and that is an  
14 explanation of each member's votes -- will be  
15 added to the agenda as an agenda item for each  
16 panel, and that will be included in the summary  
17 if that is a required agenda item for each  
18 panel.

19 DR. BROOK: There are two issues here.  
20 You have ten people each saying a paragraph of  
21 stuff. Somebody's going to look at the common  
22 themes and write a summary of that. That's the  
23 key fact that has to be done. And you're going  
24 to do that. HCFA's going to do that.

25 DR. SOX: And the chair is going to

.00224

1 approve it.

2 DR. BROOK: Now, does the chair have a  
3 right, if they're nonvoting, to actually give his  
4 or her summary on the record when you go around?  
5 After you've taken the vote, can we modify the  
6 process so that the chair just doesn't sit there,  
7 let's say at the end of this or at some point in  
8 this process, and say here's how I would have  
9 voted or something like that and here's my  
10 explanation? Can that be done legally?

11 MS. LAPPALAINEN: Right. Presumably  
12 after the voting period on the agenda and the  
13 agenda item, which has been added, which is the  
14 explanation of the vote, this also includes at  
15 the end of that an opportunity for the chair to  
16 express his or her opinion after the vote.

17 DR. BROOK: Why don't we require that.  
18 Why don't we state that the chair should on the  
19 record, after the vote has been taken, explain  
20 his or her explanation for what he would have  
21 voted or she would have voted, if he had the  
22 opportunity to vote, so that it becomes part of  
23 the record and part of the summary that you  
24 write.

25 DR. SOX: Does that sound reasonable?

.00225

1 DR. BROOK: So we don't get the problem  
2 with the chair saying something later because he  
3 or she never had the opportunity like happened  
4 last time.

5 DR. SOX: In just a minute Sharon's  
6 going to read the motion, but first, since there  
7 has not been a motion to vote, there's still an  
8 opportunity for people to comment if they wish  
9 to. Hearing none, Sharon?

10 DR. HOLOHAN: I don't want to  
11 redundantly overclarify, but the HCFA summary  
12 will in fact be what's written in this paragraph  
13 as a -- and I'm quoting -- written explanation?

14 DR. BROOK: Yes.

15 DR. HOLOHAN: Okay.

16 DR. SOX: Ready to vote? And you're  
17 going to reread it and then say who's eligible to



18 vote and who isn't.

19 MS. LAPPALAINEN: The motion which we  
20 have on the table -- and we have a second I  
21 believe -- is the operational documents from the  
22 panel meeting will be the transcripts, the HCFA  
23 summary, including an explanation, an explanation  
24 for each panel member's votes at the panel  
25 meeting, with an opportunity of dissension. The

.00226

1 chair after the vote will provide their opinion.

2 DR. SOX: Ready to vote? All in  
3 favor?

4 DR. KANG: I think it's a summary of  
5 the votes. It's an aggregate explanation with an  
6 opportunity for dissension. The point is it's  
7 got to be a content summary. It's got to say we  
8 took a vote, here was 8 to 3, and on average this  
9 is why it went this way.

10 DR. BROOK: It could say in voting yes,  
11 that there were adequate controlled trials, three  
12 said there was this, and two said this, but you  
13 have to take that two paragraphs of that -- or  
14 that two minutes of what that person says and  
15 write a thoughtful summary. And we're giving the  
16 HCFA staff the responsibility to do that with the  
17 chair's approval, with the chair looking over  
18 that part of the transcript, which will be much  
19 shorter than the bigger thing, to do that.

20 MS. LAPPALAINEN: The motion is  
21 operational documents from the panel meeting will  
22 be the transcripts, the summary that HCFA  
23 prepares, including a summary of the content and  
24 explanation of each member's votes at the  
25 meeting, with an opportunity of dissension. The

.00227

1 chair after the vote will provide their opinion  
2 as well.

3 For today's meeting the members that  
4 are eligible to vote on this motion are Thomas  
5 Holohan, Leslie Francis, John Ferguson, Robert  
6 Murray, Alan Garber, Michael Maves, Frank  
7 Papatheofanis, Ron Davis, Daisy Alford-Smith, Joe  
8 Johnson and Robert Brook.

9 Dr. Sox will vote in the case of a tie  
10 vote.

11 DR. SOX: All those who are in favor,  
12 please raise their hand and keep it up long  
13 enough for Sharon to tally the vote.

14 DR. SOX: Two against. Abstentions?  
15 One abstention.

16 MS. LAPPALAINEN: I'm going to read the  
17 vote back. For the motion we have eight for, two  
18 against and one abstention.

19 DR. BERGTHOLD: Now you need a written  
20 explanation of that.

21 DR. SOX: We now need to move on to  
22 talk about structure of the evidence provided to  
23 the panel.

24 DR. FERGUSON: We don't have to explain  
25 our no votes here?

.00228

1 DR. HOLOHAN: I think you should be  
2 free to express why.

3 DR. SOX: Why did you vote no?

4 DR. FERGUSON: I voted no because of  
5 some confusion on my part as to the timing of  
6 when these documents will occur. My  
7 understanding is that the transcript doesn't  
8 occur to be finished until a week or more later.  
9 The summary before wasn't finished at the time of  
10 the meeting so that we could all look at it. And  
11 I can't imagine that summary occurring at the end  
12 of the meeting in a fashion that can be seen by  
13 all of us. So since I was not clear on when that  
14 could occur in a way that I could conceive of, I  
15 had to vote no.

16 DR. SOX: Ron, do you want to explain  
17 your abstention?

18 DR. DAVIS: I thought it was confusing  
19 and awkwardly written, and I liked the original  
20 with the amendment that I proposed.

21 DR. SOX: And Leslie, your no vote?

22 DR. FRANCIS: I would have preferred  
23 just the requirements in the Federal Advisory  
24 Committee Act and let panels explain it.

25 DR. SOX: Thank you very much.

.00229

1 DR. HOLOHAN: Could I explain why I  
2 changed my vote? I thought that Bob Brook really  
3 nailed down the content, and I was comfortable  
4 with that.

5 DR. SOX: Does anybody else want to  
6 explain a positive vote? Hearing no other  
7 comments, let's move on to number 4, structure of  
8 evidence provided to the panels.

9 I guess before we get into this, I'd  
10 like to note that we have not at this point said  
11 what ought to go in those evidence reports. And  
12 presumably if we approve this section, then we're  
13 going to have to get a group to get together  
14 perhaps to work in collaboration with HCFA to  
15 decide what will be the requirements for  
16 whoever's going to write the evidence report. I  
17 think maybe that would be better to not try to do  
18 that together, but rather to do that off line  
19 since it's really in the area of operations.

20 If anybody disagrees with that, I'd  
21 like them to speak up, but that's my take on it  
22 given the time.

23 Alan, do you think that's reasonable to  
24 do it off line?

25 DR. BERGTHOLD: Mr. Chairman, it's

.00230

1 2:35, and we had a break scheduled for 2:15. I  
2 just want to check. This next thing is going to  
3 be actually I think complicated, or maybe not.

4 MS. RICHNER: Yes.

5 DR. BERGTHOLD: So I was wondering  
6 could we take our break now?

7 DR. SOX: We're hard at work, and we've  
8 shown our ability to talk for quite awhile in  
9 trying to solve some of these operational issues.  
10 So my suggestion is if there are members of the  
11 panel who need to excuse themselves, they should  
12 do so, but I think we ought to just work straight  
13 on through.

14 Okay. So now we have number 4,  
15 structure of evidence provided to the panels.  
16 And what we're interested in hearing is -- again,

17 just to remind you of objections to this as a  
18 basis for the panel's operations or lack of  
19 clarity that's going to interfere with your  
20 ability to work with your panel. And if you have  
21 a problem with the language, we'd like you to  
22 propose a change so we'll have something specific  
23 to work on.

24 With that, I'll open the discussion.

25 Jeff?

.00231

1 DR. KANG: Could I just ask a question  
2 as to your opening question? Because I missed  
3 the first MCAC meeting of executive counsel.

4 I actually had thought the whole  
5 purpose of the preceding four or five pages,  
6 quite frankly, posed the evidence questions that  
7 the evidence may support needs to think about  
8 with this, so I was -- that was my -- and you're  
9 thinking now that that's not adequate?

10 DR. SOX: I guess for myself, I'm  
11 thinking that it provides the framework, but it  
12 will be my, for example, wanting to talk to the  
13 folks who are running the U.S. Preventive  
14 Services Task Force to find out what their charge  
15 has been to the evidence-based practice, for  
16 example, what their deliverables are, and then  
17 modify that as appropriate to meet the needs of  
18 this group. I really think we need to define the  
19 deliverables of whoever's going to provide these  
20 reports, and those are specific.

21 DR. KANG: Let me suggest a strategy  
22 because that second issue you raised is more of a  
23 logistical issue. It's an issue as to --  
24 whatever we want exists already or can we get it  
25 -- I'm kind of wrestling with why it would be

.00232

1 fund -- I know that it meets the -- but why  
2 wouldn't we want the evidence-based report to  
3 take the first stab at answering the questions  
4 that we have posed here in the heart of the first  
5 five pages of this document?

6 DR. SOX: We might have some opinions  
7 based upon our expertise about what they would

8 actually have to do to answer those questions  
9 operationally.

10 DR. KANG: But there you're trying to  
11 do deal with that in number 5. Whoever's working  
12 on the evidence-based report who wanted  
13 interaction with the panel members back and  
14 forth, these things could get created -- some  
15 interaction back and forth.

16 DR. SOX: Maybe it would be useful for  
17 Alan, who's at least peripherally involved in --  
18 comment on what sort of things go into their  
19 report just so we have an idea of what we're  
20 really talking about.

21 DR. GARBER: I think actually if I can  
22 go to the prior question first, I think Jeff and  
23 Hal are talking about this real important  
24 operational issue, should the Executive Committee  
25 give a lot of detail about how the evidence

.00233

1 should be structured to HCFA or should HCFA staff  
2 proceed. And my relevant experience is actually  
3 as chair of the med-surg panel where we've been  
4 going over the agenda for our upcoming meeting,  
5 and I've seen the first draft of what would be an  
6 evidence report, and it's occurred to me from  
7 seeing that, which I might add so far seems to be  
8 very well done, that we might want to build up  
9 some experience with HCFA staff doing these  
10 before we make recommendations.

11 So I actually think that what they've  
12 done so far is exactly the kind of thing that  
13 this committee would recommend anyway. And maybe  
14 because there are some areas that are a little  
15 different, like diagnostic technologies, we might  
16 want to gain some experience before we the  
17 Executive Committee make any more specific  
18 recommendations.

19 I'm actually very sympathetic to what  
20 Jeff has just said based on my experience in  
21 trying to prepare for our upcoming meeting. That  
22 is it may not be suitable for us at this point to  
23 give very detailed information about what things  
24 like evidence tables should look like because

25 right now what they're doing for the urinary  
.00234

1 incontinence studies is exactly what any EPC  
2 would do.

3 DR. KANG: Let me add. It's  
4 unfortunate because I don't think the first two  
5 issues are representative. Reality is we can  
6 contract out for some of this stuff, and we can  
7 have, whether it's the tech assessment group over  
8 at AHRQ or whatever, and then there's no reason  
9 why the panel member can't interact with  
10 whoever's doing that and interact in a fashion,  
11 take a quick look, say no, you forgot to ask this  
12 question or whatever.

13 I don't think we should get into the  
14 logistics of how to do this. I think we should  
15 just stick with we want an evidence-based report,  
16 here is the list of issues and concerns we are  
17 concerned about right now at this point, and  
18 start working to answer those questions, and then  
19 have number 5 there as an interaction to the  
20 extent that they're things that are coming up  
21 that we didn't anticipate.

22 MS. RICHNER: One of the discussions  
23 that we had in our conference call, if you'll  
24 remember correctly, was that the panels would  
25 have an opportunity to pose the questions for the

.00235

1 evidence report before they were originally  
2 conceived. So is that still the issue? I mean  
3 that's still going to occur then?

4 DR. SOX: That will be number 5.

5 MS. RICHNER: My other problem and  
6 question once again, how does this fit? I still  
7 don't understand how and where the evidence  
8 report fits in this Medicare coverage process  
9 that has been published. So where and how is it  
10 triggered and where does it fit in terms of the  
11 panel receiving it? I still don't understand  
12 it.

13 DR. HILL: In that flow chart you'll  
14 see where we have the opportunity to refer things  
15 to the Medicare Coverage Advisory Committee when

16 we take in issues as part of the process of  
17 preparing the information for that committee  
18 between the time the intention is arrived at to  
19 send something to the panel and an accurate  
20 amount of time before the panel. So they can be  
21 able to digest it, we either create or get some  
22 help in creating this evidence table.

23 MS. RICHNER: So the evidence reports  
24 as we know take approximately six months to do.

25 DR. HILL: Not always. We've had

.00236

1 indications from AHRQ that in some cases, many  
2 cases, they'll be able to do something for us a  
3 little faster than that. We're working on our  
4 own process internally trying to gear ourselves  
5 up to be able to do those things faster.

6 If you're concerned about the time  
7 frame that's involved, that's not stated on  
8 there, and it wasn't -- so this doesn't change  
9 that.

10 MS. RICHNER: You see, if we have the  
11 evidence reports being -- there has to be  
12 something written in here that when you, HCFA,  
13 trigger this to MCAC, the evidence report and the  
14 questions that need to go into the evidence  
15 report have to be decided by the panel at that  
16 particular moment. You have to have some  
17 mechanism for the panel to get together to say  
18 these are the seven things I want the evidence  
19 report to reflect, and that doesn't say that in  
20 here. I'm really grappling with this.

21 And then you have the six-month time  
22 period where the evidence report would be  
23 prepared approximately four to six months. Then  
24 it would come to MCAC. We would then get the  
25 evidence report and review it and have all this

.00237

1 time associated with reviewing it. I mean I'm  
2 not tracking it with this document.

3 DR. SOX: Let me try to recall the end  
4 of my talk this morning. HCFA decides to refer  
5 something to MCAC. In that first month they work  
6 with the chair of the appropriate panel to define

7 the questions, and that's a process that could  
8 include other members of the panel if the chair  
9 so designated. And they decide who's going to do  
10 the piece of work and perhaps on the basis of the  
11 nature of the problem --

12 MS. RICHNER: Who's they decide?

13 DR. SOX: The chair and HCFA.

14 MS. RICHNER: Decide who it's going to  
15 be referred to?

16 DR. SOX: The decision about who's  
17 going to do it -- HCFA decision.

18 MS. RICHNER: Whether it's going to be  
19 ACRI or AHCPR or whoever is going to --

20 DR. HILL: Or internal.

21 DR. SOX: And then after that sort of  
22 month of preliminary work, whoever is going to do  
23 it gets the job, and they spend four to six  
24 months doing it. They produce a report. And  
25 then that goes out to the members of the panel to

.00238

1 prepare for a meeting that will occur  
2 approximately a month after the report is  
3 completed.

4 MS. RICHNER: None of that is reflected  
5 in here. You know that, right? None of those  
6 times.

7 DR. HILL: That's correct. As I said  
8 earlier, we didn't state those times.

9 DR. SOX: Leslie?

10 DR. FRANCIS: This is a clarification  
11 question. As a member of the panel, I would want  
12 to get copies of the studies as well as the  
13 evidence report, right? I don't want to just get  
14 somebody's summary of it.

15 DR. GARBER: You may have 200 studies.  
16 Again this is patterned on well-established other  
17 technology evaluation processes.

18 And really, Randel, your questions are  
19 getting into point number 5. But anyway, the  
20 idea is that combination of staff and the chair  
21 will identify interested panel members with  
22 appropriate expertise and will involve them in  
23 the process of helping to advise HCFA staff about



24 the scope of the evidence report or advise the  
25 contractors or whoever it may be.

.00239

1 And this is intended to make sure that  
2 the evidence report is the most suitable document  
3 for the panel's deliberations. That means not  
4 the entire panel is involved. The attempt is to  
5 bring in all the really interested members of the  
6 panel. And if by some chance that group of  
7 people -- that is, the chair and the interested  
8 panel is identified to assist in setting the  
9 parameters on the evidence review, if by chance  
10 they really goof up and they give directions that  
11 some important studies were neglected or the  
12 scope of it was wrong, that would come up during  
13 the panel meeting. And then perhaps the panel  
14 will conclude they didn't have the evidence they  
15 needed.

16 But generally speaking, this kind of  
17 system works where you get all the interested  
18 parties to give input early in the process, and  
19 you don't have to go through actually convening  
20 two panel meetings, one to set up the evidence  
21 report and another to evaluate it.

22 DR. SOX: Leslie?

23 DR. FRANCIS: I'm not asking for two  
24 panel meetings. I just would not feel, as a  
25 panel member, that I was in a position to

.00240

1 evaluate the evidence unless I both had the  
2 studies on which the evidence report is based and  
3 the evidence report as an analytic summary of  
4 those studies. What concerned me with the  
5 myeloma panel was that I had about 30 studies and  
6 nothing else.

7 DR. SOX: My take is that if an  
8 individual panel member wanted those studies and  
9 had the time to do it, they could get them and  
10 that the evidence report, if it focused on two or  
11 three really key studies, that those might be  
12 included as an appendix to the report so you  
13 could read it.

14 DR. HILL: Our intention at this point

15 is when we identify, or the panel chairman  
16 identifies, key studies that should be sent to  
17 all panel members, they will be. And when you  
18 get to the table, if there's something you read  
19 off there, then we'll send it to you. And if you  
20 tell us ahead of time that you're the one person  
21 who wants to get the whole five crates, we'll  
22 talk about it.

23 DR. SOX: Any other comments about this  
24 section before we move on? Jeff?

25 DR. KANG: I had to step out of the

.00241

1 room, but I wanted to comment to Randel's issues  
2 on timing. I said this earlier in the morning.

3 The last slide we had, which was some  
4 time frames, was actually -- I don't know how to  
5 say -- was kind of Medicare Coverage Advisory  
6 Committee centric I guess. The reality is that  
7 staff is really responsible for the logistics of  
8 the timing and the flow.

9 I really would encourage you all in  
10 your deliberations to consider what is desirable,  
11 what do you want. We then are responsible for  
12 the timing and the logistics and meeting what we  
13 said we were going to meet in the federal  
14 register notes. And we're committed to trying to  
15 make that work.

16 Now, it may turn out what you all  
17 believe is desirable is physically humanly  
18 impossible, and then we may have to rethink this.  
19 But I actually, quite frankly, think it is  
20 possible. And this guidance that you've given  
21 staff is extraordinarily helpful because it will  
22 lead quickly to evidence-based reports to answer  
23 the questions or up front there's this  
24 interaction in step number 5. I think this is  
25 very doable and still meeting the time frames

.00242

1 that we said in the federal register notes.  
2 That's a commitment. But our issue is to try to  
3 sort out the logistics, and we will do that.

4 MS. RICHNER: One more question. The  
5 data. There was a point when we had our

6 orientation for the panel members. As an  
7 industry representative, anyone can give me  
8 information that I would share then with the  
9 panelists. That's one of my roles, which could  
10 be unpublished literature, it could be white  
11 papers, it could be FDA information, that would  
12 not have been provided in the evidence report.

13 Where and how does that get  
14 considered? I know that they may not be  
15 controlled studies, but it's information that can  
16 go into the decision-making process. So where  
17 does that fit?

18 MS. LAPPALAINEN: Right. The industry  
19 representative's role on the panel is to  
20 represent industry. And if you believe that  
21 information needs to get to the panel, you need  
22 to give that to us at HCFA, not directly go to  
23 the panel and have the panel interact.

24 MS. RICHNER: But how does that fit  
25 into this? Is it the only thing you receive is

.00243

1 the evidence report?

2 DR. KANG: It's part of the evidence  
3 report.

4 MS. RICHNER: So that means I would  
5 have to give it to ACRI or AHCPR?

6 DR. KANG: You'll give it to us, and  
7 we'll figure it out.

8 DR. BROOK: The only problem with that  
9 is if the information is proprietary, then you're  
10 going to have a hard time because the evidence  
11 report, you're job should be -- everybody's job  
12 should be to get to the person at HCFA everything  
13 under the sun. And that person should summarize  
14 that in an unbiased manner. And so published,  
15 not published, we ought to be beating every drum  
16 we can find to get good information. But if you  
17 send along a tag you can't use it or publish it  
18 because it's proprietary, then it won't be used.

19 MS. RICHNER: Of course.

20 DR. KANG: Randel, it's really not your  
21 responsibility. It is the requester's  
22 responsibility.

23 MS. RICHNER: Right. But it's not  
24 reflected here in this process, and so I just  
25 wanted to make sure that -- maybe the public now  
.00244

1 is aware that that is part of the process, that  
2 information can be provided to your industry or  
3 consumer representative that should be given to  
4 the panel or to HCFA as part of the evidence  
5 report.

6 DR. KANG: We'll make that clear, but I  
7 don't think this is the document to make it  
8 clear.

9 DR. HILL: We already do invite those  
10 sendings in our announcements.

11 DR. SOX: Any other comments on this  
12 section before we move on?

13 The next section is about panel member  
14 involvement, the chair up front with appropriate  
15 other members of the panel, in framing the  
16 questions, and several panel members should be  
17 participants in the evidence review as a way of  
18 gaining familiarity with data and expertise on  
19 the topic, and finally, there should be a couple  
20 of primary reviewers whose responsibility would  
21 be to spend a lot of time going over the evidence  
22 report prior to the meeting and be in a position  
23 to summarize their take on the evidence as  
24 reflected in the report.

25 So those three aspects of panel member  
.00245

1 involvement are now open for discussion.

2 DR. HOLOHAN: I think I'm asking this  
3 for Leslie. It says panel members should take an  
4 active role in reviewing the evidence, a word  
5 that I believe is distinct from the evidence  
6 report.

7 DR. FRANCIS: It's not the evidence  
8 report. It's the evidence.

9 DR. GARBBER: I don't think that's  
10 realistic in some of these areas; that is to say  
11 to review all the evidence. I mean this is  
12 basically reviewing all the evidence. You do a  
13 serious job of it even without writing it up.

14 It's a several-week, full-time job.

15 DR. HOLOHAN: I understand that. I'm  
16 simply saying it --

17 DR. GARBER: Oh, okay.

18 DR. SOX: What do you think would be  
19 good language there? Reviewing the evidence  
20 report?

21 DR. KANG: Preparing the evidence  
22 report.

23 DR. SOX: So it would be an active role  
24 in preparing the evidence report?

25 DR. FRANCIS: The reason I had made

.00246

1 that is not equal to the evidence is I'm not  
2 going to know how to vote as a panel member  
3 unless I think I've been able independently to  
4 come to my own judgment. I'm not around here to  
5 rubber stamp an evidence report. An evidence  
6 report and other people's comments on it are  
7 helpful to me in trying to reach my own judgment,  
8 but if it's all just laid out and I can't in any  
9 way try to exercise my own critical judgment, I  
10 don't have any business being here.

11 DR. BROOK: First of all, there's no  
12 rubber stamp on this. An evidence report just  
13 puts the evidence together. And then you need to  
14 produce the judgment, based on the evidence, what  
15 to do.

16 Now, if you're saying you want to redo  
17 the evidence report, what I think Alan and I are  
18 doing, having done a lot of these evidence  
19 reports, be it as it may, in areas which have  
20 lots of literature, we've reviewed 10,000 titles  
21 to come up with 300 articles to summarize, and  
22 we're struggling to get this done in six months.

23 There is no question that HCFA, if  
24 you'd like, should be able to provide you all the  
25 original material that we work from, but I will

.00247

1 tell you that unless you're the most  
2 extraordinary individual under the sun, you will  
3 not have the time to redo this what to do, but  
4 you ought to have the right to do it.

5           And certainly I think any panel member  
6 ought to have the right to get the original  
7 evidence, and it ought to be stored in a manner,  
8 put together in a manner, and that ought to be  
9 sent out. But you ought not to expect the  
10 average panel member to do that. We ought to  
11 expect the average panel member to believe that  
12 the evidence has been synthesized correctly, and  
13 now you have to make a judgment about how it  
14 should be used and what it means.

15           DR. SOX: Do you want to add to that?

16           DR. GARBBER: I think Hugh put it really  
17 well about how this would work. I think, Leslie,  
18 the issue for us is going to be we have to look  
19 at the original data for some key studies, and  
20 all the panelists should get those key studies,  
21 but not the huge volume that Bob was alluding to  
22 that we usually start with. So that's why this  
23 will never be -- I doubt that this will ever be a  
24 rubber stamp. The panelists are going to read  
25 some studies, but they have to be whittled down

.00248

1 somehow. And that's all we're saying is be  
2 selective about it.

3           DR. SOX: I'd like to hear from the  
4 panel if there's objections to the concepts that  
5 are imbedded in the boldface number 5. Does this  
6 look reasonable for panel members? That's  
7 great.

8           DR. BROOK: Under the first boldface it  
9 should insert report.

10           DR. SOX: Panel members should take an  
11 active role in, I thought we said, preparing the  
12 evidence report.

13           DR. KANG: Preparing the evidence  
14 report.

15           DR. SOX: Not reviewing. Change it to  
16 preparing and insert report after evidence.

17           So let's discuss this section trying to  
18 pick out -- we don't have a lot of time now, so  
19 we've got to kind of focus again on problems with  
20 clarity, pieces that are objectionable. John?

21           DR. FERGUSON: Just a suggestion. This

22 number 5 might better be put on one of the first  
23 under Suggestions for Panel Operations because  
24 this sort of explains what the beginning of the  
25 process is, which Randel was questioning about.

.00249

1 Because this is the first part. The evidence  
2 report, the panel chair and others working with  
3 the evidence, we're going to do the evidence  
4 report. And the first thing you start out with  
5 is actually the end result.

6 MS. RICHNER: That would help a lot,  
7 just moving it to the first.

8 DR. SOX: The problem is that it does  
9 talk about the evidence report, which is defined  
10 in the immediately preceding section. It can  
11 certainly be --

12 DR. FERGUSON: It sort of operationally  
13 comes after the fact.

14 DR. SOX: I think we can probably move  
15 the first one till later because it comes later  
16 in sequence. That would work. Okay.

17 Other comments on this section? In  
18 that case we're going to move on to number 6.

19 MS. RICHNER: One more thing. To me,  
20 once again, it's the timing, but that's going to  
21 be clarified by HCFA and not by us?

22 DR. KANG: We're on the hook for  
23 timing.

24 DR. HOLOHAN: If I can offer an  
25 unsolicited comment. There's been a lot of

.00250

1 concerns first about how long these take. If  
2 nothing else, the experience with the first two  
3 panels should have instructed us that doing it  
4 the right way is the fastest way.

5 DR. SOX: Just to quickly follow up on  
6 John's suggestion, consistent with John's  
7 suggestion that we try to get these operational  
8 things consistent with sequence, everybody happy  
9 with moving the first one, which is now number 3,  
10 a panel must explain its conclusions in writing,  
11 make that the last one? Okay.

12 Then let's move on to number 6, which

13 is expert review of evidence reports.

14 DR. KANG: Before we discuss this, can  
15 I ask the subcommittee to explain why this is  
16 here just so I can understand?

17 DR. SOX: The opinion of experts is the  
18 best way to assure everyone that the evidence  
19 report is complete and fair. So it's a notion  
20 getting said people that are competent experts to  
21 look at it and say it didn't miss anything, the  
22 report didn't distort the clinical facts as we  
23 know it. It's something that, at least on all  
24 the other panels I've been involved with, outside  
25 review has been a key part of it if only to

.00251

1 establish the credibility of the process, to say  
2 look, we've given the people who have an axe to  
3 grind the chance to sling their strongest arrows.

4 DR. KANG: Well, maybe I didn't read  
5 this closely enough. The assumption here that  
6 the evidence-based reports are being done by  
7 nephrologists, internists or whatever and that  
8 the final analysis, if it's about some surgical  
9 procedure, that you'd also like to show it to a  
10 couple of surgeons? Is that the issue here?

11 DR. SOX: That strategy -- namely,  
12 having evidence-based clinicians prepare the  
13 report, then have it reviewed by competent  
14 experts -- seems to really work well on the other  
15 side.

16 DR. GARBER: Jeff, one of the explicit  
17 precedents here is the evidence-based practice  
18 center's review process in which the external  
19 reviews come from actually a wide range of types  
20 of expertise ranging from pure methodology to  
21 pure clinicians. I'd just like to emphasize the  
22 language here is committee recommends expert  
23 review. I think we recognize this could be  
24 onerous in some circumstances and maybe not  
25 always is necessary in some circumstances as

.00252

1 others. So this is really truly advisory, but we  
2 do feel it's very important to do it to ensure  
3 the highest quality.



4 DR. SOX: Any other comments about this  
5 section?

6 DR. FRANCIS: I apologize for  
7 continuing to beat what's probably a dead horse,  
8 but I really do think whatever else you do, in  
9 addition to the evidence report, you ensure that  
10 panel members have the key studies. It's okay  
11 from the evidence report to identify key studies,  
12 but I want to see them too.

13 DR. SOX: Ron?

14 DR. DAVIS: I actually scribbled out a  
15 sentence to address that, and if the Executive  
16 Committee feels it's important to make that  
17 explicit, panel members will have the evidence  
18 report at their disposal and will have the right  
19 to obtain any primary sources upon which it's  
20 based. But I don't think there should be an  
21 affirmative obligation on behalf of HCFA staff to  
22 send us all those primary resources.

23 DR. SOX: That suggestion seems  
24 consistent with the discussion we've had. Any  
25 objections to it? Okay. Then we have to go back

.00253

1 and --

2 DR. KANG: I'm sorry. I heard what  
3 Leslie was saying was key articles be part of the  
4 report and then that she also has access to the  
5 10,000 if she wants.

6 DR. FRANCIS: Exactly. That's what I  
7 want.

8 DR. SOX: We've gone through the  
9 document once. Now, let's start over.

10 Ron has been given responsibility for  
11 marking up the transparency that Jeff and Leslie  
12 prepared during lunch. Do you have a report to  
13 make?

14 DR. DAVIS: It's over there on the  
15 transparency.

16 DR. SOX: Okay. Let's look at it and  
17 see if we like it.

18 DR. DAVIS: I tried to cut down words.  
19 The first line and a half goes in italics, I  
20 guess, because it's a subheading. Should I read

21 it now?

22 DR. SOX: Yeah.

23 DR. DAVIS: Medicare beneficiaries  
24 include elderly, nonelderly and disabled people.  
25 The Medicare population also may or may not

.00254

1 include patients with comorbid disease.  
2 Historically many controlled trials unfortunately  
3 exclude older men and women, people with  
4 disabilities and people with comorbid disease.  
5 Thus these studies may have had adequate  
6 statistical power for the study population, but  
7 the results may or may not be generalizable to  
8 some portions or all of the Medicare population.  
9 If the requester is asking for coverage or if the  
10 panel believes there is a medical benefit beyond  
11 the clinical and demographic characteristics of  
12 the study population, the panel should state  
13 whether it believes the results of the studies  
14 are applicable to some groups covered by  
15 Medicare, define what those groups are, and  
16 explain its reasoning.

17 DR. SOX: Anybody have any changes  
18 they'd like to make to that masterful piece of  
19 rewriting?

20 DR. FRANCIS: Thank you.

21 DR. SOX: Great. Thank you very much,  
22 Ron.

23 DR. DAVIS: Alan just suggested at the  
24 end to change it to say define the groups, and  
25 then we'll say --

.00255

1 DR. SOX: Daisy, are you ready with  
2 some suggestive language for the preface  
3 regarding --

4 DR. SMITH: Yes. In fact, if you'll  
5 recall, initially we had discussed the  
6 possibility of inserting it under external  
7 validity. And at that time when I was in that  
8 mindset, I thought we were going to say although  
9 the panel recognizes that adequate representation  
10 of every study may not be possible, consideration  
11 should be given to the applicability including

12 race and culture when appropriate and necessary.  
13 Then I thought that would get into too much, but  
14 that's what I was charged to do in terms of the  
15 insertion.

16 But instead I chose to suggest that we  
17 put it in the preface and add it to the amendment  
18 that had already been added, which stated so that  
19 Medicare beneficiaries -- you know, we said  
20 something about that. I think Linda started.  
21 Then I just added to that -- can be better served  
22 regardless of race, ethnicity or socioeconomic  
23 status. And that's a generalized statement  
24 without attempting an insert with limitations.

25 DR. SOX: Any objections to the way

.00256

1 this is done? Then we have one more suggested  
2 change.

3 DR. BERGTHOLD: Mr. Chairman, when will  
4 these changes be on the books? I didn't take  
5 down every one. Maybe I should be asking Sharon.

6 DR. HILL: By next week. Maybe even  
7 sooner.

8 DR. SOX: So Ron, what is it that he  
9 just gave you?

10 DR. DAVIS: It's the sentence that I  
11 mentioned earlier about having the opportunity to  
12 review any of the primary sources upon which the  
13 evidence report is based.

14 DR. SOX: I'd like to move on now. I  
15 think we're ready, are we not, Sharon, to have  
16 open public comments before we vote?

17 DR. KANG: I actually have one  
18 modification, that we put in a phrase that says  
19 based on feedback from the panels, this is a  
20 living document basically. This has been  
21 modified. I just wanted to say maybe it's  
22 feedback from the panels and other stakeholders.  
23 Obviously we have public comment period. So it  
24 really is maybe the other way to say feedback  
25 from everyone. It could come from public, could

.00257

1 come from the advisory committee, the Executive  
2 Committee itself.

3 DR. SOX: Do you recall where that  
4 language was?

5 DR. KANG: It would be the last  
6 paragraph before Evaluation of Evidence, the  
7 section that begins Evaluation of Evidence.

8 DR. SOX: We're running out of time  
9 because some of our members are going to have to  
10 leave at 3:30, and I'd like, if possible, to have  
11 as many people here for the vote on this. So we  
12 will put your suggestion in, Jeff. Sounded like  
13 everybody was happy with it.

14 We now have a 15-minute period when  
15 anybody who wishes to make a comment may do so.  
16 In order to assure that there be equitable  
17 distribution of the 15 minutes, I'd like anybody  
18 who wishes to make a comment to please raise  
19 their hand so I'll know how many people want to  
20 make a comment, and then I can decide how much  
21 time each person will be allotted.

22 In the event that only a few people  
23 want to make a comment, I would hope that they  
24 could keep their remarks short because we would  
25 like to have a whole committee here if possible

.00258

1 for final vote on this document.

2 DR. KANG: I apologize. Just one  
3 procedural issue. You recall earlier this  
4 morning I actually gave up some time to try to  
5 get on with the meeting. I have an announcement  
6 I'd like to make with regard to coverage criteria  
7 for the public, and unfortunately I think the  
8 appropriate time would be right after the vote.  
9 I just wanted to alert people that I did want us  
10 to have maybe some closing remarks.

11 DR. SOX: Excellent. We look forward  
12 to those.

13 So Mr. Northrup is one person who's  
14 scheduled.

15 Anybody else who wants to make a  
16 comment? A total of four. Three minutes each.

17 Mr. Northrup?

18 MR. NORTHRUP: I want to thank you for  
19 this opportunity. This is about as close to the

20 last word as anybody outside the government ever  
21 gets on a public policy issue, so thank you very  
22 much. I do want to thank all of you for what you  
23 are doing for Medicare beneficiaries, and that's  
24 why we're all here. Before you close, I do want  
25 to also reiterate why what you're doing and why

.00259

1 you're doing it --

2 DR. SOX: Excuse me. I didn't  
3 introduce you.

4 MR. NORTHRUP: I'm Steve Northrup,  
5 Executive Director of the Medical Device  
6 Manufacturers Association in Washington, D.C.

7 Again, I want to point out why what  
8 you're doing and how you do it is so important to  
9 the medical devices community and the patients  
10 we're trying to serve.

11 A way of a little background, our  
12 association, MDMA, was created in 1992 by a group  
13 of medical technology entrepreneurs to represent  
14 and serve medical technology entrepreneurs. And  
15 I do want this committee to keep in mind, and you  
16 probably already know it, but please keep in mind  
17 the foundation of innovation in medical  
18 technology is the entrepreneurial sector. Most  
19 of the innovation in this industry comes from  
20 entrepreneurs, and in fact, I read recently one  
21 of the CEOs of a large medical technology company  
22 said that 60 percent of all the medical products  
23 sold in this country are less than 12 months old.  
24 And that seems like an impossible number, but  
25 that's the nature of innovation in this industry.

.00260

1 It's incremental innovation fostered by  
2 entrepreneurs, entrepreneurs with lots of ideas,  
3 but limited time and limited cash. And we need  
4 to be sensitive to that, and we talk about the  
5 type and amount of evidence HCFA's going to  
6 require and this committee is going to require  
7 and the amount of time it's going to take to  
8 reach a decision.

9 And that brings me to the points I'd  
10 like to make briefly about evidence and about

11 time. With respect to evidence, I do appreciate  
12 the steps you've taken today to make some of  
13 these guidelines, I think, more reasonable with  
14 respect to evidence. And ultimately HCFA's  
15 coverage criteria, which Dr. Kang will talk  
16 about, will provide that, quote, unquote, road  
17 map that manufacturers are looking for.

18           Manufacturers are willing to jump over  
19 a reasonable bar, but if it's unreasonably high  
20 where we can't even see it, a lot of us smack our  
21 heads right into it. And most importantly for  
22 your purposes, please keep in mind that most of  
23 the advances in medical technology that your  
24 panels will be considering are incremental  
25 advances and don't necessarily require a de novo

.00261

1 review. So when you're looking at incremental  
2 advances, let's look at the incremental  
3 evidence.

4           With respect to time, still somewhat  
5 concerned -- and I do appreciate Dr. Kang's  
6 comments along these lines -- that some of the  
7 things you're considering will slow down the  
8 process of coverage decision making  
9 unnecessarily, and that will in turn slow down  
10 the pace of innovation in our industry. The  
11 government will never be able to keep up with the  
12 pace of innovation in this or any other industry.  
13 That's just the nature of the beast. But we need  
14 to try to keep the gap between innovation and the  
15 government's pace as small as possible. And with  
16 respect to the comment that was made earlier  
17 about doing it the right way is the fastest way,  
18 to borrow a phrase, I'd like to say it depends on  
19 what your definition of right is, and we need to  
20 focus on doing it the best way.

21           I do want to thank you for your time,  
22 and ultimately I'm not asking you to be sensitive  
23 to our companies or their needs or how they  
24 conduct their business. That's my job and not  
25 yours. What I would ask you to do is make sure

.00262

1 that your actions and decisions don't hinder or

2 discourage medical technology entrepreneurs from  
3 innovating because innovation is the key to  
4 improving the health of Medicare beneficiaries.  
5 Thank you.

6 DR. SOX: Thank you very much, Mr.  
7 Northrup. Who's going to speak next? Yes, sir.  
8 Please introduce yourself.

9 MR. COOK: My name is Ken Cook.

10 MS. LAPPALAINEN: Do you have any  
11 financial interest in any service?

12 MR. COOK: I have no financial  
13 interest. My name is Ken Cook, and I'm a  
14 facilitator for a cancer support group at the  
15 University of Maryland Medical Center. I just  
16 want to make a comment on two issues on the  
17 external validity issue and Medicare patient  
18 participation.

19 Not only are Medicare patients excluded  
20 sometimes from clinical trials because of age,  
21 but because also of the financial problem. Since  
22 Medicare will not pay for experimental protocols  
23 and since probably most patients or most  
24 beneficiaries of Medicare do not carry separate  
25 insurance, unless they are financially

.00263

1 independent, they're basically precluded from  
2 being in the population that is undergoing a  
3 clinical trial. So it's a catch 22. You can't  
4 get into the clinical trials because you don't  
5 have the money. That's the first item. And so I  
6 would like to point that out for your  
7 consideration.

8 The second issue is on the number of  
9 patients involved in any disease study. If you  
10 are studying prostate cancer, there are many,  
11 many patients available for clinical trials.  
12 There is sufficient research money available.  
13 But if you are a patient with let's say multiple  
14 myeloma, which is less than one percent of the  
15 population, there is very little research and  
16 very little research money available or public  
17 interest in that issue. And to try to require  
18 the same degree of rigidity in proof and making

19 sure that the protocols are as perfect as can be  
20 possible may not be appropriate.

21 So like there is the orphan drug law, I  
22 think that as we consider the various types of  
23 protocols and how they're applicable to the  
24 different groups, the same measures are not  
25 applicable to all. One size does not fit all.

.00264

1 Thank you ever so much.

2 DR. SOX: Thank you very much, sir.

3 DR. KANG: Chairman Sox, could I just  
4 respond to the first point? I think that's a  
5 real issue. I'm very aware of the IOM report. I  
6 just wanted to say that I don't think this is the  
7 venue, the MCAC, but I just want to assure you  
8 that the issue on payment for -- clinical trials  
9 is very much on our screen and being reviewed  
10 here at HCFA.

11 MR. MESKAN: I'm Tom Meskan, Medical  
12 Alley. The committee at one point was discussing  
13 its willingness to take comments about tone  
14 and/or substance of the document, and I tried to  
15 listen to the conversation closely, but never  
16 heard a complete resolution of whether you wanted  
17 to accept those remarks that would have any  
18 value, and what's your orientation for us.

19 DR. SOX: I think the sense of the  
20 group is that when we put this thing back on the  
21 website in its modified version, it will call for  
22 public comment very much on the spirit that Dr.  
23 Brook suggested of specific wording that we might  
24 change, specific changes in the wording that  
25 might improve the tone. And it will, of course,

.00265

1 be up to the committee to decide to accept those  
2 suggestions. But I think that's the sense of the  
3 group.

4 MR. MESKAN: As it relates to tone, are  
5 you open to substantive changes or do you feel  
6 that where your document is now is kind of where  
7 it is and yes, it's an interim document that will  
8 be ongoing, but should we bother to spend the  
9 effort to make our points again in perhaps more



10 compelling ways on substance?

11 DR. SOX: I think it would probably  
12 serve our group and your ideas best if you came  
13 back to us with them as we reconsider the  
14 document on a periodic basis. Given the time, it  
15 probably isn't going to get the attention that  
16 maybe it deserves.

17 DR. KANG: I think I did hear Bob say  
18 though -- and I thought it was appropriate --  
19 that substantive changes would be considered, but  
20 then you have to justify why the substantive  
21 changes should be in that item.

22 DR. SOX: We want suggestions about  
23 tone and substance, and we'll take them up in due  
24 time, but we won't ignore them.

25 The last speaker, please introduce

.00266

1 yourself.

2 MR. LASCHER: Steve Lascher,  
3 epidemiologist of the Maryland College of  
4 Physicians. I have no financial affiliation.

5 DR. SOX: What organization?

6 MR. LASCHER: ACP-ASIM. Related to the  
7 overhead that was written related to the  
8 generalizability, I just wanted to mention that  
9 statistical power was mentioned, and perhaps in  
10 that respect it wasn't the appropriate term since  
11 statistical power relates to type two error, and  
12 perhaps you were thinking about sample size, and  
13 it might lead to some misunderstanding.

14 DR. SOX: Thank you. It's now time for  
15 the committee to take a vote. Sharon?

16 MS. LAPPALAINEN: At this time Dr. Sox  
17 would call for a motion, and he will be asking  
18 the voting members of the panel to vote  
19 concerning whether the report of the subcommittee  
20 should be ratified or ratified with modifications  
21 or not ratified.

22 For today's panel, a forum is present,  
23 and the voting are Dr. Thomas Holohan, Dr. Leslie  
24 Francis, Dr. John Ferguson, Dr. Robert Murray,  
25 Dr. Alan Garber, Dr. Michael Maves, Dr. Frank

.00267

1 Papatheofanis, Dr. Ronald Davis, Dr. Daisy  
2 Alford-Smith and Dr. Joe Johnson. Dr. Robert  
3 Brook is absent.

4 The panel vote may take one of three  
5 forms, ratification with no other modifications,  
6 ratification upon condition, for example,  
7 resolution of some clearly identified  
8 deficiencies which have been cited by you or by  
9 the HCFA staff. Examples of deficiencies could  
10 include resolutions of some of the questions of  
11 wording or issues that you believe are necessary  
12 or you would like to see implemented.

13 If you believe that modifications are  
14 necessary, then your recommendation should  
15 address the following points; the reason or  
16 purpose for the modification and the information  
17 that's required to change it. And for  
18 nonratification, if you believe that the  
19 subcommittee report should not be ratified, we  
20 ask that you state for the record your reasons  
21 why the report should not be ratified and to  
22 identify those measures that should be taken in  
23 order for you to ratify it in your opinion.  
24 Thank you.

25 DR. SOX: Sharon, am I correct in

.00268

1 saying that the only people that can participate  
2 in the discussion now are voting members?

3 MS. LAPPALAINEN: Yes.

4 DR. SOX: I've asked Ron to prepare a  
5 motion, and I'll read it on behalf of him. Then  
6 there can be a second, then there can be an  
7 opportunity for discussion, and then amendment.

8 Motion, that the Executive Committee  
9 approve the subcommittee's report and  
10 recommendations as amended and that the Executive  
11 Committee revisit the report and revise it as  
12 needed in response to comments from panel members  
13 and the public.

14 So that's now open for a second.

15 DR. GARBER: Second.

16 DR. SOX: Second?

17 DR. FERGUSON: Second.

18 DR. SOX: Is there a discussion or  
19 modification?

20 DR. FERGUSON: The only modification  
21 that I would recommend on that would be to state  
22 the document be as it's approved, that it be used  
23 as an interim document so that HCFA could move  
24 forward in their process, that it be used as an  
25 interim document, recognizing that it is dynamic.

.00269

1 And I would suggest that with the comments that  
2 we're getting from the public and from the panel  
3 members, that as part of the two-day meeting that  
4 we have scheduled next, that we make this an  
5 agenda item to revisit, at least at some point  
6 during that two-day meeting, part of the comments  
7 on this document.

8 DR. SOX: Why don't we get the wording  
9 up there. And then it would be nice, if we could  
10 get the wording up there, then you can suggest  
11 how to --

12 DR. FERGUSON: It's essentially the  
13 same thing except that it would be approved as an  
14 interim document would be the only other addition  
15 with that and that we specifically make it  
16 revisited in the two-day meeting that's planned  
17 next.

18 DR. GARBER: I guess I have a  
19 question. I agree with everything you said, but  
20 I take Ron's wording as meaning that it's interim  
21 when he says it should be revised and revisited.  
22 Is that acceptable?

23 DR. FERGUSON: As long as that's  
24 understood, yes. I have no problem with the word  
25 interim not being in there as long as it's

.00270

1 understood that HCFA's got something they can  
2 move forward with now as part of the process  
3 rather than having to wait.

4 DR. SOX: Would you like to say  
5 something to the effect of a new sentence perhaps  
6 that the panel shall consider possible revisions  
7 to the document at its next two-day meeting or  
8 something like that? Would that capture the

9 sense of what you'd like to have? That would  
10 make it -- to do it --

11 DR. FERGUSON: Sure.

12 DR. SOX: -- as an agenda item. I  
13 guess as the Executive Committee, right? That's  
14 offered as a friendly amendment, Ron?

15 DR. DAVIS: Accepted.

16 DR. SOX: Any other comments or  
17 additional amendments? It's now time for a vote.

18 All those who are in favor, please  
19 signify by raising your hand. Hold it up so the  
20 counter can tally the vote. It's unanimous.

21 MS. LAPPALAINEN: Except for an  
22 absentee.

23 DR. SOX: We're now going to turn to  
24 hear briefly from Jeff with some announcement  
25 and benediction or something like that.

.00271

1 DR. KANG: Actually I believe these  
2 comments were made for the public and will be  
3 available outside. They were meant as opening,  
4 and they're closing now.

5 I would just, Chairman Sox, like the  
6 opportunity to reinforce and expand on HCFA's  
7 preface to the subcommittee's, which has now been  
8 the adopted subcommittee's recommendations as  
9 amended. If people have that preface in front of  
10 them, I'd actually like to refer to the third and  
11 fourth paragraphs and just for the record read  
12 them in. Actually now it's the current document  
13 below.

14 We view the current document or the  
15 voted-in document as a list of suggested topics  
16 that should be considered and addressed to assure  
17 full and consistent discussion of issues by the  
18 MCAC panels. HCFA itself will not view this  
19 report as a prescription of criteria by which we  
20 are to determine coverage or even an absolute  
21 standard by which we may judge the adequacy of  
22 evidence.

23 In short, this document is a list of  
24 suggested topics that the MCAC and its panel  
25 should consider and address in evaluating

.00272

1 clinical evidence in rendering advice to HCFA.  
2 Based on the advice in the record, HCFA will make  
3 its coverage decision. We are confident that the  
4 MCAC and its process will be an enhancement, not  
5 a barrier -- the new document that you've all  
6 voted in -- not a barrier to the fair and open  
7 consideration HCFA will give to proposals for  
8 coverage.

9 In summary, I think that we are  
10 interested in how good is the clinical evidence,  
11 what does it say, and what conclusions can be  
12 drawn from it? And that's really what the  
13 evaluation of evidence is all about.

14 Furthermore, as I stated in the fifth  
15 paragraph of that preface, we are not interested  
16 in asking the MCAC for advice on cost issues.  
17 You are really the clinical scientific experts,  
18 and that's what we're seeking your advice on.

19 Finally, with regard to coverage  
20 criteria -- that's in the sixth paragraph here --  
21 we are diligently working on publishing a  
22 coverage criteria to further explain and  
23 interpret what reasonable and necessary means in  
24 discriminating cover from noncoverage services.

25 I actually do want to point out today

.00273

1 that today's effort deals with what is the  
2 evidence, what does it say and what conclusions  
3 can be drawn from it, how we read it and how we  
4 interpret it. That is distinctly different from  
5 criteria.

6 Scientific evidence is in many ways the  
7 yardstick or the measuring stick while criteria  
8 is really how far you have to go, whether you  
9 have to go one foot or three feet or ten feet to  
10 get covered. The evidence really is the  
11 measuring stick or the yardstick.

12 To further the analogy to our current  
13 situation, HCFA could interpret in a rule that  
14 reasonable and necessary means many things. For  
15 example, we could interpret it as meaning just  
16 safety, we could interpret it that a service has

17 to be safe and effective, or we could interpret  
18 it as it has to be more effective, or we could  
19 interpret it as benefits must outweigh the risks,  
20 or it could be interpreted as being cost-  
21 effective, or we could interpret it as being  
22 cost-beneficial. And there are other variations  
23 of the theme.

24 The point here is irrespective of what  
25 we finally end up as criteria in the final rule,

.00274

1 it should not change your work regarding what is  
2 good evidence, how do we read it, how do we  
3 interpret it, what does it say, and what  
4 conclusions can we draw from it? Thus, your work  
5 is distinctly separate from our coverage criteria  
6 and can certainly go on in the absence of the  
7 criteria. Of course, in the final analysis,  
8 today's work only guides your activity and your  
9 advice, and HCFA will be the final decision maker  
10 of what should be covered or not.

11 Now, I would like to take this  
12 opportunity to briefly update you with where we  
13 are on the coverage rule. On a personal note, to  
14 my chagrin, I've now figured out why the agency  
15 has struggled for over ten years to publish a  
16 rule. However, the good news is that we actually  
17 do have criteria in mind and a framework for how  
18 they would be applied. However, it does raise  
19 several operational and implementation  
20 questions.

21 Given what is at stake and the  
22 considerable interest in this rule, I am pleased  
23 to report that we are expecting to publish soon a  
24 notice of intent for rule making in advance of a  
25 proposed rule. In this notice we will share our

.00275

1 current thinking and framework for coverage  
2 criteria, how it would work, and we would also  
3 raise some of the implementation questions that  
4 we are wrestling with internally. Such a notice  
5 will provide ample opportunity for the public and  
6 other stakeholders or all stakeholders to have  
7 adequate input and assist us in our deliberations

8 before we even propose a rule.

9           And on that, today is not about  
10 coverage criteria. I thought I'd take the  
11 opportunity to talk to you about coverage  
12 criteria. But I would like to thank the advisory  
13 committee, the Executive Committee, for all of  
14 your efforts today to deal with, in a consistent  
15 manner for all panels, how we read the evidence.  
16 And I assure you we're working diligently on the  
17 coverage criteria, and I believe that you are off  
18 to a great start with regard to how we read and  
19 interpret evidence.

20           DR. SOX: Thank you, Jeff.

21           Before we adjourn, for the record we  
22 had one absence. Dr. Brook had to leave a few  
23 minutes early. He left this note.

24           I am happy with the report. I would  
25 like to see the revised Section 6, signed Dr.

.00276

1 Brook.

2           Is there anything else that we need to  
3 do before we adjourn?

4           MS. LAPPALAINEN: Just to conclude  
5 today's panel meeting, I'd like to remind you  
6 that the next meeting of the Executive Committee  
7 is tentatively scheduled for June 6th through  
8 7th, the year 2000. Please call the HCFA  
9 advisory committee line at 1-877-449-5659, which  
10 is toll free, or for local calls, 410-786-9379,  
11 and specify the Medicare Coverage Advisory  
12 Committee, or you may check our website for  
13 up-to-date information. And again, I'd like to  
14 thank the committee.

15           DR. SOX: Before adjourning, I'd like  
16 to point out that copies of Dr. Kang's remarks  
17 are available on the table outside the door. We  
18 want to thank everybody on the panel for their  
19 hard work and the audience for their patience.  
20 Thank you.

21           (Whereupon, at 3:40 p.m. the meeting  
22 was concluded.)

23

24

