

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION  
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

TOWN HALL MEETING

**NORA**

**NATIONAL OCCUPATIONAL**

**RESEARCH AGENDA**

The verbatim transcript of the  
Town Hall Meeting of the National Occupational  
Research Agenda held in Chicago, Illinois, on  
December 19, 2005.

C O N T E N T S

December 19, 2005

OPENING REMARKS	
DR. MAX LUM, NIOSH	5
LORRAINE CONROY, UNIVERSITY OF ILLINOIS AT CHICAGO	12
LISA BROSSAU, UNIVERSITY OF MINNESOTA	16
INTRODUCTION TO RESEARCH AGENDA PROCESS	18
SID SODERHOLM, NIOSH	
REGIONAL AND LOCAL STAKEHOLDER PRESENTATIONS	31
MODERATOR: LORRAINE CONROY	
CLOSING: TOM ROBINS, UNIVERSITY OF MICHIGAN	113
CONSTRUCTION SESSION:	
INTRODUCTION TO THE SECTOR APPROACH	
MATT GILLEN, NIOSH	117
TOM BRODERICK, CONSTRUCTION SAFETY COUNCIL	118
CONSTRUCTION SESSION: STAKEHOLDER PRESENTATIONS	134
MODERATOR: JIM ALBERS, NIOSH	
SUMMARY: MATT GILLEN	231
ADJOURN	232
SID SODERHOLM, NIOSH	
COURT REPORTER'S CERTIFICATE	237

### TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

**TOWN HALL ORGANIZERS**

JIM ALBERS, Ph.D.  
NIOSH

TOM BRODERICK  
Construction Safety Council

LISA BROSSEAU, ScD, CIH  
University of Minnesota

LORRAINE M. CONROY, ScD, CIH  
University of Illinois at Chicago

MATT GILLEN, MS, CIH  
NIOSH Office of the Director

JEFFERY KOHLER, PhD  
NIOSH Office of the Director

MAX LUM, EdD, MPA  
Office of Health Communications and Global Collaboration

THOMAS ROBINS, MD, MPH  
University of Michigan School of Public Health

SIDNEY SODERHOLM, PhD  
NIOSH Office of the Director

**PROCEEDINGS**

(9:00 a.m.)

**OPENING REMARKS****DR. MAX LUM, NIOSH**

**DR. LUM:** A warm welcome on a very chilly morning here in Chicago. Thank you for coming out today, giving up your day to work with the National Institute of Occupational Safety and Health and our second town hall meeting related to the NORA program.

Dr. Howard, the Director of the Institute, couldn't be with us today. He's traveling in Australia. And Diane Porter, our Deputy, is ill and not available to come today. The Congress, as you probably are aware -- we won't have a congressperson joining us. They're still voting. Actually they voted at 6:00 in the morning today. They had a rare Saturday session. I think they were actually in session, if I understand, last night a bit preparing for this morning's meeting. But we have a full agenda, both the morning and the afternoon, and we do welcome you here at the town hall meeting.

As most of you know, I think, NIOSH is predominantly a research institute. A

1           significant amount of its work is research. It  
2           does have a strong service function, but about  
3           80 percent of the Institute's resources and  
4           employees really focus on research. And NIOSH  
5           is, again, part of the Center for Disease  
6           Control and Prevention, and we've been around  
7           since the early '70's. And about ten years ago  
8           the current director and leadership of NIOSH  
9           really tried to get a grip on what is the  
10          really the way to handle research, how can we  
11          set the agenda? How do we reach out to  
12          partnerships -- those are individuals and  
13          organizations, labor, employee, employers,  
14          advocacy groups, the federal sector -- to  
15          really understand what the national agenda  
16          should be. Not just the agenda for NIOSH, but  
17          really looking across the board for a way to  
18          get a grip on setting a national agenda for  
19          occupational safety and health. And the NORA  
20          project, the NORA system, really came out of  
21          that thinking almost ten years ago. It'll be  
22          ten years in April.

23          And NORA, as it's developed I think in these  
24          early years, has had a pretty good childhood.  
25          It's ten years old. It'll need special care as

1           it moves into its teen years in the next ten  
2           years. If NORA's teens are anything like my  
3           teens, it's going to need a lot of care, and my  
4           kids' teens even more care I think. But that's  
5           why we're here and we're really looking out for  
6           the next ten years, what -- we want to hear  
7           from our partners in a series of town hall  
8           meetings that we'll be conducting across the  
9           country.

10          They're -- we're conducting 12 of these over  
11          the next four months. This is the second one.  
12          Our first one was in College Park two weeks ago  
13          and was sponsored by the Hopkins folks and  
14          Harvard University. We had a full agenda  
15          there, too, and learned a great deal.  
16          But the town hall process is extremely  
17          important. It's more than just a style issue.  
18          You know, what you'll hear today will go into  
19          the NIOSH docket. It'll be used in developing  
20          our new sector approach to occupational safety  
21          and health. We'll be responding I think to  
22          what we hear. I know we'll be hearing things  
23          we don't expect today, as we did in our earlier  
24          meetings, and we look forward to that. This is  
25          an open process.

1 I think the three -- it seems to me, over the  
2 ten years the three strengths of NORA -- and  
3 there may be more, but the three that seem to  
4 jump out at me, is the governance, the way that  
5 it's governed with steering committees driving  
6 the research implementation. Not just the  
7 initial setting of the agenda but the  
8 implementation, the securing of funds, reaching  
9 out through our partnerships to capture  
10 additional resources. NORA has been I think  
11 very good in its ten years in capturing  
12 additional monies from the Congress -- really  
13 one of the premier ways of us interesting the  
14 Congress in our work.

15 The second I think benefit of NORA is really  
16 its partnership structure. The initial NORA  
17 was sponsored by I think 500 or 600 folks,  
18 again across the sector of our stakeholders,  
19 both individuals and organizations. And we  
20 expect to at least double that this year as we  
21 do a lot more town hall meetings in getting  
22 ready for our symposium, the research symposium  
23 where we try to bring it all together in April  
24 -- April 18th and 19th in Washington, D.C.

25 But I -- just a personal note. I had just

1 started ten years ago when Linda Rosenstock  
2 said your first job is to work on these town  
3 hall meetings and help us set them up and --  
4 and that's pretty much what I did for my first  
5 real job at NIOSH about ten years ago. But I  
6 remember going to the Washington, D.C. meeting.  
7 We had four town hall meetings. They were in  
8 Seattle and Boston, Chicago and Washington.  
9 And at the Washington meeting I can recall  
10 three nurses coming from Philadelphia, from a  
11 large hospital, and approaching the podium and  
12 talking to us for five or six minutes about the  
13 importance of the Institute paying attention to  
14 latex allergy. And at that point I can  
15 honestly say I don't think latex allergy had a  
16 very high ranking in our research priorities.  
17 And it was a very moving and very telling  
18 presentation that they talked to us about. And  
19 one of the nurses actually had an allergy and  
20 gave a very moving talk I think about the  
21 importance of research and the importance of  
22 NIOSH alerting the health care community to the  
23 latex issue. And that particularly stuck in my  
24 mind because we really didn't at that point  
25 even wait for the agenda to be set up. We put

1 a group into place that started looking at that  
2 issue.

3 So the town hall meetings are not only a system  
4 -- NORA is not only a system. We really look  
5 upon it as a way to think about research and  
6 the way to involve partnerships.

7 I think the last focus -- again, besides  
8 partnerships and the governance issue -- the  
9 last strength of NORA over these ten years has  
10 been in our strong efforts to move the science  
11 into practice. And this has taken on a kind of  
12 a brand for the next ten years, for the new  
13 NORA. We're calling this "Research to  
14 Practice", but we have set up a governance  
15 group within NIOSH to handle this important  
16 issue of moving our science into practice as  
17 quickly as we can. And again, for that to  
18 happen we have to really expand our  
19 partnerships and work closely with our  
20 stakeholders at the local level, at the --  
21 almost at the plant level I think at this  
22 point.

23 This meeting would not have been possible I  
24 think, and the town hall meetings that are  
25 coming up, without the help of people here in

1 Chicago, but people on the ground. And special  
2 thanks goes to not only the NIOSH staff back in  
3 Washington, my staff who is here today -- a  
4 couple of folks from our staff are here and be  
5 glad to talk with you about any issues related  
6 to NORA and give you all the sad details that  
7 goes behind the scenes of putting one of these  
8 things together -- but we also really  
9 appreciate the support of the ERC here.  
10 Lorraine and her staff have been fantastic,  
11 Rosie Sokas and Leslie Nickels and the cast of  
12 characters that we always ask to do things and  
13 that always come through. Also our sponsorship  
14 from Minnesota, Lisa's help is most  
15 appreciated. And Tom Robins from Michigan, who  
16 is not here but who will be here shortly, again  
17 has really put this together with the NIOSH  
18 people.  
19 So we look forward to hearing from you, and I'm  
20 going to end here 'cause we really want to move  
21 the program along. I think we're here to hear  
22 from you. We'll tell you a little bit more  
23 about NORA. Sid Soderholm, who's our new NORA  
24 manager, will discuss a little bit more details  
25 about NORA -- but not a lot, just enough to

1            maybe whet your appetite. But also we want to  
2            move quickly to hear your concerns and your  
3            issues.

4            Again, a warm welcome. Thank you for being  
5            with us. Hopefully you can stay the entire day  
6            and hear our presenta-- hear the presentations.  
7            And I'd like to at this point introduce our  
8            sponsor -- our primary sponsor here in Chicago,  
9            Lorraine Conroy.

10           **LORRAINE CONROY, UNIVERSITY OF ILLINOIS AT CHICAGO**

11           **DR. CONROY:** Good morning, and welcome to the  
12           University of Illinois at Chicago. I'm just  
13           going to start with a couple of logistics which  
14           most of you have probably figured out, but the  
15           restrooms are on this corridor here outside the  
16           main entrance, and there are emergency exits  
17           through the same door that you came in, but  
18           also at the back of the room. So if needed,  
19           you can exit through the back. And then just  
20           to remind people to please sign in, if they  
21           haven't already done so.

22           I want to thank NIOSH for providing this  
23           opportunity to comment on the future direction  
24           of research, research that I think affects so  
25           many people in the U.S. and around the world.

1           There are an estimated 130 million people in  
2           the U.S., and more than 3 billion people  
3           worldwide, who work. And so research to  
4           improve health and safety for these groups is  
5           really important. At some point in everybody's  
6           life people work, and so this is really an  
7           issue that affects everybody in our society.  
8           I want to talk a little bit about our ERC. The  
9           University of Illinois at Chicago is one of 16  
10          ERCs funded by NIOSH. We have graduate  
11          programs in occupational medicine, occupational  
12          health nursing, industrial hygiene and  
13          agricultural safety and health. And we have a  
14          large continuing education and outreach program  
15          that many of you have interacted with I think.  
16          The funding that we get through the ERC also  
17          allows us to provide research training to our  
18          graduate students in many of the NORA priority  
19          areas.

20          I think that the occupational safety and health  
21          field now is facing many challenges. Rapid  
22          technology changes and globalization have  
23          resulted in dramatic shifts in how work is  
24          performed. In some cases these advances have  
25          led to safer and healthier work conditions.

1 But in many ways it's allowed for a much  
2 different workplace than was the case even a  
3 few years ago.

4 Workers are more likely now to work more than  
5 one job, to work as -- get hired as temporary  
6 or contract employees and therefore be somewhat  
7 self-employed, and more likely to work at  
8 multi-employer work sites. These changes have  
9 really changed the way we manage health and  
10 safety, and it's blurred some of the  
11 responsibilities of who's responsible for  
12 health and safety that we've come to expect  
13 since the passage of the Occupational Safety  
14 and Health Act.

15 And I think it's led to additional research  
16 challenges in conducting research in these  
17 populations. Getting access to employers and  
18 employees to determine research needs and then  
19 carrying out research that's relevant to these  
20 new working situations I think is very  
21 difficult. That's why this meeting and others  
22 planned around the country is valuable. We're  
23 trying to access these people to see what are  
24 the research needs in a workforce that's very  
25 different than what we traditionally think of.

1 I'm going to close with just a couple of  
2 thoughts about things that we need to keep in  
3 mind as we develop the National Occupational  
4 Research Agenda. I think it's important to  
5 keep at least two things in mind. One, as I  
6 stated above, many workers no longer work in  
7 traditional workplaces. So I think it's  
8 important for the NORA to remain flexible  
9 enough to accommodate research needs in hard-  
10 to-reach working populations and in non-  
11 traditional workplaces. And it also has to  
12 remain flexible enough to accommodate future  
13 research needs that we can't really even  
14 imagine today, but may become apparent tomorrow  
15 or in six months from now or five years from  
16 now.

17 The second, and Max talked about the research  
18 to practice initiative, I think it's important  
19 that that be an expansion of the research  
20 mission and activities to include more applied  
21 research, but that it not be used as a shift  
22 from -- in research activities away from basic  
23 research. It's easy to lose sight of this and  
24 it's in many cases difficult to understand or  
25 translate the results of basic research in a

1 way that you can see how it applies to workers  
2 directly. But I think we can only make  
3 sustainable progress in applied research if  
4 it's based on and developed from strong  
5 foundations, and these strong foundations can  
6 only result from carefully planned and executed  
7 basic research.

8 So I'm looking forward to an interesting and  
9 productive meeting today, and again want to  
10 welcome everybody to UIC, and I think Lisa is  
11 also going to say a few remarks on behalf of  
12 the University of Minnesota.

13 **LISA BROSSEAU, UNIVERSITY OF MINNESOTA**

14 **DR. BROSSEAU:** I, too, would like to welcome  
15 you to cold Chicago -- although, coming from  
16 Minnesota, this is really not that cold. And  
17 I'd like to thank as well NIOSH for partnering  
18 with the Education and Research Centers, the  
19 three upper -- three of the upper midwest ERCs  
20 in Illinois and Michigan and Minnesota. And I  
21 thought we had some very productive  
22 discussions, and we took advantage of each  
23 other's knowledge and connections in ways that  
24 really paid off to -- quite a lot of people  
25 showing up here early in the morning, and I'm

1           pleased to see that. And I believe there'll be  
2           two -- at least two of our centers, key  
3           stakeholders, will be here and two of the  
4           faculty are here from Minnesota.

5           I'll say a few words about the past NORA  
6           agenda, the past NIOSH NORA. I think that in  
7           the past ten years this agenda has helped  
8           academic researchers focus on many of the  
9           important occupational hazards and employee  
10          groups who are most affected by those hazards.  
11          Our center has certainly been involved in NORA-  
12          related research in a variety of areas,  
13          including injury epidemiology, exposure  
14          assessment and modeling, small business  
15          interventions, biological aerosols, respirator  
16          performance, health services policies, and I'm  
17          sure I'm missing a number of important areas of  
18          our research.

19          It's also been an important piece of our  
20          center's research training activities in  
21          guiding the things that our students do in  
22          terms of research, as well as pilot projects  
23          and grants and symposia speakers and other  
24          areas that we've used the NORA agenda to guide,  
25          you know, where we do our research and who we

1 listen to about research.

2 I also have a few points I'd like to make as we  
3 go forward into the future, and they're not too  
4 dissimilar from those that Lorraine made. As  
5 we listen to people today I hope that we'll  
6 keep in mind there are many important  
7 stakeholders who won't be in the room at any of  
8 these meetings, and many of them are the ones  
9 that Lorraine mentioned. They are -- of course  
10 there are many, many employees and employers  
11 who won't be able to attend, and hopefully we  
12 will keep these people in mind as we are  
13 thinking about making an agenda that serves the  
14 full workforce.

15 We also -- I think I'd like to reiterate as  
16 well what Lorraine had to say. Flexibility is  
17 really important. We have a workforce and a  
18 workplace, both of which are changing very  
19 rapidly. And so hopefully what we create out  
20 of these meetings meets today's needs, but is  
21 also flexible enough to meet the future's needs  
22 before we come to make a new agenda.

23 Thank you, and welcome.

**INTRODUCTION TO RESEARCH AGENDA PROCESS**

24 **SID SODERHOLM, NIOSH**

25 **DR. SODERHOLM:** I'm Sid Soderholm. It's very

1 nice to see everyone here today. Let me talk a  
2 little bit more about NORA in general, but get  
3 to how we're going to do things today and deal  
4 with some of those details.

5 So as others have said, NORA is really based on  
6 some basic principles. It's a national  
7 partnership effort to define and conduct  
8 priority research, and some of those basic  
9 principles, as I see them, are that the NORA  
10 vision has always been to seek stakeholder  
11 input -- which is clearly why we're here today  
12 -- to identify research priorities. For many  
13 of us in occupational safety and health  
14 research we may not recall that 20 years ago  
15 there wasn't a clear set of priorities. And in  
16 the way the world works today, having  
17 priorities is very important.

18 The partnerships, working together to address  
19 the priorities, is key to NORA. And we're not  
20 just talking about the NIOSH budget as the way  
21 to accomplish this research. There are a lot  
22 of resources -- whether it's access to  
23 workplaces, foundation research money,  
24 expertise that can come from non-traditional  
25 places -- that are absolutely key to

1           accomplishing a lot of this research.

2           So those same principles carry from the first  
3           decade to the second decade of NORA. And what  
4           -- one of the big pieces that's being  
5           emphasized a lot more, and again, in today's  
6           world is absolutely essential, is moving  
7           research to practice.

8           And as the review took place over the last year  
9           or so -- actually before I joined the NORA  
10          effort at the national level -- the conclusion  
11          was that it was through sector-based  
12          partnerships that we could really move NORA  
13          into where occupational research --  
14          occupational health and safety research needed  
15          to be in this century. So the sector-based is  
16          a structural change in NORA in a very I think  
17          exciting way of trying to bring more people  
18          into defining the research that's needed and  
19          getting it done.

20          So what is the sector-based approach? Well, we  
21          still have basically the same problems, many of  
22          the problems that were most important ten years  
23          ago are still very important. So it isn't that  
24          the issues have changed. It's really how we're  
25          structuring our approach to dealing with those

1 issues. So the sector-based approach is to  
2 address the most important problems in each  
3 sector. And I'll talk about this list a little  
4 bit in a minute.

5 There are many different ways to define what  
6 the issues are, what the problems are, many  
7 ways of looking at it. The sector-based  
8 approach will result in one -- or maybe more  
9 than one, because sectors have sub-sectors --  
10 at least one research strategy for each of the  
11 eight major sector groupings that has been put  
12 to -- has been defined for the purposes of  
13 NORA, and we'll look at those groupings in a  
14 moment.

15 So we're moving to a research strategy, and  
16 those cross-sector needs that have always been  
17 there are still there, and they're not going to  
18 be lost in the sector approach to NORA. There  
19 are many issues that cut across many sectors,  
20 both in the way we look at the NORA priorities  
21 and the way we sort of advertise them and the  
22 way they're put out in grants, the way we index  
23 them on the website. You will find the cross-  
24 sector priority areas are still there.

25 So why sector-based? I talked about it a

1           little bit. People tend to think of themselves  
2           in terms of sectors. Many of the research  
3           needs differ across sectors. And the -- a  
4           sector approach allows us to really focus on  
5           getting the players together who can not only  
6           define the goals but do the research and handle  
7           the results, get the results out to workplaces  
8           where they can make a difference.

9           The sector approach facilitates partners,  
10          especially bringing in new partners from  
11          industry, from trade associations, from  
12          professional groups who just couldn't see  
13          themselves in the way the first decade of NORA  
14          was organized. The first decade was extremely  
15          successful, but we think by moving to the  
16          sector approach we can build on that success.  
17          And we think it's going to be an effective  
18          approach and an efficient approach for solving  
19          the worst problems.

20          So how is this going to happen? Just a little  
21          bit about process. In shorthand here you see  
22          the eight sectors. This afternoon we're  
23          focusing on the construction sector. If you  
24          visit the NIOSH website, the NORA website, and  
25          I'll give you that link here, you'll see that

1 we have taken the 20 or 21 census bureau  
2 definitions of sectors through the NAICS, the  
3 North American Industry Classification System,  
4 and we have grouped them into eight sectors.  
5 We learned last time that following 20 or 21  
6 different teams is just more than we can really  
7 do efficiently. So these eight sectors I think  
8 makes a lot of sense.

9 And yet there's going to be a lot of issues  
10 across sectors, and the cross-sector research  
11 council will be partly the sort of the board of  
12 directors, the executive committee for these  
13 eight sector research councils as they do their  
14 work, and partly will be the group that will be  
15 looking to draw out those cross-sector  
16 priorities and highlight them so they don't get  
17 lost.

18 So let me talk a little bit about the NORA  
19 sector research councils. They will have  
20 inputs from a number of places, and their main  
21 output is going to be a dynamic, ongoing  
22 research strategy that they champion and that  
23 they see is carried out.

24 So the initial work is going to be involved  
25 taking input. We certainly have good

1 surveillance data, although there are a lot of  
2 gaps in the surveillance data that tell us  
3 where some of the issues are, where the  
4 problems are, where there are high rates, where  
5 there are numbers of workers being injured and  
6 suffering from illnesses.

7 The members of the research councils will have  
8 their expertise that they bring to the table,  
9 but also there's going to be this very strong  
10 group of comments, the stakeholder input. And  
11 if you've visited the NORA website, you see  
12 there's an opportunity to type in or cut and  
13 paste in input there in text form. We're  
14 having these 12 town hall meetings and the  
15 information from here will be put into the  
16 docket. And people can mail material in, they  
17 can e-mail material in to the docket office,  
18 and I'll show you where to find the details  
19 about that. So the stakeholder input is going  
20 to be delivered more or less raw. It'll be  
21 categorized so we know what needs to go to each  
22 research council, but the words that are given  
23 to us will be given to the research councils  
24 for them to consider and for them to use as  
25 they set the research agenda.

1           So they'll go through a priority-setting  
2           process and come up with a draft research  
3           strategy for the sector that will be put on the  
4           web and will be available for comment and will  
5           be updated, and then an implementation plan  
6           worked on.

7           So there are a couple of ways in which you can  
8           participate. You're here to give your input  
9           today. You can volunteer for a research  
10          council. So your input today will be entered  
11          into the docket and displayed on the website,  
12          so we will parse it into the sectors, either  
13          because you've told us or what it appears to go  
14          into, and will be added to the website. If  
15          you've noticed, when you give input in the  
16          website, you can click "view comments by  
17          others" and see all the input that we've  
18          received that can be put up in text form on the  
19          website. All the input in the docket will be  
20          available in Cincinnati, if you happen to be  
21          there or can visit there. It's kind of the old  
22          -- old concept of public access these days.  
23          And the information will be provided to the  
24          sector research council, as I said, in -- as  
25          individual comments are grouped in broad

1 categories, but the words are going to be  
2 yours.

3 And the -- your input will be somewhat  
4 summarized and outlined and made available at  
5 the -- and discussed in a set of workshops in  
6 the NORA Symposium 2006. As Max mentioned,  
7 these happen -- this happens April 18 through  
8 20 in D.C. The workshops will actually be on  
9 the 20th.

10 And there is the website for the symposium  
11 itself. The abstract deadline has just passed  
12 on that, although with an e-mail to me or to  
13 Roger Rosa you might be able to get an abstract  
14 in yet. The number of abstracts has exceeded  
15 our expectations. We expect to have a very  
16 busy and productive symposium.

17 So focusing a little more on what we're doing  
18 here today, what -- when we ask for your input  
19 on top problems or top issues, what are we  
20 talking about? We might -- you might go at it  
21 from the point of diseases or injuries, or what  
22 are the excessive exposures we need to be  
23 dealing with, what populations are at risk.  
24 What are the failures of the occupational  
25 safety and health systems from, you know,

1 national down to workplace level? And there  
2 are probably other types of things. My point  
3 is that there's a broad -- a number of ways in  
4 which you can look at what the top issues are  
5 and approach this.

6 If you have ideas on who the key partners are  
7 to define, conduct and have this -- the results  
8 of this workplace be put into practice, please  
9 talk about that. Maybe you have ideas about  
10 what types of research will make a difference.  
11 We're asking for very brief presentations  
12 today. Now -- and we've got a full schedule,  
13 so we're going to have to be a little bit rude  
14 perhaps if -- if people have more to say than  
15 the time allows. But please, if you have a  
16 copy of your comments, leave it at the front  
17 table with, you know, any identifying  
18 information you want to put on it. We will  
19 enter the whole thing into the docket and then  
20 tie that to the part of your comments you were  
21 able to -- the highlights you were able to give  
22 us verbally, so that can all go in the docket.  
23 If you have a couple of copies, if you -- you  
24 can give one directly to our transcriptionist,  
25 Ray Green. He always appreciates getting the

1 right spellings of names and those kinds of  
2 things and not having to chase us down later  
3 and find those. But -- so today is just giving  
4 us a flavor of the input that you have. You  
5 came here to give input. It's worth coming out  
6 on a cool day. A lot of people -- I came from  
7 New York State so it's only a cool day, Max. A  
8 lot of people are -- have a lot more that they  
9 can provide, so please, please do provide that  
10 to us. You can provide it on the website. If  
11 you have pictures and tables that don't type  
12 into those little boxes well, then you can e-  
13 mail those to the docket. That information is  
14 on the website of how to do that. Or you can,  
15 you know, go back to the old way of doing  
16 things. The Post Office still is very  
17 reliable.

18 So today is just a flavor. This is not  
19 everything you -- this is not your only chance  
20 to have your input.

21 If we do have time at the end of the sessions,  
22 we will call for people who were, you know,  
23 maybe moved by something that was said, that  
24 would like to come up and say something more.  
25 If we do that, then please -- you know, we're

1 here to listen and to hear other people's  
2 opinion, so avoid criticism of other  
3 presenters, but please do, you know, offer a  
4 different opinion if yours is different, or a  
5 supporting opinion. So that is one of our  
6 ground rules for today. If we get to the --  
7 hopefully we'll have time for a little  
8 discussion, feedback, and I've-got-an-idea-I-  
9 didn't-have-before sort of things.

10 So I keep talking about the website. I finally  
11 got there. I do have -- I'm running out of  
12 cards. I've never done this before. A box of  
13 500 always lasts me for years, but in this job  
14 I actually use cards, so the ones I have left  
15 are on the table. I'm getting more, so don't  
16 be bashful, take all you want. And on the back  
17 is the important part. My name's on the front.  
18 The important part is the website for NIOSH e-  
19 news. If you want to follow what's going on in  
20 NORA, there's a couple of hundred words we put  
21 in every month into the NIOSH newsletter that  
22 comes to your mailbox. You can ignore it if  
23 you want; it's easy to get rid of, doesn't  
24 clutter up your wastepaper basket. And -- but  
25 if you read it, you'll learn what's going on in

1 NORA and in NIOSH as a whole. So all you have  
2 to do is go to that website, type in your e-  
3 mail address and you can receive this monthly  
4 newsletter.

5 Please do provide additional input. There's  
6 the main NORA web page in the middle so it's  
7 [www.cdc.gov/niosh/nora](http://www.cdc.gov/niosh/nora). And you can provide  
8 input, you can learn about the symposium, you  
9 can read comments by others, you can read a  
10 description of NORA and what's happening, so  
11 please -- please visit that website. And if  
12 you have any questions you can either use my  
13 direct e-mail address that's on my card or  
14 maybe it's easier to remember  
15 [noracoordinator@cdc.gov](mailto:noracoordinator@cdc.gov) and please -- please  
16 contact us. And I think that's the end of what  
17 I wanted to say. We won't go into the extras.  
18 So -- so I -- with no further delays, let me  
19 introduce a couple of people. I mentioned Ray  
20 Green, our transcriptionist. He's working very  
21 hard and will give us a verbatim transcript, so  
22 what you say will be in the docket for public  
23 view and on the website.

24 And Ann Berry is our timer. She's really a  
25 very nice person, but she'll be rather mean

1           today, so we're -- we're asking her to do that  
2           for the good of all of us, and so we'll  
3           appreciate her efforts.

4           And we have a guest here today -- I'll mention  
5           it again later -- Jamilla Rashid from CDC is  
6           here. Jamilla is actually on the team of the  
7           CDC research guide, and I'll talk a little bit  
8           more about that later, but you'll see it out on  
9           the table and there's opportunities for input  
10          there, too.

11          So let's take about 30 seconds here and we'll  
12          get -- the way we're going to set this up,  
13          maybe our panel can come up. We have our  
14          cosponsors and I'll be sitting up at this  
15          table, and our presenters will be presenting to  
16          us and to you. We will ask our -- I believe  
17          Lorraine will be our moderator and she'll set  
18          the ground rules, but be prepared for a few  
19          people to come up at a time and take your turn  
20          talking into the mike, please, to give your --  
21          to give your input, and we will get underway,  
22          so...

**REGIONAL AND LOCAL STAKEHOLDER PRESENTATIONS**

23          **MODERATOR: LORRAINE CONROY**

24                 **DR. CONROY:** Okay, we're going to try to put  
25                 the screen up. We're going to get situated,

1 but the first group I'm going to call up is for  
2 a group of four people, so Rochelle Davis, Tim  
3 Leahy, Michael Perry and Jim Buskus, if you  
4 could come up and sit at this table and you'll  
5 each make your presentations from here, and  
6 then we'll bring up the next group of four  
7 after that, so -- and in the meantime I'm going  
8 to try and figure out how to get the screen up.

9 **DR. SODERHOLM:** Let's see, Neil, are you  
10 available to put the screen up, please?

11 (Pause)

12 **DR. CONROY:** Good morning, and thank you all  
13 for being here. As Sid pointed out, each of  
14 you will have about five minutes to present.  
15 We're hoping that people will keep their  
16 comments to five minutes. We have a number of  
17 people that are waiting to give input. Again,  
18 Ann will be the timekeeper, so as you're speaking  
19 if you could just keep an eye out for her,  
20 she'll give you a hand signal when you have one  
21 minute left. And we're going to try and keep  
22 everybody on schedule. Again, you have  
23 opportunities to submit more detailed testimony  
24 in writing.

25 So we're going to start with Rochelle Davis

1 from the Healthy Schools Campaign.

2 **MS. DAVIS:** Thank you for allowing me to  
3 discuss the National Occupational Research  
4 Agenda. My name is Rochelle Davis and I'm the  
5 founding Executive Director of the Healthy  
6 Schools Campaign, the primary advocate for  
7 school environmental health issues in Illinois.  
8 Our mission is to advocate for policies and  
9 model programs that allow students and staff to  
10 learn and work in a healthy school environment.  
11 We have two program areas, environmental health  
12 and school food. My testimony today will  
13 address what we see as the important role that  
14 NORA can play in promoting healthier staff and  
15 students.

16 An estimated 20 percent of the population  
17 spends their days in elementary and secondary  
18 school buildings, yet this critical component  
19 of our national infrastructure is crumbling.  
20 School buildings in every state, county and  
21 city in the country have environmental problems  
22 that adversely affect the health, well-being  
23 and productivity of staff and students.  
24 One important component of school environment  
25 affecting health and productivity is indoor air

1           quality. Studies reveal an alarming percentage  
2           of schools with facility problems that relate  
3           to indoor air quality. Sources of indoor air  
4           quality problems include VOCs emissions from  
5           furnishings and materials, mold infestations,  
6           chemical emissions from improper use or storage  
7           of maintenance products or educational  
8           supplies, insufficient fresh air due to poorly  
9           designed or maintained ventilation systems or  
10          to overcrowding, the entry of pollutants from  
11          outside due to improper siting or design of  
12          ventilation systems, and high radon levels.  
13          Indoor air quality problems can also result  
14          when asbestos or lead in building materials is  
15          distributed during repair or renovation  
16          activities.

17          In 1995 the U.S. General Accounting Office  
18          survey of 10,000 schools found that  
19          approximately 27 (sic) reported unsatisfactory  
20          ventilation, and almost 22 percent reported  
21          unsatisfactory indoor air quality generally.  
22          With about 20 percent of the U.S. population  
23          spending their days in elementary and secondary  
24          schools, the potential health, comfort and  
25          productivity impacts of poor indoor air quality

1           are considerable.

2           The effect of poor indoor air quality on

3           health, learning and general well-being are

4           wide ranging and include allergies, asthma,

5           increased rates of infectious disease, chronic

6           headaches and a variety of respiratory

7           diseases. Asthma, a condition that can be

8           triggered by mold, cockroach dander and a

9           number of environmental conditions in schools,

10          has become the leading cause of school

11          absenteeism due to chronic illness.

12          There are existing best practices to address

13          poor indoor air quality. Green Cleaning can

14          reduce the use of toxic chemicals in cleaning

15          programs. Integrated Pest Management protocol

16          reduces the use of pesticides in schools'

17          environment. Anti-idling procedures reduce the

18          toxic exhaust caused by the idling of diesel

19          buses. Safe chemical management protocol can

20          reduce the use of toxic chemicals used in

21          curriculum. The USPA (sic) has taken the best

22          practices and developed *Tools for Schools*. The

23          EPA will soon launch a new tool called "Healthy

24          Seat", which provides a more sophisticated

25          management tool.

1           However, few schools employ these best  
2           practices. Research dollars should be spent  
3           addressing the research to practice gap. While  
4           much is known about why schools do not embrace  
5           best practices, little has been done to explore  
6           effective strategies for bridging the research  
7           to practice gap. A couple of examples of  
8           particular interest to us is to examine the  
9           role that school nurses can play in promoting  
10          indoor air quality-related best practices.  
11          Also of interest to us are projects which  
12          examine the effectiveness of school/community  
13          partnerships in improving the school  
14          environment. Currently Healthy Schools  
15          Campaign is engaged in an NIEHS-funded project  
16          which explores the role that community  
17          organizations can make in improving the school  
18          environment. The research aims of the project  
19          include the development of a common language  
20          between "professionals" and "community members  
21          and parents" that will be used to motivate  
22          school administrators to take action to improve  
23          the school environment.  
24          Thank you very much for the opportunity to  
25          share our perspective with you. If you want

1 more information, we're available to share our  
2 research ideas with you in greater detail.

3 **DR. CONROY:** Thank you. Tim?

4 **MR. LEAHY:** Good morning. My name's Tim Leahy.  
5 I'm the Secretary-Treasurer of the Chicago  
6 Federation of Labor, AFL-CIO. On behalf of our  
7 President Dennis Gannon, our executive board,  
8 the 321 affiliated unions and more than 500,000  
9 union members of the Chicago Federation of  
10 Labor, it is a pleasure to be here before you  
11 today and offer some public comment on NIOSH --  
12 NIOSH's role and mission.

13 I would like to thank our Chicago and NIOSH  
14 partners -- Lorraine Conroy, Dr. Rose Sokas,  
15 Leslie Nickels, Joe Zanoni -- they do a great  
16 job here in Chicago and we're very proud to  
17 work with them.

18 In the Chicago Federation of Labor we're not  
19 just a casual stand-by observer. The Chicago  
20 Federation of Labor, through our affiliates,  
21 raised -- we raised a significant amount of  
22 money to create an endowment on behalf of our  
23 former president, Michael Bruton\*, that yearly  
24 -- every year hands out grant money to do  
25 research in occupational health and safety.

1 I come here today simply to comment on the  
2 scope and breadth of NIOSH. Simply put, I  
3 believe NIOSH is not looking far enough, deep  
4 enough, and in many cases is missing entire  
5 segments of the working population. The world  
6 we work in has changed dramatically. The look,  
7 the complexion of our workforce is also  
8 changing. While there remain many, many good  
9 ethical, successful employers who choose the  
10 high road, there remain far too many employers  
11 who, through their sheer greed, simply choose  
12 the low road to conduct their business.  
13 The benchmarks of a high road employer would  
14 include the following characteristics. He's  
15 aware of the community in and around where he  
16 does -- he or she does business and invests in  
17 that community; provides health care for  
18 employees and their families. Of course I know  
19 -- the labor -- we know how difficult this is.  
20 It's how we end up striking over every contract  
21 negotiation, it's health care. But as long as  
22 an employer is constantly attempting to provide  
23 some type of realistic health care coverage,  
24 then they're trying. Stays out of decisions  
25 that are solely made by employees when it comes

1 to a decision to form a union, and provides  
2 some type of pension/retirement benefits.  
3 Benchmarks of a low road employer would be  
4 provides no health and welfare benefits for  
5 employees. At the expense of his employees he  
6 is constantly contracting out, continuing to  
7 hire temp workers, workers from day labor  
8 agencies; interferes unlawfully with union  
9 organizing drives.

10 Why is this important? Because unfortunately  
11 in this current economy we are seeing a  
12 disturbing growth of low road employers.

13 Between perma-temping, day labor,  
14 privatization, growth of illegal and unethical  
15 use of immigrant labor, the workforce that we  
16 see today works in a much more dangerous  
17 environment. And if our government statistics  
18 on safety in workplace do not corroborate this,  
19 then I strongly suggest they're looking in the  
20 wrong places.

21 Organized labor has long been an advocate for a  
22 safer work environment, not just for union  
23 members, but for every worker. The Chamber of  
24 Commerce is not going to sit up and stand up  
25 for a safe workplace, but the labor movement

1 will. Every single law ordinance that pertains  
2 to protecting workers on the job was pushed by  
3 -- advocated for the labor community. The  
4 inertia, (sic) momentum and pressure put forth  
5 to pass safety laws in our country did not come  
6 from the business community; rather from  
7 organized labor and the communities where work  
8 takes place.

9 While scientific research on how chemicals,  
10 toxins, air qualities are important to  
11 protecting our environment, I believe NIOSH  
12 must be much more diligent in reaching out to  
13 labor community groups to study what exactly is  
14 happening in the immigrant worker population.  
15 Whether the sector is manufacturing,  
16 construction, transportation, hospitality or  
17 retail, I believe the injuries and industrial  
18 disease affecting our workforce are dramatic  
19 and unreported. Between the pressures on  
20 employers from insurance companies not to  
21 report, aligned with the fear of a worker,  
22 especially an undocumented immigrant, to report  
23 an extremely dangerous -- to report a disease  
24 or an injury makes for an extremely dangerous  
25 environment, an environment that promotes not

1 reporting making a work-- an environment that  
2 makes not reporting commonplace in our  
3 workplaces. This makes our workplaces more  
4 dangerous.

5 This is a complex and dangerous situation and  
6 will require time and resources to set it  
7 straight. But more importantly, it will  
8 require the will to do what's right. It will  
9 require a will to begin asking more questions  
10 and questioning basic assumptions. It will  
11 require the will to begin reaching out to the  
12 labor community, immigrant community, the  
13 religious community, the civil rights  
14 community. This problem will not be solved by  
15 one entity alone, but rather from a true  
16 partnership of the above organizations.  
17 The business community must once again take  
18 ownership of how it operates in our  
19 communities. Why is it when we have an  
20 excruculous (sic) day labor agency that  
21 provides no health care insurance that it  
22 provides -- that it sets up a dangerous work  
23 shop, that it's only the labor and religious  
24 community that stands up and protests. Where  
25 is the business community?

1           Once again, to fully attempt to make our  
2           workplaces safer, NIOSH must step up and more  
3           fully reach out to the labor and communities.  
4           Through NIOSH's efforts, if more low road  
5           employers become high road employers, then you  
6           will see a dramatic turnaround ensuring the  
7           safety of our workplaces.

8           Thank you for the -- the opportunity to comment  
9           publicly.

10          **DR. CONROY:** Thank you. The next speaker is  
11          Michael Perry.

12          **MR. PERRY:** Thank you. I'm Mike Perry,  
13          Director of Education and Employee Involvement  
14          for AFSCME Council 31. Occupational safety and  
15          health for the public sector, particularly  
16          state and local government workers, continues  
17          to be a major area that does not receive the  
18          attention it needs and deserves. Nearly 20  
19          million workers are employed by state and local  
20          governments, roughly 15 percent of the non-  
21          farm, civilian workforce in the country.  
22          According to the Bureau of Labor Statistics,  
23          there were 5,703 fatal workplace injuries in  
24          2004, of which 525, or nine percent, involved  
25          state or local government workers. Thousands

1 more die each year from occupational disease,  
2 and hundreds of thousands suffer injuries that  
3 result in time away from work -- in all too  
4 many cases, permanently.

5 Despite doing some of the most hazardous work  
6 in this society, public employees were excluded  
7 from the Occupational Safety and Health Act  
8 when it was passed 35 years ago. Today only 24  
9 states have federally-approved state OSHA  
10 programs that cover state and local workers.  
11 Today I want to bring just a few of the serious  
12 hazards facing our members of public service  
13 workers generally to your attention. There's  
14 an epidemic of workplace violence in this  
15 country. The public is aware of the risks to  
16 law enforcement personnel and late-night retail  
17 establishments, but there's an unseen war going  
18 on in workplaces where our members work, as  
19 well. In correctional and mental health  
20 facilities assaults are a daily occurrence. As  
21 government budgets get squeezed ever tighter,  
22 staffing shortages increase the danger to the  
23 workers. In addition to the staffing issues,  
24 the reasons for violence are already well-  
25 known.

1           What's missing is a solid body of research that  
2           documents the efficacy of various solutions.  
3           There are many strategies and workplace  
4           violence prevention guidelines that have  
5           already been developed by federal and state  
6           OSHA programs, such as in California and  
7           Washington. However there has been a lack of  
8           research to evaluate what works best.  
9           Intervention research to assess the impact of  
10          workplace prevention guidelines is a glaring  
11          topic in need of further study.  
12          Ergonomic problems continue to top the list of  
13          workplace risks. Patient lifting and moving  
14          puts direct care workers in nursing homes and  
15          other direct care settings in the unenviable  
16          position of having the highest rates of  
17          musculoskeletal disorders year after year.  
18          Adequate staffing, lifting equipment, no-lift  
19          policies are all known to dramatically reduce  
20          and even eliminate these preventable injuries.  
21          Yet despite the evidence of cost effective  
22          injury prevention measures, employers too often  
23          fail to take appropriate measures.  
24          Back and other injuries are an important cause  
25          of the high turnover. If there are tried and

1 true methods to control ergonomic risk factors  
2 that also save large sums of money, the  
3 question that remains to be answered is why are  
4 these recognized injury prevention strategies  
5 not being implemented? It would be instructive  
6 to know why there is such resistance to  
7 adopting ergonomic programs. Besides the lack  
8 of strong federal or state mandates, what other  
9 factors are at work?

10 A long-neglected occupational group in terms of  
11 research is sanitation workers. They face a  
12 wide spectrum of biological and chemical  
13 exposures in the refuse they collect. They're  
14 exposed to extremes of heat and cold, UV  
15 radiation and other physical hazards. They are  
16 maimed and killed by faulty equipment. Some  
17 are killed when falling off the truck, by  
18 passing traffic or crushed by their vehicles.  
19 I'd like to point out that there's an ever-  
20 growing number of workers in non-traditional  
21 jobs who all too often never appear on the  
22 research radar screen. These are home health  
23 care workers who work in the homes of the  
24 elderly; personal assistants who provide vital  
25 support to individuals with disabilities in

1           their homes, at school, in their jobs; and  
2           family child care providers who care for the  
3           children of others in their homes. These  
4           workers are too often injured due to the  
5           physical strains of their job. They are at  
6           particular high risk for back injuries, as well  
7           as repetitive motion injuries and falls.  
8           There's a critical need for research into the  
9           causes of the health and safety hazards such  
10          workers confront and what can be done to reduce  
11          their risks.  
12          Finally I want to mention another pressing area  
13          of research. The nation is facing the  
14          possibility of an avian influenza pandemic, yet  
15          our health care workers and emergency  
16          responders have still not been provided with  
17          the equipment and resources they need to  
18          protect themselves to avoid -- and to avoid  
19          infecting their families at home. For example,  
20          the Health and Human Services Pandemic  
21          Influenza Plan recommends a surgical mask for  
22          respiratory protection. Its recommendation is  
23          based on the assumption that transmission is  
24          primarily via large droplet nuclei. However,  
25          the plan admits it does not have definitive

1 scientific evidence to support this claim. It  
2 does not address the issue of the evaporation  
3 and breakdown of droplets into respirable-sized  
4 particles within matters of seconds, or even  
5 fractions of seconds, after they are expelled  
6 through sneezing, coughing or even in talking.  
7 Surgical masks are not respirators. They  
8 cannot filter out droplet nuclei, and they  
9 cannot achieve the tight seal against the  
10 wearer's face. More research is needed on the  
11 airborne risk of transmission of influenza and  
12 other potentially lethal pathogens.

13 In conclusion, I mentioned just a few of the  
14 many serious hazards that are taking a huge and  
15 unnecessary toll on state and local government  
16 workers. Research is important not only to  
17 quantify the nature and magnitude of the  
18 problem. Documenting hazards and solutions  
19 provides workers and this union with the  
20 evidence we need to obtain stronger health and  
21 safety rights through laws, government  
22 policies, collective bargaining, labor  
23 management committees, in arbitration and other  
24 forums.

25 Thank you for this opportunity to express our

1 concerns.

2 **DR. CONROY:** Thank you. Our final speaker of  
3 this panel is Jim Buskus.

4 **MR. BUSKUS:** My name is Jim Buskus, a retired  
5 member of UAW, Local 719, and previously a UAW  
6 health and safety rep for General Motors,  
7 electromotor division, outside of Chicago. I  
8 have 19-plus years of safety experience in the  
9 manufacturing environment and have held a CSP  
10 for the past ten years.

11 First let me say the UAW supports NIOSH in its  
12 efforts to protect workers against hazards.

13 I'm here to speak about priorities for the  
14 occupational health and safety research in the  
15 manufacturing sector. First let me say the UAW  
16 -- oh, I'm sorry. I'm here to speak about the  
17 priorities for the occupational health and  
18 safety based on the experiences of the UAW at  
19 the national and local levels.

20 The UAW has put our money where our mouth is in  
21 the support of research. We have negotiated  
22 and jointly administered research funds in the  
23 U -- in General Motors, Ford and Chrysler  
24 starting in 1984. Millions of dollars were  
25 spent and around 100 publications produced. We

1           also launched small efforts at International  
2           Truck, NUMI\* and other locations.  
3           The most important goal of research is to  
4           identify gaps in protection, situations where  
5           workers are getting sick or getting injured  
6           under current conditions. This can be done --  
7           this can be because an exposure permitted by  
8           standards is making people sick. As a health  
9           and safety representative out on the plant  
10          floor, I can tell you how often my own eyes  
11          burned, heads ached, skin became irritated, and  
12          then the industrial hygienist came and said  
13          that the exposure's within the OSHA limits.  
14          Health effect research, including injuries, is  
15          the most important thing NIOSH can do, and it  
16          is something only NIOSH can do. Industry only  
17          pays for health effect research after some  
18          other investigators have found a problem and  
19          the industry is convinced it will make costs go  
20          away. Sometimes there's a gap in protection  
21          because the methods of controlling exposure is  
22          unknown or a more efficient method of  
23          controlling is needed. But this is much less a  
24          priority than showing an exposure is causing  
25          people to get sick or injured.

1 Here are four issues we need NIOSH and other  
2 agencies, academics and management to address:  
3 First, we know the workers in machining plants,  
4 foundries, even vehicle assembly plants are  
5 still dying early from cancer and respiratory  
6 diseases. We need to know more about whether  
7 these chemicals that they're still -- that they  
8 are still -- risks exist.

9 Second, ergonomics still causes half the  
10 injuries in our workplace. We need to know how  
11 much exposure is too much exposure.

12 Third, we learn that severe and fatal injuries  
13 are concentrated among skilled workers doing  
14 maintenance and repair work. We need to  
15 understand better how to measure the exposures  
16 and job characteristics that cause these  
17 fatalities.

18 Finally, we need to measure the work-related  
19 stresses, including the stress of working in  
20 pain from ergonomic injuries, which causes high  
21 blood pressure and mental illness.

22 Thank you very much.

23 **DR. CONROY:** Thank you. What we're -- we're  
24 going to continue on with the next four  
25 panelists at this point, so I'm going to thank

1           this group for their input and invite Myra  
2           Glassman, Linda Forst, Jose Oliva and Brian  
3           Devlin to the platform.

4                                 (Pause)

5           We'll start with Myra.

6           **MS. GLASSMAN:** Hi, I'm Myra Glassman. I'm the  
7           Field Director with Service Employees  
8           International Union, Local 880. We're a union  
9           of home health care and home child care  
10          providers in Illinois, roughly about 30,000  
11          members in home health care and about 50,000 in  
12          home child care in Illinois.  
13          Most of our members that work in home care work  
14          through two state agencies, Department on Aging  
15          and the Office of Rehabilitation Services,  
16          Department of -- Department of Human Services.  
17          Roughly -- between the two, probably 40,000 to  
18          50,000 workers that care for elderly and people  
19          with disabilities that get those services by  
20          being Medicaid-eligible.  
21          Our members -- Helen will explain in more  
22          detail, but our members do a variety of home  
23          tasks and personal care tasks -- you know,  
24          anything from cooking, cleaning, shopping, to  
25          giving baths, changing diapers, coming in a lot

1 of contact with bodily fluids -- and Helen can  
2 detail. We see as probably some of the top  
3 problems is that there's really nobody taking  
4 bottom line for training and providing  
5 equipment for these thousands of workers, so  
6 there is some training provided through in-  
7 services at private companies that contract  
8 with the Department on Aging, some companies  
9 that are taking on more responsibility for  
10 doing that and providing equipment, but we've  
11 had to organize to get those things. So when  
12 we started there was really no such thing as  
13 giving out gloves or maybe even talking about  
14 universal precautions and, through organizing,  
15 we were able to get that going. It always  
16 seems to be a problem of funding mainly, like  
17 who's going to pay for those kind of trainings.  
18 And a lot of the in-services, if the speaker  
19 doesn't provide that service for free, they're  
20 usually not invited. So sometimes the quality  
21 of that training is a problem.

22 And a lack of equipment is also a funding  
23 issue. I mean our members a lot of times  
24 provide their own out of their average wages,  
25 which before we started organizing was minimum

1 wage -- some were making as little as a dollar  
2 an hour because they were considered  
3 independent contractors -- to an average wage  
4 now of \$7 to \$9 an hour. So to have to provide  
5 your own gloves and other equipment is a real  
6 issue.

7 There's no health insurance for a majority of  
8 the workers, so that is something we're  
9 organizing to win, and have won it for some  
10 small amount of workers. But for the majority  
11 of workers, there's no access to health  
12 insurance. So to get hepatitis shots or other  
13 kind of things that can keep them healthy,  
14 that's a real struggle. And a lot of times  
15 workers go to work ill because they'd lose a  
16 day's pay if they don't.

17 And probably, you know, the biggest issue is  
18 just that this workforce just has not been  
19 studied. And we're very fortunate to be  
20 involved with Dr. Rosie Sokas and Joe Zanoni  
21 and Leslie Nickels in the home care bloodborne  
22 pathogen study which is now underway to really  
23 study this workforce and see what the exposures  
24 are because there's really -- literally, if you  
25 put home care workers and the people they

1 assist together, there's hundreds of thousands  
2 of people that are at risk every day. So we  
3 appreciate being part of that and we're looking  
4 forward to the results of that study.

5 I'd now like to turn it over to Helen Miller,  
6 who is president of Local 880 and has been a  
7 home care worker since 1979, to tell you a  
8 little bit about her story.

9 **MS. MILLER:** Well, Myra almost told you who my  
10 name was. I'll just say it again. My name is  
11 Helen Miller and I've been a home care worker  
12 for 25 years, and I want to tell you a little  
13 bit about what I did as a home care worker.  
14 And when I say what I did, 'cause I just lost  
15 my last client in October.

16 So the first thing you do when you go in in the  
17 morning, you have to get them up out of bed and  
18 get them to the bathroom and get them dressed.  
19 And I'm kind of -- I'm going to kind of talk  
20 like two different clients. Okay.

21 The first clients, when I was there with her,  
22 she was a diabetic so she had needles. So you  
23 was always conscious of where the needle are  
24 and she was partially blind so you have to  
25 watch out for where she put her needles or how

1           you dispose of her needles. And I had to give  
2           her a bath. And as the time went on, her  
3           disease got worse, so she had a major stroke.  
4           So when she came home she had no control. So  
5           you understand what I'm saying, no control? So  
6           I -- she couldn't furnish the gloves, so I had  
7           to furnish my own gloves.

8           And I don't know what kind of mask would -- I  
9           didn't know exactly what the safety of masks  
10          was, but I used to use the one that I used to  
11          clean my house with. I used those masks to  
12          kind of protect myself and I bought my own  
13          gloves.

14          So that was one patient. Then I had another  
15          patient that I just lost. She was a patient  
16          that -- she wasn't completely bed rest, but I  
17          had to go in and first thing I had to do was to  
18          wash her up. I also had to clean her mouth,  
19          you know, because she couldn't -- she had no  
20          incentative (sic) of doing anything for  
21          herself. Also -- and she also wore Depends so  
22          I had to do that. But I -- and I had gloves  
23          for -- I -- you know, the family did support  
24          gloves for that. And she was a patient that I  
25          had to take her to the bathroom. I had to get

1 her up off of the bed. She could walk, so I  
2 had to walk her to the bathroom, but then you  
3 got to help them get on the stool, off the  
4 stool, on the bed, so you have a chance of  
5 hurting your back. And at that time they  
6 didn't have Hoyers, so you know, it's difficult  
7 to lifting a patient up and down from one place  
8 to the other one.

9 Let me see what else I could think of. And I  
10 think that's about -- I think that's about it  
11 as the work I did.

12 **DR. CONROY:** Thank you. I think we'll move on  
13 to Linda.

14 **DR. FORST:** Good morning. My name is Linda  
15 Forst. I'm currently a practicing physician  
16 and associate professor here at UIC School of  
17 Public Health. I'm a long-time fan of NIOSH,  
18 having done my occupational medicine training  
19 at an ERC in the 1980s consulting on projects  
20 while practicing in my first job in Cincinnati.  
21 And becoming a faculty member within the  
22 Illinois ERC in 1991, I directed the  
23 occupational medicine core training program  
24 here at UIC for seven years. And I've been a  
25 beneficiary of NIOSH research funding through

1           its extramural programs.

2           I have been a program evaluator and grant  
3           reviewer for NIOSH, and I've been on the  
4           receiving end of those activities, as well. I  
5           greatly appreciate your giving me and my  
6           midwestern colleagues the opportunity for input  
7           into the National Occupational Research Agenda  
8           for the next decade.

9           My research interests lie in the areas of  
10          occupational injury, injury and illness  
11          surveillance, and vulnerable populations.  
12          First, occupational injury.

13          I was recently at the APHA, American Public  
14          Health Association, conference in Philadelphia  
15          where I heard Dr. Hunt and others from the CDC  
16          injury prevention group talk about their  
17          response to Katrina. They talked about the  
18          impact that they had on citizens, and  
19          specifically relief workers. On asking what  
20          role NIOSH had in their work, Dr. Hunt  
21          responded that after they wrote injury  
22          prevention documents for New Orleans, they gave  
23          them to NIOSH to review.

24          Clearly NIOSH has a long history of expertise  
25          in workplaces, and in addressing worker efforts

1           in the aftermath of unexpected disasters. I  
2           heard an anecdote about the Exxon Valdez oil  
3           spill near Alaska. NIOSH's evaluation of the  
4           rock cleaning activity after that disaster led  
5           to the recommended use of techniques from  
6           roofing operations where it was deemed best to  
7           clean from the top downward, collecting oil and  
8           debris at the bottom of the rocks. This  
9           adaptation prevented slipping on the part of  
10          the cleanup workers.

11          Dr. Hunt's response at APHA made me concerned  
12          about turf being more important than  
13          prevention. I'd like to see NIOSH better  
14          recognized within the CDC, and nationally, for  
15          its expertise. Better publicized research in  
16          the area of injury control during disasters, or  
17          maybe simply getting in their face, is  
18          important.

19          Next I'd like to talk about occupational  
20          surveillance. Clearly NIOSH should be on the  
21          cutting edge, promoting higher quality data  
22          collection, analysis and dissemination in the  
23          states, and facilitating efforts on the part of  
24          state health departments and workers  
25          compensation commissions. NIOSH could explore

1           and assist in data linkage techniques, and in  
2           addressing confidentiality concerns in a global  
3           way that can be helpful to getting data from  
4           state databases into the public domain.  
5           At present NIOSH requires a competitive  
6           application for funding of surveillance  
7           projects, looking for creativity and  
8           grantsmanship to decide which of these programs  
9           is worthy. If the goal of NIOSH is to  
10          summarize surveillance results from the 50  
11          states, NIOSH should provide a template and  
12          support to the states on a non-competitive  
13          basis, in much the same way that infectious  
14          disease divisions prioritize data collection on  
15          infectious sentinels.  
16          A third area that I believe requires intense  
17          focus is that of vulnerable populations.  
18          Immigrant workers in agriculture, construction,  
19          manufacturing and service appear to be at  
20          tremendous risk, with numbers and rates of  
21          illness growing dramatically, demonstrating a  
22          clear occupational health disparity as rates  
23          appear to be declining the U.S. workforce  
24          overall. The informal sector which overlaps  
25          immigrant workers is also an employment setting

1           that requires intense scrutiny for ways to make  
2           inroads into injury and illness prevention.  
3           The proposed NORA agenda to focus on single  
4           economic sectors, like construction, may  
5           obscure the global issues for vulnerable  
6           populations since their problems cut across  
7           economic sectors. In general, I'm concerned  
8           that listing single sectors as NORA agenda  
9           items will create inefficiencies and barriers  
10          to studying these cross-country occupational  
11          health sentinels in worker categories.  
12          To end, I want to note two outcomes of the  
13          prior NORA that have affected me very  
14          positively. I want to commend NIOSH's work on  
15          the research to practice and intervention  
16          effectiveness. Your clear guide -- this clear  
17          guide sits on my top shelf, ready for use when  
18          I'm planning a project, teaching a student or  
19          writing a manuscript. I support continued  
20          refinement of techniques to research, publicize  
21          and disseminate interventions that work, and I  
22          look forward to more of this from NIOSH in the  
23          new NORA initiative.  
24          I also want to thank NIOSH for the NORA pilot  
25          projects program which has launched research

1 careers for many trainees and junior faculties  
2 at our institution. I encourage continuation  
3 of this form of extramural funding.

4 Thank you for allowing me to testify today.

5 **DR. CONROY:** Thank you. Okay, Brian Devlin  
6 will be the last speaker in this group.

7 **MR. DEVLIN:** My name is Brian Devlin and I'm a  
8 registered physical therapist that consults to  
9 the long-term care industry. I've consulted  
10 the long-term care industry for the past ten  
11 years, and my wife is a PT in long-term care  
12 industry, so we -- we've lived and breathed  
13 this industry for the past ten years.

14 And the long-term care industry is in a crisis  
15 mode right now in the area of employee safety.  
16 I've been formally trained in ergonomics. I've  
17 been formally trained in the science of patient  
18 and resident handling. And I can tell you that  
19 I can't go onto a long-term care facility floor  
20 at any given point and use proper body  
21 mechanics 100 percent of the time. It is a  
22 impossibility, physiologically and  
23 anatomically.

24 And there's many reasons why, but the most  
25 important reason to realize is that residents

1           and patients are dynamic weights. It requires  
2           the caregiver or the direct care person to  
3           constantly assess or change the process by  
4           which they handle and lift residents. And  
5           coupled with the fact that there are resident  
6           diagnosis issues, there's hygiene issues, there  
7           are many issues that prevent a person from  
8           using proper body mechanics or ergonomics all  
9           the time.

10          That aside, my son asked me a very poignant  
11          question. He's six years old and he had to  
12          prepare what his mother and father did for --  
13          for their jobs. Well, my wife being a physical  
14          therapist, it was a very easy conversation.  
15          But for myself, I had to explain to him why I  
16          go into businesses and health care facilities  
17          to try to prevent workers from being injured.  
18          And he asked a very good question: Why do  
19          these people have the opportunity to be  
20          injured? And if a six-year-old can ask that  
21          question, it begs -- it begs us to ask the same  
22          question and come up with a rational answer.  
23          And I couldn't -- I started listing out all the  
24          answers, but him being six, he of course  
25          couldn't understand that. This will all make

1           sense why I have no hair, I'm sure, now. But  
2           he -- aside from that, we -- we ended the  
3           conversation -- he said I think I'll just be a  
4           farmer and wear a hard hat.

5           And I wish it was that simple for the long-term  
6           care workers that are exposed to an increase in  
7           the acuity levels of the residents dramatically  
8           over the course of the past ten, 15 and 20  
9           years, but the education and training that the  
10          CNAs and direct care workers have had to go  
11          through during those same changes have not kept  
12          pace with the acuity changes for residents and  
13          patients in long-term care. So we have a very  
14          unrealistic expectation for these workers to  
15          use proper body mechanics at all times.

16          I'm an advocate of a limited lift program. I  
17          don't believe that we can create a no-lift  
18          environment in health care because we are in  
19          the business of providing care for individuals,  
20          and that comes with the element of touching and  
21          caring for people. But we do have to engineer  
22          out the heavy lifting that these individuals do  
23          because it's not like we are lifting a widget  
24          or a product all the time. We are lifting  
25          patients, and they're humans. And with that

1           comes an area of misunderstanding -- not only  
2           from the employees, but from the residents --  
3           of what the expectations are.

4           I wanted to also talk to you a little bit about  
5           what is contributing to this environment, which  
6           is the long-term care industry as a whole. The  
7           market conditions right now are such that the  
8           operators have to worry about reimbursement  
9           issues, staffing issues -- because the turnover  
10          rate is so high within the industry that this  
11          strips away the opportunity for the operators  
12          to put a lot of resources into training and  
13          prevention programs because they allocate those  
14          resources and then two weeks later 20 percent  
15          of the staff may be gone already. So it really  
16          creates a difficult atmosphere for the  
17          operators to provide these safe work  
18          environments, and the only way we can do this  
19          is to engineer out the risk and the opportunity  
20          for heavy lifting to occur in health care.  
21          Thank you for the opportunity to address the  
22          panel, and we look forward to working with you  
23          in the future.

24          **DR. CONROY:** Thank you. We're a little bit  
25          ahead of schedule actually, so we'll thank this

1 panel.

2 We're scheduled to take a short break now and  
3 then we'll reconvene with two more panels -- or  
4 three more panels, actually -- before lunch.

5 So we should take -- no, I think we should come  
6 back a little bit early, actually, so we'll  
7 take a break now and ask people to be back at  
8 10:40 instead of 10:45. And again, there's  
9 coffee and tea I think out in the hall.

10 (Whereupon, a recess was taken from 10:25 a.m.  
11 to 10:40 a.m.)

12 **DR. CONROY:** We'll change what's on the  
13 schedule just a little bit and make a few  
14 substitutions, just to stay on track. But our  
15 first speaker is Dan Zarletti from the National  
16 Safety Council.

17 **MR. ZARLETTI:** Good morning, and thank you. I  
18 am here today in the presence -- or to take --  
19 in the place of Alan McMillan, the president  
20 and CEO of the Council. I sit on his executive  
21 board as finance chairman. I was told this  
22 morning on my way down Alan has recently had a  
23 death in his family which he just finished  
24 dealing with, and now the flu. So maybe  
25 there's something to personal stress and

1           lowering your immune system and all of that, so  
2           I guess it's -- it is making sense.  
3           What Alan's cause was today was to explain the  
4           theme of what the Council is all about. But  
5           also in our recent world congress in Orlando we  
6           saw that there are -- 111 nations were  
7           represented in Orlando, Florida to see what the  
8           world had to say about safety. And there was a  
9           very common theme in that saying, and that was  
10          that it's not just workplace safety, it's not  
11          just driver safety, it's off-the-job safety.  
12          So as I go through this brief presentation,  
13          allow me to give you just a little bit of that  
14          information.  
15          As we saw the hurricanes come through this last  
16          fall, the congress in Orlando started just  
17          after Hurricane Katrina. The year before we  
18          were chased out of New Orleans with Hurricane  
19          Ivan. While we were there, Hurricane Rita was  
20          coming up the Gulf. So the world leaders that  
21          represented this -- this congress that was  
22          represented in the United States for the first  
23          time in 50 years were very attuned to what  
24          we're doing in emergency preparedness and in  
25          workplace safety.

1           It should be noted that when we expand the  
2           profession of safety, we need to extend it  
3           beyond the roles of the workplace. And in that  
4           I mean that when we find workplace numbers are  
5           dropping, as we know injuries and illness and  
6           death is dropping, I would say that that's an  
7           obvious result of the extensive work of NIOSH  
8           and OSHA, and all of their activities over the  
9           last 30 years have made safer places for us to  
10          work. But as we get into the mobility of the  
11          next generation, the Generation X, as we get  
12          into the older workforce that is causing the  
13          Baby Boomers to get toward retirement, we're  
14          finding whole new elements of safety needed by  
15          the professionals out there today.

16          I wanted to explain just briefly that the  
17          reduction in this -- in these incidents has  
18          shown that not only have they gone down and  
19          injuries have been minimized, but the workforce  
20          has quadrupled during this same period of last  
21          two decades. As well as we are now producing  
22          nine times the goods and services during a  
23          period prior to two decades ago. We still have  
24          sustained 4,500 to 5,200 workplace deaths each  
25          year since 1992. And of those, nearly half

1 result in motor vehicle collisions. While  
2 deaths of motor vehicle are down 15 percent,  
3 motor vehicle crashes remain the leading cause  
4 of work-related deaths, with over 2,000 each  
5 year.

6 A primary concern in this country's changing  
7 workforce again is the demographics that we  
8 talked about. A huge segment of our workforce,  
9 Baby Boomers, are now moving toward retirement.  
10 This is going to cause safety professionals to  
11 take a hard look at the way we train and  
12 educate our employees, but also that we should  
13 be taking on a mentoring role that we look  
14 forward to research and data from NIOSH in  
15 order to grab ahold of that mentoring process  
16 and make this next generation safer than the  
17 one that preceded it.

18 I would say that in -- the National Safety  
19 Council statistics say that death from  
20 accidental injury is the fifth leading cause of  
21 death in the United States, following heart  
22 disease, cancer, stroke and lower chronic  
23 respiratory diseases. However, among Hispanics  
24 in our U.S. workforce currently, the accidental  
25 injury rate ranks third, only after heart

1 disease and cancer. And the highest rate of  
2 deaths from occupational injuries between '95  
3 and 2000 was among Hispanic workers, with the  
4 greatest number of occupational injury and  
5 deaths occurring among Hispanics employed in  
6 the construction industry.

7 Now although I represent the Council on their  
8 board, it is -- I am also the vice president of  
9 safety and health for Kenny Construction  
10 Company based here in Chicago. And we are  
11 seeing, within our own ranks of employment and  
12 our subcontractors, that the need to educate --  
13 not just train, but to educate -- and to  
14 communicate with the Hispanic workers in our  
15 industry is increasing exponentially every  
16 year.

17 Today's safety professionals have a challenge,  
18 and they're not limited to the workplace. We  
19 are taking -- we are recording, I'm sorry, a  
20 striking increase in the level of injuries  
21 occurring among workers who are off the job.  
22 This was I think the one theme that came from  
23 the congress this past year, and it's not just  
24 to workers, it's to their dependents. When a  
25 worker is home working on something in the

1 garage, putting up Christmas ornaments outside,  
2 working from a ladder, operating a saw, it's  
3 all off-the-job injuries that are now rising to  
4 huge numbers. And when these people are  
5 injured, they do miss work but they're not  
6 compensated as they would with injuries that  
7 they occur while on the job. So last year 61  
8 percent of injury-related deaths occurring in  
9 and around the home involved either workers who  
10 are off the job or their family dependents.  
11 Annual costs related to accidental injuries,  
12 including wage loss, medical expenses, property  
13 loss and direct employer costs exceeds \$600  
14 billion. The cost of doing business alone is  
15 more than \$200 billion a year.

16 **DR. CONROY:** Okay. If you could finish up  
17 briefly --

18 **MR. ZARLETTI:** Okay.

19 **DR. CONROY:** -- you can submit -- I'll remind  
20 everyone again that --

21 **MR. ZARLETTI:** Okay, this will all be available  
22 if you need it afterwards, and I guess I would  
23 just conclude by saying that we cannot train  
24 and educate our people on the job to be safe  
25 and leave it at a 9:00 to 5:00 opportunity. We

1           need to take that opportunity beyond and show  
2           them, with management support, how to be safe  
3           at home, how to teach their families to do the  
4           same so that they can return to work and become  
5           in the environment that we've already made safe  
6           for them. Thank you.

7           **DR. CONROY:** Thank you. Okay, Peter Orris is  
8           going to talk from Stroger Hospital and UIC.

9           **DR. ORRIS:** Thank you. I'm -- appreciate the  
10          opportunity to appear before you today and  
11          before NIOSH to give our input with respect to  
12          the new NORA priorities. I'm filling in for  
13          several people here today. The first is Dr.  
14          Rachel Rubin, who is the head of the division  
15          of occupational medicine at Cook County  
16          Hospital, Stroger Hospital of Cook County;  
17          second of all for Dr. Daniel Rahorchik\*, who is  
18          the head of our Great Lakes Centers for  
19          Occupational Environmental Safety and Health,  
20          which the educational resource center --  
21          research center, rather, that Dr. Conroy is the  
22          head of, and our other activities are under the  
23          umbrella at the School of Public Health. I  
24          myself am a physician practicing here. I'm  
25          professor at the School of Public Health and I

1           direct the Occupational Health Services  
2           Institute within the School of Public Health,  
3           and I would like to underline one last thing  
4           and that is that our center here is a World  
5           Health Organization collaborating center, along  
6           with NIOSH and the University of Texas and a  
7           few others in this country.

8           I want to welcome you all here and welcome  
9           NIOSH here, utilizing all of those hats. We --  
10          again, we appreciate your coming and we  
11          appreciate the NORA process of listening to  
12          those of us in the field of -- as to the  
13          creation of priorities for research and  
14          education within NIOSH.

15          I would only content-wise raise one general  
16          area for your consideration. I want to raise  
17          the issue of safer substitution within our  
18          general increasing concerns about chemical  
19          security, both for -- both to avoid acute  
20          catastrophic events, either intentional or due  
21          to natural -- natural events, as well as to  
22          avoid the chronic long-term effect of toxins.  
23          And I would urge NIOSH to consider the  
24          enlarging of the research agenda with respect  
25          to safer substitution, for safer, less toxic

1 chemicals, both with respect to their  
2 toxicities and the economics of the transfer of  
3 these technologies to assure both a just  
4 transition as well as reduction in toxic  
5 exposures.

6 I would only underline that this is one of the  
7 topics that makes the link between the  
8 workplace and the community that's so important  
9 to us in the Great Lakes Center, and we think  
10 makes so much sense for the occupational  
11 agenda. It also is a topic that has  
12 international ramifications and is immediately  
13 of use internationally within the WHO's sphere,  
14 as well as others.

15 And with that I would like to underline as well  
16 NIOSH's continuing support for WHO and its  
17 activities with respect to occupational health  
18 on a global level. We applaud this and we urge  
19 a continued emphasis in this area.

20 One particular example that I would link to  
21 bring to NIOSH's thinking in the matter with  
22 respect to the safer substitution is the  
23 problem related to ethylene oxide in its use in  
24 the health care industry as a sterilant when  
25 steam and Autoclaving is not effective or not

1           usable. This is an area that cries out to us  
2           for safer substitution. It is an area that  
3           probably cannot be made safe, or actually I  
4           feel cannot be made safe within its current  
5           methods of usefulness with this known human  
6           carcinogen and neurotoxin.

7           So with that, I thank you again for hearing me  
8           and I beg your indulgence that the others are  
9           not here. Dr. Rahorchik is out on the highway  
10          somewhere trying to get his car operating, so  
11          there's an excuse for you for today. Thank you  
12          again.

13         **DR. CONROY:** Okay. Our next speaker is John  
14         Mulhausen from 3M.

15         **MR. MULHAUSEN:** Good morning. Thanks for  
16         having me. It's my pleasure to be here. I've  
17         not been to one of these before. I am  
18         impressed with the eloquence with which people  
19         preceding me have spoken, and have some  
20         scribbled notes here to try to match those  
21         presentations.

22         I'd like to make three points today. Number  
23         one, I'd like to reinforce the direction that  
24         NIOSH has taken with research to practice. I  
25         think that's exactly the route to take and I

1 think it's deserving of increased emphasis.  
2 Those of us practitioners working out in  
3 industry benefit, researchers benefit, and of  
4 course ultimately workers on the shop floor  
5 benefit from that emphasis on practical,  
6 implementable solutions for workplaces. So I  
7 commend NIOSH and encourage that continued  
8 direction.

9 Secondly, I want to make sure that we don't  
10 lose track of the importance of NIOSH's  
11 educational resource centers, not only in  
12 general the importance of those centers to  
13 increasing protection of workers through the  
14 product that they put out -- basically well-  
15 trained occupational health and safety  
16 professionals -- but also to recognize the  
17 importance that those educational resource  
18 centers play in research to practice. We are  
19 one of those employers that I hope achieves the  
20 high road, as was described by a previous  
21 speaker, in approach. And as an employer  
22 striving for the high road, we often bump into  
23 the edges of the envelope of understanding in  
24 the occupational health and safety area. And  
25 we rely very heavily on our colleagues in the

1 educational resource center that -- that is --  
2 is we're very lucky to have one located in the  
3 Twin Cities with us, and we rely very heavily  
4 on our colleagues there as we work to really  
5 break through those barriers and understand the  
6 practical approaches that we can use moving  
7 forward.

8 The ERCs are the place where we grow our  
9 professionals. We grow and encourage dialogues  
10 that are really going to be the seeds from  
11 which future ideas and practical research  
12 efforts emerge, and we must not lose track of  
13 them. And in fact should increase the funding  
14 that goes to those ERCs as very practical  
15 hotbeds for increasing the practice, the  
16 practice and science of occupational health and  
17 safety.

18 And third, I want to make an appeal for a  
19 pretty focused area of research, and that is  
20 the area of exposure assessment and management.  
21 We have continuing opportunities to improve the  
22 -- both the effectiveness and the efficiency of  
23 those exposure assessment strategies, really in  
24 two ways.

25 One -- one at a high level in terms of the

1 overall strategies, working to develop  
2 techniques to understand how effective those  
3 strategies are. And by effective I mean how  
4 well do those strategies identified at-risk  
5 employer -- employees so that we can introduce  
6 management techniques in order to reduce their  
7 risk. And secondly, we need to do it in an  
8 efficient mechanism as we can.

9 So we need research into better understanding  
10 the efficiency and effectiveness of overall  
11 exposure assessment strategies so that they can  
12 be improved and we can better protect our  
13 workers.

14 The bottom line is that prevention starts with  
15 a good understanding of exposure. And if we  
16 don't understand exposure, we can't do a good  
17 job of prevention and management. And  
18 secondly, the connections between exposures and  
19 disease, teasing out some of those finer  
20 relationships, and in particular teasing out  
21 synergistic relationships between multiple  
22 agents, is going to depend on better  
23 understanding of exposures. And today every  
24 time we misunderstand exposures, we misclassify  
25 an exposure, we dilute our ability to tease out

1           those finer relationships.  
2           So at a program level I think we need some  
3           research, and then down at a very specific kind  
4           of individual exposure characterization level  
5           we need research around techniques to improve  
6           individual practitioners' ability to make good  
7           exposure decisions. And this can be in the  
8           area of qualitative or semi-quantitative  
9           exposure assessments. Particularly, exposure  
10          modeling needs better research to understand  
11          and validate deterministic models that will aid  
12          practitioners in making good exposure  
13          assessments. And in the area of quantitative  
14          exposure assessment, better tools to aid the  
15          practitioner in making better decisions, given  
16          limited monitoring data. And I believe  
17          Bayesian statistics offer some exciting  
18          possibilities, not only in terms of those  
19          monitoring data interpretations, but also in  
20          terms of systematically integrating, in a  
21          transparent way, qualitative judgment,  
22          modeling, and quantitative exposure assessment.  
23          Thank you.

24          **DR. CONROY:** Thank you. Our last speaker in  
25          this group is Lisa Brosseau.

1           **DR. BROSSEAU:** Thank you. I'd like to talk  
2           just a little bit about a sector of the economy  
3           that is unlikely to receive much attention here  
4           at these hearings. Small and medium-sized  
5           businesses are a very important part of the  
6           U.S. economy, and a growing import-- they  
7           continue to grow in importance in terms of both  
8           the numbers and the number of people employed.  
9           Right now there are about six-and-a-half  
10          million businesses -- business establishments  
11          that have fewer than 100 employees in the  
12          United States, and they employ approximately 17  
13          -- I'm sorry -- 97 million workers. This is in  
14          a range of economic sectors, not just in  
15          manufacturing, of course, where there are  
16          import-- very important hazards in both the  
17          service sector and the manufacturing sectors.  
18          Many of these small business establishments in  
19          all of -- in all sectors have significant  
20          health and safety hazards. And if you look at  
21          the data, while the -- you do see the  
22          decreasing trend in injury rates in businesses  
23          overall, what you -- when you start to look  
24          more carefully at the injury rates by size of  
25          establishment, you don't see the decreases

1           occurring as rapidly in the -- in the smaller  
2           and medium-sized businesses as you do in the  
3           larger ones. And in fact, injury rates are  
4           always highest in companies that are -- that  
5           employ between 50 and 250 employees. So it's  
6           an area in -- and in manufacturing in  
7           particular, so it's an area where we still  
8           really need to pay some important attention.  
9           Of course there are some important barriers  
10          that get in the way of accessing and helping  
11          small and medium-sized businesses. They have  
12          limited resources, and generally their staff  
13          have very minimal background in occupational  
14          health and safety.

15          I've met and worked with a lot of small  
16          business owners in the last decade. I've been  
17          doing intervention research in small  
18          businesses. And I've yet to hear any of them  
19          say that they don't care about health and  
20          safety. They all care a lot about it. But  
21          most of them -- and many of them admit that  
22          they don't really know what they should be  
23          doing, and they're skeptical many times when we  
24          make recommendations to them about what  
25          underlies those recommendations and why do we

1 think something -- a policy, a program, an  
2 approach, a control -- why do those things  
3 matter and why will they make a difference.  
4 And so the biggest issues have come -- I think  
5 really have to do with communication, as well  
6 as understanding the effectiveness of the  
7 things that we are recommending. They're not  
8 convinced, necessarily, and for good reason.  
9 So I'd like to make a few recommendations to  
10 NIOSH in terms of putting -- first putting more  
11 emphasis on small businesses and helping them  
12 make the connection between health and safety  
13 and business productivity. That's the language  
14 they talk, especially in a small business where  
15 many of them go out of business within the  
16 first two or three years. And year to year it  
17 still can be hand-to-mouth in a -- in an  
18 economic sector where things are constantly  
19 changing. The sizes of businesses -- it amazes  
20 me from year to year -- can change dramatically  
21 from 50 to 100 to 200 and then back to 50. So  
22 they're always having to adjust.  
23 What we need in order to be able to help them  
24 with health and safety are these things:  
25 First, we need simple, easy to use, valid

1           measures of health and safety. For example,  
2           from the perspective of a small business,  
3           trying to do exposure sampling is almost  
4           impossible. They cannot afford it, and it  
5           isn't necessarily going to help them because  
6           it's so focused on one single exposure. They  
7           have a lot of issues. They don't -- and most  
8           of the hazards they have are not measurable as  
9           exposures.

10          We need easily understandable methods for us to  
11          be able to connect improvements to business  
12          outcomes and health and safety outcomes. So we  
13          need to be able to show them it matters to your  
14          business. Your productivity will improve and  
15          your costs will go down if you work on health  
16          and safety.

17          And we need to be able to help them figure out  
18          what we mean when we talk that very technical  
19          language that we all use in this field. What  
20          does that really mean when you're trying to  
21          solve problems? So we -- and I think there's  
22          much to be learned by health -- by  
23          communication from other public health arenas.  
24          And finally, I think we should -- we need to  
25          identify a few key activities that really are

1 associated with health and safety. We have a  
2 lot of things we expect, but we don't really  
3 know what exactly it is that means health and  
4 safety in a small business. I think the issues  
5 of management commitment and employee  
6 participation are all -- are both -- they need  
7 more focus and more research.

8 So I appreciate the opportunity to speak for a  
9 group of people that I think cares a lot about  
10 their employees, but doesn't really have a  
11 forum for sharing their interest and their  
12 needs. Thank you.

13 **DR. CONROY:** Thank you. Okay, I want to thank  
14 this group of panelists, and invite up the next  
15 four people. And we've made, again, some  
16 changes, so please listen for your name. But  
17 the next group will be Shannon Lizer, Lezah  
18 Brown, Tom Robins and Pat McGovern.

19 **DR. SODERHOLM:** While that group is coming up,  
20 maybe I'll make a plug. You'll notice out on  
21 the table there's a CDC Health Protection  
22 Research Guide. This is the CDC document that  
23 -- for which we're seeking comments, and you  
24 can see Jamilla, who we introduced earlier, or  
25 visit the CDC website to see how to do that.

1                   So pick up a guide or contact Jamilla and she  
2                   can send one to you if they're too heavy to  
3                   carry.

4   (Pause)

5                   **DR. CONROY:** Okay, we're going to start with  
6                   Shannon Lizer, who's from the UIC College of  
7                   Nursing.

8                   **MS. LIZER:** Hi. Let me see if I can get this  
9                   for a short person here. I want to thank you  
10                  for the opportunity to talk with you today --

11                  **DR. CONROY:** People can't hear you, you're  
12                  going to have to get closer.

13                  **MS. LIZER:** Can you hear now? Okay. I feel  
14                  like a commercial.

15                  Thank you for letting me talk today. As  
16                  Lorraine mentioned, I'm the director of the  
17                  occupational health nursing program at UIC. My  
18                  name is Shannon Lizer. I'm a family nurse-  
19                  practitioner and also assistant professor in  
20                  the College of Nursing. My dissertation work  
21                  was about older farmers' health status and  
22                  injuries, so I will direct the focus of my  
23                  comments to sector 11, which is agriculture,  
24                  specifically older farmers who are 55 and  
25                  above.

1           As you all probably know, the number of older  
2           farmers is increasing. In 2002 the mean age of  
3           farmers were 55.3 years of age, and that does  
4           reflect a trend -- an older group of people.  
5           In Illinois currently the average age is 55.1,  
6           and over half of working farmers are over 55,  
7           which is unlike other areas of our workforce.  
8           So while we know that farming is a very  
9           dangerous occupation to all age groups, it is  
10          very dangerous, it turns out, for older  
11          farmers, who suffer more injuries and fatal  
12          accidents in farming than other age groups.  
13          And there are many reasons for that. One of --  
14          one reason might be physiologic changes of  
15          aging. We know these occur, but typically  
16          these may not affect workers over 65, 70, 80  
17          years of age because they are typically  
18          retired. And as we know, farmers do not retire  
19          like other occupational groups.  
20          Chronic diseases are also a problem. We know  
21          that chronic diseases increase as we age, in  
22          the general public. Many of these I believe  
23          are undiagnosed in older farmers, who do not  
24          seek health care and preventative health care  
25          as do other groups. They're typically self-

1           employed and are not willing to leave their  
2           work setting to go seek preventative care.  
3           They go for treatment of things they see as  
4           needed.

5           So for example, in my dissertation I found --  
6           which was a study in Illinois -- farmers  
7           reported hyperlipidemia, hypertension and  
8           diabetes at greater rates than the general  
9           public of the same age group, but much less  
10          heart disease, which may indicate that these  
11          diseases are not diagnosed but a factor in  
12          their illness -- or in their injuries.

13          We also know that medications play a role.  
14          There have been some studies that have looked  
15          at this, but not specifically in the older  
16          group. We also know that stress is a factor in  
17          injury, and we do not particularly have data to  
18          show why or how that happens. And also the  
19          effect of mental health disorders, such as  
20          depression, and the role of depression as  
21          related to occupational injury.

22          So finally, I would say that I recommend that  
23          we look at research aimed at older farmers 55  
24          and older, looking at the relationship of  
25          physiologic status, their current health

1 status, chronic disease status, mental health  
2 outlook, the role of stress and medications to  
3 injury and accidental death. I also think that  
4 we need to look at better tracking mechanisms  
5 for these injuries, which are grossly under-  
6 reported.

7 In doing this research I would recommend that  
8 we involve multi-disciplinary approach,  
9 including nursing, medicine, agricultural  
10 safety and health professionals, also  
11 agribusiness and the farmers themselves, who  
12 really need to be part of the process. So I  
13 would recommend that we use community-based  
14 participatory models to look at changes in  
15 health care delivery and assessment of injury  
16 and factors that are related to that.

17 And I would thank you very much for letting me  
18 have the opportunity to talk with you.

19 **DR. CONROY:** Thank you. Our next speaker is  
20 Lezah Brown.

21 **MS. BROWN:** Good morning. My name is Lezah  
22 Brown and I'm a doctoral candidate here in  
23 environmental and occupational health sciences  
24 department at the School of Public Health at  
25 UIC. And prior to returning to graduate school

1 I practiced industrial hygiene in the  
2 governmental and private industries. Based on  
3 my exposure to the diverse occupational  
4 settings and populations during my 12 years of  
5 practice, I have chosen to concentrate my  
6 research on the impact of occupational  
7 exposures as they relate to the health outcomes  
8 in the family.

9 My current research is looking at psychosocial  
10 issues as predictors and antecedents of  
11 occupational injury, illness and assaults. The  
12 data for this project was collected by Dr.  
13 Kathleen M. Rospenda, who is an industrial  
14 psychologist here in the College of Medicine's  
15 department of psychiatry. The data was  
16 collected during a two-wave national random-  
17 digit telephone survey administered to over  
18 2,000 working men and women in the 48  
19 contiguous states, including Washington, D.C.  
20 Rospenda and colleagues collected information  
21 on many aspects of workers' psychological and  
22 social environments, both at work and away from  
23 work. The areas of interest that I feel are  
24 pertinent to this forum involve those variables  
25 possibly associated with occupational injuries,

1 illnesses and assaults. The data collection  
2 tool captured information concerning the usual  
3 demographics of the workers, such as race,  
4 gender, age, highest educational level attained  
5 and type of job or profession, along with their  
6 income. Other personal information collected  
7 established marital status and whether or not  
8 there were children under 18 living with them.  
9 The work environment topics that we're looking  
10 at, the workers were asked to document issues  
11 such as the number of hours they usually worked  
12 per week and whether or not they had  
13 experienced an occupational injury, illness or  
14 assault on the job within the last 12 months  
15 prior to their interview. Additionally they  
16 were asked about their perception of the  
17 presence of job pressure, job threat,  
18 generalized workplace harassment, and the  
19 status of their social support network at work.  
20 As far as the personal life aspects, the  
21 workers were asked to answer yes or no to  
22 questions about stressful life events such as  
23 did they lose a significant other through  
24 divorce or death, did they experience any  
25 financial difficulties such as bankruptcy, or

1           did they have any other legal problems. As  
2           with the work environment questions, the study  
3           participants were asked about the status of  
4           their social support networks in away from  
5           work, but they could talk about work problems.  
6           The questions were designed to establish  
7           whether or not -- there were also questions  
8           designed to ask whether or not there were  
9           problem alcohol use issues, and whether they  
10          had sought services from any type of  
11          professional or clergy member to deal with the  
12          psychosocial needs.

13          The preliminary results show that in the cross-  
14          sectional data analysis for both waves that  
15          race was not significantly associated with  
16          reducing the risk of pre-- of -- of a  
17          occupational injury or illness; that older  
18          workers were not as likely to experience an  
19          occupational injury, illness or assault as were  
20          their younger counterparts; that gender was not  
21          a good predictor of -- in this population for  
22          occupational injury, illness or assault; and  
23          that stressful life events and generalized work  
24          harassment composites were significantly  
25          associated with an increased likelihood of

1           having an occupational injury, illness or  
2           assault controlling for rage, age and gender in  
3           both waves.

4           When we put the data into logistic regression  
5           analysis it showed that the older group was  
6           significantly associated with reduced odds of  
7           having a occupational injury, illness or  
8           assault. Along with that, the generalized  
9           workplace harassment composite showed a  
10          significant association with an increased  
11          likelihood of occupational injury, illness or  
12          assault in wave two controlling for rage, age,  
13          gender in both waves, and that the stressful  
14          life events and problem drinking composites  
15          from wave one were not significantly associated  
16          with an increased likelihood of an occupational  
17          injury, illness or assault.

18          When we're looking at wave one and wave two,  
19          we're looking at the longitudinal information  
20          which shows that -- did these things exist  
21          before or after, we're looking for causation.  
22          These preliminary results I suspect are the tip  
23          of the iceberg when considering important  
24          issues of the work environment and workplace.  
25          Lastly, the types of partners that are needed

1 to address the issues of psychosocial  
2 predictors and antecedents of occupational  
3 injury, illness and assaults should include  
4 academia in conjunction with all  
5 classifications of employers unions and  
6 employee groups.

7 Thank you for allowing me to testify today.

8 **DR. CONROY:** Okay, our next speaker is Tom  
9 Robins.

10 **MR. ROBINS:** Good morning. I want to thank  
11 NIOSH for the opportunity to speak this  
12 morning. My name is Tom Robins. I am the  
13 director of the education and research center,  
14 the ERC, at the University of Michigan in Ann  
15 Arbor. I guess I'm one of your hosts, as well.  
16 I also a member of the scribbled notes club, so  
17 bear with me and we'll see how I do.  
18 What I want to talk with you specifically about  
19 today is the role of NIOSH and NORA with  
20 respect to international global research in  
21 occupational health, over and above or beyond  
22 what we do directly here in the U.S. And what  
23 I'm going to do is first of all say a little  
24 bit about the key role NIOSH already plays in  
25 this area 'cause I'm going to strongly advocate

1           that they continue to do so. I'm going to tell  
2           you a little bit about my view of the kinds of  
3           problems that exist, especially in the  
4           developing world, in developing countries, that  
5           may be somewhat different than the problems  
6           here. I'm going to talk about what some of the  
7           specific research needs are in those countries.  
8           And I'm going to talk about why I think it's  
9           important for all of us as Americans to support  
10          research in these areas.

11          So first of all, besides being the ERC  
12          director, I'm also the director of a grant from  
13          the Fogarty\* International Center, which is in  
14          the U.S. National Institutes of Health. And  
15          the purpose of that grant is to support  
16          training and research in occupational and  
17          environmental health in southern Africa.  
18          Actually a 14-state area called the Southern  
19          African Development Community. We've been  
20          doing that for about ten years. And actually  
21          NIOSH plays a very important role in this.  
22          They're one of the big indirect supporters.  
23          FIC doesn't have its own money. They get money  
24          from places like NIOSH for this to happen.  
25          In addition, NIOSH has played a leading role

1 among the WHO, World Health Organization,  
2 collaborating centers in occupational health  
3 around the world. NIOSH is one of them, and a  
4 number of academic institutions in the U.S., as  
5 well as in many, many other countries, are  
6 members, and NIOSH has played an absolutely key  
7 function in making this group able to address  
8 global health problems through funding and  
9 expertise, and essentially lending at some  
10 points some of their finest personnel for  
11 periods of a year or two to work mostly on WHO  
12 issues. So there's been tremendous support by  
13 NIOSH of that.

14 And a prime example of what WHO and the  
15 International Labor Organization are doing now  
16 that NIOSH is playing a major role in is a  
17 campaign around the elimination of silicosis,  
18 which is a lung disease that's caused by  
19 exposure to silica. Silica is present in many  
20 industries across the world. In many countries  
21 it's epidemic. Here in the U.S. we -- we've  
22 for the most part dealt with the major problems  
23 there.

24 So what sort of health and safety problems are  
25 maybe faced by developing countries like the

1           ones I deal with in southern Africa that we may  
2           not see so much of here in the U.S.? Well, let  
3           me give you some examples that I've been  
4           directly involved with.

5           I went and toured a plant that was making  
6           paints and pigments, including lead-based  
7           paints and pigments, in what will be an unnamed  
8           country in Africa. And the management was very  
9           forthcoming about some of the issues they had,  
10          and there were many problems with exposure  
11          controls, and they had some people working  
12          there with blood lead levels that were twice  
13          the standard in the U.S. for immediate removal  
14          from work -- a symptomatic worker. So this is  
15          probably not an unusual situation. At least  
16          this company had actually measured blood leads.  
17          So that sort of gives you a sense of how things  
18          tend to look in the rest of the world.

19          Another example, I'm currently involved with a  
20          study of copper miners in Zambia who have  
21          silica exposure, in fact, as part of the ore.  
22          Now silica, besides causing silicosis, also  
23          weakens the immune system of the lungs. And  
24          tuberculosis -- TB is epidemic, or at least  
25          endemic. I'm going to have to be -- this is my

1           last example. We professors can go on. Is  
2           epidemic in Zambia, and of course there's also  
3           HIV/AIDS is epidemic. And so the combination  
4           of high levels of HIV/AIDS with the silica  
5           exposure has put miners in Zambia at tremendous  
6           risk for developing active tuberculosis, by the  
7           ten-fold increase in the last decade.  
8           So that just gives you a couple of examples.  
9           I'll skip the rest of them.  
10          What needs to be researched? There are certain  
11          problems that have not been well characterized.  
12          A huge percent of the labor in these countries  
13          is in what we call the informal sector. And  
14          there's some of that here in the U.S., but in  
15          general the informal sector's been very poorly  
16          studied with respect to what are the major  
17          risks and what are the types of interventions  
18          that are effective when you have sort of a  
19          family level kind of employer situation.  
20          And then finally -- I'll have to close in about  
21          two sentences. The other thing I want to point  
22          out is there's a lot of need for intervention  
23          studies, which are also applicable to the U.S.  
24          What kind of interventions are effective in  
25          these situations, and a lot of times that

1 information can also be applied to the U.S.  
2 Finally, besides the fact that I think it's  
3 important, ethically the right thing to do,  
4 there's also other reasons we as Americans  
5 should be interested in this kind of work,  
6 because in fact when health and safety  
7 situations are poor in the developing world and  
8 is not being supported, it actually ends up  
9 being unfair competition for American business  
10 and it ends up moving jobs away from the U.S.  
11 So for all those reasons, I strongly support  
12 NIOSH's continued emphasis on research in  
13 global health. Thank you.

14 **DR. CONROY:** Thank you. Our last speaker in  
15 this group is Pat McGovern.

16 **DR. MCGOVERN:** Hi. I'm from the University of  
17 Minnesota and the Midwest Center for  
18 Occupational Health and Safety. Thank you for  
19 this opportunity.

20 I'd like to recommend that NIOSH continue to  
21 include work organization as one of its NORA  
22 priorities. In the first decade of NORA, work  
23 organization was identified as a priority, and  
24 the term covered issues such as hours,  
25 schedules, job design factors associated with

1 health. Continued research is needed with  
2 particular attention to the dual role many  
3 employees have tending to work and family  
4 commitments, and the implications for role  
5 conflict, stress and health.

6 Well, how many people are affected by these  
7 issues? Data from the Department of Labor for  
8 2003 revealed the following: Among married  
9 couple families with children six to 17, two-  
10 thirds have both partners in the labor force.  
11 And among those with children under six years,  
12 half have both partners in the work force. But  
13 what about single moms? From -- women with  
14 children ages six to 17, three-quarters are in  
15 the labor force. And among those with children  
16 under six, 64 percent are in the workforce.

17 The potential work family stress and health  
18 effects is particularly acute for women, who  
19 traditionally shoulder more of the daily child  
20 care and home responsibilities. But why  
21 emphasize these issues for women; don't men  
22 also have work family commitments?

23 Yes. However, findings from time use studies  
24 show that women and men's paid labor time has  
25 become remarkably similar over the last decade,

1           whereas the uptake of home chores has not been  
2           as similar. Moreover, the nature of home  
3           responsibilities differ by gender with  
4           implications for paid work. Time studies  
5           reveal men spend more time than women on  
6           activities that are discretionary in terms of  
7           scheduling, such as home and lawn maintenance  
8           and financial management, while women spend  
9           more time on non-discretionary activities like  
10          preparing meals and caring for children. It  
11          probably doesn't matter too much if you wait a  
12          few days to mow the lawn, but your kids are  
13          going to notice if you don't make the meal.  
14          Thus women's responsibilities have a greater  
15          potential for conflicting with paid work, as  
16          such tasks are not easily rescheduled. And  
17          these trends are only likely to be exacerbated  
18          by the data showing increasing annual work  
19          hours in the U.S.  
20          Americans work 200 to 400 more hours per year  
21          than workers in western Europe. This  
22          translates into five to ten more work weeks per  
23          year, with implications for role conflict and  
24          stress.  
25          But how do work hours and role conflict affect

1 health? Studies from Sweden have documented  
2 that role conflicts and work overload are  
3 reflected in elevated stress at work and at  
4 home, which can induce symptoms of  
5 cardiovascular, musculoskeletal and immune  
6 system disorders, with implications for long-  
7 term health. Lundberg and colleagues from the  
8 University of Sweden report that female workers  
9 employed full time, in comparison to men in the  
10 same jobs of the same age, have a greater total  
11 workload and experience more stress and role  
12 conflicts than men. And this gender difference  
13 increases with the number of children. The  
14 difference between men and women's total  
15 workload increased to 20 hours per week in  
16 families with three or more children, with  
17 women approaching 90 hours per week.

18 What does this mean in real world terms? One  
19 examples comes from one of my former research  
20 staff, who called me last week. She now works  
21 two part-time jobs and recently had a two-week  
22 spell where either one or both of her children  
23 were sick. Her one-year-old had diarrhea for  
24 ten days and her three-year-old simultaneously  
25 ran a temperature, had a respiratory infection

1 and pinkeye, and her day care did not accept  
2 the children because of very appropriate  
3 policies on infection control.

4 What did this mean for her? She ended up  
5 putting together a patchwork of child care  
6 services so she could show up at work, and  
7 during this period rarely slept more than four  
8 hours a night due to her children's frequent  
9 nighttime awakenings. She too developed a  
10 respiratory infection, and by the end of ten  
11 days spoke of possibly quitting one of her  
12 jobs, if things didn't get better soon, due to  
13 fatigue and stress.

14 But what does work have to do with it? Aren't  
15 these problems the result of personal choices?  
16 The point of the story is that one of her part-  
17 time jobs is more flexible. It allows her  
18 occasionally to work at home to balance work  
19 and family. There's social support from other  
20 coworkers who are young mothers. And one of  
21 the jobs provides her autonomy to help work  
22 with her supervisor and set work priorities and  
23 work flow. These are all work factors that  
24 help her address the inevitable conflicts of  
25 paid work and family.

1 Now this story focuses on a woman who's well-  
2 educated, married and middle income. Now  
3 imagine a single mother with limited financial  
4 resources, an inflexible job or two -- how  
5 much; 30 seconds -- and a non-supportive  
6 supervisor. What is the potential for role  
7 strain and health effects for her?

8 So let's see, I'm going to skip to the punch  
9 line and just say that there's -- research is  
10 needed to identify the effects of work family  
11 conflict on the health of employees with  
12 children, and in particular to identify those  
13 work factors that can be modified to enhance  
14 health and positively affect productivity.

15 One last comment. Moreover, in a study  
16 underway right now, we're studying a cohort of  
17 about 800 women as they return to work after  
18 having their babies, and doing a longitudinal  
19 study of the first 18 months postpartum. What  
20 we've found is that total workload, perceived  
21 job stress, job flexibility and workplace  
22 support has significant effects on general  
23 mental health and postpartum depression scores.  
24 And so I think there's a continued need for  
25 work in this area and a focus on women from

1 different racial and ethnic backgrounds and  
2 income groups. Thank you very much.

3 **DR. CONROY:** Thank you. I want to thank all of  
4 this group of panelists for their testimony  
5 today.

6 We have our final panelist group here, and  
7 there are two people that are listed, Adam  
8 Scheffler and Michael Connors, and I'm also  
9 going to ask -- if Jose Oliva or Jerry Field  
10 have arrived we can include them in this panel.  
11 And if not, I'm actually -- there will be time  
12 in this schedule if there are people who hadn't  
13 signed up but are interested in providing  
14 testimony between now and lunch. So if those  
15 people would come up, they'll have to introduce  
16 themselves, but if there are any others that  
17 would like to testify...

18 Okay. But we'll start with Mike Connors.

19 **MR. CONNORS:** Once again I see OSHA's loved --  
20 I'm up here all by my (unintelligible). I'm  
21 used to it; it's okay.

22 Thank you for inviting us here today or giving  
23 us the opportunity. My name is Mike Connors.  
24 I'm the regional administrator for OSHA for the  
25 Great Lakes Region. And I have two issues that

1 I would like to talk about, and they're local  
2 issues because I know that Washington is  
3 working with your national operation to talk  
4 about input on NORA, but these are local issues  
5 that we are working on.

6 The first one deals with isocyanate operations.  
7 We're seeing an increase in the number and uses  
8 of isocyanates in a variety of operations.  
9 We're particularly concerned about control  
10 technology and a number of other areas. Let me  
11 explain.

12 One of the areas that we did an emphasis  
13 program on was truck bed liners because we  
14 heard about the use of isocyanates in there and  
15 we were concerned about it that they tend to be  
16 very small operations. We've done about 80  
17 inspections in the past year or so, and at  
18 least 50 percent of them have had  
19 overexposures, some of them up to 39 times the  
20 permissible exposure limit. We've had a death  
21 case in Michigan, and we've had people on the  
22 fringes of the so-called containment areas that  
23 were also exposed to pretty high levels, levels  
24 that we'd be concerned about.

25 Now we're also not comfortable that in walking

1           away and doing the evaluations as to whether  
2           they're over the PEL or not that we've got a  
3           safe operation when they're under, because  
4           we're seeing more and more uses of mixtures  
5           with isocyanates, and mixtures for which we  
6           don't have clear-cut guidelines on the health  
7           effects, how to analyze it and things like  
8           this. We're seeing more and more uses of it in  
9           auto body shops, two-part paint operations  
10          using polyisocyanates.  
11          NIOSH put out an excellent publication, a  
12          summary of the HHEs\* involving isocyanates from  
13          '98 to '02, and there's a lot of good  
14          information in there. What I'm asking for  
15          hopefully that you could look at in NORA is  
16          continue to work on the sampling and analytical  
17          methods. There are two or three competing  
18          techniques on how to analyze it, what needs to  
19          be done in the field, working on training  
20          materials for employers, employees, and safety  
21          and health professionals. There's still a lot  
22          of confusion out there on how to do a good  
23          evaluation in this area.  
24          While the medical surveillance issues that are  
25          there related to the respiratory problems, we

1 think more information on skin exposures and  
2 its importance needs to be done.

3 And of course something on a standard for  
4 mixtures. We are kind of jealous at the U.K.  
5 model that looks at total reactive isocyanate  
6 groups, that that might be a model that we  
7 could use, but we need more information to make  
8 sure that the health effects are there for the  
9 mixtures. We are working with the polyurethane  
10 industries to get more information out there,  
11 but we could sure use help in some of these  
12 areas.

13 The other area I'd like to ask for some help on  
14 is in making the business case for safety and  
15 health. Years ago we had a Project Minerva  
16 study that went on, and it kind of died through  
17 neglect over the years. What that program did  
18 was try and get the word out on the need for  
19 safety and health programs in the business  
20 community. Well, we think we need a similar  
21 program for not only the business community,  
22 but for the business schools, for owners and  
23 operators of medium and small-sized  
24 establishments, and in fact for safety and  
25 health professionals because the schools do not

1            seem to really be teaching safety and health as  
2            it relates to and the need to integrate it into  
3            the business process to make it an asset rather  
4            than always being thought of as a cost center.  
5            We have developed, working with groups -- one  
6            of which talked earlier, Brian Devlin from Life  
7            Services Network. We've worked for a couple of  
8            years in Illinois, probably three years, with  
9            the home -- with the health care industry,  
10           focusing on nursing homes; working with UIC,  
11           Abbot Labs, the life services network, long-  
12           term health care, OSHA, consultation, nurses  
13           and therapists, we've developed examples of  
14           practical studies where people did invest in  
15           equipment for assisted lift programs, developed  
16           an assisted lift program, and then we looked at  
17           the business case. How much money did it cost  
18           and what was the impact? And we saw very  
19           positive results that we've seen over and over  
20           again in the nursing home area, that a small  
21           outlier in investment can bring back big  
22           returns. Usually the return on investment is  
23           within a year or two. We also see improved  
24           morale, less turnover of nurses and nurses  
25           aides, and better resident care and that there

1           are less skin tears and bruising as the  
2           equipment is used.

3           We think there's a story there, to go out and  
4           talk to owners and operators and show them that  
5           you can and do need to invest the money and  
6           you'll get the money back.

7           We've put a module on OSHA's website and it  
8           contains some case studies addressing the need  
9           for safety and health programs, the value for  
10          safety and health programs, essentially the  
11          need to control risk and build your safety and  
12          health program around that. We have examples  
13          for foundries, construction, nursing home,  
14          pharmaceutical and auto industries. We need  
15          more.

16          What I'd like to see is that in the future when  
17          we talk about control technologies we always  
18          put it in some sort of context. What were the  
19          before and after conditions in terms of  
20          exposures, were there ergonomic problems,  
21          airborne exposures, safety issues; what was the  
22          investment, what kind of return on the  
23          investment was there and what were the  
24          improvements noted, so that when we go out to  
25          small and medium-sized employers that we can

1           make this case and show there are practical  
2           examples out there and have this library there  
3           available to help people in the workplaces.  
4           And that, in a nutshell, was what I had to ask  
5           for today, so I appreciate the opportunity.  
6           Thank you.

7           **DR. CONROY:** Thank you. Okay, I'm going to ask  
8           one more time if there's anyone in the audience  
9           that would like to make comments or provide  
10          testimony. You have to use the microphone,  
11          though, so you will have to come up on stage.

12   (Pause)

13          **UNIDENTIFIED:** Well, first of all I'd like to  
14          thank NIOSH for the opportunity to be educated  
15          here today, and also to develop some empathy  
16          for others who have concerns that they are  
17          addressing to NIOSH. I think that many of us  
18          think that our organization or our causes are  
19          the ones to put forth primarily, which is what  
20          we do. There is -- I have at least developed  
21          an awareness here and an empathy with -- with  
22          you all.

23          Our organization is the Central Brain Tumor  
24          Registry of the United States, and we are a  
25          surveillance organization. And I'm actually

1 coming with a question and an offer to work  
2 with NIOSH. We receive requests from the  
3 patient community and from researchers who are  
4 investigating cancer clusters, and wondered  
5 what the surveillance policy for these is at  
6 NIOSH and how we can partner with NIOSH to help  
7 them or work with them to identify these  
8 clusters, especially with childhood brain  
9 tumors and childhood cancers. Is there --

10 **DR. SODERHOLM:** I won't try to give you an  
11 answer right now. I'll do two things, though.  
12 I'll ask if you'd give us your name and when we  
13 end I'll introduce you to someone in the  
14 audience who's closer in NIOSH to that and you  
15 might have a good conversation about that.

16 **UNIDENTIFIED:** Okay. Thank you very much.

17 **DR. CONROY:** But before you leave, could you  
18 tell us who you are? I'm sorry.

19 **MS. KRUCHKO:** Carol Kruchko, and I'm president  
20 and administrator of the Central Brain Tumor  
21 Registry, and we're located here in Illinois.

22 **DR. CONROY:** Thank you.

23 **MS. KRUCHKO:** Thank you.

24 **DR. AMUWO:** Good afternoon. I'm actually here  
25 on two different role, to welcome you to UIC on

1           behalf of Dean Scrimshaw\*. My name is  
2           Shaffdeen Amuwo. I'm associate dean for the  
3           School of Public Health in urban health and  
4           diversity programs.  
5           My second role here is to present a testimony,  
6           although I don't have a written one, in terms  
7           of some of the direction that I think NIOSH  
8           should go. As many of you may know, the face  
9           of this country is changing very rapidly  
10          (unintelligible) of immigrants, and they have  
11          to work. Well, you notice that immigrants  
12          generally take on some of the first jobs  
13          available to them that they are allowed to  
14          work, and some of those works are where you  
15          have very high risk exposures. For instance,  
16          when you look at cab drivers in any part of the  
17          major cities in this country, most of the ones  
18          you will see driving will be immigrants. When  
19          you look at the building industry, most of the  
20          builders, most of the carpenters, most of the  
21          brick layers, are immigrants. Then but what we  
22          don't attempt to do is to look at the  
23          contribution of this immigrants to the health  
24          disparity in the nation. Therefore I would  
25          strongly recommend that more and more research

1           dollars should be devoted to looking at the  
2           contributions of immigrants to our health care  
3           disparity, given the fact that many of them get  
4           sick, many of them don't have insurance, many  
5           of them that does have insurance are not  
6           adequately covered. As a result, their  
7           morbidity increases the gap between -- between  
8           African-Americans, immigrants, as well as the  
9           general population. So it just makes a lot of  
10          sense to put more research dollars on the issue  
11          of exposure of immigrants in the workplace.  
12          Thank you.

13          **DR. CONROY:** Thank you.

14          **DR. SODERHOLM:** Okay. In just a moment here  
15          we're going to ask Tom to give us some closing  
16          kind of reflections on what we've heard this  
17          morning. I'm sure we all have our own  
18          responses -- emotional, intellectual and the  
19          empathy that was just being discussed is  
20          certainly something that comes to the fore as  
21          we hear this type of testimony. So I'd like to  
22          thank everyone for their testimony. As I said,  
23          Tom will talk here in just a minute.  
24          I again remind you of the CDC research guide,  
25          the opportunity to comment on that. Part of it

1 does relate to occupational safety and health  
2 certainly, and you may choose to comment on  
3 whether there's too much, too little of that,  
4 whether it should be refocused or whether all  
5 the other aspects are on base in terms of  
6 infectious disease and all the other public  
7 health issues that CDC deals with.

8 Is there anyone else who has felt a little  
9 bashful but is now ready to say that they'd  
10 like to offer some comments?

11 **DR. CONROY:** All right, I think that -- we've  
12 asked Tom, in a difficult position, to try and  
13 summarize in just a few minutes what we've  
14 heard from the group this morning before we  
15 actually go off to lunch. This afternoon we'll  
16 be much more focused on -- specifically on  
17 construction, but -- so Tom has generously  
18 agreed to try to summarize what we've heard  
19 this morning.

20 **DR. SODERHOLM:** And we will convene at 1:15, as  
21 on the schedule, for the afternoon session.

22 **CLOSING: TOM ROBINS, UNIVERSITY OF MICHIGAN**

23 **MR. ROBINS:** Okay. I think we -- we've all,  
24 NIOSH included, received a lot of wonderful  
25 information today from very impassioned and

1 very knowledgeable speakers about a lot of  
2 different areas.

3 A couple of things that struck me, without  
4 trying to summarize, you know, what was said by  
5 every individual speaker, is that there's a  
6 great deal of interest on research to practice,  
7 and practical interventions and evaluation res-  
8 - evaluation of interventions as research.

9 That was mentioned time and again in a number  
10 of different settings, and I know that, you  
11 know, NIOSH is already pursuing this as a major  
12 direction. And I would say that the feeling of  
13 the speakers here today certainly was in  
14 support of that. You know, come up with  
15 practical solutions to the kind of problems  
16 that are faced.

17 Beyond that, I think we've heard some examples  
18 of serious problems with exposures to chemicals  
19 and in the more traditional industrial kinds of  
20 settings, although some of those were in small  
21 businesses like the example of the exposure to  
22 isocyanates in bed liners and body shops is --  
23 but what was also stressed I think time and  
24 again were the workers and the work situations  
25 that maybe have not received as much attention

1           traditionally.

2           Many -- many of the speakers, or at least  
3           several of the speakers, talked about workers  
4           involved with home health care industry, home  
5           child care industry, as well as workers that  
6           are in correctional or mental health  
7           facilities. And a host of problems were  
8           brought up with respect to workers there, and I  
9           think it was pointed out very eloquently that  
10          we're only in the early stages of really  
11          evaluating what are the issues faced by such  
12          workers and what are some of the solutions that  
13          are appropriate or best practices.

14          For those solutions we do have, I think a  
15          couple of people also spoke to the fact of how  
16          do you in fact make the employers aware of the  
17          solutions that exist and convince employers  
18          that these are actually cost-effective  
19          approaches, that better health and safety  
20          actually leads to better business and better  
21          productivity.

22          Another area which was emphasized, in part by  
23          the person on my left, which dovetails with  
24          that is the fact that looking at small to  
25          middle-sized employers is an area that needs

1 greater attention because, again, it has not  
2 received as much attention up to this time.  
3 There was also a lot of discussion about  
4 governmental and school-based employees, which  
5 overlaps in some cases with the home health  
6 care workers and so on, but pointing out that  
7 there are a number of non-traditional hazards  
8 that are faced by such employees, ranging from  
9 workplace violence to ergonomic challenges to  
10 poor indoor air, and that these are challenges  
11 that are faced by a large sector of our  
12 workforce. And in fact, in the case of  
13 schools, also are faced by all of our children,  
14 as well, in -- especially in public school  
15 systems.

16 I've left out a number of things, but very  
17 quickly, you know, those were some of the major  
18 things that I think came forward, and I believe  
19 that these speakers have given a great deal for  
20 the people at NIOSH to think about, very  
21 eloquently have spoken to areas that need --  
22 need additional focus over time and in the new  
23 version of NORA.

24 **DR. CONROY:** Okay. We also want to thank  
25 everyone for their participation and their

1 attention today. We're going to break for  
2 lunch, and again, we'll reconvene at 1:15 in  
3 this room.

4 For those of you that are not from this area,  
5 there is a map and a list of restaurants or  
6 places to have lunch within easy walking  
7 distance of this building you can pick up on  
8 the table right outside. So I'm looking  
9 forward to seeing everybody back at 1:15.

10 **DR. SODERHOLM:** I'd like to thank our  
11 cosponsors, the three ERCs, and also Ray Green  
12 for his heroic efforts in capturing every word.  
13 And if he approaches you and wants some  
14 clarification, please help him out. See you at  
15 1:15. Thank you.

16 (Whereupon, a recess was taken from 11:45 a.m.  
17 to 1:15 p.m.)

**CONSTRUCTION SESSION:**  
**INTRODUCTION TO THE SECTOR APPROACH**

18 **MATT GILLEN, NIOSH**

19 **MR. GILLEN:** Welcome to the afternoon session.  
20 Greetings to everybody. Let's get started.  
21 So the way the town hall meetings are working,  
22 each meeting has a general morning session and  
23 the afternoon session is dedicated to  
24 discussing sector issues for a particular

1 sector. So at today's meeting we're going to  
2 be focusing on the construction sector, and my  
3 name is Matt Gillen and I'm the NIOSH  
4 coordinator for the construction program. And  
5 I have a few things to say, a little -- few --  
6 welcome from the folks here, and I had a brief  
7 presentation, then I was going to go over the  
8 ground rules, and then we're going to start  
9 right up.

10 So again, I'm the coordinator for the NIOSH  
11 construction program. And with me in -- Jim  
12 Albers is the assistant coordinator for the  
13 construction program. Jim's going to help  
14 moderate the afternoon session. And also with  
15 us is Tom Broderick, who's the executive  
16 director for the construction safety council  
17 here in Chicago, I guess.

18 Tom and his organization really helped us --  
19 served as local partners to really help us here  
20 in Chicago get the word out on the meeting  
21 among the construction community. So I'd like  
22 to thank Tom for his help and actually offer  
23 him a chance to say hello, as well, so come on  
24 over.

25 **TOM BRODERICK, CONSTRUCTION SAFETY COUNCIL**

1           **MR. BRODERICK:** Right pocket for reading  
2 glasses, left pocket for long range glasses.  
3 Welcome to Chicago.

4           Although I'm not a native of Chicago, I have  
5 been here since 1989. And parenthetically,  
6 this is the home of the world champion Chicago  
7 White Sox, and quite possibly the prospective  
8 Super Bowl winners in 2006. And we won't talk  
9 about the Cubs.

10          Chicago also has a rich history in terms of  
11 worker issues, labor issues. Just throw out a  
12 couple of names that probably will have meaning  
13 to you, one way or the other, the Pullman  
14 Company, Haymarket Square, and the social  
15 reforms brought about by Jane Addams and the  
16 Hull House. And I would be remiss if I didn't  
17 mention the work of the late Alice Hamilton.  
18 Dr. Hamilton is one of my -- one of my favorite  
19 people, and when I sometimes seek solace in the  
20 frustration of being a safety and health  
21 professional I read some of her works and I  
22 realize things could be worse.

23          But then I also set the clock forward and I  
24 realize that in many ways we're still fighting  
25 some of the same fights that she wrote so

1 eloquently about 70 or 80 years ago. Such as  
2 the inexcusable persistence of some  
3 occupational diseases in our construction  
4 industry.

5 And we'll be hearing more about that later, so  
6 I wanted to once again thank you for coming.  
7 Welcome to Chicago and -- normally I see a  
8 group of people from the safety and health and  
9 research community in February in Chicago when  
10 the Construction Safety Council does our annual  
11 conference, and I take a hit for inviting  
12 people to Chicago in February every year. So I  
13 thought now that we've moved it to April of  
14 2006, I'll be just find. Then my friend Matt  
15 said how would you like to be cohost of this  
16 town meeting where we'll be inviting people  
17 from all over the country to come to Chicago in  
18 January. So here you are, here we are, and  
19 let's get on with the show.

20 **MR. GILLEN:** All right. If we could have the  
21 PowerPoint slide projected there, I -- to help  
22 us get us off to a good start, I had a brief  
23 presentation. Is Neil back there? Is anything  
24 -- there we go, okay.

25 So first of all I really want to thank people

1 for being here today and send a special thanks  
2 to the construction stakeholders who came to  
3 provide testimony. What I wanted to do is to  
4 give a brief -- brief overview of NORA issues,  
5 the original NORA and the second NORA -- next  
6 decade of NORA, taking a sector approach --  
7 emphasis we're taking; talk a little bit about  
8 your participation and input, and then finish  
9 up with a brief look at the construction  
10 sector.

11 You know, the original NORA -- over 500  
12 stakeholders, as you've heard, provided input  
13 and identified 21 research priorities for the  
14 nation. These included many that were relevant  
15 for construction -- hearing loss, traumatic  
16 injuries, organization of work and such. And  
17 the first NORA's really been quite successful  
18 in helping to leverage resources nationwide to  
19 support the research, really getting us to work  
20 together to address these priorities.

21 And we want to build upon this foundation for  
22 the second decade of NORA. And really industry  
23 stakeholders really are the key to knowing what  
24 the biggest problems are and then to really  
25 getting the word out on the solutions. And

1           secondly, moving the research into practice is  
2           really -- through partnerships is really key to  
3           making a difference with research. And third,  
4           industry and employee group partners themselves  
5           are really organized by sector, so it makes a  
6           lot of sense to us to use the sector approach  
7           for the second decade of NORA.

8           So each sector will have its own NORA sector  
9           research council, and stakeholder input can be  
10          used to identify its most important problems  
11          and opportunities. And each sector can develop  
12          its own research -- separate research agenda  
13          and goals, so construction will have its own  
14          separate research agenda and can make plans to  
15          assure the funding, develop the partnerships  
16          needed, conduct the research and so forth.

17          So the NORA sector research council will draw  
18          from all the different multi-stakeholder groups  
19          and will be able to sit down and work together  
20          on common goals and priorities.

21          The NIOSH role is we'll really promote the  
22          process. We're going to provide research and  
23          surveillance information to help the different  
24          NORA sector councils grapple with the issues.  
25          We're going to support the needs of the sector

1 research councils and provide for some of the  
2 extramural research funding and training.  
3 How can you participate? This is a key to us.  
4 Really we want to -- we really want your ideas  
5 and your input really will help shape the  
6 national agenda, starting really with today's  
7 meeting. And we'll capture what happens today  
8 and we'll be passing this along to the NORA  
9 sector research council. And we're looking for  
10 people to participate on the sector research  
11 council, so let me know or let Sid know if  
12 you're interested in participating on that  
13 sector research council. We're looking for  
14 volunteers to help get together and talk, or to  
15 encourage your organization to be involved.  
16 And we plan to get the construction sector  
17 research council sort of up and running with  
18 some meetings beginning in March of 2006, and  
19 we'll be letting people know more about the  
20 details of that.

21 Just a brief look at the construction sector,  
22 about what groups are included, what are some  
23 of the major injury and illness problems and  
24 what is NIOSH doing, just very briefly. The  
25 construction sector, a/k/a -- in NAICS code 23

1 is, you know, how the construction sector 23 is  
2 referred to officially and includes the three  
3 major employer divisions: construction of  
4 buildings, heavy and civil engineering, and the  
5 special trade contractors.

6 In the construction of buildings, about 1.7  
7 million workers, about 23 percent of all the  
8 construction workers fall into this group which  
9 includes residential building constructions and  
10 non-residential building such as commercial  
11 construction.

12 The heavy and civil engineering construction  
13 has about 16 percent of the construction  
14 workers. These are the folks who build the  
15 highways, streets and bridges and do much of  
16 the heavy and civil engineering work.

17 The largest group is really the special trade  
18 contractors. This is about 61 percent of the  
19 workers. This is where many of the roofing,  
20 electrical, plumbing, painting, carpentry  
21 trades fall into these groups here.

22 Most construction establishment are small  
23 operations, and the statistic that you see a  
24 lot is that 80 percent of the construction  
25 establishments with paid employees have fewer

1 than ten employees. In addition to this,  
2 there's an additional 2.1 (sic) self-employed  
3 businesses. These are one-person shops -- 2.1  
4 million of those in construction, as well, and  
5 represent a lot of challenges in how to get  
6 information to that group.

7 As far as construction sector OSHA issues, you  
8 know, there's a variety of issues that we could  
9 talk about, from fatal injuries to non-fatal,  
10 musculoskeletal disorders, occupational  
11 illnesses. Work-related fatalities are a big  
12 problem in construction. Construction has  
13 about six percent of the U.S. workers, but  
14 about 20 percent of the U.S. fatalities, so  
15 it's really over-represented there. It's even  
16 pushing 21 percent. And construction has the  
17 most fatal injuries of any of the sectors, as  
18 far as the numbers, and so that's 1,200 a year.  
19 And it's pretty much been that much -- when you  
20 think of NORA being a ten-year effort, when you  
21 think of how many fatalities that could mean,  
22 it's really quite an astonishing number.  
23 And the number of fatalities actually rose last  
24 year by eight percent. In some sectors -- for  
25 example, falls from roofs were up 39 percent

1 from 2003 to 2004.

2 Interestingly, the leading cause of work-

3 related deaths in the construction sector

4 haven't changed that much. It continues to be

5 falls, transportation issues, contact with

6 objects, harmful substances in environment such

7 as electric current are really the big four.

8 As far as non-fatal injuries, construction has

9 about six percent of the U.S. workers and about

10 ten percent of reported U.S. injuries. And it

11 has the second highest incident rate for those

12 injuries and illnesses involving days away from

13 work, the more serious ones. There were

14 153,000 cases in 2004.

15 There has been some improvement in reported

16 injuries. The incident rate for the total

17 recordable injuries has been declining, so it

18 declined last year, as well, for example.

19 Musculoskeletal disorders are another area of

20 concern for construction. You can see in 2004

21 there were about 38,000 MSDs, and these tend to

22 be more severe. You can see that the number of

23 lost work days was higher. The median was ten

24 days. So these types of injuries -- lifting

25 and back injuries, arm and shoulder disorders,

1 things caused by awkward postures and  
2 repetitive motions are a concern.  
3 Occupational illnesses -- the temporary nature  
4 of much of construction really makes it  
5 challenging to track occupational illnesses  
6 with the multi-employer setting, but they do  
7 affect large quantities of workers and they're  
8 very important. Hearing loss is a good example  
9 of something that's -- cuts across most of the  
10 construction trades in important concern.  
11 Respiratory disease, skin disorders.  
12 So as to what NIOSH is doing, there's a growing  
13 national network of researchers with interest  
14 in construction and safety and health. NIOSH  
15 supports work by internal scientists that work  
16 -- it supports external scientists and academic  
17 researchers. We have partners, for example,  
18 from the Center to Protect Workers Rights and  
19 they have a academic consortium as well that  
20 does a lot of good research for us. And we  
21 support a wide variety of projects cutting  
22 across OSH issues.  
23 And we have a new emphasis on research to  
24 practice, and collaboration -- more  
25 collaboration with construction workers,

1 contractors, trade associations and unions. I  
2 mean a good example of the kind of approach  
3 that we're interested in taking more often is  
4 this kind of partnership here, so in this case  
5 here, really the partners helped identify what  
6 the problems were and they identified the  
7 promising interventions -- proximity alarms and  
8 internal traffic control plans -- and NIOSH is  
9 helping -- Dave (unintelligible) here -- is  
10 helping to evaluate do these interventions work  
11 and how to improve them so that they can be  
12 disseminated out to -- to practice. But the  
13 partnership is there to help deliver results  
14 once the research is done. So it's this type  
15 of partnership approach that we see as most  
16 promising.

17 We have quite a few research products, and we  
18 support quite a few and we're really looking  
19 forward to using the sector research council to  
20 as well talk about these kind of issues, what  
21 are the kind of products that really provide  
22 the input, help target the priorities of  
23 construction folks and improve our products.  
24 NIOSH itself is working to develop strategic  
25 goals for our NIOSH construction program.

1 Really the goals represent our NIOSH researcher  
2 ideas for what are important issues. And from  
3 meetings like this is where we're going to get  
4 your input as stakeholders, what are the most  
5 relevant issues for you that we can add to this  
6 mix. And we expect our internal goals to  
7 contribute to the NORA sector research council  
8 discussions and we're very much interested in  
9 any feedback that you might have. The goals  
10 are available at the construction topic page at  
11 NIOSH, which I put briefly on there. So you  
12 can go to the NIOSH web page and there's a  
13 construction topic page and we'll be using that  
14 topic page to communicate different things such  
15 as draft goals, things of that sort.  
16 So again, to reiterate, you can track the  
17 progress for the NORA sector through the NIOSH  
18 e-news if you're interested in subscribing to  
19 that. We're very much interested in people who  
20 might want to volunteer to be part of the NORA  
21 cross-sector councils that it would be --  
22 excuse me, the NORA sector research councils  
23 that will be forming. If you have comments  
24 that you want to provide after today, you can  
25 do that by e-mail, as well. And of course

1           here's my contact information, if you're  
2           interested, and other folks in our NIOSH  
3           construction program, as well.

4           So anyway, we're really looking forward to --  
5           to today and really interested in hearing from  
6           you on which topics are the most critical to  
7           you for improving construction OSH performance.  
8           So what we want to do now is -- I think we can  
9           get the lights. And we can take to get the  
10          screen up and all.

11          The last thing I wanted to do before we get  
12          started is talk about some of the ground rules.  
13          And again, same way it was done this morning,  
14          in case any folks weren't here this morning, we  
15          had speakers come up in groups of four.

16          Speakers have five minutes to make their  
17          comments. And we do have a time-keeper in the  
18          front row, Ann Berry, and she will raise her  
19          hand to signal that there's one minute left,  
20          and she can also signal when your time is up.  
21          And again, if you do run out of time, you can  
22          always submit comments -- greater comments or  
23          longer comments in -- in a later time. And we  
24          may have some time at the end and we'll have  
25          that opportunity for other presenters who might

1 want to come up. And do ask people to remember  
2 that we're really interested in getting your  
3 own views to be presented, and we ask that you  
4 avoid any criticism of other presenters if you  
5 disagree with something that might have been  
6 said. You're welcome to offer your views, but  
7 we'd like to avoid any criticism.

8 And now we're going to start out -- we have one  
9 speaker from this morning on health care that  
10 we want to provide that person an opportunity,  
11 and then we're going to launch into the  
12 construction portion. So come on up and  
13 identify yourself.

14 Thank you. So we get the -- can we have more  
15 lights on in the front?

16 **DR. SODERHOLM:** Neil, are you available to give  
17 us lights, please?

18 **MR. GILLEN:** Is that better? Great.

19 (Pause)

20 **MR. ADEFUYE:** Good afternoon. Thank you for  
21 giving me the opportunity to be able to do...

22 **DR. SODERHOLM:** I think you need to come closer  
23 to your mike, probably. These mikes have to be  
24 in your face.

25 **MR. ADEFUYE:** Okay. Thank you for giving me

1           this opportunity to be able to give a short  
2           testimony, one that wasn't planned. My name is  
3           Adedeji Adefuye. I'm the assistant dean for  
4           Urban Health and Diversity Programs here at the  
5           UIC School of Public Health. I've listened to  
6           all the presentations in the morning and one  
7           thing we probably all will agree on is the fact  
8           that the -- there is disparities in the number  
9           of injuries when you look at the different  
10          parts of the U.S. populations. There are some  
11          groups that are affected more than others, and  
12          mostly minorities when you look at personnel  
13          injuries. And when we're trying to make sure  
14          that workplaces are much safer than they are,  
15          we cannot shy away from looking at the  
16          disparities actually in the training of health  
17          -- health care professionals, particularly  
18          those who are involved with occupational safety  
19          and health. Here at the UIC School of Public  
20          Health we are in the business of training these  
21          professionals, occupational health and safety  
22          professionals, among other public health  
23          professionals. And what I really think that  
24          both NIOSH and NORA should be considering as  
25          part of their research agenda is looking to how

1 the disparities in the training of occupational  
2 health and safety professionals affects efforts  
3 to make the workplace safer.

4 Everyone here probably will agree with me that  
5 in designing interventions to reduce workplace  
6 injuries and diseases that actually occur as a  
7 result of exposures at places of work, people  
8 tend to a lot of times want to have  
9 interventions done by those who actually can  
10 associate with the kind of upbringing that they  
11 have, with the kind of environments in which  
12 they grow -- they grew up, and as such probably  
13 will be more willing to take part in  
14 intervention projects that are designed and  
15 also implemented by people of their -- of their  
16 kind, probably maybe people who look like them,  
17 who talk like them, who understand their  
18 sensibilities and everything.

19 And you just mentioned the question of research  
20 to service. I actually want to interject  
21 something between research and practice. Most  
22 policies are driven by research, and I really  
23 think that if we do not train enough minority  
24 professionals who will be part of the research  
25 enterprise because we know that research

1           actually drives policy and it's actually policy  
2           that leads to (unintelligible) design and  
3           practices that are actually effective. So my -  
4           - we -- also we need to look definitely into  
5           the training of minorities in occupational  
6           health and safety so they become part of the  
7           research agenda and actually have an impact on  
8           both policy and practice. Thank you.

**CONSTRUCTION SESSION: STAKEHOLDER PRESENTATIONS**

**MODERATOR: JIM ALBERS, NIOSH**

9           **DR. ALBERS:** Thank you. Could the first four -  
10          - you know, the next four panelists -- yeah,  
11          come up to the -- oh, Tom Shanahan, Jim  
12          Platner, Donald Garvey and John Shine. Is  
13          everybody here?

14          **DR. SODERHOLM:** During the transportation  
15          moment, I'll make a couple of comments. Within  
16          NIOSH there's an alignment of the research  
17          program leaders with the NORA sector areas and  
18          cross-sector areas, and Matt Gillen is the  
19          coordinator of the NIOSH construction --  
20          internal construction research program. Jeff  
21          Kohler, who isn't here today, is the manager.  
22          And Jim Albers, who'll be the moderator of this  
23          afternoon session, is the assistant coordinator  
24          of the internal program. So there is some

1           tight coordination between the leadership of  
2           the internal program and the NORA activities.  
3           And with that, transportation moment is over  
4           and I'll be quiet.

5           **DR. ALBERS:** Mr. Shanahan is first.

6           **MR. SHANAHAN:** Thank you very much. Can you  
7           hear me okay? All right.

8           So good afternoon. My name is Tom Shanahan and  
9           I represent the National Roofing Contractors  
10          Association. NRCA is one of the oldest  
11          construction trade associations, celebrating  
12          its 100th year this year. Roofing businesses  
13          are typically small family-owned businesses, as  
14          Matt had alluded to earlier. We were right in  
15          there with that. And from a personal  
16          perspective, you know, working with roofing  
17          contractors has been a fantastic experience.  
18          Not only are they incredibly intelligent, but  
19          incredibly warm people who care about what's  
20          going on with their workers because there's a  
21          very family feel to it.

22          But roofing work is very dangerous work and  
23          OSHA recognized this in its formation back in  
24          1970 when it -- it was -- roofing was one of  
25          its five targeted areas. And with good reason.

1 Like I said, the nature of the work is very  
2 hazardous.

3 So then it only seems natural that everybody  
4 involved would do everything he or she could to  
5 see that those hazards are controlled in some  
6 way. Interestingly, for one reason or another,  
7 that just isn't the case. And that's not to  
8 say that OSHA or insurance companies or roofing  
9 contractors or roofing workers aren't effective  
10 -- aren't affected by this or they don't care,  
11 because I can tell you first-hand that they  
12 really do care and they try to do a lot about  
13 it.

14 But safety solutions aren't easy in the roofing  
15 industry, and -- as it might seem at first  
16 blush. If you took the -- talked to some,  
17 they'll say well, if a roofing contractor will  
18 just do this, or if the workers would just do  
19 this, or if OSHA would do that -- and it goes  
20 on and on. I think we all know that. But that  
21 doesn't get anywhere.

22 So what's the difference? You know, what  
23 really gets through and what -- what is it that  
24 we need to do? In essence, it sets the stage  
25 for my comments here.

1 For the last 17 years I've had the opportunity  
2 to work in the roofing industry as its national  
3 risk manager, and in my experience it's become  
4 apparent that what needs to occur is effective  
5 training. And you might be thinking well, no  
6 kidding, Tom; of course. But I challenge you  
7 to really consider the numbers and the efficacy  
8 of training in the construction industry.  
9 They're not very good. And they're not very  
10 good in particular for small businesses, and if  
11 you look at the small business numbers in  
12 general, they're not very good. So something  
13 is missing, even though it's something that we  
14 all would consider very obvious.  
15 So from an effective standpoint, I think what  
16 I'd like -- what we'd like to suggest is -- I'm  
17 concerned about my time here -- is that --  
18 recently I've seen roofing contractors who, on  
19 an exception basis, have been doing some really  
20 cool things. And so I've asked them, from a  
21 safety perspective -- they've just made safety  
22 a part of who they are as a company, and that  
23 is a difference I see from typical. I've asked  
24 them why they've done that, and some of them  
25 say well, you know, we really could not take

1 another serious accidents and look at our  
2 employees square in the face. Or they just  
3 say, you know, we finally figured out that it  
4 makes good sense from a business perspective.  
5 And of course, you know, I'm thinking well,  
6 aha, finally, you know, you're hearing  
7 something you really think makes a lot of  
8 sense.

9 The reality is, as obvious as it might seem,  
10 safety isn't as obvious as you think it is.  
11 So the questions that we believe need to get  
12 studied are to what extent is safe behavior  
13 affected by training; understanding in the  
14 construction workplace what type of training  
15 works and for what kind of behavior changes;  
16 what kind of training and education affects the  
17 long-term behavior needed to impact safe  
18 decisions on the job by employees every day.  
19 And I really believe that if you can get at the  
20 behaviors, change someone's behavior to tie off  
21 a ladder, you know, what training impacts that  
22 decision so somebody does it. And then  
23 finally, and I think importantly, you know,  
24 what changes small businesses owners' minds to  
25 see that business models that embrace

1 education and training are successful ones. In  
2 other words, what's the business case for  
3 safety and education? And after talking to  
4 some people at NIOSH, I understand -- I think  
5 it's through the University of West Virginia --  
6 they're working on some of that, and I think  
7 that's fantastic and we would love to be a part  
8 of that.

9 And so in the end, you know, the idea of  
10 affecting safe behavior and understanding how  
11 that works in the training scenario I think is  
12 very key. And although obvious, we spend  
13 millions of dollars every year training, and to  
14 what end? And getting at that and  
15 understanding it I think would be great. And  
16 if I was younger and wanted to go after a  
17 Ph.D., that's where I'd be -- I would be  
18 heading my degree on. Thanks so much.

19 **DR. ALBERS:** Thank you. Our next speaker is  
20 Jim Platner.

21 **MR. PLATNER:** My name is Jim Platner. I'm with  
22 the Center to Protect Workers' Rights. Now for  
23 those of you that aren't familiar with our  
24 organization, we're a non-profit that's  
25 affiliated with the building and construction

1 trades department of the AFL/CIO. And since  
2 about 1990 CPWR has been working closely with  
3 NIOSH on research related to construction  
4 safety and health. Now -- and you know, we're  
5 really committed to working with NIOSH and we  
6 appreciate the opportunity to comment.  
7 First I wanted to suggest that there's a lot of  
8 different initiatives, it seems like, going  
9 forward at once that are complicated to  
10 understand. And it might be useful at the  
11 front of this NORA II effort to describe, in a  
12 paragraph or two in the -- what the difference  
13 is between the ongoing part performance  
14 criteria and this NORA II effort.  
15 You know, in my mind there's really three  
16 things going on at once that are mixed between  
17 these efforts. One is NIOSH is developing  
18 performance metrics under the part requirements  
19 that are really going to be used as a proxy for  
20 research performance by the Office of  
21 Management and Budget. And I think that's very  
22 different than the research priorities, which  
23 should be driven by surveillance, by gaps in  
24 the research literature, by evidence-based  
25 science. And then the third, in my mind, which

1 is sort of going on at the same time in this  
2 NORA II process, is the -- almost the  
3 development of new management structures as to  
4 how NIOSH will deal with each -- like sector  
5 councils, deal with each sector, and hopefully  
6 use those to continuously update its research  
7 objectives.

8 I think, given the -- how long it takes to get  
9 a group like a sector council functioning, I  
10 think NIOSH should consider whether it's worth  
11 abandoning this sort of ten-year time frame.  
12 It seems to me that it could take ten years to  
13 really get a new organizational structure  
14 functioning, and it doesn't seem to me that  
15 it's necessary to have a defined time frame on  
16 a management structure like that.

17 Just some of the other issues that I wanted to  
18 raise is that, you know, we certainly agree  
19 that our research priorities should be based on  
20 evidence and surveillance data that we have.

21 But I think there's a concern that when -- when  
22 we have a cross-sector council that is seen as  
23 defining priorities across industry sectors,  
24 it's important that that council understand  
25 that they're not going to divvy up and share

1           the money or research projects equally. I  
2           think there has to be probably a specific  
3           process for targeting money at high-risk  
4           industries. And construction, in my mind, is  
5           certainly one of those.

6           I'd also like to see the research priorities  
7           consider the hierarchy of controls. You know,  
8           we're in a difficult position in construction  
9           where we really want to see engineering  
10          controls for most of the exposures. But  
11          because it's dominated by small businesses and  
12          the decisions are often very scattered across  
13          the country where you've got thousands of small  
14          businesses that have to make decisions, we have  
15          to think about both the engineering controls  
16          and PPE and the efforts of groups like the  
17          National -- the NPPTL\* research. We encourage  
18          and support the effort to go to research to  
19          practice, and I think those kind of applied  
20          projects are important to link to the  
21          engineering controls. And I think there's some  
22          real personnel and qualification issues that  
23          have to be dealt with from a management  
24          perspective to say we have the connections and  
25          the staff to deal with the engineering controls

1 and the personal protective equipment in some  
2 sort of integrated manner rather than dealing  
3 with them separately, when hopefully the PPE is  
4 only going to be used until the engineering  
5 controls can be implemented.

6 So we've got a -- I've got a whole list of  
7 other comments, but they'll be submitted in  
8 writing. Thank you for the opportunity to  
9 speak.

10 **DR. ALBERS:** Thanks, Jim. Our next speaker is  
11 Donald Garvey.

12 **MR. GARVEY:** This is great. Now I can bore you  
13 with two microphones.

14 I'm Don Garvey. I'm the construction  
15 industrial hygienist with St. Paul Travelers.  
16 I'm also a past chair of the American  
17 Industrial Hygiene Association. Today, though,  
18 I just come as a grunt industrial hygienist who  
19 works down in the trenches, so I don't have the  
20 big global picture that a lot of other speakers  
21 have been coming with.

22 But what I would like to suggest with NIOSH is  
23 a stronger emphasis on researching noise in  
24 construction, and particularly impulse and  
25 impact noise in construction. Mark Stefanson\*

1 with NIOSH did I think a fascinating little  
2 study several years ago which indicated that,  
3 on average, the typical 25-year-old carpenter  
4 has the hearing of a 50-year-old person, which  
5 -- which would indicate an exposure or a  
6 repeated, consistent, constant exposure of  
7 upwards of 100 decibels on a daily basis.  
8 Which -- which just isn't happening out in  
9 construction. Certainly we have high noise  
10 levels, but certainly not 8-hour time-weighted  
11 averages on the order of 100 decibels. So it  
12 indicates that something else is going on  
13 there.

14 One of the things that construction is rife  
15 with is impulse and impact noise, whether we  
16 define it as the official kind of impulse or  
17 impact noise or the short, very high-intensity  
18 noise of brick -- cutting a brick with a chop  
19 saw. In the 2004 American Industrial Hygiene  
20 Association conference, during their noise  
21 symposium, one of the symposium sessions was on  
22 impulse and impact noise. And the one sentence  
23 synopsis of that presentation was we really  
24 don't know a lot about impulse and impact  
25 noise, what -- what parameters are important in

1           deciding if impulse or impact noise is going to  
2           be detrimental to hearing. And even if we did  
3           know which parameters to look at, we don't  
4           really have either good methods or we don't  
5           know how to monitor and evaluate those  
6           exposures.

7           So I would like -- I would like to see NIOSH  
8           focus more on noise in construction in general,  
9           and particularly on impulse and impact noise.  
10          The last thing that I would like to mention, I  
11          would like to emphasize what Tom had said. He  
12          brought up an interesting point on the efficacy  
13          of training. Again, another NIOSH study, and  
14          again I believe by Mark Stefanson. Something  
15          on the order of 90 percent of construction  
16          carpenters knew that noise was dangerous to  
17          their hearing. And something like 70 percent  
18          of those carpenters believed that noise was  
19          impacting their hearing. But only about 20  
20          percent were actually wearing hearing  
21          protection on a continuing basis, which would  
22          tend to indicate that while we're getting the  
23          point across and while we're doing the training  
24          and while we're getting the knowledge to them,  
25          it's not taking hold, it's not taking root.

1           So what Tom said, I'd like to back that up on  
2           research on the efficacy of noise training.

3           So thank you very much.

4           **DR. ALBERS:** Thank you. Our next speaker is  
5           John Shine, Sr.

6           **MR. SHINE:** Good day to everybody. I'm pleased  
7           to have been asked or been given the  
8           opportunity to speak today on this topic of  
9           fatalities from falls. I have personally seen  
10          and heard from many friends, coworkers and  
11          other craft's families to have been devastated  
12          from this problem.

13          My name is John Shine. I have been in my  
14          trade, the insulators, since 1973. I have  
15          worked for the Local 17's apprentice program  
16          since 1987, and I've been involved with the  
17          safety training, program development, and  
18          research since then.

19          I've worked in the field as a helper, an  
20          apprentice, journeyman, foreman. I worked  
21          around, saw and heard about many falls and the  
22          resulting injuries. I at first thought this  
23          was the chance that you took to be paid. As I  
24          went along from job to job, I noticed that the  
25          foremen in the companies that were interested

1 to keep this to a minimum. These were and are  
2 motivated people. People would, could and did  
3 get hurt. These people said that there was a  
4 better way to do our job.

5 I have since then been teaching fall protection  
6 and prevention at our apprentice school. One  
7 day at work when I was in the field, I used the  
8 example of a painter I saw fall from a height.  
9 He had no fall protection on at all. It was  
10 not used then. I will not go into the details  
11 here, but his family should have never gotten  
12 that phone call that day. There were better  
13 ways to do his job that day.

14 I also know that there are better ways to  
15 protect all workers that I deal with. I've had  
16 two young apprentices fall at work from a  
17 scaffold, and another young man came -- was  
18 about to come into the apprentice program, fell  
19 from a pipe rack at work. These men were a  
20 terrible waste of excellent minds, who would  
21 have been a credit to our union and our craft.  
22 These are a few stories I hear about at work.  
23 I listen to workers in the classes that I give.  
24 I give their stories back to the membership who  
25 attend our SMARTMARK program on construction

1 safety, and the apprentice classes, also.  
2 These incidences I speak of come from them, as  
3 well as their own experiences.  
4 When we reduce this injury and fatality rate we  
5 can keep smart, productive and interested  
6 people on the job sites. There are many  
7 directions that this study can go, and should  
8 each be addressed from all these instances.  
9 My first one is training is for everyone,  
10 workers, safety directors, supervisors,  
11 superintendents, estimators and the owners.  
12 Each one of these people in the process needs  
13 to know what the other is doing and if they are  
14 doing it correctly. I think as I go through  
15 the other issues, this will become evident as  
16 to why it's important.  
17 One of the big problems with fall protection  
18 from height is the anchorage point. You'd be  
19 surprised to hear and see what is done on the  
20 job sites, what workers are told to do. Some  
21 of it doesn't make sense. Electrical conduit,  
22 electrical light fixtures to be used as  
23 anchorages. How does a worker anchor to a 500-  
24 pound (sic) anchorage point when no one knows,  
25 nor will tell him, what constitutes a 5,000-

1           pound anchorage point? Try to get an answer.  
2           This might be your fun for the day. Is it a 4-  
3           inch steel pipe sitting on a concrete beam?  
4           Could it be a 3-inch electrical conduit? Do  
5           not even think of the light conduit, which  
6           people have been told to anchor to. What do I  
7           do as a worker when there is nothing of  
8           substance to attach to? Do I put on a show,  
9           wrap the lanyard around the ceiling joist to  
10          make the safety guy happy? If I don't, I might  
11          get laid off for not following the safety  
12          rules. It might not make sense, but you do it  
13          anyway. If I do -- if I do say something, I'll  
14          be complaining. I might lose my job as a  
15          troublemaker; he asks too many questions.  
16          The next issue could be preplanning for  
17          engineering stages. Anchorage points, as an  
18          example, have been put in place during the  
19          erection of floors and ceilings, and left in  
20          place for future use. This has been successful  
21          on many jobs lately. This will be -- put the  
22          anchorage points above the workers' heads,  
23          where they should be. This also minimizes the  
24          pendulum effect if the people fall, and  
25          minimizes swinging into stationary objects.

1 Next part is inconsistency of regulations, such  
2 as OSHA standard, which has two different  
3 heights that we can work from: six foot for  
4 fall, ten foot for scaffolds. I don't  
5 understand the differences, but that should be  
6 addressed. How about the inconsistency of one  
7 facility to another? One site goes to the  
8 extreme of have you in a harness on a six-foot  
9 ladder, while the other site lets you walk  
10 around 40 feet in the air on a beam.

11 The next one is lack of knowledge of what  
12 equipment is proper for the job at hand. There  
13 are many different types of harnesses that can  
14 be used. One type doesn't do it all. The  
15 various trades have harness types that they use  
16 consistently. This does not mean that every  
17 job is the same.

18 Let me continue on here. I think I'm running  
19 out of time.

20 **DR. ALBERS:** Yeah, you are out of time, I'm  
21 sorry.

22 **MR. SHINE:** Excuse me, I was almost there.

23 **DR. ALBERS:** All of your comments will be  
24 entered into the record. You know, we'll get a  
25 copy of his comments.

1           **MR. SHINE:** I'm a teacher; I guess I get too  
2 wordy.

3           **DR. ALBERS:** Well, thanks very much to the  
4 panel.

5           Our next four speakers are Tom Kavicky, Alex --  
6 or Alec Rexroat, Philip Colleran and Michael  
7 Watson.

8   (Pause)

9           Our first panelist is Tom Kavicky.

10          **MR. KAVICKY:** Yes, thank you. I'd like to  
11 thank NIOSH for the opportunity to come here  
12 this afternoon and address some of our issues  
13 that I feel are important for the research of  
14 the study that's coming up over the next ten  
15 years.

16          My name is Tom Kavicky. I'm safety director  
17 with the carpenter's union here in Chicago and  
18 the outlying 81 counties. I've been doing this  
19 now for about eight years. Previous to that I  
20 was like Jack Shine of the insulators where I  
21 was an instructor at the training center for  
22 almost 17 years. I've worked out in the field  
23 for quite a while before that, since 1970. So  
24 I've got a background in construction and  
25 dealing with a lot of the issues that we

1 address every day on the job with workers.  
2 Number one, we would like to see -- over the  
3 years the issue of fiberglass has been on the  
4 list, been taken off the list. We have a  
5 tremendous amount of carpenters that are  
6 involved in insulating homes, insulating  
7 commercial buildings with different type of  
8 insulating products such as fiberglass. And  
9 we'd like to see a study done and once and for  
10 all coming up with some kind of idea -- is it  
11 safe, is it not safe; what best practices to  
12 use when installing fiberglass.

13 Second issue, and I'm in agreement with Jack  
14 Shine from the insulators on this one regarding  
15 falls. I would like to see more data, more  
16 specific data. When we talk about falls in  
17 construction from elevated and same-level  
18 surfaces, but we don't get into specifics as  
19 far as what was the worker doing when he fell.  
20 What caused the fall? And causes aren't that  
21 important as to what was he doing or she doing  
22 when the fall occurred? Was it through a floor  
23 opening? Was it while they were installing a  
24 ladder or working from a ladder? What were  
25 they doing? Were they over-reaching? More

1           specific information so we can utilize the  
2           information at our training centers across the  
3           country and specifically zone in as to where  
4           we're seeing these issues out in the field to  
5           help better our relationship with our members  
6           and contractors and reduce those injuries.  
7           Another issue is the -- we provide a tremendous  
8           amount of training here in Chicago, both  
9           through the apprenticeship program and through  
10          the skill enhancement program where the  
11          journeyman has an opportunity to come back for  
12          training. In that training, specifically the  
13          skill enhancement training, we've got about  
14          8,000 right now members that come in for  
15          training on an annual basis. Now that 8,000  
16          equates to a membership of approximately 43,000  
17          members here in Chicago. I would like to know  
18          why 8,000 members make it a point to come out  
19          just about every year, taking classes, and the  
20          other 32,000 you just can't reach. And we do  
21          all sorts of promotional -- things like that.  
22          But what makes the one person -- one individual  
23          want to take the training to better himself --  
24          and it's not only safety, but as far as skills  
25          -- and the other, majority, not take the

1 training?

2 We would like to see research done along with  
3 Mr. Garvey's comments. What is it that makes  
4 one worker at a job site stand up and say I'm  
5 not going to do this because it's an unsafe  
6 act, and the majority not take that stand? I  
7 know if we could all figure that out, we'd be  
8 rich or whatever, but I would -- just wonder if  
9 that would come into being in research and  
10 study.

11 And I would like to concur with Mr. Garvey's  
12 comments regarding noise in construction, the  
13 impact and impulse issues, as well. Thank you.

14 **DR. ALBERS:** Thank you very much. Next speaker  
15 is Alec Rexroat.

16 **MR. REXROAT:** Thank you. Thank you for the  
17 opportunity to speak. I really appreciate it.  
18 Frankly, I'm humbled by the intellect and the  
19 credentials of the people that I've been  
20 listening to for the last four to six hours.  
21 It's incredible.

22 My name is Al Rexroat. I represent the  
23 Illinois Regional Insulation Contractors  
24 Association. I represent the National Union  
25 Insulation Contractors Alliance, which is a

1 national organization that I wish I could say  
2 is 115 years old like the roofers, but it is  
3 only three years old. We just started it. And  
4 I represent Interstate Mechanical Insulation  
5 Contractors. So I wear three hats. I'm a  
6 businessman and I'm an association executive.  
7 And I am not an expert on musculoskeletal  
8 disorders by any stretch of the imagination.  
9 From a businessman's perspective, though, over  
10 the years -- I started in the business in 1964  
11 -- I know that I have had -- the largest single  
12 case I've had against my company was a  
13 musculoskeletal disorder. It was a back  
14 injury, and the man was doing nothing wrong  
15 except doing his job. And he got injured, and  
16 it was the largest single expense we had that  
17 year.

18 From a businessman's perspective, this is  
19 costly because we have men that can't -- or  
20 people, men and women, who can't work. Excuse  
21 me. I have three daughters; I should remember  
22 that. But we also -- when our people aren't  
23 working, our mods go up in our workmen's comp.  
24 So from a business perspective, this is very  
25 costly for us, as business people.

1           So we would like to see something on -- along  
2           these lines, with especially these insidious  
3           musculoskeletal disorder things. We have men  
4           working in our industry that are young, most of  
5           them, that are installing duct wrap around duct  
6           work in buildings. And they're -- the process  
7           is that they cut the material, they smoosh it  
8           with this hand and close the gap, and then they  
9           use a plier-type device with their other hand  
10          and they make -- every inch they make a staple  
11          to hold the stuff together. Well, they do it  
12          all day long, and then they do it the next day.  
13          And then they do it the next day.  
14          The point is that these disorders -- they don't  
15          even know it's happening to them. It's kind of  
16          like the asbestos was in our business back when  
17          I started; we didn't know it was happening and  
18          all of a sudden we were whacked with it and we  
19          were sick. Well, that's what's happening to  
20          these kids, and we need to pay attention to it.  
21          I would say -- I would agree with a couple of  
22          other issues. Mr. Connors from OSHA was up  
23          here earlier this morning. He talked about  
24          education -- educating contractors to  
25          understand that safety is a good policy for

1 businessmen because it puts money in our  
2 pockets and keeps our men working and our women  
3 working. It's just good business. So we need  
4 that -- that's paramount importance, I think.  
5 I also agreed with Jack Shine when he talks  
6 about education of the employees, of the  
7 foremen, of his contractors -- people like me -  
8 - that we need to know that these things are  
9 happening. We need to know what the best  
10 practices are to help our people, because we  
11 are there to do that. I don't want to see guys  
12 get hurt. I don't want to see people fall. I  
13 don't want to see people get hurt.  
14 So with that, I'll -- again, I'll thank you  
15 very much for the opportunity to speak. I do  
16 present a little different perspective 'cause I  
17 am a businessman, and thank you again.

18 **DR. ALBERS:** Thank you. Our next speaker is  
19 Philip Colleran.

20 **MR. COLLERAN:** Good afternoon. I'll keep my  
21 personal opinions to myself. I'm representing  
22 the American Society of Safety Engineers today.  
23 I'm in construction safety for 30-plus years,  
24 17 of them as a compliance officer for OSHA.  
25 But I'm also a member now of the construction

1 practice specialty, which has approximately  
2 3,500 members of the 30,000 members from ASSE.  
3 We commend NORA and NIOSH for this effort.  
4 ASSE's construction practice specialty is one  
5 of the largest and most active specialties. We  
6 have, within ASSE and the construction practice  
7 specialty, the NCA10\* series for construction  
8 and demolition, which equates to 44 specific  
9 standards, that is to say, for construction and  
10 demolition subjects ranging from dredging to  
11 scaffolding. And similarly, we are a  
12 secretariat, as well, for an organization,  
13 NCZ15\*, which are the safety requirements for  
14 the operation of motor vehicles, another key  
15 issue to construction.

16 There are two suggestions or I should say  
17 recommendations that NC-- or that ASSE would  
18 have, that being that within the construction  
19 sector research council that we would hope that  
20 NIOSH would have the chair or the A-10  
21 committee closely involved, if not also  
22 chairing, the construction research sector.  
23 Similarly with respect to the Z-15 standards  
24 chairperson. Not that they necessarily have to  
25 be the chair for the transportation sector

1 research council, but that we would urge you to  
2 actively involve them in any of your  
3 proceedings.

4 We also have -- given our members' expertise  
5 and experience in construction -- the desire  
6 and hope for the following three areas of  
7 research.

8 Cultural ambiguity. OSHA, IMSHA\*, state safety  
9 and health agencies, unions, employers and  
10 safety and health professionals are all working  
11 diligently to communicate better with and to  
12 educate Spanish-speaking workers to help keep  
13 them safe and healthy on the job. Even when we  
14 develop appropriate language documents and we  
15 use effective visuals and further build our  
16 supervisors' language proficiencies, there is  
17 still the hurdle in the cultural differences we  
18 experience in working with Spanish speakers.

19 It is now time to go, we believe, one step  
20 further in our efforts and to support research  
21 that examines cultural ambiguities that exist  
22 within the framework of what the construction  
23 industry currently uses as its methods for  
24 communicating and reinforcing hazard  
25 information. Translated, we believe that right

1           now of course there are some moribund, age-old  
2           traditions within the construction industry,  
3           vis-a-vis the safety toolbox -- the weekly  
4           safety toolbox talk, et cetera, et cetera. And  
5           we're wondering, in essence, whether or not  
6           these are effective. But beyond that, we're  
7           wondering as well about the methods for  
8           communicating and reinforcing information about  
9           hazards.

10          For example, Spanish-speaking workers have an  
11          approach to authority that is different than  
12          the typical U.S. approach, and may lead them to  
13          saying yes when they mean no. We should know  
14          as much as we can to understand that  
15          orientation. I got to thinking about this when  
16          someone from ASSE was going to be putting on  
17          one of these conference calls and he himself is  
18          of Spanish descent, or of Mexican descent, and  
19          he indicated that it's often the case that the  
20          messages that these hardworking individuals  
21          take when we give them a particular order or  
22          direction in terms of their work is something  
23          different apparently. And that when we  
24          similarly do this with respect to communicating  
25          hazard information that they as well take

1 different messages along with that. So we're  
2 close to the -- the point being that we need a  
3 better understanding of both the workers' and  
4 the employers' cultural assumptions and that  
5 they should be the next frontier of research.  
6 I think -- I commend Tom Kavicky for his  
7 remarks on the injury and illness source  
8 database. That said, I second that, and that  
9 was one of our recommendations, the full record  
10 of which will be for your review. So I think  
11 we can skip over that one, except to say, if  
12 you don't mind, that we do know anecdotally  
13 that the majority, for instance, of disabling  
14 falls in your framework, Tom -- that is,  
15 framing carpenters -- from sheathing, roof on -  
16 - work on top plates and from (unintelligible).  
17 But we don't have that substantive database  
18 that gives us anything more than the very broad  
19 brush of fall from height, or fall from  
20 scaffold at the very least, in terms of really  
21 parsing it out. That specific information  
22 would be most helpful.

23 Beyond that, a greater focus on silica. We  
24 know that there is myriad literature out there  
25 on the subject of silica. That said, we don't

1 ask that any further research be performed as  
2 to what the safe levels are. We have  
3 everything from the fables -- or not the  
4 fables, but the -- the tragedy of Hawk's Nest  
5 down to everything that you folks have most  
6 admirably done in the last ten years.  
7 What we do need, however, we believe, are  
8 activity-specific pieces of information -- as  
9 one of the young presenters earlier this  
10 morning was suggesting -- for the small to  
11 medium-sized firm that's going to be able to  
12 use that information in the context of simple  
13 reading. We understand of course that there  
14 are variables, vis-a-vis, again, weather,  
15 environmental factors that go into that. But  
16 we urge you to consider at least a silica  
17 message in terms of silica information to the  
18 small to medium-sized firm with an eye toward  
19 giving them some things as to what's the most  
20 effective controls for the short duration  
21 exposures.

22 Thank you very much.

23 **DR. ALBERS:** Thank you. Our next speaker is  
24 Michael Watson.

25 **MR. WATSON:** Good afternoon. Thank you for the

1           opportunity to address the committee regarding  
2           the National Occupational Research Agenda  
3           construction sector issues. My name is Michael  
4           Watson and I am a certified industrial  
5           hygienist and representative of the safety and  
6           health department, International Brotherhood of  
7           Teamsters. Our building material and  
8           construction trade division is comprised of  
9           approximately 102,000 building material supply  
10          and construction members who may be impacted by  
11          decisions regarding the agenda.  
12          According to data published by BLS for 2003,  
13          construction, sector transportation and  
14          material moving drivers experience 5,800 non-  
15          fatal occupational injuries and illnesses  
16          involving days away from work. These drivers  
17          perform work in highway and steel construction,  
18          water and sewer and utility line construction  
19          and repair, heavy construction and excavation  
20          work, ready-mix concrete, refuse, and  
21          construction material and pipeline  
22          transportation.  
23          With regard to fatal occupational injuries,  
24          according to the census of fatal occupational  
25          injuries data for 2004, the construction

1 industry sector recorded 1,224 fatal work  
2 injuries, the most of any industry sector.  
3 CPWR published a study in 2001 titled "Trends  
4 in Work-Related Death and Injury Rates Among  
5 U.S. Construction Workers, 1992 to 1998".  
6 According to the study, the fatality rate among  
7 truck drivers was consistently higher than the  
8 fatality rate for all of construction.  
9 The Teamsters Union urges NIOSH to continue  
10 research into diesel and combustion particulate  
11 exposure; general wellness issues such as  
12 hypertension, weight-induced diabetes and heart  
13 disease, and the use of tobacco products and  
14 caffeine. The adverse health effects of  
15 extended work cycles and chronic fatigue should  
16 be examined, as well.  
17 Teamsters Union is particularly concerned with  
18 injuries and fatalities resulting from highway  
19 accidents and struck-bys in the heavy and  
20 highway construction and excavation subsectors,  
21 musculoskeletal injuries and disorders among  
22 construction drivers, noise-induced hearing  
23 loss among construction drivers, and  
24 crystalline silica exposure among ready-mix  
25 concrete drivers. It is the Teamsters Union

1 position that these issues should be included  
2 in the agenda.

3 The Teamsters Union is also very concerned  
4 about whole-body vibration among our drivers.  
5 Whole-body vibration is primarily responsible  
6 for intervertebral disc degeneration, lower  
7 back pain and muscle fatigue. The importance  
8 of addressing these issues cannot be  
9 overstated.

10 Drivers should receive better and more thorough  
11 driver education which is specifically tailored  
12 to the driving tasks that they perform. This  
13 driver education could also include components  
14 which specifically address the importance of  
15 seat belt use, proper lifting and lowering  
16 practices, hearing conservation training, or  
17 other hazards present at the work site. NIOSH  
18 should perform research on the most effective  
19 training techniques for educating this  
20 particular group of workers.

21 Of course funding for research and education is  
22 the backbone of any initiative which seeks to  
23 implement change in an industry as dangerous  
24 and diverse as construction. Employers and  
25 unions alike cannot and should not bear the

1 entire cost of making sweeping changes to make  
2 our industry safer. New technologies in  
3 vehicle safety -- for example, sonar, radar and  
4 video technologies -- need to be investigated.  
5 New truck and heavy equipment design should be  
6 investigated in order to make trucks and heavy  
7 equipment more driver-friendly and  
8 ergonomically safe. NIOSH and other government  
9 agencies, including NIEHS, need to continue to  
10 fund this research and education if we're truly  
11 to get to the very core of these issues.  
12 Thank you.

13 **DR. ALBERS:** Thank you. We're going to take a  
14 15-minute break, then come back after that, at  
15 2:35.

16 (Whereupon, a recess was taken from 2:20 p.m.  
17 to 2:35 p.m.)

18 **DR. ALBERS:** Our next four panelists are  
19 Russell Hutchison, Scott Schneider, Bradley  
20 Sant, Charlie Shields.

21 **DR. SODERHOLM:** Have we got everybody in from  
22 outside, Max?

23 **DR. ALBERS:** Max, is every-- are people still  
24 there in the hallway?

25 We'll just wait a couple more seconds.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

(Pause)

Our next panelist is Russell Hutchison.

**MR. HUTCHISON:** Thank you. And I thank you for the opportunity to speak on behalf of the construction equipment manufacturers. My name is Russ Hutchison. I'm the director of technical and safety services for the Association of Equipment Manufacturers. We are a non-profit trade association based in Milwaukee, Wisconsin. We have offices in Washington, D.C.; Ottawa, Canada; and Beijing. We have over 700 members, and we serve the construction, agricultural, forestry, mining and utility industries.

I'd like to highlight construction occupational safety areas that our members are telling us are of concern to them. Many of these NIOSH is already active in and aware of, and we are going to encourage them to stay in those -- stay working in those areas and do more -- do more, maybe expand.

The first I think one of the high priority items is silica -- silica dust control. On the top of the list are the cutting, grinding, drilling. Methods of dust control and

1 mitigation that have practical application in  
2 the industry should be looked at. You've  
3 looked at some of them. You've begun that  
4 process. I urge you to stay active in that  
5 area.

6 In addition, I think it's important that you  
7 look at methods of effective communication to  
8 the construction worker. And I think this goes  
9 -- this is sort of the training area, and I'll  
10 highlight that a little bit more, as others --  
11 and Mr. Shanahan started right off the bat with  
12 it. But we need to impact the worker with  
13 regard to the hazard and the means of  
14 controlling the hazard. It's got to make sense  
15 to them. They shouldn't be out there in the  
16 middle of that cloud of dust not worried about  
17 anything.

18 Silica dust is generated by a variety of the  
19 equipment that our manufacturers produce. Not  
20 only is it the concrete cutting, grinding and  
21 drilling, but it's also the milling of concrete  
22 -- the cold planers\*, as they call them -- and  
23 work is going on in that area right now. NIOSH  
24 is doing some work with the contractors and  
25 with the manufacturers. But again, this is an

1 area that needs to be continued to address. In  
2 addition, there -- we have manufacturers of  
3 equipment used in quarries, used in mines.  
4 Their issues with regard to how you control  
5 silica dust are different, but they are another  
6 area where silica exposure is an issue and  
7 needs to be attended to.

8 And finally in that regard, I would urge NIOSH  
9 to put more effort or dedicate more resources  
10 to the control banding concept. I think that  
11 was alluded to briefly before, but the idea  
12 that you identify a process and then you  
13 identify the controls or the PPE that's  
14 appropriate for that process. And it allows  
15 the contractor to probably conservatively  
16 protect the employee without having to go  
17 through and do air sampling, but it will  
18 require air sampling and that's where NIOSH  
19 comes in, and I think we need extensive  
20 testing, air sampling, and it needs to be very  
21 comprehensive so we've got good numbers.

22 Secondly, training. We started it off and on -  
23 - I would again, and our members would, urge  
24 that training be a focus. We need insights  
25 into the most effective methods of training,

1           how to best accomplish the communication  
2           process, and are there different methods that  
3           are more effective in different industries or  
4           different crafts, that kind of thing. Let's  
5           look at classroom training. Let's compare it  
6           with internet-based training or web-based  
7           training. Let's look at the interactive CD,  
8           DVDs -- and these are just ideas. There are  
9           many more methods of training. But let's  
10          compare them and see which are the most  
11          effective and share those results with the  
12          people that need to do that.

13          Let's move on to crane power line contacts. We  
14          have crane manufacturers and they're looking at  
15          upcoming new regulations or highly revised  
16          regulations in the crane industry. During the  
17          course of writing those -- their draft  
18          regulations, proximity warning devices and  
19          insulated links became an item of discussion.  
20          We would strongly urge that NIOSH dedicate  
21          resources to evaluating those devices. There -  
22          - there's questions and there are human factors  
23          issues related to them, and we really think  
24          it's important that those be addressed prior to  
25          the regulations -- finally adopting them.

1 Other areas of focus, operator visibility. We  
2 urge you to continue your work in that area,  
3 and to try to move it into the real world, find  
4 those kinds of processes which are not cost-  
5 prohibitive. Trenching accidents, there are  
6 many of those, as you know. And finally, I  
7 would also urge that you continue your work in  
8 the noise area as we try and communicate to  
9 people that they need to pay attention to it  
10 and avoid the tragedy of hearing loss.

11 Thank you.

12 **DR. ALBERS:** Thank you. Our next panelist is  
13 Scott Schneider.

14 **MR. SCHNEIDER:** Thank you, and thank you for  
15 the opportunity to come here. My name is Scott  
16 Schneider. I'm the director of occupational  
17 safety and health for the Laborers' Health and  
18 Safety Fund of North America. We are part of  
19 the laborers' union. We're a joint  
20 labor/management group. And laborers' union  
21 represents primarily construction workers,  
22 about 800,000 members in the U.S. and Canada.  
23 I've been honored to be a member of two  
24 different NORA teams over the past ten years,  
25 the noise team and the intervention

1           effectiveness team, and it was a great  
2           experience. I thought it was very useful and  
3           important work, and we did accomplish quite a  
4           bit. And now NORA is being reorganized by  
5           sector and there's a lot more work to be done,  
6           but it's a different kind of work.  
7           Since its inception, NIOSH has focused  
8           primarily on identification of hazards and  
9           solutions. And the philosophy was pretty much  
10          -- until recently, I think -- if we identify  
11          the hazards and show people the solutions,  
12          they'll sort of naturally adopt them.  
13          In some cases this worked. In other cases,  
14          though, NIOSH testimony helped spur the  
15          development of new OSHA standards. And while  
16          there's still some new hazards to identify, new  
17          solutions to develop, there's already a lot  
18          that's known that's not being put into  
19          practice. So the issue now I think is more how  
20          do we get people to adopt the solutions that we  
21          do know work.  
22          So I would like NIOSH to focus in this next  
23          decade on dissemination research and  
24          intervention effectiveness research, and to  
25          fuse the NORA process with the R2P initiative.

1           They need to do more research on the barriers  
2           to adoption than how to address and overcome  
3           those barriers.

4           When I visit job sites I see many obvious  
5           hazards that are going uncorrected. The 50 or  
6           so construction workers that die in trench  
7           collapses each year don't die because they  
8           don't know how to -- we don't know how to  
9           protect them. They die primarily because  
10          trench boxes were not used, even though in many  
11          cases they were sitting next to the trench at  
12          the time of the accident. So I can't -- here's  
13          a couple of things --

14          I mean obviously I endorse a lot of the stuff  
15          people have said before -- do more research on  
16          training effectiveness and on noise and on  
17          ergonomics and many of these other issues,  
18          which I think still need -- research should be  
19          done on them. But I'd like to see NIOSH focus  
20          -- and the NORA process focus on six areas.  
21          One of them is how do we communicate more  
22          effectively about risk with both workers and  
23          employers so they understand the true dangers  
24          and the consequences.

25          Two, how can we encourage more intervention

1           effectiveness research to show what really  
2           works.

3           Three, how can we better convince employers of  
4           the cost-effectiveness of interventions,  
5           calculating both the direct and indirect costs  
6           on an employer level, and making those costs  
7           tangible to employers in a simple way.

8           Four, how do we widely disseminate throughout  
9           the industry existing interventions and  
10          encourage their use.

11          Five, where is more research needed to fill the  
12          gaps to develop interventions where existing  
13          ones are too cumbersome or costly and there are  
14          significant barriers to adoption.

15          And six, to accomplish all this NIOSH I think  
16          needs to do intervention surveillance in each  
17          industry to see how widely interventions are  
18          being used, and for future reference as a  
19          measure of success. In the past NIOSH looked  
20          at hazard surveillance but didn't do  
21          intervention surveillance.

22          As a footnote, I also would like to see -- I'd  
23          like to see NIOSH fund -- we have education and  
24          research centers around the country, but I  
25          would like to see basically translational

1 research centers where -- R2P centers where  
2 people would take what exists and figure out  
3 how to get it into practice in their areas.  
4 So the second decade of NIOSH (sic) needs to  
5 focus on intervention evaluation, increasing  
6 adoption of interventions and overcoming the  
7 barriers to their adoption, development of new  
8 interventions as a secondary goal, but there  
9 already exists many interventions that are  
10 being under-utilized. So thank you very much.

11 **DR. ALBERS:** Thank you. Our next panelist is  
12 Brad Sant.

13 **MR. SANT:** Thank you. Again, it's a pleasure  
14 to be here with you and to be on this panel  
15 with people I consider friends and also great  
16 work colleagues, and many of you out in the  
17 audience. I don't think there's been a person  
18 that's spoken yet on this construction panel  
19 with whom I've had a disagreement. But I found  
20 myself particularly nodding my head as Scott  
21 was talking about really there's been  
22 tremendous work done by OSHA, by NIOSH, by a  
23 number of organizations in the research area.  
24 And I think in this next decade we really need  
25 to figure out how to move this research, this

1 education, these processes that we know work  
2 into the practice, and how we can really  
3 communicate.

4 But I kind of sidestepped my beginning  
5 comments, so let me give a little background  
6 industry (sic) on the roadway construction  
7 industry and why I think it's so important that  
8 NORA look at this industry in particular.

9 Just several months ago President Bush signed a  
10 law, (unintelligible), that will provide \$236  
11 billion through 2009 for roadway construction.  
12 The federal budget makes up about 45 percent of  
13 the total amount of money spent on roadways, so  
14 between 2004 and 2009 we're looking at about a  
15 \$500 billion expenditure on transportation, and  
16 roadway construction in particular. This type  
17 of spending makes this industry one of the most  
18 stable and also one of the most robust in the  
19 country.

20 It's also one of the most challenging  
21 environments, because like construction, it's  
22 ever-changing. It's never the same place when  
23 you go back twice. But it's also an  
24 environment that's moving constantly. You're  
25 not going to a construction site the same place

1 month after month. You're going to a new  
2 location.

3 It's also challenging because we have vehicles  
4 coming in and out of the job site constantly,  
5 delivering asphalt, taking away dirt. And when  
6 you have the workers on foot adjacent to this  
7 big equipment, it creates a very dangerous  
8 environment.

9 Now we add a new segment that's unlike the rest  
10 of construction, and that's the motoring public  
11 are part of our construction sites. Most of  
12 our work is done in rehabilitation and  
13 maintenance. We're not building new roads in  
14 areas that are cordoned off. So you mix all  
15 these elements together and you find that we  
16 are in very hazardous conditions, in very small  
17 work areas because we want to keep those lanes  
18 open and keep motorists moving, and it's a very  
19 dangerous environment.

20 We began to address some of these issues that  
21 are coming up as a result of these  
22 environments. NIOSH has done some great work.  
23 A lot more needs to be done in that area.  
24 The next thing we want to talk about a little  
25 bit is the worker demographics, 'cause they're

1           also challenging. Right now about 30 percent  
2           of our workers are Hispanic, and most of those  
3           are immigrant workers. And as we've heard, and  
4           I think we'll continue to hear, while these are  
5           very important workers to our industry and very  
6           valued workers, unlike many who come to the  
7           Americas and enter into the melting pot, this  
8           segment of Hispanic workers tends to cling very  
9           tightly to many native customs and even native  
10          languages. And there's this large percentage  
11          of this immigrant population that does not even  
12          learn English like they do for many other parts  
13          of the world, so we have to deal with all those  
14          challenges. And again, with 30 percent of our  
15          workforce, some statisticians are saying that  
16          as much as 50 percent of the roadway  
17          construction workforce could be Hispanic within  
18          the next 20 to 30 years, so another huge  
19          challenge for us.

20          As a result of these conditions, we're looking  
21          at, in roadway construction, a fatality rate of  
22          about 30 people per 100,000, as compared to 12  
23          per 100,000 for the remainder of construction,  
24          and four per 100,000 for general industry.

25          Also there's a great public health concern

1           that's combined with this, as about 1,000  
2           motorists are killed each year in accidents  
3           that take place in work zones, while another  
4           40,000 are injured. So there's a lot of work,  
5           both from the public health point of view and  
6           from the occupational health point of view.  
7           In addition, the one injury cause that stands  
8           out above all are injuries dealing with manual  
9           materials handling -- that word, ergonomics.  
10          And while perhaps many of my members would not  
11          like to see OSHA come up with an ergonomic  
12          standard, I'm sure they would more than welcome  
13          information coming from NIOSH on how we can  
14          deal with this injury. It's a huge cause of  
15          insurance claims. It's a huge cause for the  
16          industry, and we need help in this area in  
17          particular on how to do that better and to do  
18          that more quickly.

19          We are -- as we're looking to enter this new  
20          phase with NORA we're certainly hoping to work  
21          closely with NIOSH to address many of these  
22          injuries. We really want to look and work  
23          closely with you on how we can take information  
24          that we now have, information that we will  
25          have, and put that into best practices so that

1 every employer, as he's sitting down and trying  
2 to balance his sheet and put together a bid for  
3 a job, he understands that safety is part of  
4 that whole equation and it becomes a normal  
5 course of business and not an add-on, which it  
6 is now. And that's where we're hoping to go.  
7 Thank you very much.

8 **DR. ALBERS:** Thank you. Our last speaker in  
9 this panel is Charlie Shields.

10 **MR. SHIELDS:** Yes, I'm not Mike Connors. The B  
11 team got sent in in the afternoon, so -- I work  
12 for Mike. I'm Charlie Shields and I'm the  
13 assistant regional administrator, enforcement  
14 programs, in the Chicago OSHA office. And it's  
15 great to see a lot of you guys out there today  
16 'cause I've known many of you for a long time,  
17 so -- and perhaps may-- that's the first thing  
18 NIOSH should consider, and I hadn't -- I wasn't  
19 here this morning, but a lot of expertise here.  
20 Use them. Use them, you know, after you decide  
21 your agenda. Get them involved. That's the  
22 first thing.

23 I'm going to talk of a couple of topics today  
24 and then we'll be able to split up even in  
25 that. And I tried to organize it, so I thought

1           okay, research need, why do we need it, maybe  
2           some examples or evidence, and what is our  
3           desired state. And the two things I wanted to  
4           talk about, first one is tower construction and  
5           tower reinforcement, and the second one -- and  
6           that's kind of a newer one, and the second one  
7           is an old -- well, not a favorite, but  
8           construction fall fatalities.

9           Okay, so first of all, the tower construction  
10          and tower reinforcement, and the need is safety  
11          technology for tower erection and tower  
12          reinforcement. Why? There's a couple of  
13          things going on here now. One is wind farms  
14          coming up, and the second one is adding  
15          equipment onto existing communication towers,  
16          such as high definition television. So you  
17          know, we're putting things on top of towers  
18          that were never intended to have these  
19          additional loads. And the safety and health  
20          programs are -- you know, have had some  
21          development, but not fully developed within  
22          these areas. And in fact, you know, some of  
23          these groups are asking for our help. OSHA has  
24          partnered with the -- with NATE, the National  
25          Association of Tower Erectors, and also

1           recently our tower coordinator got called by a  
2           guy who's -- I think there's an association for  
3           wind farm people, also. So you know, we're  
4           working on that.

5           And the deal on the wind farms is you've got a  
6           5,000 to 7,000-pound load on top of a monopole  
7           and it's got a 25-foot blade, and the blade's  
8           spinning and, you know, it goes around, too,  
9           and you've got a lot of forces there. And you  
10          know, I don't think there's a lot engineered  
11          yet as far as fall protection, and the OSHA  
12          standards cover part of it but not all of it  
13          so, you know, that's not the greatest, either,  
14          formula for success, as far as us being able to  
15          push them. And secondly, there's lockout  
16          issues there. And in fact, there's been at  
17          least one lockout fatality in this country  
18          already during -- on a wind farm. So -- so  
19          that's one issue.

20          The second one was putting more stuff on top of  
21          existing towers -- you know, antenna platforms,  
22          you know, and the example was HDTV. You know,  
23          you're putting a lot of weight where it wasn't  
24          engineered to be put. The towers are not  
25          always being re-engineered, and they're not

1           considering the sequence -- the construction  
2           sequence; i.e., you put in a new brace before  
3           you take the old one out. I think there's been  
4           a collapse where they took the old one out  
5           first before putting the new one in. It  
6           couldn't support it and down it came. So you  
7           know, we have to have properly designed towers,  
8           properly reinforced towers so they don't fall  
9           down and injure employees and so, you know,  
10          what's the desired state? To integrate safety  
11          and health into the wind and communication  
12          tower design and construction, and we need to  
13          develop equipment and methods to safely re-  
14          engineer existing communication towers, so  
15          that's the first one.

16          And I put my watch here, I was going to watch  
17          it and I didn't at all when I started. So  
18          let's go into the second issue was fall  
19          fatalities in construction. So the need is new  
20          methods and equipment to promote construction  
21          fall protection safety. And I'm going to say  
22          some things some other people have already  
23          said. Why -- just in general, construction  
24          falls increased last year.

25          One minute, is that it? Okay.

1 We thought we were doing okay. We had a couple  
2 of years of decline, and all of a sudden we're  
3 right back up where we were several years ago.  
4 You know, we need to do more. And as a subset  
5 of that, the workplace fall fatalities among  
6 immigrant construction workers are increasing  
7 more rapidly even than for the overall  
8 population.

9 And I'll just reiterate briefly. You know,  
10 we'll go with immigrant, and particularly  
11 Latino in this area. We're not meeting it --  
12 we're not -- we're not able to reach them  
13 through our conventional methods. We've tried  
14 some in this area. We've worked with church  
15 groups and with community action groups  
16 locally, and there is still a problem. You  
17 know, we need to do more there. And last year  
18 the Illinois fall fatality for Hispanics  
19 doubled, went from four to eight. So you know,  
20 we're trying and we don't seem to have, you  
21 know, mastered it yet, so there's a need.

22 So development of new construction methods and  
23 equipment, meaningful training materials,  
24 particularly for immigrant workers, and methods  
25 to reach these workers. Thank you.

1           **DR. ALBERS:** Thank you very much. The next  
2           four panelists are Chuck Stribling, Caesar  
3           Santoy, Janie Gittleman and Tonya Smith-  
4           Jackson.

5           **DR. SODERHOLM:** And I'll take the  
6           transportation moment, in case you haven't  
7           heard my spiel, you'll find out on the table  
8           the CDC research agenda effort called the  
9           Research Guide. There's an opportunity to  
10          comment on that. Please pick it up and comment  
11          about the amount of which occupational safety  
12          and health is in there, and all the other  
13          issues that CDC deals with.

14          **DR. ALBERS:** Is Caesar Santoy here? If not,  
15          could George Middleton also join the panel?

16   (Pause)

17          Janie Gittleman is our first panelist.

18          **MS. GITTLEMAN:** Hi, good afternoon. Thank you  
19          for giving me the opportunity to comment. My  
20          name is Janie Gittleman. I'm associate  
21          director of research from the Center to Protect  
22          Workers Rights in Silver Spring, Maryland.  
23          Today I'd like to address my comments to two  
24          areas, or two and a half areas, surveillance,  
25          training and education.

1 CPWR resources are used in collaboration with a  
2 wide array of agencies and organizations,  
3 including NIOSH, to conduct research on safety  
4 and health in the construction trades; NIEHS,  
5 National Institute of Environmental Health  
6 Studies, to do hazardous waste and disaster  
7 response training; DOE to do former worker  
8 medical screening; DOL for energy compensation  
9 programs claims assistance; and DoD with the  
10 Helmets to Hard Hats program to transition  
11 folks from the military into the construction  
12 trades. And at this time I'd like to make a  
13 plug or suggest the need for NIOSH to move  
14 efforts forward in NORA II towards these cross-  
15 cutting efforts to coordinate safety and health  
16 surveillance across agencies for the next  
17 decade.

18 Well, the goals of safety and health research  
19 are to prevent injuries and illnesses.  
20 Surveillance data are used to characterize the  
21 construction industry workforce, examine how  
22 changes affect construction safety and health,  
23 and also to use the data to lead to efforts to  
24 development and implementation of risk  
25 reduction interventions, and evaluation of the

1           impact of interventions to reduce injury and  
2           illness on the job. Ultimately this leads to  
3           efforts to promote strategies to diffuse  
4           information throughout the industry to  
5           employers/employees that can influence policy  
6           and economics, impacting changes in safety and  
7           health.

8           NIOSH historically has done a great deal of  
9           injury and ill-- has a great deal -- developed  
10          a great deal of injury and illness data which  
11          can be used to understand health and safety  
12          issues in the construction sector, including  
13          environmental and radiation remediation workers  
14          at DOE sites. Surveillance data are used to  
15          identify patterns and trends, and they're  
16          critical to monitoring safety and health in  
17          this critic-- in this sector. So for example,  
18          there are many data sources that we now use to  
19          conduct surveillance. There's the fatal  
20          assessment and control evaluation data, the  
21          national traumatic occupational fatality  
22          surveillance system data, the national  
23          electronic injuries surveillance system data in  
24          emergency rooms, the national occupational  
25          exposure survey data. There's BLS data on the

1 census of fatal occupational injuries, CFOI;  
2 the survey of occupational injuries and  
3 illnesses, and OSHA data; the integrated  
4 information management system, IIMS. There's  
5 also additional CDC data. You'll get the point  
6 after I go through all these surveillance  
7 systems what I'm getting at -- the national  
8 interview survey data, the national ambulatory  
9 care survey data. There's also household  
10 surveys, the current population survey, the  
11 national longitudinal survey, the panel study  
12 of income dynamics, the current employment  
13 statistic surveys, national health interview  
14 surveys. Then the Census Bureau has surveys of  
15 the economic census, construction statistics  
16 series, the survey of business owners, and the  
17 IRS, who also reports in their Statistics of  
18 Income Bulletin. Then there's also private  
19 data such as the Dodge Reports and Dun &  
20 Bradstreet reports.

21 Well, all of this surveillance data that are  
22 collected from a wide array of governmental  
23 agencies are used to tell us about patterns and  
24 trends in the construction sector. And on a  
25 positive note, all these surveillance data are

1           now released on a much more timely basis than  
2           had previously been done. One could  
3           conveniently query databases on the internet  
4           for information on CFOI, SOI\*, FACE\*, NICE\*,  
5           and many of the surveillance data are  
6           standardized.

7           However, there are many limitations in the  
8           current surveillance data that impact our  
9           ability to identify high-risk occupation and  
10          activities in construction. Let me start with  
11          incomplete data. SOI excludes self-employed  
12          and government workers. Day laborers, new  
13          immigrants, and undocumented workers may be  
14          under-reported in government data collections,  
15          and efforts to understand and improve this  
16          reporting problem should be expanded.

17          Many of the surveillance systems lack  
18          denominators. There's no linkage between  
19          injury and illness data and workforce data.  
20          They lack information on industry and  
21          occupation in the NICE data and in ambulatory  
22          care surveys. There's missing data, lots of  
23          missing data, in FACE. There's non-  
24          standardized data in FACE. There's out-dated  
25          data in the occupational health supplement of

1 the national occupational exposure survey,  
2 which was last done in 1988. And there's no  
3 information on effects of safety training for  
4 the sector in national surveys. There's also  
5 no productivity measures and cost measures, and  
6 it's difficult to get access to state-specific  
7 data.

8 In addition, these issues with surveillance  
9 data -- in addition to these issues with  
10 surveillance data, we'd very much like to see  
11 NIOSH address the inclusion of race as an  
12 identifier in national surveys during NORA II.  
13 NIOSH has worked closely with state health  
14 departments over the past several years to  
15 develop occupational indicators for injuries  
16 and illnesses, and we would like to see sector-  
17 specific information collected to help target  
18 necessary interventions in construction. NIOSH  
19 is already supporting some of the construction  
20 sector surveillance and extramural programs,  
21 and to consider the overlap when considering  
22 new intramural surveillance programs.

23 I'd like to shift focus for a minute now onto  
24 the area of immigrant worker health and safety,  
25 which other people have also mentioned today.

1           Recent study published in *The American Journal*  
2           *of Industrial Medicine* shows that immigrant  
3           workers in construction, primarily Hispanic  
4           workers of Mexican origin, are much more likely  
5           to die or to become seriously injured in  
6           construction in the U.S. than non-Hispanics.  
7           We are deeply concerned about the national  
8           trends that detail what is happening to our  
9           members and to all construction workers. It's  
10          troubling that construction deaths are not  
11          decreasing as they should, and particularly  
12          worrisome that Hispanic immigrants construction  
13          -- in construction are much more -- for them it  
14          is much more deadly than for construction  
15          workers at large.

16          What we do know about Hispanic immigrants  
17          entering -- or what do we know about Hispanic  
18          immigrants entering our unions and our  
19          industry? Do we know what assumption  
20          expectations they have for the industry? What  
21          do they think about unions, or about unions in  
22          general? What do they expect regarding safety  
23          and health on the job? What's the best way to  
24          improve health and safety training for this  
25          population of construction workers? To date

1           there's little research addressing this --  
2           these pressing questions and NORA II ought to  
3           focus on that.

4           There's little work that has been done to  
5           evaluate the impact of training on hundreds of  
6           thousands of construction workers, and we  
7           encourage and support development of a national  
8           survey looking at both union and non-union  
9           training to evaluate the impact on the sector.  
10          And finally, to support efforts to educate and  
11          train the next generation of safety and health  
12          professionals, we encourage the use of  
13          resources directed toward the NIOSH ERCs to  
14          interact more with schools of engineering and  
15          architecture to promote interest in  
16          occupational safety and health. Thank you.

17          **DR. ALBERS:** Thank you. I inadvertently  
18          changed the order before. Chuck Stribling is  
19          the next panelist. Sorry about that.

20          **MR. STRIBLING:** That's okay.

21          **DR. ALBERS:** You can have an extra 30 seconds.

22          **MR. STRIBLING:** Good afternoon. My name is  
23          Chuck Stribling. I am the safety standards  
24          specialist for the Kentucky Department of  
25          Labor's occupational safety and health program.

1 We operate an OSHA-approved state-planned  
2 program, exercising jurisdiction over private  
3 and public sector employment. On behalf of the  
4 Department I'd like to express my appreciation  
5 to NIOSH for providing this opportunity to  
6 speak to you about and participate in the  
7 development of the second NORA.

8 Having been bred, born and raised in Kentucky,  
9 I cannot talk that fast, so my comments -- it's  
10 genetically impossible, so my comments will be  
11 much shorter.

12 The Kentucky Department of Labor believes, and  
13 statistics confirm, that within the  
14 construction sector group fall protection  
15 unfortunately remains a very significant issue.  
16 We believe this is especially so in the  
17 residential construction subsector.

18 For the second NORA, we request your  
19 consideration of research specifically into  
20 residential construction fall protection  
21 issues. Fall protection in residential  
22 construction affects nearly all trades, if not  
23 every single trade on a residential site. With  
24 today's construction techniques and commonplace  
25 multi-level residential structures, many

1 individuals may be exposed to significant fall  
2 hazards during residential construction.  
3 Comprehensive research and findings from NIOSH  
4 could benefit a tremendous amount of people,  
5 both within the industry and public sector.  
6 There are many, many, many issues that could be  
7 researched, many more than my time here today  
8 will allow for discussion. However, our  
9 experience indicates that many employers and  
10 employees are opposed or reluctant to utilize  
11 fall protection during residential construction  
12 based upon one or more of four general  
13 misconceptions.  
14 They are, number one, fall protection is too  
15 expensive; number two, fall protection is  
16 inconvenient and time-consuming; number three,  
17 fall protection is counterproductive to  
18 production; and number four, fall protection is  
19 infeasible. These four misconceptions, either  
20 taken as a group or taken independent of each  
21 other, present a wealth of research  
22 possibilities.  
23 Additionally there are three specific fall  
24 protection issues in residential construction  
25 that we would like to submit for your

1           consideration. Issue number one, research into  
2           the use of slide guard systems as a form of,  
3           quote, fall protection, unquote, during  
4           residential construction. Our experience  
5           reveals that data is woefully lacking related  
6           to slide guard systems when used for fall  
7           protection. Do slide guard systems indeed  
8           provide adequate fall protection? Why or why  
9           not? If so, in what applications? For what  
10          type of roofs? For what pitches or slopes?  
11          What are the minimum dimensions and  
12          installation techniques for a slide guard  
13          system to be effective?  
14          Issue number two, research into alternative  
15          construction techniques that eliminate exposure  
16          to fall hazards during residential  
17          construction. Obviously building a residence  
18          in a manner that eliminates the hazard is the  
19          best solution.  
20          And finally, issue number three, research into  
21          alternative construction techniques that reduce  
22          to the greatest extent possible exposure to  
23          fall hazards when elimination of the hazard is  
24          not feasible. Is there a different way to  
25          build the residence that may be safer?

1           Again, on behalf of the Kentucky Department of  
2           Labor, I thank you for your time today.

3           **DR. ALBERS:** Thank you. Our next panelist is  
4           Tonya Smith-Jackson.

5           **MS. SMITH-JACKSON:** Good afternoon. My name is  
6           Tonya Smith-Jackson. I'm the associate  
7           director of the Center for Innovation in  
8           Construction Safety and Health. I'm also  
9           associate professor in industrial and systems  
10          engineering at Virginia Polytechnic Institute  
11          and State University. Our director is Brian  
12          Kleiner\*, and currently in our Center for  
13          Innovation in Construction Safety and Health,  
14          which is NIOSH-funded, we are implementing a  
15          total of seven research projects to address a  
16          variety of issues, using an integrated  
17          sociotechnical systems perspective.  
18          But based on our existing knowledge, the  
19          existing knowledge in the domain of  
20          construction safety and health, and also from  
21          our own experiences and background, we've  
22          identified four important strategic areas that  
23          should be included in the next NORA, as it  
24          relates to construction safety and health, and  
25          these are as follows.

1           The first is new emphasis on mixed-methods  
2           approaches in construction safety and health  
3           research. We suggest that more emphasis be  
4           placed on the use of mixed-methods approaches  
5           that include the elicitation, collection,  
6           analysis and translation of both quantitative  
7           and qualitative data. Research that uses a  
8           more comprehensive mixed-methods approach will  
9           yield results that are more descriptive,  
10          predictive or explanatory. Construction  
11          environments are complex systems, as we know,  
12          that consist of a number of complex  
13          interdependencies. Translation, intervention,  
14          surveillance and even exploratory research are  
15          not valid unless the methods used to extract  
16          the data are appropriate for the specific  
17          environments under study.

18          Unfortunately past initiatives have placed  
19          higher value on traditional controlled  
20          experimentation, including field experiments  
21          that support reductionist and positivist  
22          research philosophies, and these have been used  
23          to study construction environments. These  
24          approaches have not been successful in clinical  
25          health research, as we know from several well-

1 known examples -- and tragedies, even -- in the  
2 clinical research literature. Yet we continue  
3 to place very high value on these approaches in  
4 construction safety and health.

5 To enhance external validity we would like to  
6 see more value placed on methods that are  
7 beyond the traditional, and perhaps more  
8 conducive to the study of the populations we  
9 target in construction. In addition,  
10 approaches that are multi-method and that  
11 examine the convergence of data from different  
12 research approaches should be included as a  
13 required consideration in research involving  
14 construction safety and health.

15 The second -- we want -- we suggest a more of a  
16 focus on valid research methods and approaches  
17 using socially-valid tools and yielding  
18 socially-valid outcomes for special populations  
19 at risk, such as ethnic and class minorities,  
20 older workers, female workers, workers with  
21 disabilities, workers with low literacy, day  
22 laborers, et cetera.

23 The NORA agenda has for a decade placed  
24 emphasis on special populations at risk in  
25 occupational research. Construction is an

1 environment that attracts populations that have  
2 been traditionally marginalized (sic) by  
3 workforce formalisms and policy constraints,  
4 and by scientific research that was designed  
5 and predicated on Western centric perspectives.  
6 Some of the existing research seems to have  
7 relied on simply including representatives from  
8 these groups, without consideration of how to  
9 design studies that will support equitable  
10 benefits. Simply including these groups in  
11 research samples did not -- does not  
12 necessarily yield outcomes that are beneficial  
13 to the groups.

14 To ensure equitable benefits in safety and  
15 health research outcomes, the research that is  
16 conducted must use methods and data collection  
17 instruments that are meaningful to these  
18 groups. For example, the use of certain  
19 quantitative metrics to assess problems or  
20 predictors or factors among marginalized  
21 workers may not be a valid method across the  
22 board. Face-to-face interviews held in local  
23 communities that allow workers to tell their  
24 stories may in fact be a more valid method  
25 compared to a controlled administration.

1 For some construction problems, socially valid  
2 methods for marginalized groups may not be  
3 produced by collecting quantitative data, but  
4 may be more validly studied by eliciting purely  
5 qualitative data, such as verbal reports, and  
6 by methods that do not place value on  
7 aggregated numbers or on frequencies of  
8 occurrence, but may place more value on one  
9 person's report. Yet the existing agenda does  
10 not seem to give voice to the use of non-  
11 traditional research methods, nor has the  
12 review process and subsequent scoring of  
13 applications.

14 There is a need to place more value on the use  
15 of socially-centered research methods such as  
16 participatory or action research, as well. A  
17 more inclusive approach is needed in research  
18 projects involving marginalized groups, and the  
19 research domain needs to scrutinize the social  
20 validity of both the methods used, the  
21 empowerment and involvement of and the outcomes  
22 of research related to special populations at  
23 risk to ensure that the safety and health  
24 benefits resulting from research for these  
25 groups are on par with the benefits experienced

1           by majority group workers in construction  
2           context.  
3           The third one, emphasis on research related to  
4           group process and constraints on group process.  
5           A number of events in the past decade have led  
6           to a predominance of construction environments  
7           that are informal work systems consisting of  
8           workers who are transient and unfamiliar with  
9           any given work site or setting. Research is  
10          needed that will address how to study group  
11          process, the implications of group process for  
12          construction safety and health, and the design  
13          and evaluation of interventions to improve  
14          group process, safety and efficiency.  
15          Finally, we need inclusive review panels with  
16          multi-disciplinary backgrounds. As the  
17          diversity of construction environments  
18          increases, and given the demographic shifts  
19          expected to be obvious in the year 2030 where  
20          minorities and women will outnumber majority  
21          group members in the workplace, we need to  
22          ensure that our methods and research  
23          philosophies are multi-disciplinary and valid  
24          in the context of the increasing complexity of  
25          problems in construction safety and health.

1           In addition to methods and research  
2           philosophies, our research teams need to be  
3           consistent with the sociotechnical system  
4           principle of compatibility. The research teams  
5           that are funded should exhibit a comparable  
6           level of diversity as their target populations.  
7           We need to be ensured that review panels for  
8           such research proposals are themselves diverse,  
9           multi-disciplinary and knowledgeable of how to  
10          conduct inclusive, multi-layered, systems-  
11          centered research. Inclusiveness in the NIOSH  
12          implementation and administration of NORA will  
13          have a critical impact on our success moving  
14          from research to practice in the next decade.  
15          On behalf of the Center for Innovation in  
16          Construction Safety and Health, I'd like to  
17          thank you for the opportunity.

18          **DR. ALBERS:** Thank you. Our next panelist is  
19          George Middleton.

20          **MR. MIDDLETON:** Good afternoon. There's  
21          disadvantages and advantages of going at the  
22          end of the day. The disadvantages are that  
23          most people's already spoke on topics that I'm  
24          about to bring up. I guess the advantages is I  
25          don't have to speak as much and I can emphasize

1 the points that I want to make.

2 My name is George Middleton. I'm the senior  
3 manager for labor safety and health services  
4 for the National Association of Homebuilders.  
5 We are a trade-based association based out of  
6 Washington, D.C. with well over 220,000  
7 members. And within that, there's well over  
8 800 local associations throughout the United  
9 States. In 2005, of the 1.7 to 1.9 million  
10 homes being built, we -- our members will  
11 represent about 80 percent of that. So some of  
12 the issues that I bring to you today will  
13 impact a lot of workers in construction.  
14 One of the first things that I'd like to  
15 emphasize and bring up again is -- obviously  
16 falls still are the leading cau-- is the  
17 leading cause of fatalities in construction.  
18 Is that the same for residential? Well, we  
19 commissioned a study -- it's old data now, but  
20 '93 to 1995, and that follows in suit with  
21 commercial construction. So falls is still the  
22 number one fatality and it's a big issue for  
23 us.

24 Within the last five years there's been a lot  
25 of fall protection products put out on the

1 marketplace. Unfortunately there's a lot of  
2 lack of or no engineering data to support some  
3 of the claims that some of the manufacturers  
4 are making. Their claims are correct, in  
5 essence, that their system basically will hold  
6 5,000 pounds per however many workers that they  
7 claim that they -- that you can attach to it.  
8 One of the things we found, though, is what  
9 system do you attach it to? They will not  
10 stand by or they don't have -- actually I  
11 shouldn't say they won't stand by. They don't  
12 have the engineering data available to actually  
13 hook that structure onto the types of  
14 structures that our membership is currently  
15 building.

16 I personally have spoken to a few -- some of  
17 our larger member corporate safety directors,  
18 and they are very interested in the product,  
19 but they will not use it because of the fact of  
20 just plain liability issues. Did -- you know,  
21 they ask for the engineering data and it's not  
22 available. So I think there's an essential  
23 need here for NIOSH to look into some of the  
24 systems, and especially with this -- you know,  
25 the agenda looking into the future of ten

1           years, on systems that can be used in  
2           residential construction specifically.  
3           Another subpart of this is looking at the whole  
4           roofing structure in its entirety. I have seen  
5           on some websites photographs of systems where  
6           they're showing it being attached on roofing --  
7           roof -- roof structures that is only partially  
8           sheathed. Now if you asked the Truss  
9           Manufacturers Association will you and can you  
10          give us data and will you put your blessings on  
11          the fact that you -- we can tie a system to an  
12          un- or partially-sheathed roof system, the  
13          answer is going to be no. So obviously there's  
14          some critical data that needs to be collected  
15          looking at partially-sheathed roof systems out  
16          there. So in other words, there's just a lot  
17          of inconsistencies out there when -- when  
18          people who want to utilize these systems, you  
19          know, are going to purchase them and then  
20          actually use them asking for the data and it's  
21          not available.

22          Somebody earlier, I believe Tom Kavicky,  
23          mentioned the fact about data and talked about  
24          that. We would like to see a better breakdown  
25          of data for falls in general. If you look at

1 the BLS data, and I've looked at that many  
2 hours, sitting there trying to go through it  
3 and decide what useful information can I use  
4 out of this. If you look at it close enough,  
5 it doesn't really give you enough detail to be  
6 able to attack the problems of risk reduction.  
7 For example, did the worker fall from a top  
8 plate? Did he fall to the outside? Did he  
9 fall to the inside? That data is not available  
10 and that's crucial. One pie chart in  
11 particularly (sic) that I looked at on the data  
12 stats showed, in residential construction,  
13 falls that resulted in fatalities -- I believe  
14 it was HVAC. If you looked at HVAC and the  
15 mason contractors, they had a higher percentage  
16 of fatalities than roofers. Now that's --  
17 they're -- to me, that's very shocking. Is  
18 that data absolutely correct? I don't know. I  
19 mean there needs to be a source of somewhere to  
20 where somebody can further define that and look  
21 in detail. Unfortunately the only people I  
22 know that has that is the insurance companies,  
23 and they're not at liberty I believe to give  
24 that data out. And I believe NIOSH would have  
25 that capability, to be able to look further

1           into the causes, and systematically maybe we  
2           can come up with some interventions. And also,  
3           lastly, be able to train toward that.

4           I believe some-- you know, a few people have  
5           mentioned silica already. I just wanted to say  
6           that NHB's conducted a pretty in-depth  
7           literature search, and we have found very  
8           little to no data with silica exposures in  
9           residential construction. We feel that, you  
10          know, that the exposures are somewhat different  
11          than commercial construction, and we want to  
12          know where we need to protect our workers  
13          because obviously the exposures do exist out  
14          there, and at this time we don't know where to  
15          get -- gather that data.

16          And lastly, I just wanted to speak about  
17          training, and particularly -- it was mentioned  
18          earlier the mom and pop shops out there, you  
19          know, I've asked the question to many of them,  
20          well, what about silica exposure? And they're  
21          like well, isn't that the stuff that's in  
22          computer chips? They really don't have a grasp  
23          on what any -- you know, a lot of these hazards  
24          are, especially with silica. And that is --  
25          you know, I'm giving you some actual answers

1 here of what people come back to me. They  
2 don't really know what it is. I don't know if  
3 it's a mass mailing or what have you, but  
4 there's got to be a route and a mechanism in  
5 which to put information out there so they can  
6 at least become aware of the hazard before they  
7 can follow a regulation.

8 And lastly, looking at Hispanic training, some  
9 of the hurdles. On-site training I believe is  
10 critical. If -- you know, if you go out onto  
11 a site and look and you try to put all of this  
12 stuff on the internet, most of these workers do  
13 not have internet access. We can talk about  
14 internet, internet, internet, but the actuality  
15 of it is is you -- on-site training to date is  
16 the most effective way to reach the immigrant  
17 worker population. Number one, they're doing  
18 piece work. They want to be working. They  
19 won't take time out to do training. I've  
20 worked with OSHA and NIOSH trying to get  
21 institute -- free training under the Harwood  
22 grant and we could not get participation in a  
23 classroom. It's the culture, they're -- and a  
24 communication barrier.

25 Thank you.



1           And parenthetically, I feel that the program is  
2           well thought out and it reflects the most  
3           ubiquitous hazards in our industry.  
4           It includes falls. Falls have been discussed  
5           today by a number of speakers. A number of  
6           speakers have focused on falls in the housing  
7           sector. But as we all know, the fall fatality  
8           is kind of an equal opportunity killer in that  
9           gravity doesn't really discriminate as to  
10          whether the person is on a structural steel  
11          member, on a roof of a house, on the roof of a  
12          building or at the edge of an excavation.  
13          And again parenthetically, I'm going to come  
14          back to talking about the Gulf, but I think  
15          that one of the things that we all in the  
16          safety and health profession need to keep our  
17          eye on is the progression of the cleanup, the  
18          demolition and the repair in the Gulf states,  
19          because that ultimately could be one of the  
20          largest catastrophes, beyond that which has  
21          already happened, in terms of worker safety and  
22          health. For instance, one day within the last  
23          month there were three fatal falls from roofs  
24          just in Kenner, Louisiana. And that kind of a  
25          cluster is very disturbing.

1 But falls, on the happy side, is one of the  
2 strategic goals for the construction research  
3 council.

4 Electrocutation, there are plenty of  
5 opportunities for research regarding  
6 electrocution at construction sites, everything  
7 from -- as our friend from the equipment  
8 manufacturers group spoke -- the new subpart,  
9 the OSHA subpart on cranes and derricks, which  
10 we should be seeing out sometime in the next,  
11 oh, four or five years, I would say. So I  
12 wouldn't panic at this point or sell all your  
13 stock in crane companies.

14 Struck-by -- as a matter of fact, in Chicago  
15 last week we had two people who perished when  
16 they were struck by a large piece of concrete.  
17 And caught-in and caught-between, including  
18 trenching accidents, we're still burying people  
19 in trenches. Now it's interesting to talk  
20 about research gaps and all of the various and  
21 sundry things that we kanoodle about what we  
22 could look into. And yet the people who are  
23 getting killed on our construction sites are  
24 getting killed the old traditional ways.  
25 People are still getting buried in trenches.

1 We had one this summer that was very tragic  
2 where we had -- and I guess they all are --  
3 where we had a 72-year-old man who was buried  
4 in a trench and his son was buried next to him  
5 and was recovered alive. The father was not so  
6 fortunate. So I'm very encouraged that we will  
7 be looking more into effective interventions in  
8 the caught-in and the struck-by area,  
9 especially with regard to trenching.  
10 Musculoskeletal disorders, I was pleasantly  
11 surprised today to hear people from both the  
12 worker perspective and from the employer  
13 perspective verbalize what we have known for a  
14 long time, that financially these sorts of  
15 injuries are putting some employers out of  
16 business. Back injuries, shoulder injuries, a  
17 number of different types of repetitive trauma  
18 and musculoskeletal injuries really plague the  
19 construction site. When we look to other  
20 countries, especially those countries where  
21 there's cradle-to-grave insurance and where the  
22 government is responsible for workers and their  
23 families, and we take a look at how they manage  
24 musculoskeletal disorders, we find a great deal  
25 of creativity. And I think that one of the

1 things we're up against here in our country --  
2 and I'm not sure that NIOSH is necessarily the  
3 forum to try to overcome it; I don't know where  
4 it exists -- but is to change the culture in  
5 the construction industry to accept that  
6 sometimes it takes two people to lift an object  
7 where common practice has been using one.  
8 That's as simple as it gets.  
9 But things like the dimensions of materials,  
10 the weights of bags of materials, the  
11 dimensions of pieces of plywood, the dimensions  
12 of drywall, other countries have been  
13 successful in changing these and making them  
14 more worker-friendly, and have reduced the  
15 incidence of work-related musculoskeletal  
16 disease and trauma.  
17 So, moving on, noise; we heard a lot about  
18 noise today. I actually was a driller in my  
19 former life. I worked in tunneling. I worked  
20 as a laborer. And I lost a fair amount of my  
21 ability to hear. Occasionally I wear hearing  
22 aids. The other day I was in the grocery store  
23 and I had my hearing aids in, and the lady in  
24 front of me kept looking at me and I finally  
25 said could I help you? And she said are you

1 going to answer your cell phone? So apparently  
2 I need to go back to my audiologist and get  
3 that corrected.

4 But noise is a huge problem, and it's something  
5 that we have just grown to expect as a part of  
6 the construction culture. If you're in the  
7 building trades for X number of years, you're  
8 going to have lost some of your hearing. As I  
9 look out in the audience, I have a few friends  
10 here that are in the same predicament that I  
11 am. And that's another cultural issue that I  
12 think we need to tackle.

13 Occupational illnesses from lead, welding fume  
14 and silica. We spoke about silica. It's  
15 something that I know that we have people in  
16 here that feel strongly on both sides of the  
17 issue, of reducing the PEL, of increasing the  
18 PEL. But it's just interesting to me that the  
19 -- next to September 11th, 2001, the largest  
20 calamity in terms of worker safety and health,  
21 happened in West Virginia many years ago when a  
22 company was working on a tunnel and the tunnel  
23 was a part of a hydro project and they were  
24 under some constraints to quickly get the  
25 tunnel built. And so they chose a path for the

1 tunnel that yielded two results -- well, more  
2 than that, actually. One was to get the tunnel  
3 built. The second one was to use the veins of  
4 silica that were in the route that was  
5 selected. And it was later discovered that the  
6 route that was selected was selected because of  
7 the high silica content, and it was later found  
8 out that the company was actually using the  
9 silica as a product to sell as an enterprise.  
10 But in that single project, if we -- there are  
11 a number of books that have been written about  
12 it. It's been discussed today. But *The Hawk's*  
13 *Nest Incident* by Cherniak I find to be a very  
14 interesting book -- over 1,000 workers died as  
15 a result of silica exposure. In some cases it  
16 was acute, where the workers died at their  
17 drills. And in some cases it was shortly  
18 thereafter.

19 I agree with the people who spoke today that  
20 said we need better data to protect our people.  
21 But I think, as a safety and health  
22 professional, I believe that if we assume that  
23 the tasks that have already been identified by  
24 OSHA and NIOSH are creating this dust -- dust  
25 which, by the way, is invisible; the dust that

1 really does the harm -- that we overprotect our  
2 people until we get to the point where we have  
3 the data that allow us to back off on the  
4 personal protective equipment.

5 Improving surveillance, I believe that this was  
6 brought up by a number of people. One of the  
7 things that I would like to see improved or  
8 expanded upon is the FACE program. As a  
9 training organization, we have used the FACE  
10 studies a number of times to create case  
11 studies for worker training. These are real  
12 people that were killed in real accidents. I  
13 would like to see that program expanded. I  
14 know the State of Illinois Department of Public  
15 Health has tried to become a state-based FACE  
16 program, without success. I think that's  
17 intolerable, because we have a large worker  
18 population and plenty of opportunity for study.  
19 And of course, I would like to see a focus on  
20 construction.

21 In conclusion, I would like to thank NIOSH for  
22 coming here to Chicago. It's the heartland.  
23 We have people that have come here from a lot  
24 of different places. My ability to be here was  
25 made possible by a couple of opportunities from

1 the National Institute for Occupational Safety  
2 and Health. The first one happened back in the  
3 early '80s when I was a much younger safety  
4 person. I was able to go back and get a  
5 graduate degree at the University of Minnesota  
6 with a full scholarship from NIOSH. For that I  
7 am deeply appreciative.

8 And then secondly, the Construction Safety  
9 Council was created in the early '90s by a  
10 cooperative agreement that was to create a  
11 model statewide safety and health program. The  
12 person that spearheaded that, the principal  
13 investigator, Ron Stanovich\*, was a civil  
14 engineer who felt very strongly that each state  
15 should have, could have, an organization like  
16 the Construction Safety Council to reach out to  
17 the construction population to have local  
18 trainers work and local intervenors working  
19 with local contractors, local worker groups.  
20 And so that organization, once the funding ran  
21 out, was able to survive and is with us today.  
22 And under that aegis I am able to be here with  
23 you.

24 So again I thank you for being here. And as  
25 Bono said as he accepted the Person of the Year

1           award the other night -- I guess it was last  
2           night -- this is the fun stuff. But what we  
3           really need to do is get the job done. Thank  
4           you.

5           **DR. ALBERS:** Could Caesar Santoy come up to the  
6           panel? And I think, yeah, we'll have time for,  
7           you know, for a couple of other speakers. If  
8           there are people who are interested, come on  
9           up.

10          **MR. SANTOY:** Good afternoon. Good afternoon to  
11          everyone. As I was so introduced, my name is  
12          Caesar Santoy. I'm the executive director of  
13          HACIA, the Hispanic American Construction  
14          Industry Association. We're a not-for-profit  
15          trade organization whose mission is to promote  
16          the growth, professionalism and equitable  
17          participation of its members in the  
18          construction industry.

19          Our membership includes over 240 companies,  
20          including architects, engineers, contractors,  
21          suppliers and related industry firms  
22          representing thousands of employees including  
23          construction workers, both Hispanic and non-  
24          Hispanic. Our membership represents, as I  
25          mentioned before, Hispanic and non-Hispanic

1 owners, union and non-union companies, and  
2 firms with business interests in both the  
3 public sector and private sector projects.  
4 HACIA has recently formed an alliance with OSHA  
5 to develop outreach, training and communication  
6 to promote a safe working environment for  
7 Hispanic workers. HACIA also serves on the  
8 State of Illinois Governor's Panel for Worker  
9 Safety, as well as the board of the  
10 Construction Safety Council. How are you, Tom?

11 **MR. BRODERICK:** Good.

12 **MR. SANTOY:** In short, the safety and welfare  
13 of all construction workers is of paramount  
14 importance to us. Through our work we have  
15 found research in the area of construction  
16 industry accidents, illnesses and deaths, and  
17 their impact upon the Hispanic worker and the  
18 Hispanic community. This information is a  
19 valuable tool which not only brings awareness  
20 of the issues to the industry, but also  
21 provides a system by which to judge the  
22 effectiveness of our collective efforts.  
23 HACIA supports the accuracy and soundness of  
24 these statistics as provided by various experts  
25 and agencies. But their research efforts might

1           be improved by additional tracking of injuries,  
2           deaths and illnesses; another layer of  
3           investigation, if you will. For example, just  
4           to cite some of the more readily-known  
5           statistics, in 2002 Latinos accounted for 13  
6           percent of the construction industry  
7           population, yet they accounted for 50\* percent  
8           of occupational fatalities. And again, this is  
9           a statistic that is fairly well known among the  
10          construction community.  
11          Which leads to the following questions. What  
12          was the immigration status of these workers?  
13          What was the literacy level of these workers?  
14          What was the language proficiency level of  
15          these workers, either in English or Spanish?  
16          Awareness of these questions and issues is  
17          increasing, but these items, when combined with  
18          other factors, can lend itself to new areas of  
19          research. And again, I just want to repeat  
20          that of the three questions that I posed, there  
21          has been some work that has been started.  
22          There are some studies that we have seen. And  
23          again, referencing back to the statistics which  
24          allows organizations like HACIA and other  
25          organizations to set policies, strategies,

1 programs and services.  
2 And then awareness of these questions can lend  
3 itself to new areas of research. How do  
4 unreported accidents impact the overall  
5 statistics? Is there a difference -- is there  
6 a difference in incident rates between labor  
7 union members versus non-labor union members?  
8 Are incidents under-reported or not reported in  
9 cases where the employer or employee is working  
10 on small-scale projects or for small companies?  
11 These are questions -- these questions are  
12 presented to address cases which might be,  
13 quote/unquote, off the radar, or that exist  
14 independent of traditional reporting regulation  
15 and compliance requirements. How different  
16 would our statistics be if we accounted for  
17 these factors? Is this even possible?  
18 We believe that statistics can influence policy  
19 and strategy, and provide a benchmark by which  
20 to measure progress. Because of our belief in  
21 this regard, HACIA applauds the efforts of  
22 NIOSH and NORA for their significant work, and  
23 we offer our outreach capacity and advocacy  
24 voice to NORA and NIOSH for the purpose of  
25 addressing workplace-related injuries,

1 fatalities and illnesses.

2 I'd like to thank everybody for the opportunity  
3 to speak today. I'd be more than happy to  
4 answer any questions, and have a great day.

5 **DR. ALBERS:** Thank you. Rashad\* Johnson.

6 **MR. JOHNSON:** Good afternoon. My name is  
7 Rashad Johnson. I'm with the Mason Contractors  
8 Association of America. I'd first like to  
9 start off by thanking both NORA and NIOSH for  
10 the opportunity to speak. I apologize, I do  
11 not have anything written and ready, as some of  
12 my counterparts. However, I felt passionate  
13 enough to sit up here and talk to you all, so  
14 bear with me.

15 Again, as I stated before, I'm with the Mason  
16 Contractors Association of America, and we are  
17 a non-profit trade association representing  
18 masonry company owners, so we are the  
19 management portion. We're concerned with all  
20 of the aforementioned topics that were  
21 mentioned earlier, such as training, fall  
22 protection, hearing loss and protection,  
23 silica, musculoskeletal work disorders, and  
24 I've actually -- we've actually worked with  
25 NIOSH on a lot of these different areas.

1           The two areas that stick out the most and are  
2           of most importance to our organization at this  
3           point in time would be silica and  
4           musculoskeletal work disorders. As it pertains  
5           to silica, as already and previously noted,  
6           there's been quite a bit of research done that  
7           represents and talks about the actual exposure  
8           limits and what they should be. What I'd like  
9           to see is practical research done. What I mean  
10          by practical research is giving someone a limit  
11          to reach, but actually telling them how to get  
12          there. And we've -- some of the other people  
13          have talked about the control banding ideas,  
14          some of the ideas of a best practices,  
15          something that says what works in order to make  
16          these exposure limits feasible.  
17          For example, when I say feasible and practical,  
18          those are the big words there. It's one thing  
19          to say hey, you should wet cut. It's another  
20          thing to understand that you can't wet cut  
21          today in Chicago on a scaffold. It's not going  
22          to happen. You need to be able to give some  
23          practical -- practical research when it comes  
24          to some of these things.  
25          Some of the controls, there's tons of research

1           that says that these particular action items  
2           are higher than the permissible exposure limit,  
3           but very little on the controls. We'd like to  
4           know, from a management point of view, what  
5           works. Which of these controls are going to  
6           give us the maximum amount of protection for  
7           our workers such that when we are out doing  
8           things and trying to protect out workers we  
9           know to stay away from certain things or -- or  
10          certain controls are much better than others as  
11          it pertains to safety.

12          A lot of the research that I've seen, and I've  
13          been working with some of the NIOSH people on  
14          the silica issue, and there are a lot of  
15          research done on -- on five or six major  
16          topics. But as I found out the hard way, and  
17          I'm sure all you all might know, too, the world  
18          is beyond masonry and concrete. There's a  
19          whole lot of silica in construction that's not  
20          necessarily related to masonry and concrete  
21          materials. And what we're finding is that a  
22          lot of those areas don't have research and  
23          don't have the same exposure, so to speak, as  
24          the high -- the high profile ones, the concrete  
25          and masonry. So I'd like to see some research

1           done on some of those other -- some of those  
2           other things than mason-- in construction in  
3           general. What I mean by that are different  
4           alternate materials that might have silica  
5           content, some -- some of the actual things that  
6           they do on construction sites, such as mixing  
7           mortars that might have something to do with  
8           silica and respirable silica that really don't  
9           have very much research done.

10          And then, again, we talked about the control  
11          banding idea, the idea of actually putting  
12          something in writing, giving guidance to the  
13          person reading it, letting them know that if I  
14          do this, I do this, I do this, then I will be  
15          below the exposure limits. And make it real  
16          plain, real easy to read. I don't have to do  
17          any monitoring. I don't have to do any  
18          testing. We know from monitoring and testing  
19          that these are the controls, whether it's  
20          respiratory protection or engineered controls,  
21          et cetera. These are the controls that if you  
22          do with these particular tasks will make sure  
23          that we're providing the level of safety that  
24          you need for your workers. That idea is not --  
25          nothing -- is not anything foreign, and it

1           should be something that should be investigated  
2           a lot more by NORA and NIOSH.

3           The second big topic that I'd like to discuss  
4           from the mason industry is musculoskeletal work  
5           disorders.  When everyone talks about  
6           musculoskeletal work disorders or ergonomics --  
7           that's the fancy word for ergonomics is what I  
8           call it -- and construction, realistically  
9           they're talking about masonry industry.  Why do  
10          I say that?  We are the industry that has the  
11          heavy materials that are manually lifted.  We  
12          are the industry that has a lot of the back  
13          problems, the musculoskeletal orders (sic), et  
14          cetera.  So when I hear about things such as  
15          all of these musculoskeletal disorders as it  
16          pertains to construction, we take it very  
17          personally because they're talking about the  
18          masonry industry.  I would imagine that the  
19          majority of these injuries happen in our  
20          industry, and we're looking for ways to help  
21          our workers, as earlier stated, because we  
22          don't want to have to incur the cost of people  
23          hurt.  And everyone knows those are not  
24          inexpensive things.  But -- but again, I'd like  
25          to see some practical research.

1           And what I mean by practical research is the  
2           effects of smaller cement bags.  If it's not a  
3           90-pound bag, what is 45-pound bag going to do?  
4           From a productivity point of view, it might not  
5           change.  But if it'll help us in terms of  
6           keeping our workers safe, then I'd like to see  
7           some research on that, focused on ways to work  
8           smarter.  I don't know that there's going to be  
9           very many mechanical solutions to  
10          musculoskeletal disorders.  And if there are,  
11          chances are a lot of people in the construction  
12          industry will fight it.  I've seen some of the  
13          things in Europe that talk about machines  
14          laying brick and machines laying block.  That's  
15          not a practical solution as far as I'm  
16          concerned, so I'd like to see money spent on  
17          practical solutions to help us protect our  
18          workers.

19          Thank you for all of your time.  Thank you all  
20          for listening, and again, appreciate the  
21          opportunity to speak.

22          **DR. ALBERS:**  Thank you.  And we have one more  
23          panelists.  Could you introduce yourself?

24          **MR. O'CONNOR:**  I wouldn't consider myself a  
25          panelist.  I'm Jim O'Connor with the mason

1 contractors here locally. I have no formal  
2 training and no letters after my name, but on a  
3 labor -- I used to be a laborer, and for a  
4 laborer's standpoint, I'll kind of address this  
5 on some common sense issues I sat and listened  
6 to. And I hear a lot of folks that know a lot  
7 more about this than me talking about training,  
8 training, training. And one of the training  
9 might be to teach people how to speak English.  
10 I know a lot of labor's -- labor's union has  
11 already gone and done that. That might be a  
12 quick way to address some of the problems is  
13 let's have an English class for some folks.  
14 Then we won't have to go through the problem of  
15 translation and all those other things. And  
16 that's -- again, it's a -- laymen's terms  
17 looking at what you guys have talked about and  
18 ladies have spoke of.  
19 Another issue that's drawing my heart is  
20 personal responsibility. And some of the  
21 responsibility, I believe, needs to go to the  
22 actual worker who has been able and paid to go  
23 to training, gone and taken the training, and  
24 then refused to wear the glasses or refused to  
25 wear the safety helmet. And if it is proven

1           that this person has gone through X amount of  
2           classes and goes ahead and does not follow the  
3           rules, that maybe that person could receive a  
4           20th of the fine that the contractor does. And  
5           if we're truly trying to affect a safe  
6           workplace, why not make the person who's  
7           causing the problem address it in his head that  
8           hey, this is going to cost me? And I don't see  
9           anything wrong with it. Again, I'm a layman  
10          and I'm asking. I'm not telling you what to  
11          do, but I certainly think that if it was a \$25  
12          fine and the laborer or the brick layer or the  
13          steel worker said, you know, last time I got  
14          hit for this; I'm not going to do it again,  
15          that essentially affects a safer workplace.  
16          And it doesn't have to be a terribly huge  
17          monetary cost to the worker 'cause obviously  
18          he's not making as much as the contractor. But  
19          if it does what we're trying to get done, why  
20          don't we do it?

21          For silica, I know it's a problem, I know it's  
22          been proven to be a problem. And Tom has  
23          talked about it in a confined space where  
24          people are drilling and there's no air. Most  
25          of our contracts, and there are a lot that

1           they're in, but a lot of them are out on walls  
2           where there is wind blowing and there's less  
3           likelihood of getting -- getting the PEL as  
4           high as you would in a tunnel. What I would  
5           like to see, and I'm sure the unions have the  
6           information, I would like to see a polling of  
7           all the unions to see -- if someone breaks a  
8           leg, I assume when they write the check to the  
9           insurance company or they write the check to  
10          the hospital, it'll say why that check is being  
11          written, broken limb, broken back, back injury,  
12          silica -- how many people have truly been  
13          affected by silica or are we really on a witch  
14          hunt? And I don't know, and I'd like to know  
15          and I think our people would like to know. And  
16          again, I'm a layman and I see a lot of people  
17          shaking their heads, so you can beat me up  
18          outside.

19          But another thing that I was interested in was  
20          substance abuse is something that nobody talked  
21          about and sometimes we have difficulty getting  
22          that in our contracts. And I would like to  
23          know any of these accidents -- how many of them  
24          have been involved with substance abuse, 'cause  
25          there's post-accident testing on a whole lot of

1           folks, and I don't think that data -- I don't  
2           know if it can be released, but I don't think  
3           that data's ever been released. So if we've  
4           got 25 falls, was one of them, was two of them,  
5           were three of them where someone had traces of  
6           substances that he shouldn't have had on the  
7           job? And are we blaming the wrong person all  
8           the time? And that -- I don't know. Again, I  
9           apologize, 'cause you guys are all  
10          professionals and I'm -- but this just seems  
11          like common sense stuff to me, so...

12          **DR. ALBERS:** Thank you. This is the last call  
13          for people who would like to add some comments.  
14          Going once, going twice...

15          **SUMMARY: MATT GILLEN**

16          **MR. GILLEN:** All right. I'd just like to wrap  
17          up by thanking all of our speakers today. I  
18          think we really had some terrific input and  
19          heard a lot of interesting ideas that we'll  
20          bring back. And just some of the general  
21          themes that I heard, I heard quite a few folks  
22          mention about training, how important that is  
23          to construction and how we need to learn more  
24          about the efficacy of training so that we're  
25          doing it the best way possible.

1 I also heard a lot about surveillance and  
2 availability of data, how this is really  
3 important for us to have more detailed  
4 statistics to really know what's going on so we  
5 can generate the interventions and things we  
6 need to know to do our work.

7 There was a lot of support for research to  
8 practice as something that's needed, for  
9 organizing information for contractors to use  
10 in best practice forms. Well. General issues  
11 related to immigrant workers, addressing  
12 technical gaps, human factors types issues were  
13 mentioned by folks. Some of the specific  
14 hazards that stand out were noise, silica,  
15 falls, MSDs, work zone issues, there were  
16 several others. So I think we really took --  
17 got some terrific input today and I'd really  
18 like to thank everybody for coming. I think it  
19 was quite -- quite a successful session, so  
20 thanks.

**ADJOURN**  
**SID SODERHOLM, NIOSH**

21 **DR. SODERHOLM:** I'd like to add my thanks to  
22 that. Maybe if you'll indulge me again --  
23 we're between here and Miller time so I'll try  
24 to be short, but there was reference to the

1 NIOSH construction research agenda, and that it  
2 is in an advanced formative stage. Logically  
3 we would have a NORA sector -- construction  
4 sector research council agenda, which would be  
5 the agenda for the nation, and then NIOSH --  
6 the part of NIOSH that deals with construction  
7 would take those parts that they felt they  
8 could do best or they could do well and make a  
9 real contribution, and that would become the  
10 basis for their strategic plan. And each ERC  
11 and each other organization that was able to do  
12 research would take parts of this research and  
13 would get the research done. There are --  
14 within NIOSH we have a fairly well-established  
15 sector program already in a couple of areas.  
16 One is mining and one is construction. Even  
17 though they're quite different, they're still  
18 both quite advanced. And so there's been some  
19 pressure within NIOSH to get our strategic  
20 plans in good shape. And so the NIOSH  
21 construction research area has gone ahead and  
22 has a strategic plan that you'll -- you can see  
23 -- if it isn't on the internet, it will be soon  
24 -- and that you can comment on.  
25 Then this, because it's kind of out of order,

1           this will become one of the inputs to the NORA  
2           sector research council on construction, one of  
3           the sets of documentation that they can  
4           consider as they set a research agenda for the  
5           nation. So it is confusing. Not everything  
6           happens in a nice, logical sequence. But NIOSH  
7           doesn't already have a research agenda in each  
8           sector. We do have one within NIOSH for mining  
9           and one is quite well -- quite far along in  
10          construction. But in the other sectors we  
11          certainly don't.

12          And we are not, you know, putting the cart  
13          before the horse. The NORA sector research  
14          councils will take all the information  
15          available to them and generate a research  
16          agenda for the nation within their sector. So  
17          I know there's been some question about that,  
18          and we can certainly -- you know, contact me  
19          with more questions and discussion, if you'd  
20          like.

21          I appreciate everyone's being here. I  
22          appreciate what our cosponsors have done to  
23          make the facility and this opportunity  
24          available and to call many people up and get  
25          the word out that this opportunity exists.

1 I'd like to thank Ray Green for working on our  
2 transcript. I was -- at our first meeting I  
3 said we would have this on the -- have your  
4 comments on the web within two to three weeks.  
5 That's probably a bit ambitious, especially  
6 with the end of December, informal national  
7 holiday coming up here. I'm sure it'll be  
8 longer than that. But before long we'll have  
9 the -- we will have the -- your comments on the  
10 website for viewing by others, and they'll be  
11 in the docket.

12 And I'd especially like to thank Max Lum in  
13 NIOSH and his crew of people who have worked  
14 very, very hard to make sure that these  
15 meetings can come off.

16 And Lorraine, let's give you the final word.

17 **DR. CONROY:** Thank you. I just, again, as the  
18 local host wanted to thank everyone that came,  
19 thank all the NIOSH folks for coming and  
20 listening to what we had to say, and especially  
21 the people that traveled here -- some from  
22 fairly long distances -- to present their  
23 testimony. I think it was a useful and  
24 productive day. I heard a lot of things that I  
25 think actually -- given the diversity of the

1           group, there were a number of common themes  
2           from most of the speakers about where the  
3           research needs are, and I think that might make  
4           the job of setting priorities somewhat easier  
5           than I expected.

6           So again, thank you all for coming to Chicago,  
7           and I hope you have a safe trip back. Thanks.  
8           (Whereupon, the meeting was adjourned at 4:05  
9           p.m.)

1

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of December 19, 2005; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 14th day of January, 2006.

---

**STEVEN RAY GREEN, CCR****CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**