

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

TOWN HALL MEETING

NORA

NATIONAL OCCUPATIONAL

RESEARCH AGENDA

The verbatim transcript of the
Town Hall Meeting of the National Occupational
Research Agenda held in Piqua, Ohio, on
March 6, 2006.

C O N T E N T S

March 6, 2006

OPENING REMARKS	5
DR. MAX LUM, NIOSH	5
SCOTT CLARK, UNIVERSITY OF CINCINNATI	11
TOM BEAN, OHIO STATE UNIVERSITY	14
PHIL LOOTENS, EDISON COMMUNITY COLLEGE	15
REMARKS FROM INVITED GUESTS	16
ANN BAIRD, MIAMI COUNTY COMMISSION	
INTRODUCTION TO RESEARCH AGENDA PROCESS	17
SID SODERHOLM, NIOSH	
REGIONAL AND LOCAL STAKEHOLDER PRESENTATIONS	34
MODERATORS: SCOTT CLARK & TOM BEAN	
SUMMARY: CAROL RICE, UNIVERSITY OF CINCINNATI	
MANUFACTURING SESSION	114
INTRODUCTION TO THE SECTOR APPROACH	
MODERATOR: MARY LYNN WOEBKENBERG, NIOSH	
SUMMARY: MIKE GRESSEL, NIOSH	
ADJOURN	178
DR. MAX LUM	
COURT REPORTER'S CERTIFICATE	182

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

TOWN HALL ORGANIZERS

SCOTT CLARK, PhD, PE, CIH
University of Cincinnati

THOMAS BEAN
Ohio State University

CAROL RICE, PhD, CIH
University of Cincinnati College of Medicine

SIDNEY SODERHOLM, PhD
NIOSH

MARY LYNN WOEBKENBERG, PhD
NIOSH

MIKE GRESSEL, PhD
NIOSH

PROCEEDINGS

(9:00 a.m.)

OPENING REMARKS**DR. MAX LUM, NIOSH**

DR. LUM: Good morning. Let me welcome you. I think welcome is the first thing we should say to come out on this beautiful day here in downtown Piqua. We are in downtown Piqua, right? Thank you for being with us today. I'm Max Lum. I'm the communication lead for NIOSH in Washington, D.C.

NIOSH is the National Institute of Occupational Safety and Health. We're part of the Centers for Disease Control and Prevention. And although the office and the Director is in Washington, our laboratories are really sprinkled around the United States. And a big one right here in Cincinnati, our Cincinnati laboratory.

About ten years ago almost to the day, 1996, I think it was pretty clear that the Institute needed a better way to kind of focus its occupational safety and health research. It needed, I think, a better guidance philosophy in putting together its research agenda. Not that our surveillance activities didn't provide us a clue about what our research should be, but we

1 thought we needed more of a partnership effort.
2 So ten years ago the concept, the National
3 Occupational Research Agenda, the NORA concept was
4 conceived as a kind of a guiding philosophy to put
5 partners in the research process really early on.
6 And that's what's happened over the last ten
7 years. Pretty much, we've put together
8 partnership groups. They've helped us guide our
9 research. We think they've been very successful.
10 And it's not just -- The NORA process is not just
11 a guidance philosophy for NIOSH, it's the National
12 Occupational Research Agenda. It's for the
13 nation. It's a guidance philosophy, a way to look
14 at setting research agendas for occupational
15 safety and health for the nation. And I say that
16 not tongue-and-cheek really. The NIOSH is a very
17 small institute; especially when we look at our
18 friends at the National Institutes of Health in
19 Bethesda, Maryland. We have small resources, but
20 through the NORA process over the last ten years
21 we've been able to take what resources we've been
22 able to capture and go out and leverage these
23 funds with business, with government, with other
24 partners to do research. So it's been a very
25 effective tool in terms of leveraging our

1 resources to do safety and health research.

2 It's also been an effective tool, and probably our
3 most useful tool in collecting more resources from
4 the U.S. Congress. Because they see it as a
5 national agenda, not so much as one institute's
6 agenda.

7 So ten years ago we embarked on this activity, and
8 we conducted around the country, I think, four
9 town hall meetings similar to this. And I think
10 Boston, New York, Seattle, Washington at that
11 point. So here we are ten years later, and we've
12 evaluated NIOSH, we've looked across this whole
13 NORA concept and to try to figure out, well, where
14 do we go from here, what's new, we need a
15 blueprint for the next ten years of NORA. And as
16 part of that process we are going around the
17 country, really a road show, if you would, and
18 talking to folks about the agenda process, your
19 concerns, your issues, your interests. That's
20 what we really want to hear from you today. And
21 that's the way the program is structured. Not so
22 much to hear from us, but to hear from you about
23 your issues and your concerns. We'll tell you a
24 little bit about what we feel will be the
25 structure of the new NORA in the next ten years.

1 But really the primary focus is to hear from you.
2 And the town hall meetings are a very important
3 process. What you're going to be talking about
4 today we're capturing on tape. We have a
5 transcriber that is with us. The verbatim
6 testimony actually will be placed on our website.
7 It's a totally transparent process. And then the
8 information that we do glean goes to the research
9 councils that will be working on setting our
10 research agenda and Sid Soderholm, our NORA
11 Coordinator, will be telling you a little bit more
12 about that as we move forward.

13 And just a personal note, and having just come to
14 NIOSH ten years ago, and really it does seem like
15 a quick ten years, a quick decade, I was involved
16 in the town hall meetings. And I remember, just
17 as if it was yesterday really, a group of nurses
18 coming down to the Washington, D.C. town hall
19 meeting from Philadelphia from one of the
20 hospitals, and they brought a patient with them.
21 And they came up to the podium, brought the
22 patient up to the podium, and they talked about an
23 issue of latex allergy as a really debilitating
24 issue that they were facing at their particular
25 hospital, and they were talking about really

1 hospitals across the country. They had some
2 interesting statistics about, you know, nurses
3 wearing latex gloves all day, and the chronic
4 conditions that were developed, really
5 debilitating injuries that put people out of the
6 workplace in terms of a life-long job. It was
7 very moving. And I think immediately NIOSH moved
8 to affect a research program that addressed that.
9 And I think in a relatively short amount of time
10 we probably alerted every hospital in the United
11 States to this issue. And, really, it was our
12 research and combining the research of others that
13 we were able to do that. But the issue was really
14 raised at that town hall meeting.
15 And we were in Salt Lake City last Monday and we
16 heard from one of the presenters there about how
17 significant the meeting that he had attended ten
18 years ago that really had motivated him and had
19 motivated the Institute in terms of
20 musculoskeletal disease, and the fact that we
21 didn't have any really firm studies, we had not
22 certainly pulled them together at that point.
23 And, again, the Institute was able to motivate its
24 own researchers to move in that direction, and we
25 heard the benefits of that.

1 So, again, what we are hearing from you today we
2 are listening intently. And if I can make a plea
3 at this point, I know this is our ninth town hall
4 meeting, we're doing 12 of these, and I hope to
5 get home by the spring if I'm lucky, but I know
6 there are people in the audience, you're thinking
7 to yourself, well, you know, I'm not going to
8 testify, but I have this issue in my mind and
9 maybe I should say something. I want you to think
10 about that. And, really, we want to hear from
11 you. If you haven't signed up, we have a full
12 program, but we would really like you to come up.
13 You know, this is not a 60 Minutes format. We're
14 not going to blow smoke in your face. We're not
15 going to twist your arm. We really want to hear
16 really what is on your mind.

17 And with that I'd just like to, in conclusion,
18 thank our hosts, not only the University for
19 hosting it, the Vice President of the University
20 is here, Phil Lootens, is here to talk with us
21 briefly, also, special guest Ann Baird, the County
22 Commissioner for Miami County is here, is going to
23 say a few words, and the folks who really are on
24 the ground here that helped us put this together,
25 not only our staff in Cincinnati, who are

1 extraordinarily helpful, but Tom Bean from Ohio
2 State University, the Ag. Center there, and Scott
3 Clark in our ERC, our Educational Research Center
4 in Cincinnati.

5 These take a lot of work, as you can imagine, and
6 without the folks really on the ground to do some
7 of the work that is required to put these together
8 we just couldn't do this. So, again, thank you
9 for coming. And we do want to hear from you.

10 And if I could ask Scott to come up and take the
11 podium. Thank you all.

12 **DR. SCOTT CLARK, UNIVERSITY OF CINCINNATI:**

13 **DR. CLARK:** I thank you very much, and welcome.
14 Our buses just arrived from the University, I see,
15 so I think we have a full group here. This is, we
16 think, a good location for this meeting, and I
17 think the audience reflects that; people from
18 Michigan, Toledo, Dayton, and so forth. It is
19 more central than it would have been to have it in
20 our backyard, which would have been more
21 convenient, but I'm glad we have it here to get
22 acquainted with this wonderful community college
23 at Edison.

24 As Max said, we have a NIOSH supported education
25 and research center. The University of

1 Cincinnati. And there's both outside, so I won't
2 take much time on it. But it's a full center with
3 four major economic disciplines; occupational
4 medicine, safety, nursing and hygiene, where we do
5 graduate education and research and continuing
6 education and outreach.

7 So we serve a big region. Probably about half of
8 our graduates stay in Ohio. And there are a
9 number of them in this room in Ohio, but we also
10 serve other states, obviously, and other
11 countries. We have for a number of years had
12 pretty strong international connections. So we're
13 a resource that NIOSH helps support. And we are
14 pleased to be here.

15 I want to thank the Edison Community College for
16 their fine hospitality. We've been coming up here
17 since December and looking at the facility and so
18 forth, and making arrangements for the transcriber
19 and coffee. And lunch will be available through
20 the regular cafeteria down the hall to the left.
21 And I guess we can have food in here too,
22 apparently, right? So they're very accommodating.
23 In our University we have one room and there's no
24 food at all. So it's nice to have a little
25 relaxation. Of course, we want to be careful.

1 Also, I'd like people to turn off their cell
2 phones or put them on vibrate. It just can be
3 disruptive, obviously. And I know you have to be
4 in touch with many things, but try to do that, and
5 then leave discretely. The restroom is outside to
6 the left in the first hallway down there.

7 I want to urge what Max said for those of you who
8 didn't sign up. I think 25 have signed up to make
9 comments. That others who want to, just go to the
10 front desk and say you want to make comments, even
11 if it's three sentences. It doesn't have to be a
12 long thing. Just an idea, well, what about
13 something. And that's fine. This is meant to get
14 input from people who are working wherever, either
15 as a worker, supervisor, parent of a worker, a
16 friend of a worker, whatever, whatever type of a
17 situation you have. You may not know what we know
18 about that situation. That's not a problem. If
19 you are concerned about, is it a problem with my
20 son working in this garage doing welding, you
21 know, if we know enough about how to protect them
22 and how they know he's being protected. So even
23 that kind of a thing. It's information, and NIOSH
24 has a huge information sharing network. And so
25 that's -- but ideas you might have for things that

1 you come across when you think it may not be well
2 addressed so far. So we do have flexibility in
3 the schedule.

4 We'll have the program run so the speakers in the
5 first section, second section, will sit here at
6 the table here while the other people speak to
7 minimize the time between speakers. And we want
8 to avoid walking off the stage that way. There
9 are some cables, plus it's a drop. And I don't
10 know if Dr. Rice has the emergency squad lined up
11 here to take care of us. So we do have Dr. Carol
12 Rice here who heads up the hygiene program, and
13 Judy Jarrell, Dr. Jarrell with the Continuing
14 Education Program, Donna Gates, Dr. Gates is here
15 from the College of Nursing, Dr. Genardy from
16 Safety Engineering. Is Dr. Sue Davis -- And
17 Dr. Sue Ross make it yet? So we have pretty much
18 our full group here, so they're available for any
19 kind of questions you might have about things.
20 So we also have a second co-host, our friend Tom
21 Bean from Ohio State, he's from the Agricultural
22 Center for Health and Safety. So Tom will have a
23 few comments.

24 **TOM BEAN, OHIO STATE UNIVERSITY:**

25 **MR. BEAN:** Well, first of all I'd like to welcome

1 everybody today. It's not too bad of a day for
2 Ohio this time of the year. And I'm glad to see
3 that we have such crowd today. My name is Tom
4 Bean, as Scott indicated. I'm on my second week
5 as Chair of the Department of Food, Agricultural
6 and Biological Engineering at the Ohio State
7 University. They kind of made me an offer I
8 couldn't refuse, so I had to accept that position.
9 And I'm also the Director of the Great Lakes
10 Center for Agricultural Safety and Health. And
11 we, of course, have a full compliment of programs
12 that we do; basically research, outreach,
13 prevention, intervention programs, research to
14 practice. All of the things that a center is
15 expected to do.

16 But I really want to make this short today and get
17 on with our program, so I'm going to introduce
18 Phil Lootens who is the Vice President for
19 Education of the Edison Community College. So if
20 Phil would come up. And I can tell you this is a
21 great facility to have this meeting. The
22 acoustics seem to be very good, and it's very
23 comfortable.

24 **PHIL LOOTENS, EDISON COMMUNITY COLLEGE**

25 **MR. LOOTENS:** Thank you. On behalf of Edison

1 Community College, I welcome all of you to this
2 very important town hall meeting. We have a
3 fairly robust program of economic development,
4 work force development for our community and
5 business industry. And I can think of nothing
6 more important than occupational safety and
7 health. So with that, I welcome you, and I hope
8 your visit here today is worthwhile.

9 I have the privilege of introducing the next
10 speaker, Ann Baird, who has been a member of Miami
11 County Commission for a number of years, and has
12 also been a member of our board of trustees for a
13 number of years. She's a great community servant,
14 has given greatly of herself and of her time.
15 And with that, Ann, I'd like to welcome you.

16 **ANN BAIRD, MIAMI COUNTY COMMISSION**

17 **MS. BAIRD:** Thanks Phil. As Miami County
18 Commissioner it's my pleasure to get to welcome
19 you to Miami County today. As I told the
20 gentleman earlier, I'm very interested in hearing
21 some of the comments from the meeting today. I
22 won't get to stay long because we had to juggle
23 our schedule around a little. I'm actually Vice
24 Chair of the Commission this year, and our Chair
25 was busy doing something else this morning,

1 chairing another meeting. So I got to come. And
2 it's probably more beneficial to me because my
3 former life, 12 years ago, I was Director of
4 Community Wellness for the local hospital, and in
5 that I did a lot of occupational health and other
6 things, so I'm interested in the safety issues
7 that might be heard out today.

8 So I know you're going to have a good program. I
9 see a lot of things on the agenda that I'm
10 interested in listening to. So we'll look forward
11 to hearing those comments. And anything we can do
12 for you here at Edison today, we'll be happy to
13 do. We try to run a very good show here and it's
14 a very convenient place for you to have a meeting.
15 And Sid, I'm going to turn it over to you now if
16 you want to take over.

17 **INTRODUCTION TO RESEARCH AGENDA PROCESS**

18 **SID SODERHOLM, NIOSH**

19 **DR. SODERHOLM:** Well, thank you, Ann. I came up
20 from the set of stairs over there to help remind
21 me to mention that that's really the set that is
22 probably OSHA approved for us to be using today.
23 We have some wires and so on over here. So we'll
24 encourage people to use that side as much as
25 possible.

1 Well, I'm Sid Soderholm. I'm the NORA Coordinator
2 at NIOSH. And one message today is, if you have
3 any questions or issues about NORA, please give me
4 a call, contact me. I have a number of business
5 cards out on the registration table in case you're
6 still into that low-tech way of keeping track of
7 people feel free to pick one up. And my name is
8 in the list that came in the packet, too. So
9 please contact me if you have anything that you'd
10 like to talk over about NORA. So I want to talk a
11 little bit about NORA and what we're doing here
12 today. And then as the transition really as to
13 the real reason we're here, which is to hear what
14 you have to say, not what the rest of us has to
15 say.

16 So the vision of NORA hasn't changed. The vision
17 ten years and the vision now is a national
18 partnership effort to define and conduct priority
19 research. The major component of this is that we
20 seek stakeholder input. That's what we're here
21 doing today. We identify research priorities for
22 the nation. So researchers love to do -- they
23 know what's most important to do. But here we
24 are, we're listening and we have a process to say,
25 okay, these are the priorities for the nation.

1 And the researchers pay attention to that because
2 that's where the funding is frankly. And we work
3 together to address these priorities. It's a
4 partnership effort. There are many things that
5 you can do in laboratory research and field
6 research, but almost everything we do is greatly
7 improved, and much of it is impossible, in fact,
8 without partners, without labor industry,
9 professional associations, all kinds of different
10 partners who can lend pieces to the
11 multi-disciplinary, multi-researcher efforts to
12 really get the kinds of questions asked answered
13 that we need to be dealing with.

14 And as Max mentioned, one of the aspects of NORA
15 is to leverage funds. NIOSH has a budget that's
16 generously funded by congress and yet there's so
17 much more we can do when we at least have a way of
18 going to other federal agencies and saying see,
19 your mission and our mission is very similar here,
20 can we work together and, for example, put out a
21 grant announcement for research in an area, say,
22 skin disease, which is an occupational issue and
23 it's a community issue. And we can use some NIH
24 funds with NIOSH funds to help fund something like
25 that.

1 So that's one of the ways in which -- the main way
2 in which funds were leveraged over the last ten
3 years. And, yet, we think that there's a lot more
4 that can be done. We think with, you know,
5 professional associations and corporations that
6 through in kind and money transfers there are many
7 ways in which a lot more good research can be done
8 by attracting funds from a number of places,
9 attracting resources including funds.

10 Again, the importance of having come to listen --
11 and in just a few minutes we'll stop talking,
12 we'll start listening -- it can't be over
13 estimated. The fact that you have told the
14 researchers what the issues are, maybe you know
15 what kind of research needs to be done, maybe
16 that's not your end of the spectrum of where you
17 live, but if you can tell us what the problems are
18 then we can much more confidently allocate
19 resources where they're needed.

20 So what's different about the second decade of
21 NORA compared to the first? Well, this can be
22 summed up by saying we're going to move research
23 to practice in work places even better through
24 sector-based partnerships. So it's still
25 partnerships.

1 What are we talking about in this sector-based
2 approach? Well, the idea of the sector-based
3 approach is we're addressing the most important
4 problems in each sector. And we'll talk about it
5 a little more later. But the problems could be
6 discussed in terms of risks, or exposures, or
7 injuries, or diseases, or failures of the
8 occupational safety and health system, or other
9 ways; however the issue can be formulated. We're
10 trying to address the most important ones by
11 focusing on sectors.

12 We're talking about having a research strategy for
13 each sector, and it may be more than one. Some of
14 these sector groups, I'll introduce them a little
15 bit in a minute, some of them are so broad that
16 they really break down into some sub-sectors that
17 fit together even more closely. So we plan to
18 have -- we will have a research strategy for these
19 sectors, or sub-sectors. So this involves
20 identifying the major problems, knowing what the
21 kinds of research is needed, knowing how that
22 research will lead to making progress in reducing
23 the burden of that problem on the American
24 workforce and American economy. So then finally
25 having a plan, having a way to gather the

1 resources, to gather the researchers together to
2 get the work done. But the sector-based approach
3 doesn't leave out the fact that many issues go
4 across sectors. There are many cross-sector needs
5 that have been identified. That was the focus of
6 the research priorities in the first ten years.
7 And those problems still exist, and they still
8 cross sectors. And that's not being lost. Some
9 of those problems are traumatic injuries, almost
10 in every sector, muscular-skeletal diseases. Many
11 issues are coming up in health disparities among
12 particular populations across sectors. And so
13 hearing loss, there are just many issues that come
14 up that cross many sectors. And by going to the
15 sector approach we're not losing the cross-sector
16 issue. What we're doing is we're focusing on them
17 through the sector approach to principally to gain
18 more partners who can help us do the research
19 better.

20 So why? Well, the workplaces are organized by
21 sector, people tend to identify with the industry
22 or the sector that they're in, many research needs
23 differ by sector. The priorities tend to be
24 different across sectors, but many of the
25 priorities are the same across many sectors, as

1 I've mentioned. Working at it sector by sector
2 really helps us focus on the goals for that group
3 of workers and for those companies, the types of
4 research that is going to help us make a
5 difference in the goals and what we're going to do
6 with those results.

7 The thing that excites me most is by having sector
8 partners involved in defining the research,
9 conducting the research. They are already
10 familiar with it, and they have the channels of
11 communication when it comes time to say you know,
12 we've tried this and it works. More workplaces in
13 the sector ought to aware of this way, of this
14 intervention, this way of reducing this
15 occupational safety and health problem.

16 So I think it's the handing off the results is
17 going to be the big payoff to this approach. It
18 certainly facilitates partner activities, and we
19 think it's going to be an efficient approach.

20 So keep talking about the sectors. Here are, at
21 least in an abbreviated form, are the sectors in
22 little ovals. What we've done is we've taken the
23 20 or so sectors as defined as industrial
24 groupings as defined by the North American
25 Industrial Classification System. The NAICS codes

1 are actually used by Canada, the United States,
2 and Mexico in their economic analyses and so on.
3 So these sectors are defined by the Census Bureau
4 in the United States. So we've grouped some of
5 those in fairly natural groupings. And you can
6 see the indication of what the eight sectors are.
7 And the services sector over there on the right
8 (indicating) is actually a very large sector of
9 maybe close to 50 percent of workers in the United
10 States now work in the servicing sector.
11 Today, this afternoon, we're focusing on a sector
12 that's always been very important in the United
13 States, I guess at least since the industrial
14 revolution, I guess I'm not enough of a historian
15 to say it was before that, the manufacturing
16 sector will be the focus of the afternoon. This
17 morning we're inviting comments on any sector on
18 any issue. And certainly this afternoon I think
19 we'll have time if people want to come up and talk
20 about anything later in the afternoon there will
21 be opportunities to do that.
22 So we will have research councils. We'll have
23 basically committees requiring research councils,
24 one per sector, and they will be taking the input
25 from you all and I will be talking about that a

1 little more in a minute, but they'll be taking the
2 input from you and their own information to put
3 together a draft research strategy for their
4 sector. And that draft will be put on the
5 Internet. We'll be asking for comments, and we'll
6 start working on that. So it's a very open
7 process. But these research councils will really
8 be the group that's going to be processing the
9 information that we receive in these town hall
10 meetings.

11 The cross-sector research council is essentially
12 the executive committee. Each research council is
13 headed by someone within NIOSH and someone outside
14 of NIOSH. And the membership of the council will
15 be probably no more than one-third of people
16 within NIOSH, mostly people outside of NIOSH. The
17 two leaders of each of the cross-sector councils,
18 those 16 people will make up the cross-sector
19 research council, which is really the executive
20 board in order to kind of keep things coordinated,
21 keep things moving where one group has come upon a
22 good idea of how to accomplish something, we can
23 pass that along to others, where one group is
24 struggling with an issue, a cross-sector issue,
25 there may be solutions that have been found in

1 other research councils as to how to deal with
2 that issue. So that will be a coordination point.
3 The NIOSH rule is one of stewardship and
4 infrastructure. We know the NORA process isn't
5 going to go forward without NIOSH really taking a
6 leadership role. On the other hand, it's not just
7 the NIOSH process. We're not here finding out
8 just what NIOSH ought to be doing. There are many
9 good ideas about problems that need to be solved
10 where the best people to do the research are to
11 help solve that problem are really outside of
12 NIOSH.

13 So NIOSH is a steward, we provide some of the
14 infrastructure, but the O in the National
15 Occupational Research Agenda could also stand for
16 ownership, or broad ownership, and we hope that
17 other groups will provide resources to help this
18 process move forward.

19 So to talk a little bit more about the research
20 councils, diverse input will lead to robust
21 research strategies. And I had gotten ahead of
22 myself and really started talking about this
23 slide. The initial work of the NORA research
24 councils will be to take, you know, front and
25 center of this stakeholder input that's been

1 received in the NORA docket through this process
2 and others that I'll mention, plus the expertise
3 of the members assembled, plus the surveillance
4 data, which is always a great source of
5 information about some of the issues, it tends to
6 have more data about injuries than it does about
7 health effects. But to put all of this together
8 and through a decision-making process come up with
9 this draft for research strategy. It will be put
10 on the web for further discussion and to start
11 working on it.

12 So, audience, we're here today, what are the ways
13 in which you can participate? Well, certainly
14 proved input, that's one of the reasons you're
15 here today, and we also encourage you to
16 volunteer. There are many ways we can use your
17 help in the future.

18 So for those who choose to come up and speak
19 today, we're asking people to plan on around five
20 minutes. Sometimes we've had even fuller
21 schedules, so our moderators may allow people to
22 slip a little more than five minute today, but
23 that will be up to them. We're asking people to
24 basically boil your comments down to five minutes
25 and to give us the highlights. You certainly have

1 more information that you can provide than can be
2 provided in five minutes in many cases. So if you
3 have more comments, please feel free to leave a
4 copy with the people at the front desk or here on
5 our transcriptionist's desk. Shane Cox is our
6 transcriptionist providing a very important
7 function for us today. I mean, I guess we're all
8 at work, but he's really at work today. Between
9 tape recording and repeating what we're saying
10 into another channel of the tape recorder, he will
11 give us a verbatim transcript of everything that
12 is said today and that we will use. Christy
13 Forrester, here in the front row, will be taking
14 the transcript and parsing it up and actually
15 loading it into our website, which puts it in the
16 docket.

17 So if you go to the NORA website, which is listed
18 here, you will find an opportunity where you can
19 type in comments or cut and paste in text into one
20 of ten boxes on the website. You can talk about
21 comments in any of the eight sectors, or provide
22 comments on our cross-sector issue, or multiple
23 issues, or you can talk about the process.

24 So whether you put the information in or whether
25 Christy is taking the transcript and putting it in

1 from the docket, or from the transcript, that
2 information will go into the NORA docket. So this
3 is a set of files in Cincinnati that can actually
4 be visited. But most of the information, all the
5 text-based information is also available on the
6 Internet. It turns out that if you go to that
7 input page on this NORA website, and you'll see to
8 the left of those input boxes, a little unassuming
9 length that says view comments by others, and
10 that's getting to be a very rich source of
11 information now. We put this out -- I think we
12 first opened the website last June, and if you
13 start looking through the comments by others
14 you'll see a lot of the information that's been
15 provided. Now, we're a little -- we hope within a
16 few weeks to have a transcript of this meeting
17 there, and Christy's already entered the
18 transcript of a couple of the early town hall
19 meetings and more of the transcripts are arriving
20 and we're entering those all the time.
21 So the input you provide will be put into the NORA
22 docket and it will be provided to the NORA sector
23 research councils. Now, every word that you say
24 will be given to the research councils, but in
25 order to help them find what they want when

1 they're looking for things, we're going to be
2 indexing, basically, the comments. We're going to
3 be providing them in categories also.

4 So the NORA sector research councils, the people
5 on the council will be seeing exactly what you've
6 said today. And even if you have written comments
7 and you come up and you read them, whether you get
8 all the way through them or not, we'd love to have
9 a copy. We'll put the written copy in also.

10 The comments will also be outlined in the NORA
11 symposium. The NORA symposium is in Washington,
12 April 18 through 20 of this year. And it's going
13 to be a celebration of the first ten years of
14 NORA, it's going to be a celebration of the
15 research that's been done. There will be a
16 dinner. And we'll also be celebrating the 35th
17 anniversary of the Occupational Safety and Health
18 Act that formed NIOSH and NORA. And we'll also be
19 saying thank you to those NORA teams that worked
20 for the first ten years and really moved the
21 priorities that were set ten years ago forward
22 significantly. And on the last day of the
23 workshop -- or excuse me, on the last day of this
24 symposium we will have workshops that really kick
25 off the sector-based approach in a major way.

1 We'll be summarizing the comments, we will have
2 discussion, we will have some initial multi-voting
3 of those there in each of the eight sector
4 workshops to give us an idea of what that group
5 feels the priorities are in that sector. And you
6 can find out more about this symposium at the
7 website listed at the bottom of the slide. And
8 I'll actually show these to you again.

9 So, again, I went through this a little bit
10 earlier, but what kinds of information do we think
11 we'd like to hear? We always hear a lot more rich
12 information than we thought we were going to hear,
13 than we ever could have imagined when we organized
14 this. So we appreciate everything that's said.
15 But the types of information -- we came to these
16 town hall meetings thinking we'd like to hear or
17 asking for is, what are the top of problems, what
18 are the diseases, the injuries that are causing
19 problems, what are the exposures, what populations
20 are at risk, where is the system failing. If you
21 know, who are the key partners to make progress in
22 this, if you have ideas, what's the research, the
23 kinds of research that's going to make a
24 difference. As I mentioned, brief presentations,
25 just the highlights. We'd love to receive as much

1 written material, or material submitted through
2 the website. Don't worry about submitting the
3 same thing two or three times in different forms.
4 We'd rather have that than miss some important
5 thought in some of your input.
6 And the final point is we're here to listen. And
7 when we later ask people to come up, even if they
8 haven't registered to speak, we're interested not
9 in criticism of what someone else has said. If
10 someone said something you agree with, and you're
11 moved to, come up and say you agree, offer a
12 similar opinion. If someone said something you
13 disagree with, feel free to come up and say well,
14 this is how I see it, this is my opinion, this is
15 my comment on this subject. So we're here to
16 listen and reflect. So we appreciate everyone's
17 input and we want to hear everyone's input.
18 So finally, thank you for being here. Pretty soon
19 here I'll sit down and we can turn it over to our
20 moderator for the morning and we'll start
21 listening to you, which is why we're here.
22 But a few take-home messages. To follow what's
23 happening in NORA and in fact what's happening in
24 NIOSH, I encourage you, if you haven't already, to
25 sign up for the NIOSH eNews. This is an e-mail

1 news letter. It comes to your mailbox, your inbox
2 once a month. If you're too busy you can just
3 ignore it. But if you have a few minutes, it's
4 really one and 200-word summaries of what's going
5 on in NIOSH. We have something about what's going
6 on in NORA every month. And if you don't have
7 time to visit our website often, at least pick up
8 eNews, sign up for it, and find out what's going
9 on in NORA. The signing up is very simple. You
10 go to this website and just type in your e-mail
11 address. That's all there is. And to unsubscribe
12 at any time you can do that.

13 Please do provide additional input. The NORA
14 website; that input page is there. If your input
15 can be provided as text that's a great way to do
16 it, if you have pictures and graphs and other
17 things then there's a way to submit that
18 electronically through e-mail. And if you'd like
19 to exercise the U.S. postal system, you have a
20 stack of reports or something you want to give us,
21 then there's also an address on the website where
22 you can send it in.

23 And finally, as I started, if you have any
24 questions feel free to contact me. There's a
25 direct address on my business card out on the

1 front table. And also it's fairly easy to
2 remember noracoordinator@cdc.gov, either one of
3 those works, and I'd love to hear from you.
4 So with that I think we'll turn it over to, I
5 think, Tom is going to moderate our first session.
6 I think the way we're going to -- I'll let them
7 explain how they're going to do this.

8 **REGIONAL AND LOCAL STAKEHOLDER PRESENTATIONS**

9 **MODERATORS: TOM BEAN AND SCOTT CLARK**

10 **MR. BEAN:** I think this is working this morning.
11 How we're going to proceed; Scott and I are going
12 to be doing a team approach to moderation today.
13 So we'll going to be handling the morning session.
14 What we're actually going to do is be calling up
15 panels, four people at a time, and they'll take
16 seats over here at this particular table. And
17 what we would like you to do when you get to the
18 table is the first one, there should be a list,
19 pick up a list, the first person that is going to
20 actually be speaking just take a position at the
21 podium, tell us your name, your organization, and
22 start right in on your five minutes. When you're
23 finished with that the next person will be coming
24 up repeating that process; name, organization, and
25 start in with your comments. When the panel is

1 completely finished we will be calling then
2 another panel of four and repeating the process.
3 So with that, I think we'll go ahead and call our
4 first panel, and that will be Wayne Dellinger, Sam
5 Steel, Mary Fleming and Michael Ely.

6 For the panel, and all panels, we do have a
7 timekeeper. It's Amanda sitting in the front.
8 Amanda, raise your arm for us. Amanda is our
9 timekeeper. So she'll be giving you appropriate
10 signs so that you know one minute, 30 seconds,
11 stop. And let me tell you that if we don't stop I
12 will be standing up and saying stop, stop. Clark
13 will be doing the same, so...

14 **MS. JARRELL:** Tell them I will be taking pictures.

15 **MR. BEAN:** Oh, yes, pictures. We will have a
16 photographer, Judy. Judy, the one with the camera
17 here in the front row, will be taking pictures of
18 each speaker. So as you get up there pause a few
19 minutes, Judy will take your picture, and then you
20 can go ahead and introduce yourself. So thank you
21 very much. Go ahead after your picture, Wayne.

22 **MR. DELLINGER:** Thank you, Tom. I'm Wayne
23 Dellinger. Currently a Program Coordinator from
24 Ohio State University Extensions Agricultural
25 Safety Office. Just a little bit on my

1 background. I've worked four years on a
2 university research farm, three managing dairy
3 operations, three years working as a field
4 research technician for an OSU extension
5 specialist and more recently, eight years employed
6 in agricultural safety, while remaining a
7 part-time employee on a large cash grain
8 operation.

9 I chose special operations for special populations
10 to address in this NORA town hall meeting because
11 agriculture consists of many groups that could be
12 considered in this category. These populations
13 also typically operate the more dangerous
14 equipment. Amish, youth, and what I'll call hobby
15 farmers are three I wish to focus on for
16 consideration in continued or future funding.

17 In 2004, Ohio had an estimated Amish population of
18 over 52,000. While many of these Amish are
19 turning to alternative employment, there is still
20 a large number involved in agriculture. These
21 Amish are difficult to reach and tend to use older
22 horse drawn equipment, as well as younger and
23 older workers than what we normally consider a
24 typical agriculture operation. These factors
25 create more risk for incidents, more difficulty in

1 injury surveillance, and greater challenges in
2 educational research and programming.

3 Youth involved in agriculture has been a tradition
4 for family operations for years. In Ohio, if
5 youth are working on their parent's farm,
6 equipment operation may start at any age. In
7 modern day, this is of greater concern for
8 multiple reasons. Youth may only be permitted to
9 operate the older equipment that may not meet
10 current safety standards. However, if the youth
11 are allowed to operate the newer equipment, this
12 also creates certain risks. Today's equipment
13 includes tractors and implements that are much
14 larger than in the past. Some of today's tractors
15 are also designed to operate at speeds of up to 45
16 miles per hour or faster. In Ohio, this creates
17 the potential for a ten year old or younger child
18 to operate a tractor on the road at 45 miles per
19 hour if working for their parent.

20 Hobby farmers present a unique challenge. These
21 are farmers with just a few acres or just a few
22 animals to manage outside of an off-farm full-time
23 job. They typically use older equipment bought at
24 farm sales possibly without safety features or an
25 owner's manual. Or, they may borrow a neighbor's

1 equipment without proper training. These factors,
2 along with an audience that is not reachable in
3 the channels traditionally used for agricultural
4 safety demonstrate the need for more focus, better
5 injury surveillance, and additional educational
6 programming.

7 With all of these groups and agriculture in
8 general, roadway safety is a growing concern.
9 Urban sprawl into rural areas, along with larger
10 equipment sharing the same narrow roadways creates
11 a scenario for more incidents. Even though there
12 are fewer farmers, they are typically working on
13 larger farms, traveling greater distances on the
14 roads. The recent adoption of the Agricultural
15 Safety -- American Society of Agricultural and
16 Biological Engineer Standard 5-84, the Speed
17 Identification Symbol, and revisions to Standards
18 2-79-13, Lighting and Marking of Ag. Equipment on
19 Highways, and 2-76.6, the Slow Moving Vehicle
20 Identification Emblem, resulted in recommended
21 lighting and marking for high-speed tractors.
22 Educational programming and research should be a
23 priority aimed at state legislators as well as
24 producers to form laws that allow these tractors
25 to safely operate on public roads. Continued or

1 increased funding for all of these special
2 populations in agriculture will assist Ohio and
3 all states in meeting the changing needs of an
4 ever-changing clientele. Thank you.

5 **MR. STEEL:** Good morning. My name is Sam Steel.
6 I'm with the National Safety Council in Chicago,
7 and I'm their Agricultural Safety and Health
8 Specialist. The National Safety Council, just in
9 case you're not aware of the organization, is a
10 not-for-profit, non-governmental agency, safety
11 and health organization with a federal charter.
12 Our background in the field of agriculture goes
13 back to 1944 when the President of the United
14 States, President Roosevelt, the Secretary of
15 Agriculture and the American Farm Bureau all got
16 together, and actually the President signed the
17 first proclamation for National Farm Safety and
18 Health Week. So it's one of the longest, if not
19 the longest, weekly commemoration in the United
20 States.

21 The title of my presentation today, this brief
22 presentation, I originally -- my official title is
23 The Challenges of Developing, Delivering and
24 Evaluating Effective Agricultural Safety and
25 Health Programs in the United States. My

1 unofficial title is I've actually left out the
2 challenges and frustrations of developing,
3 delivering and evaluating effective agricultural
4 safety and health programs.

5 There are actually -- and all of us who have been
6 associated with the safety and health field for a
7 long time are aware of the three Es, engineering,
8 enforcement and education. However, with my
9 experience, and I actually began work in 1961,
10 that's the reason why I have all this gray hair,
11 so I'm as old as I look, I started at Belksville
12 at the USDA in 1961 working my way through the
13 University of Maryland. And since becoming a high
14 school agriculture teacher in the State of
15 Maryland in 1967, I've been involved in
16 agriculture safety and health programs. It's been
17 very frustrating trying to make a difference as
18 some of the audiences I'm going to talk about
19 cross over into those that Wayne's already talked
20 about today. But I'm suggesting that there's
21 actually three different Es that involve
22 agricultural safety and health.

23 Number one is the economics of it. This is what
24 our clientele considers first and foremost.

25 Because, number one, if they have a farm tractor

1 on their farm and it doesn't have a roll-over
2 protective structure or ROBS with seatbelt,
3 they're first going to want to know how much it
4 costs to put one on there. They're going to also
5 ask how much it costs, and they often do. Tom
6 Bean and his staff and the work we do at the
7 National Safety Council, a lot of agricultural
8 safety and health people, they want to know how
9 much the PPE is going to cost. So that's number
10 one.

11 The second part, and I run into this a lot because
12 the ag. industry, as broad as it is, includes the
13 U.S. green industry, horticulture. And if you've
14 been watching the statistics and the data from the
15 horticulture industry, it's not good. It's the
16 reason why OSHA has targeted the horticulture and
17 landscape services industry for increased
18 enforcement over the next five-year strategic plan
19 that they put out January of '05. But what people
20 ask me is, especially in the green industry, well,
21 how efficient is it? How quickly can we get the
22 training done of the workers that need to be
23 trained? Five minutes a week. Yeah, I can
24 probably carve out five minutes a week for
25 training. Well, believe me, that doesn't make it

1 in terms of the green industry; a huge population
2 of workers, especially workers from Mexico who
3 can't read or write English and their having a
4 difficult time understanding safety and health in
5 the work place.

6 And the other one -- the other part of it is, is
7 it effective. That's a distant third in most
8 agricultural safety and health areas. How
9 effective is the program in getting the important
10 safety and health message across to the workers.
11 As far as the audiences and the clientele are
12 concerned we find that agriculture is the young
13 and the very old. Farmers don't necessarily
14 retire at age 65. And if you look at the data and
15 the statistics that we have, and that's another
16 frustration, I'll get to that in just a minute --
17 that's all I have, is that the elderly individuals
18 who are showing up, the numbers of the elderly and
19 seniors who are showing up in the data, a lot of
20 them are in their 80's or early 90's and are still
21 turning tractors over and running over themselves
22 with tractors.

23 What I want to finish up with is in the area of
24 data and statistics. It's very difficult to get
25 good, reliable data today. When I first came to

1 the Safety Council in 1992 we had 23 agricultural
2 states submitting good, reliable annual statistics
3 to us on injuries and fatalities in their ag.
4 industry in their state. Today, it's just
5 several. It's no more than nine. In 1992 it was
6 23. So it's very, very difficult for us to get
7 good, solid statistics. Those are the finds of
8 frustrations and challenges we see at the National
9 Safety Council and others are seeing in the
10 agricultural industry. Thank you very much.
11 I do have an abstract of what I had to say, and
12 I'll put it down here on the front table if you'd
13 like a copy of it.

14 **MS. FLEMING:** Good morning. My name is Mary
15 Fleming. Grady Memorial Hospital has paid me to
16 serve as the agricultural health nurse there since
17 July of 1991. Many years ago Daniel Webster
18 recognized the critical nature of agriculture as a
19 basic industry for a society. However, in America
20 today we see thousands who suffer disabling
21 injuries on a daily basis; hundreds annually are
22 killed on American farms. In addition, a myriad
23 of diseases such as ODS, hypersensitivity
24 pneumonitis, asthma, skin cancer, hearing loss,
25 mental health issues and many more affect this

1 hard working population. Dr. Kelly Dunham, Iowa
2 State University, recognized the need for 8,000
3 nurses who understand the needs of agricultural
4 health and safety, while we currently have about
5 200 in America today.

6 The Ag. Health and Safety Program at Grady has
7 demonstrated the value of an ag. health nurse who
8 understands both agriculture and health care. As
9 a farmer, I've experienced the risk and learned to
10 walk again after a fractured hip as a child. As a
11 nurse, I have cared for thousands of farmers.
12 Using a case-based surveillance system, we start
13 with identifying the cases, then carry out with
14 investigation, individual interventions, community
15 interventions, prevention programs and research
16 projects. The ag. health model derives principles
17 from public health, community health, occupational
18 health, agriculture and research. The intersect
19 of these circles captures the essence of an
20 agriculture health model.

21 Our past success have occurred because the
22 agricultural opinion leaders were actively engaged
23 in setting direction as members of the Regional
24 Ag. Safety and Health Advisory Council. They
25 worked to create a comprehensive approaches to the

1 problems so a new culture of safety could emerge.
2 A singular program or research focus is not going
3 to yield the essential changes in behavior to
4 build this new culture.

5 The multi-media, multi-disciplinary tetanus
6 campaign we conducted resulted in a 51 percent
7 increase of adults receiving tetanus vaccinations
8 in the first 12 months of our campaign. We also
9 designed first-aid kits for on-farm use. In a
10 follow-up survey, 56 percent of the responders
11 identified this was the first time they had
12 first-aid supplies in the most dangerous work
13 site.

14 In a feasibility study funded by the Great Lakes
15 Center, our preliminary data suggests that farmers
16 are poised for a dramatic turnaround in their risk
17 of cardiac disease. Perhaps the substantial
18 shifts in mechanization, specialization, and
19 regionalization are contributing factors. But we
20 must remember the stress levels are climbing with
21 the globalization of the marketplace, shifting
22 federal policy, erratic weather patterns, land
23 pressure from developments, and the lack of
24 opportunity for youngsters who are interested in
25 agriculture.

1 Agriculture also faces risks not seen in other
2 occupations in the same degree, such as zoonotic
3 diseases, lyme disease, brucellosis, and the Avian
4 influenza that we're all concerned about will
5 probably affect our agriculture producers first.
6 The overlap of the home site with the worksite
7 increases the risk not only to children, but
8 spouses, extended family, friends, and even
9 visitors, like the one year old who nearly drowned
10 in a manure pit on a family farm here in Ohio.
11 Funding needs to cover direct reimbursement for
12 nursing care, a balanced approach to support
13 beginning researchers, technical experts, and
14 experienced individuals. Funding also needs to
15 deal with the reality of traumatic injury and
16 death, which is our number one problem. There
17 needs to be continued efforts to disseminate the
18 North American guidelines for children's
19 agriculture work. Our children continue to learn
20 some good work ethics and responsibility on the
21 family farms. We can provide more safety through
22 appropriate training and experience for the family
23 in decision making.
24 New collaborations are required as farmers
25 continue to be businessman or businesswoman first,

1 while adapting to significant changes that occur
2 on shorter and shorter time lines. Partnerships
3 with healthcare providers, schools of medicine and
4 nursing, financial institutions, public agencies,
5 like the cancer society, need to be built where
6 they do not exist in world communities, and
7 strengthened where they do. Rural access to
8 broadband technology is essential for maximum
9 productivity of the farmers and our rural
10 healthcare providers. Geometric improvements are
11 possible with the right combination of funding and
12 collaborative practices where our producers help
13 drive the programming.

14 Rural practitioners and care givers who treat the
15 agricultural populations need to understand that
16 ODS, hypersensitivity pneumonitis, viral
17 bronchitis and occupational asthma do not require
18 antibiotics, but the essential first step is to
19 recognize these are agricultural exposures and
20 make the proper diagnosis. This requires taking a
21 complete patient history, including the list of
22 occupations. In Ohio, 61 percent of our farmers
23 depend on off-farm income to support their family.
24 The interactions from multiple risks, from second
25 occupations, combinations of chemical exposures

1 must also be understood. Physicians and nurses in
2 rural communities become occupational providers by
3 default, so they must be trained.

4 We need a new culture of safety where Craig, a
5 young farmer from Delaware, Ohio, will not be
6 afraid to be pictured wearing his personal
7 protective equipment when he's doing his daily
8 job. Thank you.

9 **MR. ELY:** Good morning. My name is Mike Ely. I'm
10 the safety tech for the Ohio Bureau of Workers'
11 Compensation Division of Safety and Hygiene. I'm
12 a certified safety professional. I'm also going
13 to be presenting the comments of Mr. Chris
14 Hamrick, (ph) who could not be here today. He's
15 our ergonomic technical advisor and certified
16 professional ergonomist.

17 These folks have already talked about at-risk
18 populations, and there are two of them that I
19 wanted to touch on briefly. And that is the aging
20 work force. On my way here this morning there was
21 a doctor talking on the radio that estimated in 20
22 years the average life expectancy in the United
23 States will exceed 100 years of age. People are
24 going to continuing working much longer into their
25 life than they currently are. And statistics are

1 showing us that the severity of injuries to the
2 aging population is creeping up higher and higher.
3 We need to take a look at this population before
4 this problem gets out of hand completely.
5 Our interests, obviously, at the Bureau of
6 Workers' Compensation is due to the cost of these
7 injuries, but that doesn't exclude the human
8 suffering that goes into it.
9 Another population that's at risk is our immigrant
10 population. These are the people that are coming
11 into our country both legally and illegally
12 working at high-risk jobs particularly in
13 agriculture and construction. And we're seeing an
14 excessive number of injuries involving those
15 people. Many of them can not speak English, can
16 not read English, yet their supervisors often are
17 not bilingual and able to communicate effectively
18 with them, with their rights, the knowledge they
19 need to do their job safely, and their ability to
20 protect themselves. We need to take a very much
21 closer look at what we're doing with that.
22 Some of my comments tie in with Mr. Hammer's here,
23 so I'm going to be going with his. Back injuries
24 account for 40 percent of our cost. Back injuries
25 drive workers' compensation here in Ohio, and

1 they're driving it across the country. Research
2 directed toward the reduction of back injuries
3 would be extremely useful. Many of the ergonomic
4 interventions currently eliminate or reduce
5 lifting, but they transform the task into one that
6 requires pushing and pulling. However, pushing
7 and pulling creates shear forces in the spine.
8 Little is known about how these forces affect back
9 injury rates. Further more, very few guidelines
10 exist for pushing and pulling capabilities. The
11 only guidelines out there currently are Liberty
12 Mutual tables, which are based on 12 subjects, and
13 are psycho-physical, not bio-mechanical.
14 Particularly as our workforce ages this becomes
15 more and more of an issue. Ergonomics is how were
16 going to be protecting a lot of these workers that
17 are put into positions where they may not be able
18 to physically handle the job they're being
19 assigned.
20 Research on the effectiveness of safety,
21 ergonomic, and industrial hygiene interventions
22 would also be very useful. Given the complexity,
23 scope, and expense of such research, NIOSH is
24 uniquely qualified to conduct these projects. The
25 economic impact, or return on investment or cost

1 benefit analysis of safety, ergonomic, and
2 industrial hygiene intervention and programs will
3 allow health and safety professionals, as well as
4 those who direct public policy, to promote and
5 implement sound, cost-effective safety programs
6 and policies.

7 Every day we talk to employers across the state
8 who question us about the same thing that she was
9 bringing up earlier, what's in it for me, I'm
10 going to spend this money, where am I going to see
11 the return on my investment. And this is a common
12 question that we all as safety health
13 professionals have to answer is, how do we prove a
14 negative, how do we prove that our efforts
15 actually prevented something from happening? And
16 manufacturers have this question across the board,
17 you want me to spend money, how are you intending
18 on me to see a return on that investment? We need
19 to have better data out there. Not only for
20 safety and health professionals to use, but being
21 taught in our business schools and our management
22 schools and in all of our business associations
23 across the country.

24 The Bureau of Workers' Compensation Division of
25 Safety and Hygiene has cooperated for years with

1 NIOSH and we will continue to cooperate with NIOSH
2 providing data, research, and information as much
3 as we can to support their activities. Thank you
4 very much.

5 **MR. BEAN:** Okay. We've gained a little time with
6 this panel. This panel can be dismissed. Go
7 ahead. And I think what we're going to do is move
8 ahead on the agenda with Kermit Davis. And also
9 we have someone that needs to speak this morning,
10 Ray Jones. Ray, if you'll also take your seat up
11 at the table. And then based upon that we think
12 about two more and we'll be ready for a break. So
13 we're not going to call anyone else at this time
14 other than these two.

15 **MR. DAVIS:** Hi, my name is Kermit Davis. I'm from
16 the University of Cincinnati. I'm an assistant
17 professor there. And what I'm going to talk about
18 is the impact of musculoskeletal disorders in the
19 industry and two special populations.
20 Musculoskeletal disorders are the leading cause of
21 lost days and disability in many industries,
22 particularly in manufacturing. Department of
23 Labor Statistics reports more than 500,000
24 individuals suffer from musculoskeletal disorders
25 each year. Manufacturing represents about 30

1 percent of these. Conservative estimates for
2 musculoskeletal disorders are estimated to be
3 around 50 billion per year, 50 billion dollars per
4 year. And I think there are two issues that are
5 facing these industries that will increase these
6 prevalence rates in the near future and these
7 costs.

8 First, industry workers are becoming overweight
9 and obese. Recent studies have indicated that
10 more than 65 percent of the United States
11 population has excessive weight, with about 44
12 million being overweight at any given time. We
13 have recently done studies that have indicated
14 this prevalence of overweight individuals in
15 manufacturing facilities are actually higher,
16 approaching 80 percent. The problem with
17 excessive weight is that it adds additional stress
18 on the body. Individuals not only have to perform
19 the task, you also have to move the excessive
20 weight, and thus increasing the stress on the
21 bones and the body and joints.

22 We need to research into several aspects of
23 overweight and obesity in the industry relating to
24 musculoskeletal disorders. First, we need to
25 better understand how excessive weight relates to

1 musculoskeletal injuries. At this point we don't
2 know clearly what that link is. Second, we need
3 to know how to design the workplace for
4 individuals with excessive weight, how do we
5 compensate for these individuals in the work
6 place. Third, we need to know how to successfully
7 reduce the prevalence of obesity in the workplace
8 and how that links to the other health and safety
9 initiatives. Fourth, we need to develop
10 intervention strategies to integrate weight loss
11 into safety and health industries that are already
12 occurring.

13 The second major issue that relates to increased
14 musculoskeletal disorder rates in the future is
15 the aging workforce; touched on by the previous
16 speaker. With the shift in demographics that is
17 expected to happen in the next decade or two, the
18 workforce will have an increasing number of
19 individuals that are above 55 years old. Some
20 facilities are already seeing the average age of
21 above 55 years old.

22 Since many capacities decrease with age, an older
23 workforce may be susceptible to additional stress
24 and ultimately musculoskeletal disorders, which
25 leads to higher rates of lost days in this

1 population. Some of the capacities that are known
2 to be impacted are muscle strength and stamina,
3 hostro-balance (ph), cognitive processing, joint
4 and tissue mobility to recovery from injury. All
5 of these deficits can lead to longer, more
6 serious, and more debilitating type of injuries
7 with this population.

8 Thus, there are several needs for research and
9 initiatives relating to the aging workforce.

10 First, we need to understand the adaptations that
11 occur for these older workers in the workplace.
12 Given that they are exposed to the same type of
13 stresses and strains as the younger workforce, we
14 need to understand how we can adapt as older
15 workers age and work longer.

16 Second, we need to understand the role of
17 cumulative trauma and developing of debilitating
18 disorders. We need to know how the previous
19 exposures impact their longevity in the workplace.

20 Third, we need to understand the impact of
21 physical workplace stresses on the older worker
22 and how these age-related changes impact responses
23 to these demands.

24 In conclusion, I think we need to make sure that
25 the industrial sectors and what they concentrate

1 on are focused on not only musculoskeletal
2 disorders, but specifically how these special
3 populations, the overweight and obese individuals,
4 as well as the older worker, need to adapt to.
5 That concludes my remarks. Thank you.

6 **MR. JONES:** I'm Ray Jones. I'm probably the least
7 educated in the bunch here. I'm an old retired
8 factory worker. But I'd like to talk about my
9 wife, and the injury that she suffered to her
10 back, which deals with musculoskeletal problems
11 and such. And in this case I'm calling it,
12 falling through the cracks.

13 She had a soft-tissue injury to her back, which
14 does not show up on a CT or an X-ray, and so on
15 and so forth. The original diagnosis was a sprain
16 to her lower lumbar, and that diagnosis stuck. It
17 went through a legal process and lawyers flipped
18 through all their papers and say it's a sprain.
19 So in the following weeks she went through six to
20 eight weeks of rehab, she did not heal from this,
21 but she went back to work under severe
22 restrictions. And being a nurse, she was told to
23 take care of 30 or 40 patients, some of them
24 weighing 200, 250 pounds, and she's only 100
25 pounds. And the supervisor decided that she

1 wasn't performing her work as she should, so they
2 wrote her up with intentions of dismissing her at
3 some future date, is what we would assume.

4 In the next year or so she made 24 visits to the
5 emergency room for pain medication, and this to
6 relieve the soreness in her back so she could
7 move. Then after this period of time then the
8 doctors decided that she was becoming a pain
9 addict, if she wants medication then she's
10 becoming addicted to the pain medication, so now
11 they cut her off from that.

12 But this is a workers' comp process where now the
13 workers' comp people decide that they don't have
14 to pay any longer. So now she is basically
15 without assistance in paying the medical bill.
16 Senior health insurance doesn't pay for accidents,
17 and this was signed in as workers comp and it was
18 an accident. So your health insurance no longer
19 applies to you. And you try to pay a doctor cash
20 to get some treatment and he doesn't want to do
21 anything about it because it's workers comp, and
22 he doesn't want to get into the mix of the workers
23 comp.

24 Well, she goes to additional doctors for diagnosis
25 as to what her problem is to submit papers into

1 workers comp for additional evaluation. Well,
2 some of the doctors don't speak English very well.
3 So they transcribe their material onto a tape and
4 they send it off to get transcribed again. Well,
5 you can't tell the difference between should and
6 shouldn't, or would and wouldn't, and could and
7 couldn't; so some of the doctor's transcriptions
8 come back with serious errors in them. They say
9 she didn't walk with a cane, well, she did. And
10 some of the evaluations that were done were done
11 with her clothes on. They never put her in a
12 gown. In fact, the doctor's office was a hole in
13 the wall and was not an actual what you would call
14 a practicing doctor. He had a cot in one of the
15 rooms and he brought in a little bag of
16 protractors to check her movement. In 15 minutes
17 he's got a diagnosis that he sends off to the
18 lawyer. And, again, this sticks.
19 So now we have gone nearly -- well, this was an
20 accident in 1999. And our lawyer has asked the
21 workers' comp rep for a settlement. Well, they
22 just simply don't call back. And this has been
23 three years. And her overall medical expenses now
24 have come to the point that they exceed what she
25 ever earned in her life. And she is now on Social

1 Security Disability. Well, she has other factors
2 too, like migraines and asthma, which helped her
3 get the Social Security Disability. But when he
4 represents 56 percent of the people as being at
5 weight or below weight, and younger people --
6 younger people need the conditioning to handle
7 their job. And if you're under weight you're just
8 as bad as if you're overweight. And I thank you.

9 **MR. BEAN:** Well, I think at this time we're going
10 to take about a ten-minute break. I want to
11 encourage you to go back and have some coffee or a
12 little fruit, take a look at the displays, and so
13 forth during the break and lunch. But go ahead
14 and break.

15 (Whereupon, a recess was taken from 10:05 a.m. to
16 10:25 a.m.)

17 **DR. CLARK:** Depending upon on how the time goes he
18 may be a part of this second panel. But others
19 who have general comments, cross-sector comments,
20 it would be best to make them in the morning.
21 That's the time we have on the program for it.
22 But they could do it other times too, but it's
23 best in the morning. So we're ready for a
24 speaker.

25 **MR. HAGER:** Good morning. My name is Lee and I'm

1 a PowerPoint addict.

2 **DR. CLARK:** Yeah, I should make a comment on this.
3 We originally had plans of no PowerPoint, but
4 there was one exception made. So if somebody else
5 wants an exception, they can see Max or Tom or
6 Sid; so only these special circumstances.

7 **MR. HAGER:** I deeply appreciate your consideration
8 here. My name is Lee Hager. I am employed by a
9 company called Sonomax Hearing Healthcare,
10 Incorporated. I am also here with multiple hats
11 today to share some time with Tim Rink to discuss
12 the National Hearing Conservation Association.
13 People who are focused on one of the exposures
14 issues that is critical to us.
15 Just a little bit of information on NHCA, just for
16 your information, it's the only group that focuses
17 on hazards of noise and the effects of noise on
18 hearing on a cross-functional basis; engineers,
19 audiologists, industrial hygienists, safety
20 professionals, the whole nine yards. And Tim will
21 give you more information about that.
22 Thirty-five years into federal regulation on noise
23 in the workplace and what do we know? We know
24 that about one in five people in the U.S. goes to
25 work every day and noise levels pose a risk to

1 their hearing. We know that about -- excuse me, I
2 added a digit, about 25 to 28,000 people in the
3 U.S. suffered recordable hearing loss in the year
4 2004. We know that work-related noise-induced
5 hearing loss is implicit in about a third of the
6 total hearing loss cases in the State of Michigan.
7 Noise continues to be a hazard, a hazard that may
8 be well understood, but not well controlled. To
9 give you a sense of the scope of this, about ten
10 percent of the total illness cases reported by the
11 Bureau of Labor Statistics for the year 2004 were
12 hearing loss, about ten percent for a hazard that
13 we know, that we understand, that we know what do
14 to about.

15 The reason that we're here today is that because
16 of that group of hearing loss cases, about 85
17 percent were recorded from manufacturing sector.
18 So noise continues to be a significant issue.
19 A couple of reasons for this, number one, we rely
20 on personal protective equipment nearly
21 exclusively as defense against noise in the
22 workplace. In many cases, the first, last, and
23 only line of defense against noise in the
24 workplace is the hearing protector. But hearing
25 protectors are not easily quantified as to

1 performance. We don't know how well they work.
2 Laboratory evaluations, even the best laboratory
3 evaluations, do not give us a reliable estimate of
4 how well people are protected from noise in the
5 workplace. As a result, we wind up with
6 poor-usage rates. People don't like to use
7 hearing protectors in the workplace. They're
8 communication barriers, they're comfort barriers.
9 Significant barriers to use of this PPE that we
10 know can be effective, but that is still resulting
11 in significant hearing loss of the noise that's in
12 the workforce.

13 There are a couple of areas of research where we
14 would like to kind of direct the NORA efforts down
15 stream here on a cross-sectional basis, if
16 possible. Individual fit testing hearing
17 protectors, much like we test respirators today,
18 would be appropriate. There are things that we
19 can do, and new technologies that are emerging
20 that would permit us to determine how well
21 individual pieces of protective equipment are
22 working for individual people. We need to prove
23 analysis of why people resist the use of hearing
24 protectors. We need to find a way to quantify the
25 comfort issues that are involved in the use of

1 hearing protectors, so that we can get effective
2 personal protective equipment into people's ears
3 and prevent hearing loss.

4 We'd also like to talk a little bit about exposure
5 criteria. NIOSH clearly identified and
6 communicated to OSHA in 1998 in the criteria
7 document that the current OSHA noise exposure
8 criteria is insufficiently protective. NIOSH drew
9 a line that is significantly more protective than
10 the current law that's in place. What we need to
11 do is find a way on a research basis to move this
12 finding, to move this research finding into
13 practical application. Find a way for industry to
14 accept a more protective exposure limit than is
15 currently in the law under OSHA. Does that mean
16 changing the OSHA regulation? I don't know. But
17 at some point we need to convince industry of the
18 requirements to reduce exposure limits.

19 Additionally, we need to look at some specific
20 types of noise hazards that are potentially more
21 hazardous to steady-state noise. Impact and
22 impulse noise, things like weapons fire, things
23 like explosions, things like repeated impacts,
24 pile drivers, those kinds of pieces of equipment
25 could pose a greater risk than might be

1 anticipated based on their average sum level over
2 time. A great deal of study is required here.
3 We also need to look into -- closely need to look
4 into more effective noise controls. We know what
5 we need to know about noise, what we don't know is
6 how to move people to action on noise. So a more
7 detailed dissemination, improved dissemination of
8 controlled strategies, proven control strategies
9 would be very useful. Move research to practice
10 in its essence.
11 More research into the indirect effects of noise,
12 the association of hypertension with noise
13 exposure, the relatively new association of --
14 potential association of acoustic neuroma with
15 noise exposure, strong correlation between
16 workplace noise and industrial accidents. There
17 are many, many things that we can look at that
18 would let us fine tune our efforts in noise to be
19 more effective.
20 In addition, the combined effects of noise. The
21 combination of noise to toxic chemical exposure.
22 New indications that may indicate that whole-body
23 or hand/arm vibration may sensitize an individual
24 to hearing loss. So noise is still on the agenda,
25 and we think it's important that NIOSH and their

1 new NORA considerations take this into account.

2 Thank you.

3 **MR. RUBEL:** Good afternoon, ladies and gentlemen.

4 Thank you very much for your interest in safety.

5 My name is Darrell Rubel, and I work for the Ohio

6 Farm Bureau where I wear two hats. I'm Director

7 of Safety Activities and also Youth Activities. I

8 want to tell you a little bit about Ohio Farm

9 Bureau. We're a grass-roots organization, which

10 means that all of our ideas come from our members

11 and from those folks who grow our food and fiber.

12 So I have some ideas from those folks about the

13 types of safety concerns that they have that I

14 wanted to share with you today.

15 The first topic is farm rescue. What do you do

16 when something goes wrong on the farm? One type

17 of accidental death that we have seen happen on

18 farms in Ohio is grain bin suffocation. Folks get

19 caught in the grain, they get sucked down, they

20 can't breath. Several different things. We would

21 be interested in having research done on the types

22 of things that can be done to prevent such

23 suffocation from occurring. I know that Mary

24 Fleming back there has been working with some

25 folks on grain safety rescue tubes that could be

1 used. How can we get those types of tools into
2 the hands of emergency responders, also for fire
3 departments?

4 Another concern we have is providing additional
5 training for those folks who are emergency
6 responders when they get out to the farm. These
7 folks are very smart and they know how to deal
8 with medical situations. One thing that does
9 occur though on a lot of farm accidents is there
10 may be farm machinery involved.

11 Sometimes folks may not be aware with what the
12 type of machinery that it may be, or with the
13 different models, whether it's a different model
14 of hay bailer, or combiner, or whatever, how to
15 get people extracted quickly and safely from those
16 types of things. Research about how we can spread
17 the word and get information out to the emergency
18 responders on how to get folks safely extracted
19 would be very helpful. Also research concerning
20 tractor maintenance versus tractor accidents.

21 What types of maintenance do farmers need to make
22 on their equipment and on their tractors that can
23 help prevent accidents down the future?

24 The second thing that I would like to mention is
25 road safety, or as Kentucky Farm Bureau coined it,

1 please be patient and kind, stay behind. We all
2 have to share our roadways in the country, and our
3 farmers need our roadways in order to get their
4 farming done, especially during the busy times,
5 planting season and harvest season. One of the
6 challenges that we have as farmers in sharing the
7 road is people that want to go around the tractors
8 and the equipment when we're out there. Either
9 that means crossing double-yellow lines, crossing
10 on hills or blind curves. It leads to accidents.
11 Also some people want to hurry around farmers.
12 They may be trying to make a left-hand turn into a
13 driveway, they're signaling, but people think that
14 they're moving over a little bit to the right and
15 allowing them to pass. That's not the case. They
16 need the extra room to make that wide-hand turn.
17 They'll try to go around that farmer and end up
18 causing a collision. So research on those types
19 of things could help.

20 Also as Wayne Dellinger mentioned this morning,
21 safety concerns with tractors that can now exceed
22 25 miles an hour. How does that affect our folks
23 and our fellow motorists with safety on the road?
24 Another program that I would like to briefly bring
25 up to you is featuring our most valuable

1 resources, and that is our youth. What extra
2 kinds of safety training can we do and provide to
3 keep our youngest workers safe, especially as
4 they're entering those crucial first years in
5 training and joining our workforce? I'm very
6 pleased to announce that we have seen some
7 wonderful cooperation from the folks at OSU
8 Extension and from our friends at the Bureau of
9 Workers' Compensation. This year we're having our
10 very first Ohio Youth Safety Conference where
11 we're bringing youth from around the state to be
12 trained about farm safety and in the fast-food
13 industry, the two industries that have the highest
14 rates of incidents.

15 We're doing that, and I'm very proud and happy
16 that we're doing that, but we need more. Are
17 there additional ways that we can go out there and
18 reach those young folks in those first crucial
19 years? They are our most valuable resource.
20 They're our next generation. And how can we
21 present that safety is not just what you do, but
22 it's who you are? Ladies and gentlemen thank you
23 for your time, and I appreciate it.

24 **DR. RINK:** Good morning. My name is Dr. Tim Rink.
25 I am CEO of HTI, Incorporated at Worthington,

1 Ohio, a company I founded 30 years ago to provide
2 audiometric testing, record keeping, and recording
3 services to clients throughout North America.
4 Today I am representing the National Hearing
5 Conservation Association, the NHCA. One of our
6 prior speakers, Lee Hager, in fact, was president
7 of the organization just a few years ago.
8 On behalf of the NHCA, thank you for the
9 opportunity to comment on the Institute of
10 Medicine Committee's review of the NIOSH Hearing
11 Loss Research Program. In preparing these
12 comments, it became evident that the mission of
13 the NHCA is very much inline with the NIOSH HLR
14 agenda. As a testimony to how important the NIOSH
15 HLR program is to hearing loss professionals, such
16 as NHCA, our comments are structured around the
17 NHCA goals. Clearly the NIOSH HLR supports our
18 mission to reduce noise and reduce hearing loss in
19 all sectors of society.
20 It is a NHCA goal to provide professional
21 development by improving the skills, practices,
22 and services of members of the association. NIOSH
23 has advanced in this goal by developing a research
24 agenda which addresses questions encountered by
25 members during their daily hearing loss prevention

1 practices. Research findings are directly
2 applicable and can be implemented into hearing
3 loss prevention efforts. Some examples of the
4 practical tools used by our members are the
5 interactive noise, sound level meter, hearing loss
6 simulators, frequently asked questions, and the
7 hearing protection device contending.
8 Publication, such as the noise and hearing loss
9 fact sheets and hearing protection device
10 education, free of commercial endorsements, are
11 used in training courses with employees and
12 employers, and they provide NHCA members with
13 tools to facilitate the prevention of hearing loss
14 above mere OSHA compliance.
15 Presentations in journal publications by NIOSH
16 investigators continue to push our understanding
17 of what it takes to prevent noise-induced hearing
18 loss and provide significant content in NHCA
19 national conferences. Conferences from 2003
20 through 2005 also included NIOSH presentations on
21 impulsive noise, hearing conservation in the
22 construction industry, hearing conservation for
23 small businesses, hearing impaired employees,
24 evaluation of level-dependant hearing protectors,
25 chemical exposures, and noise-induced hearing

1 loss, the evaluation of hearing conservation
2 program effectiveness, and early indicators of
3 noise-induced hearing loss. NIOSH has been
4 strongly represented in poster presentations and a
5 NIOSH poster earned the outstanding poster awards
6 in both 2004 and 2005.

7 NIOSH employs leaders in hearing loss prevention
8 who willingly share their knowledge and encourage
9 professional growth and development. In 2003,
10 Dr. John Franks was awarded the NHCA Prestigious
11 Award, the outstanding hearing conservationist,
12 given to an individual whose work is exemplary in
13 the field of hearing loss prevention. In 2006,
14 our meeting just this February of this year, we
15 proudly honored Randy Tubbs with the Michael Beall
16 ThreadGill Award, presented to the individual who
17 has significantly contributed his time and effort
18 to NHCA. In 2004, Dr. Mark Stevenson was awarded
19 the NHCA Media Award for drawing public attention
20 to the cause and prevention of noise-induced
21 hearing loss.

22 The NHCA is greatly anticipating the formal
23 signing to expand our alliance with OSHA to
24 include NIOSH. The OSHA, NIOSH, NHCA alliance
25 will be a strong foundation for us to continue our

1 partnerships and develop tools and services. It
2 is an NHCA goal to provide education and encourage
3 research in noise and hearing conservation. NIOSH
4 best practice workshops and seminars are cutting
5 edge research, and cutting edge research is a
6 vital part of our continuing education as we work
7 to prevent noise-induced hearing loss.

8 As highlighted above, NIOSH researchers are always
9 an integral part of our annual conference sharing
10 their latest information and highlighting progress
11 in the on-going efforts that we share. The
12 upcoming conference, noise-induced hearing loss in
13 children at work in play, which is co-sponsored by
14 NHCA, NIOSH, and other organizations will explore
15 and discuss the most recent theoretical and
16 experimental work to expand the knowledge of
17 preventing hearing loss in children and
18 adolescence. This innovated conference will bring
19 together a diverse group of basic and applied
20 researchers with expertise and hearing loss
21 prevention.

22 It is a NHCA goal to stimulate the exchange of
23 information among those involved with hearing
24 conversation, disseminate information to
25 professionals and others, and to provide a

1 resource center for those inquiring about the
2 prevention of hearing loss due to noise and other
3 environmental concerns.

4 As we try to provide information and serve as a
5 resource center regarding prevention of hearing
6 loss, NIOSH researchers provide much of the
7 content that is of critical value to everyone
8 involved in hearing conservation. The NIOSH
9 hearing protector compendium puts up-to-date
10 information at the fingertips of researchers,
11 product developers, hearing conservation program
12 managers, professionals, purchasers and users.
13 NIOSH best practice workshops focus
14 multi-disciplinary groups toward consensus-based
15 science and data.

16 Journal publications and conference presentations
17 not only provide an insight into the excellent
18 work of NIOSH researchers, but stimulate exchange
19 of information among our members and beyond. The
20 alliance is another way we can continue to
21 exchange information and share it with those who
22 need it to help prevent noise-induced hearing
23 loss. The NIOSH website is an important
24 accessible tool which has dramatically improved
25 the dissemination of information and ability to

1 put excellent knowledge into the hands of
2 employers, employees and hearing loss prevention
3 professionals; again, with a focus on practical
4 hands-on tools. NIOSH research has helped us
5 develop language appropriate literature, all of
6 which helps us achieve our tangible outcomes.
7 It is a NHCA goal to promote the development of
8 improved and more effective occupational hearing
9 conservation programs. One of NIOSH's research
10 topics is studying the effectiveness of hearing
11 conservation programs. This topic alone has the
12 potential to change hearing loss prevention
13 programs by recognizing where efforts toward
14 hearing loss prevention should be focused,
15 addressing practical questions, like how to
16 recognize a noise notch, assessing which test
17 frequency should be monitored in audiometric
18 testing programs, defining when a decrease in
19 hearing should trigger follow up, and how best to
20 conduct training programs are all valuable in
21 approving hearing loss prevention efforts.
22 It is a NHCA goal to develop guidelines and
23 monitor and participate in standards, regulatory
24 and legislative activities. The NIOSH criteria
25 document is the seminal document reflecting the

1 best available science, and should be viewed as
2 the blueprint for future regulatory and
3 legislative activity. Research gives science
4 credibility to the recommendations. Current OSHA
5 regulations based on the best data available when
6 the current regulation was promulgated in the late
7 1970's. But on-going NIOSH research in support of
8 the 2000 MSHA regulation and other activity allow
9 new regulation to incorporate new understandings
10 resulting in more protective hearing conservation
11 programs. NIOSH research points the way to better
12 hearing loss prevention practices.

13 Similarly --

14 **DR. CLARK:** Wrap it.

15 **MR. RINK:** Okay. I'm presenting the papers as
16 they were given to me. I'll wrap by this, future
17 research areas that the NHCA is hopefully going to
18 see come under development include mechanisms of
19 hair cell death, evaluating the most appropriate
20 audiometric test frequencies for monitoring
21 noise-induced hearing loss, evidence-based input
22 for regulatory requirements, relationship between
23 hearing protective devices, hearing loss and
24 occupational injuries, effective applications of
25 augmented hearing protective devices, testing

1 needs for electronic hearing protective devices,
2 effective methods of motivating workers to wear
3 hearing protection, best practices in hearing
4 prevention training, noise-induced hearing loss in
5 musicians, effects of personal-listening devices
6 on hearing, hearing loss acceptability in children
7 and methods for separating age and other
8 contributing factors to hearing loss. Thank you.

9 **MR. MUIANGA:** Thank you very much. My name is
10 Custodio Muianga, assistant research at Eduardo
11 Mondlane University, Maputo, Mozambique. And I'm
12 a graduate student at University of Cincinnati,
13 Department of Environmental Health. My
14 contribution to NORA is based on my involvement in
15 occupational environment health in southern
16 Africa, particularly in Mozambique.
17 And I would focus on three main ideas. First, the
18 use of comprehensive and holistic approach on the
19 practice of occupational health and safety.
20 Second, the experience to gain from big
21 corporations versus small companies, or small
22 businesses. Third is, there is such training
23 problems existing on training programs.
24 Because of the difficulties and high burden of
25 other problems like healthcare associated with HIV

1 and other things. In developing countries you
2 can't do occupational hygiene just because of
3 occupational hygiene. So you need to focus on a
4 qualitative and semi-qualitative approaches.
5 These started from elsewhere in developing
6 countries also have shown very good successes. I
7 think that the United States also has small or
8 very small business, which most of the time
9 they're not covered and they would have very good
10 input using this kind of approach. Now it's
11 called risk management toolboxes, which will
12 develop into the qualitative risk management.
13 The bigger corporations, they also work in U.S.
14 and outside of U.S., and they interact with small
15 companies, which are the companies that existed in
16 developing countries. So if NORA can explore
17 their experience starting from here and there.
18 There is such training programs consisting on
19 training programs between academic institutions
20 and research institutions also who will give a
21 double win to NIOSH or to NORA. Because these
22 researchers, they will be involved it, and they
23 will see problems which they're similar. If we
24 see the occupational health and safety problems,
25 they are all the same, wherever you are. The only

1 difference is the dimension of the problem and the
2 other factors.

3 So what I'm saying is NORA should also focus on
4 the use of holistic and comprehensive approach and
5 the practice of occupational health and safety.

6 Thank you.

7 **DR. CLARK:** Thank you. I'm Scott Clark,
8 University of Cincinnati. NIOSH has a strategic
9 plan for the year 2004-2009. It contains three
10 goals, and my comments will address the goal
11 three, which is to enhance global workplace safety
12 and health for international collaborations, and
13 follows up the previous one, and there's three
14 parts to that one.

15 The third part is to build global professional
16 capacity to address workplace hazards through
17 training, information sharing, and research
18 experience. I will provide some comments which
19 hopefully will augment the previous speakers so
20 that the NORA 2 can include some efforts in
21 international collaboration of meeting these goals
22 that NIOSH has.

23 And we will -- I'll give an example of what our
24 university has done in this area with the country
25 of India. I'll do this just as an example as a

1 possible model for other ones, there are many
2 other ones around, but this is one that we have
3 been involved with. And you know India is a
4 pretty large country. It may be the largest on
5 the earth. India and China are debating that,
6 population-wise, and certainly the largest
7 democracy and are very important to the U.S. in
8 many ways.

9 I'll first talk about how we got involved with
10 this. A little over ten years ago one of our
11 alumni, Maharshi Mata (*), some of you may know,
12 was a graduate in the early 80's. He came to one
13 of our faculty hygiene meetings and said he was
14 moving back to India in a few months and wanted to
15 start the master's program there in industrial
16 hygiene. We said well, fine, probably there are
17 30 other ones there, ten other ones. There are no
18 other ones. There was no safety program, mostly
19 shorter term, a few months. And the Factories Act
20 recognized safety engineers, social workers
21 actually too, occupational social workers, and
22 physicians and nurses, but nothing in the hygiene
23 area. So he pieced together many different groups
24 that could help, a medical school, they have
25 toxicology and physiology. At the university they

1 would have the epidemiology and bio-staff and
2 regulatory group in the nuclear area. And also
3 they have a NIOH, it's National Institute of
4 Occupational Health, and their main branch is
5 located about an hour-and-a-half from this
6 campus.

7 So here just to help, we thought he would maybe
8 get a long-term plan, first maybe have one course
9 as an elective and then in five years admit their
10 first student. No, he was going to begin that
11 next year. So he stopped by with an MOU joint
12 university in May of 1997, and these papers, you
13 know, are kind of all good intentions, but it
14 depends on who's behind them. But this one, I'd
15 say, has had a lot of impact. It's been viable
16 for ten years.

17 And we began by soliciting reference books and
18 journals. For many people, probably some people
19 in the audience were contacted. NIOSH was, ACGH,
20 some of the military services. We have a retired
21 department director. And Jim Ferguson, some of
22 you know, was retiring from his practice and he
23 gave us his core reference section. So we got
24 those shipped over there for the first class. And
25 we've gone -- Dr. Carol Rice and I have gone

1 pushing every year since that time for periods up
2 to two months. Dr. Glenn Talaska (*) went this
3 fall also. So a lot of interaction with it. And
4 there's a picture out in the hall showing the
5 students getting their first certificate. They
6 get their degree from India, but we give them a
7 certificate of congratulations basically. And our
8 role is to help them with it.
9 So this is an example. We've done similar things
10 in Poland. It's been a benefit to them obviously,
11 but also our students. We've had two doctoral
12 students went there for a period of time and did
13 some training and helped them tremendously. And
14 they're both now university teachers in
15 occupational health. Another student went there
16 for a pilot project. They got best poster award
17 in two divisions, epidemiology, another one, and
18 it helped her get a very prestigious EIS officer
19 position for two years and recent publications.
20 It's estimated that India needs 5,000
21 master's-level hygienists. They probably had five
22 when we started, and one was the person who
23 started the program. Now we've graduated about 50
24 people, and they're in the process of becoming
25 certified. But obviously one program isn't

1 enough, but it's a lot more than zero. And
2 hopefully there will be some way to support these
3 sorts of activities. There's also INDO U.S.
4 working agreement that facilitates NIOSH and other
5 groups getting involved with India signed by HHS
6 director and CDC as the coordinator here. We've
7 had one private on silica dust control that
8 involved some NIOSH investigators. So that's been
9 a positive thing.

10 Under the ERCs there is an item called the NORA
11 research support, which is a pretty big item on
12 the ERC budget now, the same size as an economic
13 program. And this is one possible mechanism to
14 get the nod that it could use the limited number
15 of funds there for that. There are other
16 countries; obviously, this was just an example
17 from India. Thank you. We could have some extra
18 time in this session, and one gentleman has
19 already offered to speak. His name is down there.

20 **MR. BEAN:** Sure. We're going to go ahead and call
21 our next panel. That would be Carol Rice, Chris
22 Speelman, Susan Kotowski, James Wirth, and we'll
23 also have a Richard Klein.

24 **DR. CLARK:** Then at the end of this, if others
25 want to present this morning, I know at least one

1 person does, we'll have time before the lunch
2 break.

3 **MS. RICE:** My name is Carol Rice. I'm on the
4 staff at the University of Cincinnati. And I want
5 to talk with you, now having heard the morning
6 presentations I know that I'm know that I'm
7 preaching to the choir about worker training.
8 Under sections 21 of the Occupational Safety and
9 Health Act it states that NIOSH shall provide for
10 the establishment and supervision of programs for
11 the education and training of employers and
12 employees in the recognition, avoidance, and
13 prevention of unsafe and unhealthful working
14 conditions.
15 These are very specific phrases in the matter.
16 Phrases that characterize outcome of training and
17 education, recognition, avoidance and prevention.
18 This comprehensive description to NIOSH extends
19 the responsibility well beyond the creation and
20 dissemination of information. Information
21 understood and retained is essential to any
22 increase in knowledge. And that is the foundation
23 for activities that leads to recognition,
24 avoidance, and prevention.
25 However, knowledge alone can not provide the vital

1 skills, ability, and attitudes to fully recognize
2 the hazards or to design and implement
3 successfully actions and programs to avoid and
4 prevent unsafe and unhealthful conditions. In the
5 current climate of smaller regulations and even
6 smaller enforcement it's increasingly incumbent on
7 employees to take improvement of safety and health
8 into their own hands. Increasingly, a union or
9 active joint labor management committee that might
10 provide effective health and safety training
11 resources are absent, and they've never been there
12 in small business. NIOSH can, and is, in fact,
13 mandated to address this need. Certainly the
14 crafters of section 21 intended that the change
15 would be successful, a result that can only emerge
16 from research and then research to practice.
17 Currently the need is enormous. In dimensions,
18 personally, I believe that it exceeds that of
19 improving science literacy, which the President
20 has addressed as a national priority. And the
21 easy approach of providing information is
22 fundamentally a failed system, as illustrated by
23 the situation, at least those of us with gray
24 hair, approach routinely of the struggle when
25 given written information on directions to operate

1 a wide variety of electronic devices, and you need
2 somebody who is about ten who can help you get
3 through the system.

4 While the task is light, it has to be recognized
5 that the benefits are also huge. Workers
6 participating in training design through research
7 in one sector and targeted to increase knowledge,
8 skills, and abilities and to develop attitudes to
9 support continued diligence and improvement have
10 been documented to be able to make substantial
11 changes. For example, antidotes of, we now use
12 cameras in confined spaces. Cameras go in, people
13 remain out. We have not had an ammonia release in
14 our facility for many years. Because of the
15 skills my team members had, we were able to
16 isolate and abate the ammonia leak efficiently and
17 effectively, and were able to keep anyone from
18 getting hurt. That's the true measure of
19 effective public safety training.

20 We also have reports that training has changed our
21 work behavior. Training has been extended to
22 recognizing hazards outside of work. The true
23 transfer of knowledge and information to
24 recognizing the effect, the potential for hazards
25 in the home.

1 Economists can and truly must, as many have said
2 here today, put dollar figures on these examples
3 in order to sell them to the constituency.
4 They're essential to documenting value to both
5 employers and insurance companies. But to the
6 workers and the families of workers that benefit
7 from this research to application, the training
8 dollar is really not relevant. They're much more
9 guided by the expectation that each day their
10 family members will return home from work with no
11 diminution of health. Most importantly, these
12 benefits of avoided exposure are meaningful on an
13 individual level, and that is clearly the foremost
14 priority, the individual level, for occupational
15 safety and health.

16 So I would suggest that NIOSH begin in developing
17 a research agenda for effective worker health and
18 safety training by updating and supplementing the
19 NIOSH review by Cohen and Colligan (*), identify
20 targets for improvement, such as design and the
21 design of research to identify why and where
22 current approaches have failed, to conduct
23 research and to identify effective methods. I
24 believe NIOSH has a unique opportunity with a
25 redevelopment of NIOSH to put workers at the

1 forefront. Thank you.

2 **MR. SPEELMAN:** Good morning. I would like to
3 thank the academy for bestowing this honor upon me
4 today. Moving on, my name is Chris Speelman. I
5 happen to be a certified hazardous material
6 manager employed by Sheakley UniService, Inc. in
7 its Cincinnati office. For those of you who
8 aren't familiar with Sheakley, it is a provider --
9 basically a provider of workers' compensation
10 services to public and private employers in the
11 State of Ohio.

12 In my position as a safety control I'm expected to
13 work with employers in all types of industries in
14 an effort to help them reduce the injuries and
15 illnesses experienced by their employees. Even
16 though I do work with a range of industries, there
17 is one constant that I typically encounter, nearly
18 all the companies I work with are small
19 businesses; companies that employ 100 people or
20 less. It is these small businesses that I am here
21 to speak with you today.

22 NIOSH appears to recognize the importance of small
23 businesses to the national economy. In
24 researching my comments for today, I performed a
25 quick search at the NIOSH website by entering the

1 word small business into the search line. This
2 search pulled up the Small Business Assistance and
3 Outreach page, one lonely paragraph of text. This
4 text told me that 98 percent of all businesses in
5 the United States employ less than 100 people, and
6 87 percent of all those businesses employ fewer
7 than 20 people.

8 In the publication identifying high-risk small
9 business industries, I am told that more than half
10 of the U.S. workforce is employed by these same
11 small businesses. This document also suggests
12 that, at least in some industries, the
13 occupational injury and illness rates are
14 typically much higher in small businesses,
15 especially when compared to the larger businesses.
16 In some cases it can be up to ten times the
17 fatality rate in small businesses compared to the
18 larger businesses.

19 More over, this same search also revealed that
20 there are only two NIOSH publications that deal
21 specifically with health and safety in small
22 business establishments. For specific health and
23 safety implementation assistance I was routed to
24 the OSHA small business website.

25 Just to pose a quick question. If these small

1 businesses are so important to America and they
2 typically have much higher injury and fatality
3 rates, then why have we only two small
4 business-specific documents on NIOSH's website?
5 Now, granted, I realize that NIOSH is a
6 research-based organization. Its purpose is not
7 to help with small business compliance. However,
8 the beneficial research conducted by NIOSH effects
9 all businesses across all industries.

10 Unfortunately, based on my personal experiences
11 with small employers here in Ohio, it seems that
12 small business, especially small manufacturers,
13 are unable to obtain the same benefit as larger
14 employers from these technological advances. This
15 is due to several reasons. Perhaps most
16 noticeably, the lack of financial resources
17 available for health and safety technologies.
18 Again, -- excuse me, additionally, the men and
19 women who run these businesses are often ignorant
20 as to what health and safety information and
21 assistance may be available to them. I am here
22 today to urge NORA to address these last two
23 points.

24 First, NORA should examine ways to disseminate
25 information to those people who run America's

1 small businesses in order to close this
2 information gap. If these people understand what
3 resources are available to them, then they are
4 more likely to take the steps necessary to protect
5 those whom they employ.

6 Secondly, while the advancement of worker
7 protection is dependant upon the discovery of
8 cutting-edge evaluation and control technologies,
9 the price of these technologies is generally cost
10 prohibitive for small employers. As a result,
11 more than half of America's workers are often
12 protected, if they're protected at all, by
13 sub-standard technologies. I encourage NIOSH,
14 through NORA, to conduct research towards making
15 both new and existing technologies affordable for
16 implementation by small business.

17 In closing, it's been my experience that most
18 small business owners have the desire to do the
19 right thing when it comes to protecting the
20 workers. However, they are often limited by not
21 knowing what resources are available to them, or
22 they are unable to afford the technologies that
23 are available. I encourage NIOSH to address these
24 two issues in an effort to fully protect all
25 employees, not just those fortunate enough to work

1 for large corporations. Thank you for your time.

2 **MS. KOTOWSKI:** Well, I am Susan Kotowski. I'm a
3 PhD candidate in Occupational Ergonomics and
4 Safety in the Department of Environmental Health
5 at the University of Cincinnati. I wanted to talk
6 about the economics of injury, which has only been
7 briefly touched on today, although it's been
8 acknowledged a number of times as an important
9 subject.

10 Of the research that has been done, they're really
11 now just starting to understand the impact of
12 injuries and musculoskeletal disorders on the
13 companies' bottom line. For example, we know that
14 the annual cost of musculoskeletal disorders
15 exceed those of cancer and only trail those of
16 cardiovascular disease and acute injuries.
17 Current estimates for the direct costs only of
18 musculoskeletal disorders are about 50 billion
19 dollars yearly. However, these are only real
20 crude estimates.

21 To date, most of the costs have tended to focus on
22 only the direct costs associated with the
23 injuries. Direct costs consist of medical
24 treatment, workers' compensation, and
25 rehabilitation. However, estimates of indirect

1 costs are much more difficult, and often more
2 times controversial to obtain, although they
3 comprise a large portion of the cost associated
4 with the injury. Indirect costs include costs
5 associated with an injury, such as lost
6 productivity, overtime, hiring and training of
7 assistant workers, absenteeism, presenteeism,
8 accident investigation, any product damage, and
9 possibly increased insurance premiums. It is
10 estimated that for every dollar of direct cost
11 there are typically two to five dollars in
12 indirect costs. However, so little is known about
13 indirect costs and this might be a drastic
14 underestimation of these costs.

15 Recent trends have indicated that there's a yearly
16 significant increase in the direct and indirect
17 costs associated with injuries, and this cost is
18 growing every year. For example, in 1985 the
19 total cost associated with injuries was 158
20 billion dollars. In 1988 the cost increased to
21 180 billion dollars, or a 14 percent increase. In
22 2002 the cost increased to 240 billion, or a 33
23 percent increase.

24 Another wellness issue to consider, although not
25 an injury, is obesity. Obese and overweight

1 individuals now comprise 65 percent of the
2 population, or nearly 45 million people. Obesity
3 attributed medical expenditures in the U.S. were
4 estimated to be 75 million dollars in 2003, over
5 half of the cost financed by Medicare or Medicaid.
6 Others have estimated these costs associated with
7 excessive weight to be between two and eight
8 percent of total health care expenditures in the
9 U.S.

10 We are really just beginning to scratch the
11 surface of understanding the costs of injuries,
12 musculoskeletal disorders, and obesity. A major
13 research void exists in the thorough documentation
14 of costs associated, or including both direct and
15 indirect cost for the duration of the injury.
16 There's also a need to document the interaction
17 between one injury and a secondary injury and the
18 costs associated with the co-morbidity. It's also
19 crucial to distinguish between what fraction of the
20 cost is associated with the initial injury and a
21 subsequent injury.

22 There's also a need to document how other health
23 issues, such as obesity, affect the risk of
24 developing an injury or musculoskeletal disorder.
25 This is very much lacking, although very critical.

1 In addition, there's a need to document the costs
2 associated with other factors, such as impact of
3 quality of life, impact on family life, the impact
4 of pain, as well as functional abilities.

5 Finally, more research is also needed in the area
6 of cost reduction and the benefits of intervention
7 to reduce injuries. Understanding the impact of
8 wellness programs, weight-loss programs, ergonomic
9 interventions, and other safety and health-related
10 programs on the cost of injuries and the
11 companies' bottom line is critical. Thank you.

12 **MR. WIRTH:** Morning. My name is Jim Wirth, and
13 I'm the Safety Manger for GatesMcDonald in
14 Columbus, Ohio. Although we're competitive of
15 Chris, we're going to talk on some similar ideas
16 this morning. I'm here to speak on behalf of NFIB
17 Ohio, National Federation of Independent Business.
18 NFIB Ohio is the state's largest small business
19 advocacy organization with 600,000 members
20 nationally, 36,000 members in Ohio, dedicated
21 exclusively to representing the interests of
22 independent business owners.

23 Our membership spans the spectrum of the business
24 community ranging from sole proprietorships to
25 substantial independently held enterprises. The

1 typical NFIB member employs fewer than ten workers
2 and grosses less than \$450,000 in annual sales.
3 In aggregate, our organization's members employ
4 near 440,000 Ohio workers. I and my team work
5 closely with NFIB members across the state to
6 assist them in providing a safe and productive
7 work place. NFIB members are owners of businesses
8 in all the sectors that you saw shown on the
9 screen this morning. If you look in the Yellow
10 Pages, they do it.

11 We're currently involved in a study with NIOSH
12 encompassing nearly 300 NFIB Ohio members to
13 develop more effective safety training materials
14 for small business. It's been quite a journey. I
15 looked at some of my earlier e-mails and it's been
16 about five years. But you know how it goes with
17 getting the funding and getting all the people
18 together. But it's been a real pleasure. These
19 participants received these materials consisting
20 of sample safety training modules, and are using
21 them to keep their employees safe.

22 We went to a whole process of working with the
23 NIOSH folks, people coming out and attending our
24 seminars and being focused with us. NIOSH will
25 collect information on what worked, what didn't,

1 and what business owners would like to see.
2 Additionally, the Ohio Bureau of Workers'
3 Compensation Division of Safety and Hygiene is a
4 partner in this study as well. And they'll be
5 able to take the information collected and
6 developed by NIOSH in this study and create
7 training materials and classes to educate all of
8 our employers. We're also currently participating
9 in a national alliance with OSHA, and we have a
10 state alliance as well.

11 I'm here today to comment on the opportunity of
12 continuing this research, albeit on a slightly
13 different tact. Many small independent business
14 owners involved in the day-to-day operations of
15 the business find it difficult to fully understand
16 safety requirements and how they pertain to their
17 operations.

18 We believe that by breaking down the requirements
19 and highlighting the points of the program of
20 process, along with examples of good practices,
21 they will then be able to understand how it
22 relates to what they do and why they must
23 implement these safe-work practices in order to
24 provide a safe workplace.

25 Since we are involved in the current study to find

1 the best ways to educate employees, we feel as
2 equally important to develop the method or methods
3 of providing small business owners the safety or
4 other regulatory information in a form they can
5 easily understand and that is directly related to
6 the rules and safe-work practices that they're
7 required to implement.

8 Too often I meet employers who truly want to
9 provide a safe workplace, but they're not able to
10 understand the highly technical nature of the
11 safety regulations. We feel it would be very
12 helpful to provide some type of best practice,
13 basic inclination, or even a sample program of
14 process for the small business owner so they are
15 able to decipher the rule or regulation,
16 understand how it applies to them, and how to
17 train their employees. For instance, this best
18 practice or sample program would illustrate how a
19 program would be implemented and suggestions on
20 how to train employees. The hazard communication
21 standard, for example. Material safety data
22 sheets best practices give examples of how they
23 are kept and shared. Labeling seems simple, but
24 what kind of label should be used and what must it
25 say? Training must be done so employees

1 understand the hazards, but form should it take
2 and what should it include?

3 As a safety professional, I'm keenly aware that
4 some employers simply take safety programs and
5 processes, add their own names, and call it their
6 program. I do not feel that that should keep us
7 from trying to develop more user-friendly
8 processes to meet safety regulations and standards
9 for those employers who the majority, I believe,
10 truly want to implement these rules in an
11 effective manner.

12 Finally, one last issue of concern is NIOSH's
13 recommendation that OSHA take action to deal with
14 silica exposure in the workplace, despite the
15 continued doubt or trend silica-related deaths
16 nationwide. If it weren't enough, then scientific
17 studies are showing that the risks of harm from
18 silica exposure are much less than originally
19 thought. Three separate panels of the SBA have
20 concluded that the recommended policy actions
21 would place crippling demands on America's
22 smallest businesses. NFIB recommends that NIOSH
23 reconsider it's prioritization to abatement of
24 crystalline silica exposure in the workplace.
25 NFIB appreciates this opportunity to address this

1 panel, and remains committed to continual
2 partnership and participation to promote safe work
3 places. We also really appreciate the good work
4 that NIOSH does. And it's been an enjoyable five
5 years, and look forward to more. Thank you.

6 **MR. KLEIN:** Hi, I'm Ronald Klein. I'm the Medical
7 Director of (inaudible) Workers Care, which
8 provides occupational medical services throughout
9 the Dayton area at various sites. I do not have a
10 script to talk. Obviously, I couldn't keep my
11 mouth shut.

12 I've heard lots of good material here. We've
13 skipped around the ergonomics and the low-back
14 issue. And I'm surprised at how many -- I thought
15 I would see many more of my medical providers here
16 who are working in day-to-day providing ongoing
17 frontline services. I'm a little dismayed that
18 we're not here, and I'm going to apologize for it,
19 because we should be.

20 One of my concerns is, obviously, we've touched on
21 some of the low-back issues that obviously
22 comprises probably the single largest percentage
23 of patients that we see, and it is a very
24 difficult group to deal with. There is currently
25 not really good research of how we are dealing

1 with these low back and their ongoing treatment.
2 One of the things that we have instituted is what
3 we call a back decompression device. And,
4 unfortunately, it is not reimbursed. There is no
5 particular code for it.

6 While retrospective studies would indicate that
7 you have 85 to 86 percent success rate in reducing
8 herniated or ruptured disks successfully without
9 surgery, there is no prospective studies being
10 done. And I think that we would like to see NIOSH
11 and OSHA get involved in funding some of that
12 research to try and see if we can't do a better
13 job at treating low-back issues.

14 The only other thing that I wanted to bring up is
15 carpal tunnel syndrome. That has been an ongoing
16 problem here in the United States. It is -- the
17 United States and Canada are the only two holdout
18 countries still recognizing carpal tunnel as being
19 work related. There is no evidence that this is a
20 work-related problem, statistically, at any
21 population that you look at. And I wish we'd come
22 out with a statement of paper that finally calls
23 it what it is, so we can clarify that to our
24 providers that are having to deal with it on a
25 daily basis, as this continues to be a very muddle

1 ground. That's all I have to say.

2 **DR. CLARK:** We have time for some additional
3 speakers, and Farhang Akbar is going to make some
4 additional comments. If anybody else would like
5 to proceed up there, you know the routine now;
6 unless you're already scheduled for the afternoon.

7 **MR. AKBAR:** Good morning. My name is Farhang
8 Akbar with the Medical University of Ohio. And,
9 again, I couldn't keep my mouth shut. I didn't
10 have anything for presentation, but I thought I
11 would make a couple of comments from my own
12 personal experience.

13 In fact, we have employers, we have workers, and
14 then we have other bodies, like government and
15 industrial hygienists and all of that. What we
16 are trying all to do is eventually control the
17 exposure. Unfortunately, our recognition, our
18 applications of hazards, or monitoring, they are
19 going very well. Everything is electronics. We
20 can cut various spawn amounts of pollutions and so
21 on. But, unfortunately, when it comes to control
22 we are so weak. I'm talking about the (inaudible)
23 expense, I'm not talking about (inaudible).

24 I'm a researcher. I spend my time hands-on. I
25 tell my students that I collect dirt and notes.

1 This is what we do. One of the things that is
2 very, very popular now a days is using in lieu of
3 very good control methods is personal protective
4 devices. And I have very, very long experience
5 personally with PPEs. And we have published two
6 papers. And in both of them you will be very,
7 very surprised that people don't like PPE. And
8 either they don't understand that why, or we do
9 ignore it.

10 In my experience that conducted a research in
11 about five, 600 people, 50-something people, they
12 didn't like the respirator. Still, we insist that
13 people use respirators. The same with hearing
14 protectors. They don't like it. They don't like
15 the collar, they don't like the size, they don't
16 like how they're made, they don't like the way
17 they're designed on the face. They're all issues.
18 And we do not have any research. As I said, the
19 only research we have, very, very short, and in a
20 short time, was a couple of things that we
21 published.

22 And I'm going to ask we put in our agenda a more
23 elaborate, a more intensive way of looking at
24 personal protective devices. Not walk there as an
25 industrial hygienists or health and safety

1 professional and throw a hearing protector or
2 something in front of the worker and say, go and
3 use it. Why I'm saying that is because I see them
4 all over the factories, shops. They're not using
5 them, they're not cleaning them, they're not
6 maintaining them well. There is no way to check
7 in and out. So that's a major problem. That's
8 number one.

9 Number two comments I wanted to make, again, comes
10 from my personal experience. Last year I tried
11 numerous employers and unions to let me do a
12 simple pilot research in this state. I couldn't.
13 They didn't let me to do that. And I'm sharing
14 that until we do -- if you're not providing the
15 research, and Leggs is one of the employers for
16 us, if you're not going to cooperate with us and
17 let us do our work, how are we going to do the
18 research?

19 So my second suggestion is, we put in NORA how we
20 approach employers. It's not a matter of
21 educating them to do health and safety, like
22 educate them and let us do research. And then
23 don't have any good communication on that either.
24 I probably share this through frustration, as the
25 first presenter said here with you, and ask for

1 help. And thank you very much for the opportunity
2 to let me speak.

3 **MR. GENARDY:** I want to actually comment on what
4 Chris mentioned, the small business. Now, we have
5 been kidded about the small businesses from our
6 industry collaborators. Now, my question -- I had
7 a particular question which amplified on the
8 previous speaker, and that's targeting NIOSH and
9 targeting our friends from industry and
10 partnerships and so on.

11 Now, when we go and approach a small business to
12 conduct research, it would help them out -- there
13 are very few companies that are very proactive and
14 come forward that speak with us. However, based
15 on our limited experience, the grand majority are
16 kind of reactive. So my question to people like
17 this and others, what would you do to overcome
18 those obstacles, particularly one who approves
19 them with research. I'm not going to call it
20 research we're going to call it smart solutions.
21 Because whenever you talk to people they say, oh,
22 these people are in high ivory towers. Well, we
23 are engineers. We have learned to do things on
24 the shop floor. So how do we overcome the
25 obstacles whenever you go and talk to those small

1 manufactures, which is like 80 percent of the U.S.
2 manufacturing, or maybe even more into the future,
3 especially when we get into nanomanufacturing.
4 The major player will not be the P and G, GE, it's
5 going to be predominately this one manufacturer.
6 So that's what we'd like to know. We'd like to
7 know how can we help these people, how to break
8 the ice and get to them. Thank you.

9 **MR. HOCHSTRASSER:** My name is John Hochstrasser.
10 I'm a graduate at the University of Cincinnati,
11 PhD, and I also graduated from their Engineering
12 Department with a degree in -- Master's degree in
13 Civil and Environmental Engineering. I've been
14 practicing in industrial hygiene for well over 30
15 years now. And in 1993 I ran into a situation
16 where I had two employees in the workplace that
17 had obstructive airway -- lower airway disease,
18 obstructive lower airway disease. Over
19 four-and-a-half years of pursuing it, we pretty
20 well discovered what we thought was the cause.
21 And, of course, you can always get rid of an
22 occupational disease if you engineer it out of
23 your workplace, but you seldom find out what the
24 causative agent it or the interactions are. It
25 was gone.

1 And around the year 2000, 2001 popcorn workers
2 came up with the disease. It's one of those
3 things that just doesn't go away. One of the
4 problems we found, we thought that there was an
5 implication of viruses or bacteria and
6 pre-infection of employees from those diseases.
7 And as infectious diseases spread globally, I
8 think what we're going to see, and we may already
9 be seeing it, but not finding it for some reasons
10 I'll mention, is diseases that predispose
11 employees from a viral disease or influenza and go
12 into the workplace and the levels to which the
13 ACGHTLVs, or the OSHA PELs state are insufficient
14 to protect those workers that are predisposed.
15 Now, one of the problems that we have today is
16 there is no one in the workplace to recognize the
17 disease. Unless you're doing a respirator program
18 with a very good pulmonary function program
19 associated with it you may not find the disease.
20 And you won't find it unless you're monitoring by
21 the year, every year doing pulmonary function
22 tests.

23 So I believe as we go through these research
24 possibilities, one of the things you need to look
25 for and keep an open mind to is the possibility of

1 natural occurring diseases. If you go to the CDC
2 website you'll find a publication called -- let's
3 see -- infectious diseases, emerging infectious
4 diseases. The publication started in the late
5 1980's as a quarterly publication, and now it's
6 every month, 200 pages long every month. And I
7 think as globally we expand in the workplace we're
8 going to see these diseases start to spread, and
9 it's bound to have an effect in the workplace.
10 And one of the reasons we don't find as much today
11 is because we don't have occupational physicians
12 and occupational nurses actually working in the
13 workplace to find these diseases and head them
14 off. So that's my comments.

15 **DR. CLARK:** Are there any more people who want to
16 make a few comments in the morning session? If
17 not, Carol Rice -- Judy? Great.

18 **DR. JARRELL:** My name is Dr. Judy Jarrell, and I'm
19 at the University of Cincinnati, Director of
20 Continuing Medical Education and Director of
21 Continuing Education in our Education and Research
22 Center for NIOSH. I just wanted to come and say a
23 couple of comments, and reiterate what Dr. Carol
24 Rice was speaking about earlier.

25 As an educator, as a trainer, I run into

1 frustration a lot. I do a lot safety training, a
2 lot of health and safety hygiene training. And
3 the thing that's come up in my research and the
4 thing that comes up repeatedly in our training is
5 that, okay, we understand. So there's not a
6 problem with getting our workers to master the
7 material that we're teaching them. And, yes, we
8 feel it's beneficial. So they're maybe not widely
9 motivated, but they're motivated to change
10 behaviors on the job. The problem comes in when
11 they get back to the job. And, as you know,
12 training is of little utility unless it changes
13 behavior on the job, and safety.

14 So my concern is that we get some more funding,
15 some more support for doing the after-the-training
16 type of research that we need to do into what can
17 we do best on the job to be sure that behaviors
18 are changed and that there is a culture of safety
19 that is built within our companies, especially
20 when the bottom line means so much to them and
21 they see safety as detrimental to them. Thank
22 you.

23 **DR. CLARK:** Now Carol Rice will have some summary
24 comments, and then Sid will close.

25 **MS. RICE:** Thanks. I know everyone is getting

1 hungry and ready for a break, but I think a couple
2 of things that came through this morning might be
3 worth reiterating. One is -- you know, it was
4 really a focus by several people on looking at
5 some special populations at risk within a sector.
6 It may turn out in doing that evaluation within a
7 sector that those are cross-sector issues as well.
8 But aging, language, religion, and aging at both
9 ends of the spectrum, the young and the older
10 worker were brought up by several speakers.
11 Several people alluded to the need for good
12 economic arguments and illustrations.
13 I think that's something that perhaps NIOSH can
14 help us with in terms of getting the economists
15 more into the occupational safety and health
16 field. We all feel in our gut that what we do is
17 cost effective and will help with the bottom line,
18 and we certainly all hope that. There are a
19 number of industries that do maintain these kinds
20 of data in-house, but they don't publish them, so
21 those kinds of case studies and examples aren't
22 available to industries at large. So that kind of
23 information will help us all sell changes to both
24 small and large companies.
25 There is a continuing interest in musculoskeletal

1 diseases, in injuries and in diseases, large
2 companies, small companies. I think most of the
3 studies now point very nicely to the
4 multi-factorial nature of those kinds of injuries,
5 and that needs to be brought into the research
6 agenda. There was -- and that's another place
7 where aging and other issues come up.

8 We heard a very poignant case study from a
9 gentleman whose wife was injured on the job. It
10 does, you know, indicate that the physicians
11 within, you know -- all of the treating physicians
12 need occupational safety and health information,
13 that there may be problems in transcription
14 errors. We deal with this, you know, as
15 everything becomes more electronic, it's not
16 isolated to the medical profession at all, but
17 that was the illustration that we saw. We can
18 certainly think about the follow-up that's needed
19 and the ways that we can help the compensation
20 system really think about the broad scope of
21 occupational safety and health. It's always
22 frustrating to any of us who try, you know, who
23 hear examples where things don't fit neatly into
24 the coding system boxes that workers' compensation
25 and the insurance industry in general needs to

1 deal with.

2 The continuing use of personal protective
3 equipment for a number of hazards in the workplace
4 is important. We know that economic feasibility
5 is always -- in terms of OSHA as well as industry
6 considered, and often the economics of long-term
7 use of personal protective equipment isn't really
8 factored in the first-time cost. And the
9 effectiveness of that kind of approach was brought
10 up, and the need to document or find alternatives
11 to improve the effectiveness of personal
12 protective equipment was mentioned by a number of
13 people.

14 International issues came up in, perhaps, you
15 know, both in terms of training as well as
16 implementation of safety and health programs.
17 Perhaps we can learn a good bit from what's going
18 on in other countries, especially in terms of
19 small business and getting some non-quantitative
20 approaches to replace health and safety as well as
21 the holistic approach, which really is the key in
22 multi-cultural occupational injuries and
23 illnesses.

24 Training came up repeatedly, and the need for
25 effective training, and the need for thinking

1 outside the box in terms of training, too. That
2 specialized training is needed in farm
3 communities, for example, in terms of making sure
4 that the emergency responders need to know how to,
5 and having equipment to extricate someone from a
6 piece of farm machinery. They may have a lot of
7 training in getting people out of cars, but that
8 may or may not be directly applicable to the farm
9 injury scenario.

10 NIOSH got high marks for a number of things
11 they're doing. In terms of documents and programs
12 and research that is ongoing. And, hopefully, I
13 know this is a very short summary, but, hopefully,
14 as the tapes are reviewed, information in this
15 morning will be used in NIOSH expanding their
16 research agenda. Are there things that people
17 particularly want to add that I missed?

18 **UNIDENTIFIED SPEAKER:** I guess I was disappointed
19 not to hear any content or anything related to the
20 psychological issues in the workplace, of the
21 mental health of the workers, as well as the
22 psycho-social environments in the workplace and
23 how that affects the bottom line.

24 **DR. SODERHOLM:** Can you repeat that?

25 **MS. RICE:** Yeah. The point is that psycho-social

1 and social factors have a big impact on workplace
2 health and safety and that was not brought up in
3 summary. And it was touched on by a couple
4 speakers, so I apologize for that. But it really,
5 again, the multi-factorial nature of workplace
6 health and safety is going to require some
7 interesting research approaches in the future for
8 NIOSH to grapple with, as well as training
9 approaches. Yes?

10 **UNIDENTIFIED SPEAKER:** Emphasis on small
11 manufacturers?

12 **MS. RICE:** Small manufacturing has been alluded to
13 the whole morning, you know, it needs to be
14 brought up. And hopefully NIOSH will be able to
15 find some ways to better address it. Thank you.

16 **DR. SODERHOLM:** I'll just take a second to say
17 that I hope people will be able to stay for the
18 afternoon session. And if can't, thank you for
19 coming. And if you can, have a safe and good
20 lunch. There is a cafeteria down the hall, and as
21 big of a variety of fast food and other
22 restaurants near a freeway exit as you'll find
23 anywhere. We have a few extra minutes. You might
24 have a chance to go off campus and come back on.
25 And be safe and see you later.

1 **DR. CLARK:** What time? What time do you want?

2 **DR. SODERHOLM:** 1:15.

3 (Whereupon, a recess was taken from 11:50 a.m.
4 until 1:00 p.m.)

INTRODUCTION TO THE SECTOR APPROACH

MARY LYNN WOEBKENBERG, NIOSH

5 **DR. WOEBKENBERG:** Let's take our seats, please,
6 and we'll get our afternoon session under way.
7 Find a seat and relax, but don't relax too much
8 because I know you're all going to be in a food
9 coma. My name is Mary Lynn Woebkenberg. I'm the
10 Manager for the Manufacturing Sector for NIOSH.
11 And I'd like to take a few minutes this afternoon
12 to talk about the sector. I'm not an enamored of
13 being tethered to the podium here, but I'll try as
14 best I can to not stray too much since we have a
15 recorder down here.
16 But we are going to talk about NORA. We are going
17 to talk about the Managing Sector. And these are
18 some of the points that I hope to cover, I won't
19 belabor many of them that you've already heard
20 about, but I do want to touch base on some of them
21 in the event that some of you weren't here for the
22 morning session. So we're going to do just a
23 little bit on NORA, a little bit on the second
24 decade, the research councils, we'll discuss your

1 potential participation, and then we'll made some
2 remarks about the manufacturing sector in general.
3 Sid showed you this slide this morning, but it
4 bears repeating. NORA has always been, and is
5 now, it's about partnership. It's about people
6 bringing to the table their skills, their
7 knowledge, the data, the information that they
8 have, their research capabilities, and, of course,
9 their dollars. It's important to bring all of
10 those things to the table. And I know that both
11 Sid and Max this morning talked about
12 collaboration. And one point that I think that
13 really brings out the importance of folks bringing
14 research dollars to the table. Do you know in
15 your federal budget in the United States we don't
16 have one dollar a year for every man and woman who
17 works in the United States? So that means we
18 can't spend in an entire year one dollar on you,
19 on your worker, on your sister, on your brother,
20 on your folks, on your kids, whoever happens to
21 hold a job, we don't a dollar to spend on you for
22 a year. So, obviously, we need to bring all of
23 our resources to the research table. And, of
24 course, because NORA is all about partnership, we
25 are seeking stakeholder input, we are looking to

1 identify research priorities, and to work together
2 to leverage our resources.

3 In the second decade we're going to move research
4 to practice in the workplaces through
5 partnerships. And these partnerships now will be
6 organized by the industry sector. In NORA 1 we
7 had, NORA was organized around disease outcomes,
8 and now we are moving to sectors for the reasons
9 that Sid gave you this morning.

10 And, again, NIOSH is very, very aware that
11 industry stakeholders are key to solving the
12 occupational safety and health problems. Not only
13 solving them, but in many cases, identifying them.
14 The people in industry who actually do the jobs,
15 they have a lot of information on how to make
16 things better.

17 Again, Sid spoke to you this morning about the
18 industry sector-based approach. And the research
19 councils that we've put together that will be
20 composed of NIOSH staff, plus external
21 stakeholders and partners, our job will be to
22 identify the low-hanging fruit, will be to
23 identify the most important occupational safety
24 and health problem within a given sector. And so
25 these are the things that we will be relying upon;

1 the stakeholders and the partners, the folks who
2 work with us to help us do. And we will be
3 responsible for developing research strategies for
4 each of the eight industry sector groupings and
5 some research needs. And you have seen this this
6 morning, they cross multi-sector, injuries,
7 musculoskeletal disorders, special population,
8 work organization, stress, which somebody brought
9 up this morning. So there are a number of areas
10 that the Institute recognizes are cross sectors,
11 and these will not be forgotten as we move forward
12 and develop these research strategies.

13 Again, on the NORA sector research councils we are
14 looking for diverse membership, and these are some
15 of the types of individuals that we are looking to
16 bring to the table to help us solve the most
17 important occupational safety and health problems
18 in a given research sector. Hopefully, you fall
19 under one of these categories because in the next
20 couple of months most of the sectors will be
21 dedicating their work to actually establishing the
22 research councils and we'll be collecting CVs,
23 we'll be collecting information about those, and
24 sending out invitations to people to participate
25 and partner on the research councils.

1 These are some of the activities that will take
2 place once the research councils are established,
3 and they're pretty straight forward in terms of
4 establishing any kind of a research strategy
5 analysis. We'll set up intermediate goals and
6 outcome measures. And one step that we hope to
7 take that's a little further, in the past where we
8 have established a strategic plan, where we have
9 established research strategies, this time we want
10 to publish and make public the research strategies
11 that we developed fairly early on, so that we can
12 actually set about the business of partnering to
13 actually accomplish some of the goals and to
14 making some strides to taking care of the problems
15 identified in research strategies.

16 The NIOSH role, we need to promote the process, we
17 need to keep it organized, we need to ride herd on
18 it, obviously, we need to support all of the needs
19 of these sector research councils, bring the right
20 people to the table, and do all of those things to
21 help -- you know, it's our job to get the research
22 councils what they need to do their job. And so
23 that's the sort of the over-arching role that
24 NIOSH will play.

25 How can you participate? Provide input. Not just

1 today, but once we have research councils
2 established, volunteer for a sector research
3 council. There are eight of them, and I'm sure
4 one of them will peak your curiosity or fit in
5 with your area of expertise. Encourage your
6 organization to be involved because, obviously, if
7 you serve on a research council your organization
8 is going to have to give you the time to do this
9 and support you in this effort. So it is good to
10 encourage your organization to be involved as
11 well.

12 In the manufacturing sector, I want to spend now a
13 few minutes talking about just what is in the
14 manufacturing sector and what are of the major
15 illnesses and injuries that are problematic in
16 this particular sector. Well, the manufacturing
17 sector is one of the eight industry groupings, and
18 Sid showed you a slide this morning of all eight.
19 And we encompass the NAICS codes from numbers 31
20 through 33. And I'm sure that everybody
21 immediately has in the front of their minds what
22 those NAICS codes refer to.

23 So here's NAICS code 31; food manufacturing,
24 beverages, textile mills, textiles product mills,
25 apparel manufacturing, leather and applied product

1 manufacturing. Within code 31 there are an
2 estimated 4.1 million workers at least there were.
3 Most of the statistics that I'm showing this
4 afternoon are from the Bureau of Labor Statistics,
5 and most of them are from 2005. They have data
6 mostly up through June of 2005. So, as you know,
7 there are some estimate to these numbers.
8 The NAICS code 32; wood, paper, petroleum,
9 chemical manufacturing. And you can see the
10 industries that are involved here; an estimated
11 four million workers in 2005. In NAICS code 33,
12 you can see what kind we're doing; metal,
13 machinery, electrical equipment, transportation
14 equipment manufacturing, furniture, and then
15 miscellaneous manufacturing; 7.9 million workers
16 in 2005.
17 This slide, which shows the distribution of
18 industry injuries, again, this is from 2005.
19 Manufacturing was responsible for 21 percent of
20 the injuries. Manufacturing is only comprised of
21 14 percent of the workers in the United States.
22 So in a bad sense the manufacturers are
23 over-achievers; exactly where you don't want them
24 to be. And this is one of the things that the
25 research council has to address.

1 Workplace injuries and illness per 100 -- those
2 are FTEs, or full-time equivalents, but it's a
3 full-time worker. Now, one good thing here that
4 you'll see is that from 2003 to 2004 it actually
5 decreased in the manufacturing sector. So in 2004
6 there were only 6.6 workplace illnesses and
7 injuries per 100 full-time workers in the
8 manufacturing sector.

9 The leading causes of work-related deaths in the
10 manufacturing sector, the first was contact with
11 objects and equipment, the next is transportation
12 industries, the next is fires and explosions,
13 harmful substances, this is obviously my
14 short-hand way of talking about exposure to
15 harmful substances and also harmful environmental
16 substances, assaults and violence, and then falls.
17 And you can see that they run from a high of about
18 125 down to about 35.

19 The leading causes of non-fatal injuries and
20 illnesses in 2004 for manufacturing, being struck
21 by an object, lifting, and how many times this
22 morning did we hear discussions about
23 musculoskeletal disorders, being caught in or
24 compressed by an object, bodily reaction. Now,
25 that's kind of interesting because that runs the

1 gambit from everything from if somebody walks up
2 behind you and surprises you and you're startled,
3 down to just normal bodily reaction, to working on
4 the job. Falls on the same level and repetitive
5 motion industries. And, again, -- oh, I'm sorry.
6 Repetitive motion injuries. And, again, this
7 harkens back to the musculoskeletal disorders that
8 we have heard discussed this morning.

9 So what are we doing? In most of these areas, and
10 as we hope to continue working, NIOSH is doing a
11 lot of research and we're making a large emphasis,
12 we're putting a large emphasis on research to
13 practice, actually getting the research in place
14 in the workplace. There's work being done by our
15 internal scientists at NIOSH. We also have an
16 office of extramural programs where we fund
17 grants. External scientists and academic
18 researchers, their support for a wide variety of
19 projects cutting across manufacturing occupational
20 safety and health issues.

21 And one thing that I should point out, I think it
22 was Sid this morning who talked about in really
23 broad sectors, such as manufacturing is, we're
24 also looking at significant sub-sectors. And one
25 of the sub-sectors that by definition has been

1 assigned to manufacturing is nanotechnology. And
2 so that's one of the areas that's almost taken on
3 a life of itself, and the Institute will continue
4 to support and be active in some of those
5 sub-sector areas like nanotechnology.

6 We're working with other government agencies.

7 And, again, as I mentioned, there's a new emphasis
8 on research to practice. If you're looking for
9 information or you would like to provide input,
10 one of the things that you may do is to go online
11 and provide input to the docket. If you made
12 comments this morning, you are not limited to that
13 by any means, and we invite you -- Sid, do you
14 know how much longer the docket will be open?
15 Surely it will be for a couple of months probably.

16 **DR. SODERHOLM:** At least. Yes.

17 **DR. WOEBKENBERG:** So please feel free to go
18 online. You can sign up to receive the NIOSH
19 eNews at the first website given there. And that
20 is a monthly update of all the information, it's
21 all of the good work that NIOSH is doing, you can
22 find there.

23 You can also input or volunteer through the NORA
24 web page. Many of you may have visited that page
25 when you signed up for this town hall meeting.

1 Once again, I put down Sid's -- you can reach Sid
2 at NORA Coordinator. And you are invited to
3 attend the NORA symposium, which will be held in
4 Washington, D.C.

5 I put also up here several useful resources. Now,
6 these pertain -- not all of them -- but most of
7 them pertain maybe a little bit more to the
8 manufacturing sector, everything from the NIOSH
9 home page. We have a NIOSH nanotechnology topic
10 page, which is full of information, and it also
11 has kind of a unique concept to it in that we are
12 looking for folks that work in the nanotechnology
13 arena. And we have this set up so we can dialog
14 with folks and so everyone can share their
15 experiences, their best practices. And it's a
16 fast, convenient, effective way for us to not only
17 to gather information, but to get information out
18 to other folks. So, please, if that is your area,
19 look at that.

20 We had some other topic pages, but I just pulled
21 out some examples that might be of interest.
22 Respirator usage. And, again, we heard some
23 discussion this morning about personal protective
24 equipment. Semi-conductor manufacturing,
25 emergency preparedness, noise, and we heard a

1 discussion this morning about hearing loss and
2 ergonomics and, again, MSDs. And, actually, I put
3 this together before this morning. I didn't pull
4 these out after I heard the topics.
5 If you have questions, feel free to call me.
6 That's my telephone number or else you can try to
7 type out my name at cdc.gov. Another easy to do
8 it is just mlw, which are my initials, the numeral
9 2, at cdc.gov, if you don't want to try to
10 remember how to spell Woebkenberg. I often joke,
11 my mother's maiden name was Fulsenlogan (*). I've
12 always been grateful that when I was a child it
13 wasn't fashionable to hyphenate your child's sir
14 name. I was 12 before I could spell Woebkenberg.
15 I'd still be trying on Fulsenlogan-Woebkenberg.
16 So feel free to contact me if you would like more
17 information about this. We'd love to hear from
18 you.
19 And I think with that I think we'll go to the
20 sector presenters this afternoon. And I think
21 we're going to follow the format similar to that
22 which they followed this morning. We're going to
23 call up three or four folks and let them come to
24 the table here and then take their turns and go
25 through making their presentations. We have, once

1 again, the photographer who will take advantage of
2 these wonderful Kodak moments. And we also have a
3 timer down here who if you talk too long I think
4 they come up and they hit your knees with a
5 microphone or something.

6 So with that, the one other thing that I want to
7 do is introduce Mike Gressel. Mike is the
8 Coordinator for the manufacturing sector, which
9 means that I'm the manager, but Mike is the one
10 that really does all the work and really has all
11 the knowledge. And he will be guiding the
12 manufacturing sector for the Institute as well.
13 He will also be presenting the wrap-up this
14 afternoon, and so he's going to feverishly sit
15 here and take notes while you speak, and then
16 he'll make the wrap-up presentation at the end.
17 So with that, Mike, to save same time, why don't
18 you call the first four folks? And I'll come down
19 the not-OSHA-approved stairs.

20 **DR. GRESSEL:** Okay, the first four speakers will
21 be Jay Jones, Tim McDaniel, Diane Mundt, and
22 Gordon Reeve.

23 **MR. JONES:** I'm Jay Jones, and contrary to what it
24 says on your agenda, I'm not representing the
25 University of Missouri-Rolla. I'm not sure how

1 that got on there; maybe because I'm an alumni at
2 that university and have an alumni e-mail address.
3 I think they must have pulled it off the e-mail
4 address. Anyway, I'm a self-employed industrial
5 hygiene consultant, also an adjunct faculty member
6 at the University of Cincinnati, Industrial
7 Hygiene. The comments I've got to make really go
8 across -- really relate to some of the stuff this
9 morning about small business.

10 First, I guess I will offer a little bit of
11 defense of some of the NIOSH work. There is quite
12 a bit of work that NIOSH has done in small
13 business. A lot of it you have to find under
14 individual industries. And so the main point of
15 the comment this morning that they weren't finding
16 stuff I think is true. It is there though, a lot
17 of stuff, but it's not very easily identified.
18 And that kind of relates to my concern about this.
19 I think small business is an important topic for
20 almost all of these sectors, certainly it is in
21 manufacturing and across the board. But I'm
22 afraid that if there isn't specifically mentioned
23 in the charter for these groups that small
24 business stuff needs to be the emphasis since 40
25 percent of the workers are smaller businesses.

1 But it ends up and gets forgotten, especially down
2 the road as we progress on with these.

3 Also, I think the other thing, if it isn't
4 specifically spelled out that small business
5 issues need to be taken care of, that as
6 universities begin to -- or other people apply for
7 research money, unless something's in there that
8 talks about small business, it becomes much more
9 difficult for them to put in projects that relate
10 to small business. And I think, concerned with
11 that, one of the big areas that needs to be looked
12 at is the delivery; how do you get information to
13 small businesses. I think they're -- also, in
14 each of these sectors we need to be cognizant as
15 we're developing strategies, that the same things
16 that work in the big companies may not work in the
17 small companies.

18 So those are two issues that I think are important
19 to keep in mind to cross these, but also I think
20 there really needs to be something spelled out
21 fairly specifically about small business in there.
22 Thank you.

23 **MR. MCDANIEL:** I'm Tim McDaniel, and I'm the
24 Environmental and Safety Manager at the
25 International Truck and Engine over in

1 Springfield, Ohio; manufacturer of medium-duty
2 trucks. And a few of areas that we've been seeing
3 our area of interest that we think are worthy of
4 considering for research might include the
5 relationship between illness injuries and the
6 fitness of employees, as our company's been
7 getting more and more involved into wellness
8 programs and in fitness programs.

9 We think we're seeing some -- maybe some benefit
10 there, but would like to see some research to
11 confirm that. Right now at our location we have
12 about 280 people going through a fitness program
13 that includes diet and exercise and things like
14 that. We're just into it right now about six
15 weeks into the program. But longer term we think
16 things like this should have an impact,
17 particularly in the area of ergonomic-type issues
18 out in the plant, but would like to see some
19 research in that area.

20 So we're wondering -- or questions that we would
21 have are, are better fit employees less likely to
22 have straining injuries or carpal tunnel or other
23 repetitive motion-type injuries, associated
24 things. What effect does pre-conditioning have an
25 preventing injuries and such?

1 The second area of interest, and one that our
2 company did a little bit of work in a couple of
3 years ago was in the area of medications. And
4 they worked a little bit with one of the
5 pharmaceutical companies and looked allergies, and
6 seeing if there was -- based on an employee
7 survey, to see if there was any relationship
8 between employees that were taking allergy
9 medications and whether they were reporting
10 injuries, and there seemed to be a little bit of a
11 correlation there. The people who were taking
12 allergy medications tended to have a higher
13 incidence of reporting injuries according to this
14 survey. So I think that could be an area of
15 interest. And not necessarily just limiting it to
16 allergy medications, but it could be other
17 over-the-counter medications or prescription
18 medications that are commonly out there. But are
19 those things that are causing employees to come to
20 work that shouldn't be at work, or are they things
21 that are some how distracting employees if it's
22 injuries, or if it's -- again, more ergonomic-type
23 things. Are they things that are causing them to
24 be more prone to building up stress in their
25 joints or their muscles or just -- I think there

1 could be a variety of things you could look at
2 there for questions.

3 Best practices in returning injured employees to
4 work, or particularly those with repetitive
5 motions and strains. In our union environment,
6 the employee -- the way the process works is you
7 try to get the employee back to their particular
8 jobs. The same one that they were complaining of
9 having problems with is the job that they're going
10 to move right back into. So what are some best
11 practices to try and deal with that sort of
12 environment?

13 And the last one is, just as we see more and more
14 business trying to move away from the traditional
15 five days a week, eight-hour workdays, what are
16 some of the implications of that? Our company has
17 looked -- has a couple of operations in other
18 states that work four days a week, ten hours a
19 day. I know other companies have other
20 modifications of the 40-hour work schedule, but
21 just trying to understand how that -- again,
22 ergonomics being one of the areas, how it might
23 impact that.

24 Also, the similar facts on respiratory issues and
25 just tiredness towards the end of the day. Does

1 there tend to be more injuries if you extend the
2 days and things like that? So that's all I have.
3 Thank you.

4 **DR. MUNDT:** Good afternoon. My name is Dr. Diane
5 Mundt. I'm an epidemiologist based in Amherst,
6 Massachusetts office by ENVIRON International.
7 We're an environmental and health consultancy.
8 Now, I'm not representing a particular company
9 today, but I'm here to speak in support of a
10 research agenda for occupational health and safety
11 in nanotechnology, that some have called the next
12 industrial revolution.

13 We've recently worked with some companies that are
14 looking for guidance and best practices in
15 nanotechnology. And we looked to NIOSH, which has
16 provided an important lead in providing access to
17 the limited research findings that are available,
18 as Mary mentioned, through their website, as well
19 as through conferences and meetings in
20 occupational health and safety. They've also been
21 active in supporting research in occupational
22 health and safety, but more is needed.

23 The population at risk is currently somewhat
24 different from what you would consider a
25 traditional occupational work environment. That

1 is, it's primarily consisting of those in
2 university labs, start-ups, RNDs and small RND
3 sectors in small and large businesses. This will
4 change over time as research and development moves
5 from the development stages to the large-scale
6 manufacturing. It includes those who are using
7 nanomaterials and what they're doing, as well as
8 those who are actually manufacturing
9 nanomaterials.

10 Risks and diseases associated with nanotechnology
11 are currently unknown, and efforts are needed to
12 develop surveillance tools, as well as to define
13 what is needed for monitoring. Nanomaterials are
14 highly diverse, and exposures are not low
15 characterized. Additional research is needed in
16 how and what to monitor, as well as how to
17 interpret the findings of that monitoring,
18 including whether, in fact, the monitoring results
19 indicate that some risk is apparent for the health
20 of those working in the industry.

21 Associated with understanding the exposures is the
22 need for continuing research on fast and effective
23 engineering control strategies and PPE for those
24 who are in real world settings using and
25 developing nanotechnology. NIOSH will need to

1 find creative ways to encourage participation in
2 research by the small and large companies, as well
3 as the research labs, which currently represent
4 the frontline of occupationally exposed workers.
5 This is all particularly challenging where a
6 proprietary nature of the work may in fact be a
7 disincentive for participation.

8 Nanotechnology research will require
9 intra-disciplinary expertise, including health
10 scientists and engineers, individuals who are
11 generally not seeking collaborative research
12 agendas. We would encourage any nanotechnology
13 research agenda to be industry relevant. That is,
14 involving exposures and materials and methods that
15 are, in fact, currently in use for those doing
16 nanotechnology.

17 Finally, we would encourage NIOSH to advise and
18 update any planned research agenda, as we can only
19 begin to imagine what new challenges to
20 occupational health the next ten years of
21 nanotechnology will bring. Thank you.

22 **MR. REEVE:** Good afternoon. I'm Gordon Reeve.
23 I'm the Manager of Epidemiology at Ford Motor
24 Company in Dearborn, Michigan. And what I'd like
25 to do first is congratulate NIOSH on the process

1 of continuing their work with NORA, and also
2 offering our strong support for the process.
3 I'd also like to say that we're very pleased with
4 how NORA 2 is being organized. As a charter
5 member of the NORA 1 group in traumatic injury
6 research, we had certain problems in looking at
7 just traumatic injuries because as we went along
8 it was not only people that had traumatic injuries
9 in the manufacturing sector, there's people that
10 have traumatic injuries in 7-11 stores, people
11 have traumatic injuries in taxicabs, and people
12 who had traumatic injuries while working as lumber
13 jacks. And so you try to put that diverse group
14 of people together to try to develop a unified
15 agenda for research, it was next to impossible.
16 But we managed to do that with first stepping away
17 from the fatalities and getting to the injuries,
18 because if you said, what industry has the
19 greatest fatalities, then it skewed everything in
20 one direction, but then you said which industry
21 has the greatest number of people injured and the
22 greatest amount of disability, it pushed you in a
23 much different direction. So I think we still
24 need to do that.
25 But the step that you've taken forward now as

1 looking at these things in terms of manufacturing
2 sectors and other segments of industry alleviate a
3 lot of these problems. It also lets you cover
4 acute injuries, ergonomic issues, and cost of
5 injuries across each of these manufacturing
6 sectors and other things that you're looking at.
7 I would, however, with the manufacturing sector
8 suggest that we probably start off with a
9 manufacturing sector split into two parts. One
10 part would be the labor-intensive manufacturing.
11 And I would haphazardly guess that even though
12 Ford is very labor intensive, we're also very cost
13 intensive for equipment in engineering, which is
14 very different then running a chemical plant or a
15 chemical manufacturing facility like a Dow
16 Chemical or an Amoco BP you've set up where the
17 cost of the equipment is very expensive but you
18 might have acres and acres and acres of equipment,
19 but only 50 to 80 people running the whole thing.
20 Again, very different sets of issues, but I would
21 suggest that we start off with those separately
22 and then try to merge them as we go along.
23 Finally, I would like to conclude with three
24 suggestions for the research agenda in
25 manufacturing. But before I do that I wanted to

1 -- need to give you a little bit of a perspective
2 of where we're coming from at Ford Motor Company.
3 One of the things at Ford Motor Company is that
4 with our 140,000 U.S. workers we have a very good
5 data system to monitor the injury and illness
6 experience of our employees.

7 If I was allowed, and I remembered to bring my
8 secure ID with me, I could show you with less than
9 two minutes what our injury rate is for fractures
10 of the fingers and hands in any given plant over
11 the last ten years, and it would take less than
12 three minutes. And, actually, I have to tell you
13 that of that three minutes, two minutes would be
14 consumed by Microsoft boot-up time from my
15 computer.

16 But with that, we've been struggling with this,
17 not only having the injury information about who
18 was hurt, what the injury was, what the body part
19 was that was hurt or effected, or what the illness
20 was. We also have the ability to look at the
21 hours worked for each particular individual. So
22 we can do very, very tightly clustered rates
23 throughout our plant. So we don't focus all of
24 our attention on the big departments and ignore
25 the lower-manned departments that actually perhaps

1 had higher injury rates.

2 Also in looking at this we look at measures that
3 cover the whole company. We look at plant by
4 plant statistics and analyses and we also go down
5 to work teams of 20 people and follow their trends
6 to see what's going on with them as we need to.
7 So if you think, well, these things are just for
8 something like a big company like Ford or a
9 General Electric or something like that, it
10 cascades through because when you really make a
11 difference you don't make a difference 100,000
12 people at a time, you make a difference of a
13 couple hundred at the time or maybe a thousand at
14 a time. But the smaller group is the better place
15 to have your interaction.

16 So with that background, the things that we've
17 learned from having all of this data to look at is
18 that, one, we need to do a much better job on
19 incident investigations in terms of coding them,
20 in terms of underlying cause or root cause, and
21 the safety terminology, instead of looking at the
22 immediate cause.

23 The other thing would be we need to do a much
24 better process of assigning a risk score so we can
25 prioritize them. No matter how good of a year

1 Ford has, we will never have enough money to
2 tackle every injury problem that comes along and
3 just say fix them all. And even if you could fix
4 them all, you have to fix something first and
5 something second and something third.

6 So what we are looking at, and we have a model
7 running this in our operations in Australia, where
8 we look at the frequency of the injury, the
9 clinical severity of the injuries, and that
10 targets you on getting a number. And, actually,
11 our managers in those plants, they say, well, you
12 know, I know we've got a problem, we had X number
13 of people hurt and I've got this and this to do,
14 show me the number and if the number is above a
15 certain score, there's no question they fix it.
16 And we're trying to pull that into the U.S.
17 operations and also the European operations. So
18 we don't have the argument, well, gee, it was only
19 this guy, it was only that guy, and it only
20 happens once in a while. It puts severity and
21 frequency into a whole issue of risk.

22 The final one is looking at some quantitative
23 effort to look at the cost of injury interventions
24 and the effectiveness of those interventions. We
25 have a lot of cost information about work comp,

1 days away and those types of things, but we have
2 very little cost about the impact, the economic
3 impact to the cause to the worker that doesn't get
4 reimbursed from any recognizable source other than
5 that worker's own pocket. We also wanted to make
6 sure we could look at the intervention in terms of
7 the injuries before the intervention, after the
8 invention, and look at the cost savings.

9 So those are the three things that we would like
10 to make sure that we can push into the agenda
11 based on our experience of having a lot of
12 information and data. And it's not just for a
13 large company like Ford, it could be for small
14 companies and down to the small business of the
15 workplace. Thank you very much.

16 **DR. WOEBKENBERG:** Thank you very much to panel
17 number one. After panel number two we'll go ahead
18 and take a 15 minute break. The next panel is
19 David Deubner, Manuel Gomez, John Morawetz, and
20 Chris Henderson.

21 **MR. DEUBNER:** I'm David Deubner, Medical Director
22 for Brush Wellman, Incorporated. Brush Wellman is
23 headquartered in Cleveland, Ohio, its largest
24 manufacturing facility is just outside of Toledo.
25 Brush Wellman is the largest world-wide supplier

1 of beryllium materials.

2 So what's beryllium? Beryllium is a light-weight
3 grade metal. It and its alloys and compounds are
4 used in a variety of important products. From
5 medical lasers and X-ray machines to
6 telecommunication satellites, to building fire
7 sprinkler systems, as we have here, to bushings
8 and bearings in commercial and military aircraft.
9 The reason I'm here today is to report on and
10 thank NIOSH for the research on which we have
11 collaborated for the past eight years. This
12 collaboration is a model for government industry
13 interaction to further the health and safety of
14 workers in the manufacturing sector.

15 In 1997, with the backing of company management, I
16 wrote to NIOSH requesting help in better
17 understanding how beryllium could affect health so
18 we could improve protection of workers engaged in
19 the manufacture of beryllium materials and
20 products. We received a very enthusiastic
21 response from NIOSH. In 1998, we signed a formal
22 agreement to work together. With NIOSH we have
23 conducted intensive studies in seven of our
24 manufacturing facilities. The outcome of this has
25 been the development of the enhanced beryllium

1 safety model, which we have implemented in our
2 facilities. With NIOSH, we are in the final stage
3 of preparing for scientific publication a report
4 that documents the effectiveness of our enhanced
5 safety plan. Our workers are healthier thanks to
6 the efforts of NIOSH.

7 In addition to converting research to practice in
8 our own facilities, we are currently beginning a
9 process with NIOSH in a project of how to best
10 communicate this enhanced safety model throughout
11 the downstream beryllium manufacturing industries.
12 We are also working together to better understand
13 the broader implications of some of the lessons
14 learned with beryllium. As an aside, I have
15 personally consulted to portions of the
16 diisocyanate chemical industry and the cobalt
17 industry on how the NIOSH industry collaboration
18 can be mutually beneficial.

19 NIOSH and Brush Wellman are collaborating -- just
20 beginning collaboration on the case study of the
21 business case for improved industrial safety. We
22 are exploring the potential applications of some
23 of the technical aspects of beryllium safety to
24 emerging technologies, such as you just heard,
25 nanotechnology, as well as other occupational

1 hazards that involve either very low levels of
2 exposure or allergic mechanisms of disease
3 causation.

4 The NIOSH/Brush Wellman work-together has required
5 mutual respect for the missions and the practical
6 realities of the respective institutions, as well
7 as the continuous support of management in both
8 Brush Wellman and NIOSH. It has also required
9 ongoing work on both sides to identify potential
10 misunderstandings and to surface and resolve
11 potentially divisive issues.

12 One of the greatest benefits to Brush Wellman has
13 been the enthusiastic support of workers for the
14 NIOSH relationship and the research. Brush
15 Wellman workers have developed improved trust in
16 the company's commitment to their safety as a
17 result of receiving the company's openness and
18 inviting NIOSH into its plants, and as a result of
19 the consistent communications of research results
20 and safety coming directly from both parties to
21 them. We hold an annual conference in Morgan Town
22 to which we bring a group of production and
23 maintenance workers and supervisors. And these
24 workers have also taken great pride in showing to
25 NIOSH at this conference their dedicated work in

1 implementing a variety of the aspects of the
2 enhanced beryllium safety plan.

3 In conclusion, both objectively and subjectively,
4 the NIOSH relationship has been a win for Brush
5 Wellman, for which we are thankful. Thank you.

6 **MR. GOMEZ:** Good afternoon. I'm Manuel Gomez, the
7 Director of Recommendations and Outreach for the
8 Chemical Safety and Hazard Investigation Board.
9 And before I tell you what I'm here to talk about
10 on behalf of the Board, I wanted to tell you a
11 little bit of a story.

12 We have at our office a chemical incident
13 reporting system because we investigate chemical
14 accidents, and I carry with me the name on
15 everybody's existence, one of these little
16 gadgets. So I looked at sometime around noon this
17 morning, and it turned out that two workers were
18 killed in a Texas incident in a hazardous material
19 treatment facility. And 15 were injured, some of
20 them apparently seriously, in an electronics
21 manufacturing facility, I think a semi-conductor
22 one, somewhere in California.

23 I don't have the details, but I'm saying that at
24 the out set to put in perspective what I am going
25 to try to share with NIOSH today. In any case,

1 the Chemical Safety Board, the CSB for short, is
2 an independent federal agency that investigates
3 chemical accidents in fixed facilities. We're
4 modeled after the National Transportation Safety
5 Board, but we've been around for about a decade
6 only.

7 We look at root causes, as the gentleman from the
8 Ford Motor Company pointed out, and try to look at
9 not only equipment failures, but also inadequacies
10 in safety management systems, in regulations,
11 industry standards, volunteering and internal
12 industry standards, in any case, anything that
13 might be the root cause of an accident.

14 Our investigations result in recommendations that
15 may go to regulatory agencies or even research
16 agencies. We, in fact, have one to NIOSH from an
17 earlier investigation. They can go to the plants
18 themselves, to corporations, through labor unions,
19 to extend their develop organizations in short to
20 any number of different institutions.

21 I can cite two examples from the region in the
22 event that there are still some of our guests here
23 from this area in Ohio. In 2003 we investigated
24 an incident of nitric oxide explosion in Miami
25 Township, which is not far from here.

1 Fortunately, there was only one injured worker
2 there. Fortunately in the context of what I said
3 earlier, but, of course, not certainly fortunately
4 for him. But there was also damage to several
5 nearby homes.

6 The second one that I can mention, which is
7 somewhat related to the area, is that we have a
8 2005 urgent safety recommendation to BP out of a
9 Texas city incident in which 15 people died in
10 March of last year. That recommendation is to
11 conduct a very major study about safety culture in
12 the entire company. And one of the facilities
13 which they will be looking at, or perhaps has
14 already looked at in a panel that was formed as a
15 result of our recommendation, is a facility near
16 here in Toledo, Ohio, one of their refineries.
17 I brought with me, by the way, and I have outside
18 copies of some of the paperwork reiterating or
19 talking about what I'm saying, a FAQ sheet about
20 the CSB, and two CDs that have, one of them has
21 all of our investigations, the reports, and the
22 other one has several short videos that we've
23 begun to create to do outreach with regard to the
24 lessons that we draw from our investigations.
25 We're led by a Presidential-appointed board, and

1 I'm here on their behalf. Our Chair, Carolyn
2 Merit (*), considers of NORA, and I'm actually
3 quoting, it's a defining frame work for the
4 nation's occupational safety and health research
5 goals in the past decade. And so we're very happy
6 as the CSB to be here, and we're pleased to
7 collaborate with NIOSH in their efforts to revamp
8 the NORA agenda.

9 They recently -- the Board recently voted --
10 unanimously voted for a statement suggesting that
11 NIOSH incorporate into NORA research in the future
12 topics that focus on chemical process safety and
13 the prevention of accidental releases of chemical
14 substances through explosions, fires, and similar
15 incidents. We think that NIOSH can accomplish
16 this by a combination of in-house and extramural
17 research, and by being a catalyst for such
18 research and partnerships with other stakeholders,
19 which hopes to speak at this manufacturing sector.
20 But I should point out that we could probably just
21 as well have gone to a cross-sectional meeting if
22 it had occurred because many of the incidents that
23 we investigate, in fact, many chemical incidents
24 occur not only in the manufacturing sector, either
25 the producers of chemicals or the users of

1 chemicals, but they occur in practically every one
2 of the other sectors that you saw on the slide.
3 Research in this area of chemical process safety
4 we think will address very serious hazards that
5 effect large numbers of workers. The available
6 data don't permit us to make really good
7 estimates, but at least in 1992 when OSHA
8 promulgated their process safety management
9 standard they estimated the population at risk was
10 approximately three million workers.
11 I think it's probably safe to say that certainly
12 the population at risk remains at least at that
13 level, but I would dare say much higher because
14 the process safety management standard for which
15 those were made encompasses only a limited number
16 of substances after they go past a certain
17 threshold, amounts of the substances present in
18 the workplace. But chemicals exist in many
19 quantities and they're processed in many, many
20 different ways that are not necessarily covered by
21 the PSM standard. So the estimate of three
22 million effected workers by the risk of
23 catastrophic chemical incidents is probably very
24 conservative.
25 Not only that, but I think that we can -- we would

1 probably all agree that the growing concern with
2 chemical security, which is a related but closely,
3 closely related topic, it's very, very much, very
4 much touches on the question of chemical process
5 safety. Because to make chemical manufacturing
6 use transportation and handling safer, inherently
7 safer, it's also to make it less susceptible to
8 criminal intentional activity, such as terrorism.
9 We also think that research in the chemical
10 process safety area can bring important benefits
11 in other areas of health and safety. Because
12 management systems and I would dare say that many
13 of us here have been hearing that word a great
14 deal, play a central role in the prevention of
15 catastrophic incidents, as they do in any health
16 and safety programs and practices. In fact, the
17 use of management systems across a safety
18 introduces principals and procedures into the
19 workplace that can improve health and safety far
20 beyond just the prevention of incidents, chemical
21 incidents, or chemical release incidents.
22 The requirements of the OSHA PSM standard, in
23 fact, one could argue, were the forerunner of
24 ideas that are now contained in the more recent
25 and more comprehensive management system

1 approaches that we see in ANSI Z-10, the National
2 Voluntary Consensus National Standard for
3 Occupational Health and Safety Management Systems
4 in the vital guideline on the same topic, and in
5 the commercially available technical
6 specifications called 18,000. It's got a long
7 name, but I probably won't -- can't even remember
8 it.

9 For example, OSHA PSM requirements require, and
10 that's of course for that narrow, relatively
11 narrow group of substances and therefore companies
12 that are covered by that standard, but it required
13 the systematic collection of safety and health
14 information about the chemicals, processes, and
15 equipment, as well as process hazard analysis of
16 that information. It requires a lot of other
17 things, but I'm giving that as an example. These
18 two steps in PSM are called process safety
19 information, PSI, and process hazard analysis is
20 called PHA.

21 Well, if you leave the jargon aside -- in fact, if
22 you take the word process out, you're really
23 talking about the more traditional approach,
24 risk-assessment approach, which applies to all
25 health and safety; what have we got here, how

1 hazardous is it, what is the size of the risk, and
2 then you go on to what do I do about it and how do
3 I prioritize it. That's what management systems
4 do.

5 So I would argue that this kind of proactive
6 management systems approach, which is inherent
7 PSM, in the process safety arena it's applicable
8 to prevention of chronic health and safety --
9 health hazards and safety hazards and other kinds.
10 NORA has not explicitly included work on this area
11 of process safety in the past. And, in fact,
12 researching this area has been relatively scarce.
13 And as a result, there are many gaps in knowledge
14 that that kind of research could address.

15 In our statement we list a few as examples. We're
16 not trying to point them out in any particular
17 order of priority. But to give you a feel, we can
18 research how to measure and improve the
19 effectiveness of emergency-preparedness programs
20 for releases of toxic chemicals. We need to
21 improve the information regarding catastrophic
22 chemical hazard potential that is contained
23 material safety data sheets. We run across that
24 all the time in our investigations, the absence of
25 that information.

1 We need to better understand the possible safety
2 impacts of a large contractor workforce in the
3 chemical industry, and especially the
4 petrochemical industry where we think that the
5 percentage of contractors runs to 15 to 20
6 percent, a very large proportion who are not
7 working directly for the employers. We need to
8 learn how to better and more objectively define
9 what people call these days safety culture,
10 perhaps by combining the ways we're trying to
11 measure the effectiveness of occupational health
12 and safety management systems.

13 It would be useful to develop and implement
14 methods. Guess what? To reach small and
15 medium-size businesses, although I won't belabor
16 that one; I think we hit on it real hard before
17 during in this event. But particularly learn how
18 to get -- learn better ways of getting the lessons
19 out to them. We're all tried, but none of us know
20 how to do it very well at all. So we've got a lot
21 of learning there, and I think research could help
22 a great deal.

23 And, finally, we need to improve the data that are
24 now available to measure trends in accidental
25 chemical releases and their impacts. You know,

1 there's a phrase out in the business world that
2 says if you can't measure it, you can't manage it.
3 And we can't and don't measure very well lots of
4 things in health and safety, but certainly one of
5 them is how many accidental chemical releases we
6 have.

7 So to conclude, I guess I may have run out of time
8 already, but I think we're flexible, and to
9 reiterate, the CSB believes that NIOSH is in a
10 unique position to stimulate research in the area
11 of process safety and that this research can have
12 beneficial ripple effects in areas that are much
13 broader.

14 And as the new NORA takes shape we also would like
15 to emphasize that the CSB is very willing to
16 support, participate, collaborate, whether it's
17 with the research council on the cross-sector,
18 research council in what ever way is possible to
19 help better define what the most important areas
20 of research should be, to prioritize them, whether
21 they are the ones that I've listed or others that
22 we have identified. And on behalf of the CSB I
23 thank you for the opportunity to speak to you.
24 Thank you.

25 **MR. MORAWETZ:** Good afternoon. My name is John

1 Morawetz. I'm speaking today on behalf of the
2 International Chemical Workers Union Council of
3 the United Food and Commercial Workers Union. I
4 currently work for the chemical workers, both as
5 the Director of a national HAZMAT training program
6 for a consortium of seven unions and as the
7 (inaudible) Director of Health and Safety.
8 First, NIOSH has a proud history of service to
9 America's workforce. From health hazard
10 evaluations, industry-wide studies, (inaudible)
11 technology, to hazard alerts, library services,
12 respirator approvals and NIOSH pocket guide to
13 name a few areas. NIOSH is the primary national
14 research organization to protect workers. For
15 chemical industry, for peoples of companies both
16 large and small, NIOSH is an important source of
17 assistance in what is all too often a difficult
18 situation. (Inaudible) health and safety
19 activists, there is no other place for them to
20 turn to for all of these services and follow-up.
21 NIOSH provides essential services, and although
22 not all activities results in a scientific
23 article, they are invaluable. We have often
24 called NIOSH and you have rapidly responded both
25 walking us through technical subjects and meeting

1 our needs.

2 NIOSH conducts research in a tripartite format,
3 which involves both management and labor at each
4 stage. Workers all too often perceive themselves
5 as, at best, subjects and, at worst, guinea pigs
6 for research. Worker and union involvement helps
7 to minimize this, produce better and more useful
8 research, and is a practice to be consistently
9 implemented in all research efforts.

10 Second, occupational health and safety research is
11 not done for its own sake. It's done to identify
12 areas to intervene, to lower exposures, to help
13 assist in injury rates, to give workers and their
14 employers information to ask the right questions
15 and to get answers that will improve people's
16 working lives. All NORA projects, therefore,
17 should include evaluation on how the research is
18 utilized.

19 Third, NIOSH should continue their efforts to
20 investigate hazards, such as nanotechnology, mixed
21 exposures, and special populations. Industries,
22 hazards, and demographics change, and NIOSH must
23 have the necessary resources on hand to launch
24 investigations. Some may be industry specific,
25 while others will cut across various sectors.

1 Similar to the original NORA priority research
2 areas, and will therefore be an issue, I presume,
3 for the cross-sector research council.

4 Fourth, we all need to review the overall NORA
5 process and accomplishments to date and what are
6 realistic short and long-term goals. NIOSH has
7 continued to openly discuss what they're
8 accomplishments were at the first decade, what was
9 learned, and what questions remain in these
10 priority areas. I've tried to find current
11 information on the NORA website; however, it does
12 not look like some of these web pages are being
13 updated regularly.

14 Fifth, for all research documents needs to be
15 issued timely for our members and any recipient to
16 make full use of them. Clear recommendations and
17 brief synopsis, as well as the full document, need
18 to be available for NIOSH's hard work to be useful
19 to the communities it serves.

20 Sixth, the use of significant NIOSH resources in
21 recent natural disasters clearly will delay or
22 reduce most other efforts. Although we firmly
23 support securing all additional funding, the
24 political reality might be that NIOSH will have to
25 use existing resources. Rather than trying to

1 accomplish everything with finite resources, NIOSH
2 must have a plan to adjust its normal agenda when
3 responding to another anthrax incident, hurricane,
4 or public health disaster.

5 Seventh, we have serious concerns on the
6 possibility of contracting out NIOSH's workforce.

7 We do not believe that this is in the best
8 interest of either quality research, NIOSH's
9 workforce, many whom are members of the American
10 Federation of Government Employees, NIOSH as an
11 Institution, the companies and workers who are
12 NIOSH stakeholders, or our national interests.

13 Chasing the allusive rhetorical goal of cheaper
14 work all too usually only serves the lucky
15 contractor and few else.

16 Eighth, and related, is the need to preserve and
17 strengthen your highly qualified and dedicated
18 workforce. While there are many excellent
19 professionals outside of NIOSH, many of whom are
20 here today, a strategic view should balance the
21 contracting out of research projects with the need
22 to preserve your internal professional resources.
23 Specific priorities will change, but ensuring your
24 strong professional staff and Institution is
25 crucial. From the national perspective, NIOSH

1 adds a valuable public health approach. NIOSH
2 needs to remain institutionally separate within
3 the nation's public health structure to ensure
4 continuing and appropriate emphasis upon
5 protecting our workforce.

6 A recent example of NIOSH's contribution was a
7 collection of anthrax exposure data when a
8 musician was infected in New York City. The rapid
9 use of antibiotics to his friends and fellow
10 musicians, one might say fellow workers, is a
11 protective measure that we learned after the
12 failure to take these steps for Washington, D.C.
13 postal workers in 2001. Tragically, occupational
14 health research all too often reaches conclusions
15 at the expense of the health of workers as in the
16 -- I'm repeating myself, in the death of postal
17 workers in 2001.

18 NIOSH and its NORA agenda is a vital institution
19 in investigating and disseminating information to
20 decrease this national burden. Thank you for your
21 time.

22 **MR. HENDERSON:** Good afternoon. My name is Chris
23 Henderson. I've been in the food business for 17
24 years in the safety and health systems. I
25 actually graduated from the Rocky Mountain

1 Research Center. And I'm actually here today
2 representing the Poultry Industry Safety and
3 Health Committee. That is a committee whose
4 member companies employ 250,000 workers in the
5 United States. But based on my experience in the
6 food and pharmaceutical business, I can tell you
7 that the concerns that that committee asked me to
8 bring to you are concerns that the entire food
9 industry share, and I have no idea what they
10 employ.

11 I actually on the agenda I put down for two
12 subjects. The first one is impact of cold on
13 musculoskeletal illnesses. I will not address
14 that, because we're fortunate that a local
15 employer in the area is able to attend, Mr. Kevin
16 Reed, and he's going to address you following me,
17 and he's going to talk on that. But I am going to
18 talk a little bit about chloramine exposures and
19 the concern that is in our industry. And,
20 actually, we had a safety committee meeting in
21 February and we were discussing the subjects that
22 we voted on to bring to this meeting, and I was
23 shocked that this was such an issue within our
24 industry, having been in the industry for 17
25 years. I've only had two experiences, both of

1 these in my personal work were just in the past
2 few years with chloramines.
3 So I need to give you some quick background to let
4 you understand how insidious these are in the food
5 business. We use chlorinated water a lot in the
6 food business. Usually it's to rinse equipment at
7 the end of the day, but it can be used during the
8 processing also. For example, returns on
9 conveyers or a conveyer loop going back on the
10 bottom. If it's bringing a product on that
11 conveyer, it will be rinsed with a spray of
12 chlorinated water. When chlorine in water, a
13 solution is combined with ammonia it produces a
14 gas, a various gas of chloramines. These are very
15 obnoxious or irritating to employees. We have no
16 means to monitor chloramine in the workplace at
17 this point.
18 My first experience was I got a call from a plant
19 that employees were extremely upset and
20 complaining and complaining month after month
21 about the irritating chlorine. But yet the safety
22 health people at the plant were monitoring the
23 chlorine levels, and there was no significant
24 exposure taking place. I hated to do this because
25 it was in the middle of the night that I had to go

1 out there to the plant and I took my meters to
2 verify and sure enough the level of chlorine in
3 the air was quite acceptable. But yet you could
4 look at the workers and all of them had bloodshot
5 eyes. So either they were having a real good time
6 and I didn't know about it, or something was going
7 on. There was a very faint smell of chlorine.
8 And this was my first exposure. It took me about
9 a month to figure out what was happening there.
10 And this is usually the way it is with chloramine
11 exposures.
12 I took did a little research coming down here
13 today. It just so happens there is a local
14 facility, a food company in this area, that has
15 had a suspect of chloramine exposure in which six
16 workers were sent to the hospital just a couple of
17 weeks ago. Now OSHA and EPA are trying to
18 determine how those chloramines formed, and they
19 have a couple potential solutions or a couple of
20 reasons that they're investigating.
21 But really we don't have any idea what kind of
22 exposure is out there, how many workers are having
23 these problems. I think it is being missed. As
24 an example, at our meeting we were having this
25 discussion and I asked for a raise of hands of all

1 the members there with our committee, how many
2 have had a suspect chloramine issue. About half
3 of those raised their arms, which shocked me. I
4 thought there would be two or three. Which then
5 makes me wonder if maybe the other half that
6 didn't raise their arms probably have also had
7 issues, they just don't know it.

8 And what we would need from NIOSH is some sort of
9 estimate about what exposures are occurring in the
10 workplace, what their causes are, and most
11 importantly, what can we do about it.

12 Particularly, there would need to be some effort
13 put into how can we monitor it and determine when
14 we have an exposure. I think that's all I have.
15 Any questions?

16 **DR. WOEBKENBERG:** After the break we have three
17 speakers listed. Two of them are not on your
18 sheet. Kevin Reed, George Shaw, and Ralph
19 Froehlich. I invite you, if you would like to
20 speak also. We'll take a 15 minute break, but
21 please come up and let me have your name and we'll
22 put your name on the list as well. So we'll
23 convene about 20 minutes up. Thank you.

24 (Whereupon, a recess was taken from 2:15 p.m. to
25 2:30 p.m.)

1 **DR. WOEBKENBERG:** So our next panel, we have Kevin
2 Reed, George Shaw, and Ralph Froehlich. Kevin,
3 you may start.

4 **MR. REED:** Good afternoon. My name is Kevin Reed.
5 I'm the Safety Manager for Cooper Farms Processing
6 in Saint Henry, Ohio. I'm here as part of the
7 Poultry Industry Worker Safety and Health
8 Committee. My subject is the impact of cold work
9 environments on musculoskeletal injury rates.
10 Although cold environment is generally accepted as
11 contributing to musculoskeletal injuries within
12 the meat industry, the significance has not been
13 described. Anecdotally, highly repetitive work in
14 warm environments, such as hatcheries and
15 evisceration departments, does not result in the
16 level of symptoms that are reported in
17 refrigerated environments.
18 For example, at one federal OSHA program location
19 the incident rate for the evisceration department
20 where the average room temperature is 50 to 52
21 degrees and meat temperature is over 100, the
22 incident rate was 4.1. Yet, at the same location
23 in the de-boning department where the average
24 temperature is 44 to 47 degrees and meat
25 temperature is 45, the incident rate was 7.3 to

1 8.5.

2 Epidemiological studies could provide some
3 quantification of the impact of cold on repetitive
4 work. This would benefit both industry management
5 and regulatory concerns in accessing efforts in
6 ergonomics. A more formal understanding of this
7 relationship, if it proves to be significant,
8 could also lead to industry-wide changes in work
9 practices. And that's it. Thank you.

10 **MR. SHAW:** Good afternoon. My name is George
11 Shaw. I'm with NK Parts. We are a Honda supplier
12 in Sidney, Ohio. We provide logistic and
13 manufacturing for Honda facilities.

14 Two issues that I would like to address for NIOSH
15 and NORA agenda. First of all, ergonomic
16 modeling. That is our primary concern at NK Parts
17 is improving the ergonomic risk factors in our job
18 processes for both the warehousing and the
19 manufacturing. Currently we have seven models
20 that we have been using; the NIOSH lifting
21 equation, the University of Michigan 3DSSP, the
22 rapid upper limb assessment in job streaming.
23 These all provided useful information in modeling
24 and assessing risk to form a (inaudible).
25 However, each of those has some significant

1 limitations. First of all in terms of (inaudible)
2 that it covers. The shoulder (inaudible) aren't
3 addressed in any of these models, some of them
4 address the back, some of them address the upper
5 extremities. We've had some significant cost
6 associated with the shoulder.

7 Also, secondly, this does not address the aging
8 workforce. In none of these models is the age
9 range of the associates doing the job in a
10 variable that is input into this model. So we
11 feel this is also a shortcoming that can be
12 addressed during ergonomic modeling over the next
13 decade.

14 Second of all tying into that is cost analysis.
15 After we've identified the jobs and we've
16 prioritized for the next fiscal year, we have to
17 do a cost-benefit analysis to justify the cost of
18 the improvements we want to make. And currently
19 we can do a good job of assessing the direct cost;
20 looking at the workers comp history of these
21 injuries, both of that we have had in our plants
22 and through industry averages. However, we do not
23 have a good handle on indirect costs, things like
24 overtime, lost production, supervisor time,
25 retraining. And so a good method in measuring

1 indirect costs will help justify some of the
2 projects that we want to do in the upcoming. And
3 that's all I have. Thank you.

4 **MR. FROEHILICH:** Good afternoon. My name is Ralph
5 Froehlich. I'm a certified industrial hygienist
6 and consultant with Helix Environmental in Dayton,
7 Ohio. I'd just like to recommend three areas of
8 additional consideration for the National
9 Occupational Research Agenda.

10 First, and I think the most important, is
11 additional research on the interactions between
12 chemical air contaminants. You've heard about
13 chloramines being a concern, that's the
14 interaction between two chemical contaminants and
15 the reaction products. But there are additional
16 reactions that can occur inside of people to
17 multiple chemical exposure venues. And while
18 we've done a pretty good job of identifying direct
19 chemical health effects for about 700 air
20 contaminants, we've done a very poor job in
21 looking for interactions and the health effects of
22 multiple chemical exposures, and I think that it
23 is time for that to be a major focus of the
24 national agenda.

25 The second issue that I think requires a lot of

1 work in the manufacturing sector is the health
2 effects associated with increasing use of
3 promenaded organic materials that are used as
4 drop-in substitutes for chloric-chlorinated
5 insolvents.

6 There has been some evidence of reproductive
7 health effects associated with these promenaded
8 compounds. And because of those rather dire
9 health consequences, I think a lot more research
10 needs to be included in the national agenda; just
11 looking at the direct health effects of those
12 promenaded compounds, especially the reproductive
13 health effects.

14 Finally, indoor air quality remains a concern in
15 all sectors of the economy, and we are poorly
16 equipped to define acceptable indoor air quality
17 at this time. So that is a significant research
18 need in my opinion. We also need to define the
19 levels of biological and surface -- air and
20 surface contaminants in indoor air quality
21 complaint situations so that standards can be
22 developed against which measurements can be
23 compared, both for problem and non-problem indoor
24 environment.

25 Finally, I strongly recommend that the research be

1 directed to define the best practices for indoor
2 air quality communication and involvement. Often
3 times we've been involved in indoor air quality
4 complaint situations where we can't identify or
5 even postulate any indoor contaminant being
6 present that we haven't sampled for. Yet, the
7 occupants still have significant concerns about
8 indoor air quality. Either we haven't looked hard
9 enough, or, more likely, we're having a horrible
10 time communicating our results to the occupants in
11 indoor air quality complaint situations. I see
12 this as a major research need for the next ten
13 year period. Thank you.

14 **DR. WOEBKENBERG:** I'd like to thank the last
15 panel. Is there anybody who would like to make
16 any comments before we go to summary remarks?
17 Yes?

18 **MR. AKBAR:** Thank you very much. I want to add to
19 something that the previous presenter was talking
20 about combining effects of chemicals together.
21 But I would like to add combined effects of
22 chemical and physical agents, particularly noise
23 and heat stress and ultraviolet radiation. And,
24 unfortunately, even though we don't have any
25 standards, OSHA doesn't have any direct standards

1 for UV and all of its physical agents.
2 I wonder if we can put that in our agenda to do
3 more research on, say, UV radiation exposure by
4 itself, and with the chemical. For instance, we
5 know with tar and so on create cancer. What other
6 chemicals? You don't know that. The same thing
7 with heated stress. Heated stress is something
8 that is just completely forgotten by us. Even we
9 don't understand this. You have some
10 recommendation from ACGIA. And any chemical
11 exposure, or any physical exposure, heated stress
12 is one of the contributing factors.
13 So there are some of the things that they could
14 probably put in the agenda for the next ten years
15 to work on is physical agents and non-ionizing
16 radiation. Thank you very much.

17 **DR. SODERHOLM:** Say your name again, please.

18 **MR. AKBAR:** Farhang Akbar, Medical University of
19 Ohio.

20 **DR. SODERHOLM:** Thank you very much.

21 **DR. WOEBKENBERG:** Anybody else? Going once, going
22 twice, sold to the man in the blue uniform on the
23 stage.

24 **DR. GRESSEL:** Okay, we're going to see how well I
25 do here with technology. I get the task of trying

1 to wrap up everything that was discussed mainly in
2 the afternoon session, but just wanted to give a
3 little bit of a wrap up of everything that we've
4 seen here today first.

5 The session that we had this morning, a very
6 active session, a very full session, we had 20
7 speakers. It tended to pretty focused. I mean,
8 we talked mainly about agriculture. A lot of
9 manufacturing, actually, was interspersed with
10 that and also a little bit of healthcare. But it
11 really focused a lot on those three sectors. But
12 then there were a lot of discussions as well on
13 things that really cut across all of the sectors
14 in terms of different things as far as the way the
15 NORA 2 process will be set up and how things will
16 be run.

17 In our session this afternoon we had eleven
18 speakers, 12 counting our last gentleman. And it
19 really covered a very wide range of topics. Just
20 to reiterate a little bit and give you an idea of
21 what we're facing in terms of the manufacturing
22 sector, you know, as Mary Lynn had mentioned, it
23 is one of the eight sectors. It is a very, very
24 broad sector. We have over 14 million workers in
25 the manufacturing sector. It includes 21

1 three-digit NAICS codes. If you break that down
2 to the six-digit level, we have nearly 500
3 different six-digit NAICS codes. And as far as
4 the different -- of the 21 NAICS codes, we
5 specifically had representatives either discussing
6 or representing seven of those here today.
7 And this just gives you a little bit of an idea of
8 the -- these are the 21 three-digit codes, the
9 sub-sectors, if you will, within the manufacturing
10 sector. The ones that are in bold are the ones
11 that individuals commented on with their comments
12 this afternoon.

13 Now, what I did before I got here, one of the
14 things that we did is we went to the NORA website
15 and looked at the comments that we had received
16 prior to this meeting, and we summarized those in
17 a few slides. And I figured, well, okay, I'll
18 take and add a few things to that and I'll have my
19 talk for closing things out this afternoon.

20 Little did I know that we probably doubled the
21 number of items that we had just in this meeting
22 between this morning's session and this
23 afternoon's. So what you're going to see here are
24 about four or five slides that in some ways are a
25 list, most of which actually were discussed here.

1 There were a couple of them that we did not really
2 discuss in any great detail here, but I thought I
3 would go ahead and present those to you as well.
4 Worker training is one of the issues. At the
5 previous meetings, town hall meetings, that I've
6 attended, worker training is one of the issues
7 that's come up time and time again. Small
8 businesses are another concern. I haven't been to
9 all of the town hall meetings, but small business
10 concerns were probably were voiced more here than
11 a lot of other town hall meetings that have been
12 held.
13 MSDs and ergonomic issues, those are items that
14 have come up frequently at other town hall
15 meetings, that they were well represented at this
16 one as well. Nanotechnology; that is something
17 that is sort of unique to the manufacturing
18 sector, and, again, was a focus by a couple of the
19 different speakers that we had today.
20 Global occupational safety and health issues were
21 discussed primarily this morning by Dr. Clark and
22 a couple of other speakers, and then also chemical
23 process safety that was highlighted by our speaker
24 from the Chemical Safety Board.
25 Aging workforce is another issue that has come up

1 in a number of different town hall meetings and
2 again was well represented here today as well.
3 Then we also had some discussion about the
4 chemical industry and chloramines in meat --
5 excuse me, chloramine exposures in meatpacking
6 facilities, which that is a very specific type of
7 an item. It's something that, you know, we need
8 to hear about those types of concerns as well.
9 Most of this list here are things that came from
10 the website and weren't specifically discussed
11 today, but I'll sort of throw them out so that
12 people have a chance to think about them. Some of
13 those items include control banding,
14 infrastructure protection, health-effect research.
15 One of the items that was brought up here was
16 migrant -- or immigrant labor issues. There have
17 been issues associated with wireless technology
18 work stress, occupational asthma, contracting out
19 of safety and health tasks, that was actually
20 covered this afternoon, and some discussions about
21 what NIOSH would be doing as far as the NORA 2
22 process.
23 Economics of injury and return on investment. We
24 heard a number of things associated with economics
25 and things associated with workers' comp costs and

1 how we might conduct some research in order to try
2 to quantify the effectiveness of different
3 strategies for addressing occupational safety and
4 health issues.

5 We heard a couple of talks on obesity and its
6 effects on worker health and safety. As well as
7 some discussion this morning from the spouse of a
8 worker who was injured and addressed the whole
9 issue of injury diagnosis. We had a couple of
10 different speakers who spoke about noise, hearing
11 protection, exposure criteria, control issues.
12 And that was covered fairly well.

13 The issue of silica was raised, predisposed
14 workers, as well as engineering controls and
15 fitness and wellness programs. Along with the
16 predisposed workers, there were also issues
17 associated with medications and their affects on
18 injuries and illnesses. Alternative work
19 schedules, that's probably related in some ways to
20 worker stress. And then we had a series of
21 comments regarding the whole NORA process and how
22 that was going to be handled as far as setting up
23 the research council, and the various processes
24 that we will be going through.

25 There were some discussions concerning

1 surveillance and incident investigation, as well
2 as prioritizing actions, figuring out which
3 actions to take recognizing that we can't address
4 everything all at once. We heard someone discuss
5 beryllium exposure research that's being currently
6 conducted in a partnership with NIOSH, as well as
7 a discussion about the business case for health
8 and safety. The issue of culture of safety within
9 an organization was raised, along with the need
10 for indoor air quality research. And finally, we
11 had some discussion about securing chemical
12 facilities.

13 And then also a discussion about how NIOSH
14 research may be used. Along with that we saw long
15 and short-term goals for NORA, that there was a
16 request that those be explicitly stated. And
17 there was also a request for timely and also
18 appropriate NIOSH documents to try to address the
19 various hazards that we identify. There were some
20 issues associated with emergency response efforts,
21 as well as I mentioned before the contracting out
22 of NIOSH workforce.

23 There was a discussion on the effects of cold and
24 musculoskeletal disorders, along with the need for
25 enhanced ergonomic models. And then, finally,

1 multiple chemical exposures and brominated
2 compounds as far as research needed in order to
3 address some of these issues that we may be
4 encountering at this point.

5 Now that we've completed this town hall meeting,
6 and we'll be wrapping a number of other town hall
7 meetings in the next few weeks, where does that
8 sort of leave us? One of the things that we need
9 is NORA participation in the NORA sector research
10 councils. Specifically, a lot of you are here
11 today because you're interested in manufacturing,
12 this being the manufacturing town hall meeting.
13 We really need representatives from manufacturing
14 across all of manufacturing. That would include a
15 lot of you out there in order to help us to put
16 together this research council so that we can help
17 put together the research strategy that not only
18 NIOSH, but also the nation should be adopting and
19 following. And we need people from a wide variety
20 of different disciplines and organizations. So if
21 you're so inclined, I would strongly encourage you
22 to volunteer and get involved.

23 This is a slide that was shown a little bit
24 earlier for more information or to provide input,
25 there are a number of different places where you

1 can go to try to get more information or to try to
2 make contact. Probably the key one down there is
3 the e-mail address. That's Sid's NORA Coordinator
4 e-mail address. If you're interested in
5 volunteering for the NORA sector research council,
6 you can contact him through this e-mail address,
7 or you can contact myself or Mary Lynn.

8 And just a reminder that the NORA symposium will
9 be April 18th through 20th, coming up in just a
10 little over a month. And here's contact
11 information for Mary Lynn and for myself. Feel
12 free to, you know, give me a call or e-mail,
13 either one, if you have any questions or if you
14 would like to volunteer. We would like to try to
15 accommodate everybody, but we're really looking
16 for input from everybody. Any questions at this
17 point?

18 **MR. BEAN:** Sid, I think you're on to -- is Max
19 going to do it?

20 **DR. SODERHOLM:** I think I'm going to cede my two
21 minutes to the distinguished man from Maryland.

22 **DR. WOEBKENBERG:** Before Max gets to the
23 microphone, on behalf of Mike and myself, I wanted
24 to thank you all for attending this afternoon and
25 for providing your input to the Institute. But

1 rest assured that it will be taken to heart and
2 that the people who need to see it will in fact
3 see it.

ADJOURN

4 **DR. MAX LUM**

5 **DR. LUM:** Just before we thank our post once more,
6 you know, just some personal impressions of, I
7 guess, ten years. I mean, we can pick out areas
8 such as nanotechnology that we didn't have a clue
9 about ten years ago, or immigrant workers, I mean,
10 as far as the strength of what we're hearing
11 across the town hall meetings. But I very much
12 like the construct we heard from the National
13 Safety Council this morning which talked about
14 engineering, enforcement, and education, the three
15 Es. They haven't gone away.
16 You know, we still have some issues that we've had
17 for many years. And that construct of the three
18 Es, engineering, enforcement, and education still
19 seem to apply, but we've added some, clearly. And
20 I guess looking out over these town hall meetings,
21 the nine that we've done, only three more to go,
22 we've added four Es. I think the safety council
23 pointed, I think, three of those were economics, I
24 think, efficiency, we're hearing that, is this
25 useful, you know, can we work with the federation

1 of independent business and provide them useful
2 information. Is it effective? That gets to our
3 outcome, the R-to-P effort that we really are
4 going to take a look at the information that we
5 produce, does it lead to outcomes, and can we
6 characterize those outcomes in a way that people
7 can understand.

8 But I think I'd add a fourth E and that is
9 enterprises, and that's small and medium
10 enterprises. Certainly we're hearing more, and we
11 heard here about the need for us to think about
12 reaching, I think, small businesses, small and
13 medium enterprises in a much better way.

14 So to me, again, I thought it was very useful. As
15 I look out here I want to thank you again for
16 coming, but I really want to thank you for
17 staying. And if you look around I don't think
18 incentive is really -- or motivation is a problem
19 with the folks that work in this field. I mean,
20 we're all trying to do the right thing from our
21 perspective. And, certainly, we are trying to do
22 that at NIOSH. And we cannot do that effectively
23 and efficiently without public participation.

24 And that leads me to my final point. I'd just
25 like to ask Tom Bean -- is Tom around? Tom, come

1 on up here. We have this plaque which is -- you
2 can even use the OSHA non-approved stairway.
3 May we get a picture of this? Just a memento of
4 this work that you've done, it won't help you with
5 your income taxes or anything, but it is a little
6 bit of a plaque to remind us how important your
7 work in getting this meeting together has been.
8 If I could read it, take my glasses off, I can
9 read it. For your leadership and organizing a
10 town hall meeting for the National Occupational
11 Research Agenda. We appreciate your dedication in
12 advancing the safety and health of workers in your
13 region and throughout the nation. Thank you very
14 much.

15 **MR. BEAN:** Thank you. I would be remiss if I
16 didn't mention my colleague Cynthia Brundage (*)
17 right back here. Cynthia, would you just stand up
18 for me?

19 **DR. LUM:** Thank you. Finally, if we could ask
20 Scott to come up. Again, the unapproved stairway
21 will be okay. And we say that, I mean, tongue and
22 cheek, but there's a wire here and it's not taped
23 down. So we're aware of what we should be doing,
24 but we haven't done it. Again, the same
25 University of Cincinnati Education and Research

1 Center, and, again, you know, for your leadership
2 and organizing a town hall meeting for the
3 National Occupational Research Agenda. To me, the
4 key word in this is leadership. You really have
5 led this effort. We appreciate all the folks here
6 that have worked so hard. Thank you very much.

7 **DR. CLARK:** Thank you. I want to thank our whole
8 audience also, plus team at U.C., and the Ohio
9 State folks, particularly Amber Twitty. Also, the
10 working with the NIOSH team on this from
11 Washington, Cincinnati, and Atlanta. And it was
12 enjoyable. I hope you can do it again some time.
13 Thank you all.

14
15 (Whereupon, the meeting adjourned at 3:15 p.m.)
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF COBB**

I, Shane Cox, Certified Court Reporter, do hereby certify that I reported the above and foregoing on the day of March 6, 2006; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 4th day of April, 2006.

SHANE COX, CCR**CERTIFIED COURT REPORTER****CERTIFICATE NUMBER: -2484**