

1 to be the target. It's going to be.

2 If you want to reach the 30 percent of
3 response rate, you can predict--you can calculate
4 the target.

5 So, the analysis is not about the efficacy
6 of tipranavir itself. Because as compared with
7 comparator here, at this IQ ratio, tipranavir shows
8 advantage over the control arm.

9 It's about "can we do it better for the
10 patients?" For example, patients here, they have
11 low IQ, but they're still better than comparator.
12 But if you can increase those to increase the
13 inhibitory quotient, you can do better. That's
14 what our analysis showed.

15 So, again, what target is going to be
16 depends what response you expect.

17 DR. ENGLUND: Dr. Morse, does that answer
18 your question?

19 DR. MORSE: I think my coffee ran out,
20 there. Sorry. Go ahead.

21 I can't tell if they were going to present
22 something up there, or--

23 DR. NAEGER: Yes, we have an additional
24 table looking at the response by IQ, which might
25 make it easier to see.

1 Back up--36.

2 DR. MORSE: While you're getting that, I
3 could just clarify my question.

4 I think I'm thinking more of the way
5 lopinavir data was presented, with change in viral
6 load as different IQs were reached. Maybe that's
7 just--I'm not sure I saw that in either of those.

8 DR. NAEGER: We looked at--

9 [Slide.]

10 --this shows proportion of responders, and
11 this looks at the spread by the median IQ of
12 76--this is for the RESIST 1 and 2 trials, and then
13 also by quartiles.

14 And you can see those less than 76, the
15 response rate was 29 percent; greater than 76, 64
16 percent. And then by each of the quartiles, you
17 can see the increase.

18 Does that help?

19 We don't have it by change from baseline.

20 DR. MORSE: Yes.

21 DR. ENGLUND: Okay. Edmund, did--does
22 someone else have a question about this IQ?
23 Because I'd like to relate to this here.

24 Okay. Well, you already got to ask a
25 question.

1 DR. CAPPARELLI: And, actually, mine has to
2 do a little bit with a procedural question.

3 Half the IQ obviously is the trough
4 concentration. And as what I've heard--and I
5 haven't heard it explicitly stated, but I think it
6 was mentioned by the FDA presentation--that there
7 was a range of times at which things were collected
8 that was acceptable for a C
min, from nine to 15
9 hours. Is that correct? Because that's a full
10 half-life. So there's an order of magnitude range.

11 And then the other related question is:
12 was this only done once? And, you know, from that
13 standpoint, if it was done more than once it would
14 be nice to have some feel for the intra-patient
15 versus inter-patient variability.

16 What's been expressed looks like it's sort

1 of a mix of both. But to understand those, I
2 think, has implications on our assessment of TDN.

3 DR. ZHENG: For the Phase III study
4 analysis we used trough concentration, actually,
5 from Phase III study trough concentration has been
6 collected. So that's the mean after three.

7 They also did analysis for Phase II study.
8 In that analysis, which is shown as the green line
9 here, they didn't use "observed" concentration.
10 They used predicted from [XXX sounds like POPPY
11 PEE] analysis.

12 So it means that for this analysis, the
13 time factor actually has been controlled. But not
14 for Phase III.

15 For Phase III we tried to limit the time
16 effect. That's why we limited sample collection
17 time from 10 hours to 12 hours--I'm sorry, 10 hours
18 to 14 hours, because trough concentration is the
19 time, 12 hours after the dose. So we know that
20 sampling time would have introduced error into this
21 measure. That's why, for the trough concentration
22 we used the penetration window--time window, which

1 is 10 hours to 14 hours.

2 DR. CAPPARELLI: And the intra-patient
3 variability?

4 DR. ZHENG: Intra-patient variability is 51
5 percent from--inter-patient variability is 51
6 percent. Intra--actually, is 36 percent. That's
7 based on the assessment from Phase II study.

8 In the Phase II study, samples were
9 collected at Day 7 and Day 14. Using that data, we
10 estimated the intra-subject variability.

11 DR. CAPPARELLI: But I think the Phase III
12 study, it may be different--the intra-patient
13 variability may be much different.

14 DR. ZHENG: Yes. I think in order to have
15 a better estimate on intra-subject variability, we
16 think Phase II data is more reliable because
17 samples were collected at Day 7 and Day 14, it's
18 more controlled.

19 For the Phase III study, the samples were
20 collected at Week 2, Week 4 and Week 16. So we can
21 see the spread of that.

22 So any difference could be intra-subject

1 variability. It could be the effect of the other
2 components.

3 So, to purely calculate, to estimate
4 intra-subject variability, we believe Phase II data
5 is more reliable.

6 DR. ENGLUND: Thank you.

7 Dr. Hall, did you raise your hand? Oh.
8 Well, then would you like to ask a question?

9 DR. HALL: No.

10 DR. ENGLUND: Okay.

11 Dr. Miller?

12 DR. MILLER: I was going to ask about
13 information on non-B subtypes, especially in
14 relation to the emerging mutations.

15 The question regarding information on
16 non-B subtypes, especially with relation to the
17 emerging mutations that come up under treatment.

18 DR. McCALLISTER: Dr. Mayers, please.

19 DR. MAYERS: Can I have "Resistance" slide
20 44, please.

21 [Slide.]

22 We've looked at a panel of non-B subtypes

1 against tipranavir, and I think the most
2 interesting one probably is the clade G, where
3 there are three natural polymorphisms that are
4 tipranavir-associated mutations. And at this point
5 we don't see any decrease in susceptibility. This
6 is mainly in Portugal, and we've just opening the
7 Expanded Access program in Portugal, so I don't
8 have any emerging data. But we are going to work
9 with Ricardo to look at is there a different path
10 to resistant with G's for example. So it's our
11 intention to work with outside investigators.

12 At this point, we have very few non-clade
13 B's in our pivotal trial program. Even in Europe,
14 most of the patients who are highly
15 treatment-experienced are clade B. So we think
16 we'll get a much better handle on this in our naive
17 patient program where we have a much more diverse
18 population and have a much higher prevalence of non
19 clade B. So we're going to follow that.

20 But this way, almost all of our data is
21 based on clade B pathway to emergence.

22 DR. ENGLUND: Thank you.

23 Dr. Maldarelli?

24 DR. MALDARELLI: Yes, I was wondering if
25 you might clarify two points, first, regarding

1 resistance.

2 With the phenotyping assay, was that done
3 in house, or as a commercial assay? There have
4 been changes in cutoffs and so forth with respect
5 to lopinavir and ritonavir over the last four or
6 five years. How were those handled with respect to
7 the trial? And sort of the same question in terms
8 of genotyping, how that may have changed over the
9 four years.

10 The other part of that question regards:
11 you mentioned position 48 as a point that it
12 appeared to be something that made the virus more
13 sensitive.

14 That's the first set of clarifications.

15 The second has to do with the conduct of
16 the trial itself. I was wondering if you might
17 expand on the protocol violations occurring in 50
18 percent of the patients; whether that was something
19 that occurred up front, occurred consistently

1 throughout the trial at single sites?

2 Secondly, with regard to protocol conduct,
3 the list of fatal events--at least the ones listed
4 in our book--in terms of cause of death was not
5 attributed in all cases, or at least not reported.
6 And the fact that you had an exclusion criteria for
7 patients who were not expected to live for, I
8 guess, a year, and a number of those deaths had
9 occurred at least within a week to six weeks of
10 entry.

11 DR. McCALLISTER: So, the three parts of
12 the question--I think for the phenotypic
13 information, we'll have Dr. Mayers first, please

14 DR. MAYERS: I'd like to initially have
15 slide 34 from "Resistance."

16 [Slide.]

17 Basically, almost all the samples we ran
18 for our pivotal trial program--and our analyses
19 were done by the VIRCO assay. But we have a set of
20 isolates that we've run with both VIRCO and Viro
21 Logic on the same sample.

22 And what I can tell you is basically: they

1 are linear and they do give the same break points
2 if you do an IQ analysis or a resistance analysis.
3 So it's our opinion at this point that the breaks
4 that you saw given were VIRCO breaks, but we
5 believe that we would get the same answer using
6 ViroLogic for the same data set. Because we did
7 look at one trial that way and found the same break
8 points.

9 The 48 mutation--I'd like to go to slide
10 51, actually.

11 [Slide.]

12 Interestingly enough, there are a number
13 of positions which are associated with decreased
14 resistance, or increased susceptibility to
15 tipranavir. Looking at position 30, 88 and 50--so
16 the 30 and the 50V, and the 88D all actually
17 produce viruses that are more susceptible to
18 tipranavir than you would have thought. So they
19 would actually be negative if we had a weighted
20 score.

21 48 doesn't show up in the phenotypic
22 susceptibility, but we also have seen that 48 is

1 associated with better virologic responses if it's
2 present than if it's not present.

3 So we've seen the same observation that
4 the FDA has made.

5 Does that answer the resistance part of
6 the questions?

7 DR. MALDARELLI: And in terms of your
8 scoring, the rules sort of evolved over the course
9 of the trial. Did you use rules at the end? Rules
10 at the beginning? Or did you evolve, as well?

11 DR. MAYERS: The rules evolved, but the
12 major rule change actually occurred right at the
13 beginning of the study before patients enrolled.
14 And what happened was, we showed our 52 data at the
15 Resistance meeting, and showed the responses to
16 lopinavir and the susceptibilities. And actually
17 we, because of our own Phase II program, ended up
18 redefining with Bayer what the phenotypic
19 susceptibility was to lopinavir, which is why it
20 went from 27 percent of the patients being scored
21 as resistant, to a very high percentage being
22 scored resistant.

23 So, unfortunately, we drove--fortunately
24 or unfortunately--we drove a major change in the
25 interpretation right before we opened our Phase III

1 program. But after that, there's only been modest
2 changes. We have allowed those to continue be
3 cause it did seem appropriate to give the docs the
4 de-tuned versions of the algorithms.

5 And, as you know, for VIRCO, with their
6 virtual phenotype, it changes--every night, I
7 guess.

8 DR. ENGLUND: Dr. Washburn?

9 DR. MALDARELLI: Actually--

10 DR. McCALLISTER: There were a couple other
11 pieces to his question.

12 DR. ENGLUND: Oh, that's right. Okay.

13 DR. McCALLISTER: Is that okay?

14 DR. ENGLUND: Yes.

15 DR. McCALLISTER: Protocol violations: the
16 protocol violations in the two RESIST studies were
17 larger than we had anticipated early on. However,
18 what we did was a sensitivity analysis that
19 actually would take those that were clinically

1 relevant and remove them from the data set. And
2 even after removing that large number of protocol
3 violations, as you saw, the treatment response was
4 still significantly superior in the tipranavir
5 arms.

6 And they occurred--I think, in direct
7 answer to your question--at multiple time points in
8 the study, in terms of screening violations, where
9 they might have checked the wrong box on the
10 eligibility criteria; or patients who made changes
11 in the whether or not they were going to use T-20.

12 There was no particular pattern to it.

13 DR. MALDARELLI: It seemed like there were
14 a number of violations in terms of entry criteria
15 for their genotypic analysis, and yet a number--or
16 at least 30 percent--consulted the expert.

17 Were any of those violations--did those
18 violations occur in those interactions?

19 DR. McCALLISTER: So you're asking: of the
20 patients who had protocol violations in terms of
21 the baseline resistance status, what were--I'm
22 sorry, I didn't follow the last part.

23 DR. MALDARELLI: Did they consult the
24 experts? So, in other words, could the experts
25 have excluded those patients for you--or did that

1 happen?

2 DR. McCALLISTER: Yes--we didn't look at it
3 in that way.

4 We required any investigator to consult
5 the expert if they wished to choose a PI that was
6 not considered the most susceptible on the report.
7 We also offered them to consult one of our experts
8 for any other reason.

9 But your specific question, we have not
10 looked at.

11 The third part of your question had to do
12 with the fatal events. And, as I mentioned
13 earlier, we did not apply any objective criterion
14 to keep people out of the trial. It was all
15 subjectively left up to the individual
16 investigators.

17 And, yes, there were some patients that
18 died early on. So those were potentially
19 mis-categorized.

20 DR. JAMES: I think another part of the
21 mortality question--that neither of us
22 presented--was that there were a number of subjects
23 who died in the pre-treatment period. So they were
24 screened and enrolled, however never made it to
25 treatment and died beforehand.

1 So this was a very sick population. And
2 it looks like maybe a very desperate population,
3 because the rule of living for at least 12 months
4 seems to have been ignored in some of these cases.

5 I think--I'm forgetting. There was
6 another part to the mortality question that I
7 wanted to address--oh, the causes of death. You
8 said that there were diagnoses--

9 DR. MALDARELLI: There were several that
10 were not reported/

11 DR. JAMES: --diagnoses that were
12 "unknown." And that's how the reports came in to
13 the FDA. "Subject found dead at home. No further
14 information available."

15 So where there was no further information,
16 that's all we had.

17 DR. McCALLISTER: Certainly we've made
18 aggressive efforts to follow up on those patients
19 as much as possible. But in some cases the
20 investigator had no ability to get additional
21 helpful data for us.

22 DR. ENGLUND: Thank you.

23 I think our last question before we're
24 going to break--but I just want to urge you--write
25 it down. Don't forget it. We have some time

1 afterwards for questions, but we're going to have
2 to take a break.

3 So--Dr. Washburn.

4 DR. WASHBURN: I actually wanted to go back
5 to follow up on the first question which was asked
6 by Dr. Grant. I'm still struggling with the issue
7 of compliance, given this study design.

8 It's somewhat reassuring to know that the
9 pill counts were equivalent in both arms. I think
10 we heard about 95 percent compliance. But,
11 unfortunately, that still leaves open the
12 possibility that in one arm the study subjects were
13 flushing their pills, and in the other arm they

1 were taking their pills.

2 Whatever they did, they did it before they
3 went for their follow-up visit.

4 In the tipranavir arm, we have the
5 reassurance of knowing that resistance mutations
6 emerged on therapy--which I take as kind of a
7 surrogate marker of compliance.

8 Do we have that kind of information in the
9 control arm?

10 DR. MCCALLISTER: What I can tell you is:
11 in order to leave the comparator arm and go to the
12 .17 study to receive tipranavir after the Week 8
13 escape, we did consider the possibility of a
14 patient intentionally sabotaging their own
15 treatment, and we did require not only the
16 confirmed virologic failure, but we required drug
17 levels. Those were measured, verified, and then
18 they were permitted to go--if they were there.

19 DR. WASHBURN: Thank you.

20 DR. ENGLUND: Dr. Bhore?

21 DR. BHORE: Yes--we were also concerned
22 about the compliance issue, especially in the

1 comparator arm. And we don't know if pill-count
2 data is really that reliable.

3 So, therefore we looked at data on the
4 drug concentration in the patients for those
5 particular drugs that they were assigned to. And
6 we did a separate analysis, which was not shown in
7 our presentation.

8 But what we did was we looked at patients
9 who did not--had undetectable blood concentrations
10 at Week 2 and at Week 4, and their viral load was
11 going up. So we regarded that as a suspect case of
12 patient's intentionally not taking their medication
13 so they can leave the trial and, you know, fail
14 early on.

15 And with that analysis, we found that the
16 treatment effect was about 10 percent--which is the
17 treatment difference--with a lower confidence bound
18 of 1 percent.

19 DR. CAPPARELLI: Just one question on
20 that--how frequently did you encounter the levels
21 being low at multiple visits? What portion of
22 patients was that?

23 VOICE: [Off mike.] [Inaudible.]

24 DR. ENGLUND: Excuse me. You've got to
25 identify yourself--or just repeat it.

1 DR. BHORE: That is Dr. Tom Hammerstrom,
2 who helped us out with that analysis. He's saying
3 it's 100 out of 500.

4 Only on the control arm, because we were
5 concerned about only the control arm not taking
6 their medication.

7 DR. CAPPARELLI: So it's 20 percent.

8 DR. ENGLUND: Okay.

9 Well, don't forget your questions,
10 Committee people.

11 I have a couple of announcements.

12 We're going to reconvene at 1:25 because
13 we really want to get through all our questions
14 today.

15 I need to have a couple of announcements:
16 the registered open public hearing participants
17 need to register at the registration desk if they
18 have not done so. This will be conducted after
19 lunch.

20 For the Committee members, we will be
21 escorted to the restaurant because if you don't
22 know the way, you will end up in the garbage dump.

23 [Laughter.]

24 You have to go outside the hotel, and I
25 can guarantee you that an escort might be helpful.

1 For those of you not on the Committee,
2 there are lunch places across the parking lot also.

3 So, with that, we will be dismissed until
4 1:25.

5 Thank you.

6 [Off the record.]

7 DR. ENGLUND: I'd like to call the
8 afternoon session to order.

9 And we're going to start with some--I've
10 just lost my person who's doing it. Anuja?

11 We're going to start with a brief awards
12 presentation, to be followed by the Open Public
13 Hearing.

14 And Dr. Birnkrant is going to announce
15 these awards.

16 Awards Presentation

17 DR. BIRNKRANT: Good afternoon, and welcome
18 back.

19 It is my pleasure to present Drs.
20 DeGruttola, Englund and Wood with these service
21 awards, as members of the Antiviral Drugs Advisory
22 Committee.

23 All three experts have served since 2001,
24 and have been involved in meetings ranging from a
25 drug for the common cold, to therapies for

1 hepatitis B, to clinical trial design issues for
2 topical microbicides, and the current meeting
3 today.

4 We want to thank them publicly for their
5 contributions to the FDA and the public health of
6 our citizens.

7 All three will receive plaques and a
8 letter from our Acting Commissioner.

9 Dr. DeGruttola--if you would come up
10 here--from the Harvard School of Public Health, we
11 would like to thank you for your time and effort
12 and your significant input to the FDA.

13 [Applause.]

14 DR. DeGRUTTOLA: Thank you.

15 DR. BIRNKRANT: Dr. Wood, from the National
16 Cancer Institute--we would also like to thank you
17 for your dedication to our Committee and for
18 providing valuable input, not only related to
19 pediatric drug development, but to antiviral drug
20 development in general. Thank you very much.

21 [Applause.]

22 DR. WOOD: Thank you.

23 DR. BIRNKRANT: And Dr. Englund, from the
24 University of Washington, for serving on the
25 Committee and participating in 11 meetings,

1 including chairing three meetings--and, again,
2 providing meaningful contributions to antiviral
3 drug development. Thank you very much.

4 [Applause.]

5 DR. ENGLUND: Thank you.

6 And I was told they would take it away
7 from me if we don't finish on time.

8 [Laughter.]

9 Open Public Hearing

10 DR. ENGLUND: So--with that, I would like

1 to open this meeting to the Open Public Hearing,
2 and I first have to read aloud a short paragraph,
3 prior to opening this to the public forum.

4 It states:

5 "Both the Food and Drug Administration and
6 the public believe in a transparent process for
7 information gathering and decision making. To
8 ensure such transparency at the open public hearing
9 session of the Advisory Committee meeting, the FDA
10 believes it is important to understand the context
11 of an individual's presentation.

12 "For this reason, the FDA encourages you,
13 the open public hearing speaker, at the beginning
14 of your written or oral statement, to advise the
15 Committee of any financial relationship that you
16 may have with the sponsor, its product and, if
17 known, the direct competitors. For example, this
18 financial information may include the sponsor's
19 payment of travel, lodging or other expenses in
20 connection with your attendance at the meeting.

21 "Likewise, the FDA encourages you, at the
22 beginning of your statement, to advise the

1 Committee if you do not have any such financial
2 relationships.

3 "If you choose not to address this issue
4 of financial relationships at the beginning of your
5 statement it will not preclude you from speaking."

6 And, with that, we'd like to have our
7 first speaker--our only speaker? Our only
8 speaker--Rob Camp from the Treatment Action Group,
9 who will present a summary statement.

10 DR. CAMP: Hi, my name is Rob Camp, and I
11 work at the Treatment Action Group in New York
12 City. Treatment Action Group is a non-profit AIDS
13 advocacy organization, and we have no conflicts of
14 interest. We receive approximately one-third of
15 our funding from private donations, one-third from
16 foundations, and one-third from a various array of
17 the pharmaceutical industry.

18 Our latest annual report can be seen at
19 www.treatmentactiongroup.org if there are any other
20 questions.

21 Also I'm going to talk about a paper that
22 I sent to the FDA, who was kind enough to

1 distribute it to the whole Committee here who's
2 here today. The full report is also available at
3 the same website: www.treatmentactiongroup.org, if
4 anyone would like to read the whole thing. There's
5 a short version outside.

6 The points I want to make have been signed
7 onto by a group of, right now, about 10
8 organizations around the United States--it's not
9 only Treatment Action Group. Ten organizations
10 from Washington, Texas, Chicago--may places around
11 the U.S.--as well as a national coalition of AIDS
12 activities called the Drug Development Committee.

13 Okay--getting right down to it--TAG
14 recommends--and all of the other
15 organizations--recommend that the FDA approve the
16 Boehringer Ingelheim application for accelerated
17 approval tipranavir/ritonavir to treat advanced HIV
18 infection in combination with other active
19 antiretroviral agents in treatment-experienced
20 adults with evidence of HIV replication despite
21 ongoing antiretroviral therapy.

22 I would just like to make a couple of

1 specific comments.

2 This morning we noticed that we heard a
3 lot from the sponsor, as well as the FDA, that the
4 resistance profile is fairly difficult and
5 complicated, and it needs to be clearly stated on
6 the label, as well as all patient information, as
7 clear as possible, for everyone to understand, how
8 and when it can best work with the mutations that a
9 patient may have.

10 A side question I had from this morning
11 is: did those docs who took advantage of the expert
12 advice, did their patients do better than those who
13 didn't use that advice?

14 Anyway--we also heard this morning that a
15 second active agent needs to be included in the
16 regimen with the tipranavir/ritonavir in this
17 patient population. And we heard mostly about
18 T-20.

19 It's too bad that we're not here today to
20 approve the combination of
21 tipranavir/ritonavir/T-20, because then I'd give
22 two really big thumbs-up. As it is, it's just sort

1 of-- [laughs]--no.

2 But the problem with T-20, of course, is
3 the problem it's always had: its cost and its
4 administration. So even though there is a good
5 second agent out there, it's not a perfect second
6 agent.

7 Tipranavir/ritonavir has a challenging
8 safety and tolerability profile. And in order to
9 "do no harm," clinical management needs to be
10 meticulously addressed: addressed by the Committee
11 here today, by the FDA, and eventually by the
12 sponsor when the drug gets out there on the market.

13 We strongly suggest that the patient
14 information for the two drugs, tipranavir and
15 ritonavir, be designed as one entity so the patient
16 understands what their taking. They're taking 400
17 mg of ritonavir. It's not a low-dose boosted
18 ritonavir. It's actually twice as high as any
19 other ritonavir-boosted PI that's out there now.
20 It's four times more than the ritonavir you'd take
21 with atazanavir.

22 So it's really important that people

1 understand that they're taking two drugs, and how
2 do these two drugs--how do you take those two drugs
3 together.

4 The studies we'd like to see before full
5 approval, within the next year, are dosing studies.
6 I think the IQ idea is very, very interesting. And
7 tipranavir/ritonavir, with alternate ritonavir
8 dosing, as well, could be investigated in various
9 populations.

10 PK studies, interaction studies need to be
11 done with new and upcoming ARVs, and concomitant
12 medications like PPIs, sildenafil, covermezapine,
13 etcetera.

14 What I was a little surprised about is
15 that even the studies that have been done, there
16 are no recommendations. When you have abacavir,
17 with a 40 percent decline in C
 max, there's no
18 recommendation? Why are these studies being done
19 if there is an effect seen and no recommendation
20 comes out of it?

21 We're glad that pediatric studies are
22 under way. Liver safety studies--guidelines need

1 to be developed in order to best define the
2 population that can most safely use this drug.

3 Long-term safety studies; complete and
4 rigorous safety data collection and reporting, both
5 within trials and in the real world need to take
6 place through a strong pharmacovigilance.

7 The recent developments in the Drug Safety
8 Division of FDA, as well as the recent FDA Safety
9 Act submitted by Senators Dodd and Grassley need to
10 be paid close attention to. Ideas like sentinel
11 sites, more effective signaling, FDA having more
12 authority over post-approval studies and marketing,
13 civil penalties, as well as more accurate warning
14 and safety systems are all overdue and needed in
15 HIV.

16 Eleven percent total of women in these
17 studies give a confidence signal of what can be
18 expected in women. I got the feeling this morning
19 that the FDA is uncomfortable with that. And if
20 that's the case, I demand from the FDA that they
21 demand of companies to do something about that.

22 We're happy--we're very happy--to see

1 that--again, this morning I felt that FDA wasn't
2 thrilled with the eight-week OBR data in the CPI
3 arm. We're happy to see that because it's
4 basically a virtual placebo arm. So I think eight
5 weeks is more than enough for that.

6 But the issue, of course is: well, how do
7 we get longer-term data in a salvage population? I
8 have a little phrase--I call it MEAT--which is
9 multi-experimental agent trial--which is basically
10 where you use tipranavir/ritonavir with many other
11 experimental agents in the same trial, with an
12 experimental NNRTI, versus an experimental injury
13 inhibitor, versus an experimental CXER4 inhibitor.
14 And we'd be here today talking about many different
15 things, rather than just "remember to use it with
16 T-20, if you can."

17 Finally, I think that in this
18 authoritarian day and age, FDA needs to be Cruella
19 De Vil with these 101 Dalmatians--in the sense that
20 you're controlling all these dogs, and how do you
21 do that? How do you all walk down the street
22 together?

23 And I think that we find it a little
24 absurd that we, the community, are saying yes to
25 accelerated approval for a drug that shows 35

1 percent success rates, in a field that already has
2 20 other drugs out there.

3 We'd like to do better, and we think we
4 can do that through multi-experimental agent
5 trials.

6 Thanks a lot, both to the FDA, the
7 Committee and to the sponsor of this drug.

8 Thank you.

9 [Applause.]

10 DR. ENGLUND: Thank you very much.

11 Committee Discussion/Questions to the Committee

12 DR. ENGLUND: With this--I'd like to
13 have--there's a few people that didn't get to ask
14 their questions before lunch. We're going to have,
15 actually, a response from the FDA to specific
16 question, and a response to the company.

17 And then we're going to go directly to the
18 Questions, because I'm hopeful that by focusing on
19 the individual questions we'll be able to have time

1 for discussion. There are some important
2 discussion points that we want to have left.

3 So, if people remember what questions they
4 had--Dr. Wood was first on my list.

5 DR. WOOD: My question was for the sponsor,
6 as well as for the FDA and the sponsor.

7 The first issue was that, in terms of the
8 drug interaction studies, we saw reduction in all
9 the PIs that were tested--saquinavir,
10 amprenavir--as well as lopinavir. There was a
11 limited number of individuals on the RESIST 1 and
12 RESIST 2 study--I think it was about 21--but I was
13 wondering whether or not the company had any data
14 regarding drug interactions with tipranavir and
15 indinavir, based on that on-study population.

16 The second issue goes to the fact that we
17 clearly know that there's major inhibition of the
18 CYP 3A enzymes, but we also know that there's
19 likely to be involvement with the CYP 2D6, as well
20 as the CYP 2C9.

21 And I would just like either the FDA or
22 the pharmaceutical sponsor to comment on classes of

1 drugs that are likely to be involved, in terms of
2 metabolism by these cytochrome P450 enzymes.

3 DR. McCALLISTER: The answer to your first
4 question, about in the RESIST studies-- there were
5 a very limited number who took a dual-boosted PI,
6 and that was considered a protocol violation. We
7 don't have specific data on the interaction between
8 tipranavir and indinavir from that study.

9 Your second question, at least for the BI
10 part, I'd like to call on my clinical PK colleague,
11 Dr. Tom McGregor. We do have some data from our
12 RESIST studies, looking at other enzyme pathways.

13 DR. MCGREGOR: Good afternoon. I'm Tom
14 McGregor, from R&D.

15 And if I could have the first slide.

16 [Slide.]

17 In the laboratory an in vitro assessment
18 of tipranavir to inhibit the cytochrome P450
19 pathways, it was noticed that given a C

max value of

20 95 micromolar, any CYP enzyme that would be below
21 95 would have the potential for an interaction. So
22 this is 1A2, 2C9, 2C19, 2D6, and 3A4. The rank

1 order of these would be: 2C9, 3A4, 2C19, 2D6 and
2 1A2.

3 If I could now have slide 87.

4 [Slide.]

5 The way we developed our drug interaction
6 program for accelerated approval was to worry first
7 about the particular drugs that are used in
8 practice in the treatment of AIDS, and we see that
9 the majority of them are, as expected, CYP 3A4
10 drugs. And so this was the pathway that we looked
11 at the most.

12 Second of all, we looked at the P-gp
13 pathway because we did a study with loperamide. We
14 were worried that if ritonavir inhibited this
15 pathway, we wanted to make sure that there was no
16 interaction at the blood-brain barrier, such as
17 there is with quinadine and loperamide. So this
18 was the next area.

19 We are not forgetting these other areas.
20 We did look--we are planning to do studies to look
21 at them. But, if you think about it, the 2C9,
22 which was the one with the biggest inhibitor,

1 primarily the drugs that were used in the .12 study
2 were, in fact, the COX-2 inhibitors and ibuprofen.
3 The other ones would have a greater impact on 3A4.

4 So, if I could have slide 83--

5 [Slide.]

6 --this is what we plan on doing, as far as
7 the next step in in vitro metabolism, and that is
8 to look at induction of each of these, along with
9 PCR.

10 And if I could have the slide of proposed
11 in vivo studies--

12 [Slide.]

13 --this is the studies that we plan to do
14 in pharmacokinetics.

15 The first one, that is in the planning
16 stages right now, is a CYP/P-gp cocktail study.
17 Second of all, we are looking at drugs that are, in
18 fact, primarily in those pathways to look at--we
19 have recently completed a methadone study.

20 So we are continuing to do drug
21 interaction studies. We do feel that it's an
22 important series of pathways, but for accelerated

1 approval, it was important to do those drug
2 interaction studies that were necessary to get us
3 into the program and find out that the drug works.

4 I hope that answers your question.

5 DR. ENGLUND: Dr. Haubrich--you had a
6 question before the break?

7 DR. HAUBRICH: I'll let Dr. Kumar go. I
8 was actually just stretching. But I do. I'll come
9 up with it.

10 [Laughter.]

11 DR. KUMAR: Dr. Englund, can I go?

12 I've got three safety issues that I would
13 like to ask Dr. Corsico, please?

14 The first thing again--and I don't want to
15 harp on this, but I'd like to feel like I
16 understand the data.

17 Regarding the efficacy of lipid-lowering
18 agents in patients that had emergent Grade 3, Grade
19 4--my question is: did you do anything like a
20 Framingham Risk-Reduction from the beginning of the
21 protocol, at Week 24, or at Week 48, that could
22 help answer that?

23 DR. CORSICO: That analysis has not been
24 done yet. Actually, we are under discussion on how
25 to actually do that, and we'll be working on

1 putting that model together so that we can actually
2 have that data.

3 DR. KUMAR: Very good. Thank you.

4 My second issue is about rash. In
5 patients that had rash, did they have any--and I'm
6 not sure whether I saw that in the FDA or in your
7 written material--that they had arthralgias with
8 that.

9 But along with that, did they have
10 anything else? Did they have fever? Did they have
11 increased liver enzyme? And did anybody develop
12 Stevens-Johnson?

13 DR. CORSICO: If I could, I'll take the
14 third part of your question first.

15 The rashes that were seen during the
16 development program were non-serious, self-limited
17 rashes typically--macular, papular. No one
18 developed SJS or TEN. There were no deaths due to
19 rash.

20 With respect to what was seen, particular
21 in the 1182.22 study, where healthy women were
22 receiving ethinyl estradiol plus tipranavir, we had
23 58 percent of those women develop rash, and
24 actually we discontinued the study.

25 We actually called in a dermatologist and

1 called in a rheumatologist because we were
2 concerned about systemic symptoms. And I think--as
3 the FDA has pointed out--there were some women who
4 had overlapping syndrome where they had
5 arthralgias.

6 Review by the rheumatologist felt that
7 this was not as consistent with a systemic
8 syndrome, because, one, we drew ASO/ESR titres, and
9 while they were mildly elevated, we had no
10 comparator data to compare it with, and it was only
11 a mild elevation.

12 The urinalyses that were done on these
13 patients showed no evidence of cast formation, and
14 none of the patients developed fever or
15 lymphadenopathy.

16 Concerned about this issue, though, we did

1 look at our patients with and without rash to see
2 whether or not we saw any changes in liver function
3 tests.

4 And if I could have the slide that shows
5 that analysis--

6 [Slide.]

7 --what you see here are our HIV-positive
8 patients with skin rash, no skin rash; HIV-negative
9 patients, skin rash, no skin rash; and then Grade
10 1, 2, 3 and 4 LFTs. And you can see there really
11 is no trend in the patients with skin rash with
12 respect to liver function abnormalities.

13 Hopefully that addresses your question.

14 DR. KUMAR: And in your RESIST trials, the
15 percentage of patients who had rash, were they
16 continued on the medications, and did the rash go
17 away? Or did you have to stop the medication?

18 DR. CORSICO: There were actually a total
19 of nine patients through the September 30th cut
20 that had rash and had to discontinue. Five of
21 those patients received tipranavir, and four of
22 those patients received comparator.

23 DR. KUMAR: And do you have any data on any
24 patient who had a re-challenge--had a rash,
25 stopped, and then re-took the medication?

1 DR. CORSICO: We don't. I should tell you,
2 in that data set there were two serious adverse
3 events: one on the comparator arm, and one on the
4 tipranavir-treated patient. But none of those
5 patients developed desquamation or any moist
6 lesions. And in the serious adverse event cases,
7 they discontinued the medication and were not
8 re-challenged.

9 DR. KUMAR: And within how many days did
10 the rash come on?

11 DR. CORSICO: It actually depends on
12 which subset you look at. And, actually, there's
13 an interesting difference with respect to how the
14 women who were treated with ethinyl estradiol
15 reacted, versus the other HIV-negative women,
16 versus our HIV-positive women.

17 If I could have that next slide, please.

18 [Slide.]

19 What you see here is this is the trial

1 where the women received ethinyl estradiol. You
2 see that the time to onset was approximately 10.5
3 days. In the other HIV-negative studies, the time
4 to onset was 4.9 days. And in our HIV-positive
5 trial--and that was our 1182.52 dose-finding
6 study--you see the time to onset, the mean, was
7 87.5 days.

8 The duration of rash, you can as well,
9 differs among the three groups. It's 13.5 in the
10 HIV-positive treated patients, versus 6.7 and 8.8
11 in our HIV-negative treated patients.

12 DR. KUMAR: Can I ask my final question,
13 Dr. Englund?

14 Can you say anything regarding tipranavir
15 and the developing fetus? I tried to look, and I
16 didn't see any information on that.

17 Do you have any information on that?

18 DR. CORSICO: Certainly. We actually have
19 seven women who were exposed to tipranavir during
20 the course of pregnancy. And this next slide
21 summarizes those seven cases.

22 [Slide.]

23 Six of the women actually had their
24 exposure during the first trimester, and one had
25 during the third trimester. Four of those women

1 went on to deliver healthy babies, two of which
2 we've been able to confirm, as of now, that are
3 HIV-negative. The other two--although we've
4 doggedly tried to find out what the status of the
5 status of the child was, we still do not have that
6 data available.

7 There were three women that had either
8 elective termination or spontaneous abortion.

9 In the one with the spontaneous abortion,
10 the fetus was determined to be small for
11 gestational age, and there was evidence of
12 oligohydramnios--something that has been see in
13 other women, HIV-infected, who have been treated
14 with antiretroviral therapy.

15 We certainly can't draw any definitive
16 conclusions. However, the company is committed to
17 further understanding this, and we will be putting
18 tipranavir into the antiretroviral pregnancy
19 registry, just as we have with our other product.

20 DR. KUMAR: Thank you.

21 DR. ENGLUND: Thank you.

22 Dr. Haubrich?

23 DR. HAUBRICH: Just a comment,
24 follow-up--oh, sorry.

25 DR. BAYLOR: Oh, I'm sorry.

1 DR. HAUBRICH: Go ahead.

2 DR. BAYLOR: I'm Melisse Baylor, and I was
3 going to comment a little bit more on our analysis
4 of rash from the healthy volunteers, because you
5 had questions.

6 And it's interesting, because as the folks
7 from the applicant were saying, that there were no
8 increases in ALT, and there were no desquamations
9 or things like that suggestive of Stevens-Johnson.

10 But what we did see in healthy volunteers
11 who developed a rash was associated symptoms. We
12 saw--and that's outside of study 22, with the birth
13 control study. So we saw women who developed--two
14 women complained of throat-tightening and swelling
15 and itching at the same time they had a rash.

16 We had another two women with joint

1 symptoms. We had a man that had a swollen
2 posterior tongue.

3 So there are--although it's not
4 Stevens-Johnson, some of the people with rash did
5 have symptoms that were suggestive of more
6 hypersensitivity. But it was varied, and it was
7 rare.

8 You know, the other kind of unusual thing
9 for us, I think, is we did see in HIV-positive and
10 HIV-negative patients photosensitivity reactions.

11 DR. HAUBRICH: So, just to follow up on the
12 rash question: looking at the logistic regression
13 analysis that was presented on slide 48, if I'm
14 understanding the analysis here correctly, it looks
15 like the odds of developing rash were actually
16 higher in those with lower CD4 cell count. Yet
17 there seems to be this disparity that there was a
18 higher rash rate in HIV-uninfected people.

19 Could you comment on that? And then I
20 have one other question unrelated to rash.

21 DR. CORSICO: Actually you raise an
22 interesting point, because in the RESIST data set,

1 it's clearly the "less than 50" compared to
2 "greater than 200" that have the increased risk.

3 At this point in time we are trying to
4 understand this, but we don't really have an
5 answer, in terms of how the HIV-negative patients
6 responded, versus what we're seeing in our RESIST
7 program.

8 DR. HAUBRICH: And then I guess this next
9 question is probably for Doug Mayers.

10 In the calculation of IQ it's difficult
11 for me to understand that's .1 percent free has an
12 IQ of over 100.

13 So my question is: how do you decide on
14 the free fraction, which most of us think is the
15 part that should go into the IQ equation to come up
16 with those high IQS?

17 DR. MAYERS: You are right. To get an IQ
18 that high you have to have a very sensitive virus
19 and a very high drug level.

20 If we could have slide number 38,
21 first--"Resistance" slide.

22 [Slide.]

23 Just to show how we got to serum
24 shift--because it is 99.9 percent bound, but we did
25 do it three different ways. And, basically, if you

1 add 50 percent serum--both we and pharmacy at
2 Upjohn added 50 percent serum into the cultures,
3 and saw a fourfold shift. We also did an
4 equilibrium dialysis between human serum and 10
5 percent fetal calf and saw a three to fourfold
6 shift.

7 So we think it really is about a 3.75-fold
8 is going to be the adjustment for that.

9 And go back one slide.

10 [Slide.]

11 This just shows how we calculated the IQs.

12 And basically we took the C_{min} value. And since all
13 the assays were done with VIRCO, we took the fold
14 wild-type. So this is actually a calculation that
15 could be done in a clinic, in which you would
16 basically take--it's the C_{min} over the IC50 for the
17 wild-type virus, which was about 0.58, times the
18 protein binding factor, which is 3.75 times the
19 fold change.

20 And, as you can see, to get up above 200
21 you have to have a very sensitive virus, and a very
22 high drug level.

23 DR. HAUBRICH: Just to clarify--those fold
24 shifts, were those in the VIRCO assay that was used
25 in the clinical samples here? Or an in-house

1 different assay?

2 DR. MAYERS: We did not do any of the
3 samples by in-house. They were all done by VIRCO.
4 And as I showed this morning, we did 100 additional
5 isolates with ViroLogic, and confirmed that they
6 basically give the same regression--obviously
7 variability would be different. But the regression
8 is essentially a one-to-one regression.

9 And the cut points that we got with VIRCO
10 were identical to the cut points that with
11 ViroLogic for fold change. So we think that they
12 are fairly interchangeable for this drug.

13 Rich, I would like to identify one
14 potential reason for the outcome. You asked why
15 the HIV-negatives had much higher rash rate
16 potentially than HIV-positives. And there is one

1 difference that's significant, is the HIV-negative
2 patients have uninduced livers. And so when they
3 get this drug at 500/200, they get significantly
4 higher drug exposures for the first three to four
5 days of drug than the HIV-positive patients, who've
6 all been exposed to protease inhibitors for a long
7 period of time, have fully induced livers. And so
8 there's a much smoother transition onto drug in
9 treatment-experienced patients than there was in
10 the healthy volunteers, where they got three to
11 four times as high levels in the first three to
12 five days.

13 DR. ENGLUND: Thank you.

14 Dr. Grant?

15 DR. GRANT: Yes, I'm still concerned about
16 biases that could have allowed the comparator arm
17 to have poorer virologic responses. And one of
18 them, I understand that it was possible for people
19 to elect to continue their failing regimen and be
20 on the study in the comparator arm on the same PI
21 that they were on initially.

22 And I wanted to know what proportion of

1 the RESIST studies had individuals who chose to
2 continue a failing regimen, and whether a
3 sensitivity analysis was done to correct for that
4 bias.

5 DR. McCALLISTER: Because of the nature of
6 the trial, we had to allow patients to either
7 continue the PI they were on at baseline, or to
8 change it.

9 When we looked at new versus ongoing
10 comparator PI--I can bring up that slide--

11 [Slide.]

12 --if you look across the top you see the
13 total n. You can see the patients who took a new
14 PI versus an ongoing PI here.

15 In the case of tipranavir, everybody
16 received tipranavir, but if the comparator PI they
17 had indicated was new, they've split out here.

18 In the case of comparator arm, if the
19 comparator PI they indicated was new, it's also
20 split out here.

21 "New" doesn't necessarily mean that they
22 were naive to it. It could have been recycled.

1 "New" simply means it isn't the drug they were
2 taking at baseline.

3 So these are the actual percentages of
4 patients who took these drugs. And then we have
5 the treatment response of those who were taking
6 those drugs was, as you would expect--can I bring
7 up that slide, please?

8 [Slide.]

9 Treatment response of patients who were
10 entirely naive to the pre-selected comparator in
11 the lopinavir stratum, when they pre-indicated that
12 they would prefer to take lopinavir, they had a
13 53.8 percent treatment response in the tipranavir
14 arm, and a 50 percent treatment response in the
15 comparator arm.

16 Saquinavir, which has a larger n than
17 indinavir--33 percent and 23 percent--amprenavir,
18 45 percent and 25 percent.

19 So when they were entirely naive to the
20 drug that they were taking, you can see the
21 response was similar between the tipranavir and
22 comparator arms for lopinavir, but superior for the

1 others.

2 DR. GRANT: Did the FDA do a sensitivity
3 analysis on efficacy, taking into account that?

4 DR. BHOORE: Yes, the numbers we saw--this
5 is exactly--to quote from the protocol--violations
6 data set. The patients who had no new or recycled
7 antiretroviral in the background, or they were
8 continuing their failing regimen--at least the
9 background, in the case of tipranavir, was 96; and
10 in the case of the comparator arm, it was 99, they
11 were continuing their regimen.

12 But since this was the efficacy was
13 evaluated based on superiority, if we still take
14 into account these patients, then they're
15 essentially comparing tipranavir to a virtual
16 placebo. And so that would show efficacy.

17 But in our per-protocol analysis that we
18 showed you in one of our slides, we excluded these
19 patients from that analysis, where we said there
20 were treatment regimen violations of about 25
21 percent-plus in both arms, and we excluded those
22 patients.

23 So we showed that the treatment effect was
24 positive.

25 DR. GRANT: Okay. Thank you. I had a

1 resistance question, as well.

2 I believe the manufacturer regards L90M to
3 be a key resistance mutation for tipranavir. Could
4 they review the data that L90M affects
5 susceptibility or virologic responses?

6 DR. MAYERS: Slide 14.

7 [Slide.]

8 I get asked this question a lot.

9 [Laughter.]

10 So, basically, L90M was included in our
11 key mutations. And it was because when we saw, in
12 the original larger samples, in which they did the
13 panel--the highly resistant viruses--we saw that
14 many of the viruses that decrease susceptibility to
15 tipranavir had an L90M combined with either an 82T
16 or an 84V in those isolates. And so it appeared to
17 be associated with decreased susceptibility--in the
18 small number of isolates we had at that time.

19 We also noted that when you combined L90M

1 with those other mutations in our Phase II program,
2 it was associated with decreased virologic
3 responses. And so we kept it in because it was
4 predictive.

5 And, as you saw, when you use the four
6 mutations in a score, it does score out tipranavir
7 effectively.

8 On the other hand, when we did the
9 tipranavir score, and we pulled all of the 99
10 positions individually, L90M is clearly not in the
11 picture. It's not selected in vitro. It's not
12 selected in clinical isolates. So there's actually
13 no--it's neutral as far as selection goes.

14 It's not associated with phenotype, and
15 it's not associated with viral load responses. And
16 so individually, it has no impact on response.

17 And the best answer I can give you is: the
18 L90M, when you combine it with an 82 or an 84, it's
19 very hard to get those two mutations into a virus,
20 and it becomes a marker of a very highly mutated
21 virus from a very heavily pre-treated patient to
22 get 82 and 90, or 84 and 90. And so it works

1 because it's associated with a number of other
2 mutations that do produce resistance.

3 And so it works when you use it in a score
4 of four, but it falls out when you a multivariate
5 regression and look at all the positions
6 individually. It's probably an association.

7 DR. GRANT: Mm-hmm. Yet, I mean, there
8 probably are other markers that you could use in
9 addition to L90M. Just because the combined score
10 showed an association between 3 and 4--presumably
11 that means that information about L90M adds some
12 predictive value. But this other data suggests
13 that there's nothing special about L90M. It really
14 is a marker of other mutations.

15 And I think it would confusing to continue
16 to call this a key tipranavir resistance mutation.

17 DR. MAYERS: Well, it's a key mutation for
18 protease resistance because, as we've shown, when
19 you have four of them, you essentially have broad
20 protease I can show the slide, if you want. But,
21 basically--if we could have slide--I think it's
22 number 4.

23 [Slide.]

24 This shows those four mutations across the
25 whole Phase II, Phase III trial program. And what

1 you can see is that as you go from zero to three of
2 them, you see a ramping. And it isn't until you
3 get to all four that you see tipranavir resistance.

4 But for all the other protease inhibitors,
5 when you have more than even one of them you start
6 to see high levels of resistance across the whole
7 panel. So that basically, for whatever reason,
8 they work reasonably well for a doc who needs just
9 something that they can keep in mind.

10 The 21 mutations at 16 sites we think will
11 be good for resistance reports. And so for the
12 companies that do diagnostics, we're offering it.
13 And we're proposing to actually go forward and do a
14 weighted score, because the 30, 48 and 88 and 50
15 all actually subtract out of the score if you want
16 to weight them.

17 But that gets really very complicated for
18 the doc out in the community. I think it's useful
19 for diagnostic companies.

20 We're trying to find something that was
21 reasonably simple for someone out in the field to
22 use that would accurately predict responses.

23 DR. ENGLUND: So, we have one more
24 question, really, and then we're going to have
25 to--one more speaker, and that was Ms. Dee.

1 MS. DEE: Thank you. This is a safety
2 question, again.

3 I'm just not feeling comfortable, from a
4 community perspective, with the answer that I got
5 about the liver toxicity before. And it's not that
6 this--in my opinion--this drug might not have a
7 place in our arsenal. I think it does.

8 But I'm feeling like we need to know the
9 hepatic risk as accurately as we can.

10 And in our FDA materials it says that "At
11 the time of the data submission a substantial
12 number of subjects have not resolved their LFT
13 elevations, and therefore no conclusions can be
14 made about the acute clinical impact of these
15 laboratory abnormalities."

16 So I just would like to know how many

1 people are we talking about, and how they're doing
2 now?

3 DR. McCALLISTER: I think to answer that
4 I'd like Dr. Corsico. And then perhaps for the
5 clinical context you're seeking, Dr. Sulkowski
6 after that.

7 MS. DEE: Great. Thanks.

8 DR. CORSICO: The data set that we
9 presented actually extended that cut through
10 September 30th, which is why our data set actually
11 had more pieces. Now, the FDA has made it clear
12 that they were able to do their analyses based on
13 what was submitted through the June 11th
14 submission.

15 That additional data set allowed us to
16 look to see what we were seeing in terms of
17 clinical outcomes with respect to elevated liver
18 function tests. And in the core presentation, we
19 did show that the majority of patients who
20 developed a Grade 3 or 4 elevation in their LFTs
21 actually continued therapy. There were four of
22 those patients who actually had what was called a

1 "serious adverse event with an hepatic term." They
2 continued their therapy despite that, and those
3 terms that were reported in the context of that
4 serious adverse event were increased ALTs and an
5 increased bilirubin.

6 For the patients that discontinued--which
7 was only a quarter of those with the Grade 3/4
8 elevations, there were five clinical events. Those
9 patients had a case of toxic hepatitis; a case that
10 was reported as "liver failure" which, upon further
11 scrutiny, actually really appeared to be more
12 hepatitis, because the patient was actually
13 re-challenged, developed the same increase in their
14 ALT, became jaundiced and stopped the medication,
15 but completely recovered.

16 Only one of those patients of those that
17 developed clinical outcomes in the discontinued
18 group actually had a fatal outcome, and that was
19 that co-infected patient with hepatitis B, who had
20 a CD4 count of below 50 starting treatment and then
21 at the time of death.

22 But I think you raise an important

1 question. I think we need to put it in clinical
2 perspective, because this is really a risk-benefit
3 issue. And I would appreciate it if we could have
4 Dr. Sulkowski just comment on that, since he is
5 actively treating these patients.

6 DR. SULKOWSKI: Good afternoon. I'm Mark
7 Sulkowski from Johns Hopkins in Baltimore, and my
8 role there is to provide medical care to persons
9 co-infected with hepatitis C or hepatitis B and
10 HIV. So I run a co-infection clinic at Johns
11 Hopkins.

12 And, clearly, this issue of liver toxicity
13 comes up in every antiretroviral decision that
14 clinicians make. These decisions may be complex in
15 the setting of a hepatitis C co-infected patient.

16 I think the important context to keep in
17 mind is that even among hepatitis B and C-infected
18 patients in east Baltimore--and across the
19 world--the leading cause of death remains HIV.
20 Although liver disease is increasingly something we
21 pay attention to, HIV is still driving morbidity
22 and mortality.

23 So I think both the FDA and the sponsor
24 have done a nice job in giving us a detailed
25 analysis of the potential hepatic risk of this

1 particular agent--both looking at risk
2 factors--hepatitis C, elevated ALT and CD4--and
3 then also planning additional studies.

4 But, clearly, as this drug is used in
5 practice, we'll take into account both the HIV
6 disease parameters--CD4, HIV viral load, resistance
7 pattern; take into account their liver disease
8 status--and then, along with the patient, make a
9 decision regarding the risk-benefit assessment. So
10 it will be used individually.

11 I think the thing that we can do today is
12 provide enough information to clinicians regarding
13 which patients are at increased risk.

14 DR. ENGLUND: Okay. Thank you.

15 I know there's more questions, but we're
16 going to have to move on.

17 The FDA has one more comment--I think
18 Andrea--Dr. James--has a comment. And after that,
19 there's a comment requested by the company.

20 DR. JAMES: I just needed to make a
21 correction on one of my slides. And I think
22 Anuja's pulling it up so that we can see it.

23 While she's doing that, I can start
24 described it. It's actually Slide 17 in your
25 packet.

1 [Slide.]

2 That's the old slide.

3 [Pause.]

4 That was the slide. I'll just verbally
5 tell you what the correction should be. And if we
6 ever get it up, you'll see it. It's Slide 17.

7 And essentially there was a percentage
8 sign placed there, but the decimal points were not
9 moved. And so it should read: instead "0.5%,"
10 "5%;" instead of "2%," "20%."

11 But the message: "5%" and "20%," versus
12 "0.5%" and "2%"--on Slide 17. But the message is
13 the same: that the n is too small to draw any
14 conclusions from the baseline Grade 1--from
15 subjects who had baseline ALT/AST values over Grade
16 1.

17 DR. ENGLUND: Dr. McCallister had another
18 comment.

19 DR. MCCALLISTER: Thank you.

20 Just before the break we were talking
21 about trough concentrations. And we did delve into
22 our data base at lunchtime, and we were able to
23 determine that we had undetectable trough
24 concentrations in between 2 and 4 percent of
25 patients in the comparator arm at Week 4--out of

1 all the patients participating in the comparator
2 arm.

3 And, again, we did verify that they were
4 truly taking their drugs by confirming that they
5 had at least a detectable level, before they would
6 be allowed to participate in the rollover study.

7 Thanks.

8 DR. ENGLUND: Thank you.

9 At this time I'd like to have Dr.
10 Birnkrant issue us our first question, which will
11 give us, as a Committee, time to ask more
12 questions, in fact, about safety and efficacy. So,
13 we still have time for questions, but we need to be

1 moving on.

2 DR. BIRNKRANT: Okay. Thank you.

3 So the first question out of the seven
4 that are presented to you asks for a discussion of
5 risk-benefit. And then we will ask you to vote on
6 the question: whether or not tipranavir boosted
7 with ritonavir has been shown to be safe and
8 effective.

9 So, as you deliberate, we would like you
10 to take into account some of the bullet points that
11 are in the second part of the question, that is:
12 the inclusion criteria of the trials; the drug
13 interactions; the resistance information and the
14 safety considerations.

15 So what we're looking for is a
16 risk-benefit discussion prior to your vote.

17 Thank you.

18 DR. ENGLUND: And I would like to specify
19 at this time that non-voters not only can discuss,
20 we hope they will discuss. They cannot vote, but
21 they can discuss.

22 And I would like to start out with

1 question one by trying to limit some questions
2 first to safety. And then we're going to go on to
3 some of the other issues.

4 We can go on forever. We don't want to go
5 on forever. But I think, to address the first
6 bullet point, I'd like to discuss--do we want to
7 show Slide 17 first?

8 We'll show Slide 17 first from Dr.
9 James--the correct Slide 17, pointing out the "5%"
10 and "20%" from Slide 17 of the FDA portion, so
11 everyone can have that.

12 Okay.

13 And now we're going to start out the
14 discussion with safety--and I'd like to take some
15 time with safety--and then we're going to move into
16 efficacy for a discussion of Question No. 1.

17 Dr. Haubrich?

18 DR. HAUBRICH: Well, I think that the
19 evaluation of safety has to be in the context of
20 the need and the efficacy.

21 And in my opinion, the biggest need in the
22 clinic today for antiretroviral patients--treatment

1 of antiretroviral for patients--is for those who
2 have drug resistance.

3 We've heard data of the increasing rates
4 of treatment-experienced patients and increasing
5 rates of drug resistance. And so I think this is
6 the group of people that we clearly need to have
7 new drugs for.

8 So the risk-benefit ratio for a drug that
9 treats resistant patients is different than the
10 risk-benefit ratio for treating patients that are
11 naive.

12 We now have clearly effective, tolerable,
13 safe medications--soon to be one pill, once a
14 day--for naive patients. So the bar would be much
15 higher for that. However, for the category of
16 patients that are being discussed here, clearly the
17 bar is very different.

18 And I think--in my opinion--the risk that
19 we would tolerate for a treatment for those
20 patients is much higher.

21 And so I think in the context of the
22 toxicity, I think we're willing to tolerate more

1 toxicity and complexity in this group of patients,
2 because we do need therapies. And although there
3 are lots of promising drugs on the horizon, when I
4 go to the clinic Monday morning, those drugs aren't
5 going to be available.

6 DR. ENGLUND: Dr. Fish?

7 DR. FISH: I would agree with Dr. Haubrich.
8 And I think Dr. Sulkowski said it very well: that
9 it is the HIV that is the driver here in terms of
10 serious morbidity and mortality.

11 The safety concerns are legitimate, but we
12 have gotten used to having to manage these
13 difficult kinds of not only drug interaction
14 issues, but safety issues. And from what we're
15 seeing here, it seems like most of these things are
16 reversible. Some of them actually improve on
17 therapy. So while the ALT abnormalities are
18 serious, the lipid abnormalities are serious, they
19 are manageable. And I think these are the kinds of
20 complications in this highly treatment-experienced
21 patient population that has become the reality of
22 HIV care in 2005.

23 DR. ENGLUND: Dr. Rodriguez-Torres.

24 DR. RODRIGUEZ-TORRES: Well, I am the one
25 that receives the patients when they are jaundiced,

1 and they have the liver enzymes high. I don't
2 treat them primarily. So I see the complications.

3 I understand perfectly, and you are
4 perfectly right. There is a need for treatment for
5 patients that have resistance.

6 If that is a big concern, something that I
7 have asked the FDA people during the lunch period:
8 why you are not considering these to be approved
9 with T-20? That certainly has the best efficacy
10 numbers in all the various examined.

11 If it is not approved to be used with
12 T-20, at least that has to be very strongly
13 recommended during treatment, because certainly it
14 looks like it was superior.

15 My concern is with hepatotoxicity. And
16 there's many things here that worry me.

17 First of all, the prevalence of hepatitis
18 C and B co-infection is much higher that were found
19 in these studies. The prevalence can be as high as

1 60 percent, especially in minorities; especially in
2 our Baltimore patients, and our San Juan patients.
3 And ALT only is not going to help us discriminate
4 between them, in terms of the severity of the liver
5 disease. We need to do more biopsies, and be much
6 more cautious.

7 I'm a little bit concerned about scarce
8 information about ALT in patients that have adverse
9 events secondary to ALT elevation, if they also had
10 lipid abnormalities. In this drug it seems that
11 may be an important aggravating factor, with fatty
12 deposition and steatohepatitis.

13 I'm concerned about so many drug
14 interactions. I don't know how the treaters--the
15 common garden treaters, not the experts that we
16 have here--are going to sort out all these
17 complicated interactions and decide when to
18 decrease doses and when to increase doses.

19 And certainly, I'm concerned about two
20 areas that we have touched in other parts. They
21 described that they have done studies with
22 methadone drug interactions. If they are not

1 available pending FDA approval, for consideration
2 of approval--methadone apparently will have to be
3 increased with use of these drugs. And the problem
4 that I see there is that many patients are being
5 treated with methadone, it's another doctor. It's
6 not the HIV treater. How we can manage that?

7 The other thing that worried me was in
8 women that are on pills and oral contraceptives,
9 they will need to have another barrier, because the
10 levels are going to be affected by the drug. How
11 are we going to deal with that? Because that is
12 another safety issue.

13 So these are my concerns.

14 I understand the need, but we need to
15 assess these other problems and at least put
16 together some clear, logical and easy-to-follow--or
17 more easy-to-follow--some kind of paradigm the
18 treaters--the primary treaters--can follow with
19 this drug.

20 DR. ENGLUND: Dr. Sherman?

21 DR. SHERMAN: So--I appreciate everything
22 Dr. Rodriguez said, and I guess we think about this

1 sort of like the parable about the blind men and
2 the elephant.

3 From the view of the hepatologist,
4 patients with liver disease--end-stage liver
5 disease--are the problem. And in my institution,
6 and among those where I talk to many colleagues who
7 deal with patients with HIV-infected patients, we
8 see lots of patients coming in and dying of
9 end-stage liver disease, with undetectable HIV
10 viral loads.

11 And clearly recognize that multi-resistant
12 disease is a problem, but it may--as I'll mention
13 in a second--even be a bigger problem as we get
14 into the issue of end-stage liver disease.

15 So I wrote down a series of points here.
16 I just wanted to run through them.

17 First: this study has short-term HIV
18 endpoints. We really haven't heard anything about
19 longer-term endpoints. We haven't heard about--at
20 least anything that's convincing--in terms of
21 prevention of opportunistic infections. And
22 certainly, as with many drugs, we haven't heard in

1 short term studies about long-term survival.

2 I'm concerned that there's been a failure
3 to address some of the issues that were associated
4 early on with what appeared to be a hepatic signal,
5 even in the controls, the Phase I studies that a
6 high proportion had abnormal liver enzymes. And
7 yet, as planning went forward, it didn't sound like
8 there was enough concern to think about getting
9 liver biopsies to better define sub-populations:
10 those with underlying liver disease, versus those
11 that don't have underlying liver disease. And that
12 certainly decreases my enthusiasm for moving
13 forward as a rapid approval for this agent.

14 There's the fact that short-term use will
15 not occur. Everyone in this room knows that once
16 these drugs are started, and if they have an effect
17 in a subset of patients, they'll have an effect.
18 At this time they are the last drug, and they're
19 going to be used--this is going to be used for an
20 extended period of time in patients.

21 And, frankly--and we've had this
22 discussion in this committee before related to

1 other drugs--the concerns about short-term flares
2 are not the issue with liver disease. The concern
3 is the patient that cruises along at ALTs of two
4 and three and four times normal for years on end.
5 And those are the ones that have more progressive
6 fibrosis, more rapid liver disease.

7 Just with hepatitis C alone--Dr. Sulkowski
8 recently presented data that showed a much more
9 rapid rate of progression in co-infected patients
10 than in those in singly-infected patients, and
11 recommended more frequent liver biopsies in the HCV
12 mono-infected patients.

13 I didn't hear any clear plans for future
14 histology-driven analyses.

15 I think, in the community, there's a very
16 poor understanding of liver injury. And so echoing
17 what DR. Rodriguez said about the people in this
18 room versus those who are out in the real world,
19 there is very poor monitoring of liver disease
20 abnormalities. And this concept that you have to
21 wait to symptomatic is actually one of the biggest
22 issues hepatologists face. Because when you have

1 symptoms from end-stage liver disease, the game's
2 over. So the time to be worrying about this is
3 well, well before that point.

4 And the game is over even more, because we
5 are beginning to transplant successfully patients
6 with HIV, but the ones that are not being
7 transplanted now are those with multi-drug
8 resistance who run a risk of breakthrough. So
9 these are the very patients that are going to--at
10 this time at least, based on where we're at with
11 that emerging filed--be the ones that are least
12 likely to be transplanted.

13 Drug-drug interactions are significant.
14 That's been mentioned here already. And the
15 drug-drug interactions that may lead to increased
16 liver toxicity, again, have not been very well
17 characterized, at least up to this point.

18 So I think that from the point of view of
19 hepatology--of liver disease--there are still many
20 questions remaining.

21 DR. ENGLUND: Ms. Dee?

22 MS. DEE: Thanks.

23 You know, I think that we do have to look
24 at this, you know, as a risk-benefit sort of
25 "Well, what patients need this? Does this drug

1 have a place in our arsenal? And what place is
2 that?"

3 And I think that it does, but I think that
4 given what we've already heard, and given what
5 we've seen, that it may be a limited place--which
6 is addressed in the first bullet about the limited
7 inclusion criteria. So I think obviously we're
8 talking about heavily pre-treated patients.
9 Because I'm not sure that it's been proven that it
10 worked any better in anybody else.

11 And the drug interactions--you know, I'm
12 reminded, the last time I was a guest on this
13 Committee was indinavir. And they had the
14 ritonavir hearings the next day. And I think the
15 agency--well, the thing was that Abbott was
16 supposed to do educational materials for physicians
17 so that you could try to keep all this straight in
18 your mind when you were prescribing this. And I
19 think that not only do we need to have more tests

1 on this, but there needs to be education for
2 physicians and patients about what drugs can be
3 taken with this, and what drugs can't; I mean, some
4 easy little pocket card. I don't know, I guess it
5 might have to be long.

6 I also think that the label should
7 indicate that resistance testing should be done,
8 and that this drug should be indicated for people
9 with certain mutations and not others. And,
10 again--I mean, I don't want to harp on the safety
11 considerations, but you know, I think that if
12 people know ahead of time, then you can't be blamed
13 for not letting them know that something is an
14 issue. And I think that further liver studies are
15 extremely important.

16 And I'd like to know, I think--it's a
17 question in my mind why I never heard about this
18 rash before, in all the times that I've seen data
19 on this drug.

20 DR. ENGLUND: Dr. Munk.

21 DR. MUNK: Yes, I'd like to echo some of
22 the comments about risk-benefit, and how that is

1 going to be a somewhat different calculation and
2 equation for a drug that's designed for a heavily
3 treatment-experienced population.

4 But I'm really concerned, after looking at
5 all the data, at the fact that although I think we
6 can characterize the patient population, we may be
7 in trouble trying to characterize the prescribing
8 population.

9 This is not a drug that can just be turned
10 loose on the prescribing market without an awful
11 lot of information. And, personally, I'm skeptical
12 about the value of the package insert contents.
13 And I'm not really sure how to do this.

14 I mean, the primary investigators at the
15 various sites presumably know how to monitor for
16 liver enzymes and so on, and presumably know how to
17 treat them. But if tipranavir gets general
18 approval, or accelerated approval, I wonder what's
19 going to happen out there. I think we're still
20 lacking some important data on interactions.

21 I was concerned that ibuprofen showed up
22 as a drug that was predictable to have an

1 interaction--and yet there's no study planned on
2 that. And in my mind, if I don't have information
3 that says there's a potentially important
4 interaction here, I'm going to say, "Okay. It's
5 benign." And ibuprofen is certainly something that
6 an awful lot of people might be taking for a
7 variety of reasons.

8 So, it's a difficult one for me because
9 for the salvage population I wouldn't want to see
10 them denied access to this agent. But I'm just
11 really concerned about whether it's ready for prime
12 time.

13 DR. ENGLUND: Dr. Wood?

14 DR. WOOD: I think one of the concerns that
15 we all have in terms of assessing the risk-benefit
16 is that individuals who are heavily
17 treatment-experienced and in need of salvage
18 therapy also tend to be the population of
19 individuals who have baseline elevations in their
20 liver function studies.

21 What I think is going to be a difficult
22 decision point, given the described hepatotoxicity

1 is the decision that clinicians are going to face
2 when they do have people who are at the end of the
3 line, without treatment options, who have above
4 Grade 1 elevations in their transaminases.

5 I don't know what we recommend, because I
6 think there's very, very little data that we can
7 conclude, in terms of the safety in this
8 population. But it's the very population that
9 we're at the end of the line, and they really need
10 drugs--and so do you bit the bullet?

11 I clearly would urge the pharmaceutical
12 sponsor--since we know that there is this
13 persistent elevation in liver function studies--to
14 generate data that lets us know the magnitude of
15 the elevation over time.

16 I think we all have different levels of
17 comfort in terms of what we will tolerate as
18 clinicians in terms of a persistent transaminitis.
19 People don't bother--not quite as uncomfortable
20 with persistent Grade 2 elevations. But when
21 you're talking about Grade 3 and Grade 4, that are
22 going to be sustained over months and potentially

1 even years, with people with ASTs and ALTs in the
2 400, 500, 600 range, that clearly has, I think,
3 different implications for long-term toxicity.

4 I'd also be interested--we didn't discuss
5 this earlier--as to whether or not there was any
6 evidence of changes in other parameters of liver
7 function, in terms of coagulation studies in the
8 individuals with prolonged tipranavir exposure.

9 DR. ENGLUND: Is that a question to the
10 company?

11 DR. WOOD: Yes--if they can answer it?

12 DR. McCALLISTER: The answer is no.
13 Patients have AST or ALT elevations. There are
14 only a couple of total bilirubin elevations that
15 were described. And coags were not elevated.

16 DR. ENGLUND: Thank you.

17 Dr. DeGruttola.

18 DR. DeGRUTTOLA: Yes--just reiterating what
19 others have commented on: there does appear to be a
20 patient population that would have a favorable
21 risk-benefit profile for this drug. And the issue,
22 obviously, is how well that group can be

1 identified.

2 The benefit that's been demonstrated, of
3 course, is the short-term. And longer-term
4 information will be crucial for getting a clearer
5 sense of who will benefit.

6 But some other issues, I think, have to do
7 with how well we can predict who will develop the
8 liver or other toxicities, and also how well we can
9 predict who will derive the virological benefit.

10 There have been analyses that have
11 demonstrated that there are important predictors of
12 some of the liver toxicities. And I think what
13 would be useful is putting those analyses together
14 and getting a sense of how well do you actually
15 predict; how well can you classify patients
16 according to their future risk. The regression
17 analyses themselves don't provide that answer
18 regarding prediction, although it could be
19 investigated.

20 The other issue has to do with identifying
21 the patients who would be most likely to benefit
22 virologically. Obviously, those are patients who

1 have some degree of multi-drug resistance, but not
2 very high level resistance to proteases. And Dr.
3 Mayers made a crucial distinction when he talked
4 about the difference between mutations that may
5 have a direct causal impact on efficacy--such as,
6 for example, the 82 or the 84--and other mutations
7 that may be important just by association because
8 they're associated with having mutations that do
9 have that causal effect, even though they
10 themselves don't.

11 As long as the mix of mutations across
12 patients in the population doesn't change over
13 time, it may not be so crucial to make that
14 distinction and recommendations. However that mix
15 could change. It could be that with different
16 mixes of mutations, it wouldn't be the 90 that
17 would be the most highly associated, but some other
18 mutation that's most highly associated with the
19 others that are bad.

20 So I think that it is important to
21 understand the causality relationship between these
22 mutations and the amount of resistance. And I also

1 think that it's useful both to do as many
2 exploratory analyses as possible--of the type that
3 have been done, but others as well--to try and
4 determine what is the best classification of
5 patients that will best predict their ability to
6 respond favorably to tipranavir, and to need
7 tipranavir in order to get a good response.

8 I think that the analyses that have been
9 done are very useful, and helpful to the Committee
10 in terms of doing the ultimate recommendation. But
11 I think continuing to do analyses that will look
12 specifically at the question of classification: can
13 we classify patients according to how well--what
14 the probability is of the individual patient
15 responding well; not simply that the mean for that
16 group of patients shows a good drop, but that we
17 can classify patients according to their ability to
18 respond well.

19 Because ultimately, the ability to
20 identify this group with the most favorable
21 risk-benefit ratio will depend on that ability to
22 classify accurately.

23 DR. ENGLUND: Thank you. I think Victor's
24 done a good job of bridging us over into the
25 efficacy--which, of course, it's totally impossible

1 to discuss safety and efficacy totally separately.

2 But before we take a vote on the first
3 section, I would like you to note that if te vote
4 is "yes" we get to discuss a little bit more.

5 But in terms of the efficacy, I would just
6 like to point out that as a treater, and with a
7 person from my point of view, I'm very anxious to
8 get drugs that I feel are reasonably safe--if I can
9 have a patient profile that distinguishes which
10 patients are likely to benefit.

11 And in my setting I feel that I can follow
12 my patients. In my clinic I can follow them
13 closely.

14 Perhaps we need guidelines--and certainly
15 there are guidelines that these kind of patients
16 should be treated by experienced clinicians. This
17 is kind of something that's difficult to enforce.
18 But in this day and age, with HIV physician
19 accreditation, and with kind of the formation of

1 big-center clinics, this is the kind of thing that
2 would be nice to implement.

3 So I'd like to ask if there's any question
4 specifically related--or not specifically, but a
5 few more comments or questions related to efficacy?

6 Dr. Morse?

7 DR. MORSE: I have a totally biased
8 comment, since one of our major endeavors is to try
9 to set up a national registry for addressing these
10 types of issues.

11 But one of the concerns that I have is
12 that the drug interaction questions that I think
13 contribute directly to the safety question are
14 helpful in certain settings. But in many cases,
15 the types of patients that we're talking about
16 today are on eight, 12, 15 drugs at the same time.
17 And a large percentage have co-infection.

18 So while I think pointing out what needs
19 to be done further is very important, the
20 practicality of identifying who is most likely to
21 be safe--which is what Victor was saying--but then
22 once we get beyond that, I think everybody here

1 feels relatively uncomfortable about how those
2 other patients that get more complex very quickly
3 will be managed. And maybe that might be viewed as
4 one of the follow-up studies, which would be some
5 type of an approach to not necessarily enroll into
6 a study, but have some type of formalized follow-up
7 so that the concerns about long-term use and
8 toxicity can be followed.

9 I mean, I think that's probably as
10 important as any one specific drug interaction
11 study.

12 DR. ENGLUND: Dr. Grant?

13 DR. GRANT: I agree with others that I
14 think that we've seen data that establish a
15 subgroup that is predicted to have a favorable
16 risk-benefit with tipranavir treatment.

17 But I'd like to hear more about the study
18 that was offered to those with multiple--that is
19 more than two--primary or key PI mutations. I
20 think it's 1182.51.

21 Because the patients in the RESIST studies
22 really are not the deepest, salvage kinds of

1 scenarios that there are out there; they're, in
2 fact, patients who have moderate PI resistance.
3 And I do not believe we saw any efficacy data from
4 the .51 study, which would have represented
5 patients who had two or more PI resistance
6 mutations.

7 DR. ENGLUND: Dr. Birnkrant, is that
8 allowed?

9 DR. BIRNKRANT: For clarification.

10 DR. GRANT: I think it is relevant, though.
11 Because the proposed language for the indication is
12 that this is appropriate for salvage settings. And
13 yet the people with the highest level resistance
14 were excluded from the trials that are being
15 presented.

16 DR. MAYERS: This is Doug Mayers.

17 I'd like to have Slide 32 from the
18 "Resistance" set.

19 [Slide.]

20 And basically, as you know, after two
21 weeks we added tipranavir to the other PIs and got
22 a 1-log response, which then began to fall off in

1 the majority of these patients; only about 12
2 percent of them received T-20 in this cohort. So
3 when you get down to it, basically all of the
4 effect we saw in this study was tipranavir in all
5 the arms.

6 It's very hard to get conclusive efficacy
7 conclusions, because after the four-week time
8 point, since this was a PK and safety study, the
9 docs and patients were allowed to switch. And so
10 the arm people were in changed sometimes three
11 times out to six months as to what they were
12 combining with the tipranavir.

13 So what I can tell you is that tipranavir
14 gave a log of activity. There was a small
15 percentage--maybe 10, 15 percent of patients--who
16 got a durable, sustained drop. But after four
17 weeks you start to see a loss of virologic
18 activity, basically because they had tipranavir,
19 and most of these patients had less than one active
20 background drug to support it.

21 I mean, that's the fundamental problem you
22 get into in this deep salvage group of patients.

1 It's not that tipranavir isn't active. It's an
2 active drug. But it needs one or two additional
3 active drugs to partner with.

4 And the one that we've seen good anecdotal
5 response with has been the T-20-naive patient who
6 gets tipranavir in this setting, and does go
7 undetectable.

8 DR. ENGLUND: Thank you.

9 Dr. Gerber?

10 DR. GERBER: Yes, just briefly.

11 As I'm listening to everybody, I think the
12 biggest problem here is that what we don't have is
13 hard data showing that there's improved survival,
14 or a decrease in opportunistic infections
15 associated with the therapy. I know it's a
16 short-term therapy, but I think nobody would deny
17 that this drug should be on the market--if there
18 was survival data, or there was actually an
19 improvement in the opportunistic infections.

20 So that's what we're struggling with.
21 It's a drug that has some toxicity, and clearly
22 virological efficacy, but somehow--maybe we haven't

1 followed these people long enough.

2 So that's what I'm struggling with. I'm
3 listening to everybody, and I agree that we do need
4 a drug for the multi-drug resistant patient. I
5 mean, those are the ones I see in the clinic. But
6 I certainly would be a little bit more cheerful
7 about this drug if we had some hard data that, in
8 the long term, I'm making a difference.

9 DR. ENGLUND: Thank you.

10 Dr. Birnkrant, have we had some discussion
11 so that we could put the first part of votes? So
12 we could potentially discuss the second part?

13 DR. BIRNKRANT: That would be fine.

14 DR. ENGLUND: At this point I'd like to go
15 around the table. I think actually we're going
16 to--you're going to have to help remind me who can
17 vote. But I think I have this written down here.

18 And we're going to go around the table,
19 and I would just like you to address the first
20 question, which is: do the data demonstrate that
21 tipranavir-ritonavir combination is safe and
22 effective for multi-drug resistant HIV-1-infected

1 population? And if no, what additional data are
2 needed?

3 If yes, I think we could move that to a
4 separate discussion. And we'd like to do this
5 relatively quickly.

6 So we'll start with Dr. Wood.

7 DR. WOOD: I will say yes, with
8 conditional specifications.

9 DR. DeGRUTTOLA: I would say yes--also with
10 conditional specifications.

11 DR. ENGLUND: Dr. Rodriguez-Torres?

12 DR. RODRIGUEZ-TORRES: No.

13 DR. ENGLUND: And what additional data
14 would you like? You've said some before, but--

15 DR. RODRIGUEZ-TORRES: All the drug
16 interaction studies that we have mentioned;
17 evidence of histology in patients--follow-up with
18 histology; and better definition of the ALT
19 elevation and the outcome, in terms of liver
20 disease.

21 DR. ENGLUND: Dr. Munk?

22 DR. MUNK: Yes, with concerns.

23 DR. ENGLUND: Dr. Sherman?

24 DR. SHERMAN: No, as a rapid approval at
25 this time--pending longer-term data with clinical

1 outcomes and with better characterization of liver
2 disease.

3 DR. ENGLUND: Dr. Gerber?

4 DR. GERBER: Yes, with concerns, as well.

5 DR. ENGLUND: Dr. Washburn?

6 DR. WASHBURN: No, with a need for
7 long-term efficacy follow-up.

8 DR. ENGLUND: Dr. Grant.

9 DR. GRANT: Yes, with concerns.

10 DR. ENGLUND: Dr. Miller?

11 DR. MILLER: Yes, with the concerns.

12 DR. ENGLUND: Dr. Maldarelli?

13 DR. MALDARELLI: Yes, with reservations.

14 DR. ENGLUND: Dr. Morse.

15 DR. MORSE: Yes, with the concerns I
16 mentioned.

17 DR. ENGLUND: And Dr. Capparelli.

18 DR. CAPPARELLI: Yes, with concerns.

19 DR. ENGLUND: Dr. Hall.

20 DR. HALL: Yes, with concerns.

21 DR. ENGLUND: And I'm allowed to vote.

22 Yes, with concerns--and probably the same
23 concerns we all are talking.

24 That is 11 voting yes, so the yeses carry
25 this in terms of--three nos, 11 yeses.

1 For those who said "yes," there was a high
2 concordance rate of additional concerns, and severe
3 concerns. And I think now it would be very good if
4 we could start perhaps at the other end of the
5 table--with Dr. Hall--and if you could please help
6 us with what are your highest degree of concerns.
7 And what would you recommend?

8 The question specified on the FDA proposal
9 says: "Address the appropriate population, based on
10 the other problems." But the other issues are
11 perhaps what you would like to address, including
12 limited inclusion criteria--which as been
13 discussed; interactions of drugs; resistance and/or
14 safety.

15 Dr. Hall?

16 DR. HALL: Well, I think the concerns are

1 the ones that everybody's mentioned: simply the
2 long-term outcomes and how, in practice, things
3 will be managed on a daily basis.

4 I don't think that there's a lot more to
5 say about that. I think it's been covered pretty
6 well.

7 DR. ENGLUND: Dr. Capparelli?

8 DR. CAPPARELLI: I would just concur, and
9 especially with the focus on the expansion of use
10 with other drugs; you know, the limited scope of
11 the criteria for this particular study.

12 I noted, in particular, that if you looked
13 at the common combinations there weren't--you know,
14 it was everybody was on tenofovir, no one was on
15 NNRTIs--or there were very few, if at all; and very
16 few on thymidine-containing regimens--at least in
17 terms of the common.

18 So even within the background therapy
19 there are issues that would be of interest, and
20 that may relate to safety issues as well as
21 efficacy issues.

22 DR. ENGLUND: Dr. Morse?

23 DR. MORSE: I think my main concern in
24 discussing a patient what the benefit of this drug
25 would be is that I could feel confident saying it

1 has some activity, but not that it's been compared
2 against something where you could say the
3 percentage of increased activity is X.

4 So my concern is that some type of a
5 follow-up study be developed concurrent with these
6 last couple of years; for example, rather than the
7 control PI arm, that arm might have been a control
8 PI that had therapeutic drug monitoring, and dosage
9 adjustment of those PIs.

10 Or there is certainly an interest on the
11 part of the AIDS clinical trials group to put
12 together, as a number have said, maybe one, two,
13 three or more investigational drugs to figure out
14 the best way that tipranavir can be used.

15 So I think there are additional follow-up
16 studies.

17 DR. ENGLUND: Dr. Maldarelli?

18 DR. MALDARELLI: I think the durability of
19 this agent remains uncertain.

20 I think it's obvious from the studies that
21 it has efficacy, since new resistance mutations
22 emerge on it. But how long one can derive any
23 virologic benefit from it remains uncertain.

24 So I think some studies directed toward
25 that would be important.

1 I think in treat patients, we obviously
2 balance that with toxicities. In fact, I think
3 what we do most is manage toxicities. And learning
4 more about what these are like in the longer term
5 are also quite important.

6 DR. ENGLUND: Dr. Miller.

7 DR. MILLER: Yes. I mean, basically I
8 think that's the major issue: sort of the long-term
9 effect of the liver toxicities.

10 It's really too bad that the 48-week data
11 was not available to be reviewed at this time,
12 because I think that may have clarified some of the
13 longer efficacy questions.

14 I also think that with regard to the
15 rash--and I know the company--the sponsor--did
16 mention that they had some studies planned, but I

1 think that's an area that requires clarification as
2 to what kind of clinical management? Do patents
3 have to be taken off, or can they be treated
4 through? And what some of those risks may be,
5 especially in patients with different levels of CD4
6 cells and all of that.

7 In terms of how this is going to be used
8 out there, I mean there have been so many
9 discussions about the expertise required to treat
10 HIV, and I don't know if this group--I mean, I
11 think this group can say: yes, treatment of HIV
12 requires a high level of expertise, and encourage
13 that to happen. But, unfortunately, this agency
14 does not regulate how the treatment is actually
15 happening. There's other groups that do that.

16 So, I think maybe just a recommendation
17 supporting that is something that just doesn't hurt
18 to put in there--not in the label, obviously, but
19 out there.

20 DR. ENGLUND: Dr. Grant?

21 DR. GRANT: Yes, I think it should be
22 emphasized that these patients, and this drug

1 should be restricted to clinicians who have clear
2 expertise in HIV and antiviral management. And
3 this wouldn't just be for this drug, but these
4 patients in general should be handled.

5 I'm concerned that the evidence is not yet
6 sufficient to clearly identify a favorable
7 risk-benefit in women. And I'm particularly
8 concerned that the rash may indicate a serious
9 serum toxicity, and that this was under studied in
10 the Phase III trials in women--and particularly
11 women on birth control pills.

12 So I would encourage the manufacturer and
13 the FDA to work on establishing a clear line of
14 evidence establishing positive risk-benefit in
15 women.

16 DR. ENGLUND: Dr. Washburn.

17 DR. WASHBURN: I remain unconvinced that
18 the risk-benefit ratio is acceptable, even in the
19 salvage situation, based on a fundamental lack of
20 satisfaction with a short-term, unblinded study.

21 DR. ENGLUND: Dr. Sherman--short summary.

22 Yes--well, I guess I'm supposed to skip

1 you, but--

2 DR. GERBER: Are you skipping me?

3 DR. ENGLUND: No, I'm not skipping you.

4 The order's wrong.

5 Dr. Gerber--sorry.

6 DR. GERBER: Oh, that's okay. I mean, if
7 you want to skip me, it's fine.

8 [Laughter.]

9 DR. ENGLUND: No--you said "yes." I don't
10 want to skip you. I'm sorry.

11 DR. GERBER: Again, the concern to me is
12 not having a clinical outcome, and this might be
13 something for future clinical trials, where a drug
14 that's going to be proposed for very advanced
15 patients should have, as a primary outcome, some
16 clinical aspect to it, rather than purely
17 virological aspect--especially if the drug has some
18 toxicity

19 And I'm also concerned about drug-drug
20 interactions--specifically, the lipid changes which
21 are quite significant with this drug. And we
22 really have no idea how to treat it at this point.

1 So I think it would be important to have drug-drug
2 interaction studies that basically look at the
3 other statins beside the torvastatin, or fibrates,
4 to see if we can use them together with this
5 medication so we can appropriately the lipids that
6 are going to be quite significant problems.

7 DR. ENGLUND: Dr. Sherman--who voted no.

8 [Laughter.]

9 DR. SHERMAN: Do I have to wear that as a
10 crown now?

11 [Laughter.]

12 DR. ENGLUND: That means you have to be
13 shorter.

14 DR. SHERMAN: In terms of the appropriate
15 population, obviously those with resistance patterns
16 that are consistent with the inclusion criteria;
17 and in terms of pre-treatment status, preferably
18 those with normal or near-normal liver enzymes--if
19 possible, understanding that that may not be always
20 possible; and if those enzymes are elevated, then a
21 recommendation that those patients be fully
22 evaluated for the amount of underlying, primarily

1 fibrotic liver disease that they already have,
2 because the risk-benefit equation is likely very
3 different in those that have a Metavir Stage 0, 1,
4 2 disease, versus those that are pre-cirrhotic and
5 cirrhotic.

6 DR. ENGLUND: Dr. Munk?

7 DR. MUNK: I think we need better
8 characterization of the drug-drug interactions;
9 better understanding of the treatment with
10 tipranavir of co-infected, hepatically-impaired
11 population--and women. And we need better
12 characterization of the resistance information so
13 that in that .51 study, where there was about a
14 four-week 1-log improvement in viral load, and then
15 a decay, can we really identify which protease
16 mutations will cause that? Will any other active
17 drug extend the benefit in that situation?

18 We need to know more about that.

19 DR. ENGLUND: Dr. Rodriguez-Torres, you've
20 given us some ideas earlier, but--

21 DR. RODRIGUEZ-TORRES: Yes, I have spoken
22 too much, for the first time.

23 [Laughter.]

24 DR. RODRIGUEZ-TORRES: [Laughs.] Nothing
25 else to add. I agree with what Ken said about the