

1 resolution of chest pain, resolution of
2 malaise and duration of hospitalization.

3 For the morbidity endpoints, as a
4 general rule, the margin is probably going to
5 be on the order of 15 percent. For
6 defervescence, it may go up to 20. For
7 mortality it's going to be 10 percent.

8 So depending on which of those you
9 put into your composite, and how you weight
10 each individual one, that's going to tell you
11 what the margin should be for your particular
12 study.

13 We did not feel comfortable
14 limiting industry's ability to set -- or FDA's
15 ability to set or govern what the composites
16 should be.

17 The point really is, this is a
18 general guidance, and that in your individual
19 study, depending on which components you
20 include, you need to justify it based upon the
21 historical data.

22 This is a typo, sorry, this should

1 be number four. So it's the final question we
2 will ask. What about appropriate outcome
3 measures?

4 A comment about mortality that is
5 derived from the workshop, three specific
6 points were made. The first is that
7 attribution of causes of mortality is likely
8 to introduce bias into the analysis, and it's
9 not clear how accurate it is. So, in general,
10 IDSA favors an all-cause mortality endpoint
11 rather than an attributable mortality
12 endpoint.

13 Furthermore, review of the
14 historical data suggested that most patients
15 who die of pneumonia, die within the first two
16 weeks, and therefore, deaths after the first
17 two weeks are more likely to reflect
18 underlying disease, so we would recommend a
19 mortality endpoint at around two weeks or so,
20 rather than 30 days.

21 And finally the point was made, I
22 think, quite compellingly by John Powers, that

1 we should not be excluding patients from the
2 endpoint who die in the first day or two after
3 enrollment.

4 Clinical morbidity endpoints we've
5 already gone over: resolution of fever, cough,
6 dyspnea, chest pain, malaise, duration of
7 hospitalization. As Dr. Alexander touched
8 upon, there was enthusiasm at the workshop for
9 starting to explore the use of patient-
10 reported observation instruments, because they
11 eliminate potential observer bias in recording
12 of objective data.

13 Of course if you are going to use
14 these instruments, they have to be validated
15 before the trial, and there is an FDA guidance
16 available to help guide the validation
17 process.

18 So the main points are: that
19 placebo - from the IDSA perspective - is that
20 placebo is not appropriate for CAP trials,
21 that noninferiority studies are appropriate
22 for CAP of all severity, and that antibiotics

1 are highly effective for community-acquired
2 pneumonia.

3 Risk stratification with PSI can
4 allow us to fulfill the constancy assumption.
5 All-cause mortality and morbidity endpoints
6 are appropriate to include in a composite
7 endpoint, and the appropriate margin for that
8 endpoint ranges from 10 to 20 percent,
9 depending on which components are included and
10 how much weight is given to each endpoint.

11 And I'm going to now turn it over
12 to Dave to bring us home.

13 DR. GILBERT: Thank you, Brad.
14 This is Dr. Gilbert again, with a few final
15 thoughts.

16 Knowing the risk of significant
17 morbidity and mortality of pneumococcal
18 pneumonia, as Brad just summarized, the IDSA
19 is firmly opposed to placebo-controlled trials
20 of community-acquired pneumonia of all degrees
21 of severity.

22 One point that I don't think has

1 been mentioned yet is the need to plan for new
2 anti-bacterial requirements, not just for
3 today or next year or next three years, but
4 for decades, as the resistance patterns are
5 inevitably going to look worse and worse and
6 worse.

7 Industry commitment to discovery
8 and development of new anti-bacterials is
9 presently at a low ebb. I think that has been
10 documented repeatedly.

11 Even with clear, reasonable,
12 scientifically defensible guidance,
13 rejuvenated discovery and development will not
14 bear fruit in terms of bringing new drugs to
15 market for at least 10 years. So what's
16 decided here today will have quite an impact.

17 The IDSA views the current
18 situation as a public health emergency. The
19 time to remove the uncertainty from the design
20 of clinical trials for community-acquired
21 pneumonia is now.

22 We think that we have the tools to

1 solve the problem. As Brad nicely just
2 reviewed, with our recommendations for
3 noninferiority trial design with reasonable
4 margins, severity stratification, and a
5 reasonable application of the tools of
6 molecular diagnostics as they become available
7 -- and using those that are presently
8 available -- and using reproducible treatment
9 endpoints.

10 And then, just as an editorial
11 comment, I don't think we should wait 10 or 20
12 years to go through this process again,
13 because the accrual of data is very, very
14 rapid, and hopefully within three to five
15 years, this advisory committee again will
16 review community-acquired pneumonia trial
17 design, because certainly, we'll have
18 additional information and we will, in a
19 prospective way, eliminate the uncertainty
20 that plagues development of new drugs.

21 With clear, decisive action, the
22 FDA can remove the current uncertainty that is

1 a major, if not the major, disincentive to
2 development of anti-bacterials.

3 I think everybody in this room is
4 on the same page. We want to take the proper
5 action. In the meantime, the public, and most
6 importantly our patients and the physicians
7 that are caring for them, are waiting,
8 watching and hoping.

9 We thank you for allowing us to
10 make the presentation.

11 Mr. Chairman, are we going to have
12 questions and answers, or no?

13 ACTING CHAIR TOWNSEND: We are
14 waiting until the question and answer session.

15 Thank you very much, Dr. Spellberg
16 and Dr. Gilbert.

17 We are actually running ahead of
18 schedule this morning, so we are going to take
19 a break. But we are going to come back from
20 the break early. We'll restart at 10:00
21 o'clock here.

22 A couple of things before we

1 break. Remember that we should refrain from
2 discussing what has gone on in the session
3 this morning while we are out there. And I
4 think that's it.

5 So be back here at 10:00 o'clock.

6 Thank you.

7 (Whereupon at 9:37 a.m. the
8 proceeding in the above-entitled matter went
9 off the record, and resumed at 10:01 a.m.)

10 ACTING CHAIR TOWNSEND: All right,
11 thank you. If we can all take our seats, it's
12 about time to get started.

13 Before we get started, Sohail has
14 a little information for us, and then we'll
15 get cracking.

16 EXECUTIVE SECRETARY MOSADDEGH:
17 Good morning. The FDA media contact,
18 Christopher Kelly, was out earlier. He is
19 here, standing up, if anyone has any
20 questions, I'll refer to him. Thank you.

21 ACTING CHAIR TOWNSEND: All right,
22 thanks.

1 So we'll go ahead and get started.

2 Our first presentation will be from Dr.

3 Richard Wunderink, who will be presenting the

4 American Thoracic Society/American College of

5 Chest Physicians statement.

6 ATS/ACCP STATEMENT

7 DR. WUNDERINK: Well, thank you for

8 inviting the ATS and the ACCP to offer a

9 perspective on this very important issue.

10 I want to start to - by applauding

11 the agency's attempt to improve the quality of

12 clinical trials for community-acquired

13 pneumonia. The ATS and ACCP strongly endorse

14 this, and we also strongly agree with concerns

15 about the need for new antibiotics as

16 expressed by our IDSA colleagues, especially

17 the need for new classes of antibiotics, and

18 we agree that the epidemic of resistant

19 pathogens is something that is

20 incontrovertible, concerning and, as

21 mentioned, is unlikely to diminish in the

22 future, and therefore, we actually are very

1 supportive and thankful that we get to go
2 behind the previous presentation that
3 illustrated most of the issues, and I would
4 say we agree with most of the comments that
5 were just made.

6 The ATS and ACCP perspective on
7 this actually though wants to emphasize a
8 couple of themes that have not been emphasized
9 so much in the previous workshop nor
10 necessarily today, and that is to make
11 clinical trials for community-acquired
12 pneumonia clinically relevant and, as part of
13 that, to be consistent with the most recent
14 IDSA-ATS CAP guidelines.

15 And I'm going to develop both of
16 those points somewhat.

17 The first point I want to suggest
18 is that the stratification and definition of
19 types of community-acquired pneumonia be more
20 clinically relevant. And the way that
21 clinicians think, the way that the guidelines
22 are actually set up, is actually to break it

1 into three categories of mild being outpatient
2 community-acquired pneumonia; moderate being
3 patients hospitalized but not in the intensive
4 care unit; and severe being ICU admission.

5 In much of the discussion in the
6 literature has talked about moderate and
7 severe as being patients that were not
8 admitted to the intensive care unit, and that
9 is just not very consistent with clinical
10 care.

11 There is very little difference
12 between PSI 3, 4 or even 5 in patients who are
13 not admitted to the ICU. In particular, there
14 is no difference in microbial etiology there,
15 as opposed to severe community-acquired
16 pneumonia where there is a difference, not
17 necessarily in the actual bugs that cause
18 pneumonia, but in the frequency.

19 These are a variety of studies of
20 severe community-acquired pneumonia, generally
21 meaning they were admitted to the intensive
22 care unit. And what you see is a skew that

1 goes way away from strep pneumonia, into some
2 of these things that include enterobacteriace,
3 staph aureus, nonfermenters like pseudomonas
4 and Acinitobacter. So there is a clear
5 distinction between severe CAP and non-severe
6 CAP admitted to the hospital.

7 Conversely, the use of the PSI
8 score doesn't really separate etiology. And
9 the PSI score itself does not predict who
10 should be in the intensive care unit. This is
11 just a look at a large series of patients by
12 Angus, and the patients who were admitted to
13 the intensive care unit, 27 percent of them
14 actually were in PSI classes 1 through 3. So
15 it's not - it's really designed as a decision
16 tool for admitting patients to the hospital.
17 It doesn't function very well as a tool to
18 admit them to the intensive care unit.

19 Now I make these comments about
20 the PSI system not to take away from the
21 previous presentation about the constancy
22 principle; I think that's still very valid.

1 But if you are going to design clinical
2 trials, we would suggest that in fact the PSI
3 not be used to stratify patients, but that you
4 use a much more clinically relevant
5 stratification.

6 The fact is the decision to go to
7 the intensive care unit, we don't have good
8 criteria. Our previous ATS, the revised ATS,
9 the previous BTS, and the PSI scores both
10 including 4 and 5, or just 5, are very
11 inaccurate for that. Some are overly
12 sensitive here -- that 60 percent of the
13 patients admitted to the hospital with CAP
14 would meet criteria to go to the intensive
15 care unit.

16 And some of them - all of them are
17 relatively nonspecific, so if you meet the
18 criteria, the number of patients that actually
19 get admitted to the intensive care unit is a
20 distinct minority, never getting any better
21 than 26 percent here.

22 And so the new set of guidelines

1 actually rejected all of these previous ones,
2 and went to a newer regimen that took account
3 of some very obvious reasons to go to the
4 intensive care unit - mechanical ventilation
5 and septic shock.

6 And then a variety of minor
7 criteria that includes things that were in the
8 CURB score, previous things that were part of
9 the ATS score for that.

10 So we think that this is probably
11 a little bit more valid as far as
12 stratification. And most of the pulmonary,
13 and especially the critical care side of
14 people, cringe when you talk about severe CAP
15 and it not being in the intensive care unit.

16 So I think that that is much more
17 clinically relevant. And as we go ahead with
18 studies, I think we need to probably define
19 these a little bit different.

20 Now that being said, the reason I
21 spent some time emphasizing this is because
22 the consistent message I got from all of the

1 ATS and ACCP people is, we need studies of
2 severe community-acquired pneumonia. We need
3 studies of patients admitted to the intensive
4 care unit.

5 This is our recommendation for, in
6 the last iteration of the guidelines, for
7 patients admitted to the intensive care unit;
8 and it's a Beta-lactam specifically
9 cephalosporin, plus a macrolide or quinolone.
10 This is essentially expert opinion, because in
11 fact the only study that has come anywhere
12 close to studying treatment of patients with
13 severe community-acquired pneumonia we could
14 only find one randomized control trial. This
15 was a comparison of ceftriaxone Oflox, and my
16 residents don't even know what that drug is
17 anymore, versus Levofloxacin, and they
18 allowed patients who had mechanical
19 ventilation into the trial.

20 The overall population, there was
21 no difference. We ended up focusing on this
22 small, not statistically significant but

1 bothersome difference in mechanically
2 ventilated patients to say, we still need
3 combination therapy. It's, frankly, all
4 expert opinion, and I think we have to
5 recognize that the only people who are doing
6 studies of treatment for community-acquired
7 pneumonia are the pharmaceutical industry in
8 response to FDA requirements.

9 So we would highly and strongly
10 recommend that, in fact, severely ill patients
11 be admitted to these clinical trials and
12 actually that they be encouraged.

13 Now one of the other things that
14 we felt was important to bring up that didn't
15 come up in the previous workshop and is a
16 critically important thing, we think, for the
17 safety of patients is this whole issue of
18 health-care associated pneumonia.

19 We actually -- long ago the IDSA
20 and ATS broke that out, and we no longer talk
21 about health-care associated pneumonia in our
22 CAP guidelines, even though these are patients

1 who are admitted to the hospital from the
2 community, and in previous studies might have
3 been in our community-acquired pneumonia
4 studies.

5 And the suggestion is, here, that
6 patients - these are the risk factors for
7 multi-drug resistant pathogens. The reason we
8 took them out is, because they look more like
9 our hospital-associated pneumonia, and we
10 thought that the antibiotic regimens for these
11 patients ought to be different than the usual
12 community-acquired pneumonia.

13 And this is the list from the
14 table that we put in there, and there are
15 things that were the traditional -- like
16 current hospitalization or two days of
17 hospitalization in the previous 90 days, that
18 was a routine exclusion in the past for
19 community-acquired pneumonia, but some of
20 these other things, antimicrobial therapy in
21 the preceding 90 days, high frequency of
22 antibiotic resistance, and then these other

1 risk factors of nursing home or extended care
2 facility, residents' home infusion therapy,
3 chronic dialysis, and a family member with
4 multi-drug resistant pathogens.

5 I have to say, I think we got it
6 wrong. We are now redoing the HAP/CAP/VAP
7 guidelines that's just being started by the
8 IDSA and ATS, and we welcome the FDA to have
9 input into that to help with this clinical
10 trial design, because in fact some of these
11 don't make a lot of difference.

12 If you had antimicrobial therapy
13 in the preceding 90 days, it may mean you have
14 pen- resistant or macrolide-resistant strep
15 pneumo, but does it really mean you have to
16 cover for pseudomonas and MRSA or
17 acinetobacter? We don't think so.

18 Same thing even for this health-
19 care associated pneumonia. There is more and
20 more data that suggests that it's not just
21 where you reside in a nursing home but the
22 degree of disability there. Do they have a

1 trach and a PEG, and are they bed-bound? Or
2 are they getting up, feeding themselves,
3 clothing themselves? And if that's true, then
4 they are less likely to have these multi-drug
5 resistant pathogens, and really should be
6 treated more like community-acquired
7 pneumonia, and in fact, we've had some
8 disasters reported when we treat those
9 patients like hospital-acquired pneumonia.

10 And these last three are really
11 risk factors for MRSA, but do we need to cover
12 for pseudomonas or acinetobacter? So I think
13 there is going to be some re-entrenchment and
14 revision of these risk factors in pulling some
15 of these patients out of the HCAP and HAP
16 guidelines and pulling them back into the
17 community-acquired pneumonia guidelines.

18 But I think we need to be very
19 aware from a safety issue of excluding these
20 patients that meet the true risk factors for
21 multi-drug resistant pathogens.

22 Now one of the other things that

1 we felt strongly about is that the comparator
2 drug ought to be consistent with IDSA ATS CAP
3 guidelines, and this has something to do with
4 the issue of constancy and clinical relevance
5 for sure.

6 This is our set of guidelines for
7 hospitalized community-acquired pneumonia. In
8 the large bold is the newer fluoroquinolones
9 and cephalosporin plus macrolide. We kind of,
10 in the text said, for carefully selected
11 patients, azthromycin alone, and in even
12 smaller print, substituting doxyxycline for a
13 marcrolide, because we don't have the evidence
14 base to support some of those.

15 This is actually clinical trials
16 that suggested that it's good, and that it
17 could be used. But the concerns about
18 resistance are one of the things that are
19 driving our deemphasizing that recommendation
20 from even guidelines published four to five
21 years earlier.

22 So we think that in order to help

1 with this constancy principle, that you need
2 to use what is the most current comparator
3 types of drugs for these clinical trials.

4 Now we were looking mainly at data
5 such as this, that comes from 14,000 patients
6 looking at mortality here. This is adjusted
7 mortality, and it's comparing a baseline of a
8 third-generation cephalosporin alone. Here is
9 a second- or third-generation cephalosporin
10 plus a macrolide or a fluoroquinolone, and you
11 have a survival advantage there that we
12 thought was important and why we felt that we
13 should be recommending these kinds of primary
14 treatment for our patients.

15 Now in contrast, a different Beta-
16 lactam other than a cephalosporin plus a
17 macrolide had a significant difference in
18 mortality, and so we were saying, we shouldn't
19 be using those kinds of medicines. And we are
20 suggesting to the FDA that you shouldn't be
21 using that as your comparator drugs; that we
22 should be using current up-to-date, modern

1 kinds of medicine.

2 So what the strong recommendation
3 from the ATS and ACCP is that we need to
4 parallel the CMS and joint commission
5 standards to allow American physicians to
6 participate in these trials.

7 We have a large concern that, with
8 the way that some of these clinical trials are
9 being designed, it's driving the trials to
10 situations where American physicians cannot
11 participate.

12 You have to realize that the CMS
13 Joint Commission standards drive a tremendous
14 amount of the care for community-acquired
15 pneumonia, and there are important issues that
16 have to do with enrolling patients.

17 We have a very difficult time
18 getting IRB approval if they aren't consistent
19 with the ATS IDSA guidelines, which is
20 essentially what CMS and the Joint Commission
21 have adopted.

22 It's easier to get participants to

1 agree to enrollment: not just the patients,
2 but also physicians. We have physicians who
3 will refuse to in fact do studies, and I will
4 refuse to do a study based on what the
5 comparator drug is, if it's not a standard
6 comparator drug that I feel comfortable giving
7 my patient, I won't do the trial.

8 And I think that one of the things
9 that we need to emphasize that goes to this
10 issue of, is there a benefit to antibiotics,
11 and is there this constancy principle, is
12 that, if you look at community-acquired
13 pneumonia process of care improvement projects
14 have consistently documented that increased
15 adherence to the IDSA ATS guideline
16 recommended therapy is associated with lower
17 mortality.

18 We can't pull back from that. We
19 can't use clinical trials that don't do that
20 kind of thing.

21 Newer agents probably will
22 demonstrate superiority to penicillin for

1 community-acquired pneumonia. If you set up
2 a clinical trial against penicillin, I'm
3 virtually sure that you will show superiority,
4 if that's what you want to do. But it's not
5 clinically relevant, and I can tell you if
6 making these guidelines we'll never know what
7 to do with that drug, because it's not
8 compared to a drug that we think is going to
9 be at all relevant in that population.

10 So I think that we strongly
11 recommend consistency with the guidelines.
12 There are some practical implication to that.

13 We would also agree with previous
14 IDSA recommendations that we could not support
15 placebo-controlled trials. I think that that
16 just - would never pass our IRBs, would never
17 get clinicians to enroll.

18 You should allow enrollment of
19 patients who have already received an initial
20 dose of a once-a-day antibiotic, such as
21 ceftriaxone.

22 We are probably going to hear

1 later about some of the issues with that, but
2 if you take this away with the CMS emphasis on
3 time to first antibiotic dose, you will get no
4 enrollment in clinical trials in the United
5 States, because we are so driven by that whole
6 issue. We are over-driven to the point of
7 using excess antibiotics.

8 But if you take this possibility
9 away, you are going to skew the whole study to
10 patients who are not accurately diagnosed at
11 the front end.

12 If they are accurately diagnosed
13 at the front end, they are getting their
14 antibiotic as soon as possible.

15 I think you are going to need to
16 allow combination therapy for drugs that may
17 not have a typical coverage. The diagnostic
18 issues are difficult enough, especially for
19 the atypical pathogens, that that is going to
20 be a severe limitation.

21 We have combinations. We have a
22 cephalosporin macrolide if the new drug has no

1 activity against atypicals -- that macrolide
2 will allow comparison to the more standard.

3 I think one of the other things
4 that came up in here that will help with this
5 whole issue of, is there a benefit compared to
6 placebo, is there a true benefit of this, is
7 looking at shorter duration of therapy.

8 We do know that patients will
9 survive pneumonia without antibiotics. We
10 know that that is true. That is even true of
11 pneumococcal disease.

12 But part of the issue is that, if
13 you make your duration of treatment 14 days,
14 then you lose all of that potential benefit in
15 effect, and the guidelines are really pushing
16 toward shorter and shorter antibiotic courses.

17 Part of this has actually been
18 driven by industry-sponsored trials, and we
19 applaud that, but recommend that we continue
20 to do that, and that going longer will
21 disguise any differences between the drugs.

22 And I think that disconnecting

1 approval for community-acquired pneumonia from
2 linkage to nosocomial pneumonia would probably
3 be of some benefit.

4 That being said, we also have some
5 concerns about mortality as an endpoint. And
6 what I want to say is that it is unclear that
7 antibiotics will differentially affect
8 mortality; that one antibiotic versus another.
9 This is simply looking at U.S. data on
10 pneumonia and influenza. We have data going
11 back to 1900. The definition has changed a
12 little bit at each of these bars here, but
13 what you can see is that there is a very - and
14 this is a log scale - so when penicillin first
15 became available, we saw a nice, fairly
16 gratifying drop in mortality, and then this
17 plateau-ing.

18 Now this actually illustrates
19 several things that I think are pertinent to
20 this whole discussion.

21 This is a huge drop. This is a
22 log scale, this is something that shows that

1 there are clearly antibiotic effects, and that
2 there is clearly a benefit, and is strong
3 evidence against any type of placebo-
4 controlled trial.

5 On the other hand we are not
6 seeing a significant difference in mortality
7 that has come since that time, suggesting that
8 the constancy principle is actually in play
9 here, that in fact we have not been able to
10 show one drug is better than another drug as
11 far as mortality. Mostly what we have been
12 doing in this period of time is fighting a
13 rearguard action against the development of
14 resistance, first resistance of staph to
15 penicillin, since staph has been a player all
16 along -- the availability of atypical coverage
17 in this area as was just mentioned.

18 But at this time we're really
19 fighting a rearguard action against the
20 development of resistance. And that's why I
21 say, you could do penicillin as your
22 comparator drug, and the new drugs would

1 probably be superior, but it would not be
2 clinically relevant.

3 And as I say, it's unclear that
4 antibiotics will differentially affect
5 mortality. Most of the time I wear a hat as
6 an intensivist, and we talk about
7 immunomodulatory therapy as being the thing
8 that may affect mortality there.

9 If you talk about moderate
10 community-acquired pneumonia, in our
11 definition that being patients who are not
12 admitted to the ICU but admitted to the floor,
13 it's unclear that pneumonia is the greatest
14 risk for death, and in fact it may be
15 cardiovascular. If you talk about mild
16 community-acquired pneumonia, the death rate
17 is so low it's really bad luck. It's, they
18 got hit by a car, most of the time, and that's
19 the leading causes of death in those types of
20 patients.

21 This is actually Dr. Musher's data
22 looking at pneumococcal pneumonia and acute

1 cardiac events. And the bottom line is about
2 one out of five patients, 20 percent, have an
3 acute, new cardiovascular event while admitted
4 for the prototypical community-acquired
5 pneumonia.

6 And there is a lot of data that is
7 starting to accumulate that, in fact,
8 infection and accelerated cardiovascular
9 disease are actually not disconnected but are
10 intimately related. And what you can see is,
11 there is a big difference in mortality that
12 occurs in those patients compared to the
13 others.

14 So to say antibiotics are going to
15 make a difference in cardiovascular mortality
16 is a stretch. It may be true, but we haven't
17 proven that yet.

18 If you actually look at severe
19 community-acquired pneumonia in patients who
20 die at age less than 55, and I emphasize age
21 less than 55 - we all know that this is a
22 disease where mortality goes up in the elderly

1 - but if it's age less than 55 you get rid of
2 a lot of the issues of comorbidity. You get
3 rid of the issue of palliative care in
4 patients who are admitted with pneumonia,
5 which is a very big issue in the elderly
6 patient.

7 A large number of admissions in a
8 Canadian province looking at the overall
9 incidence of these things, the incidence in
10 patients who died in the first 11 days versus
11 all deaths. And what you see are these types
12 of things that get patients into the intensive
13 care unit - acute respiratory failure,
14 respiratory arrest, the need for mechanical
15 ventilation, or shock - occur in a very small
16 minority of patients, and yet, in a fairly
17 high percentage of patients that actually die.
18 So that's why, if you are going to use a
19 mortality endpoint, you have to use these
20 kinds of patients; you have to go to the
21 intensive care units.

22 The flip side is, 50 percent of

1 the deaths never made it into the intensive
2 care unit, so there are deaths that occur on
3 the floor. We need to be cognizant of those.

4 And basically what I'm saying is,
5 if you look at lethal pneumonia, there are
6 some that have septic shock and die of it, and
7 pneumonia is one of the most common causes of
8 septic shock. There are patients who die of
9 respiratory failure, of ARDS, and pneumonia is
10 the leading cause of ADRS in a non-trauma
11 population.

12 But there is this group of
13 patients who don't fit into either of those
14 buckets that actually die. So and we don't
15 understand those very well, so it's unclear
16 that antibiotics are going to make a
17 difference.

18 Now the exception is inappropriate
19 initial empiric antibiotics in severe CAP.
20 We've got several studies, including studies
21 of immunomodulatory agents that say, okay, if
22 you have inappropriate initial antibiotics,

1 there is an excess mortality and severe CAP.

2 The problem is, we don't know
3 which regimens are going to lead to the
4 greatest instance of appropriate initial
5 therapy, because that group has never been
6 studied. And that goes back to our plea that
7 you actually include those in clinical trials
8 here.

9 Now I say all that to say it's
10 unclear that antibiotics will affect
11 mortality, but if you go back to this thing
12 that I just showed you here, this is a
13 mortality graph. There is a difference here
14 between third-generation cephalosporins and
15 third-generation cephalosporins plus a
16 macrolide or a quinolone. There is a definite
17 mortality of about 2 percent there that we
18 felt was important enough that it's
19 statistically significant when you use the
20 14,000 patients there.

21 So there does seem to be some
22 difference. There is an even wider

1 difference. Here is almost a 10 percent
2 difference between a Beta-lactam macrolide
3 versus a specific type of Beta-lactam
4 cephalosporin macrolide. And so there is a
5 difference in mortality based on antibiotic
6 treatment.

7 We don't want to lose this benefit
8 that we are trying to achieve here. So it
9 goes back to this idea of, you need to compare
10 it to the modern standards, and we don't want
11 you to go back to comparing to these kinds of
12 drugs, because this is the mortality benefit
13 we want to preserve.

14 This is another study looking at -
15 the study was purportedly to look at atypical
16 coverage for bacteremic community-acquired
17 pneumonia. Now these were bacteremias that
18 not only were pneumococcus, but included gram
19 negatives and a variety of other things.

20 If you got mono-therapy, it was
21 associated with a lower mortality rate. Most
22 of that mono-therapy was actually a

1 fluoroquinolone. If you got combination
2 therapy with atypical coverage, there was a
3 trend toward a mortality benefit that was
4 almost completely driven by the macrolide in
5 this particular circumstance.

6 So once again, the antibiotics you
7 use do have an association with mortality. It
8 just takes the 10,000 to 15,000 patient trials
9 to show that, and those are going to be too
10 big for the pharmaceutical industry.

11 This is looking at combination
12 therapy, of bacteremic pneumococcal pneumonia;
13 a variety of prospective observational
14 studies, retrospective studies; but a very
15 consistent pattern in all of these that if you
16 add a second drug to a Beta-lactam, usually a
17 cephalosporin, you get a significant survival
18 advantage for bacteremic pneumococcal
19 pneumonia. The only study that didn't show
20 the advantage was a clinical trial of an anti-
21 TNF agent, and this is the placebo group in
22 that particular trial, and it required organ

1 failures and shock.

2 This is a recent study on
3 combination therapy for severe CAP. Now this
4 is patients admitted to the ICU. If the
5 patients didn't have shock there was no
6 difference; but if the patients had shock,
7 antibiotics made a difference.

8 And so we don't want to lose this
9 benefit as well. And in this study the
10 difference remained even if inappropriate
11 initial therapy or deaths in the initial 48
12 hours were excluded.

13 So the conclusion is mortality is
14 an important endpoint. It needs to be in the
15 studies. If you are going to look at a
16 mortality endpoint, you need to include
17 patients who will have a mortality, and that
18 is severe ICU-admitted patients. But we would
19 strongly recommend that it can't be the
20 primary endpoint for sure in superiority
21 trials, because we don't think that there will
22 ever be a large enough trial, a clinical

1 trial, an industry-sponsored trial, that would
2 ever show that superiority.

3 And for non-inferiority trials,
4 the margin should be small, to preserve that
5 benefit we have. And once again, the
6 comparison should be to the current guideline-
7 recommended therapy.

8 Now what about other endpoints?
9 For moderate CAP the clinically and
10 financially relevant endpoint is
11 hospitalization, how long the patient stays in
12 the hospital. The problem with that endpoint
13 as an endpoint in itself is that
14 hospitalization is often used to correct the
15 other medical issues that occur in patients
16 with community-acquired pneumonia. So 20
17 percent are going to develop some kind of
18 cardiovascular disease that needs to be
19 addressed, whether it's an arrhythmia or
20 congestive heart failure or diabetes out of
21 control, a variety of other things.

22 So we would actually favor the use

1 of objective criteria, such as time to
2 clinical stability, rather than the subjective
3 kind of clinical criteria, or the use of
4 duration of hospitalization as a simple
5 endpoint.

6 It also, using time to clinical
7 stability, helps for intravenous-only study
8 medications. You can require intravenous drug
9 up until they reach that point, and then you
10 can either decide to go with a different drug,
11 because you have met your endpoint, or you can
12 not continue with an oral agent afterwards.

13 And these tools have already been
14 developed, and actually have already been used
15 in some clinical trials.

16 For mild CAP the clinically
17 relevant endpoint is return to normal
18 activities; that is returning to work for
19 people who are older, returning to school for
20 younger people. So because of that, we would
21 favor the use of patient-reported outcomes.

22 Patients who are treated as an

1 outpatient, it really is a self limited
2 disease; therefore, assessment at a static
3 endpoint time is unlikely to demonstrate
4 differences, and we - for both of these,
5 whether it's time to clinical stability or
6 patient-reported outcomes, these should all be
7 time-based comparisons rather than at a static
8 endpoint, especially two weeks out from
9 beginning of disease. And the margins
10 suggested by the IDSA committee appear to be
11 reasonable and supported by some of the prior
12 literature.

13 Now I'm just going to end with a
14 couple of comments about what seemed to be a
15 very exciting thing and something that I think
16 will in the future make these trials a little
17 bit more accurate, such as the use of
18 procalcitonin and to suggest that, in fact,
19 this is something that the agency can do
20 within your own agency.

21 One of the biggest problems we
22 have is that right now procalcitonin is not

1 FDA-approved for the indication of community-
2 acquired pneumonia, for separating bacterial
3 disease from viral disease; that's not its
4 indication, and you'd be theoretically asking
5 us to do something that you haven't approved.

6 One agency - part of the agency
7 hasn't approved. And we think that it would
8 be very helpful to have that coordinated.

9 Procalcitonin may have minimal
10 impact on community-acquired pneumonia; in the
11 studies that were actually presented, it had
12 a major impact on acute exacerbations of COPD,
13 and things like that, but only about 10
14 percent. And using it as an endpoint, a
15 normal level or drop in level may be
16 supportive evidence of cure, but the
17 implications of a persistently elevated level
18 are less obvious.

19 The other issue is that, once
20 again the FDA has been a barrier to use of
21 point of care tests, such as the Binax urinary
22 antigen. We get flak from our lab that they

1 will not do it in the time-dependent kind of
2 manner that we need to involve patients in
3 clinical trials, and the institutions that
4 have been granted a waiver from the clinical
5 lab to do it as a research test on patients
6 are the ones who have been able to actually
7 enroll patients and have a high diagnostic
8 rate for pneumonia in those patients.

9 So I will just end with a couple
10 of things that are implicit in these
11 statements that are some of the goals that the
12 ATS and ACCP would strongly support.

13 We think the problem of increasing
14 antibiotic resistance is real, and an
15 anticipatory approach is needed.

16 The pharmaceutical industry, we
17 agree, needs to have clear guidelines, and
18 also the ability to be more nimble in
19 recruiting patients. And also the majority of
20 these patients should be studied in health
21 care systems that are similar to the United
22 States. And one of the big concerns that we

1 have is that clinical trial design has
2 actually driven these trials overseas, not to
3 our partners in Europe, who we would find very
4 acceptable, but to South America, to Asia, and
5 to places that have health care systems that
6 don't look like ours do and induce a different
7 kind of risk factor that, as far as the
8 comparability of those trials and the
9 constancy principle, that you would like to
10 have for the U.S.

11 So thank you for allowing me to
12 make these comments on behalf of the ATS and
13 ACCP. Thank you.

14 ACTING CHAIR TOWNSEND: Thanks, Dr.
15 Wunderink. If you can stay there for a
16 second. Dr. Cox has told me that, as
17 chairman, I have carte blanche to do whatever
18 I want, and so we have some time for questions
19 if anybody has any for Dr. Wunderink.

20 DR. SPELLBERG: Rich, thanks for a
21 very nice talk.

22 I - you and I had talked before -

1 I had forgotten to mention during my talk that
2 IDSA's position about the PSI is that it is
3 very important to include as a tool to fill
4 the constancy assumption, but clearly we
5 recognize the limitations of the PSI scoring.

6 Ironically, the biggest limitation
7 is that it so closely adheres to age that
8 young people almost never get into class IV or
9 V, and I think you highlighted that point.

10 And so the position paper that we put out does
11 say explicitly that the PSI score should be a
12 basis, but there needs to be an allowance in
13 trials to have physiologically accepted
14 markers of severe disease like hypotension,
15 mechanical ventilation, to supersede the PSI
16 score in terms of disease severity. And I
17 wonder if we could get you to comment on that
18 kind of a relationship.

19 DR. WUNDERLINK: As you know, we'd
20 agreed this - I think the PSI is helpful for
21 comparability, so when you have a trial of,
22 let's say, moderate community-acquired

1 pneumonia, that's admitted to the hospital,
2 you can do the PSI scores to say, okay, the
3 patients in the two groups look similar as far
4 as those kinds of scores. It functions
5 probably very well in that way for patients
6 who are not admitted to the ICU.

7 In the ICU we have other scores
8 that are probably better, like APACHE score or
9 things like that that are a little bit more -
10 that are even more physiologically based. The
11 PSI is somewhat physiologically based, but
12 gives disproportionate weight to age and
13 underlying diseases that may be very stable.

14 DR. PATTERSON: I just have a
15 clarification on the CAP categories which is
16 on page two of the handout. But you had mild,
17 outpatient, moderate, hospitalized, outside
18 the ICU, and severe ICU admission.

19 So is your suggestion that the
20 categorization be according to that, like
21 whether they're in the ICU?

22 DR. WUNDERINK: Yes, that's what

1 I'm saying is, clinicians, when you say severe
2 community-acquired pneumonia, they're thinking
3 they ought to be in the intensive care unit.
4 Mild, everybody would accept is outpatient.
5 This category of mild and moderate admitted to
6 the hospital or - trying to parse out who
7 admitted to the hospital is mildly ill versus
8 moderately ill. I don't think clinicians make
9 any difference.

10 And from a clinical trial design,
11 there is no reason to suspect a different
12 distribution of microorganisms in patients
13 based on PSI intermediate kind of scores, or
14 this previous kind of designations of mild,
15 moderate, both being admitted to the hospital.

16 DR. PATTERSON: I guess my only
17 concern with that is that certainly in public
18 hospitals in recent years we have these
19 designations, progressive care units. And
20 overall we have much higher acuity level
21 patients than we did a few years ago.

22 And so we end up putting patients

1 in the PCU, progressive care unit, that we
2 ordinarily would have in the ICU. And so, I
3 just - you know, it seems to me that using
4 physiologic markers might be a more objective
5 way, because it might even differ from
6 hospital to hospital who goes into an ICU.

7 DR. WUNDERINK: Yes, that's a very
8 valid point. And that's why the ATS IGSA
9 guidelines actually have a set of criteria for
10 what we call severe CAP. Some institutions
11 can take even noninvasive ventilation in a
12 high dependency unit outside of an ICU. We
13 would still consider those severe CAP.

14 So I think there are clear issues
15 of definitions here. But I think what the
16 IDSA TS guidelines would suggest as severe cap
17 come a lot closer to the way clinicians think,
18 than to call severe CAP just somebody with
19 even a PSI IV or V who is admitted outside of
20 one of these high dependency, specialized care
21 kind of units.

22 DR. PATTERSON: Okay, and then just

1 one more question on the treatment outcomes
2 data, which is on page six, in the Gleason
3 study, could you remind me what the Beta-
4 lactam plus macrolides, the non-cephalosporin
5 Beta-lactam was?

6 DR. WUNDERINK: So they would be
7 penicillin. I'm sorry for all you ID
8 physicians who think that penicillin for - for
9 pen-sensitive strep pneumo, that penicillin is
10 the drug of choice. It was actually
11 penicillins and penicillins with a Beta-
12 lactamase, so it would be unicins, osin, those
13 kinds of medications.

14 DR. PATTERSON: So Beta-lactamase
15 inhibitors?

16 DR. WUNDERINK: Yes, they actually
17 ended up in that high mortality category.

18 DR. MUSER: There were so many
19 good points really it's a terrific talk. But
20 there are two minor questions I would ask, and
21 actually one deals with the one Dr. Patterson
22 just raised.

1 That study was treatment outcomes,
2 but that's - I would imagine it's totally
3 driven by selection bias of the antimicrobial
4 agents.

5 DR. WUNDERINK: I think that -

6 DR. MUSHER: We just have to make
7 that very clear.

8 DR. WUNDERINK: So if you go back
9 to it, or if you look at that -- and
10 immunoglycoside is associated with excess
11 mortality.

12 DR. MUSHER: Sure. If you add the
13 immunoglycoside, the patients do worse and
14 they die.

15 DR. WUNDERINK: That's the
16 implication, when in fact that's not probably
17 the reality. You add an immunoglycoside when
18 you expect a gram negative. What we don't
19 know is how many of those patients actually
20 had gram negatives, versus did not.

21 So if they did have a gram
22 negative, that's associated with an excess

1 mortality. What would be against that is, we
2 have somewhat downplayed the issue of
3 pseudomonas coverage in severe community-
4 acquired pneumonia. It turns out that there
5 are studies that 50 percent of ICU patients
6 have risk factors for pseudomonas, according
7 to the old ATS guidelines, and therefore would
8 get some of these semi-synthetic penicillins
9 and things that were more oriented that way;
10 they actually do worse than getting a
11 cephalosporin-macrolide combination.

12 So we are actually backing away
13 from that, trying to be a little bit more
14 definitive about who really does have risk
15 factors for these gram negatives.

16 So even though I agree with your
17 point that adding in immunoglycoside probably
18 is a selection bias if that's a patient at
19 risk for gram negatives.

20 The flip side of not treating them
21 with the standard regimen is actually, there
22 is a price to pay.

1 DR. MUSHER: Well, that was my
2 first point. I just did want to say that it
3 really - since it's not prospective, it's
4 retrospective review of data, it is very
5 largely dependent upon selection bias.

6 DR. WUNDERINK: And that goes to my
7 strong recommendation of including severe CAP
8 in prospective trials.

9 DR. MUSHER: Absolutely.
10 Absolutely. The other point I wanted to ask
11 you, do you think there is enough - there are
12 enough studies to validate the procalcitonin
13 that renders it possible to start making that
14 a standard?

15 I don't. I think there is one
16 study published. There are a couple at
17 various stages along the way, and I think it's
18 very preliminary, though it's been used for a
19 number of years. And I don't think it should
20 become a standard for us to use.

21 DR. WUNDERINK: Well, I'm intrigued
22 by the studies.

1 DR. MUSHER: Intriguing, yes.

2 DR. WUNDERINK: I think that you're
3 right, it's only had one study in the Swiss
4 system that showed that you could minimize the
5 use of antibiotics.

6 Our approach will be to actually
7 use it to say that this patient does not have
8 pneumonia, does not need antibiotics. And so
9 I would say it may be valid to look at it
10 retrospectively to say, okay, this group of
11 patients probably didn't have pneumonia,
12 therefore, an objective way to help out, since
13 we have such a difficult time with micro-
14 diagnosis, especially procalcitonin has its
15 best potential benefit in the mild cases that
16 come to the emergency department but don't get
17 admitted, or maybe the ones that are admitted
18 but for sure not to the ICU.

19 And in that setting, viral
20 diseases is a clearer issue. So it may help
21 in those types of studies.

22 DR. WUNDERINK: But it still is

1 based largely on a single published study so
2 far?

3 DR. MUSHER: Right. So it needs to
4 have a lot of confirmation before we start
5 using it?

6 DR. WUNDERINK: Yes.

7 ACTING CHAIR TOWNSEND: Thanks very
8 much, Dr. Wunderink. We'll move along.

9 The next presentation will be from
10 Dr. Robert Nelson, on ethical considerations
11 for trials of CAP; and Dr. Sarah Goldkind.

12 ETHICAL CONSIDERATIONS FOR TRIALS
13 OF CAP

14 DR. NELSON: Thank you.

15 Before starting, as I present the
16 thinking that Sarah and I put together about
17 ethical considerations, I'd just like to give
18 you some clinical background on both of us, so
19 that our ethicist background is not the only
20 thing that you recognize as our expertise
21 here.

22 Sarah is a general internist, and

1 my area is pediatric critical care, having
2 practiced that for about 20 years before
3 taking this position.

4 Now clearly, we've heard the need
5 for many unmet needs. CAP is the sixth
6 leading cause of death in the United States,
7 and the number one cause of death from
8 infectious disease; we've heard that clearly
9 presented.

10 And there are certainly a number
11 of cases that occur, rendering CAP an
12 important public health issue. And with a
13 differential mortality, as again we've heard
14 with 80 percent of those treated with CAP as
15 outpatients having a low mortality, which
16 increases as you, then, are hospitalized and
17 move toward ICU admission.

18 Now since I'm a pediatrician I
19 felt it's important to put the issue of CAP
20 and pediatrics into a broader perspective.

21 Pneumonia is the leading killer of
22 children worldwide, and you should notice that

1 it's the leading killer, even if you combine
2 measles, trauma, and AIDS together -- with
3 over 2 million deaths per year.

4 Most of this occurs in the
5 developing world. As you'll notice there is
6 a considerable difference between developing
7 countries of 20 percent and the industrialized
8 world of 2 percent, and Sarah will give some
9 further reflections in the adult experience
10 about generalizability in those two areas,
11 particularly noting the final point on that
12 last slide from our prior presentation.

13 So this is the outline of our
14 discussion. I'm going to present thoughts on
15 what I'll call the two ethical requirements
16 that we need to meet, the ethical requirement
17 of scientific validity, which is a large part
18 of our discussion over these two days,
19 focusing on choice of control group, assay
20 sensitivity, noninferiority/superiority
21 designs. Again, conceptually, there will be
22 plenty of discussion from the statisticians

1 and the trial design people about how to go
2 about doing that.

3 The second requirement is the
4 ethical balance of risk and benefit, and the
5 issues surrounding withholding known effective
6 treatment, withholding antibiotics in this
7 case.

8 Sarah will then address some
9 design modification and other issues in adult
10 trials for community-acquired pneumonia, and
11 then I'll return for some final thoughts on
12 pediatric studies, and then the conclusion.

13 Well, clearly the choice of
14 control group is an important issue. It's a
15 critical decision affecting a whole range of
16 issues, the inferences you can draw, the
17 ethical acceptability of the trial, minimizing
18 bias, the subjects and the recruitment that
19 you would have, the endpoints, the credibility
20 of the results, acceptability to regulatory
21 authorities, and other features of the study,
22 conduct and interpretation.

1 This is a big issue in the design
2 of trials. It's also key to the inferences
3 that you can draw, and particularly key to the
4 causal inferences, because it allows you to
5 discriminate patient outcomes that are caused
6 by the test treatment from outcomes caused by
7 other factors such as the natural progression
8 of the disease, observer or patient
9 expectations, or other treatments.

10 Now there are a range of type of
11 control groups. You heard presentations
12 involving both concurrent controls, and then
13 external or historical controls regardless of
14 treatment. Now usually the standard would be
15 a concurrent control, where you choose the
16 control and the test group from the same
17 population, usually by randomization and
18 treated concurrently.

19 Now there are four types that are
20 identified in ICHE-10, choice of control
21 group. And I'm going to focus primarily on
22 placebo and active controls, but have a couple

1 of comments on dose response and historical
2 controls as we go forward.

3 So external or nonconcurrent
4 control group obviously raises serious
5 concerns about the ability of trials using
6 such a control, regardless of the comparative
7 treatment to ensure comparability of the test
8 and control groups, and to minimize important
9 biases.

10 This often has less to do with the
11 exact drug that they may or may not have
12 gotten, but all of the other treatments that
13 are provided, including intensive care and
14 other sort of hospital treatments that have
15 been proved over the years to minimize the
16 comorbidities that may come about with
17 hospitalization.

18 And I would suggest that going
19 forward that I hope this is uncontroversial
20 that this would not be an acceptable trial
21 design for a study of community-acquired
22 pneumonia.

1 Now the other design that is
2 offered in ICHE-10 is a dose-response design.
3 And if you look in the literature, you may
4 well see many antibiotic trials which compare
5 two regimens, often a short course or a long
6 treatment course, which can use either a
7 superiority design or a noninferiority design.
8 And thus I would suggest to you that a dose-
9 response design raises some of the same issues
10 as an active control design in terms of
11 whether you choose a superiority or a
12 noninferiority design.

13 Now of course the choice of the
14 lower dose or the shorter course must be a
15 fair comparison since the trial conditions
16 should not favor one treatment over the other,
17 or what might often be called a sort of hidden
18 placebo; you pick a dose low enough that you
19 know is not going to be effective, thinking
20 people won't notice. That's not really a good
21 design, and raises those same issues as
22 placebo controls.

1 So the intent here is to say,
2 where we really need to focus our attention is
3 the choice of either an active control or a
4 placebo control. And there are two approaches
5 regardless of which control you pick to
6 establish efficacy, although obviously we
7 generally don't want a drug that is simply
8 noninferior to placebo.

9 The superiority of test treatment
10 to control, whether you pick placebo or
11 active, and then the similarity of the test
12 treatment to a known effective treatment or
13 active control, picking one of either two
14 designs, equivalence, equally effective, or
15 noninferiority, meaning it's not less
16 effective by a margin that you pick.

17 Now the key assumption here as
18 we've heard and was presented is that the
19 active control is effective under those trial
20 conditions which is referred to as the notion
21 of assay sensitivity.

22 This is the definition. The

1 ability of a clinical trial to distinguish
2 effective treatment from less effective or
3 ineffective treatment. And it's pointed out
4 in ICHE-10 this has different implications for
5 whether you have a superiority or
6 noninferiority design, and this will go to
7 somewhat of what has been put on the table
8 already about this constancy assumption.

9 So assay sensitivity for a
10 superiority trial, if in fact that trial lacks
11 sensitivity, the trial will fail to show that
12 the new drug is effective compared to the
13 comparator. If it's a successful trial, by
14 definition, you have assay sensitivity, thus
15 leading to the notion of a superiority trial
16 being a much more useful design.

17 In a noninferiority trial, if it
18 lacks assay sensitivity, and we'll expand on
19 that a little bit, the trial may find that
20 ineffective treatment to be noninferior, even
21 to a drug which under those conditions is
22 shown not to be effective given the trial

1 design.

2 Now you've heard in prior
3 presentations that this assay sensitivity in
4 a noninferiority trial depends on two
5 determinations. The first is historical
6 evidence of sensitivity to drug effects, where
7 you have similarity of designs. Past trials
8 are regularly able to distinguish effective
9 from less effective or ineffective treatments.

10 And the second is appropriate
11 trial conduct. Now you've heard the term
12 constancy assumption. The question I want to
13 put before you at some point for discussion
14 is, appropriate trial design is more than
15 simply a stratification. Appropriate trial
16 design -- if you don't have appropriate trial
17 design, you may undermine the ability to
18 distinguish effective from less effective or
19 ineffective treatments, based on the conduct
20 of the design, the conduct of the trial,
21 regardless of whether or not you have chosen
22 a stratification design.

1 So that's an important issue in
2 the selection of noninferiority designs.

3 Now the historical evidence of
4 sensitivity to drug effect has to be evaluated
5 before the beginning of the trial. A lot of
6 the discussion today and tomorrow is going to
7 be focused around that. And it's based on the
8 notion of having appropriately designed or
9 conducted trials, using a specific treatment
10 or other treatments with similar effects.

11 You heard, if you will, the domino
12 theory of the ability to compare across
13 trials, and whether you find that compelling
14 into the modern era, as opposed to using a
15 comparator back in earlier trials was raised
16 in the prior presentations.

17 And without well supported
18 historical evidence, the demonstration of
19 efficacy using noninferiority trial designs is
20 not possible and should not be attempted.

21 This is a quote from ICHE-10.

22 You are going to have a lot of

1 discussion going forward by people more
2 qualified than I am about the selection of a
3 noninferiority margin. You've seen this
4 definition in the prior presentation so I
5 won't belabor it. I'll just point out that
6 it's only possible if you see this historical
7 evidence, and it actually requires a measure
8 of superiority against a control and not
9 uncontrolled measures.

10 But the second aspect is
11 appropriate trial design and conduct. And the
12 difficulty here is that this can only be fully
13 evaluated after the trial has been completed.
14 So the planned noninferior trial must share
15 critical design characteristics with the
16 historical trials used to determine that
17 evidence of sensitivity to drug effects exist.
18 This is a point that would need to be
19 discussed - how similar, how not. I would
20 suggest that this is more perhaps than just
21 the stratification of the severity of the
22 patients.

1 But the second point is that the
2 actual conduct of the trial needs to adhere
3 closely to those trials that have been used,
4 and should be of high quality, meaning good
5 compliance and few losses to follow-up.

6 And one of the difficulties in a
7 noninferiority design is that sloppy trial
8 conduct can undermine the ability of that
9 trial to, in fact, distinguish the two, and
10 could potentially lead to an erroneous
11 conclusion of efficacy, unless you have some
12 other way of evaluating the appropriateness of
13 that trial conduct.

14 So errors that diminish the
15 observed treatment differences, poor
16 compliance, a high placebo response,
17 concomitant treatment, mis-classification of
18 outcomes, undermine the ability of this trial
19 to show assay sensitivity.

20 There are some errors that in fact
21 may decrease the likelihood of a successful
22 trial. So it's not all trial conduct issues

1 that would undermine a noninferiority trial,
2 but some, and unfortunately, there are many
3 trials that are conducted that have issues
4 with each one of these particular aspects.

5 So in terms of the conduct of a
6 noninferiority trial, you need to review it to
7 see if there are factors that might obscure
8 differences between treatments, such as
9 differences in the populations enrolled,
10 hopefully eliminated through good
11 randomization; use of concomitant therapies,
12 hopefully constrained by appropriate trial
13 design; compliance with therapy extent and
14 reasons for subjects dropping out -- a lot of
15 issues that would have to be looked at.

16 There are some - and those that
17 might make the trial different, such as
18 atypical outcomes with active control
19 treatment. So for example, if you see an
20 unusual difference based on your historical
21 trials of the response to that active control,
22 could you assume then, that the trial did or

1 did not have assay sensitivity?

2 And concurrent trial monitoring
3 may be necessary to both minimize risk to
4 subjects and assure adequate trial conduct.

5 Note I said, concurrent trial
6 monitoring, not retrospective trial
7 monitoring.

8 So, given these problems with
9 noninferiority designs, what not an active
10 control superiority design? That's been asked
11 by a number of speakers - asked in order to
12 discard that. But let me at least offer a
13 couple of reflections.

14 In spite of the questions about
15 specifying a reliable treatment effect based
16 on past experience, antibiotics are generally
17 highly effective; you've seen that data.

18 Thus, a superiority design may
19 require a larger sample size than a non-
20 inferiority trial, depending on the margin.
21 You heard one number thrown out of 10-15,000
22 in the prior presentation based on the

1 difference between those two survivor groups
2 if mortality certainly is the endpoint.

3 The other point is that there may
4 be other advantages of new, over existing,
5 antibiotics that are not captured by an
6 actively controlled superiority study to
7 establish efficacy.

8 Different resistance profiles,
9 improved safety, ease of administration,
10 formulation advantages, et cetera. In other
11 words, a drug could easily lose on efficacy
12 but still have some of these other advantages
13 if in fact it was not shown to be inferior to
14 the comparator.

15 What about the ethical preference
16 for active control trial designs? Well, as
17 has been pointed out, there are certainly
18 fewer ethical problems in a placebo-controlled
19 trial because all subjects are receiving
20 active treatment.

21 I should point out though, that
22 subjects that are on the new treatment are not

1 receiving the known effective treatment, so
2 you still have to have a fairly good
3 assumption that in fact they are not receiving
4 an ineffective or harmful drug. So that
5 argument doesn't get you entirely off the hook
6 relative to those that are not receiving the
7 known effective treatment.

8 If the active control therapy
9 improves survival or decreases irreversible
10 morbidity, withholding of such treatment from
11 the experimental group raises the same
12 concerns that render placebo controls
13 unacceptable.

14 So again it depends on the
15 evidence you may have as well for that active
16 control.

17 Now a placebo control, or for that
18 matter, a superiority design, even if you had
19 an active control, may assure assay
20 sensitivity, but can it meet ethical
21 guidelines?

22 A placebo-controlled trial for

1 efficacy is as free of assumptions and
2 reliances on external information as possible,
3 so assay sensitivity is met.

4 Most problems in the design or
5 conduct of placebo-controlled trials increase
6 the likelihood of failure, as I pointed out.
7 So the trial contains built-in incentives for
8 excellence in trial design and conduct.

9 And when the primary purpose of a
10 trial is comparison of two active agents, the
11 addition of a placebo control provides an
12 internal standard that enhances inferences
13 that can be drawn. You heard one of those
14 trials mentioned in an earlier presentation as
15 a three-armed trial. The difficulty there is,
16 all you've done is take the ethical concerns
17 about placebo and reduced it from 50 percent
18 of the population to 33 percent of the
19 population. So you still have to address the
20 issues of placebo, regardless of whether you
21 include it in a three-arm design.

22 So what are some of those ethical

1 issues with placebo controls? And this is the
2 recommendations that come out of ICHE-10.
3 When an available treatment is known to
4 prevent serious harm such as death or
5 irreversible morbidity, it is generally
6 inappropriate to use a placebo control.

7 There are exceptions however, such
8 as when standard therapy has such severe
9 toxicity that many patients refuse to receive
10 it.

11 When a new treatment is tested for
12 a condition for which no effective treatment
13 is known, there is usually no ethical problem
14 with a study comparing the new treatment to
15 placebo. I don't think that's the issue here
16 today.

17 When there is no serious harm, it
18 is generally considered ethical to ask
19 patients to participate in a placebo-
20 controlled trial even if they may experience
21 discomfort, assuming adequate informed and
22 voluntary consent.

1 The question is, can a randomized
2 placebo-controlled trial for community-
3 acquired pneumonia in adult and pediatric
4 patients meet this standard.

5 Now I'm going to skip over this.
6 This happens to show you the flow chart that's
7 at the end of ICHE-10. It's in the handout,
8 but you can go to ICHE-10 and see it for
9 yourself.

10 Now this debate over placebo
11 controls has waged over the last two decades.
12 This is a quote from the 2000 version of the
13 World Medical Association Declaration of
14 Helsinki, paragraph 29, which states that the
15 benefits, risks, burdens and effectiveness of
16 a new method should be tested against those of
17 the best current prophylactic, diagnostic and
18 therapeutic methods. This does not exclude
19 the use of placebo or no treatment in studies
20 where no proven, prophylactic, diagnostic or
21 therapeutic method exists.

22 Now in 2002 the World Medical

1 Association added a note of clarification to
2 this particular paragraph, and the following
3 two slides give you that clarification.

4 The WMA hereby reaffirms its
5 position that extreme care must be taken in
6 making use of a placebo-controlled trial, and
7 that, in general, this methodology should only
8 be used in the absence of existing proven
9 therapy.

10 However, a placebo-controlled
11 trial may be ethically acceptable, even if
12 proven therapy is available under the
13 following circumstances.

14 The two circumstances that they
15 give are, first, where for compelling and
16 scientifically sound methodological reasons,
17 its use is necessary to determine the efficacy
18 or safety of a prophylactic, diagnostic or
19 therapeutic method. Read, to assure assay
20 sensitivity.

21 Or, and I'll return to that small
22 word in a second, where a prophylactic,

1 diagnostic or therapeutic method is being
2 investigated for a minor condition, and the
3 patients who receive placebo will not be
4 subject to any additional risk of serious or
5 irreversible harm.

6 As we go to ICHE-10 this or
7 becomes an and, and that's not an
8 insignificant change, and the World Medical
9 Association is in the process of revising
10 that. Because with this or, this would imply
11 that assay sensitivity could trump the issue
12 of minor serious - avoiding serious harm. And
13 if you change this to an and it would say that
14 you still have to have both those
15 characteristics.

16 So this goes back to ICHE-10, so
17 again, as a general rule, research subjects
18 should receive established, effective
19 intervention.

20 There are some circumstances where
21 it may be ethically acceptable to use an
22 alternative comparator such as placebo or no

1 treatment. And these are the examples from
2 ICHE-10: a placebo may be used where there is
3 no established effective intervention - again
4 not what we're discussing in this context,
5 when withholding an established effective
6 intervention would expose subjects to at most
7 temporary discomfort or delay in relief of
8 symptoms; or the third would be when the use
9 of an established, effective intervention as
10 comparator would not yield scientifically
11 reliable results, and - not or - and the use
12 of placebo would not add any risk of serious
13 or irreversible harm to the subjects.

14 So in other words, a placebo-
15 controlled trial for CAP may be ethical if,
16 and only if, the use of placebo would not add
17 any risk of serious or irreversible harm to
18 the subjects.

19 Now there are some design
20 modifications that are proposed by ICHE-10
21 where you could add additional control groups,
22 a three-armed trial, additional doses,

1 factorial designs, other modifications of
2 study design, add-on studies, et cetera, early
3 escape. Perhaps, except maybe for a limited
4 placebo period it would appear that these
5 different modifications may be of limited
6 application to antibiotic trials.

7 And with that, I'll turn it over
8 to my colleague, Sarah, who will talk about
9 adult studies of antibiotics for community-
10 acquired pneumonia.

11 DR. GOLDKIND: In the next several
12 slides, what I'd like to do is to focus in on
13 the adult population, and to raise some issues
14 that address how we might minimize risks to
15 the enrolled subjects, yet still continue to
16 maximize scientific validity.

17 And as I go through these slides,
18 I think you'll see that there is an interplay
19 between these two ethically - these two
20 ethical requirements. And so what I'm going
21 to ask is, how can these two goals best be
22 achieved.

1 Can tailoring the study population
2 with more rigorous entry criteria help to
3 improve scientific validity, and also to
4 minimize risks by excluding those subjects who
5 might be more seriously ill? Or would this
6 actually, in effect, limit the
7 generalizability of the results that are
8 achieved from the study?

9 So these are a few factors that
10 I'm going to discuss as we go through these
11 next few slides.

12 So in the next couple of slides,
13 what I wanted to capture is what you already
14 know in essence, that people who get
15 community-acquired pneumonia are actually part
16 of a very heterogeneous population, and that
17 there are many different factors beyond just
18 the organism, and the antibiotic that affect
19 outcome.

20 Being old, as we've heard before,
21 increases severity of the course of illness
22 and mortality, smoking, outpatient versus

1 inpatient, and then drilling that down towards
2 versus ICU, USA versus outside the US, other
3 comorbidities and the functional status of the
4 patient when he or she acquires the pneumonia,
5 as well as the virulence of the infectious
6 organism and the antibiotic resistance profile
7 of that organism in that locale.

8 Community-acquired pneumonia is
9 also affected by how you make the diagnosis,
10 and what's the rigor that's used in making
11 that diagnosis. In limited circumstances, it
12 might simply be made on a clinical
13 presentation and treated empirically. Usually
14 it's made on a clinical presentation coupled
15 with radiographic findings, and treated
16 empirically.

17 But the empiric treatment is
18 influenced by standard fo care for that
19 region, and the antibiotic-resistant profile
20 for that region; prevalence of resistant
21 organisms and other associated complexities,
22 such as what's the supportive care in that

1 region, et cetera.

2 And what is the ability in that
3 region to make the diagnosis in a definitive
4 sort of way.

5 So how might we take some of this
6 information and use it to minimize risk? The
7 primary imperative is to minimize research-
8 related risks to the subjects, but without
9 compromising the reliability of the research
10 results.

11 So could we actually enroll a less
12 sick study population, or a study population
13 that has access to health care including
14 ongoing monitoring to help reduce risks?

15 And sort of undergirding my
16 comments is this notion that clinical trials -
17 and this was alluded to before - are becoming
18 increasingly globalized. And so, as I talk
19 about what is access to health care, and how
20 are diagnoses made, whether they are made in
21 a pathogen-directed manner, this notion of
22 what is the standard of care in that locale

1 undergirds those comments as well, and will
2 undergird the ability to generalize some of
3 the results.

4 So in looking at the minimization
5 of risk, if a less sick study population is
6 selected, can the onset of antibiotic
7 treatment be delayed to mimic placebo-
8 controlled trial?

9 We've heard a number of speakers
10 state that they think placebo-controlled
11 trials should not be used on ethical grounds,
12 and the question that I would ask is then, can
13 you actually delay treatment for a short
14 period of time, in essence accumulate some
15 information paralleling the placebo-controlled
16 trial, and not incur additional risks to the
17 study subjects?

18 So in looking at that question
19 there are two other - there are a few other
20 factors that I think we need to consider, and
21 that is, will this choice of study population
22 provide useful information, provide a

1 meaningful endpoint, in light of the placebo-
2 controlled trials that were already discussed
3 that have been done on young, low-risk
4 clinically stable outpatients with mild
5 community-acquired pneumonia. And those were
6 discussed by Dr. Spellberg earlier.

7 Is that going to add meaningful
8 information to this general background of
9 clinical trial results that we already have?

10 And then some data demonstrate
11 that antibiotic administration within eight
12 hours of hospital arrival is associated with
13 a significantly lower 30-day mortality and
14 length of hospital stay in both adjusted and
15 unadjusted for patient risk status analyses.

16 And for those who did not receive prehospital
17 antibiotics, four hours was associated with
18 decreased mortality and length of hospital
19 stay. And some of you may be familiar with
20 these results that were retrospectively
21 accumulated on Medicare databases.

22 But the question that I think they

1 lead to is, can a delay in treatment in fact
2 actually be ethically justified?

3 And although there are -- and
4 another question we can ask is, and I think
5 we've heard repeatedly that prognostic scoring
6 systems do not account reliably or
7 definitively for all factors contributing to
8 mortality. And may not be an effective tool
9 of weeding out that population that would be
10 at higher risk, and therefore, may not be an
11 effective tool for minimizing risk to study
12 subjects.

13 So now turning to this notion of
14 scientific validity, would it be possible to
15 actually enrich the study population with
16 responders to, for example, subjects who meet
17 the criteria for a pathogen-directed therapy?
18 And so in trying to answer that question, I
19 have two sets of data that are provided by ATS
20 guidelines, and IDSA/ATS guidelines.

21 And the first states that the only
22 randomized controlled trial of diagnostic

1 strategy in CAP demonstrated no statistically
2 significant differences in mortality or rate
3 of length of hospital stay between patients
4 receiving pathogen-directed therapy, and
5 patients receiving empirical therapy.

6 So that's a point that you all may
7 wish to discuss further.

8 And then even when extensive
9 diagnostic testing is used, positive pathogens
10 cannot be identified in up to 50 percent of
11 cases.

12 So given the low virulence of
13 atypical and viral pathogens, and
14 effectiveness of approved antibiotics, will
15 studying mild to moderate CAP give reliable
16 results?

17 As we think of generalizing the
18 results, we have to think about, number one,
19 what is the intended use population that we
20 are actually preparing and designing the
21 clinical trial for, and also, we have to think
22 about issues related to variations in standard

1 of care giving developed countries, U.S., Dr.
2 Wunderink referred to countries that have
3 health care systems that are similar to those
4 in the U.S.

5 And also the notion of trying to
6 globalize some of the information that we
7 acquire to other countries with other types of
8 health care systems, monitoring systems,
9 hospital settings, et cetera. And part of
10 that relates as well to differing and
11 prevalence of bacterial pathogens including
12 resistant organisms, and nuanced approaches to
13 CAP.

14 So for example in the United
15 States outpatient empiric therapy might be
16 addressed more broadly, and in European
17 communities it might be addressed more related
18 to a focus on strep pneumoniae.

19 And now Skip's going to talk about
20 some issues that relate to the pediatric
21 population.

22 DR. NELSON: Thank you, Sarah.

1 I might start by saying that all
2 of the issues that Sarah points out in adult
3 trials pertain to pediatric trials, even if
4 the scientific data behind how you might parse
5 out those issues would apply.

6 So what I'd like to do is place
7 another issue on the table, and that is the
8 issue of the international impact of this area
9 of trial design.

10 Here is the classification of
11 World Health Organization, where basically it
12 is by necessity a clinical classification,
13 lacking bedside diagnostic tools and often
14 chest X-ray, define from pneumonia, severe
15 pneumonia, and very severe pneumonia, which to
16 some extent, although I haven't reviewed the
17 guidelines that were suggested earlier might
18 be outpatient hospitalized and ICU, even in
19 the absence of an ICU.

20 Now the burden of disease around
21 the world is significant in the developing
22 world. Fifteen countries account for three-

1 quarters of the cases of childhood pneumonia.
2 We are talking 113 million, as opposed to 5.6,
3 and as you can see, India, China, Nigeria,
4 through Asia, South America, Egypt, et cetera.

5 So apart from the issue of
6 generalizability, from these environments to
7 the United States, which I think is an
8 important question, my point here is just to
9 have us be cognizant of the broader
10 implications of the decisions around trial
11 design.

12 Now some of the interesting trial
13 designs in the literature in this arena,
14 here's one of three-day versus five-day
15 treatment with amoxicillin for nonsevere
16 pneumonia, meaning outpatient pneumonia. And
17 this was done as a randomized double-blind
18 placebo controlled study which effectively
19 found that there was in fact no difference.
20 And this was designed as an active control
21 equivalence design.

22 Now here's another one. You

1 notice it's moving from outpatient now to
2 inpatient, if you will, severe pneumonia.
3 Chloramphenicol versus ampicillin plus
4 gentamicin for community-acquired very severe
5 pneumonia in children between two and 59
6 months of age, in low resource settings. It
7 was conducted primarily I believe as you can
8 see here in Bangladesh, Ecuador, India,
9 Mexico, Pakistan, Yemen and Zambia.

10 Interestingly enough, in looking
11 at some of the recent trials for antibiotics
12 we would use, these were also the trial sites
13 of many of those antibiotics relative to the
14 prior presentation.

15 Now one might point out that at
16 the time this was started, chloramphenicol was
17 the World Health Organization recommendation
18 for first line treatment for this condition.
19 And now their recommendation is based largely
20 on this study is that ampicillin and
21 gentamicin should be the first line treatment
22 for community-acquired pneumonia.

1 And this was done as a superiority
2 trial. One could argue -- well, I mean we
3 would have expected to see that, but it was
4 designed as a superiority trial.

5 An important issue, though, is
6 there are limitations of the study. It's a
7 non-blinded design, it could have introduced
8 bias. The point is, though, it was a
9 superiority design, so that issue of bias is
10 a little less concerning I would propose than
11 it would be if it was an active control,
12 either inferiority or equivalence design.

13 Now the other issue in terms of
14 community-acquired pneumonia in developing
15 countries is just getting the antibiotics to
16 them at all. And it turns out there is a fair
17 dropout in terms of hospital referrals, if you
18 live anywhere from 40 to 150 miles from the
19 nearest hospital, you won't get there.

20 And of 27 countries based on data
21 from I think 1999 and earlier, only 19 percent
22 of children under five with pneumonia actually

1 received any antibiotic, leading to a trial
2 which looked at a short course high dose oral
3 amoxicillin, which you could actually bring
4 with you when you were visiting the villages,
5 compared to hospitalization with basically --
6 here we go, ampicillin followed by oral
7 amoxicillin for inpatient hospitalization.

8 This again was done as a
9 equivalence trial showing equivalence. It did
10 raise some issues about study design, because
11 they chose not to blind it, given the issues
12 of trying to randomize and give placebo
13 injections. So it was an unblinded study, but
14 nonetheless it's leading to policy
15 recommendations that antibiotics be given at
16 the time of diagnosis based on clinical signs
17 when the health care worker is visiting the
18 child where they live and not just relying on
19 referral, which could often be miles and miles
20 away and never actually happen.

21 Now I would suggest, without
22 giving you all of the documentation to support

1 this, that there is wide agreement on the
2 ethical principles for the conduct of
3 pediatric research worldwide, and that
4 agreement basically is that either the
5 research must present a balance of risks and
6 potential benefits comparable to the available
7 alternatives; or if that is not the case be
8 restricted to either minimal or low risk
9 absent direct benefit.

10 The conclusion in this is that if
11 in fact you make a decision to withhold known
12 effective therapy from children, one would
13 have to argue then that that withholding,
14 which obviously doesn't offer direct benefit
15 to that group of children, would have to
16 present no more than a minor increase over
17 minimal risk, which is the language taken from
18 5053, and I would suggest to you that that
19 would be pretty much the same standard as this
20 no-evidence-of-serious-harm, either morbidity
21 or mortality, that I presented to you in the
22 ICHE-10 document, so that those two documents

1 I think are in harmony, depending on how you
2 interpret minimal risk.

3 So the ethical standard for choice
4 of control group in CAP and clinical trials to
5 begin to conclude, there are concerns that the
6 use of an established effective intervention
7 as the comparator in a noninferiority margin
8 design would not yield scientifically reliable
9 results, lending credence to the need for
10 either active superiority or placebo
11 controlled trial designs.

12 The scientific ability to set a
13 credible noninferiority margin is key to the
14 resolution of this discussion.

15 A placebo controlled trial for CAP
16 would only be ethical if the use of a placebo
17 would not add any risk of serious or
18 irreversible harm to the subjects.

19 There are doubts that a CAP trial
20 could be designed to meet this standard.

21 A cautionary note, and this is a
22 quote from ICHE-10, where a placebo-controlled

1 trial is unethical, and an active controlled
2 trial would not be credible, it may be very
3 difficult to study new drugs at all. I'm not
4 suggesting that as a conclusion; I'm just
5 pointing that out as a cautionary note.

6 So what is the challenge? If you
7 are looking at an active control trial, the
8 challenge is to assure scientific validity
9 with either selection of appropriate
10 noninferiority margin combined with meticulous
11 trial conduct, using a noninferiority design,
12 or the use of a superiority design.

13 If you are looking at a placebo
14 controlled trial you need to assure the
15 ethical treatment of subjects by avoiding any
16 risk of serious or irreversible harm. All
17 trials must meet these two dual ethical
18 requirements of either -- of scientific
19 validity, and a second and appropriate balance
20 of risk and potential benefit.

21 Now this is the dilemma that you
22 are going to be faced with. And as Odysseus

1 trying to make it between Scylla and
2 Charybdis, of these two rocks the one reaches
3 its heaven in its peak is lost in a dark
4 cloud. There Scylla sits, the dreadful
5 monster of withholding known and effective
6 treatment.

7 Down here is Charybdis, the
8 whirlpool you may sink to. Three times a day
9 she vomits forth her waters, and three times
10 she sucks them down again.

11 See that you not be there. The
12 Charybdis of lack of scientific validity or of
13 assay sensitivity.

14 And of course Odysseus basically
15 responded, is there no way of escaping
16 Charybdis and keeping Scylla off when she is
17 trying to harm my men? And the goddess
18 replies, you daredevil, you will not let
19 yourself be beaten even by the mortals.

20 I will suggest that is your task.
21 I will remind you that Odysseus lost six men
22 in trying to get through these straits, and so

1 you should look around.

2 (Laughter)

3 And good luck.

4 ACTING CHAIR TOWNSEND: Thanks
5 again, Dr. Nelson, Dr. Goldkind.

6 We do have some time for some
7 questions from the committee members if
8 anybody has any.

9 Dr. Musher.

10 DR. MUSHER: An interesting talk,
11 and I'm glad you came around to the
12 conclusions that you did regarding the use of
13 placebo.

14 I don't understand your comments
15 about the use of historical controls. It
16 seems to me that I couldn't, even in a study,
17 use my own patients from a few years before,
18 my very own patients, I couldn't use them in
19 evaluating some new treatment because unless
20 it's absolutely constant, unless it's
21 randomized, unless it's concurrent, unless
22 it's blinded, I don't think I'd have valid

1 results.

2 So I don't see how an historical
3 control could possibly be used. If you would
4 enlighten me, I would appreciate it.

5 DR. NELSON: I thought that's what
6 we said.

7 DR. MUSHER: Okay.

8 DR. NELSON: I guess maybe I
9 misspoke or left out an important modifier.

10 DR. MUSHER: If that's your
11 conclusion, I'm delighted. It was very
12 theoretical and highfalutin and fancy sounding
13 and I wasn't sure.

14 (Laughter.)

15 So I'm delighted that's what your
16 conclusion is. Thank you.

17 DR. NELSON: I don't think anybody
18 has that seriously on the table in this arena.

19 DR. MUSHER: Okay, I'm sorry then
20 if I just plain blew it, I am sorry.

21 DR. NELSON: But I will point out
22 that the choice of noninferiority margin is

1 adjustment based on historical controls, and
2 so that - you are still in that quagmire a
3 little bit.

4 DR. MUSHER: Of course, of course.
5 The choice at the margins. Thank you very
6 much.

7 ACTING CHAIR TOWNSEND: Dr. Rex.

8 DR. REX: Thank you, that was
9 really a fun talk.

10 I want to put in a comment,
11 something that the last three speakers have
12 almost said, and actually Dr. Wunderink came
13 very close to it but did not really point it
14 out.

15 The real reason for new
16 antimicrobials is the rising tide of
17 antimicrobial resistance. When it comes to
18 the study of a superiority based approach, you
19 might say, well, let's just study resistant
20 bugs. I want to point out that in any
21 reasonable design the new drug and this
22 feature of it that it's more active is

1 eliminated; it receives a handicap.

2 Because what is the inclusion
3 criteria for the study? A rule that says if
4 the isolate is resistant to the comparator,
5 the patient comes out of the trial. I just
6 want to point that out.

7 Dr. Wunderink came close to that
8 when he said, we won't study penicillin
9 because it is a meaningless comparator; it
10 doesn't have enough activity. This is a
11 corollary of that. The very feature that we
12 most want in a new drug is the one that we are
13 not permitted to test.

14 ACTING CHAIR TOWNSEND: Dr.
15 Patterson, did you have a question?

16 DR. PATTERSON: Yes, I did, for Dr.
17 Nelson. In that placebo trial, what were the
18 microbial etiologies and viral diagnostics
19 used in that?

20 DR. NELSON: Any of those three
21 studies that I showed you did not have any
22 diagnostics. They were all conducted in

1 resource poor areas, and so I think one of the
2 issues in bringing -- I'm not suggesting that
3 that is a good trial design for our
4 environment. But I was just trying to broaden
5 our horizon to recognize that comments on
6 trial design would impact also on the
7 interpretation of those studies.

8 DR. PATTERSON: And then I had a
9 comment on Dr. Goldkind's question about can
10 delayed therapy be justified. I mean
11 hospitals these days are using CMS measures
12 because they get more from Medicare
13 reimbursement if they use that.

14 And one of the CMS measures that
15 is monitored is early therapy, four to eight
16 hours, for community-acquired pneumonia. So
17 I would just question whether it's justified
18 or not, whether it's really feasible to do
19 that in a U.S. hospital. Because I think most
20 hospitals now are monitoring that as a quality
21 measure.

22 DR. GOLDKIND: So thank you for

1 that comment. I appreciated your comment
2 earlier today, too, which sort of brings us
3 back into the hospital setting where a lot of
4 these trials might have to be conducted.

5 So yes, I agree.

6 ACTING CHAIR TOWNSEND: Dr. Dowell.

7 DR. DOWELL: Thanks. I just wanted
8 to come back to the issue of historical
9 controls, and ask you if you could clarify
10 what seems to be a contradiction; that is,
11 clear agreement that historical controls
12 wouldn't be an acceptable trial design.

13 And yet how are we -- is it okay
14 then to use historical controls then to
15 determine noninferiority margins?

16 DR. NELSON: I guess two comments.
17 That inference is part of determining the
18 noninferiority margin. Whether it's okay or
19 not is precisely the task laid before you, and
20 the next presenter in many ways -- I was going
21 to allude that perhaps the statistician could
22 be Odysseus -- but he'll say a lot more about

1 how you might try to draw those kinds of
2 conclusions I believe in looking at those
3 slides.

4 ACTING CHAIR TOWNSEND: Dr. Temple.

5 DR. TEMPLE: The very first step
6 you take in using an historical control is
7 assessing the past so you can use it as the
8 estimate of the effect in the present study.

9 So there is an intimate connection
10 between the use of historical experience, even
11 though they are not nominally historical
12 controlled trials, because you do randomized
13 to the present; you do randomizing in the new
14 trial, too, to the active control.

15 But all the things that E-10 warns
16 about in describing historical controls -- you
17 should take a conservative estimate of the
18 effect, which we do when we think about the
19 margin, we always take the lower bound of a
20 confidence interval and you should try to make
21 sure the new trial study is the same kind of
22 people. All those warnings apply in the

1 active control trial.

2 So the distinction between the two
3 is quite modest.

4 Can I ask one other thing?

5 Suppose somebody did a trial where you thought
6 maybe the population did have a fair number of
7 people resistant to whatever the current
8 therapy is, but included them nonetheless.
9 That would be a superiority trial. Wouldn't
10 everybody be happy with that? I mean that
11 goes to Dr. Rex's question.

12 I don't know how you design it
13 that way, and whether it's really ethical to
14 use the active control in that population if
15 you are not so sure it's going to work. But
16 if there were something like that and it were
17 unavoidable, that's a kind of design that
18 might be attractive, because it's very easy to
19 interpret. No?

20 DR. NELSON: Is that a rhetorical
21 question?

22 (Laughter.)