

1 hospital already puts you in a pretty high  
2 category, if you have also got a high risk of  
3 a true bacterial pathogen.

4 So that is my comment on moderate  
5 severe. It is really enough, I think, to be  
6 in that category, based on everything we know.

7 I would also observe, in terms of  
8 mapping from mortality to clinical, you can't  
9 have a clinical success if you don't live.  
10 And so the mortality benefit is the actual  
11 minimum possible benefit that we can be  
12 dealing with because you have got to live to  
13 get going on this deal.

14 So I think Dr. Venitz made that  
15 comment and I just want to second that.

16 ACTING CHAIR TOWNSEND: Dr. Dowell.

17 DR. DOWELL: I want to reemphasize  
18 what several people have said, which is the  
19 PORT score is not enough. And the point to  
20 emphasize, I think, for the FDA is that the  
21 PORT score is applied to somebody who has  
22 community-acquired pneumonia but would

1 encourage you to focus on the definition of  
2 community-acquired pneumonia, with these  
3 points in mind that the idea is to enrich for  
4 bacterial pneumonia. And so, before you do  
5 the PORT score, the way that you define  
6 community-acquired pneumonia may be one of the  
7 most important things that you do to enrich  
8 for those patients who actually have bacterial  
9 pneumonia.

10 ACTING CHAIR TOWNSEND: Dr. Temple?

11 DR. TEMPLE: When you say enrich  
12 for bacterial pneumonia, do you mean actually  
13 growing a bug or finding characteristics that  
14 make you think it is more likely that they  
15 have a bacterial pneumonia?

16 And I guess I would also add that  
17 one could enter a lot of people into a study.  
18 Of course, you won't know whether they have  
19 bacterial pneumonia yet and do the analysis  
20 only on the people who actually have a  
21 bacterial pneumonia. I mean, that means you  
22 have to recruit a lot more people because you

1            seem to be able to grow something in only  
2            about 20 percent, but that is not impossible.

3                            ACTING CHAIR TOWNSEND: Dr. Rex?

4                            DR. REX: The industry view on that  
5            is that it is important that we think  
6            carefully about not being too insistent on 100  
7            percent microbiology because that could easily  
8            cause the trial sizes to go up five-fold and  
9            that makes it not possible to do anything.

10                           So I think I spoke, I didn't speak  
11            as clearly a minute ago as I should have.  
12            What it would seem reasonable and possible to  
13            do is first you start off with a clinical  
14            syndrome that really smells bacterial. And  
15            not guaranteed. It could still be influenza.  
16            It could still be a pulmonary embolism.  
17            Sometimes it will be a pulmonary embolism and  
18            sometimes it will be influenza. But if you  
19            start with a syndrome that is pretty typical,  
20            and thus the word typical versus atypical  
21            pneumonia. It is a typical pneumonia  
22            syndrome.

1                   And if we really work hard to find  
2                   microbiology, which can be culture or urinary  
3                   antigen I should think would be acceptable.

4                   And let's pretend that we do such a study and  
5                   we find a microbiological footprint of some  
6                   sort that was pretty strong in, I don't know,  
7                   30, 40 percent of the cases. We also have  
8                   some data to suggest that we are going to have  
9                   lost the signal in a few people because they  
10                  got a little dose of some prior drug. We had  
11                  the interesting study yesterday about if you  
12                  do a transthoracic aspirate, not that I am  
13                  suggesting that, I am not volunteering for  
14                  one, but if you did it, you would find a bit  
15                  more pneumococcus, if you are in a setting  
16                  where the prior probability of it being  
17                  bacterial is high.

18                  So the suggestion that we have is  
19                  that we are not asking to be given the whole  
20                  100 percent on trust that they are bacterial  
21                  but rather that the collection of information,  
22                  the syndrome is really sharply etched. It

1 looks very much like a typical bacterial  
2 pneumonia. In many people, we actually got a  
3 real bug out of them. This is a type of a  
4 syndrome that in the past has responded to  
5 anti-bacterial therapy, like the Agrinat  
6 experience.

7           You know, go back to the Agrinat  
8 thing. Even in the milder, the younger  
9 people, they saw a difference in their fever  
10 curve with an anti-bacterial agent. So that  
11 suggests it probably wasn't influenza.  
12 Because you wouldn't think that sulfapyrazine  
13 would move the fever curve on influenza.

14           So it is that collection of things.  
15 And it encourages us, as sponsors, to work on  
16 really good trial execution. We want patients  
17 that fit those criteria. We want our  
18 investigators to be well trained to get people  
19 that really could cough in a cup. So that is  
20 the theme that we are aiming at here.

21           If you force however that the final  
22 analysis, the only analysis that counts is on

1 the one-third for whom I have a bug on a  
2 plate, that is going to hurt a lot.

3 ACTING CHAIR TOWNSEND: Dr. Musher?

4 DR. MUSHER: How would you instruct  
5 companies? How would the FDA instruct  
6 companies and how would companies instruct  
7 investigators to try to select patients for a  
8 bacterial syndrome?

9 And by the way, I agree with you,  
10 there really are people who say you can't  
11 tell. But I really honestly do think that you  
12 can tell, in general. They are obviously  
13 bell-shaped curves but I think you can enrich  
14 by the clinical story based on white blood  
15 cell count and the clinical evolution and so  
16 on. I think you can do a lot to tell a  
17 bacteria from a mycoplasma or something else.

18 But how would you do that in  
19 practice, John?

20 DR. REX: You are asking me? You  
21 know, it's like bringing coals to Newcastle.

22 Dr. Musher, I have given you my

1 suggestion, which is to say that the classic  
2 syndrome, you know, I read a little piece out  
3 of one of these papers, you know, it needs to  
4 be an abrupt onset of a febrile syndrome that  
5 points to the chest with a change on the chest  
6 x-ray and probably with the ability to produce  
7 some sputum that you weren't producing  
8 yesterday. You know, those things together  
9 suggest a bacterial etiology. And it is the  
10 abruptness, it is the acuity of the  
11 presentation. And if you do that, I am not  
12 going to guarantee that everybody has the  
13 bacterial coughs. There will be somebody in  
14 there who had a pulmonary embolism but on the  
15 balance, you are going to have been studying  
16 bacterial diseases enough so that you are  
17 going to have a pretty good signal out of  
18 this.

19 DR. MUSHER: Listen, I like the  
20 idea but I think a lot of it is going to end  
21 up in the culture of, and I don't mean  
22 bacteriologic culture, in the culture of how

1 the study is taking place. In other words,  
2 the company and the individual investigators  
3 sort of have to get together and say we really  
4 want to have patients with bacterial  
5 pneumonia. So as you select them, please try  
6 to do thus and so because you can't put down  
7 on paper in general like this. And I don't  
8 think you want to put down on paper that you  
9 have got to have an acute onset because, as  
10 you very well know, a lot of patients who  
11 develop pneumococcal pneumonia, especially in  
12 the older population, they start with a viral  
13 syndrome and then they drift downhill for a  
14 few days and then it just gets a lot worse  
15 over a period of a day or two. But that is  
16 not the same as that so-called classical onset  
17 of the shaking chill, somebody who was  
18 perfectly fine until yesterday afternoon.

19 ACTING CHAIR TOWNSEND: Dr.

20 Wiedermann?

21 DR. WIEDERMANN: So I can say this  
22 not taking care of adults with pneumonia but



1           that there may be, so I don't know what list  
2           of criteria you can use but there are  
3           parallels to this, for example, in pertussis  
4           which is notoriously difficult to diagnose  
5           accurately. With the acellular pertussis  
6           vaccine trials, there were grades of  
7           definition of what a case of whooping cough  
8           was and then vaccine efficacy calculated for  
9           each of those definitions. And I would think  
10          by trying to look into that bell-shaped curve  
11          of signs and symptoms, you can develop a bit  
12          of a hierarchy for any clinical illness. And  
13          that might be valuable. Again, you can't  
14          prove it but you don't so limit yourself just  
15          to the culture positive patients.

16                    ACTING CHAIR TOWNSEND: Dr. Rex.

17                    DR. REX: I'm sorry. I seem to be  
18                    hogging the microphone but let me answer Dan's  
19                    question.

20                    Let's just actually go to the  
21                    Pertel paper, which I have pulled up, because  
22                    it is a nice example of something that is

1            qualitatively in the right direction. They  
2            got a microbiological footprint on about a  
3            third of their patients, roughly. And their  
4            intracriteria were, over 18, needed to be  
5            hospitalized and the keys were new pulmonary  
6            infiltrate on chest radiograph within the  
7            previous 48 hours and at least two of the  
8            following. Cough, purulent sputum or change  
9            in sputum, rales or pulmonary consolidation,  
10            dyspnea or tachypnea, fever or hypothermia,  
11            elevated white cell count, and they had  
12            something about the change in the  
13            differential, or hypoxia.

14                        So you know, those are, I mean,  
15            that is an example. And you know, some of  
16            this is about the, you have got to be careful  
17            about trying to be too precise on this. When  
18            a sponsor comes in with an application, they  
19            should have a discussion based on the  
20            available data about how you would define it.  
21            But this is not a bad map because it got a  
22            group A where about a third of the things were

1 bacterial and very importantly, you were able  
2 to measure a difference between two drugs  
3 where one of them should not have worked. And  
4 it all went in the right direction. So it  
5 actually suggests that it is a rule that  
6 collects a bunch of people that have a disease  
7 that responds or doesn't to a correct anti-  
8 bacterial agent.

9 ACTING CHAIR TOWNSEND: Dr. Temple?

10 DR. TEMPLE: Are you also  
11 suggesting that you sort of get to test your  
12 system by looking at what the fraction of  
13 people you do grow a bug in is? I mean I  
14 think you are.

15 DR. REX: Yes, I think that makes  
16 sense.

17 DR. TEMPLE: It has some practical  
18 applicability. I mean, if you do all those  
19 things, you enter people and you grow  
20 something in five percent, you probably ought  
21 to revise your standards.

22 DR. REX: Yes, you have done

1 something wrong or else you have lost the  
2 specimens on the way to the lab. And in  
3 either case, that is poor trial execution.

4 DR. TEMPLE: Yes, so that does  
5 become something one could put in the guidance  
6 on how to know whether your trial is getting  
7 the right people.

8 DR. REX: It would seem --

9 DR. TEMPLE: And that is different  
10 from being sure that everybody has an  
11 organism, which I take your point --

12 DR. REX: That is correct. It is  
13 about saying that the overall syndrome we have  
14 pursued is characteristic based on everything  
15 we know of a disease that more often than not  
16 responds to an antibacterial.

17 DR. MUSER: Well then for example,  
18 some fraction, like it had one-third of  
19 patients have a proven bacterial etiology.

20 DR. FOLLMANN: I may be reading the  
21 study incorrectly, but it looks like close to  
22 90 percent of patients have positive

1 respiratory cultures.

2 DR. REX: There were -- well I will  
3 try to do the math real quick. They enrolled  
4 -- ITT population had 743, let's call it 750  
5 patients. And then if I go to the top of, if  
6 I go to table three, they have got an organism  
7 out of 132 plus 116. That's about 250. So  
8 that is about a third. Excuse my rounding  
9 errors.

10 ACTING CHAIR TOWNSEND: In the  
11 interest of moving on, because we have a busy  
12 docket ahead of us, any other ideas about how  
13 to assess the severity of patients for  
14 potential enrollment in a trial for moderate  
15 to severe pneumonia?

16 Dr. Patterson?

17 DR. PATTERSON: Well, I think the  
18 PSI score does need some modification in that  
19 mechanical ventilation and pressers should be  
20 criteria for severe. I am a little concerned  
21 about saying ICU versus non-ICU because I  
22 think that can vary from hospital to hospital.

1 But in most hospitals, you know, pressers and  
2 mechanical ventilation gets you into the ICU.  
3 But you can get into the ICU in some hospitals  
4 without that. So I think that probably making  
5 that more specific.

6 And then I don't think that the  
7 PORT scores have been used at all in  
8 pediatrics and that whole thing needs  
9 definition.

10 ACTING CHAIR TOWNSEND: Dr.  
11 Wiedermann.

12 DR. WIEDERMANN: I hope we are not  
13 talking about anything for pediatrics here.

14 ACTING CHAIR TOWNSEND: Any other  
15 comments?

16 All right. We'll go on to 1(b).  
17 "Should a microbiological diagnosis be  
18 necessary for inclusion in the primary  
19 analysis population for the trial and if so,  
20 what organisms should be included? For  
21 example, pneumococcus or other microbes." And  
22 I will throw this out to the panel. Dr.

1 Calhoun? We are commenting.

2 DR. CALHOUN: So many people have  
3 commented on this and I guess my view is no.  
4 I think this is a syndromic disease. And so  
5 the inclusion criteria should be syndromic, as  
6 opposed to microbiologic.

7 There is another aspect here that  
8 is somewhat compelling to me and that is that  
9 the infectious disease society and the ATS and  
10 the ACCP, organizations which actually have no  
11 agenda, there is no regulatory agenda, there  
12 is no commercial agenda, there is no agenda,  
13 they are trying to do the best they can for  
14 patients, believe also that this is a  
15 syndromic disease and microbiologic criteria  
16 are not necessary to study the disease  
17 effectively.

18 ACTING CHAIR TOWNSEND: Dr.  
19 Patterson.

20 DR. PATTERSON: I think that it  
21 should be sought vigorously but not required.

22 And with regard to the question

1           about what organism should be included besides  
2           strep pneumo, the usual things, moraxella, H-  
3           blue, mycoplasma, legionella, chlamydothila  
4           pneumoniae and MRSA, which has become  
5           increasingly common. And I think that in  
6           terms of aggressively seeking microbiologic  
7           diagnosis cultures, rapid diagnostics,  
8           serology should be used.

9                        Regarding viral diagnostics, it  
10           should be sent for influenza but also things  
11           like adenovirus, which is increasingly common  
12           and which is more likely to look more lobar,  
13           like a bacterial pneumonia. And we talked, it  
14           was mentioned earlier about excluding patients  
15           who had influenza.

16                       There are patients with post-  
17           influenza bacterial pneumonia, however, and  
18           this is being increasingly seen even in young  
19           adults and children with MRSA. And they may  
20           have and some studies have shown that some of  
21           these patients who clearly have MRSA pneumonia  
22           with bacteremia and so forth may have positive



1 influenza titers.

2 So I don't think that those  
3 patients should necessarily be excluded.

4 ACTING CHAIR TOWNSEND: Dr. Musher?

5 DR. MUSHER: Just to amplify that.

6 Exactly right. There are bacterial  
7 superinfections on top of viral infections.  
8 That is the way many of them have been  
9 described. So if you isolate a virus, isolate  
10 an influenza virus by a rapid test but you  
11 also isolate a bacterium, then you would  
12 include the patient. But if all you isolate  
13 is a virus, you probably shouldn't. At least  
14 in your -- the question is how are you going  
15 to do your analysis?

16 And the question was asked earlier,  
17 are you only going to include the patients  
18 with a proven bacterial infection? And I  
19 think what you have got to do is you have got  
20 to say at the beginning, you are going to  
21 analyze everyone that you put in and you are  
22 going to do a subanalysis of the patients with

1 a proven bacterial cause.

2 I also want to comment on Dr.  
3 Calhoun's point. It is absolutely true that  
4 there was a tendency on that Infectious  
5 Disease Society ATS Committee to go toward a  
6 syndromic approach. But you have got to  
7 realize that several of us on that Committee  
8 very, very strongly opposed that. And I just  
9 give one simple example. We opposed it  
10 because we said guys, here comes  
11 methicillin-resistant staph aureus and you are  
12 not paying attention to it. And you can't  
13 find something that covers everything. And  
14 they still went ahead kind of the way they did  
15 and we are now faced with MRSA pneumonia and  
16 it is not covered by the guidelines.

17 ACTING CHAIR TOWNSEND: Thank you.  
18 Dr. Fleming?

19 DR. FLEMING: I would concur that  
20 it shouldn't be a requirement but  
21 microbiological diagnosis should be obtained  
22 in as many patients as is practically

1 possible. And it takes on some added  
2 significance in a trial that is looking at  
3 non-inferiority for mortality where you would  
4 want to be able to classify which of the  
5 patients are consistent with lobar pneumonia,  
6 where you could at least do a sensitivity  
7 analysis for that group against the other  
8 group.

9 But in general, even in other  
10 contexts, I think it is useful to have that  
11 assessment in as many patients as possible.  
12 And actually, I think FDA also uses that in  
13 instances to label, to provide more specifics  
14 in the label. So I would encourage it to be  
15 done in a substantial, to be achieved in a  
16 substantial fraction of patients.

17 ACTING CHAIR TOWNSEND: Yes, not to  
18 belabor the point, I would agree with Dr.  
19 Fleming. I would feel that it would be not  
20 essential but certainly it would be very  
21 helpful to have that information.

22 Dr. Temple?

1 DR. TEMPLE: I thought there was  
2 some discussion of this in 1(a) and that the  
3 idea was you would try to find a population  
4 that was fairly likely to be able to grow  
5 something and you would be looking and you  
6 would be testing your entry criteria as to  
7 whether they were right by discovering that a  
8 reasonable number of them had a bacterial  
9 origin but you'd count everybody.

10 But if somebody found a five  
11 percent rate of microorganism growth, you  
12 would wonder about the trial, maybe.

13 ACTING CHAIR TOWNSEND: Dr. Wong-  
14 Beringer, did you have --

15 DR. WONG-BERINGER: Yes, I just  
16 want to reaffirm, I think, what everybody has  
17 said so far in terms of microbiologic  
18 diagnosis not being required but strongly  
19 sought after because I think the treatment  
20 effect may differ, depending on your organism  
21 that you are looking at. So therefore, you  
22 need to have that analysis.

1                   But I think also, too, that I would  
2                   like to propose that the FDA encourage the  
3                   manufacturers to include rapid diagnostic  
4                   testing in this trial, whether it already has  
5                   been a standard or not recognized, that they  
6                   incorporate those studies because that, by  
7                   relying on this archaic method of culturing  
8                   organisms on a plate isn't the best way to  
9                   diagnose or identify the etiology. And I  
10                  think we need to move the field forward in  
11                  that.

12                   ACTING CHAIR TOWNSEND: Thank you.  
13                  I think we will go ahead and go on to C.

14                   "Should strategies be utilized to  
15                  enrich the population for patients with a  
16                  particular microbial etiology? For example,  
17                  pneumococcus or other microbes."

18                   Dr. Musher?

19                   DR. MUSER: I sort of think, we  
20                  have already agreed, we are trying to enrich  
21                  for bacterial infections.

22                   ACTING CHAIR TOWNSEND: All right,

1 enough said.

2 DR. KAUFFMAN: Could I just make a  
3 comment that companies probably need to look  
4 at where they are going to enlist principal  
5 investigators. The people who see these  
6 patients first off are ER doctors. They are  
7 not ID doctors. Secondly, they are pulmonary  
8 doctors and not ID doctors or they are people  
9 out in the community in their offices who then  
10 would be shuttling them into the ER. So I  
11 think really the ER has to be a primary focus  
12 and I don't know that it has been in the past.  
13 I think if you just go to classic ID  
14 physicians, you are going to miss many of  
15 these patients.

16 DR. MUSER: Just to add to that,  
17 especially if you want to avoid patients  
18 having had an initial dose of some other  
19 antibiotic. Because that is, the official  
20 recommendations of the IDSA/ATS are that an  
21 effective antibiotic or putatively effective  
22 antibiotic begun at the site where the patient

1 is first seen.

2 So before the patients get upstairs  
3 to the ICU or anywhere else, they have already  
4 gotten a dose of an antibiotic in the ER.

5 ACTING CHAIR TOWNSEND: Dr.  
6 Patterson?

7 DR. PATTERSON: Well, I would just  
8 reiterate that not only because of treatment  
9 purposes but for matrix purposes and  
10 hospitals, anybody suspected of pneumonia in  
11 the EC or urgent care, gets a dose of  
12 respiratory quinolone very often these days.

13 And I would just reiterate that the  
14 rapid diagnostics, including for strep  
15 pneumoniae would be very helpful in analyzing  
16 the data and finding these patients.

17 ACTING CHAIR TOWNSEND: All right.  
18 Thank you. Dr. Dowell?

19 DR. DOWELL: Just to specifically  
20 add that testing by multiplex PCR for  
21 respiratory viruses should be an expected part  
22 of these trials to allow for the subset

1 analysis to be done.

2 ACTING CHAIR TOWNSEND: Thank you.

3 All right, down to 1(d). "Please discuss

4 whether the evidence which shows a treatment

5 effect based on mortality can be linked to

6 endpoints which are used in current non-

7 inferiority CAP trials. For example, clinical

8 success or failure. And if so, how? Note:

9 The possible components of the clinical

10 failure endpoint might include some of the

11 following. Mortality, receiving rescue

12 therapy, lack of resolution of clinical signs

13 and symptoms such that additional

14 antibacterial therapy is administered, a lack

15 of resolution of signs and symptoms at the

16 time the primary endpoint is assessed."

17 Dr. Fleming.

18 DR. FLEMING: Well, this is

19 certainly a rich question with many key

20 aspects to it. So let's just take the first

21 literal aspect of the question, can data that

22 shows treatment effect on mortality be linked



1 to endpoints that are used, such as clinical  
2 success and failure?

3 So if we wish to do non-inferiority  
4 on other measures, can we use the mortality  
5 insight and its non-inferiority margin to  
6 extrapolate? I think the fundamental  
7 principle that first needs to be recognized is  
8 that margins that you use are specific to  
9 endpoints. You, each different endpoint would  
10 have a different set of evidence required to  
11 establish that margin. And as we have seen,  
12 even with a specific endpoint, the margin will  
13 be specific to the patient population. We can  
14 justify a much bigger margin in CAP in the  
15 more severe patients. But finally, it is  
16 specific to the control regimen, as we have  
17 understood. We need to justify the margin for  
18 that specific control regimen.

19 Justifying the margin using data  
20 for one endpoint to another endpoint is  
21 conceivably possible but enormously  
22 complicated. The one success story that I can

1 think of is in anti-hypertensives that Bob  
2 Temple knows extremely well where blood  
3 pressure has been a key measure used.

4 And the question that was raised by  
5 Bob and his colleagues to the Cardio-Renal  
6 Advisory Committee several years ago is do we  
7 now have enough evidence to be able to  
8 specifically state by understanding the effect  
9 on blood pressure. What is the effect on  
10 other measures, such as stroke and  
11 cardiovascular death and MI and overall  
12 mortality and heart failure and  
13 hospitalization? We had 100,000 patients to  
14 answer that question.

15 It is such a complicated question.  
16 And it had to be answered across classes. So  
17 it was answered in low-dose diuretics and beta  
18 blockers and calcium channel blockers and ACE  
19 inhibitors and ARBs. And the answer was yes  
20 for stroke, maybe for MI and cardiovascular  
21 death, overall mortality not so well, and  
22 heart failure hospitalization, no. So it is

1 a very relevant question. It is a highly  
2 data-intensive issue to be able to take on.

3 Now, what are those other  
4 endpoints? The issue is here, the richness of  
5 this question is there are other important  
6 endpoints other than mortality, absolutely.  
7 Determining what they are is an important key  
8 step. Before we are asking can we get a non-  
9 inferiority margin, we need to be asking, are  
10 these the right endpoints? And obviously,  
11 this is a complex issue. But the endpoints  
12 certainly should reflect what are very  
13 important clinically relevant outcomes to  
14 patients and the instrument that is used to  
15 make that assessment needs to be validated.

16 So on this issue, I endorse what  
17 the IDSA manuscript stated in its conclusions.  
18 The IDSA manuscript said, in conclusions  
19 regarding what endpoints should be used, what  
20 they stated is, in severe CAP, a 15 day all  
21 cause mortality outcome measure and in  
22 moderate to severe CAP, either a 15 day all

1 cause mortality or a composite endpoint that  
2 includes morbidity variables that represent  
3 meaningful benefits to patients and are  
4 assessed with PRO instruments.

5 And so, with Dr. Musher here who  
6 has argued that fever is a key element, they  
7 agree. That is in the list. But it is not  
8 just fever. It is fever, cough, pain,  
9 dyspnea, and fatigue. So that is in fact what  
10 is a position that I would exactly endorse, as  
11 to beyond mortality in more mild disease, what  
12 would be the endpoint measure that we would  
13 use? And I also endorse their point that it  
14 should be measured with a validated  
15 instrument, with a PRO instrument.

16 So my last comment on this is,  
17 business as usual, can we use clinical success  
18 and failure?

19 Dr. Musher said a couple of things  
20 yesterday that I thought were really, I think,  
21 worth repeating. And one is, it is critical  
22 that when you do these assessments, they are

1 in blinded trials. These are very subjective  
2 endpoints. It is very important. It is also  
3 very apparent that if you have very high  
4 success rates, assay sensitivity is difficult  
5 to validate because the easiest way to make  
6 something that is experimental look good when  
7 you expect a really high success rate in the  
8 control arm, is to be liberal in your  
9 interpretation of what success is. And you  
10 don't have assay sensitivity.

11 Well, do we have assay sensitivity  
12 with business as usual clinical response,  
13 clinical success failure? Well, it was argued  
14 that we have an illustration with the  
15 daptomycin trial that showed a difference.  
16 That difference was 71 percent against 77  
17 percent P value just on the edge of two-sided  
18 05. If you have a completely insensitive  
19 measure, you use it 20 times in clinical  
20 trials, you are going to see a P value of 05.  
21 One time out of 20 by chance alone. That is  
22 not telling me remotely that I have assay

1 sensitivity with that measure.

2 So the issues with that measure  
3 are, is this a measure that has adequately  
4 been defined according to patient defined  
5 measures of clinical relevance? And I am okay  
6 including fever in there, as long as it is  
7 comprehensive looking at these other measures  
8 as well, using validated measures that would  
9 in fact have assay sensitivity.

10 So bottom line, the other measures  
11 that I would completely endorse would be  
12 measures that represent effects on symptoms in  
13 mild to moderate disease measured with a  
14 validated PRO instrument. And unfortunately,  
15 that doesn't lend itself to a non-inferiority  
16 margin at this point. But as we develop more  
17 insight about use of that measure, that could  
18 prospectively be something that could be done.

19 ACTING CHAIR TOWNSEND: Dr.  
20 Follmann, did you have a comment?

21 DR. FOLLMANN: I just wanted to  
22 talk briefly about the idea of using mortality

1 data to try and justify the clinical failure  
2 endpoint that we have talked about today and  
3 has been used in recent studies. And I think  
4 Dr. Rex made the point that clinical failure  
5 includes mortality as part of it. So how  
6 could you not sort of use the old data in some  
7 sense to justify a margin using clinical  
8 failure.

9           And I thought about that a little.  
10 And I think there is really two perspectives  
11 on that. One is if you want to bridge to the  
12 past and say if we could have used clinical  
13 failure as we currently define it in 1930,  
14 what kind of treatment effect would we have  
15 shown? And I think we know the answer to  
16 that. It would have been dramatic. But it is  
17 because the clinical failure would have been  
18 driven almost entirely by the mortality.

19           So if we wanted to imagine what  
20 would happen in the past, I think we know the  
21 answer. The question for us is really to  
22 bridge to the present from the 1930s to now.

1           And really, we are in a very different  
2           situation where the kind of patients we would  
3           look at in mild to moderate CAP are not going  
4           to have a very high mortality rate.

5                       And so, the clinical failure  
6           endpoint is going to be driven not by  
7           mortality but by these other resolution of  
8           symptoms and other aspects of it. And so it  
9           makes it harder, I think, to try and justify  
10          bridging from the past into the future using  
11          clinical failure.

12                       ACTING CHAIR TOWNSEND: Dr. Venitz.

13                       DR. VENITZ: Can I just respond?  
14          I think to some extent I agree with you that  
15          most of the clinical success would be driven  
16          by mortality but what about rescue  
17          medications? Sixty years ago, they didn't  
18          have any rescue medications.

19                       So, I do think there are other  
20          things that allow you to make that  
21          translation. As I said before, I believe that  
22          you can translate quantitatively the mortality



1 difference that they found 50 plus years ago  
2 to clinical success, as we do it today.

3 ACTING CHAIR TOWNSEND: Dr. Temple.

4 DR. TEMPLE: I thought people were  
5 thinking, from the previous conversation, I  
6 thought people were thinking just as Dr.  
7 Venitz says, that you were looking for modern  
8 death equivalents. That is, you saved  
9 somebody's life because you found a rescue  
10 medication. Okay, you would have died in the  
11 past or, I don't know what else, you put them  
12 in a hyperbaric chamber, or whatever you did  
13 that would have saved somebody who would have  
14 died before, those should still count and  
15 because not so many people die anymore.

16 Some of the other things, cough  
17 went on more than ten days, those seem less  
18 certain to me, unless that is a current  
19 measure of failure. But I must say, it seems  
20 very reasonable to take what constitutes  
21 failure in the modern world and add that to  
22 the mortality, which is what I thought I was

1 hearing people saying.

2 ACTING CHAIR TOWNSEND: Dr. Rex?

3 DR. REX: Thank you. I would like  
4 to pick up where Dr. Temple left off. And I  
5 have kind of said this before but let me try  
6 to say it as succinctly as I can.

7 Mortality is a powerful endpoint  
8 but in the modern era, we work like mad to  
9 keep people from dying. They used to die from  
10 something as simple and as dumb as an empyema.  
11 We now keep them from dying not only from an  
12 empyema but from hypotensive sepsis. You  
13 know, not always but we sure try hard. And if  
14 you are reasonably young, I can probably save  
15 you.

16 So, the mortality benefit that you  
17 used to get, well, we are getting most of that  
18 now with people who live. And we know that in  
19 part because we are not seeing people dying --  
20 the mortality rates have been constant since  
21 the introduction of pretty good drugs and we  
22 don't see a lot of empyemas hanging around.

1           So, there is a lot to be said -- we  
2           should not be frustrated that when we do a  
3           study of pretty sick people -- and actually,  
4           I am reminded, Carol Kauffman said one of the  
5           entry criteria is or one of the exclusions is  
6           always looks like they are about to die in the  
7           next 24 hours. I will remind you, every  
8           clinical trial has that exclusion in it. It  
9           looks like they are about die this afternoon.  
10          And for good reason, you don't enroll those  
11          people. So, ergo, we really don't enroll the  
12          absolute sickest of the sick. Whereas, you  
13          know, in 1939, everybody, if they made it to  
14          the hospital, they got counted. And they went  
15          on to die the next day. So, we are not doing  
16          that anymore. So that is another reason why  
17          our death rates will not be the 20 percent.

18                 And the danger is this statistical  
19          trap that if we have a five percent death  
20          rate, plus or minus ten percent, it scares us  
21          because it could be as much as 15 percent and  
22          we were worried that is a three-fold

1 difference. That is only if you discount all  
2 the biology. So that is theme A. Mortality  
3 is a powerful marker but only if you lose  
4 sight of the biology and only if you lose  
5 sight of the way we are doing clinical trials  
6 these days.

7           The other one is that clinical  
8 response is highly relevant. The collection  
9 of symptoms that we refer to these days that  
10 get you at the end of the observation period  
11 to a checkbox yes, did the patient succeed,  
12 yes or no, that last checkbox, is a summation,  
13 and admittedly there is a physician involved  
14 but there is also a patient involved, it is a  
15 summation of an extended conversation between  
16 the patient and the physician that includes  
17 lots of patient reported observations. And  
18 the patient has many opportunities along the  
19 way to say Doc, I feel terrible. I want out.  
20 This drug is making me throw up or I am still  
21 having a fever. Lots of ways to get out.  
22 There is only one way to get through to the

1 end and that is by being pretty clean the  
2 entire way.

3 So, clinical response is what  
4 people are looking for these days. We are not  
5 going to let them die and so you are going to  
6 have to deal with that. And I think you  
7 really can map from the old mortality data to  
8 a clinical, a comparable clinical benefit.

9 And we are not asking for this,  
10 this is the last theme, we are not asking for  
11 this in the absence of anything else. The  
12 only thing I did was show you the trial and I  
13 didn't tell you anything about why else the  
14 drug should work. If I only showed you one  
15 trial, you would be correctly skeptical. Dr.  
16 Fleming should rake me over the coals if all  
17 I show is one bit of data but I don't. I show  
18 an enormous wealth of preclinical data. I  
19 show that the dose, the exposure at the site  
20 should kill the bug. I showed that it worked  
21 in other settings. It is a whole stacked up  
22 layer of stuff.

1                   And yes, the daptomycin paper is  
2                   not about the difference of six percent. It  
3                   is about the fact that you actually can  
4                   observe a difference with that tool. That is  
5                   the minimum possible. And I suggest that you  
6                   should filter out those who received a prior  
7                   antibiotic, in which the difference becomes 13  
8                   percent, which looks more like the  
9                   levofloxacin, ceftriaxone, cefuroxime data,  
10                  which looks even more like Dr. Torno's  
11                  exposure response stuff. It all lines up  
12                  because the biology tells you it ought to be  
13                  true.

14                  ACTING CHAIR TOWNSEND: All right.  
15                  Dr. Kauffman has a question and then Dr.  
16                  Fleming has a response.

17                  DR. KAUFFMAN: I was just going to,  
18                  and not stay it so eloquently, but agree that  
19                  we really do need to look at patients who  
20                  don't resolve within whatever period of time  
21                  they are taken off trial. That means they  
22                  failed. That is important. And what kind of

1 morbidity they are left with at the decided  
2 endpoint of the trial. I think that is as  
3 important as mortality, which is sort of what  
4 John said.

5 ACTING CHAIR TOWNSEND: Dr.  
6 Fleming?

7 DR. FLEMING: Well, just to follow  
8 up on a couple of Dr. Rex's comments. I think  
9 what Dr. Follmann was pointing out still is I  
10 don't think addressed by what you were saying.  
11 My understanding is he was noting that when  
12 mortality has substantially changed, then that  
13 does alter the comparability of the setting in  
14 which you would be looking at those other  
15 symptom endpoints compared to now.

16 Second point, you've again  
17 reiterated we don't let people die. And  
18 obviously, we do everything we can to prevent  
19 people from dying but how do we respond to the  
20 Wunderink slide number 22 that says mortality  
21 essentially is the same as it was 50 years  
22 ago? It has not gone away and we still hear

1           that it is the sixth leading cause of  
2           mortality. So, mortality remains a  
3           significant issue.

4                        Next point, basic science is very  
5           important in hypothesis generating and does  
6           establish plausibility of benefit but not all  
7           agents are the same. And we heard yesterday  
8           from Dr. Talbot that there are a number of  
9           agents out there that are in fact in trouble,  
10          some of which for safety issues, some of which  
11          for lesser efficacy issues.

12                      And finally, the daptomycin example  
13          alone doesn't validate that we have an ability  
14          to make a discernment when you look at the  
15          totality of the use of that measure and  
16          recognize that, even if the measure is  
17          completely insensitive to discern between  
18          interventions, even if it were just based on  
19          a random coin flip where you had 80 percent  
20          chance to succeed, you are going to see a  
21          difference of 0.05 in one out of 20 of these  
22          experiments. So, it is not a sensitive way to



1           validate this as assay sensitivity.

2                    ACTING CHAIR TOWNSEND: Dr. Musher.

3                    DR. MUSHER: So, first of all, I  
4           think we are losing track of the fact that we  
5           are supposed to be distinguishing between  
6           outcome in mild pneumonia where there is no  
7           mortality or not enough to speak of and  
8           disease of moderate severity or severe  
9           disease.

10                   Secondly, I have advocated that we  
11           look at the rapidity of the response based on  
12           certain clinical criteria. And those clinical  
13           criteria are those that what we call as  
14           physicians signs, as well as symptoms. And  
15           the signs that you would use to evaluate the  
16           rapidity of the response include the decline  
17           of the temperature from a febrile level to a  
18           normal, the return of the respiratory rate,  
19           and the return of the pulse to a normal level.  
20           And that goes along with, it is hand-in-hand  
21           with the patient's interpretation, with the  
22           patient's symptoms, and they should all be

1 used together.

2 The fact that there is no  
3 difference overall, Dr. Fleming in the  
4 mortality, does not tell us that it is the  
5 same kind of a disease. I am not exactly sure  
6 how to interpret it but I do have to point out  
7 that we have far more patients with underlying  
8 conditions and co-morbidities. It is very  
9 different now from it was in the 1930s, which  
10 is what I keep saying. It is so difficult to  
11 interpret the data, I am not sure we are doing  
12 anybody a favor by dealing with it.

13 And I do want to comment, finally,  
14 on the daptomycin. That is not just a five  
15 percent chance there could be a chance because  
16 if you did 20 studies you are going to find  
17 one five percent off. If I understand Dr. Rex  
18 correctly, and even if I don't, I would again  
19 add that the failure in the daptomycin group,  
20 it wasn't just that the P level happened to  
21 make it just around the 0.05, but it the fact  
22 that in vitro, the organism, the drug looked

1 good against the organism.

2 But once you realize that there is  
3 some physiologic effect of pulmonary  
4 surfactants, then it becomes a hypothesis.  
5 And all of a sudden your hypothesis shifts and  
6 instead of saying daptomycin is just as good  
7 as some other drug in treating pneumococcal  
8 pneumonia, you will say I have got to modify  
9 my hypothesis based on additional information  
10 that I have.

11 And when an observation confirms a  
12 hypothesis, then it is very different from it  
13 is just a random finding. And that was the  
14 point several speakers, including speakers  
15 from the audience made, when they talked about  
16 using the Bayes' approach, what is your pre-  
17 test probability.

18 ACTING CHAIR TOWNSEND: Dr.  
19 Patterson had a comment. I just want to  
20 remind members, we have got a lot to talk  
21 about this afternoon, so we will try to wrap  
22 this up real quickly.

1                   Well, I was just going to say I  
2                   agree with Dr. Fleming in the IDSA position  
3                   paper about the 15 day mortality and clinical  
4                   morbidity endpoints to be used. I also agree  
5                   with excluding pre-morbid patients and that  
6                   the composite endpoints are especially  
7                   important for less severe patients. And I  
8                   also agree that fever shouldn't be the only  
9                   clinical morbidity endpoint. I think it  
10                  should be one of them but to include things  
11                  that white count, oxygenation, malaise,  
12                  duration of mechanical ventilation. I have a  
13                  concern about duration of hospital admission  
14                  because I think that can be, again, based on  
15                  access to care. And cough, I think, should be  
16                  included, although with mycoplasma, in  
17                  particular there can be a persistent cough due  
18                  to bronco spasm. So that again, these need to  
19                  be looked at as a composite.

20                   ACTING CHAIR TOWNSEND: Dr.  
21                   Fleming, did you have a comment?

22                   DR. FLEMING: Well, I think, Dr.

1 Musher, you and I are agreeing again. Maybe  
2 sometimes we say things somewhat differently  
3 but you were saying that mortality is a result  
4 now of very significant complexities in how  
5 this disease process has evolved.

6 All I am saying is the reality is  
7 mortality is still part of what we confront  
8 here. Mortality hasn't gone away and it is  
9 not a setting where we can say this is no  
10 longer an issue we can just deal with other  
11 components.

12 DR. MUSHER: Of course.

13 DR. FLEMING: Those other  
14 components are important but mortality is  
15 certainly one remaining aspect that is still  
16 with us in a significant way.

17 ACTING CHAIR TOWNSEND: One last  
18 comment by Dr. Venitz, then we will move on to  
19 the next one.

20 DR. VENITZ: Yes, as the token  
21 clinical pharmacologist, I wanted to add  
22 something and I think this is probably the

1 most appropriate item to add and that is the  
2 idea of exposure response. I mean, really,  
3 what this item D deals with is do we have  
4 proof of efficacy, effectiveness? And one way  
5 of supporting at least the proof of efficacy  
6 would be to show that internally you have some  
7 dose response, or in this case, concentration  
8 effect relationship that is consistent with  
9 their prior expectations. And we saw a nice  
10 example yesterday. There were several  
11 examples on some of the slides.

12 So I would reiterate the  
13 recommendation that was made yesterday to  
14 include PK samplings so we can actually look  
15 at AUC or MIC ratios and use that to support  
16 the proof of efficacy. I realize it is not  
17 randomized but it is part of a clinical trial  
18 and it can provide supportive evidence.

19 ACTING CHAIR TOWNSEND: Thank you.

20 All right, we will move on. "1(e).  
21 The historical evidence for a treatment effect  
22 is based on studies which evaluated

1           penicillin, sulfonamides, and tetracyclines.  
2           Given the need to preserve the treatment  
3           effect, the effect of the comparator agent, or  
4           the placebo, or no treatment in a future  
5           study, what are appropriate choices for  
6           comparator agents? Please explain the basis  
7           and information that supports the  
8           recommendation for comparator agents for a  
9           future study."

10                           Dr. Musher.

11                           DR. MUSHER: I think appropriate  
12           choices should be medications in accord with  
13           official guidelines. And unless anybody  
14           objects, I think we should go on to the next  
15           question.

16                           (Laughter.)

17                           ACTING CHAIR TOWNSEND: Dr. Temple.

18                           DR. TEMPLE: I just wanted to  
19           mention, it is okay if the thing you use is  
20           better than the thing in the past. That  
21           doesn't undermine the design or interpretation  
22           of the study at all. So that is always okay.

1                   ACTING CHAIR TOWNSEND: I would  
2 agree with Dr. Musher. Dr. Patterson.

3                   DR. PATTERSON: Yes, I agree and I  
4 think that is pretty straight forward. The  
5 only comment I had related to that is, Dr.  
6 Musher has already pointed out, that those  
7 guidelines don't cover MRSA. So, because it  
8 has become so much more common if the drug to  
9 be studied is anti-MRSA drug, then the  
10 comparator needs to have MRSA included as  
11 well, inspector.

12                  DR. MUSHER: I, of course, agree  
13 with that.

14                  ACTING CHAIR TOWNSEND: Dr.  
15 Fleming.

16                  DR. FLEMING: Relative to a non-  
17 inferiority trial on mortality, certainly what  
18 would be preferable is to use an agent that  
19 would be confidently maintaining or improving  
20 upon the effects of the sulfonamides and  
21 penicillin. And we don't have to stick with  
22 those agents but we do need evidence to



1           indicate through meta-analyses which do exist  
2           that other classes of agents that are now more  
3           frequently used are in fact at least  
4           maintaining the efficacy of those historical  
5           agents.

6                           I would, though, urge that the best  
7           care possible be taken to choose what  
8           consensus is today as the best agent for an  
9           active comparator. Bud, I thought, got at the  
10          issue that concerns me here already in an  
11          earlier comment that he made. We talk about  
12          the risk of non-inferiority trials, not having  
13          assay sensitivity, potentially allowing us to  
14          not maintain the efficacy of current available  
15          therapies. Current available therapies are  
16          highly effective. We can't walk away from  
17          that efficacy.

18                          If we do non-inferiority trials,  
19          the margin as it is set up, particularly if  
20          you are not as rigorous as what we were  
21          talking about, can allow you to be estimating  
22          the new agent to be worse than the active

1 comparator and still satisfy the non-  
2 inferiority margin, which is one of the  
3 reasons you want a tight margin to at least  
4 make it likely that success is something that  
5 is similarly effective. But you could have,  
6 in fact, an estimate of somewhat less effect  
7 and still get approved.

8 The concern that arise, and Bud  
9 eluded to it, is you do two or three  
10 generations of these non-inferiority trials  
11 and now you could start meaningfully erode.  
12 And that is called biocreep. And so I would  
13 urge that a lot of attention be given, just as  
14 we said exactly appropriately earlier on, it  
15 is inappropriate to do a superiority trial  
16 against a lame duck active comparator that is  
17 at least giving people something, but giving  
18 them something weak so that we can beat it.  
19 That is not ethical. It is not scientific.

20 We need to give people the very  
21 best thing we can on the active control arm.  
22 And if we do that, if we select the best that

1 we believe for antibiotics as the active  
2 comparator arm, it is what is most ethical and  
3 it provides some protection against biocreep  
4 if we then have generations of non-inferiority  
5 trials on non-inferiority trials.

6 ACTING CHAIR TOWNSEND: Thank you.  
7 Dr. Rex.

8 DR. REX: Dr. Fleming, as always,  
9 raises very good points. And let me say a  
10 couple of things that might be helpful here.  
11 And keep in mind I used to be an academic  
12 physician. So I am going to say a couple of  
13 things about how one might view industry.

14 You can say to yourself, gee the  
15 guys and gals in the industry are trying to  
16 find the simplest of the, they are trying to  
17 find the work-around, they are trying to  
18 slither through with something that isn't  
19 quite right. You can think that but in fact  
20 if I am going to spend forty million dollars  
21 doing a pair of CAP studies, I would be a fool  
22 to study my drug against a crummy comparator

1           because, when I am done with those trials, I  
2           have to show them to the likes of you. And if  
3           I studied my drug against, I don't know, an  
4           under-dosed regimen with Pen-Vee K and I come  
5           in and I say, Dr. Kauffman, I beat Pen-Vee K.  
6           She'll say, John, what are you doing. And as  
7           well she should.

8                        I actually want to choose, I am  
9           motivated to choose, a good solid modern  
10          comparator so that when I take my data to your  
11          Pharmacy and Therapeutics Committee, I can  
12          say, look, I did as well as name of good drug.  
13          And everybody will recognize that that is a  
14          good, current, modern drug and that my study  
15          is good.

16                       So it is not like it is a legal  
17          check and balance, but there is a commercial  
18          medical check and balance here that you should  
19          be aware of. We are going to choose a good  
20          regimen because that is what the payers want.  
21          That is what is going to be compelling. So do  
22          keep that in mind.

1                   And the biocreep thing, I think, is  
2                   clearly a theoretical possibility. In the  
3                   case of modern antimicrobials, where we have  
4                   got all this preclinical stuff, including the  
5                   current concepts around mutation and  
6                   prevention concentrations, you may not be  
7                   aware of this, but we now understand a little  
8                   bit about the shape of the exposure curve that  
9                   permits mutations to occur, mutations to  
10                  resistance. And we actually would like to  
11                  dose above that, even if we could.

12                  So, we are working really hard to  
13                  give adequate exposures because again, that is  
14                  in my best interests as a sponsor. It means  
15                  my drug will be last. My drug will be  
16                  convincing. Resistance won't develop. So, it  
17                  is another one of these checks and balances  
18                  that isn't immediately obvious. But when you  
19                  start to design clinical programs, you begin  
20                  as a sponsor to say, you know, I want this to  
21                  be compelling. I want this to. So, I want a  
22                  hard test because if I do well on that test,

1           everybody will say yes, I want to use that.

2           That is what makes a really special drug.

3                         So, the biocreep thing is a concern

4           but I think it is more theoretical than it is

5           actual because you are not going to come

6           forward anymore with an inadequate dosing

7           regimen based on pharmacodynamics. It used to

8           be, before we understood all this 20 years

9           ago, you might kind of wander around in space.

10          But these days, you don't do that very much.

11                         And finally, the last thing I would

12          like to say has to do with this what it means

13          to hit minus ten percent on a margin. Dr.

14          Fleming eluded this but let me try to say it

15          in my simple, my way because I try to carry

16          around a little sort of benchmark points. And

17          the benchmark that I carry around in my head

18          goes like this: If you are thinking about a

19          therapy that works 90 percent of the time,

20          roughly, and you do a study of 250 patients

21          per arm, so a 500 person study, a reasonable

22          science study, 250 per arm, the target

1 activity of the control is around 90 percent.  
2 If my new drug is going to have a margin no  
3 worse than ten percent, my new drug is no  
4 worse than ten percent, then if the old drug  
5 hit 90 percent dead-on, then my new drug, the  
6 worst it can be and satisfy that criteria, is  
7 87 percent. It can only be three percent away  
8 because the 95 percent CI will be, I am  
9 rounding, three plus or minus six. And that  
10 will take me from like minus nine to plus  
11 three, roughly. Give me a half a percent or  
12 a percent either way.

13 So, it is really helpful to realize  
14 that 250 per arm, target is 90 percent, I can  
15 only be three percentage points away and still  
16 be non-inferior in terms of my point estimate.  
17 I have got to actually, and Tom said it very  
18 well, they have got to almost lay on top of  
19 each other. Very close. That is the worst I  
20 can be. So when you talk about a minus ten  
21 percent margin, it may sound like a bunch but  
22 when you work the numbers, I have actually got

1 to be quite close.

2 So, I think that is a helpful thing  
3 to recognize, so those three ideas. I want to  
4 choose a good comparator, it needs to be  
5 modern, I want it to be well-dosed, and the  
6 rules we are talking about are really stronger  
7 than you might think. Sit down and work with  
8 the numbers. They are pretty good rules.

9 ACTING CHAIR TOWNSEND: Thank you.  
10 We'll go ahead and move on to the last  
11 question in number one. "What is your best  
12 estimate of the treatment effect size (M1)  
13 that the historical data support for treatment  
14 of hospitalized CAP (based on severity  
15 selected in part A of this question, so  
16 meaning moderate to severe) in a future CAP  
17 trial? And what is your recommendation for a  
18 non-inferiority margin that preserves a  
19 proportion of the treatment effect, that is,  
20 M2, for a CAP trial in this population with  
21 the endpoints discussed above?"

22 Dr. Dowell.



1 DR. DOWELL: Twenty percent and ten  
2 percent.

3 ACTING CHAIR TOWNSEND: Easy  
4 enough. Dr. Calhoun.

5 DR. CALHOUN: I am still somewhat  
6 compelled by the proportional reduction in  
7 risk that we have seen across all severity.  
8 And so, although I don't disagree with the  
9 absolute numbers that have been suggested  
10 around the table by the IDSA, etcetera, I  
11 think that the proportional hazard model has  
12 some value.

13 ACTING CHAIR TOWNSEND: Dr.  
14 Whitney?

15 DR. WHITNEY: I find this  
16 discussion of margin a little bit tricky  
17 because I feel like we are sort of trapped by  
18 what is feasible. In an ideal world, we would  
19 like to have that M2 be as small as possible  
20 but yet we don't want to discourage the  
21 development of drugs. And we haven't really  
22 had, I mean, we have thrown around some sample

1 sizes but haven't really seen a table of how  
2 it really affects it to discuss different  
3 margins.

4 So, I mean, is ten percent the  
5 smallest we can really do? And if that is the  
6 case and still get good drugs, maybe that is  
7 the choice we have in front of us.

8 ACTING CHAIR TOWNSEND: As Dr. Rex  
9 pointed out with that ten percent margin, we  
10 are really talking about a three percent  
11 difference. There is probably not that much  
12 difference in a ten percent margin, in a five  
13 percent margin. Is that right?

14 DR. REX: Well, that is always  
15 dangerous to talk statistics in front of a  
16 real statistician. You understand that I am  
17 not.

18 The quirky thing about the margin  
19 is that there is a calculation has, it is the  
20 square of the margin in the denominator. So  
21 there is this numerator up here, I won't tell  
22 you how you get that but pretend there is a

1           number up here, and as you shrink the margin,  
2           you are dividing by the square of it, and so  
3           it gets smaller pretty -- You're going from  
4           ten percent, which would be ten times ten is  
5           a hundred, divide by a hundred, as opposed to  
6           five percent. Five times five is twenty-five.  
7           You have actually gone up four-fold in terms  
8           of your sample size going from ten percent to  
9           five percent, more or less. Have I got it  
10          right? Is it set up, I'm doing okay?

11                         DR. FLEMING: You have it right.

12                         DR. REX: Okay, so good. Thank  
13           you. So that is dramatic. That is a shift.  
14           You know, I told you my little mental  
15           benchmark, 250 per arm, 500 patient trial and  
16           90 percent. If I now want it to drop down to  
17           a five percent margin, I am now talking two  
18           thousand patients.

19                         Now, my overall trial program might  
20           have two or three thousand patients in it and  
21           actually it will probably need to, so that I  
22           get some safety, you know, I get a bunch of

1 other stuff with that. But to dedicate two  
2 thousand patients to one trial and then Dr.  
3 Temple says, John, where is your second trial,  
4 I go, oh, no, I forgot about the second trial.  
5 It would be hard.

6 So, you are right. To some extent,  
7 we are talking about the bounds of the  
8 feasible. That is the bad news. The good  
9 news is, when you add up what we know,  
10 thankfully, we have got information to suggest  
11 that we are actually, we are working with some  
12 clear blue space here between the mortality  
13 effects that we know that turn into morbidity  
14 effects in the modern era, they are pretty  
15 striking. So, we have got a little bit of  
16 room, a ten percent margin, as Dr. Townsend  
17 said, I have got to come pretty close to hit  
18 that ten percent. So, it is not as bad as you  
19 think. You are not letting us off that light.

20 ACTING CHAIR TOWNSEND: Dr. Temple?

21 DR. TEMPLE: Yes, it is always  
22 worth remembering it is so important to us

1           that it not be worse than the margin that we  
2           put very high statistical assurance on it.  
3           You know, that is the lower bound of the --  
4           upper bound of a 95 percent confidence  
5           interval. That is not the best guess about  
6           what the real difference is by a long shot,  
7           which is much closer to the point estimate or  
8           something else.

9                         So, it is chosen conservatively,  
10           just the way we use a P of 0.05 but the value  
11           that you exclude with a P of 0.05 in a  
12           superiority trial isn't the best estimate of  
13           the effect. Nobody would every use a drug  
14           that was significant to 0.05 because it would  
15           be just a hare's breath better than nothing.

16                        So, we simultaneously do that to be  
17           cautious but also take into account the actual  
18           effect side. So that is just what everybody  
19           has been saying.

20                        ACTING CHAIR TOWNSEND: Dr.  
21           Whitney, did you have another comment to make?  
22           And then Dr. Fleming.

1 DR. WHITNEY: Oh, just a follow-up  
2 on the size of the margin. I think, given our  
3 discussion, I am pretty comfortable with the  
4 ten percent margin and then, adjusting for the  
5 relative risk. But then are there some  
6 endpoints where it needs to be larger for some  
7 feasibility or can we use that across the  
8 board for the endpoints?

9 ACTING CHAIR TOWNSEND: Dr.  
10 Fleming?

11 DR. FLEMING: Well, I was going to  
12 get to your first question. But to start with  
13 your second I'll come back to what I had said  
14 a couple of minutes ago.

15 The answer isn't what is the margin  
16 we use in CAP because the margin is very  
17 specific to the endpoint that we use and the  
18 scientific data that we have regarding what is  
19 the effect of an intervention on that  
20 endpoint. So, then it is therefore also  
21 specific to the active comparator that you are  
22 using and, as we have seen, it is specific to

1 the patient population.

2 So the ten percent margin that I  
3 have supported in the mortality setting is in  
4 the context where you have patients that have  
5 a 15 percent or higher mortality on the  
6 control, but we can use the relative risk to  
7 extend it to lower levels. But it is very  
8 specific to the intervention, the endpoint,  
9 and the patient population. We can't just  
10 generalize margins.

11 But to come back to what Dr. Rex  
12 was pointing out, he does have it right if you  
13 said, let's say to use your specific example,  
14 you had a 90 percent success rate on the  
15 control and the intervention, let's say you  
16 are using a ten percent margin, which would be  
17 already a very generous margin, if you had a  
18 90 percent success rate, but to go with your  
19 point, you would succeed if you had three  
20 percent absolute increase. Which is, though,  
21 a one-third increase in failure. But if you  
22 go to a 15 to 20 percent margin, now you could

1           succeed, even when you are doubling the  
2           failure rate.

3                         And so, it is very tempting to make  
4           that margin bigger because, your intuition is  
5           exactly right and Dr. Rex is exactly right  
6           about his formulas, you double that margin.  
7           You cut the sample size by a factor of four  
8           doing two things. A, making it a whole lot  
9           easier to complete the trial in a timely way  
10          and cutting resources and B, making it a whole  
11          lot more likely to be successful. Well, we  
12          want to be successful, except in those  
13          settings where you don't actually have an  
14          agent that is the same or even comparably  
15          effective. And you set that margin at 15 or  
16          20 percent and follow Dr. Rex's correct logic,  
17          you are now going to declare success when you  
18          have doubled the failure rate. Now, all bets  
19          are off on biocreep because you are, in fact,  
20          putting people at significant risk in the  
21          future of A, being treated with substandard  
22          interventions but B, with a couple generations



1 of this losing the important gains that we  
2 have achieved through effective antibiotics.

3 ACTING CHAIR TOWNSEND: Dr. Rex.

4 DR. REX: I don't want to hog the  
5 microphone but philosophically, there is a  
6 difference between P values or 95 confidence  
7 intervals and truth. There is because P  
8 values -- I didn't mean to elicit humor with  
9 that. P values were invented many many moons  
10 ago as a way to help us decide. We had a  
11 hypothesis and we did an experiment and we get  
12 some data. Do the data support the  
13 hypothesis? That is what P values are about.  
14 They are a way to help us mechanically decide  
15 that. But that is only about that one  
16 experiment. I have said this many times but  
17 it is just fundamental. You don't do the  
18 experiment in isolation. And indeed, you can  
19 take the same set of experimental results with  
20 different mental road maps and get different  
21 P values from it. And there is marvelous  
22 pair of articles from 1999, a fellow named

1 Goodman in the American Journal of Medicine,  
2 I believe, where he demonstrates this. The  
3 first one is called "The P Value Fallacy" and  
4 the second one is about the Bayesian  
5 modification of that.

6 But the heart of it is that P  
7 values are a tool to help us think critically  
8 about our data but they are not the truth.  
9 The truth comes from -- how do you get the  
10 cosmic truth? You can't get the cosmic truth  
11 but you can say, I started my experiment  
12 knowing a lot of things ought to be true and  
13 now I have done an experiment that either did  
14 or did not line up. How much does that  
15 experiment change my believe model?

16 In the case of antimicrobials,  
17 unlike let's say the case of a novel mechanism  
18 cancer agent, where the hypothesis is if I  
19 interfere with mutant K-ras expression, I am  
20 going to alter the course of non-small cell  
21 lung cancer. That is a very complicated  
22 hypothesis for which I have got relatively

1 little prior data.

2 On the other hand, if I talk about  
3 an active agent that killed it in the test  
4 tube, cured the mouse, got the right exposure,  
5 figured out the exposure, Ambrose and Drusano  
6 helped me figure out what the exposure is that  
7 keeps mutations to resistance from occurring.  
8 I delivered that exposure into the lung. It  
9 would surprise me if I didn't cure some  
10 pneumonias. Now, it could happen and that is  
11 why I do the experiment but it would surprise  
12 me. So I start off with lots of reasons to  
13 believe it is true.

14 So keep that in mind that if all I  
15 showed you was one 250 patient per arm study,  
16 if that is all I showed you, every one of Dr.  
17 Fleming's criticisms would be true ten times  
18 over. It would not be a compelling dataset.  
19 But that is not all I shows you. I show you  
20 the other experiment, the one I didn't forget  
21 to do, the second CAP study. I show you  
22 something, you know, maybe something else with

1           this drug. And I show you all the mice that  
2           I cured. I don't introduce you to them  
3           personally but I do show you the data about  
4           the mice that I cured along the way.

5                        So, it is, we don't do these in  
6           isolation and I think that is very helpful to  
7           me as a developer. It is one of the things  
8           that we really rely on. We say that with an  
9           antimicrobial, once I demonstrate adequate  
10          pharmacology at the site of infection, it  
11          really has a good chance of being a drug. And  
12          short of adverse events, for which I am going  
13          to look assiduously, that is why I am going to  
14          have several thousand people in my total  
15          clinical trial. I am looking for those AEs  
16          because the last thing I want to do is let a  
17          bad drug out because that will come back and  
18          bite me.

19                       So, I am going to do all that work  
20          but I start off, after the end of about phase  
21          one, feeling really pretty good. You know, I  
22          have got a good reason why it ought to work.

1 And actually, it is more likely to work than  
2 not.

3 ACTING CHAIR TOWNSEND: Thank you.

4 A quick reply from Dr. Fleming.

5 DR. FLEMING: Just very quick.

6 Agree again with a lot of the spirit of what  
7 you are saying. Just one refinement. You are  
8 saying our prior belief here, when we have  
9 gone through all that preclinical and other  
10 work is that it is more likely this agent is  
11 effective than what you might have with a  
12 novel anti-cancer agent you are saying. I can  
13 accept that probably is true.

14 What we are talking about though is  
15 the null hypothesis here, is that is relates  
16 to the effect against what you have already  
17 agreed that we would automatically do, take  
18 our best choice antibiotic out there, our best  
19 choice antibiotic out there and we are going  
20 to go head to head with that. And we have ATS  
21 IDSA guidelines. Those guidelines don't say  
22 use whatever you want. Those guidelines are

1 basically saying there is a totality of  
2 evidence here that say we actually think there  
3 could be differences in certain settings and  
4 we are going to take the best one. And now  
5 you are telling me your preclinical data tell  
6 me in advance that I am the same of the best  
7 of all choices.

8 So the prior here, if you want to  
9 be a Bayesian, as you are thinking, isn't just  
10 is this somewhat effective but your prior is.  
11 I'm going head to head because you told me  
12 that we would use the best and you assured us  
13 we would, that this is comparable to the best  
14 of the antibiotics and IDSA and ATS  
15 acknowledge that not all antibiotics are the  
16 same.

17 DR. REX: But we test them in the  
18 lab. You know, I take the ones that I think  
19 I am going to run against. But let me saying  
20 something about animal models because I have  
21 done an enormous amount of these in my career.  
22 They don't map one to one to human beings.

1           That is why you end up doing the human  
2           experiment as well.

3                        There are little differences -- not  
4           little differences. There can be big  
5           differences in drug distribution, big  
6           differences in protein binding, big  
7           differences in tissue distribution. And also  
8           mouse pneumonia isn't exactly the same as  
9           human pneumonia. So you do need to do the  
10          second experiments.

11                      But we do try to work against good  
12          drugs. And if I said best earlier, I think it  
13          is important that we not put down a standard  
14          that says you must use the best comparator  
15          regimen because best is really a slippery  
16          term. What you want is a well recognized good  
17          regimen. There can be a lot of debates on  
18          what is best but if the ATS puts it at the top  
19          of its list, that ought to be a one that is  
20          acceptable.

21                      I have been in debates about what  
22          is the best and also what is the best in one

1 country may be different from what is judged  
2 to be the best in another and there would have  
3 to be some consensus but it better be a good  
4 one. Because remember my test at the end of  
5 the day, I have to walk around to all the  
6 hospitals in the world and say here is my  
7 dataset and I tested against X. And I am  
8 going to want them to say I recognize X. That  
9 is a good choice. You have done well. That  
10 is the ultimate test. It's not even the  
11 people in this room. It is that test. And to  
12 satisfy that, I have got to choose a pretty  
13 good one.

14 ACTING CHAIR TOWNSEND: Okay.  
15 Thank you. In the interest of moving things  
16 along, we are approaching 3:00 here, to answer  
17 FDA's question, there are some recommendations  
18 that have been made by members of the panel  
19 also from the IDSA that for the circumstances  
20 that we are discussing, which is moderate to  
21 severe pneumonia with a mortality endpoint,  
22 that a ten percent margin would be reasonable.



1                   Are there any objections to that?

2                   Any comments on that measure? Dr. Rex?

3                   DR. REX: I didn't hear the

4                   Committee agree to just mortality. I heard a

5                   pretty significant undercurrent for a

6                   mortality plus. A combination of pretty

7                   strong clinical things and we have seen some

8                   examples of studies where that is. But I'm

9                   sorry, that is what I thought I heard. And I

10                  don't want us to slip into the pure -- excuse

11                  me, I should say that the pure mortality

12                  endpoint has a little statistical twist about

13                  if you don't quite have enough desks because

14                  the physicians are doing a really good job

15                  keeping the patients alive, it is good for the

16                  patients, it is bad for the study.

17                  ACTING CHAIR TOWNSEND: Dr.

18                  Patterson.

19                  DR. PATTERSON: Well, I am going to

20                  go with the IDSA position paper in Table 5 on

21                  page 34, that suggests 10 to 20 percent for

22                  composite clinical response.

1                   ACTING CHAIR TOWNSEND: Thank you.

2                   Does that answer your question, Dr. Cox?

3                   Thank you.

4                   All right, we will move on to  
5                   question two. Thank you very much for all the  
6                   discussion.

7                   Again, I will read the question and  
8                   then I will ask for votes. Dr. Musher, I will  
9                   start with you. When you give your vote just  
10                  give your yes because I am asking for yes  
11                  votes initially. Right. So, I will ask the  
12                  question. You raise your hand I will call on  
13                  you. Please give your name and say yes.

14                  DR. FLEMING: Could we make sure we  
15                  understand the question --

16                  ACTING CHAIR TOWNSEND: I'm going  
17                  to read it.

18                  DR. FLEMING: -- I mean, as we did  
19                  before the first one?

20                  ACTING CHAIR TOWNSEND: I'm going  
21                  to read it and if you don't understand it  
22                  after I read it, then I will --

1 DR. FLEMING: Okay.

2 ACTING CHAIR TOWNSEND: All right.

3 "Given the information presented mostly from  
4 historical data on the treatment effect of  
5 drugs for community-acquired pneumonia in  
6 patients with pneumococcal/lobar pneumonia,  
7 please address the following questions on  
8 trials of outpatient community-acquired  
9 pneumonia (studies using an oral drug)."

10 The first question is yes/no. "Can  
11 a treatment effect be reliably quantified for  
12 a non-inferiority study of outpatient  
13 community-acquired pneumonia, that is, for an  
14 oral drug?" If your answer to that question  
15 is --

16 DR. MUSER: My answer to the  
17 question is yes. And my further statement is  
18 that the first paragraph has nothing to do  
19 with my answer.

20 ACTING CHAIR TOWNSEND: Thank you,  
21 Dr. Muser. So, if your answer to that  
22 question is yes, please raise your hand.

1 Does anybody need clarification?

2 DR. FLEMING: I do.

3 ACTING CHAIR TOWNSEND: Okay.

4 DR. FLEMING: So I do want to make  
5 sure I understand the question and I want to  
6 understand it in the context of what I thought  
7 was a very intriguing concept race yesterday  
8 by Dr. Temple. And that is, upon completion  
9 of a trial in severe CAP patients, let's say  
10 based on a successful achievement of non-  
11 inferiority mortality, one might be willing to  
12 extrapolate that result to a label in CAP.

13 DR. MUSHER: That is question  
14 three, if I am not mistaken.

15 DR. FLEMING: It's question three.

16 DR. MUSHER: See, that's going to  
17 come up. I don't mean to interrupt but Mr.  
18 Chairman, I think that we are going to deal  
19 with that in the next question so that  
20 shouldn't enter into the present one.

21 DR. TEMPLE: This is really about  
22 whether you can reach a conclusion just in

1           this population, not whether you can  
2           extrapolate a conclusion from really sick  
3           people --

4                     DR. FLEMING:    So, okay --

5                     DR. TEMPLE:    -- to less sick  
6           people.  But could you do a -- this all goes  
7           to the oral only drugs.  You know, that is --

8                     DR. FLEMING:    All right.  So, if  
9           you are of the perspective that you could  
10          generalize a persuasive result from the severe  
11          setting to a label in CAP without having to do  
12          a separate study, then one shouldn't answer  
13          this question yes.  You should only answer  
14          this question yes if you believe that you have  
15          the ability to formulate a non-inferiority  
16          margin in limited patients on mortality or on  
17          a non-mortality endpoint?

18                    DR. TEMPLE:    Yes.  But I mean, as  
19          everybody has expressed it, there are some  
20          drugs that don't have an IV form.  You can't  
21          necessarily do that first study in them.  What  
22          are they supposed to do?  That is what this is

1 about.

2 DR. FLEMING: But that presumes  
3 that you wouldn't ever use an oral therapy in  
4 severe disease.

5 DR. TEMPLE: Well, the idea is  
6 mostly you would start IV and then switch.  
7 But that is different. Again, this is for  
8 where there is only an oral form and the  
9 presumption is people who are brought in  
10 really sick are probably not going to be  
11 started on that. So how can you test their  
12 effectiveness in CAP? That is what two is  
13 about.

14 DR. FLEMING: So, if you had  
15 quinolone, for example, would you not be  
16 willing to have used that quinolone in severe  
17 -- in fact, isn't the blood concentration oral  
18 and IV in quinolone similar?

19 DR. TEMPLE: It is seemingly  
20 pinning us down when one might find a solution  
21 to this problem to be that -- use whatever you  
22 want. Use an oral or an IV, whatever you

1           prefer to use in this severe trial that we are  
2           going to do. And if you find it is  
3           persuasive, you might be willing to generalize  
4           that conclusion to the mild setting.

5                     DR. COX: Yes, I mean, typically  
6           just thinking about the patients who are sick,  
7           who are coming into the emergency room acutely  
8           ill, I mean, I would expect most as part of  
9           standard of care would get IV therapy. You  
10          know you do raise the issue of comparable  
11          levels but in the setting of somebody who may  
12          be very sick, IV therapy is probably standard.

13                    DR. FLEMING: But if you use IV  
14          therapy there and you are willing to say then  
15          just do a bioequivalent study showing that you  
16          are achieving appropriate concentrations to  
17          switch from IV to oral, if you are willing to  
18          say that, then you know --

19                    DR. TEMPLE: No, that gets drug A,  
20          which is available in both forms studied.  
21          Question three goes to whether your are  
22          willing to extrapolate. Now, talk about drug

1 B. It doesn't come with a parenteral dosage  
2 form.

3 As Ed says, most of the time, most  
4 people wouldn't want to start a really sick  
5 person off on an oral. I mean, what do I  
6 know? That is just what I am told. So, how  
7 can you work up a drug like that for CAP in  
8 presumably people who are a little less sick.  
9 That is what two is about.

10 DR. VENITZ: All right. But isn't  
11 outpatient care using an oral drug  
12 substituting basically less severe illness?

13 DR. TEMPLE: Yes.

14 DR. VENITZ: Just like IV --

15 DR. COX: That's right.

16 DR. VENITZ: -- inpatient was a  
17 substitute for more severe illness.

18 DR. COX: Correct, yes.

19 ACTING CHAIR TOWNSEND: Dr.  
20 Wiedermann.

21 DR. TEMPLE: So these are less  
22 severe. The documentation of success that



1           everybody agreed was okay may not apply to  
2           those. What is your margin in these?

3                         ACTING CHAIR TOWNSEND: Dr.  
4           Wiedermann?

5                         DR. WIEDERMANN: So I would  
6           respectfully ask the FDA to change their  
7           question to say for mild pneumonia.

8                         DR. PATTERSON: For mild to  
9           moderate.

10                        DR. COX: That was the intention.  
11           I mean --

12                        DR. PATTERSON: I think mild to  
13           moderate.

14                        DR. COX: Yes, we are getting at  
15           potentially more mild to moderate pneumonia.  
16           The group of folks who would typically get  
17           oral drugs in the outpatient setting.

18                        ACTING CHAIR TOWNSEND: Dr. Rex?

19                        DR. REX: I think, if you think in  
20           terms of -- you can be reasonably ill and  
21           still take something by mouth. And you can  
22           even sometimes have somebody in the hospital

1           who takes something by mouth. You are giving  
2           them IV -- you have them in the hospital  
3           because you are giving them IV fluids and  
4           maybe giving them a little supplemental oxygen  
5           but you actually might be comfortable dosing  
6           them by mouth because it is clear that their  
7           gut is working.

8                         And I think that rather than just,  
9           if you use the word mild, you envision  
10          somebody who is happy, walkie-talkie, doesn't  
11          really need much. And that is not necessarily  
12          the case. You can be acutely relatively ill  
13          and still be happily treated by mouth.

14                        And I would say, the sponsor group  
15          has not extensively discussed this, but it  
16          would seem the case that I am, if I am  
17          studying my oral only drug and I want to get  
18          some reasonably strong outcomes, I am going to  
19          be encouraging my investigators to look for  
20          people who are reasonably ill but who can take  
21          things by mouth. I am going to try to get  
22          some older people, for example. Because we

1 know that older people are at risk of worse  
2 outcomes. Yet, I have got an older person who  
3 is not terribly ill. You know, they are 70  
4 they are not hideously ill but they are high  
5 risk. So, I am going to be looking for -- and  
6 that would be a person with a PORT, as we said  
7 earlier, PORT 4.

8 So, I am going to be looking for  
9 some people who are like that to get into my  
10 oral study because I think that is what I am  
11 going to have to do to help make the case.  
12 So, don't be distracted by the word mild.  
13 Mild doesn't actually cover it entirely.

14 So, I think it is the idea of I can  
15 only study them oral but that is a pretty good  
16 spectrum.

17 ACTING CHAIR TOWNSEND: Dr.  
18 Follmann.

19 DR. FOLLMANN: I wanted a little  
20 clarification on the term treatment effect.  
21 So that is relative to a placebo, I assume and  
22 then there is also would be an issue of what

1 endpoint you would have in mind. And my  
2 endpoint in mind for this, you know, mild CAP  
3 would be clinical failure or something like  
4 that, not mortality.

5 DR. COX: Right, yes. The  
6 treatment effect here is effect over placebo.  
7 And just like the other question, because all  
8 of these issues are interrelated, you know,  
9 you kind of have to answer the question about  
10 can you define a non-inferiority margin  
11 keeping in mind what population you are  
12 referring to and what endpoint you would be  
13 also thinking of. So those things are all  
14 kind of packaged together in the question.

15 ACTING CHAIR TOWNSEND: Right. In  
16 terms of trial design, what we are talking  
17 about is mild to moderate pneumonia, being  
18 treating as an outpatient with an oral  
19 formulation.

20 DR. TEMPLE: And can you figure out  
21 what margin to use? I mean everybody was  
22 happy that for the sicker people, we got an

1           idea of what the margin is. Can you do the  
2           same thing here?

3                         And you know, I thought some of the  
4           concentration response stuff was interesting  
5           but is early. And that is the question.

6                         ACTING CHAIR TOWNSEND: Okay. So,  
7           is everybody clear on the question?

8                         All right. So again, given those  
9           criteria, can a treatment effect be reliably  
10          quantified for a non-inferiority study of  
11          outpatient community-acquired pneumonia, that  
12          is with an oral drug and presuming this is  
13          mild to moderate pneumonia?

14                        So, if I could see a show of hands  
15          if your answer is yes.

16                        (Show of hands.)

17                        ACTING CHAIR TOWNSEND: All right.  
18          We can start with Dr. Wong-Beringer.

19                        DR. WONG-BERINGER: Annie Wong-  
20          Beringer, yes.

21                        MR. MAKOWKA: Ken Makowka, yes.

22                        ACTING CHAIR TOWNSEND: Dr. Musher.

1 Dr. Dowell --

2 DR. DOWELL: Passing.

3 ACTING CHAIR TOWNSEND: Passing,

4 okay. If you can keep your hand up so that we

5 know that you are a yes.

6 DR. MUSER: Okay, Daniel Muser.

7 I am voting yes.

8 DR. PATTERSON: Jan Patterson, yes.

9 DR. VENITZ: Jurgen Venitz, yes.

10 DR. CALHOUN: Bill Calhoun, yes.

11 DR. KAUFFMAN: Carol Kauffman, yes.

12 ACTING CHAIR TOWNSEND: Greg

13 Townsend, yes.

14 DR. WIEDERMANN: Bud Wiedermann,

15 yes, to be qualified in a moment.

16 ACTING CHAIR TOWNSEND: Okay.

17 DR. WHITNEY: Cindy Whitney, yes.

18 ACTING CHAIR TOWNSEND: Okay, thank

19 you. So, now the nos?

20 Dr. Dowell, you can speak in the

21 microphone, say your name, say no.

22 DR. DOWELL: I really wanted to say

1           yes but --

2                    ACTING CHAIR TOWNSEND:  You are  
3           allowed.

4                    DR. DOWELL:  -- to be honest.

5                    ACTING CHAIR TOWNSEND:  And you can  
6           change your vote later.

7                    DR. DOWELL:  I think in the Senate,  
8           aren't they allowed to do not present or what  
9           is that thing?  Are we allowed something like  
10          that?  Abstain?

11                   ACTING CHAIR TOWNSEND:  You are  
12          allowed to abstain.

13                   DR. DOWELL:  My problem with voting  
14          yes is then you get to these next questions  
15          which stump me.  How would I justify that?

16                    I guess, if I understand this  
17          right, we are going back to the studies in the  
18          early 1960's, the military studies of  
19          outpatient military people with mycoplasma.  
20          And we are asked to use those studies to  
21          answer these three subsequent questions and I  
22          am really just getting stumped by that.  So,

1 I guess I am -- so that is what I mean by no.

2 ACTING CHAIR TOWNSEND: Okay, no.

3 Dr. Fleming?

4 DR. FLEMING: Tom Fleming, no.

5 DR. FOLLMANN: Dean Follmann, no.

6 ACTING CHAIR TOWNSEND: Okay, we've  
7 got everybody. Dr. Musher, if you wouldn't  
8 mind starting off?

9 DR. MUSHER: Well, my vote yes did  
10 state that I thought it had nothing to do with  
11 historical data. I would amplify that by  
12 saying I don't think there are historical data  
13 for pneumococcal disease. I know for a fact  
14 there are no historical data for Heamophilus  
15 or Moraxella disease. That covers your -- and  
16 I know for a fact there is no data on staph  
17 aureus because I have written on that one,  
18 too. I know the literature very well. So,  
19 that covers the bacteria.

20 Mycoplasma is a totally different  
21 kind of infection and I have got no problem  
22 with that but I do have to say that we talk



1           about enriching our study for bacterial  
2           disease, we have really -- to have that  
3           discussion in the case of mild pneumonia, are  
4           we in fact trying to enrich for bacterial  
5           disease or are we not enriching for bacterial  
6           disease? Because the response of mycoplasma  
7           is going to be pretty different. It has  
8           already been pointed out nobody is going to  
9           die of it and you can keep coughing for weeks  
10          and weeks and weeks. So, it is a little  
11          difficult to say what you are going to look  
12          at.

13                           And I will comment on the  
14          individual questions, as they come along.

15                           ACTING CHAIR TOWNSEND: Okay. Dr.  
16          Patterson.

17                           DR. PATTERSON: Well, I said yes  
18          because I think some of the patients that we  
19          used to treat inpatient we are treating  
20          outpatient now, due to better formulations.  
21          And I think it is a study that needs to be  
22          done and there is a way to do it with looking

1 at clinical morbidity indicators.

2 DR. VENITZ: I voted yes because I  
3 think there is some evidence in the literature  
4 to suggest that mortality is impacted at least  
5 60, 70 years ago. The second driver of my  
6 vote was the fact that I don't think it is  
7 ethical to do a placebo controlled trial. So,  
8 you are stuck.

9 ACTING CHAIR TOWNSEND: Dr.  
10 Calhoun?

11 DR. CALHOUN: So, my yes vote, the  
12 qualification on that is that in segue from  
13 Dr. Venitz's comment. I think the  
14 proportional hazard reduction looks strong to  
15 me. The absolute numbers do not look strong  
16 to me. So, I think the effect is a reduction  
17 in proportional hazard in the milder patients.

18 And likewise, I think doing these  
19 studies in any other way, that is in a placebo  
20 controlled fashion, is really not an option.  
21 So, if these studies need to be done as I  
22 think they do, we need to find a way of

1 conducting them.

2 ACTING CHAIR TOWNSEND: Dr.  
3 Kauffman.

4 DR. KAUFFMAN: I voted yes because  
5 I think this is a population that needs to be  
6 studied. And the drugs that would be brought  
7 to bear are probably going to be important  
8 drugs in our armamentarium as time goes on.  
9 I think we can enroll patients, again,  
10 starting maybe with the PSI criteria of two to  
11 three.

12 And I think doing these trials  
13 means you are going to have to follow them  
14 very very carefully. Much more than you  
15 normally do with an outpatient kind of study.  
16 So it is going to be fussy. But I think with  
17 that you can get data day by day, just to see  
18 the progression of the disease and how the  
19 patient gets better. Then you will be able to  
20 follow those clinical criteria for endpoints  
21 because I don't think mortality is going to be  
22 one of your endpoints.

1                   ACTING CHAIR TOWNSEND: I voted yes  
2                   with some trepidation. But I feel like there  
3                   is enough historical data to suggest that  
4                   there is a treatment effect in this  
5                   population. I think there is some data on  
6                   what that treatment effect is and that this is  
7                   a population that needs to be studied.

8                   DR. WIEDERMANN: And my qualified  
9                   yes, I think, was very similar to Dr. Dowell's  
10                  no. I think there seems to be enough evidence  
11                  out there for mycoplasma disease. But I would  
12                  be hard-pressed to choose an M1 for this study  
13                  population for bacterial pneumonia.

14                  ACTING CHAIR TOWNSEND: Is that it?

15                  DR. COX: Was that a no, Dr.  
16                  Fleming?

17                  ACTING CHAIR TOWNSEND: Dr. Fleming  
18                  said no, I believe. Dr. Whitney.

19                  DR. WHITNEY: I voted yes. I think  
20                  I agree mostly with what Jan said. I think a  
21                  lot of these patients that are now treated as  
22                  outpatients would die if they were left

1 untreated like they used to be.

2 So, I think the patients aren't so  
3 different. It is just that we are catching  
4 them earlier. We are treating them. I do  
5 think we have to use something else as an  
6 endpoint, obviously. But we need oral agents  
7 and we should come up with something.

8 EXECUTIVE SECRETARY MOSADDEGH: For  
9 the record, it is three nos, ten yeses and one  
10 industry representative no vote. Thank you.

11 ACTING CHAIR TOWNSEND: Dr. Wong-  
12 Beringer?

13 DR. WONG-BERINGER: I voted yes  
14 because I think again, this population  
15 effected, it is large. Not only those who  
16 have mild disease but those who transition  
17 from severe disease to mild to moderate after  
18 receiving IV therapy. So, we need an oral  
19 drug and we need a way to look at the  
20 effectiveness of antibiotics out there. I  
21 think it does need to be, in this setting,  
22 compared in a blinded trial for patients

1 looking at the treatment effect not on  
2 mortality, per se, but on composite endpoint  
3 built on morbidities that had been discussed  
4 before. And that it is also important to look  
5 at the time to respond in this setting, as  
6 opposed to the end of treatment only.

7 ACTING CHAIR TOWNSEND: Dr.  
8 Makowka?

9 MR. MAKOWKA: I am not a doctor.  
10 I agree because it has to be done. We have to  
11 see the efficacy of this in the milder  
12 patients to possibly prevent it to get to the  
13 more severe patient.

14 ACTING CHAIR TOWNSEND: We ask the  
15 nos to explain their no votes.

16 DR. DOWELL: What I said before.  
17 I have nothing more to add.

18 DR. MUSER: I also have nothing  
19 more to add.

20 ACTING CHAIR TOWNSEND: Dr. Musher,  
21 you were a yes vote. Is that correct?

22 DR. MUSER: I was a yes vote.

1                   ACTING CHAIR TOWNSEND: Okay, I  
2                   just wanted to make sure.

3                   DR. MUSHER: I was a yes vote and  
4                   I have already made my comment and my  
5                   reservation about that first paragraph of that  
6                   wasn't relevant. And my right to make  
7                   comments on the individual Roman numerals that  
8                   follow.

9                   DR. FLEMING: So, in responding to  
10                  this, if we were using a mortality endpoint,  
11                  it is, I voted no, but I could conceivably  
12                  vote yes, although I think the plausibility  
13                  that somebody would be doing a mortality  
14                  endpoint trial in mild disease is very low.  
15                  And it is also quite an extrapolation, even if  
16                  we use the relative risk of 1.67.

17                  But if this were viewed as mild to  
18                  moderate and somehow it was viewed to be  
19                  plausible that we could achieve enough events,  
20                  enough deaths in order to be able to assess a  
21                  mortality endpoint, then it is possible that  
22                  one could justify a margin for that endpoint.

1                   I voted not because I presume what  
2                   people were really more thinking about was a  
3                   symptom-based measure. And again, I endorse  
4                   what is in the summary conclusion of the IDSA  
5                   documents. And that is, in this setting, we  
6                   would use a composite of 15 day all cause  
7                   mortality and morbidity variables that  
8                   represent meaningful benefit to patients as  
9                   assessed by PRO instruments.

10                   And that is, in fact, I believe, a  
11                   very appropriate strategy but it is a strategy  
12                   that doesn't give us, at least in view, the  
13                   ability to formulate a non-inferiority margin.  
14                   As we use this approach in the future, non-  
15                   inferiority margins may well become possible.

16                   In the intervening time period, at  
17                   least in the context of those agents that  
18                   could also be studied in the severe setting or  
19                   have an IV formulation that they could be  
20                   studied in a severe setting, I would consider  
21                   it appropriate to follow a strategy that I  
22                   heard Dr. Temple lay out as a possibility.



1           That is, that when one has completed a study  
2           in the severe setting with non-inferiority and  
3           mortality, then the label could be given for  
4           CAP.

5                         ACTING CHAIR TOWNSEND:   Dr.  
6           Follmann?

7                         DR. FOLLMANN:   So, I interpreted  
8           this very strictly speaking, which was, what  
9           was the evidence for a placebo versus an  
10          active agent in terms of an endpoint that  
11          would be suitable for mild to moderate  
12          disease.  And I didn't see convincing data  
13          presented to show a reliable treatment effect  
14          for that.

15                        Having said that, though, I think  
16          if we had such data, it would be likely that  
17          M1, the thing that this question addresses,  
18          which I don't think there is adequate data, I  
19          think M1 is probably a lot larger than what M2  
20          would be and we would end up being in a  
21          position for this setting, just as we were  
22          earlier for mortality, which was the margin

1           that M1 we could reliably quantify was quite  
2           large and we were spending our time deciding  
3           what M2 would be.

4                       And so, I am inclined to think the  
5           discussion on this should be for M2,  
6           recognizing that we don't really have reliable  
7           data for M1. And when I am talking about  
8           that, I think it is for the clinical failure  
9           endpoint. Defervescence, I think, would have  
10          substantial problems to use as a non-  
11          inferiority endpoint.

12                      ACTING CHAIR TOWNSEND: Dr. Temple?

13                      DR. TEMPLE: Well, you do have to  
14          sooner or later, that is what number three is,  
15          pin down what you are going to say and why you  
16          are going to say M1 is. Then you can work --  
17          M2 is just a judgment call. You can always  
18          come up with that. That is easy.

19                      I wonder whether what people are  
20          responding to is a little bit like the idea  
21          that you can treat some quite sick people  
22          starting off with an oral agent and not

1 necessarily give them IVs. There are a view  
2 that nowadays people about as sick as were in  
3 those older trials might very well be treated  
4 as outpatients with an oral product because we  
5 know our way about things better and that  
6 maybe some of those old data apply.

7           Sooner or later, even if you don't,  
8 we have got to come up with what the margin is  
9 going to be. And nobody really said much  
10 about how to do that. I know what you want to  
11 rule out as M2, but how are we going to state,  
12 this is what Tom was saying, how are we going  
13 to figure out what the effect of the active  
14 drug is? I mean, you all sort of believe that  
15 there is an effect. I mean, heaven knows I  
16 do. But how are we going to be able to put a  
17 number on that so that we can have a trial?

18           I also want to mention that if you  
19 are going to work on risk reduction, you  
20 actually have to have some failures, whereas,  
21 if you are just going to look at the delta,  
22 you don't. Just worth mentioning.

1                   ACTING CHAIR TOWNSEND: Dr. Musher?

2                   DR. MUSHER: At the time the

3 patients come to a physician at the present

4 time, compared to the 1930s, there are, just

5 as you state, many patients now who are being

6 treated as outpatients who, in the past, would

7 have been hospitalized. Because as I

8 mentioned yesterday, just about everybody who

9 had pneumonia, no matter what their severity,

10 was hospitalized in the past. And then of

11 course, what would happen is that how acutely

12 ill they were on the first day would -- and

13 some patients wouldn't get worse because they

14 would just stay and get over it but in others

15 they would be a whole lot worse the next day

16 because no treatment had been given.

17                   DR. TEMPLE: So you would argue

18 those older data on predominately hospitalized

19 very sick, nearly dead people do have some

20 applicability here and that you can assume

21 responsiveness --

22                   DR. MUSHER: The reason I would