

ENDOCRINOLOGIC AND METABOLIC DRUGS

ADVISORY COMMITTEE #65

Topic: "Troglitazone for Diabetes Mellitus"

*This transcript has not been edited
or corrected. It should be removed
from the record of the Advisory
Committee. Approval of the transcript
does not constitute an endorsement or
representation of the company.*

AFTERNOON SESSION

Wednesday, December 11, 1996

12:50 p.m. to 3:14 p.m.

Bethesda Holiday Inn

The Versailles Rooms I and II

8120 Wisconsin Avenue

Bethesda, Maryland

BETA

1 approach taken in their design of the responder
2 analysis, within which we participated, does
3 have some merit, and I hope you will agree.

4 Next slide.

5 (Slide)

6 Let's just say a few words about
7 efficacy. I really believe there is really no
8 issue here. The treatment effect that was
9 observed in the first pivotal study I think is
10 highly clinically significant. This would
11 translate into a very significant reduction in
12 complications given the DCCT relationship
13 between glycemic control and complications.
14 And it appears to be operating in the way that
15 we would like by working closer to the root of
16 the problem in these patients.

17 Again, we come back to the responder
18 analysis that was use in the second pivotal
19 study. As I made clear, I believe that this is
20 appropriate, and the results I consider
21 clinically significant.

22 Next slide.

1 (Slide)

2 Now, let's briefly go over the safety
3 issues. Again, we'll come back to them in the
4 afternoon. First of all, the cardiac effects.
5 Just to summarize, we have seen some toxicity
6 in rodents at high doses. We have the
7 reassurance of no findings in monkeys.
8 However, these were necessarily fairly small
9 studies and at fairly low doses.

10 We have noticed the increase in blood
11 volume in humans, as was found in animals. I
12 think this could be perhaps related to the
13 cardiac finding, or the effect of increasing
14 animal heart weight. But that remains to be
15 seen.

16 And of course, we have the monitoring
17 study, where echocardiography is being used to
18 follow the cardiac function of patients that
19 are treated with either Glyburide or
20 troglitazone. And thus far, the results --
21 well, the results are in, and they are
22 negative. But by thus far I mean I don't

1 believe that this entirely resolves the issue.
2 Clearly this is not a terribly sensitive way of
3 addressing the issue, though I think it is as
4 good as the company could do at this stage in
5 the drug's development.

6 Next slide.

7 (Slide)

8 Lipids again we'll come back to this
9 afternoon. And we'll be benefiting from the
10 expertise of Dr. Illingworth, of course, who
11 will be able to make a much better statement
12 about the significance of these changes. It's
13 worth just noting that there are some good
14 things that have been noted. That is, HDL
15 seems to increase, and so do triglycerides.

16 On the other hand, there is a small
17 but significant increase in serum LDL and, of
18 course, total cholesterol since HDL also
19 increases.

20 There is also the reference -- and we
21 could call this fluff here because that's just
22 what is being talked about, fluffy LDL

1 particles that may be somewhat less atherogenic
2 than hard, dense LDL particles. Again, we will
3 await Dr. Illingworth's testimony on this
4 particular point.

5 Next slide.

6 (Slide)

7 I will bring to your attention the
8 issue of -- well, I'll skip over the change in
9 hematocrit that was observed. I think that is
10 readily explained by the increase in blood
11 volume that was demonstrated both in humans and
12 animals.

13 But I'll go to an issue that was not
14 really highlighted. Certainly it has been
15 mentioned in the briefing book. And that is
16 that there was in my mind a significant decline
17 in the neutrophil count across all studies.
18 And this amounts to about a 7 percent decline
19 compared with a 1 percent decline in controls.

20 Now, it is possible this could be
21 related to hemodilution, though I am not aware
22 that there is such an effect in terms of the

1 white cell series, as you would have the red
2 cells. You could say at least that probably
3 the total neutrophil count does not decrease
4 based on these findings of fluid changes. But
5 again, I think we need to keep in mind that
6 there is an effect on the white cell series.
7 This could have, in the population, some kind
8 of significance, though in the individual
9 certainly this is not clinically significant.

10 Next slide.

11 (Slide)

12 The other issues that we might talk
13 about a little further this afternoon include
14 our limited experience with long-term exposure.

15 Now, fortunately, I think we have
16 ample experience. We have much better
17 experience than is exemplified in the -- or is
18 reflected in the briefing book table that deals
19 with this issue. The company does have now, I
20 believe, over 500 patients that exceed the one
21 year in duration of treatment.

22 We do, I think, have need for more

1 explanation about how the dose was chosen, and
2 perhaps need for more dose response data. We
3 have one dose response study. I'm not sure
4 that this will be entirely all we would like to
5 have in making some kind of intelligent
6 response about optimization of dosage.

7 And as I mentioned, we have no
8 knowledge about tissue distribution of the drug
9 in primates. This is maybe to put far down on
10 the wish list. I really hate to see monkeys
11 give their all for this kind of question, which
12 is not going to really definitively answer any
13 of the issues, but might give some reassurance
14 about our concerns related to carcinogenicity
15 and other organ effects.

16 Well, that is my set of comments
17 about the development and the data that have
18 ensued from the development of this drug. I
19 frankly have been encouraged by the efficacy
20 and the mechanism of action that this drug has
21 shown. Certainly in the introduction of a
22 novel therapeutic approach we have to take sort

1 of a leap before we -- or we do take a leap in
2 making the drug available without definitive
3 resolution of all of the safety issues.

4 I feel that the company has done a
5 very good job in addressing these potential
6 safety issues. And I think that we will be
7 benefitting from the advice from the committee
8 in regard to further pursuing them.

9 This will conclude the FDA
10 presentation, Mr. Chairman.

11 DR. BONE: Thank you, Dr. Fleming.

12 Perhaps members of the committee will
13 have questions for either Dr. Steigerwalt or
14 for Dr. Fleming at this point. Anyone? I have
15 one or two.

16 Dr. Steigerwalt, you referred to the
17 fact that a special committee is reviewing the
18 carcinogenicity issue, particularly I think
19 with respect to the vascular tumors.

20 Can you tell us the status of that?

21 DR. STEIGERWALT: We had an initial
22 meeting Monday, I believe, and there were some

1 questions on the rat study, more for
2 clarification than particular concerns, so that
3 there is going to be another meeting next week.
4 And I was provided with some more information
5 by the sponsor this morning. So we will be --

6 DR. BONE: But that hasn't been
7 reviewed at this point.

8 DR. STEIGERWALT: It has been
9 reviewed by the pharmacologist. But it has not
10 be through the carcinogenicity assessment
11 committee.

12 DR. BONE: I see. So the committee
13 then will, I take it, have to sort of
14 deliberate in the absence of any final
15 information about that particular potential
16 risk.

17 DR. STEIGERWALT: No. I think we
18 have the amount of information necessary. The
19 committee just has not seen what I saw this
20 morning. And they will be provided with that
21 information, and we should be able to clarify
22 any --

1 DR. BONE: I mean this committee.

2 DR. STEIGERWALT: Oh, this committee.

3 That's true.

4 DR. BONE: Okay. So we will not have
5 the benefit of that information. That remains
6 an open question, I think. All right. Then
7 were there other questions? I have one or two
8 more, but I don't want to -- Dr. Fleming raised
9 the question of the duration of the studies.
10 And particularly since this is a novel class of
11 compounds, we do not have other compounds of
12 this general chemical structure in use.

13 And obviously, this is a chronic,
14 perhaps perpetual -- perpetual administration
15 is foreseen in millions of people. And for
16 many compounds which will be given for chronic
17 indications in large numbers of people, a
18 somewhat longer, a year or even longer, studies
19 are required for initial approval, I guess both
20 from the standpoint of being certain about the
21 duration of efficacy and also about safety and
22 long term administration.

1 Dr. Fleming, could you talk about how
2 this decision of six months was arrived at? I
3 think that would be helpful to the committee.

4 DR. FLEMING: Well, six months is a
5 fairly standard duration for controlled
6 studies, particularly when it involves placebo
7 control. We're often not able to go beyond
8 three to six months in the assessment of an
9 anti-diabetic therapy.

10 Just as a rule of thumb, we like to
11 have at least 1,000 patient years' exposure and
12 a fair percentage of patients who have been
13 treated in excess of one year. And this is the
14 -- I think the sort of main point about
15 duration is not so much expecting to have
16 controlled trials extending for a one year
17 period, but having to some extent a
18 supplementation with extension of controlled
19 studies, as is the case here.

20 So we are in the ballpark, I think,
21 for the development of the general indication,
22 that is, the use of troglitazone for the

1 general population. We have virtually all of
2 the data, safety data, in-house now for that
3 purpose so that we can make a risk/benefit
4 assessment based on this much larger
5 experience.

6 Obviously, you need far few numbers
7 of patients to address efficacy, and that is
8 why we are satisfied with the relatively small
9 number of patients that were studied in the two
10 pivotal studies. They have amply demonstrated
11 efficacy. Safety requires a much larger end.
12 That end is achieved with the additional data
13 from patients studied under the monotherapy
14 indication being sought.

15 DR. BONE: Are drug interaction
16 studies being performed in the program with
17 other oral hypoglycemic agents?

18 DR. FLEMING: Yes. There are data,
19 and that is a very good question because
20 obviously there would be some rationale in
21 using this drug in combination therapy with
22 sulfonylurea agent, obviously.

1 DR. BONE: Probably we'll get into
2 that this afternoon.

3 DR. FLEMING: We'll get into that.

4 DR. BONE: Thank you.

5 Other questions for either Dr.
6 Steigerwalt or the committee or for other FDA
7 members? Thank you.

8 Well, it is now 11:50, and I think we
9 should -- excuse me just a second.

10 (Pause)

11 DR. BONE: I think we'll have
12 adjournment for lunch, and we'll return at
13 12:45. All right? We'll start at 12:45 sharp.
14 Thank you.

15 (Whereupon, at 11:50 a.m., a
16 luncheon recess was taken.)

17

18

19

20

21

22

1 the way to start with that is in response to
2 some of the questions that came up this
3 morning, there will be a very short sort of
4 introductory presentation by the sponsor trying
5 to cover some of these things, and then an
6 opportunity for committee members to ask
7 further questions, specifically starting with
8 the dosing and dosing rationale.

9 Before we start with that, I think it
10 is extremely useful for the committee to have
11 in addition to the questions as they are
12 written out for us for discussion at the end of
13 the day, to have a little more idea of exactly
14 what the agency and the division are looking
15 for from the committee in this discussion. And
16 perhaps Dr. Sobel would comment on that.

17 DR. SOBEL: First, let me say that we
18 are discussing a drug today that is a truly
19 novel approach to the treatment in a disease
20 entity which requires new drugs to treat
21 effectively. Having been in practice some 20
22 years ago, I can second the comments made about

1 the inadequacy of treatment for Type II
2 diabetes, and nothing much really has changed.
3 But this recent activity gives us hope of
4 perhaps invading the realm of Type II diabetes
5 in a more fundamental way.

6 So what are we looking for? I think
7 the committee made a good start in their
8 questioning, realizing that the molecular
9 approach that the company presented to us also
10 presented to us the potential for many targets
11 in the metabolic cascade which are unknown to
12 us. It's really an unknown area to us.

13 So the safety considerations which
14 were broached by the fundamental approaches
15 that the committee introduced were certainly
16 welcome, and I would hope that this type of
17 approach is amplified in your discussion as far
18 as safety considerations.

19 The other issue, the issues that we
20 dealt with in regard to safety as far as the
21 time of exposure -- unfortunately, we have not
22 presented to you the safety update. It just

1 came in. But that will remain an issue that
2 deserves exploration, the one year exposure.

3 The efficacy at six months was
4 broached. In looking at the data, one may get
5 the sensation of a slowly drifting upward of
6 both the glycosylated hemoglobin in the blood
7 sugar levels and whether that represents an
8 attack of phylaxis (phonetic), so to speak, or
9 a true lessening of effect or the molecular
10 cunning and wisdom of the body overcoming these
11 genetic effects on the protein is something
12 which I think deserves commentary, whether this
13 promotional activity somehow becomes
14 compensated for by other roots.

15 But that is speculative, but that is
16 something I think which was inferred by Dr.
17 Bone's and others' commentary.

18 Then, in a more practical sense, I
19 would like the committee to give us some
20 indication if they deem the risk/benefit is
21 satisfactory here. I think it won't be an
22 entirely generalized recommendation, but will

1 require a considerable amount of committee
2 input into which sub-populations would they
3 recommend the initial introduction of this
4 potentially very valuable agent, and which may
5 find utility in a much broader field
6 eventually.

7 But at this point, we would like the
8 cautionary role of the committee in the
9 selection of the best population for the
10 introduction of this drug, if you deem the drug
11 approvable at this time.

12 So my charge to the committee is to
13 continue on your extremely probing questions
14 that occurred during the presentation this
15 morning, and help us in the more specific
16 applications, possible applications, of this
17 drug.

18 Thank you.

19 DR. BONE: Thank you very much, Dr.
20 Sobel. I think that if everyone is in
21 agreement about our plan for the afternoon,
22 we'll invite the sponsor to introduce the topic

1 of the dosing and rationale.

2 I anticipate that there will be some
3 information from monotherapy studies as well as
4 from studies in patients using insulin. And
5 just so everyone will understand, there was a
6 discussion about the appropriateness of
7 including this, and I have asked to have this
8 information as well because I gather that it is
9 pertinent.

10 Okay. Thank you. Please go ahead.

11 (Slide)

12 DR. WHITCOMB: One of the comments
13 that I made this morning which I realized after
14 we had made it was a mistake on my part was,
15 based upon this study here in which -- this is
16 the 040 study, this is a slide I showed this
17 morning -- which showed a decrease in glucose
18 and HbA1c in this population. And I made the
19 mistake, or made the mistaken statement, that
20 there was no statistically significant
21 difference between here and here.

22 There is in fact a .001 difference

1 for both HbA1c and FSG, so there is a dose
2 separation, if you will, in this particular
3 study. So that was an error on my part. I
4 apologize for that.

5 In terms of the dose rationale for
6 how we selected the doses for this particular
7 study, during the phase II development of
8 Rezulin, we have looked at -- you can just turn
9 it off now. We have looked at a number of
10 dosing regimens, and we have looked down as low
11 as 100 milligrams and up as high as 800
12 milligrams during the course of development.

13 And what we have seen is at 100
14 milligrams, and this is as monotherapy, which
15 is where this work was done, there was no
16 effect of the drug at 100 milligrams. The
17 first effective dose that we saw in terms of
18 glucose lowering was at 200 milligrams. And
19 this is supported by some mechanistic work that
20 we have also done at 100 milligrams, in which
21 we have basically demonstrated that the
22 improvement in insulin sensitivity as measured

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 by euglycemic clamp is not improved at 100
2 milligrams. It looks very different than 200
3 milligrams.

4 So that is the rationale for the 200
5 milligram dose. We think that this data that I
6 just had up there does show a dose response in
7 the insulin requiring population between two
8 and six.

9 The question as to what is the
10 minimally effective dose in this population I
11 think is -- we can discuss. I think that the
12 HbA1c lowering that we have seen of 0.7 percent
13 at 200 milligrams, some clinicians would say
14 that is minimally efficacious. I mean, if you
15 were going to use a medication for diabetes,
16 you might not want to use one that would lower
17 glucose any less than that does.

18 So, Dr. Bone, does that get at the --

19 DR. BONE: Yes. I guess one further
20 question has to do with the glucose clamp
21 experiments. Were those only done in -- were
22 any of those done in insulin-requiring

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 patients?

2 DR. WHITCOMB: No. That is all
3 monotherapy information. It would be almost
4 impossible to do that in this population.

5 (Pause)

6 DR. WHITCOMB: Dr. Bone, we do have
7 one follow-up comment that I forgot to make, if
8 that is appropriate. Dr. Olefsky.

9 DR. BONE: Please make it.

10 DR. OLEFSKY: Just as a specific
11 answer to the question, there are studies
12 ongoing that we are conducting doing glucose
13 clamp studies in insulin treated Type II
14 diabetic patients similar to these kinds of
15 populations. They are not completed, but they
16 are about halfway through. And there is a very
17 clear effect of the drug, the same effect as we
18 see without insulin therapy. There is a very
19 clear effect of the drug to improve
20 insulin-stimulated glucose disposal in the
21 clamp study in the insulin treated diabetic
22 patient.

1 DR. BONE: My question mainly had to
2 do with whether the same difference between 100
3 and 200 milligrams was apparent in the studies
4 you are just describing.

5 DR. OLEFSKY: Right.

6 DR. BONE: Do you have the answer to
7 that question?

8 DR. OLEFSKY: No. That we don't have
9 the answer to. We just use the single dose.

10 DR. BONE: I see. All right. Thank
11 you.

12 Dr. Zawadzki had a question.

13 DR. ZAWADZKI: This is just a
14 clarification, but there is a comment in the
15 description for the -- I guess the Physician's
16 Desk Reference, that says Rezulin should not be
17 used as sole therapy in patients with type I
18 diabetes. Is that a misprint, or is that -- or
19 is there something else that we should know?

20 DR. BONE: I take it your concern is
21 that it implies that it might be used with
22 insulin in type I diabetes.

1 DR. ZAWADZKI: That's --

2 DR. SOBEL: I find it amazing how a
3 computer -- that is the one word which we all
4 objected to within the division, sole therapy,
5 with its implications. The labeling would
6 require some fine-tuning, and I think the word
7 "sole" would disappear.

8 DR. BONE: So neither the -- the
9 company is not at this point seeking an
10 indication --

11 DR. SOBEL: No.

12 DR. BONE: -- for use in type I
13 diabetes. Is that correct? And there would be
14 no such implication in whatever labeling was
15 finally --

16 DR. SOBEL: That's right.

17 DR. BONE: Okay. Yeah, that could be
18 read as either way.

19 DR. SOBEL: That's right.

20 DR. BONE: Okay. Dr. Cara has an
21 additional --

22 DR. CARA: Is there a limit as to the

1 maximal dose recommended or that people can
2 use?

3 DR. WHITCOMB: The maximal dose that
4 we have studied in this population at this time
5 is 600 milligrams. So that would be the
6 maximum dose that we believe we can recommend
7 based on the data that we have.

8 DR. BONE: Thank you.

9 Now, there were a number of questions
10 that were asked by members of the committee or
11 raised regarding the dosing this morning. And
12 do I take it that we have resolved the issues
13 as far as everyone is concerned for the moment
14 anyway?

15 Oh, Dr. Colley.

16 DR. COLLEY: This is another issue
17 with the labeling as proposed. You mentioned a
18 dosing advancement at intervals of two to four
19 week, although the material presented this
20 morning suggested that four weeks would
21 probably be better for assessing maximal
22 effect.

1 DR. WHITCOMB: The two to four-week
2 rationale is based upon the glucose lowering
3 curves that I showed you this morning, which is
4 that you see the maximal effect by four weeks.
5 The proposed package insert has a little bit of
6 a range around that. I think we need to have
7 some more discussion about that with the agency
8 as we move closer.

9 The notion is that most anti-diabetic
10 drugs, the patients are looked at at two week
11 intervals, so we were trying to be somewhat
12 consonant with standard of care, as well not to
13 confuse the issue.

14 DR. BONE: Dr. Sherwin.

15 DR. SHERWIN: The AUC in rats, this
16 difference in females and males, have you
17 studied gender effects in humans?

18 DR. WHITCOMB: We'll have Dr. Koup
19 answer that question for us.

20 DR. KOUP: I'm Dr. Jeffrey Koup from
21 pharmacokinetics and drug metabolism. Yes, we
22 have done a very extensive population, looking

1 at the exposure of drugs across 255 patients
2 and volunteers. And there is no indication of
3 any gender specificity to drug metabolism in
4 human.

5 DR. BONE: One other question that
6 ties into this that came up earlier was the
7 question of employing this drug in conjunction
8 with other oral agents, and what information
9 you have about interaction or co-administration
10 with other oral hypoglycemic agents in general
11 and in this particular population.

12 DR. KOUP: Before I go into the data,
13 we at this point have done no interaction
14 studies within this population. The drug
15 interaction studies that I'll describe are
16 those looking at globinclomide (phonetic) in
17 combination with troglitazone in
18 non-insulin-requiring patients.

19 There are two studies, the first
20 study of which was a short -- no, that's slide
21 366. The first study is a rather short-term
22 study, 12 administration, where patients were

1 administered 200 milligrams of troglitazone,
2 3.5 milligrams of globinclomide in combination
3 or alone. So it's a three-way crossover study
4 allowing us to evaluate the potential for
5 pharmacokinetic interactions.

6 We don't need to spend a lot of time
7 on this. Whether you look at maximum
8 concentrations of plasma concentrations for
9 troglitazone or its major metabolite,
10 metabolite-1, or concentrations of
11 globinclomide, there is essentially no
12 pharmacokinetic interaction between these two
13 compounds.

14 In addition, we also looked at the
15 potential for protein binding displacement, and
16 there appears to be no displacement of protein
17 binding, so that we are comfortable in saying
18 that there is no pharmacokinetic interaction
19 within this study. Because it was relatively
20 short-term and a low dose of troglitazone,
21 there is also no pharmacodynamic interaction.

22 In other words, the reduction in

1 glucose that is seen in the globinclomide alone
2 group is very similar to that seen in the
3 combination therapy group. There was a
4 subsequent study conducted by Glaxo that looked
5 at six week therapy, where larger doses of
6 troglitazone, 600 milligrams, were added to
7 patients who had been titrated to effective
8 doses of globinclomide.

9 In that study, by the end of the six
10 week treatment period, there was an additional
11 reduction in glucose of approximately 20
12 percent and a reduction in plasma insulin of
13 approximately 23 percent in the combination
14 therapy group. It is also important to note
15 that there was no hypoglycemia seen during the
16 six weeks of concomitant therapy.

17 So we feel these drugs can easily be
18 co-administered.

19 DR. BONE: Have you studied any other
20 oral agents, for example, metformin?

21 DR. KOUP: We have not conducted
22 studies with metformin at this point. From a

1 pharmacokinetic basis, we could see no
2 rationale for that, in that metformin is
3 eliminated without metabolism and is not
4 protein bound. The dynamic question would
5 still need to be assessed.

6 DR. BONE: Well, I think clinicians
7 will be obviously concerned with the
8 pharmacodynamic implications of that. I mean,
9 it is an obviously relevant question. Dr.
10 Whitcomb wishes to make an additional comment.

11 DR. WHITCOMB: We do have one small
12 study going on right now, which is more of a
13 pilot study, combining troglitazone and
14 metformin, which is currently ongoing. The
15 results I can't tell you about at this time,
16 but that study is going on.

17 DR. BONE: And is that in the insulin
18 requiring or non-insulin?

19 DR. WHITCOMB: It's in non-insulin
20 requiring patients.

21 DR. BONE: I see. Are there any
22 ongoing studies at all in the patient

1 population for which you are seeking the
2 indication today?

3 DR. WHITCOMB: Specifically looking
4 at the addition of sulfonylurea?

5 DR. BONE: Or any other --

6 DR. WHITCOMB: Or any other. Those
7 studies are planned and are soon to begin, but
8 they are not going on at this time, no.

9 DR. BONE: So you don't have any data
10 whatsoever on that.

11 DR. WHITCOMB: No.

12 DR. BONE: At this point. Thank you.
13 Yes, Dr. Sherwin.

14 DR. SHERWIN: I notice -- I'm sorry.
15 I notice from the slide you put up that the
16 plasma exposure to metabolite-1 is about
17 eightfold higher --

18 DR. KOUP: That's correct.

19 DR. SHERWIN: -- than the drug. Is
20 that a biologically active metabolite?

21 DR. KOUP: No, no, it is not, in
22 vitro. This is a sulfate conjugate of the

1 parent compound and has no activity in vitro.

2 DR. BONE: Is it converted to the
3 active conjugate?

4 DR. KOUP: There is a -- we know that
5 that does occur in the intestine, so there is a
6 likelihood that there would enderopathic
7 circulation, that the conjugate would be
8 deconjugated and reabsorbed, although we really
9 have no direct proof for that in man. That
10 work is being done in rat and dog.

11 DR. BONE: Thank you. Okay.

12 And Dr. Cara.

13 DR. CARA: In looking at the package
14 insert, the dosages in administration, part of
15 it says, "The usual dose of Rezulin is 400
16 milligrams once daily."

17 What do you mean by usual dose?

18 DR. WHITCOMB: The usual dose
19 recommendation is based upon the fact that that
20 is the dose across the entire troglitazone
21 program that we have the most experience with.
22 We have in the 040 study, the patients who were

1 in the placebo arm were put on 400 milligrams.
2 We have allowed the people who were at 200 that
3 did not have an adequate response in the 068
4 study to titrate to 400 milligrams.

5 It was a way of giving the clinicians
6 some guidance in that our first and foremost
7 concern with the compound is that enough is
8 administered to achieve adequate glycemic
9 control. So while we recommended a starting
10 dose of 200 to 400 milligrams, we think that
11 that may be the usual dose, but obviously it
12 can be pushed to 600 milligrams as well.

13 DR. CARA: I find that statement
14 contradictory to what the following statement
15 says, which is that Rezulin therapy should be
16 initiated at 200 milligrams once daily.

17 DR. BONE: The response was from the
18 sponsor that this is a work in progress.

19 (Laughter)

20 DR. CARA: I suggest that that be
21 amended.

22 DR. BONE: Thank you. Are there

1 additional questions from members of the
2 committee relating to the dosing administration
3 or pharmacokinetics questions? Okay. Dr.
4 Illingworth has one.

5 DR. ILLINGWORTH: Relating to
6 pharmacokinetics. Do you have any data in
7 patients with nephrotic syndrome, since the
8 drug is bound to algorin (phonetic)? Patients
9 with nephrotic syndrome.

10 DR. KOUP: The simple answer is no,
11 we do not. We have studied the drug in
12 patients with renal impairment, where we
13 believe there are some alterations in plasma
14 protein binding. And the clearance of free
15 drug does not change, so that our anticipation
16 is that there would be minimal effect of
17 alteration in plasma protein binding.

18 DR. BONE: But there are big
19 differences in the amount of plasma proteins,
20 where they are much lower. So if -- does this
21 mean that you would expect the affinity to be
22 the same, but the fraction of drug that is

1 unbound would be presumably larger?

2 DR. KOUP: No. I think what I was
3 trying to imply is the drug is cleared by the
4 liver. It is highly plasma protein bound. And
5 it is the free fraction of the drug or the free
6 concentration which is available for
7 elimination. And whether it is due to
8 displacement or reduction in binding sites, the
9 free clearance of the drug will not change.

10 What will tend to happen is the total
11 plasma concentration will drop, free
12 concentration will remain the same. So the
13 exposure to active drug would remain the same.
14 That's what we have seen in renal disease,
15 where it is very common to see protein binding
16 displacement.

17 DR. BONE: Okay. Thank you.

18 Are there further questions related
19 to dosing, interactions, pharmacokinetics and
20 so on? No. Okay.

21 Do the committee members feel that
22 the rationale is sufficiently explained? Okay.

1 Certainly it seems -- just as a
2 comment, it seems to me that -- and I suspect
3 others may agree -- that it would be
4 informative to know that 100 milligrams was not
5 effective in this population. In other words,
6 to have the same kind of dose response
7 information in this population to be assured
8 that the information from the
9 non-insulin-dependent -- non-insulin-requiring
10 group does carry over in the same way -- there
11 is certainly a strong implication that it
12 would, but it isn't established.

13 And I guess the other question that
14 -- I would be interested in whether the other
15 panel members agree, other committee members
16 agree -- that it would be extremely important
17 to know about interaction with other oral
18 agents in the treatment of Type II diabetes.

19 Dr. Sherwin, in particular, would you
20 comment on that?

21 DR. SHERWIN: Well, I would tend to
22 agree that -- I mean, clearly it is important.

1 On the other hand, my guess is the company is
2 correct, that metformin, given the fact that it
3 is cleared by the kidney, probably won't
4 compete. And Precose, which would be another
5 drug that could be used, I don't see how there
6 would be much interaction there.

7 So I guess the company focused on
8 sulfonylureas, which really would be the high
9 -- had the highest potential for interaction.
10 So I think it is important to look at them.
11 But I think the yield will be very low.

12 DR. BONE: Thank you. All right.
13 The next topic that is on the program for
14 discussion -- and I think when we get through
15 these, we'll come back to some of the other
16 topics that the committee raised for general
17 discussion -- has to do with the rationale for
18 defining the pivotal studies' patient
19 populations and the assumption which is implied
20 by that that the patients in these studies
21 would not have responded to reinstitution of
22 sulfonylurea therapy.

1 I think that the sponsor wanted to
2 make a little introduction to this discussion,
3 and then I suspect that committee members will
4 have their views.

5 DR. WHITCOMB: Excuse us for one
6 minute while we move some slides around here.

7 The patient population chosen for the
8 clinical studies that we have presented today
9 were obese. They were poorly controlled with
10 an HbA1c between 9.1 and 9.5, and on an average
11 of 75 units per day of insulin for
12 approximately five years. Over 75 percent of
13 these patients were on over 50 units per day,
14 and over 25 percent were on over 100 units per
15 day.

16 In the 991-068 study, we documented
17 that a baseline injection frequency was 2.6 to
18 2.8 per day. This patient population is very
19 similar to the one described by Dr. Olefsky in
20 this morning's presentation and we think
21 represents a real-world Type II insulin
22 requiring population which is inadequately

1 controlled.

2 And this gets to point B on the
3 question. Based on data which we were able to
4 collect, between 75 and 80 percent of patients
5 in these two studies were previously on at
6 least half maximal doses of sulfonylurea with
7 over 50 percent on maximal doses.

8 I should point out that this is based
9 on information we were able to collect. It is
10 probable that the patients, particularly those
11 in the 991-040 study, had in fact been on
12 higher doses in the past.

13 The data in the literature on adding
14 sulfonylureas to insulin is variable. Amaryl,
15 the sulfonylurea most recently approved, was
16 able to demonstrate some insulin dose
17 reduction, i.e., insulin sparing, but without
18 significant glycemic control.

19 Two published meta-analyses in the
20 literature appear to conclude that some
21 improvement in glucose control may be
22 transiently possible by the addition of

1 sulfonylurea but without a reduction in insulin
2 dose. Alternatively, insulin doses may be
3 lowered but without an improvement in glycemic
4 control.

5 The patients who appear to respond
6 best to the sulfonylurea/insulin combination
7 are those on low doses of insulin and with some
8 beta cell function remaining. The C-peptide
9 levels, particularly in the 991-040 study, were
10 low, and they were not on low doses of insulin.

11 Therefore, we believe that it is
12 unlikely that they would have responded, or
13 whether the response would have mirrored that
14 which was observed with the addition of Rezulin
15 to these same patients.

16 DR. BONE: Was there any
17 consideration on the agency's part of
18 restricting the indication to sulfonylurea
19 failures?

20 DR. SOBEL: Let me just say this is
21 part of the risk/benefit. Here we have a new
22 agent with great promise but with areas of

1 unknowns, as is true with all new agents. Does
2 one move to a new agent, albeit it one with
3 great promise, without trying to utilize to a
4 maximum control with agents whose safety
5 profile is well established?

6 So it is a philosophic approach, and
7 this is part of the charge to the committee.
8 How much does one wade into new territory
9 before exploring what has been settled? It's
10 an approach which we would like the committee's
11 input as far as risk/benefit. It's really the
12 issue of exploration, I think. Under what
13 conditions does the risk/benefit justify the
14 use of this agent?

15 DR. BONE: I think that is somewhat
16 clarifying for some of the members of the
17 committee. Let me see if I understand. One of
18 the questions that the committee is being asked
19 to advise about is whether the indication for
20 the initial approval of this compound, which is
21 obviously part of a long program, multiple
22 indications, no doubt -- but that the initial

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 indication might be a relatively restricted
2 one.

3 And since the studies were done in a
4 population of patients who had failed in some
5 sense on sulfonylurea with insulin to have any
6 additional benefit from the sulfonylureas, then
7 one question might be whether the committee
8 would recommend restricting the labeled
9 indication to that group.

10 And the question brought up then
11 about whether these patients were true failures
12 or not is pertinent to whether that has been
13 explicitly tested. Is that kind of what we are
14 getting at?

15 DR. SOBEL: You've said it right.

16 DR. BONE: Thank you. Okay. Well, I
17 think that will be helpful to the committee in
18 understanding the meaning of some of the issues
19 that we would like to address.

20 Dr. Sherwin.

21 DR. SHERWIN: Would you want to
22 restrict it to sulfonylurea failures or just

1 oral agent failures in general? Because you
2 are getting a very narrow, you know,
3 definition.

4 DR. SOBEL: Well, let me -- you know,
5 as the conditions of experimentation were, in
6 the absence of wide distribution of metformin
7 in the month before, I think you should
8 consider this approval in the perspective of
9 the state of the art as it exists today and
10 make your recommendations accordingly.

11 DR. BONE: So that might be a little
12 broader than --

13 DR. SOBEL: Pardon?

14 DR. BONE: That might be slightly
15 broader, along the lines Dr. Sherwin suggested
16 then.

17 DR. SOBEL: Yes.

18 DR. BONE: Dr. Cara.

19 DR. CARA: Well, I think we have to
20 be cautious in really looking at what treatment
21 failure means because there is no evidence that
22 has been presented that indicates that these

1 patients in fact were treatment failures.

2 What was suggested was that at some
3 point of their therapy, at some point in the
4 course of their treatment, they had received
5 sulfonylurea therapy, and that treatment had
6 not -- but that could have been done a long
7 time ago or relatively recent.

8 But it was felt that the patients
9 were still in "poor control" as manifested by
10 glycohemoglobin levels above nine. But that's
11 very different to say it's a treatment failure.

12 DR. BONE: Well, I think -- go ahead.

13 DR. SHERWIN: I think the fact that
14 the glucose was so very high suggests these
15 people wouldn't do well with most therapies,
16 surely not sulfonylureas. I mean, patients
17 with fasting glucoses of 220 generally don't
18 respond very well, especially who have had long
19 term diabetes and have been on insulin for a
20 long -- for five years. It is unlikely that
21 they would have much of a response, surely not
22 the kind of response shown in this study.

1 DR. CARA: But then I think, you
2 know, the issue that you are alluding to -- or
3 I may be mistaken, I don't know. The issue
4 that you might be alluding to is the fact that
5 there may be in fact different severities of
6 diabetes.

7 DR. SHERWIN: That's for sure.

8 DR. CARA: And that's a whole
9 different ballgame altogether.

10 DR. BONE: Well, let me see if we can
11 get a little help here from Dr. Whitcomb or
12 someone else from the sponsor about -- he
13 explained what was meant by a failure this
14 morning. And I think we could just use a
15 refresher on that exact point.

16 DR. WHITCOMB: Well, I can or I can
17 have Dr. Olefsky also maybe look at it from --

18 DR. BONE: I think this refers
19 specifically --

20 DR. WHITCOMB: To the study. Okay.

21 DR. BONE: -- to the criteria for the
22 studies.

1 DR. WHITCOMB: In the 991-068 trial,
2 we specifically had as an entrance criteria
3 failure to -- sulfonylurea or metformin.
4 Metformin had just been introduced. It was a
5 late addition into the trial as indicating
6 that. And the investigator was asked as he
7 would to verify -- this is an inclusion
8 criteria along with everything else on there.

9 We don't routinely go back and have
10 them provide documentation for every little
11 thing on inclusion criteria. But what we did
12 also capture is all prior anti-diabetic
13 medications on these patients, which is
14 information which is submitted as part of the
15 NDA.

16 We then have gone back through those
17 records to try to understand exactly what went
18 on in these patients. And as I said this
19 morning, many of these patients had been on
20 insulin for at least five years, so the records
21 are somewhat difficult to put everything
22 together with.

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 But what we were able to document is
2 that many of these patients had been on maximal
3 doses. About 60 percent of them, in fact, had
4 been on maximal doses of sulfonylureas in the
5 past.

6 Now, it perhaps is a leap, but in
7 general if a patient was doing well on a
8 maximal dose of an oral agent, it is unlikely
9 that they would have been shifted to insulin.

10 I can't say that definitively. But I
11 think just from a pure clinical standpoint that
12 that's less likely. Maybe Dr. Olefsky would
13 like to comment on the relatively clinical
14 weight of that.

15 I don't know. Does that get at your
16 question?

17 DR. BONE: Well, I think then what
18 you are saying is, if we understand correctly,
19 that you had patients who all had received at
20 least 50 percent of the maximal dose, and the
21 majority of whom had received the maximum
22 recommended dose of at least one oral agent.

1 And the treating physician had regarded this as
2 unsatisfactory from a therapeutic standpoint.

3 DR. WHITCOMB: That's correct.

4 DR. BONE: Is that -- but that there
5 were not explicit criteria for what constituted
6 unsatisfactory. In other words, there wasn't a
7 cutoff for glycosylated hemoglobin or something
8 like that. It was a clinical judgment.

9 DR. WHITCOMB: Right. But the
10 patients obviously that went into the trial
11 were not well controlled where they were now.
12 So that was --

13 DR. BONE: Right. Were they still on
14 the hypoglycemic agents at the time of
15 screening?

16 DR. WHITCOMB: No.

17 DR. BONE: They had just been on at
18 some time in the past. So the fact that their
19 degree of hyperglycemia may have been greater
20 at the time of entry in the study than it was
21 on the other agent, we don't have that
22 information.

1 DR. WHITCOMB: Well, no.

2 DR. BONE: Okay. But it was a
3 clinical judgment is what was exercised. Jose,
4 does that --

5 DR. CARA: Well, I think that is as
6 best as you can get. But it is very different
7 to say these patients were previously treated
8 with sulfonylurea than to say these patients
9 were on sulfonylurea at the time of the entry
10 into the study and were therefore considered
11 treatment failures.

12 DR. BONE: Right. That is a previous
13 clinical judgment.

14 DR. CARA: Right.

15 DR. BONE: Not -- it wasn't a group
16 of patients who were on maximal doses of
17 sulfonylurea exceeding certain parameters and
18 then were entered immediately into the trial.
19 It was a prior history of not doing well on
20 sulfonylurea in the judgment of the clinician
21 and the patient, presumably.

22 DR. CARA: Right.

1 DR. BONE: Okay. Dr. Zawadzki.

2 DR. ZAWADZKI: This is a general
3 comment. Conceptually, I am having a little
4 difficulty dividing the world of Type II
5 diabetes into insulin-requiring or
6 insulin-using and non-insulin-using. I don't
7 think that really separates two distinct
8 populations. In fact, there are many
9 individuals who fail oral sulfonylurea therapy
10 who refuse to go on insulin.

11 I worry a little bit about the
12 approval of a drug for one distinct population
13 when, in fact, conceptually, it seems it would
14 be really more effective for some of the
15 individuals who fail on diets and oral
16 sulfonylurea therapy currently.

17 DR. BONE: If I understand
18 correctly -- and maybe this is -- I don't want
19 to be talking too much, but it might help a
20 little bit from the interests of time.

21 If I understand correctly, that was
22 actually a major thrust of the sponsor's

1 development program. And the attention was
2 turned to the group taking insulin because of
3 the specific findings about insulin dosage.

4 Is that correct? And that this is --
5 well --

6 DR. WHITCOMB: I think the reason
7 that we went after this population was because
8 in our opinion and the opinion of many expert
9 advisers like Dr. Olefsky, one of the most
10 challenging patient populations to treat is the
11 obese Type II diabetic who is on insulin, that
12 you cannot get their glucoses down.

13 So we focused our efforts on that,
14 believing that speed in bringing this drug to
15 the market for that particular population was
16 of the essence, while continuing the rest of
17 our development for the rest of Type II
18 diabetes.

19 And this was something that we had
20 talked with and agreed with the agency was a
21 reasonable strategy based upon the development
22 timelines that we had.

1 DR. BONE: Anything further?

2 DR. ZAWADZKI: Yes.

3 DR. BONE: Dr. Zawadzki.

4 DR. ZAWADZKI: It seems to me the
5 availability in the marketplace of this drug
6 for the indication that we are discussing today
7 does not really preclude the use of the drug in
8 the larger domain of individuals with Type II
9 diabetes. And I would just like to see some
10 data regarding the use of this drug in other
11 patient -- in the more general patient
12 population with Type II diabetes.

13 DR. BONE: So you are expecting that
14 there will be extensive off label use in other
15 diabetics.

16 DR. ZAWADZKI: I think there would
17 be.

18 DR. BONE: Dr. Cara.

19 Dr. Sherwin, anything to add?

20 DR. SHERWIN: Well, it makes sense
21 that if you have got a drug that is efficacious
22 in the "patient that is not optimally

1 controlled," you'd think that you would get
2 more bang for the buck if you used it in
3 patients that could be reasonably well
4 controlled with minimal therapy.

5 DR. BONE: And if I understand Dr.
6 Zawadzki's concern, it is that there is no
7 data.

8 DR. ZAWADZKI: Well, we saw some data
9 from (indiscernible) 11, which I think was very
10 impressive data. But I would just like to see
11 a little bit more and longer than 8 to 12 weeks
12 of therapy.

13 DR. BONE: Yeah. I guess one
14 question we could ask is where does the
15 sponsor's program stand in the
16 non-insulin-using Type II diabetic.

17 DR. MARTIN: Let me just emphasize
18 that what you saw today was added on to our
19 full-blown indication for Type II diabetes. We
20 did not shift gears to do this. We are still
21 generating data. We will be submitting
22 shortly, frankly, the full indication for Type

1 II diabetes. We have a lot of data.

2 This NDA was assembled with the FDA's
3 agreement earlier to make this drug available
4 to the patients who are most in need as soon as
5 possible.

6 DR. BONE: So you say you are
7 planning very shortly to submit the total
8 program. This presumably means that you have
9 essentially completed your phase III studies,
10 or nearly completed your phase III studies in
11 non-insulin-dependent -- or non-insulin-using
12 Type II diabetics?

13 DR. MARTIN: That's correct.

14 DR. BONE: Thank you. Dr. Hirsch.

15 DR. HIRSCH: The common practical
16 problem would seem to be that someone is on
17 sulfonylurea or something else and they are not
18 well managed, and they are being given insulin
19 at the same time. And now an option comes up.

20 Either we're saying that maybe this
21 is a drug where you can, with this one drug,
22 now drop that whole thing out of the way, you

1 will not need the insulin and the sulfonylurea,
2 or if you do need insulin, you'll need a lot
3 less insulin than you had before on the
4 sulfonylurea.

5 But none of these things have been
6 directly tested. Isn't that right? You don't
7 know that this is true. You know that they
8 have a history of having had difficulty with
9 sulfonylurea and therefore they are on insulin.
10 But there is no direct test of these
11 possibilities right in front of us to see what
12 would be better or not.

13 But that really is the situation,
14 isn't it? I mean, the situation is someone is
15 -- if they are doing well and they're
16 euglycemic and everything, then forget about
17 it. They don't need the drug. But the notion
18 is here that there is some badness in utilizing
19 insulin alone along with the sulfonylurea,
20 giving more and more insulin to straighten this
21 matter out, which is theoretically arguable, by
22 the way. I don't happen to be a believer in

1 the evils of insulin as much as the evils of
2 lack of understanding of Type II diabetes.

3 But is that right? I mean, is that
4 what we are talking about here? That we think
5 that sulfonylurea is fine. It doesn't work
6 well for everybody, so you have got to give
7 some people insulin. You have got to give them
8 a lot more insulin. You keep dicking around
9 with that. And here we have got something
10 where we can -- instead of using the
11 sulfonylurea, we'll stick in the Rezulin and
12 get the insulin down and maybe even make that
13 go away.

14 But that hasn't been tested.

15 DR. WHITCOMB: No. Well, let me just
16 make a comment here, and maybe Dr. Sherwin can
17 also address this. The number of people in the
18 United States that are taking concomitant
19 insulin and sulfonylureas is very low. I
20 believe the latest ADA data is around 4
21 percent.

22 DR. SHERWIN: It's not a good

1 combination.

2 DR. WHITCOMB: I mean, the suggestion
3 here is not that people should first fail
4 sulfonylurea added to insulin and then go to
5 Rezulin. I think most patients that have Type
6 II diabetes in the United States that are on
7 insulin are on it by itself. I mean, they are
8 on insulin as monotherapy.

9 And what we have been showing here
10 today is that these people are not well
11 controlled with that current regimen. They
12 have got very high glycosylated hemoglobins.
13 And we think that the addition of Rezulin to
14 insulin demonstrates an effect which has not
15 been shown with any other agent to this point
16 in time, and that that is really what we are
17 trying to show.

18 And the fact that these people got to
19 insulin via sulfonylurea failure, I would put
20 that you're right. We have not directly tested
21 that. But that's pretty well standard of care
22 in the United States for most patients. And

1 Dr. Sherwin may have an opinion on that as
2 well.

3 DR. SHERWIN: Well, I think most
4 patients like this who would -- if you would
5 add a sulfonylurea would not do well. So that
6 is, I think, unquestionable, and it is not used
7 very much.

8 I'm just -- my only concern actually
9 is that our goal should be to lower glucose and
10 to optimize and reach certain target goals.
11 And I suspect to achieve that, you are not
12 going to drop the insulin dose very much at
13 all. In fact, you may even need more insulin
14 to achieve your goals because you haven't
15 achieved it in the majority of people.

16 And so I think that from a therapy
17 perspective, the goal should be not as much to
18 lower-insulin doses, but to get glucose as
19 close to normal as possible without causing
20 harm. And that study hasn't been done yet to
21 show that aspect of it.

22 I mean, it doesn't necessarily mean

1 that you need to do that to get this kind of
2 approval. But I think that the focus should
3 have been how do you optimize treatment to
4 reach target goals and just to get a feeling
5 for how much insulin you need to do that.
6 Surely you need a tremendous amount without the
7 drug. My guess is you'd need a lot less to
8 achieve it with the drug.

9 DR. BONE: Thank you. Just one
10 further point of clarification that may be
11 helpful to some people is that if I understand
12 correctly, the entry criteria for the studies
13 were not that the patient had to have failed on
14 an oral glyceemic agent together with the
15 insulin, but only that they had an
16 unsatisfactory response to an oral hypoglycemic
17 agent prior to starting insulin. So it was
18 sequential rather than in parallel.

19 And the sponsor is nodding yes, that
20 that's correct. Okay, to make that clear. And
21 I guess the purpose of that was to ensure for
22 the sake of discussion that the patients really

1 needed to be on insulin. Is that correct, if I
2 can ask this? That was -- they are also
3 nodding, that we know these people needed to be
4 on insulin because they didn't do well on oral
5 agents before.

6 Okay. Thank you.

7 Yes, Dr. Cara.

8 DR. CARA: I think there is an issue
9 that is nagging at me, and I don't know that
10 there is any resolution. But it is really a
11 damned if you do, damned if you don't issue
12 because, I mean, the bottom line is that
13 whether or not we define a specific patient
14 population, the medication is going to be used
15 by everybody.

16 And I think there is good rationale
17 to suggest its use, although we haven't seen
18 any data. Even though it is clear that the
19 data that we have seen, I think, shows efficacy
20 in patients that are receiving insulin, you can
21 argue that if you can prevent patients from
22 getting on insulin, with its untoward effects

1 of weight gain and so on and so forth, that
2 patients may actually do better.

3 So I think there is very good
4 rationale for people to use this medication
5 more freely than we will ever be able to
6 define.

7 On the other hand, you know, does
8 that mean that the medication should not be
9 approved until that data comes out? I don't
10 know.

11 DR. SOBEL: Could I just --

12 DR. BONE: Dr. Sobel, yes you can,
13 please.

14 DR. SOBEL: I think you raise an
15 important issue about off label use. I think
16 what happens in this type of situation is that
17 we try to keep, if possible, an initial
18 approval a non-trivial approval. However, you
19 can never prevent off label use.

20 The question is to keep -- to have a
21 solid reason for introduction which is not
22 trivial. And, you know, there is no real

1 control of off label use. But I think the
2 committee has to decide.

3 Well, let me just go over the history
4 of how this whole thing evolved. And it was
5 said -- you know, when we first became
6 acquainted with the drug, it was on the basis
7 of a 17 patient study of insulin-using
8 patients, of which, I don't know, seven came
9 off of insulin completely, which is quite
10 impressive. That wasn't replicated in the
11 large clinical studies, but you did get
12 something like 15 percent that were able to
13 come off.

14 To get back to your question, if you
15 feel from a risk/benefit standpoint and a
16 non-triviality of indication and population
17 that this should be approved, if you believe
18 this, then the concern about off label use is
19 something which is a given to the scene as it
20 exists in drug use in America.

21 But I think you'll have to confine
22 your view to the requested indication and

1 whether you feel the population has been well
2 defined and whether the risk/benefit exists,
3 whether one should fully explore -- you know,
4 whether the issue of rigorous insulin
5 management has been chosen before. Is that a
6 requirement, you know, theoretically with
7 rigorous management which may not be acceptable
8 to patients, whether that has been explored,
9 whether other agents, oral agents have been
10 explored. This is the type of questions which
11 I think have to be addressed.

12 Again, it comes back to our almost
13 ritualistic risk/benefit question. Is it
14 justified to move into this very exciting new
15 drug? But are we justified at this point in
16 accepting known risks and hypothetical risks
17 that I think you have probed into this morning?
18 Are we justified at this point in making a
19 recommendation for approval? That's the
20 question.

21 DR. BONE: And then presumably we
22 will have the sponsor's -- correct, that they

1 have a nearly complete application. We would
2 have the remainder of the program before us
3 within the next year presumably.

4 DR. SOBEL: Yes.

5 DR. BONE: Dr. Fleming.

6 DR. FLEMING: And just to add on to
7 Dr. Sobel's comments, we may be fooling
8 ourselves, but we do like to think that
9 physicians and other health care providers read
10 the labeling, and that it does count for
11 something. And we make an effort to be very
12 precise in how the population, the recommended
13 population, is defined. And we will go to some
14 lengths to put cautionary statements about off
15 label use when we feel that it is indicated.

16 So it is not that we are entirely
17 powerless to address the issue. But I think we
18 all understand that there is certainly
19 limitation in how far we can do that.

20 DR. BONE: The major impact of the
21 agency really being on the promotion and
22 claims.

1 DR. FLEMING: That's absolutely
2 right. Our division of drug advertising and
3 marketing is extremely active these days in
4 enforcing the marketing approaches of drug
5 manufacturers. And this is also somewhat of an
6 element in the address of this particular
7 issue.

8 DR. BONE: Dr. Sherwin.

9 DR. SHERWIN: Is the amount of
10 insulin part of this in terms of indication? I
11 mean --

12 DR. BONE: Well, the studies were
13 done in patients who were taking at least 30
14 units, and they averaged about 75. I think
15 recommendations about the labeling would
16 probably be within the purview of the committee
17 to make, but will be in the purview of the
18 division to finally determine.

19 DR. SOBEL: Well, it was an
20 additional dimension. And apparently there
21 were a number that were analyzable that had
22 multiple doses per day. And the fact that you

1 are able to demonstrate some protection.

2 But the real question is not -- I
3 don't think that that parameter is of how much
4 was used. I think the real question is how
5 rigorous should a clinician be in the
6 exploration of conventional therapies before
7 one proceeds to this therapy.

8 DR. SHERWIN: My own view would be
9 that you should be -- we know about insulin.
10 And surely it makes a lot of sense to use this
11 type of drug in people in whom, in the judgment
12 of the clinician, they cannot manage the
13 patient satisfactorily and reach target goals
14 with insulin. That would be my view.

15 DR. BONE: All right. Dr. Olefsky
16 wishes to add a word.

17 DR. OLEFSKY: Just one comment
18 because I'd like to get back to something that
19 Dr. Sherwin said, which I agree completely
20 with. I think in common clinical practice, we
21 know that patients are moved through oral
22 agents before they get on insulin therapy,

1 including sulfonylureas, and that we do know it
2 is a progressive disease, that even if patients
3 initially respond to an oral agent, eventually
4 they need bigger doses and combinations.

5 And eventually many of them come to
6 insulin therapy, as Maureen Harris' data show,
7 and as the UK PDS has shown. It is a
8 progressive disease. And in Maureen's data,
9 there are very, very few patients in this
10 country who are in any combination of
11 sulfonylurea and insulin. That is only a
12 couple percent.

13 So that would not be the common
14 clinical practice. And I think as Dr. Sherwin
15 said, and I think Randy said, the data
16 available on that indicate that that is not a
17 very effective combination anyway. So we do
18 have lots of patients who are on insulin
19 therapy, and that is their sole form of
20 therapy.

21 Now, we might debate the "evils" of
22 insulin in some way. But I think there is one

1 -- using this in a tongue-in-cheek way -- evil
2 of insulin which is really not that debatable
3 because it is supported by all the data, and
4 that is that insulin is not used that
5 effectively, that our goal really is to get the
6 lowest glycemic -- I mean, as close to normal
7 as possible within reason. That is our
8 treatment goal.

9 And as all the data, the demographic
10 data, showed, when physicians in this country
11 use insulin, they do not get glycemic targets.
12 Their patients are running around with
13 hemoglobin A1c levels at 9.5 percent.

14 And in fact, if you look at the
15 patients recruited into this study, almost the
16 same exact results, people on 70, 80 units of
17 insulin a day, hemoglobin A1cs 9, 9.5 percent.
18 So maybe an evil of insulin is the fact that
19 physicians and patients for a variety of
20 reasons which I think we could all articulate
21 are just not using the insulin effectively
22 enough to get those glycemic targets.

1 So with a drug that would improve the
2 action of insulin, it really does allow you to
3 get better glycemc control. And I think that
4 what Dr. Sherwin says is the goal, to get
5 glycemc control.

6 And remember, according to the DCCT
7 study, every increment of improvement in
8 glycemc control gives you an increment in
9 improvement in prevention of complications. So
10 that it is also true for the Type II diabetic
11 population.

12 You know, we would like to get down
13 to hemoglobin A1cs of seven or maybe even a
14 little bit lower. But to the degree that you
15 can improve it, you're doing something good for
16 the patient. And I think that really should be
17 the focus and the goal.

18 DR. BONE: Thank you. With regard to
19 this discussion of the target population, are
20 there any additional comments from the
21 committee? Do I take it that the meeting of
22 the minds to a certain extent is that we are

1 only talking about patients who are currently
2 on insulin therapy, with the implication that
3 control is not satisfactory on the insulin
4 therapy as of the time of starting troglitazone
5 treatment? And there is also the implication
6 these patients have a prior unsatisfactory
7 response to oral agents.

8 Is that -- is everybody kind of on
9 the same page with that? I'm just asking.
10 I'm not --

11 DR. SHERWIN: I mean, the only thing
12 I would add is maybe some intent with insulin
13 to try to optimize treatment might be added
14 because obviously, somebody could be -- not
15 have made much of an effort. So I think it is
16 people who -- not with an effort to improve
17 control with insulin, have been unsuccessful.

18 DR. BONE: That might be hard to
19 write into the labeling. But I see your point,
20 yeah.

21 DR. SHERWIN: Right.

22 DR. BONE: Beads of perspiration --

1 the number of beads of perspiration appearing
2 on the doctor's brow or something like that,
3 you know.

4 (Laughter)

5 DR. BONE: Dr. Illingworth.

6 DR. ILLINGWORTH: The logic that
7 extends to that question -- what about somebody
8 who fails on oral agent or oral agents who is
9 being contemplated for use of insulin, would
10 this substitute for insulin use as the next
11 step in therapy?

12 DR. BONE: As I understand it, that
13 is not the indication which is being requested.
14 I'm told I'm correct, that that is a separate
15 indication for which the sponsor will be
16 applying in the relatively near future, but not
17 the subject of today's discussion. Okay.

18 DR. CARA: And maybe the way to get
19 around what Dr. Sherwin suggested is
20 documentation of glycohemoglobin levels above
21 nine while on insulin therapy.

22 DR. BONE: Well, again that becomes

1 something that is fairly difficult to enforce,
2 I suspect.

3 DR. SHERWIN: I would say surely the
4 recommendation of the American Diabetes
5 Association is to institute some change if
6 you're not below 8 percent. So that would be
7 consistent with the ADA recommendation.

8 DR. BONE: All right. I think now
9 the next topic that we wanted to discuss was
10 this business about estimation of the clinical
11 significance of troglitazone's treatment
12 effects. And in conjunction with this, there
13 were several questions during the morning's
14 discussion about the effects of troglitazone on
15 lipids. And there were some effects of
16 troglitazone on lipids.

17 And I believe the sponsor is going to
18 summarize those for us. It wasn't part of
19 their presentation this morning. But it seems
20 like a logical place to lead in when we are
21 talking about the clinical significance of a
22 drug's effect.

1 DR. WHITCOMB: Did we want to do the
2 lipids first, or did you want to do the
3 clinical significance now, or what's your
4 pleasure?

5 DR. BONE: It seems to me that having
6 the lipid data is almost essential to being
7 able to look at the overall clinical.

8 DR. WHITCOMB: Okay. What we have
9 asked is for Dr. Don Black, who is the senior
10 director of cardiovascular and clinical
11 research at Parke Davis, to present the lipid
12 information for us.

13 DR. BONE: And we can look on the
14 menu and see what other information you have.

15 (Laughter)

16 DR. BLACK: Thank you. I won't go
17 through full lipid metabolism, but we can talk
18 about that further. I'm sure this committee is
19 very aware of it. Let me just show you some of
20 the effects here on cholesterol, LDL
21 cholesterol, HDL cholesterol, and triglycerides
22 in the two studies that are under discussion

1 today.

2 In the total cholesterol level you
3 see here, at the 200 milligram doses in both
4 studies there is a mild increase. This is the
5 adjusted change from baseline in total
6 cholesterol. This is less than 4 percent mean
7 percent change, LDL cholesterol, adjusted
8 change from baseline, again very minor changes
9 in the 200 milligram, 400 milligram, or 600
10 milligram doses. HDL cholesterol -- again mild
11 changes.

12 And triglyceride was reduced 25
13 adjusted change. This was, as you can see
14 here, about 11 percent mean change, and here at
15 600 milligram dose a bit more than that, about
16 15 percent mean change, not much change here at
17 this level.

18 Next slide, please.

19 (Slide)

20 And just to explain about the
21 triglyceride effect, monotherapy in
22 sulfonylurea combination studies -- these are

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 other studies than you have been able -- than
2 you have seen yet --

3 Have shown consistent triglyceride
4 decrease as well. Exogenous insulin, the high
5 dose, decrease, hepatic VLDL production and
6 decreased triglycerides, as we know, in
7 general, about exogenous insulin.

8 And after starting Rezulin,
9 decreasing exogenous insulin may lead to a
10 transient increase in triglycerides after an
11 initial fall. So some of the background
12 documents you saw in one of the studies, the
13 triglycerides went down then went back up
14 again.

15 Next slide, please.

16 (Slide)

17 But overall in the -- and this is in
18 the 042 study or the long term study of
19 muscular cardiac function. You see there is a
20 reduction in triglycerides here as well for
21 Rezulin and for Glyburide. And this was
22 sustained in the second year as well.

1 Next slide, please.

2 (Slide)

3 Here in the 068 study, mean levels of
4 LDL cholesterol at six months, you see only
5 slight changes in Rezulin at the 200 or 400
6 milligram doses compared to placebo.

7 Next slide, please.

8 (Slide)

9 And here at HDL again slight
10 increases. So overall, the change in non-HDL
11 to HDL ratio, or the VLDL plus LDL cholesterol
12 compared to HDL did not change. As VLDL
13 cholesterol was reduced and triglyceride was
14 reduced, LDL came up a little bit, but so did
15 HDL. So overall, the risk/benefit, if you
16 will, of lipids didn't change. Next.

17 DR. BONE: Excuse me. Have you
18 formally calculated that using the prediction
19 equations for risk?

20 DR. BLACK: Well, since -- I'm sorry.
21 Since the non- HDL to HDL ratio doesn't change,
22 we would assume that it would be zero out on

1 both sides, yes.

2 And here the mean change from
3 baseline in ApoB, which is probably, I believe,
4 the strongest predictor of cardiovascular risk
5 -- and you see here no change in placebo at 100
6 milligrams, 200, 400, or 600 milligrams of
7 Rezulin.

8 So there is an increased LDL of 3 to
9 11 percent in diabetic studies, including, as I
10 mentioned, an increase as well in HDL
11 cholesterol, and a slight decrease in VLDL
12 cholesterol. So this is all averaged out.

13 Because you see this reduction in
14 triglycerides in the VLDL component and the
15 same amount of particles, the ApoB stays the
16 same. There seems to be somewhat of an
17 increase in LDL cholesterol. But this is
18 probably just changing where the cholesterol is
19 as far as particles. And potential impact on
20 atherogenic risk, we believe there is none,
21 that ApoB, there is no change, and no change
22 either negative or positive in the total to HDL

1 ratio or the non-HDL to HDL ratio.

2 Thank you much. If you have any
3 questions.

4 DR. BONE: Perhaps Dr. Illingworth
5 would have a comment or a question, and then
6 Dr. Sherwin.

7 DR. ILLINGWORTH: Well, Don, thanks
8 for showing that information. I think
9 triglycerides are viewed as a major risk factor
10 in diabetics. But we don't have good data on
11 proof of benefit from lowering triglycerides.

12 We do have data from two subgroup
13 analyses showing benefit reducing LDL
14 cholesterol, some within 4S and some within the
15 care trial. A small number of patients with
16 Type II diabetes similar to this population got
17 substantial benefit from lowering LDL
18 cholesterol.

19 So I don't think we know what is the
20 negative impact of a raise in LDL cholesterol.
21 And I think that needs to be an area of
22 exploration.

1 DR. BLACK: While I don't disagree
2 with what you say, I think the difference in
3 this with the other studies that you mentioned
4 is that also that triglycerides are reduced,
5 HDL was increased, as well as the reduction in
6 LDL cholesterol in those studies. That may
7 have contributed in part to the positive
8 effects that we are seeing.

9 As you say, in this it is a little
10 bit different. We are not proposing
11 necessarily a positive effect with this. We
12 just feel it is a neutral effect.

13 DR. ILLINGWORTH: One second
14 question. In the background information, you
15 have done some antitoxin -- studies of LDL
16 oxidation. Is it clear whether troglitazone is
17 carried in LDL? In other words, is this
18 perhaps due to the drug being in LDL itself
19 since it looks quite a lot like vitamin E? Or
20 is it from change in LDL composition that
21 renders it less susceptible to oxidation?

22 DR. BLACK: Maybe Dr. Whitcomb can

1 answer that.

2 DR. WHITCOMB: We've done one small
3 study with Alan Chait up in Seattle where we
4 took C-14 labeled troglitazone. And he was not
5 able to show that it incorporated into the LDL
6 particle.

7 DR. BONE: Thank you. Anything
8 further? Dr. Sherwin.

9 DR. SHERWIN: Have you or anybody in
10 the company looked at lipoprotein lipase? And
11 could any of the changes --

12 DR. BLACK: Not in humans. I think
13 full -- we do intend to look much more at the
14 metabolism of lipoproteins with this compound.
15 The work just hasn't been done yet. Obviously,
16 there are other things as well. Hepatic lipase
17 could be an effect.

18 DR. BONE: I guess I have a question
19 perhaps I'd like Dr. Sherwin and Dr.
20 Illingworth to comment on, and that is that in
21 spite of the fact that the patients seem to
22 have improved control of their diabetes, there

1 seems to be sort of a non-effect at best on the
2 LDL level -- I mean, non-effect if you allow
3 for the HDL.

4 But in other words, we don't see an
5 improvement even though the patient's diabetes
6 is under better control.

7 DR. ILLINGWORTH: My interpretation
8 of the information -- Don, I welcome your
9 comments -- will be that the effects on -- what
10 you see with fish oils or low dose of fibrase,
11 where the effect is mainly reducing
12 triglyceride production but not affecting ApoB
13 synthesis. So the number of particles produced
14 by the liver probably doesn't change.

15 DR. BLACK: I absolutely agree.
16 Thank you.

17 DR. SHERWIN: I think that's correct,
18 too.

19 DR. BONE: Okay. Did the sponsor
20 have anything else that they wanted to present
21 about clinical significance apart from this
22 morning's presentation? Or should we just

1 start to discuss it? I know Dr. Hirsch has a
2 question or comment.

3 DR. WHITCOMB: We have about 60
4 seconds.

5 DR. HIRSCH: Well, maybe you can
6 incorporate in whatever you. And I am sort of
7 taken by the fact that a lot of the data have
8 to do with people of body mass indices of about
9 35.

10 Do you have any data on whether there
11 is any difference in the efficacy of the drug
12 at different weight levels?

13 DR. WHITCOMB: That is an excellent
14 question. We have looked at the patient's
15 response with all different BMIs, and there
16 does not appear to be a variability of
17 response. So in other words, if you have a BMI
18 in the high 20s you appear to respond to the
19 drug equally well.

20 Of course, interestingly enough, a
21 lot of the studies that have been done in Japan
22 are with individuals with BMIs that are in the

1 low 20s. And they again have seen good
2 response in combination with insulin as well.

3 DR. SHERWIN: By the way, this just
4 came to mind. Have you looked at leptin levels
5 in these people in view of the fact that it
6 affects fat?

7 DR. OLEFSKY: I guess I am supposed
8 to comment on the leptin levels. In a study
9 that we did, we did look at leptin levels.
10 This was published a couple of months ago. If
11 you take patients who were treated with
12 troglitazones -- let me make clear, it is
13 people who have a range of BMI treated with
14 troglitazone, and then we repeat the leptin
15 measurements after three months of therapy.
16 And basically, there was no change. The leptin
17 levels were identical before and after therapy.

18 There was the expected relationship
19 between leptin level and the degree of obesity,
20 both before and after. But there was no change
21 in the mean values before or after.

22 DR. HIRSCH: There are some animal

1 studies showing a decline in leptin MRA and
2 adipose tissue after given the drug. There is
3 one that I know of.

4 DR. OLEFSKY: Yes. There are some
5 animal studies on this. But we wanted to go to
6 humans and see what the result was in humans.
7 And although there may be complicated
8 regulation of leptin, the net result at the end
9 of the study was no change.

10 DR. HIRSCH: Right.

11 DR. OLEFSKY: And of course, there
12 was no change in weight either in the studies I
13 am referring to.

14 DR. BONE: Are there further comments
15 from the committee members concerning a
16 clinical significance beyond the comments that
17 have already been made in the earlier
18 discussion of the treatment effects?

19 Perhaps Dr. Critchlow would like to
20 start that discussion.

21 DR. CRITCHLOW: I had a couple of
22 questions. One is, just looking at the two

1 pivotal studies, one shows at the 200 milligram
2 dose significant effect for decreasing serum
3 glucose. The other did not, although in that
4 study the responder analysis was significant,
5 which incorporated the reduction in insulin as
6 well as the serum glucose.

7 Also, as far as the glycohemoglobin,
8 one study showed significant decrease there,
9 and the other study did not. And both studies
10 showed that insulin could be reduced at both
11 doses.

12 Given those constellations of
13 findings, it is difficult to know when you have
14 those two studies can one -- or could you
15 address what percentage of patients in each
16 study you would consider adequately controlled
17 by whatever the relevant criteria would be?

18 I mean, I understand that both
19 studies were designed to do different things
20 and address different issues. But the -- if
21 really one is considering the management of
22 diabetes with respect to all of these outcomes,

1 it seems that you have got maybe slightly
2 disparate results. Or you may or may not, I
3 can't tell.

4 The other question was what specific
5 differences was each study designed to detect,
6 and were those differences in your mind thought
7 to be clinically significant.

8 DR. WHITCOMB: I think you make a
9 very good point about the differences when you
10 just look at the two studies side by side. And
11 I think one clear difference is the
12 instructions that were given investigators
13 vis-a-vis reductions of insulin dose levels.

14 In the 040 study, there was only a 15
15 percent reduction in the insulin dose in that
16 trial, which gave a decrease of glycohemoglobin
17 of about 0.7 percent compared to placebo. In
18 the 040 -- or excuse me, in the 068 study,
19 there was a decrease in insulin dose of about
20 40 percent. So it was a very large decrease,
21 and in fact to optimize glucose control, it was
22 probably too much.

1 But what we were trying to ferret
2 out, if you will, in that study is the relative
3 balance between insulin dose reduction and
4 glucose control. And I think what this has
5 shown us is that that balance is really
6 critical in terms of the physician optimizing
7 glucose control, perhaps by not reducing
8 insulin as much as they think that they can get
9 away with. And I think that is going to be a
10 really important point. It gets to some of the
11 comments that Dr. Sherwin made this morning.

12 But both of the studies were, you
13 know, positive in terms of their primary
14 endpoints as designed and as the studies were
15 set up to do.

16 DR. CRITCHLOW: No. That's true.
17 But in my mind, we basically have, because of
18 the way the studies were designed -- and I
19 agree that you were working in conjunction with
20 the FDA and whatever. But maybe you could try
21 to show me how we have sort of two studies
22 showing -- or clinical benefit.

1 I mean, I know -- again, I am trying
2 to wrestle with the issue of two studies that
3 have different endpoints. We basically have no
4 replication of achieving those endpoints.

5 DR. WHITCOMB: Let me just start with
6 the 200 milligram group in 068. The patients
7 in that group that met the response criteria
8 did have an average glycohemoglobin reduction
9 of 1 percent. So I think the clinical benefit
10 in that sub-population was demonstrated.

11 I think what that tells us, however,
12 is the 50 percent reduction was totally
13 arbitrary. We started with a 35 percent
14 reduction. And after some negotiations with
15 the agency, ended up at 50 percent. So I think
16 the 50 percent number, which is what we asked
17 the clinicians to drive to, was perhaps over
18 zealous in terms of insulin dose reductions.

19 And therefore, if you extrapolate
20 back from that insulin dose reduction to
21 something more like you saw in 040, in fact the
22 data is very consistent between the two trials

1 when you look at them side by side. The
2 difference is the insulin doses.

3 DR. CRITCHLOW: Could you tell me
4 what specifically each study -- the difference
5 they were powered to detect?

6 DR. WHITCOMB: Alpha was, you know --
7 we were looking at --

8 DR. CRITCHLOW: The difference
9 between placebo and the treatment arms that you
10 were looking to --

11 DR. WHITCOMB: What was considered an
12 effect?

13 UNIDENTIFIED SPEAKER: (Inaudible.)

14 DR. BONE: That has to be restated.

15 DR. WHITCOMB: That's what -- I'm
16 going to try to distill it down. The 040 study
17 at 90 percent power was powered to show a 1
18 percent difference in HbA1c of placebo to
19 active treatment groups. The 068 study was
20 powered at 90 percent to show a 20 percent
21 difference between active and -- I think it is
22 20. Actually, I think it was more like 15

1 percent difference between placebo and active.
2 We'll get the exact numbers for you.

3 DR. CRITCHLOW: Okay. Then my other
4 question was is there a definition of adequate
5 control that could be applied to each study
6 that some ballpark percent of patients could be
7 classified as reaching that goal?

8 DR. WHITCOMB: Well, I think in the
9 040 study it is very clear, which was much more
10 of a fixed dose study. I think we have
11 demonstrated that clearly.

12 The 068 trial was really designed to
13 see what was that balance between those two
14 endpoints. Part of that is a judgment. I
15 mean, it is a showing that 25 percent of
16 patients were able to achieve a 15 percent
17 reduction in blood -- as it turns out -- let me
18 just drop the glucose -- a 1 percent fall in
19 glycohemoglobin and a 50 percent reduction in
20 insulin dose of clinical significance. I think
21 that is really the question.

22 DR. BONE: And if I understood Dr.

1 Sherwin's point earlier and the implication of
2 Dr. Critchlow's comment, it is that the primary
3 endpoint of reduction of the insulin dosage is
4 not one that diabetologists would ordinarily
5 recognize as a primary goal of therapy, but
6 rather blood sugar control.

7 Is that your point, Dr. Sherwin?

8 DR. SHERWIN: Yes. I would say that,
9 although I must say that there is
10 circumstantial evidence supporting the view
11 that systemic hyperinsulinemia may be harmful,
12 and it is a concern. We just don't know the
13 downside of that.

14 Surely, if one could achieve a
15 reduction in insulin dose with no harm, that
16 would be good. But I think that if one had the
17 balance between lowering glycohemoglobin to 1
18 percent above the normal range or raising
19 insulin, you would choose lowering.

20 The glucose link is clearly
21 demonstrated. The insulin link is not. And
22 although it is a potential serious problem, it

1 is not on the hierarchy of things as crucial.

2 DR. BONE: Well, I think that then --
3 it sounds to me as though, if I'm pulling this
4 together correctly -- and please, everyone, let
5 me know if I'm not. As Dr. Critchlow has
6 pointed out, we really have two trials testing
7 different hypotheses to a certain extent, the
8 first hypothesis being that you can reduce the
9 glycosylated hemoglobin by a clinically
10 significant amount, and the second being to a
11 large extent that you -- although there is a
12 duality of primary endpoints there, that you
13 can reduce the insulin dose.

14 And the concern that Dr. Critchlow
15 has expressed is that it is hard to know
16 whether this is a replication or not. There is
17 an implicit -- implicitly, the second trial
18 indicates that if reduction of the glycosylated
19 hemoglobin level had been the primary endpoint
20 and the insulin dosage had not been reduced,
21 then the similar result would have been
22 achieved.

1 Would the sponsor agree with that
2 summary? Would the agency agree with that
3 summary?

4 DR. FLEMING: Well, I'd just like to
5 make a comment here that may help a little bit.
6 I think everybody understands very well the
7 agency's well known requirement for
8 confirmation of a clinical observation in order
9 to support an indication. We certainly could
10 ask for an identical trial to be run as the
11 confirmatory study, and that sometimes is done.

12 But we do think, I believe, in more
13 conceptual terms about confirming perhaps a
14 broader benefit than simply in a biostatistical
15 manner confirming a specific hypothesis.

16 So this is not an unusual approach by
17 any means. And in fact, I think we were
18 somewhat attracted to the idea that we would do
19 a somewhat different design with a different
20 endpoint that nonetheless would be
21 complementary to the original study performed.

22 Now, I think Dr. Critchlow's point is

1 excellent, that we would like to have a unified
2 understanding of the benefit. And we can
3 integrate Dr. Sherwin's comment to say that
4 certainly glycemic control is the first
5 priority.

6 But we would also, I believe, accept
7 that there is a benefit in itself to reducing
8 insulin, exogenous insulin, dosages. And that
9 relates to the patient's own quality of life,
10 if nothing else.

11 Now, the way the second pivotal study
12 was designed, I do believe that we have a
13 handle on glycemic control, that certainly we
14 can point to a certain number of responders
15 across both studies in terms of glycemic
16 control. Let's say a 1.0 hemoglobin A1c unit
17 decrement, and probably the company could give
18 us in a moment just how many people across both
19 studies would have responded in that manner.

20 That I believe addresses, or would
21 allow us to be fairly specific in the address
22 of the question that Dr. Critchlow has rightly

1 brought up.

2 DR. BONE: Thank you. Dr. Sobel.

3 DR. SOBEL: I think that the second
4 study in which flow in the flow sheet algorithm
5 dictated fairly substantial reductions in
6 insulin was trying for an endpoint which had
7 ignited the original interest. It did achieve
8 its goal in 15 percent of the patients.

9 Again, we are really -- from a
10 hypothetical standpoint, we are testing insulin
11 sensitization. But I agree that what we know
12 is that glucose is the primary consideration,
13 you know, the level of blood glucose.

14 So on the one hand, the second study
15 was an important study to be done because it
16 tested what our original interest was.

17 DR. BONE: I think Dr. Critchlow's
18 point, if I can try to just bring it together
19 here, is that it is extremely difficult to
20 estimate the magnitude of benefit from the
21 second study in terms of glycosylated
22 hemoglobin or other things for which we have

1 good information about the magnitude of the
2 benefit.

3 Whereas the first study we have some
4 information, the second study, the design of
5 the study was from the point of view of
6 glycosylated hemoglobin reduction self
7 defeating because the insulin was being reduced
8 in a reciprocal way to the drug effect, so that
9 all of our ability to estimate the ability to
10 enhance control of glycosylated hemoglobin
11 depends essentially on the first trial.

12 However elegant, meritorious, and
13 informative the second trial may be, it doesn't
14 address that particular question which relates
15 to estimating the magnitude of the benefit.

16 Would the diabetologists here agree
17 with that?

18 DR. SHERWIN: Yes. I just have one
19 question. Of the 15 percent that came off
20 insulin in that second study, what was their
21 insulin initial dose? Was it the 30 unit type?
22 Or was it more the typical 75 unit level? Do

1 you know?

2 DR. WHITCOMB: My recollection is
3 that it was up in the mid-60s, was the mean for
4 that group that came off of insulin.

5 DR. BONE: So they weren't
6 dramatically different from the group as a
7 whole.

8 DR. WHITCOMB: No. And again, as I
9 have said on several occasions, our ability to
10 predict who is going to respond in a dramatic
11 fashion to the compound is difficult to do
12 based on insulin dose.

13 DR. BONE: So insulin secretory
14 capacity wasn't the issue. It has something to
15 do with this sensitization effect, I guess,
16 being somewhat variable.

17 Dr. Cara.

18 DR. CARA: Just to play devil's
19 advocate for a bit, let me ask you, maybe Dr.
20 Fleming, if you had a choice, would you prefer
21 to have a second study that largely confirms
22 the first? Or would you rather have the type

1 of corroborative, complementary study that was
2 done?

3 DR. BONE: We did have a choice.

4 (Laughter)

5 DR. FLEMING: You obviously did, and
6 you made it.

7 DR. SHERWIN: Based upon the
8 information they had at the time.

9 DR. FLEMING: Well, I think what we
10 want is both, quite frankly. And how can you
11 really have both with just two studies? You
12 can't, really, unless you accept that in broad
13 terms the second study corroborates the benefit
14 of the first study -- I mean, the general
15 implications of the first study.

16 Now, if I believe that first study,
17 that is probably enough for me to make some
18 kind of risk/benefit basis. I wouldn't expect
19 a replay of the study to differ a lot from
20 that. And so I'm considering that I have got a
21 study that tells me what the benefit is in
22 terms of improved glycemic control, though

1 strictly speaking, biostatistically we have not
2 confirmed it.

3 DR. BONE: I think one thing here is
4 that we are obviously seeing an element of the
5 program well in advance of the bulk of the
6 program, which we understand is coming any day.

7 Are there others who wish to comment
8 on our ability to estimate the clinical
9 significance, or to comment on the clinical
10 significance itself?

11 Dr. Zawadzki, how would you compare
12 this with, let's say, the magnitude -- it seems
13 to me this is about the same magnitude of
14 improvement as we saw in glycosylated
15 hemoglobin levels in the metformin studies.

16 DR. ZAWADZKI: I think that's true.
17 I think most studies show about the same amount
18 of improvement. I think the important thing
19 that this drug may have is that it may have a
20 separate niche in the way it is metabolized.

21 I wish we had more data about its
22 effects on renal status in patients. But

1 metformin is not an option in those situations,
2 and this drug may well be.

3 DR. BONE: Thank you. Other comments
4 about the clinical significance?

5 We have one more planned issue, which
6 is the significance of the potential risks.
7 And then after we get into that and before we
8 start addressing the questions for the
9 committee specifically, maybe we want to come
10 back to this question.

11 Now, it will have had a considerable
12 discussion about what population we would
13 recommend this for because while that is an
14 important question, as Dr. Sobel as mentioned
15 in the charge to the committee, it is implied
16 but not explicitly the subject of one other
17 question. So maybe we should deal with that as
18 sort of question A. Okay?

19 The next item on our agenda, and we
20 will move along here, is the significance of
21 the potential risks. And there are three we
22 have been asked to comment about, but certainly

1 the committee I think may appropriately wish to
2 raise other questions about potential risks of
3 treatment with this drug if they are not
4 covered in these three topics.

5 And the first has to do with
6 cardiovascular risk. Perhaps -- the second is
7 body compartment fluid distribution, and the
8 third is carcinogenicity.

9 Dr. Illingworth, would you care to
10 comment on the cardiovascular implications
11 here?

12 DR. ILLINGWORTH: Well, from the
13 lipid point of view and lipoprotein point of
14 view, I think we just need more information.
15 As studies have suggested that lowering
16 triglycerides change reciprocally HDL
17 cholesterol and also change the LDL particle
18 size from a small, dense LDL to a more fluffier
19 LDL, it is unclear which of those is
20 "beneficial." But in some studies, if you
21 allow for triglycerides, the association with
22 the small, dense LDL is lost.