

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

BACTERIAL CONTAMINATION OF PLATELETS

WORKSHOP

+ + + + +

Friday, September 24, 1999

+ + + + +

The workshop met in the Masur Auditorium, Building 10, National Institutes of Health, Bethesda, Maryland, at 8:15 a.m., Jay S. Epstein, M.D., Center for Biologics Evaluation and Research, presiding.

PRESENT:

JAY S. EPSTEIN, M.D., CBER

CHIANG SYIN, Ph.D., CBER

MORRIS A. BLAJCHMAN, Session I Chair and Speaker

MARK BRECHER, M.D., Session II Chair

LEONARD I. FRIEDMAN, Sc.D., Section II Chair

STEPHEN J. WAGNER, Ph.D., Session III Chair and
Speaker

JAMES P. AuBUCHON, M.D., Speaker

JOHN BARBARA, Ph.D., Speaker

MINDY GOLDMAN, M.D., Speaker

PRESENT (Continued):

JONG-HOON LEE, M.D., Speaker

CARL P. McDONALD, M.S., Speaker

PASCAL C. MOREL, M.D., Speaker

VIRGINIA R. ROTH, M.D., Speaker

MARK SEAVER, Ph.D., Speaker

LANCE TRAINOR, M.D., Speaker

ROSLYN A. YOMTOVIAN, M.D., Speaker

EDWARD L. SNYDER, M.D., Workshop Summary

JAROSLAV VOSTAL, M.D., Ph.D., Closing Speaker

C-O-N-T-E-N-T-S

Introduction, Dr. Chiang Syin	4
Keynote Address, Dr. Jay S. Epstein	5
Presentation by Morris A. Blajchman, M.D.	14
Presentation by Virginia Roth, M.D.	29
Presentation by Pascal C. Morel, M.D.	46
Presentation of John Barbara Ph.D.	58
Presentation of John-Hoon Lee, M.D.	71, 85
Presentation of Dr. Mindy Goldman	96
Presentation of Dr. Lance Trainor	101
Presentation of Leonard I. Friedman, Sc.D.	126
Presentation of James P. AuBuchon, M.D.	133
Presentation of Stephen J. Wagner, Ph.D.	164
Presentation of Mark Brecher, M.D.	175
Presentation of Mark Seaver, Ph.D.	191
Presentation of Morris A. Blajchman, M.D.	223
Presentation of Stephen J. Wagner, Ph.D.	231
Presentation of Carl P. McDonald, M.S.	240
Presentation of Lily Lin, Ph.D.	257
Workshop Summary, Edward L. Snyder, M.D.	275
Closing Remarks, Jaroslav Vostal, M.D., Ph.D. ...	287

P-R-O-C-E-E-D-I-N-G-S

(8:19 a.m.)

DR. SYIN: Hello. Good morning. My name is Chiang Syin. I'm the Chairman for the workshop.

Thank you for coming to this workshop.

Before I introduce the first speaker of the day, I have a couple of announcements. In your handout, we have the final agenda, and there may be a couple of versions circulating around, but in essence, we added two speakers in the first session. That's two immediate presentations that we added before the panel discussion, and we also have one more speaker for the second session.

Because we're adding two more speakers in the first session, so we decide to make an earlier break than what we originally planned. We will probably take a break after Dr. Lee is presenting FDA report.

And before I introduce Dr. Epstein, I would like to take this opportunity to thank our staff, especially our program coordinator Joe Wilczek and the staff in the Division of Emerging and Transfusion Transmitted Diseases for helping out to make this workshop a reality.

And I also would like to thank the members

1 of the Planning Committee to help me set up this
2 workshop, especially who is not in the original
3 Planning Committee is Dr. Jonathan Lasin (phonetic).
4 He's our Associate Director for Research. He has been
5 with me in the last four and a half months every step
6 of the way to set up this workshop. I take this
7 opportunity to thank all of them.

8 And right now with great pleasure let me
9 introduce our Office Director, Dr. Jay Epstein. He's
10 doing the opening speech.

11 Thank you.

12 DR. EPSTEIN: Thank you very much, Chiang.

13 And I would like to recognize the very
14 special efforts of Dr. Chiang Syin, who joined the
15 Blood Office only within the last year and has already
16 distinguished himself as a fine meeting organizer and
17 has been helpful to us on many subjects.

18 So if I could have the next overhead.

19 I think it's useful to focus on why we're
20 here, and so I've summarized what I think are the key
21 objectives of this workshop, primarily to obtain
22 current information on bacterial contamination of
23 platelets, and then also to encourage research and
24 development efforts to minimize the transfusion risk.

25 It's of useful historic note that we last

1 sponsored a workshop -- actually NIH and FDA co-
2 sponsored it -- in 1995 that was entitled "Microbial
3 Contamination of Blood Components." This meeting was
4 summarized in Transfusion in 1997, and those who would
5 like to read it, it was Volume 37, pages 95 to 101.

6 And that conference, I think, was very well
7 received. It was of excellent scientific quality, but
8 there was perhaps at that time an aura of
9 disappointment because people were hopeful that we
10 could get more out of it in terms of perhaps solutions
11 to the longstanding problem of bacterial contamination.

12 Merlin Sayers was the meeting summator
13 (phonetic). He noted that many of these issues were
14 not new; that the interest had been provoked by what
15 was called a mini epidemic of *Yersinia enterocolitica*
16 infection of red cells reported in 1991; that there was
17 a glaring absence of accurate incidence data and the
18 general recognition that reporting based on clinical
19 events was undoubtedly under reported, and there was a
20 need for culture surveillance.

21 It was felt to be critical to understand
22 most how to recognize and manage transfusion reactions,
23 and that's perhaps where the greatest progress was made
24 in the ensuing years.

25 And then Dr. Sayers was prescient in

1 calling for further investigation into novel screening
2 and detection methods, especially because the
3 conference had suggested that chemiluminescence would
4 be promising, although it had been somewhat abandoned,
5 and then he called for judicious and rational use of
6 blood as a general precaution and observed that there
7 was no single strategy that was going to solve this
8 problem, at least at that time.

9 Also as an outgrowth of the conference, the
10 Transfusion Transmitted Diseases Committee of the
11 American Association of Blood Banks issued a set of
12 recommendations that were published June 19th, '95, and
13 these were as follows:

14 That major emphasis should be given to the
15 development and evaluation of practical, sensitive, and
16 specific screening assays for the detection of bacteria
17 and platelet concentrates and for the development of
18 methods to decontaminate cellular blood products.

19 Secondly, that there should be a preference
20 for the use of apheresis platelets compared with random
21 donor platelets because of the reduced risk of
22 bacterial contamination on a statistical basis, single
23 unit versus pooling.

24 And that there should be strict adherence
25 to existing standards, which included scrupulous

1 attention to the selection and cleansing of the
2 phlebotomy site, careful attention to the expiration
3 date of platelets, and care in the aseptic handling of
4 blood components, and the observation of the importance
5 of visual inspection of units before transfusion as a
6 useful quality control.

7 Next, please.

8 So the concerns over bacterial
9 contamination have been with us as long as there has
10 been transfusion therapy. Sepsis is one of the
11 earliest recognized complications of transfusion.
12 Reporting of fatalities to the FDA since 1976 has
13 identified bacterial contamination as the cause in
14 approximately ten percent, although there was some
15 suggestion in early years that the rate was lower.

16 Also, among platelets, which stand out, the
17 reports are twice as frequent than for red cells,
18 presumably reflecting on a difference in contamination
19 rate, but a difference in outgrowth, related to the
20 fact that red cells can be stored at four degrees
21 Centigrade, whereas platelets are stored at room
22 temperature, once again highlighting the scientific
23 challenge of trying to achieve cold storage of
24 platelets.

25 Next, please.

1 So the current practices that will bear on
2 the risks include the collection; the question of
3 apheresis versus random donor; the true implication of
4 a single donor versus a pooled product.

5 It was noted in the 1995 conference that
6 there was an increased titre of bacteria in a
7 contaminated platelet transfusion when prestorage
8 pooling of units occurred, not due to an increased risk
9 of single unit contamination, but the fact that there
10 was a greater volume of media in which the bacteria
11 could grow.

12 As I've said, we store platelets at room
13 temperature, 22 degrees Centigrade; that we have
14 historically lowered the storage period for platelets
15 as an effort to reduce contaminations causing clinical
16 sepsis. We all know that the supply of platelets could
17 be improved if we could increase the storage period,
18 but this is a precarious concept at this time unless we
19 have strategies to exclude contamination in the units
20 that are being stored, and this will be discussed at
21 the conference.

22 So what I'll briefly do then is outline the
23 topics that will be covered in this workshop. First,
24 we'll focus on the clinical and epidemiological issues,
25 looking at the sources of contamination, the relative

1 roles of bacteremia as skin contaminations, coring and
2 phlebotomy, skin flaps, breaches of closed system.

3 The clinical manifestations that are
4 associated with either silent bacterial colonization of
5 a unit or outgrowth associated with sepsis; risk
6 factors for clinical events, such as the relative risk
7 of endotoxin and Gram-negative bacteria versus Gram-
8 positive.

9 We will review the range of organisms that
10 affect platelets. It's well recognized and remains
11 true that there are a very broad range of pathogens,
12 but particularly the associations with skin flora, skin
13 colonization with enterics, and perhaps less frequent,
14 but no less significant, breaches of closed system's
15 seroprocessing resulting in laboratory contaminations,
16 and then discussion of potential control measures.

17 We'll then shift gear from the clinical
18 side to surveillance efforts and try to compare and
19 contrast surveillance data that have been now obtained
20 from a number of international sources, including in
21 Canada a recent French hemovigilance system and its
22 earliest reports, and then a surveillance study on
23 serious hazards of blood transfusion, SHOT, in the
24 United Kingdom.

25 And it will be interesting to try to

1 compare these estimates and understand the very broad
2 range that's been observed. In the United States, we
3 have engaged surveillance since 1998. This was one of
4 the outgrowths of the 1995 conference, and we will hear
5 reports also on FDA fatality reporting and the
6 estimated prevalence based on error and accident
7 reporting.

8 Next please.

9 We'll then turn attention to possible
10 strategies of intervention. These fall into two bins.

11 One is testing strategies to try to identify
12 contamination. The other will be preventing measures.

13 In the area of testing strategies, we will
14 hear some theoretical discussions about what would
15 constitute an ideal test. We'll hear about variables
16 affecting the sensitivity and specificity of testing,
17 including the sample collection, nature of the
18 material, the volume, frequency, timing, et cetera, and
19 then review the relative merits of various detection
20 technologies which, again, span a broad technology
21 range, from manual procedures of staining and culture,
22 use of automated systems, and some of the emerging,
23 rapid diagnostic methods, including biochemical
24 technologies and nucleic acid amplification
25 technologies.

1 Next please.

2 Of course, it's always best to avoid the
3 contamination in the first place, and so we will hear
4 about innovations in the cleansing and disinfection at
5 the phlebotomy site. We will also hear some data
6 pertaining to reduction of risk related to discard of
7 the early volume of whole blood collection; the
8 possibility to augment bacterial clearance by
9 leukoreduction; the benefit or lack of benefit of
10 prolonged room temperature storage before separation.

11 And then also we will talk about some of
12 the emerging detection methods that are novel, like
13 labeled antibiotics, and then the promise or continued
14 promise and development of photochemical inactivation
15 using ultraviolet light and Psoralen compounds.

16 So all in all, I think -- slide off -- we
17 expect a very full day; that we will be hearing about
18 many promising advancements, and it's perhaps useful
19 just to keep in mind the overarching goal, which is to
20 see if we can identify useful interventions that could
21 be recommended for implementation at this time.

22 So in the interest of keeping to schedule,
23 I would just like to invite the moderators of the first
24 session on epidemiology to come up to the podium, and
25 they are Dr. Morris Blajchman, well known to everyone

1 in this field, who is Professor of Pathology and
2 Medicine at McMaster University in Hamilton, Ontario,
3 and then perhaps a little less well known to the group,
4 but one of the emerging stars is Dr. Matthew Kuehnert,
5 who is a medical epidemiologist in the Hospital
6 Infections Program of the National Center for
7 Infectious Diseases at the U.S. Centers for Disease
8 Control and Prevention in Atlanta.

9 And with that we'll proceed to the first
10 session.

11 Thank you very much.

12 DR. KUEHNERT: Good morning and welcome.

13 First, I have the pleasure of introducing
14 Dr. Blajchman. He trained at McGill in Montreal and
15 also at University of Pennsylvania, and in hematology
16 in England. He's on the faculty at McMaster and is a
17 professor in the Departments of Pathology and Medicine.

18 He's been active in research, to say the
19 least, in this field, and I think I can say is an
20 expert in the field and will be speaking today, be
21 giving an overview on the magnitude and mechanism of
22 transfusion associated bacterial sepsis.

23 Dr. Blajchman.

24 DR. BLAJCHMAN: Thanks, Matt.

25 I'm delighted to be here, although I must

1 say that I'm rather disappointed with the turnout, but
2 that's perhaps not too surprising. It seems to me that
3 if this conference had to do with variance CJD disease
4 where there hasn't been a single case of transfusion
5 transmission, this auditorium would be overflowing.
6 Yet the transfusion transmitted sepsis or bacterial
7 contamination is the first recognized transmitted
8 disease and, in my opinion, the most common
9 microbiological problem in transfusion medicine
10 currently, and aren't really interested and people
11 aren't listening.

12 We are contributing with bacteria to death
13 of many people unnecessarily, and we're not doing very
14 much about it.

15 And more importantly, and I hope this falls
16 on the right ears, and it's intended as a criticism,
17 the amount of money being spent in the United States
18 and elsewhere to create for not testing, developing of
19 not testing, and for devising tests for CJD and variant
20 CJD runs in the millions and millions and millions of
21 dollars, and I'd like to indicate that comparably tests
22 or money spent on devising tests or systems to detect
23 bacteria is very paltry at the same time.

24 And I think this is inappropriate and, I
25 think, is rather dangerous, quite frankly. And so I'm

1 dismayed about what has happened, but I'm pleased to be
2 at this meeting and to talk to you and to be on my
3 soapbox yet again to talk about this issue, and I'll
4 try over the next 20 minutes to review the epidemiology
5 and give you an idea of what I believe is the magnitude
6 of the problem.

7 Could I have my first slide, or I just
8 press?

9 I'm going to start. I'm a clinician. I
10 actually see patients from time to time. That's when
11 I'm not traveling, and I'm going to start this
12 presentation by describing a recent Canadian case. The
13 case is very illustrative for a number of reasons which
14 you'll see in a moment.

15 I'm going to tell you about the donor
16 history. Earlier this year, a blood donor, age 36,
17 healthy, he had many previous blood donations, and on
18 this date came in to present a whole blood donation,
19 which was subsequently processed into red cells,
20 platelets, and FFP.

21 Three days after donation -- he was well on
22 the day of donation and previously -- three days after
23 the donation, he presented to the emergency room at the
24 local hospital with a two day history of dizziness,
25 vomiting, fever, and diarrhea. He wasn't feeling very

1 well, and his temperature at the time was 37.9. The
2 doctors in the emergency room didn't quite know what
3 was going on, but they felt that he shouldn't be sent
4 home. So they kept him overnight in the emergency.

5 Can you hear me now? At the back? Speak
6 through here still.

7 They kept him in the emergency room
8 overnight for observation. Overnight he became
9 hypotensive, tachycardiac. When they did a CBC on him
10 -- they had done a CBC the night before, and his
11 platelet count was normal -- his platelet count had
12 dropped 35,000.

13 He was admitted to the ICU in septic shock,
14 and they did several blood cultures on him, and the
15 blood cultures grew staph. aureus.

16 His subsequent course, he had a loss of
17 consciousness, and he had a lot of support. His wife,
18 the day after admission to the hospital, remembered
19 that he had given blood five days earlier. So this was
20 reported to the doctors taking care of him, and the
21 information subsequently went to the blood centers to
22 try and pull back the units of blood.

23 Subsequently he had other investigations, a
24 CT scan. He had cerebral left parietal infarcts, and
25 he had some residual disease, but he's recovering, but

1 he has some neurologic deficits.

2 The donor -- sorry -- the recipient. This
3 is a 75 year old male with a mild dysplastic syndrome.

4 He was transfused with a pool of five random donor
5 units, including the four day old platelets on day four
6 of the donation, and what is very interesting and very
7 relevant, I think, in view of what's going to be coming
8 later in the day, he had no signs or symptoms
9 associated with the platelet transfusion, nothing.

10 This was done as an out patient. He had no signs or
11 symptoms.

12 As a result of being informed, his doctor
13 was called, and his doctor decided to check him out,
14 and when he arrived in the hospital, he had chills and
15 fever on arrival, and on admission to the hospital,
16 some cultures were done, and he grew the staph. aureus.

17 His course in the hospital was
18 deterioration and death eight days after receiving the
19 contaminant platelet unit.

20 Microbiological testing and other testing,
21 including antibiotic sensitivities, genotyping, genetic
22 fingerprinting show that the donor and recipient
23 isolates are identical. There were no platelet units
24 to be cultured. So we don't have the platelet units,
25 but the donor and recipient isolates are identical.

1 Now, the importance of this case, and I
2 think the reason I've chosen to present this case, is
3 the following: that this case was identified as being
4 due to transfusion, was only because the donor became
5 ill. There are many donors who carry bacteria or whose
6 donations harbor bacteria that are not recognized. So
7 case would not have been recognized, except for this
8 patient's subsequent presentation to the hospital.

9 And the other important part of this case
10 is the donor probably had a bacteremia. He was
11 subsequently found to have some cardiac abnormalities.

12 So he probably had at the time of donation sub
13 bacterial endocarditis. So his bacterial level was
14 rather small, and the recipient received a small dose
15 of bacteria which caused no symptoms.

16 And we'll hear later on, actually in the
17 next presentation, about the BaCon study. The
18 yardstick used in the BaCon study is a two degree rise
19 in temperature. So you can have -- I am sure that the
20 BaCon study, and I think that the people involved will
21 acknowledge this, that the BaCon study is the tip of
22 the iceberg. Cases like this represent the body of the
23 iceberg that took down the Titanic.

24 So that's why I started with this case, and
25 Roslyn Yomtovian and I were at dinner together last

1 night, and she and I have other cases that are similar
2 to this where the presentation in the recipient is
3 asymptomatic, essentially asymptomatic. Yet these
4 things contribute to the death of patients, but are not
5 recognized.

6 So if you just wait for patients to develop
7 high fever, you're going to miss cases.

8 Now, I'm going to try and give you the
9 magnitude of the bacteria contamination issue. We have
10 good data, plenty of data on the magnitude of
11 contamination in cellular blood products, and I'm going
12 to review this data with you.

13 There actually have been in the last decade
14 eight prospective studies evaluating the bacterial
15 contamination of random allogeneic donor platelets.
16 Close to 200,000 units in these eight studies have been
17 cultured, and I'm not proposing to go through all of
18 these studies. The references are here, and I think in
19 the handout there's a recent reference in a recent Vox
20 Sanguinis from Hong Kong.

21 But you can see if you pool all of this
22 data together, the contamination rate in bacterial is
23 something of the order of one in about 3,000, and this
24 is fairly consistent through all the studies that have
25 been done, and I've calculated a 95 percent confidence

1 intervals, which is indicated here.

2 So it's clear that one in about 3,000 units
3 of platelets are contaminated, contain bacteria, and
4 when you think that platelets, these units, are
5 regularly pooled, five, six, eight, ten at a time, the
6 incident, the number of contaminated infusates,
7 transfusates of platelets is considerably higher, of
8 the order of one in 500 pooled units has bacteria in
9 them.

10 This is orders of magnitude higher than any
11 other infectious problem that we deal with, orders of
12 magnitude higher. Yet we're not doing anything about
13 it.

14 These are similar sort of data. There are
15 fewer studies, five studies looking at apheresis
16 platelets, and the references are shown here. There
17 have been five studies. A smaller number of platelet
18 units have been cultured, but again, the number are
19 very similar. This amounts to about one in about 5,000
20 units. The confidence units are quite broader because
21 the numbers are smaller.

22 So, again, platelet, both random donor and
23 apheresis platelets, regularly are contaminated with
24 bacteria.

25 With regard to red cells, I've been able to

1 find only two studies, and there's only one red cell
2 unit that was contaminated of some close to 40,000 with
3 a rate something on the order of 50,000. Why this is
4 different, but the confidence intervals here cannot be
5 calculated. They're huge.

6 So to put this all together, this is the
7 rate of contamination from the prospective studies of
8 random donor, one in about 3,000 units, apheresis, one
9 in about 2,000 units, and RB cells, one in about 30,000
10 units. So this bacterial contamination occurs, and
11 we've known about this for a century or almost a
12 century because that was, as Jay mentioned earlier, the
13 first problem with blood transfusions.

14 Now, if you look at -- I'm not going to go,
15 and others will, into these types of flora, but you can
16 see if you break down the flora that are isolated from
17 these various contaminated units, the vast majority of
18 skin flora, with some of them being enteric flora, and
19 some environmental flora, but the majority are skin
20 flora. It's the skin that contributes to the
21 contamination.

22 Now, what are the mechanisms? I don't have
23 a great deal of time to go into this, but the three
24 possible mechanisms include donor bacteremia,
25 contamination during blood collection, and

1 contamination during blood processing, and I'll go
2 through this a little bit.

3 Source of donor bacteremia. Well, you can
4 have asymptomatic bacteremia, for example, as occurs
5 with people with enterocolitica infection, chronic gut
6 infection, and they have transient and often regular
7 bacteremia that is largely asymptomatic.

8 You have patients with chronic low grade
9 infections, with osteomyelitis, and you can get
10 transient bacteremias following a dental and medical
11 procedure.

12 And one of the things that always has
13 bothered me is that I wonder whether we also get
14 transient bacteremia when we put the needle or the
15 nurse puts the needle in the donor's arm. People
16 clench their teeth when they sort of clench their fists
17 and their teeth, and I wonder. Some of the donors with
18 poor oral hygiene, the clenching of teeth could very
19 easily create a transient bacteremia that can cause a
20 problem, and I wonder if that isn't a factor here.

21 There's been a rather interesting infection
22 epidemic of sorts or small epidemic of Yersinia
23 enterocolitica in New Zealand. The reference is
24 published in the Australian and New Zealand Journal of
25 Medicine, but they found eight cases of transfusion

1 transmitted Yersinia over a five year period, of these
2 eight cases, five resulting in death. The calculated
3 incidence rate is one in 65,000, transfusion associated
4 fatality rate, one in 100,000.

5 This fatality rate appears to be 80 times
6 higher than that appears in the United States. Why
7 that's so, I suspect part of the difference reflects
8 the fact that the reporting rate in the United States
9 is lower than it should be and could be, and the
10 antibody screening was of limited utility. This was
11 reported in an exchange of letters to the editor that's
12 shown here.

13 Now, you can have contamination during
14 blood collection or blood component manufacture. That
15 may be due to inadequate skin disinfection. Others
16 will talk about this issue this afternoon.

17 Scarred phlebotomy sites, there are
18 publications showing that if you use the same site, and
19 donors like the nurses to use the same site, this is a
20 source of infection.

21 There have been reports of contaminated
22 apheresis solutions, contaminated water baths or
23 exteriors of packs, and the issue of skin chlorine,
24 which is my sort of favorite topic.

25 And just the sources of skin flora specific

1 contamination is inadequate preparation, scarred site,
2 and skin chlorine, and there's a paper in Lancet way
3 back in 1958, 40 years ago, and these authors, Gibson
4 and Norris, show that regularly when you stick needles
5 into the skin, you get skin fragments. I'm sorry.
6 This is a glass slide, and it broke in travel, but they
7 used different sizes of needles, and showing regular.
8 The n is 50 here, and you can see almost 70, 80 percent
9 of the skin fragments was associated with each stab.

10 So this occurs regularly. Every time or 80
11 percent of the time that we put a needle into the skin,
12 we inadvertently or unwittingly do a skin biopsy.

13 This, to remind those of you, is the sort
14 of diagram of the morphology of the skin. We clean the
15 surface of the skin, but bacteria reside often in the
16 appendages of the skin, the sweat glands, the hair
17 follicles.

18 When we put a needle and do the skin
19 biopsy, if we go through such an appendage, we
20 inadvertently can take that skin and, because we don't
21 clean that part of the skin, there can be bacteria
22 associated with that appendage.

23 While there is no direct evidence for this,
24 there's indirect evidence. The people are starting to
25 remove the first aliquot, suggests that you can reduce

1 the contamination rate by doing that.

2 The magnitude now of the sepsis problem.
3 I've shown you the magnitude of the contamination
4 problem. Now many, most in fact, and luckily so, most
5 of the units of blood, the cellular blood products,
6 contain low levels of bacteria that are not going to be
7 necessarily pathogenic to the recipient, and we really
8 don't have a good idea, and what we're really trying to
9 prevent is not bacteremia, but septicemia, although
10 bacteremia, as per the case that I presented, can, even
11 though a low level of bacteremia, can cause significant
12 morbidity and mortality, but most probably do not.

13 And what is the magnitude of the septic
14 problem? Well, we don't really know. We know that
15 bacteremia due to red cells, contaminated red cells, or
16 platelets is of the order of one in 2,000, one in
17 3,000. I suspect that maybe five to ten percent. So
18 the magnitude of that may be one in 50,000, and we'll
19 hear data from France that may tend to support that
20 number.

21 And this is the sepsis associated with
22 contaminated platelet concentrates and sepsis due to
23 contaminated red cells, we don't really know, but it
24 may be of the order of one in 500,000.

25 Now, I want to make the following point. I

1 don't have time to go into this Paling perspective
2 scale that sets risk on a log scale. We're paying an
3 awful lot of attention to this theoretical disease CJD
4 and variant CJD, and there is yet to be a case of
5 transmitted -- that's why I put it out here with an
6 arrow coming in here. There might very well be a case
7 soon, maybe. It wouldn't surprise me because
8 presumably if you can get CJD from eating a hamburger,
9 there probably is a hematologic -- a period of time
10 where the prion, the infectious agent, is in the blood
11 stream. If you have enough of this, you might get a
12 case.

13 The HIV, HIV-1, HCV of the order is down
14 here, one in 100,000 to one in million. Bacteria based
15 on my estimates at least and from the literature is
16 somewhere between one in about 2,000 to one in 10,000
17 or thereabouts, and we're not paying very much
18 attention to this.

19 We're paying lots of attention to this,
20 lots of attention to this, lots of funds as I've
21 already said devoted to detection tests, lots of funds
22 and narrowing the window, and I'm not saying this is
23 inappropriate.

24 But what about bacteria? The size of the
25 audience, the amount of money having been spent to

1 develop systems to prevent this is indicative of a
2 serious problem that we haven't paid enough attention
3 to this issue.

4 And I want to issue the challenge, and I
5 hope as a result of this meeting that we can see some
6 more action to prevent transfusion associated sepsis,
7 which as I've said, currently in my view is the most
8 problematic, is the biggest problem in microbiology
9 relating to transfusion safety.

10 I've estimated that probably 20 to 40
11 deaths per year occur in the United States each year,
12 many of which can be prevented.

13 Thank you for your attention.

14 (Applause.)

15 DR. KUEHNERT: Thank you, Dr. Blajchman.

16 I wanted to ask you the first question,
17 which was the first case you presented was very
18 interesting, and I wondered what you thought, what
19 intervention could be made to screen donors that look
20 like on the donation at least, at the time of donation
21 that there was nothing that would have indicated a
22 problem, but on follow-up what would you suggest be
23 done to try to capture those events?

24 DR. BLAJCHMAN: Well, I think the approach
25 that I have suggested and will continue to suggest is a

1 routine bacterial culture of units of blood. I think
2 we can argue whether this should be done on day one,
3 day two, day three, what methodology, but some sort of
4 screening of units needs to be done.

5 And if that had been done in this case, it
6 might have prevented that patient's death because the
7 recipient received the platelets on day four, and most,
8 if not all, of the deaths relating to platelets that
9 have been reported have occurred on platelets that were
10 either four or five days old. I think by that time we
11 could have detected the bacteria.

12 DR. KUEHNERT: And the other I wanted to
13 just clarify is that, as Dr. Roth will go into with the
14 BaCon study criteria, that the signs and symptoms are
15 either/or. So fever does not have to be necessary in
16 order for it to qualify as a case.

17 DR. BLAJCHMAN: So what's the question?

18 DR. KUEHNERT: Oh, there is no question.
19 It was just a point of clarification.

20 Are there any questions otherwise?

21 (No response.)

22 DR. KUEHNERT: Well, next I'd like to
23 introduce someone I know well, Virginia Roth. She
24 received her medical degree from the University of
25 Ottawa, certified as a Fellow of the Royal College of

1 Physicians and Surgeons of Canada in internal medicine
2 and infectious diseases; also trained in England,
3 receiving her diploma of tropical medicine in hygiene;
4 joined CDC in 1998 as an EIS officer in the Hospital
5 Infections Program, and is the co-coordinator for the
6 BaCon study, and she's going to be presenting the
7 preliminary results from the BaCon study.

8 Virginia.

9 DR. ROTH: Thank you very much.

10 It gives me great pleasure and I consider
11 it a great honor to be here to present the initial
12 results from the BaCon study, and I want to start by
13 thanking you all for your interest in this study and
14 many of you for your support.

15 The BaCon study represents the first
16 coordinated, national effort to collect data on
17 episodes of blood component bacterial contamination
18 associated with transfusion reaction in the United
19 States.

20 Listed on this slide we have the
21 participating organizations: the American Association
22 of Blood Banks, American Red Cross, CDC, and the
23 Department of Defense.

24 Since the focus of this workshop is
25 platelets, I want to clarify at the outset that the

1 BaCon study monitors reactions associated with all
2 blood components, not just platelets.

3 I would like to begin by acknowledging the
4 other members of the BaCon study committee, all of whom
5 have put in an enormous amount of time and effort into
6 the success of this study.

7 Between 1985 and 1997, CDC received
8 increasing numbers of reports of transfusion associated
9 Yersinia enterocolitica infection with high mortality
10 rates. This heightened concerns about bacterial
11 contamination as a blood safety issue.

12 We'll be hearing a little later about the
13 FDA reporting system from Dr. Lee, but as most of you
14 are aware, FDA reporting is mandatory only for
15 transfusion reactions involving death. CDC
16 notification of nonfatal events has been inconsistent.

17 Thus, there has been no formal national reporting
18 system to collect data on these nonfatal events.

19 Under reporting was a widely recognized
20 problem leading to a perceived need for a national
21 effort to coordinate reporting of blood product
22 bacterial contamination.

23 In 1997, July '97, in response to this
24 perceived need for a national surveillance system,
25 organizations that collect and distribute blood in the

1 United States approached CDC for assistance.
2 Subsequently, AABB, ARC, and DOD were funded to improve
3 national reporting of data.

4 CDC also supported this effort by providing
5 technical assistance, including laboratory support and
6 data management.

7 In August of 1997, AABB, ARC, DOD and CDC
8 met to develop plans for a nationwide effort. A lot of
9 initial effort was directed towards education of
10 clinical and transfusion personnel because it was
11 recognized that one of the most important steps was to
12 heighten awareness among those on the front line, the
13 nurses and the bedside clinicians who were responsible
14 for recognizing a transfusion reaction.

15 As part of these educational efforts, the
16 study committee provided pocket sized transfusion
17 reaction work-up cards, and these are in your folders.

18 They're laminated cards that are suitable for slipping
19 in a lab coat pocket, and they contain the BaCon study
20 criteria.

21 The committee also implemented a standard
22 case report form, and that's also included in the
23 handout that you have there for you to look at, and
24 distributed a slide set and script describing the
25 background, methods, and recommended work-up, and this

1 slide set is suitable to use as a teaching tool.

2 The purpose of the BaCon study is to
3 determine the rates of bacterial contamination of blood
4 components associated with transfusion reaction, which
5 have only been estimated through extrapolation in the
6 past.

7 Secondly, to identify the pathogens
8 associated with bacterial contamination, and to
9 estimate their relative frequency; to identify risk
10 factors for bacterial contamination; and last, to
11 identify factors associated with recipient morbidity
12 and mortality. In other words, to describe the
13 characteristics of those recipients who do well and
14 those who do poorly or to on to die.

15 Here you can see the BaCon study criteria
16 for an adverse transfusion reaction. These criteria
17 were developed by a consensus conference and data
18 accumulated from events before BaCon. The criteria
19 listed here can include one or more, so any of the
20 following signs or symptoms.

21 And the cutoff time at the onset of the
22 study was that the symptoms had to occur within 90
23 minutes of the transfusion. Now, Dr. Blajchman just
24 presented a case that would not fit into this criteria.

25 I know Dr. Yomtovian and others have seen somewhat of

1 a delay in reaction, and I'll talk about some
2 discussion around this 90 minute cutoff in a minute.

3 But for the purposes of this discussion,
4 the criteria could include one or more of fever,
5 including a temperature of greater than or equal to 39
6 degrees or greater than or equal to two degrees Celsius
7 rise from baseline; rigors; tachycardia, defined as a
8 heart rate of greater than or equal to 120 beats per
9 minute or a 40 beat per minute rise; or a change in
10 systolic blood pressure, either a rise or drop of 30 or
11 greater millimeters of mercury.

12 Once a case meets these criteria for an
13 adverse reaction, this flow chart shows how cases are
14 reported to BaCon. The clinical service, transfusion
15 service, and collection facility each have equally
16 important roles in reporting and investigating a
17 transfusion reaction.

18 The clinical service is asked to report any
19 suspected reactions to the transfusion service.
20 They're asked to obtain the recipient blood culture and
21 to record clinical data on the recipient adverse
22 reaction form.

23 They are also asked to save the unit, the
24 implicated unit, and the transfusion set and forward
25 them to the transfusion service.

1 The transfusion service then evaluates and
2 investigates this possible reaction according to their
3 standard operating procedure, including a Gram stain
4 and a culture of the unit. They're also asked to
5 record any manipulations that have been done on the
6 unit, and if the findings are consistent with an
7 episode of bacterial contamination, the transfusion
8 service is asked to notify the collection facility.

9 The collection facility then compiles the
10 data from the clinical service and the transfusion
11 service, initiates trace-back of co-components and
12 donor review, and then notifies the coordinating
13 organization through whom cases are reported to BaCon.

14 The BaCon study was launched January 1st,
15 1998, with an intensive educational effort. BaCon
16 study materials were sent to over 7,000 hospitals
17 through their respective affiliations.

18 In addition, over 60,000 data cards were
19 distributed to clinicians, and an Internet site was
20 created.

21 I want to show you now the preliminary
22 results for the first 18 months of the study. So this
23 spans January 1st through June 30th, January 1st, '98,
24 to June 30th, 1999.

25 During this time period, we received 12

1 reports that met the BaCon criteria as definite cases,
2 and I use the term "definite" here because these are
3 cases in which the recipient culture was exactly the
4 same by molecular typing as the organism recovered from
5 the blood component. So in these cases, there is no
6 question that bacterial contamination was the cause of
7 the recipient reaction.

8 I'll show you a little later a summary of
9 reports received which did not meet these stringent
10 criteria as definite cases.

11 So for these 12 cases, the mean age of the
12 recipient was 57 years. Fifty-eight percent were
13 female. The most common underlying recipient diagnoses
14 were malignancy in five episodes or gastrointestinal
15 bleed in five episodes, and three of the 12 or 25
16 percent had a fatal outcome.

17 Here you can see the storage time of the
18 implicated blood product. The first column shows the
19 type of blood product received in these 12 cases, and
20 as you can see, the majority involved platelet. Five
21 episodes involved pooled platelets and six platelet
22 pheresis. In only one of these 12 was a red blood cell
23 unit implicated.

24 Allowable storage time refers to the number
25 of days that the product could be stored post donation,

1 and days of storage refers to the number of days that
2 the unit was stored before transfusion.

3 So for the first line here, platelet pool,
4 all five of these platelet pools were transfused on the
5 last allowable day of storage on day five. On the
6 platelet pheresis, the mean days of storage was four
7 days, with the range of two to five days, and the red
8 blood cell unit was 35 days old at the time of
9 transfusion.

10 As you can see, a wide variety of organisms
11 were implicated here, the majority of which were Gram
12 positive rather than Gram negative, and again, you can
13 see that a lot of these can be part of skin flora.

14 We've got two episodes involving staph.
15 aureus, two with Group B strep., two with staph. epi,
16 one Group G strep. and one staph. lugdemensis.

17 And among the Gram negatives, we had an
18 enterobacter aerogenes, enterobacter cloacae, E. coli,
19 and a serratia liquifaciens.

20 This shows the recipient signs and symptoms
21 as reported to us. Interestingly, all of them, 100
22 percent, reported rigors. Of the ten episodes for
23 which information about fever was available, nine of
24 the ten reported fever, and then in decreasing order of
25 frequency, other signs and symptoms included

1 tachycardia, nausea and vomiting, lumbar pain,
2 shortness of breath, low blood pressure, or high blood
3 pressure.

4 One of the purposes of the BaCon study was
5 to identify risk factors associated with fatality.
6 Now, before I walk you through this table, I want to
7 caution you that this analysis is based on a small
8 number of cases. We're comparing here three fatal to
9 nine nonfatal events.

10 Nevertheless, I think it's kind of
11 interesting to look at some of the trends that are
12 starting to emerge, and I wanted to share these trends
13 with you. Keep in mind that as we accrue more cases,
14 this type of analysis will become a lot more
15 meaningful.

16 If we start with age, looking at age as a
17 possible risk factor, fatal cases tended to be older,
18 with a mean age of 81 compared to 49 in nonfatal cases.

19 This did not quite reach statistical significance.

20 Gram negative organisms were implicated in
21 all three fatal reactions compared to one of nine
22 nonfatal reactions.

23 Platelet storage time in days tended to be
24 longer in fatal reactions than nonfatal reactions, 4.8
25 days versus 2.5 days.

1 Pretransfusion antibiotics refers to
2 recipients who were already on antibiotics for another
3 indication. We're not advocating prophylactic
4 antibiotics before transfusion here, but these
5 recipients could have been on antibiotics for another
6 reason. None of the fatal cases had received
7 pretransfusion antibiotics compared to two of eight
8 nonfatal cases, and this was not significant.

9 And lastly, the last factor is not
10 significant either, but it's a very interesting trend.

11 If you look at the time between the onset of symptoms
12 until the time antibiotics were started, it was longer
13 in fatal cases, over six hours from the time the first
14 symptom was recorded compared to 119 minutes in
15 nonfatal cases.

16 So it makes you question whether there's an
17 association between delay in treatment and a poor
18 outcome.

19 Here, an additional eight cases in which
20 bacteria contamination could have been related to
21 adverse recipient reaction. Of these eight cases,
22 seven of these were fatal, and these fatalities were
23 reported to us through FDA.

24 Three of the episodes never had a recipient
25 blood culture done, and you can see the implicated

1 organisms in these cases. Three episodes the blood
2 product was never cultured, and the last two episodes,
3 the same species, pseudomonas in one episode and staph.
4 aureus in the second, were isolated from the recipient
5 and from the blood product, but the isolates were
6 discarded and the transfusion product was discarded
7 before these could ever be confirmed by molecular
8 typing.

9 These episodes illustrate that we need to
10 continue to get the message out of the importance of
11 obtaining both the recipient and a blood product
12 culture and of saving these isolates until bacteria
13 contamination can be confirmed or ruled out.

14 Here is a summary of episodes received by
15 the BaCon study committee during the first 18 months of
16 the study, January '98 through June '99. I already
17 showed you the analysis based on the 12 confirmed
18 cases, and the last slide summarized the eight in which
19 reporting was incomplete.

20 In addition to these, we received five
21 reports in which the recipient blood culture was
22 negative. Two of these five recipients were on
23 antibiotics at the time the culture was obtained. In
24 an additional two cases, molecular typing by post fill
25 electrophoresis (phonetic) showed that the organisms

1 were not the same, and one of these was actually
2 asymptomatic, for a total of 27 reports.

3 In addition to these 27 reports, ARC and
4 AABB have received about three times this number of
5 reports that never met the criteria as a BaCon case.

6 Has the BaCon study influenced reporting to
7 CDC of transfusion reactions associated with bacterial
8 contamination? This graph shows sort of the pre-BaCon
9 era, so the ten years before BaCon, from '88 through
10 '97, and the last bar here is the first complete year
11 of BaCon that we have data for, which is 1998.

12 So if you compare the ten years prior, you
13 can see that 31 events were reported to CDC for an
14 average of three events per year. Twenty of these 31,
15 or 64 percent, were fatal.

16 In the first year of BaCon, in contrast, we
17 had nine events reported in one year. So we've got
18 essentially a tripling of reports, and one of the nine,
19 or 11 percent, were fatal compared to 68 percent in the
20 period before.

21 This suggests then, in summary, that where
22 BaCon has improved the reporting of nonfatal cases, we
23 received 12 definite cases through the first 18 months
24 of data collection. Rigors and fever appeared to be
25 the most sensitive clinical measures of the transfusion

1 reaction due to bacteria contamination, and most of our
2 cases were associated with platelet units and with Gram
3 positive organisms.

4 Although we have only very few cases to
5 look at, we can make some inferences about fatality.
6 Fatality in the cases we've received so far appears to
7 be associated with Gram negative organisms, with
8 platelet units transfused at the end of allowable
9 storage time, older age of the patient, and lack of
10 promptness in initiating antimicrobial therapy.

11 These last two factors were not
12 statistically significant. However, a trend was seen.

13 The development of a standardized data
14 collection tool has been a major accomplishment of this
15 study, providing consistent information for risk factor
16 analysis.

17 We have also been receiving feedback from
18 the participants who feel that BaCon provides important
19 services. About 75 percent of the cases we've gotten
20 so far have been from small facilities who do not have
21 reference laboratories that they can turn to, and
22 they've welcomed the technical support on point of
23 contact provided by BaCon.

24 BaCon has the ability to detect unusual
25 clusters of organisms. We keep all recovered organisms

1 in a repository, and when we isolate a causative
2 organism, we can compare its molecular typing profile
3 with those of previously isolated strains implicated in
4 other cases.

5 In this way we're able to detect any
6 unusual clusters. To date, all of the organisms we
7 have received have been unique. None of them have been
8 the same by molecular typing.

9 BaCon illustrates what can be accomplished
10 through good interagency cooperation between federal
11 agencies and major coordinating blood banking
12 organizations, and this collaboration has really worked
13 very well.

14 BaCon serves as a model surveillance system
15 for adverse events associated with blood and blood
16 product transfusion. Other countries who are
17 interested in designing their own surveillance systems
18 are asking questions about our protocol.

19 And lastly, we believe that BaCon has
20 increased the level of awareness among clinicians and
21 transfusion services. We've received anecdotal
22 feedback that the awareness of the BaCon study has been
23 the impetus for recognizing and reporting some of these
24 cases. We hope that the increased awareness will then,
25 in turn, lead to a timely work-up, making a correct

1 diagnosis, and initiating effective therapy promptly,
2 which is the ultimate goal of the BaCon study, to
3 improve patient outcome.

4 BaCon has some well recognized limitations
5 as a national surveillance system. Despite our
6 educational efforts, under recognition and under
7 reporting continue to be problematic, and they're
8 difficult to estimate.

9 In addition, participation rates are not
10 easily measured, and this includes participation by
11 clinicians, by transfusion services, and by donor
12 centers.

13 The denominators we will be using to
14 calculate rates will be in terms of the number of units
15 distributed, not the number of units transfused. If
16 anything, this would tend to under estimate the rate.

17 What is in store for the future of BaCon?
18 Denominator and participation data will be used to
19 calculate U.S. national rates of bacterial
20 contamination at baseline and for comparison over time.

21 Ongoing educational efforts in data collection are
22 crucial in improving recognition, reporting, and prompt
23 work-up and treatment of transfusion related sepsis.

24 Funding will now provide remuneration for
25 reported cases to compensated participating transfusion

1 services for the time and effort in reporting these
2 cases to BaCon. This is largely in response to
3 concerns of a high responder burden from the
4 participants.

5 Also, keep in mind that this is a voluntary
6 reporting system, and so we're hoping that with some
7 incentive we may improve participation and reporting.

8 CDC has committee to continue working with
9 FDA and coordinating blood banking organizations to
10 improve reporting, investigation, and most importantly,
11 prevention of these events.

12 And in your handout you will find a
13 document entitled "BaCon Update," and in that update
14 it describes the funding schedules that will be
15 available. It also makes mention that we have agreed
16 to extend the time from symptom onset from 90 minutes
17 to four hours. So we're increasing the window of time
18 in which symptoms can occur.

19 And finally, I'd like to emphasize that the
20 BaCon study is not just a single year effort. The
21 study is ongoing, and we continue to seek reports of
22 transfusion reaction related to bacterial
23 contamination.

24 You have in your handouts as one of the
25 slides my contact information. Please feel free to

1 visit our Web site. There's educational materials on
2 the Web site that you can avail yourself to, slide
3 sets, and the case definition is on the Web site, or
4 feel free to call or E-mail me with any questions or
5 any further case reports.

6 Thank you.

7 (Applause.)

8 DR. KUEHNERT: Thank you, Virginia.

9 In the interest of time, we're going to be
10 holding questions until the discussion panel later on.

11 Next I'd like to introduce Dr. Pascal
12 Morel, a medical officer at the Besancon -- excuse me.

13 My French is not the most fluent -- Blood Bank in
14 France. He graduated from University of French Medical
15 School; has received Master's degrees in cell biology
16 and molecular biology; serves as a member of the
17 National Work Group Bacterial Incidence created by the
18 French Blood Agency; and his research program includes
19 the detection of bacteria in blood or blood products.

20 He's going to be speaking on the French
21 experience in the prevention of transfusion incidence
22 due to bacterial contamination in France.

23 DR. MOREL: Thank you.

24 It's time for you to test a little French-
25 English. I will try to do my best.

1 Good morning, ladies and gentlemen. First,
2 I would like to express our overwhelming thanks to the
3 organizers of this workshop for inviting us and giving
4 us the opportunity to present our experience in the
5 bacterial contamination of the transfusion blood
6 components.

7 I will talk about TRBC for transfusion
8 reaction due to bacterial contaminations throughout my
9 presentation.

10 The first slide. The second. The next,
11 please. Oh, it's okay. It's not a good button.

12 My presentation is divided into four parts.
13 In the first, I suggest to briefly remind you about
14 the organization of the hemovigilance alert system and
15 the organization, our older network, and the
16 implementation of action over the last five years.

17 Secondly, the findings of the alert system
18 over the five years.

19 And next, the results of the research
20 program implemented during this period.

21 This is the organization of the alert
22 system in France. Relatively complicated. Prior to
23 this, the transfusion incidents were not reported at
24 the national level, and now a local level rests on a
25 network of hemovigilance correspondents in the blood

1 bank and in the hospital, are activated by an
2 information coming from the health workers which an
3 incidence has occurred.

4 The record system alert aims for, first,
5 preventing other incidents; analyzing the consequences
6 and the origin of each incidence; and too hemovigilance
7 correspondents have to grade the severity and
8 imputability for each cases. They have to send a form
9 to original system in order to activate original plan
10 of action, and they must send notification form to the
11 Agence Francaise du Sang, French Blood Agency, within
12 48 hours when the case is serious or if more than one
13 blood components is involved.

14 Concerning the severity and the
15 imputability, the severity is graded on the scale with
16 four levels: minor symptoms, long term death risk,
17 which is not really useful in the bacterial
18 contamination of the blood products, except perhaps
19 with syphilis or some diseases like that, death threats
20 and death.

21 The imputability or the reliability -- I
22 don't know the exact word in English -- is rated on a
23 scale with five levels: excluded, doubtful, possible,
24 probable, and definite.

25 It's interesting to note at this time that

1 when the imputability is graded probable or definite,
2 the bacteria was discovered in the blood products.

3 It was necessary to improve the quality of
4 the imputability grading because at the beginning of
5 the emerging systems, the imputability grading was
6 analyzed at the time of recording the case in the
7 French Blood Agency over the data gathered by the
8 correspondent of hemovigilance, and only on the data
9 available at this time.

10 That's why the French Blood Agency created
11 a work group composed of experts in the bacteriology,
12 in hygiene, epidemiology to analyze the level of
13 imputability and to improve it. This group aims to
14 raising physician awareness, to make recommendation,
15 and to suggest modification to the operating procedure.

16 But it was not enough at this time, and it
17 was necessary to improve the imputability grading, and
18 with the set-up of the BactHem study, on which I will
19 speak later, field investigator helps the
20 correspondents of hemovigilance at the beginning of the
21 investigations and in their data gathering.

22 Over the last five years, 22 percent of the
23 deaths due to transfusion of blood components were due
24 to the contamination, the bacterial contamination of
25 the products. This is the first cause of death

1 associated to transfusion over the last five years.

2 The problem of the imputability is not
3 alone. There is another weakness of the system that is
4 the quality of the quality of the bacterial analysis.
5 This slide shows that more than 23,000 transfusion
6 incidence notification were recorded by the French
7 Blood Agency. Among these, 7,030, suspicion of TRBCs
8 were mentioned, and only 185 cases were confirmed.

9 What is very interesting is one-third of
10 these 74 unconfirmed suspicions were due effectively to
11 an exclusion because the bacterial contamination was
12 not enclosed (phonetic) or the transfusion in general
13 was not enclosed, but in two -- sorry -- in over two-
14 thirds of cases, of these cases, the exclusion was due
15 to an invalid inquiries or investigation, and notably
16 the bacteriological analysis.

17 This pie chart presents the number of
18 deaths and number of deaths and death rates and minor
19 reaction over this period. Ten percent had a fatal
20 outcome. Twenty-six percent present vital threats, and
21 64 percent, minor reactions.

22 It's interesting to note in this slide that
23 over the two last years the high level of severity
24 increased notably, and it could be explained by better
25 quality of the inquiries.

1 This slide only to show the part of the
2 platelets in the TRBCs, more than 37 percent. This is
3 a complicated slide, and it's perhaps better to show
4 the bottom on these slides.

5 For the incidence of TRBC with pooled
6 platelet concentrates, the rate is in France now one
7 TRBC out of 15,000 pooled platelet concentrates,
8 distributed units. For other (phonetic) of these
9 platelets, one death out of 135,000 distributed units.

10 The incidence concerning the red blood
11 cells is respectively five to 12 less than APC or PPC.

12 The range for the blue platelet
13 concentrates of the incidence is very, very
14 interesting, between 26.3 and 156 at this confidence
15 interval.

16 In fact, in France it's possible to
17 consider at this time that for all blood components we
18 can observe one death out of 909,000 and one death
19 threat out of 27 -- 20 -- sorry -- 270,000 and one TRBC
20 out of 135,000 distributed units.

21 Concerning the recipients/victims of TRBC,
22 the mean age over this period was 62.2 years from birth
23 to 94 years old. The proportion of male is quite
24 different than the BaCon study with the proportion of
25 male more than 73 percent.

1 The people are previously transfused in 80
2 percent of cases and are on antibiotics for 50 percent
3 of them, and with disease, the origin of the
4 transfusion with a certain degree of familial
5 (phonetic) efficiency for 83 percent of them and under
6 treatment with a certain degree of immunodeficiency for
7 58 percent of them.

8 Are relevant these type of characteristics?

9 That is, this characteristic has a difference between
10 this type of recipient with TRBC and a controlled
11 population without. This is the answers we expect from
12 the BactHem study inference.

13 This is the frequency of the symptoms
14 reported during these five years. Of course, shivers
15 of rigors. I talk about shivers. I don't know if it's
16 different. Fever are the first and the most important
17 symptoms. More than 70 cases over this period.

18 The tachycardia is also important in
19 number, and it's important to note that in more than
20 ten percent of the case, the shock was the first
21 symptoms of the case.

22 The delay in appearance of the first
23 symptoms is quite different between the Gram negative
24 bacteria and the Gram positive bacteria, 15 minutes for
25 the first and 68 minutes for the second.

1 The delay in appearance of shock when the
2 cases was serious was 90 minutes, with big range
3 between 15 minutes and five hours, and after the first
4 symptoms, quicker with ten minutes only.

5 This pie chart represents the bacteria
6 reported in TRBCs involving platelet concentrates.
7 It's consistent with the previously published data. A
8 big part of coagulase negative staphylococcus and part
9 important bacillus.

10 The Gram negative bacteria represent more
11 than 36 percent and all were in severe cases.

12 It's surprising to note that the bacterial
13 in TRBC involving blood red cells is staphylococcus and
14 streptococcus -- represents in half of the cases, and
15 it could be perhaps explained by the taking into
16 account of all of the cases with minor reactions.

17 This slide only to show you the list of the
18 bacteria implicated -- involved in the resulting death
19 of the patient. Almost 90 percent of the case were
20 Gram negative bacteria with enterobacter, E. coli,
21 Yersinia enterocolitica, and 51 percent platelets were
22 involved.

23 When the result was vital threats, the part
24 of the Gram negative bacteria still rests near 50
25 percent, and the rate is always 50 percent of

1 platelets.

2 It's different concerning the TRBC
3 resulting in minor symptoms because in this case the
4 Gram positive represent more than 70 percent of the
5 cases, and the red cells were present more than 60
6 percent of cases.

7 The cause, the source of the blood
8 component's contamination is not only for six cases
9 among these 185 cases, and it represents 12 percent
10 when the imputability was three or four. That is when
11 the bacteria was discovered in the blood products.

12 In one case it was possible to prove a
13 staphylococcus case from the donor's skin flora, and
14 for the five hours cases, two urinary tract, one
15 genital tract infection, and of course, infection with
16 *Yersinia enterocolitica* in two cases.

17 I will briefly resume three of the
18 different research programs implemented in France
19 during these last five years. The French Blood Agency
20 sent four proposals out for the research projects in
21 this field, and six studies were supported by grant.

22 The BactHem study, on which I speak just
23 after, a study of the factors likely to increase the
24 efficiency of cleaning procedure at the phlebotomy
25 sites.

1 Study on the effects of removing the first
2 milliliters of donated blood after venipuncture.

3 A study forecasting the combined effects of
4 storage, temperature, and leukocyte filtration, the
5 growth of bacteria.

6 And two studies were meant to test
7 automated culture systems.

8 BactHem study consisted in the comparison
9 of the frequency of risk exposure between the case, the
10 patient with TRBC, and the control population without
11 TRBC. Its objectives aims at determining patient
12 related factors in those circumstances.

13 The secondary objectives aims at
14 standardizing diagnostic criteria, describing the
15 clinical symptoms, and standardizing the minimal
16 information required.

17 Unfortunately I expected to have these
18 results today, but it's not possible. The statistical
19 analysis will be available only in October.

20 In order to assess the discovered of the
21 team, the Allouch team of Mr. Allouch, a study, a
22 multi-centric study was implemented in France, and four
23 different blood banks participated.

24 This study is to assess the potential
25 effects of avoiding the first milliliters of the blood

1 entering the donation in order to prevent bacterial
2 contamination of the whole blood unit. The method was
3 quite simple. Bacteriological culture of the first 15
4 milliliters and the next 15; special devices produced
5 by French firm MacoPharma. The cultures were done in
6 BacT/Alert 214, and when it was possible the blood
7 components related to contaminated samples were
8 cultured as well.

9 More than 33,000 donations were tested, and
10 the cultures were positive in 76 cases, with a range
11 between two and four percent according to the different
12 blood banks.

13 The new collection procedures avoided the
14 introduction of bacteria in 55 donations. That is a
15 reduction of the risk of contamination of the whole
16 blood units of 72.4 percent.

17 This is the new collection bag, and this
18 new method of donation is about to be generated in
19 France. It's possible to use this bag for the blood
20 screening.

21 Two studies were meant to test the
22 automated culture systems. About 7,000 blood platelet
23 concentrates were tested, and no real positives were
24 discovered.

25 The feasibility was confirmed with a false

1 level of positive. The false positive control was
2 lower than 0.5 percent. No contamination was
3 confirmed, and at the same time, no TRBCs were recorded
4 at the local hemovigilance system.

5 In conclusion, it appears essential after
6 notification to rapidly -- and I think that rapidly is
7 real important -- implement procedure to both remove
8 the contaminated blood products from the circuit and
9 investigate the source of contamination. It's
10 necessary to develop standardized methods not only for
11 bacteriological analysis.

12 And it still remains necessary to improve
13 the public's awareness in order to obtain the
14 notification for old cases.

15 Contains the research programs. The
16 results of the various studies led to improving
17 practices at the different steps rather than developing
18 a blood testing method. Post donation withdrawal,
19 advantages of systematic donor's blood count, or
20 advantages of temperature measure are studying.

21 New research programs have been initiated
22 aiming at exploring the behavior of bacteria in blood
23 components in order to explain the poor results of
24 controls by automated culture system in our
25 experience.

1 And I will finish. This is all people who
2 helped me, and I wish thank them.

3 Thank you very much.

4 (Applause.)

5 DR. KUEHNERT: Thank you, Dr. Morel.

6 Next I'm going to have Dr. John Barbara,
7 who is currently lead scientist, transfusion
8 microbiology, London and Southeast Zone Blood Service
9 in North London. He was President of the British Blood
10 Transfusion Society from 1995 to 1997; now serves as a
11 microbiology consultant to the Canadian Red Cross; has
12 published over 300 papers, chapters, reviews.

13 And he's going to be speaking today on the
14 experience in England, "Bacterial Transmission: the
15 U.K. SHOT Analysis."

16 DR. BARBARA: Thank you, Mr. Chairman.

17 Ladies and gentlemen, it goes without
18 saying that it's a great honor and certainly a pleasure
19 to be asked to come and speak here. A lovely place to
20 be, excellent weather. The only problem was I arrived
21 last night. I promise that I won't fall asleep halfway
22 through my presentation. I can't guarantee that the
23 same can be said for you in the audience.

24 (Laughter.)

25 DR. BARBARA: I shall try and be as rapid

1 as possible because what I'll be telling you really
2 fits into a context of several systems that have been
3 described here from the States with the BaCon study,
4 from France with the hemovigilance, and indeed, the
5 hemovigilance system is a more complex, more expensive
6 arrangement that is producing some very high grade
7 information, very detailed data.

8 It's not unusual that in Britain we do
9 things on a shoestring, and really the SHOT analysis,
10 the serious hazards of transfusion -- and,
11 incidentally, we very quickly moved away from calling
12 it "serious hazards in transfusion" --

13 (Laughter.)

14 DR. BARBARA: -- the SHOT system is really,
15 I have to say, a poor man's hemovigilance. Those of
16 you who have any knowledge of Britain will understand
17 that this is not unusual.

18 Having said that, for a very small outlay
19 we believe we can get some quite good returns, and
20 through what I say I hope you'll see that the
21 principles that are emerging from the other more
22 complex initiatives are actually being reflected in
23 what we've found.

24 I am a fervent disciple of Mo Blajchman. A
25 lot of the things that he's said in the past, some of

1 those things were intuitive. Currently hard data is
2 being accumulated to prove what he's saying, and again,
3 several of the features that you see will be common to
4 what's already gone before.

5 I have to acknowledge the help of Kate
6 Soldan, who is a holder of a joint post. One of the
7 really good initiatives we set up in the National Blood
8 Authority in England was to have a joint post between
9 the Public Health Laboratory Service and the Blood
10 Service, and she's a genuine epidemiologist and has a
11 produced a lot of this data.

12 We have a steering group that runs the SHOT
13 system, and there is a working group that does all of
14 the hard work in this.

15 It's a purely anonymous system, and we have
16 produced two reports. There's one that's come out this
17 year, one that came out last year.

18 It's a voluntary system, but it is, in
19 fact, becoming necessary for hospitals for their
20 accreditation to be participating in the system.

21 In the first year, we didn't have a new
22 reporting set-up. So we didn't know how many hospitals
23 didn't report any complications just because they
24 didn't report. We now know how many can actually
25 actively participate, and although I haven't got the

1 exact data, it is the majority of hospitals. They will
2 tell us that they are aware of the system, but they
3 have nothing to report.

4 And the context is that we're talking in
5 the United Kingdom about three million blood donations.

6 The system is U.K.-wide. Now, that means that England
7 and Wales through the Public Health Laboratory Service
8 are directly integrated.

9 Scotland, although part of the U.K.,
10 currently part of the U.K., has their own surveillance
11 and public health system, but they liaise through Kate
12 with us in the U.K.

13 Our cousins in Ireland are a little bit
14 separate, but they are involved and associated. So,
15 you know, it is a bit complex. You talk about England,
16 Britain, the United Kingdom, the British Isles. I
17 don't really understand it. I don't expect to be able
18 to explain it to yourselves.

19 Post transfusion infection. I'll just
20 again define here we draw a distinction between PTI,
21 post transfusion infection, and TTI, transfusion
22 transmitted infection. This isn't a play with words.
23 Anything that is temporally associated with
24 transfusion, any infections that occur after
25 transfusion may get reported to us as a post

1 transfusion infection, and at this stage it's not
2 necessarily defined as due to the transfusion.

3 When we believe it is due to the
4 transfusion, we'll call it a TTI, a transfusion
5 transmitted infection. So it's a nicety, but it's
6 actually quite important, and I think that a lot of the
7 data you'll see we are trying to concentrate on those
8 events that can be proven to be transfusion
9 transmitted, and they will be minority because
10 undoubtedly because of lack of information reporting or
11 under ascertainment or not having all the work-up
12 completed, there are some cases that can't fit the
13 definition, and we have to be objective about this and
14 ruthlessly exclude them.

15 But it does mean that we are dealing with
16 Mo's Titanic iceberg.

17 In this first overhead, just showing you
18 that the whole thing really started to take off
19 seriously in 1995, and it was the association between
20 the blood services and the Public Health Laboratories.

21 Can I have the next overhead, please?

22 Although the system overall is anonymous
23 when you're talking about all types of complications,
24 for microbial transmissions it is de-anonymized
25 (phonetic). It is more mandatory to be reporting

1 because obviously we are worried about infectious
2 donors staying in the system who could subsequently
3 return and infect new patients. We're thinking about
4 other components that may currently be in inventory
5 which could possibly be removed and prevent
6 transmission. So the microbial wing of SHOT is de-
7 anonymized.

8 I think it's also important to keep in mind
9 the totality of complications, not just to be blinkard
10 (phonetic) and think about the microbial complications,
11 because it will also tell us -- and I think this is
12 important -- what a small percentage of overall
13 complications microbes are involved in.

14 What does come out after that though,
15 again, as Mo has pointed out, is that of those
16 transmissions bacteria are a very important percentage,
17 and of course, they can often be -- bacterial
18 transmissions can often be -- immediately fatal.

19 The system fits into the overall pattern of
20 surveillance, which is becoming more and more refined
21 because of the closer working and the organic
22 interactions between blood services and public health
23 services.

24 And I think this is an important point that
25 everyone can take home. The blood services do not

1 function, cannot function in isolation. We do have
2 associations with the public health services.

3 Next slide. Next overhead, please.

4 For the '96-'97 year, these were the 169
5 cases that came out as definable transfusion
6 complications, of which we were confident they were
7 transfusion associated, and of course, as I say, in the
8 first year there wasn't a nil return system. So we
9 don't know how many incidents might have occurred and
10 not been reported.

11 The important point here, as I've already
12 made: transfusion transmitted infections. Despite the
13 huge amount of money that we are spending on these
14 infectious complications, actually only represent a
15 very small percentage of the total complications.
16 Would that we could spend fractions of this money on
17 just making sure that patients were properly tagged;
18 they got the right components; and you didn't have all
19 of these silly errors which are avoidable with just a
20 little bit of time and a little bit of thought.

21 If I can have the next overhead, which will
22 show you again the summary pie chart for last year or -
23 - sorry -- the current year's SHOT analysis with 215
24 cases now.

25 Once the hospitals got over their panic and

1 stopped having to look over their shoulders about
2 whether they were going to be prosecuted for their sins
3 and actually understood that this was going to be a
4 system that would lead to a better understanding, could
5 enable us to develop ideas about how to reduce risks,
6 they got more comfortable.

7 But having said that, even then you're
8 still only dealing with two percent of the total number
9 of complications that are microbially associated.

10 Next please.

11 As a summary for the microbial risks
12 involved within a period here starting in '95, this is
13 two and three-quarter years' worth. Again, to set the
14 bacteria in the context of the whole of the -- now
15 these are confirmed cases. They may be a bit of a tip
16 of the iceberg, as I've said, because this is where we
17 know we have all the data, and we believe that these
18 cases are validated.

19 And you're talking about Hep. B, four
20 cases; Hep. C, three cases; HIV, one case with three
21 recipients; HAV. I mean everything is represented
22 here, but notice that the bacteria are really a
23 significant proportion, and again as Mo has said, we do
24 precious little in comparison with all the other risks
25 that are involved.

1 We also got a fatal malaria transmission in
2 this particular period of time. So all life is seen
3 here, but the bacteria with the usual -- no big
4 surprises, consistent with what people have said
5 before, but at least you see the whole context. These
6 are the sort of bacteria that have been involved.

7 Next please.

8 And again, to set context, although you can
9 have a lot of reports, it's only the reports that are
10 put up here in bold, which is where you're actually
11 concluding that there is enough data; you have enough
12 validation to show that these are, indeed, transfusion
13 transmitted cases.

14 And, again, for this period of time, the
15 bacteria make a hard, distinct proportion of those
16 particular cases.

17 Next please.

18 Now, to set context again, you may get a
19 whole lot of reports coming into you, and then as you
20 sift through you find down to a harder core where you
21 can be confident that you've sorted out just what's
22 happening.

23 So here in this particular period of time,
24 1995 to 1999, 17 post transfusion bacterial infections;
25 16 investigations closed; eight probable transfusion

1 transmitted infections; three investigations concluded
2 not to be associated with transfusion; and then a whole
3 bundle of inconclusive investigations either where
4 you've got suggested bacterial transmissions but
5 there's not enough data to show that it's happened or
6 because you know that you can exclude -- next please --
7 you can definitively exclude.

8 Obviously this sort of thing becomes more
9 complex. The more anonymous, the more voluntary the
10 situation is, the more nebulous some of the data is.
11 The more you can mandate, the more you can spend time
12 and money on people rather than the situation in the
13 Britain where you do your day job, and then a few
14 victims are actually doing this as extras. The more
15 resource you can put into it, the more clarity you can
16 get out of it.

17 But I think the SHOT system is showing
18 principles here, and again, looking at your analyses,
19 eight probable transfusion transmitted infections.
20 This is the range of bacteria that you'll see. You'll
21 see deaths that are directly ascribed to the bacterial
22 transmission. There will also be some cases where
23 although the bacterial transmission didn't directly
24 cause the death, it certainly didn't help in what is an
25 already sick patient.

1 Next please.

2 And this is the sort of range of agents
3 where you see that it's decided that they are not
4 transfusion transmitted infections. I believe the
5 organizers are going to be collecting people's slides
6 and overhead. So detailed information will be
7 available to people. In the time allowed I'm only
8 trying to give you a flavor of what we're seeing.

9 Next please.

10 And, again, no surprises in the sort of
11 bacteria you're seeing. These are the inconclusive
12 investigations. Some of these may well be due to
13 bacteria, but because of an absence of complete data,
14 one has to be quite strict about this and just state
15 that they are inconclusive.

16 Next please.

17 And just to remind you again of the sort of
18 numbers of cases that will be coming through, the sort
19 of reasons why you're getting transmissions when you
20 decide it is bacterial contaminant from the donor's
21 arm, donor culture positive from the same serotype.
22 These are the criteria that you're using, and people
23 will have to work out quite carefully just what
24 criteria you use, whether they're exclusive, whether
25 you're going to be very stringent or not.

1 And, again, this information will be
2 available for people to read further when there's more
3 time.

4 Next slide please. I think we'll skip that
5 and go on to the next one, please.

6 Now, obviously when you start these
7 exercises, you can come up with various conclusions.
8 The first SHOT report concluded that TTIs are now very
9 rare. Actually a disproportionate amount of money
10 being spent on dealing with them, and what money there
11 is being spent isn't being spent on bacteria.

12 National collation of data arising from
13 these cases is vital, needs to be built over several
14 years so that you get a picture of the extent and
15 nature of infectious complications.

16 Next please.

17 You should have standard protocols for
18 investigating post transfusion infections. It's great
19 to see BaCon study in a big country, such as the United
20 States, with a whole lot of agencies involved. The
21 tasks are obviously very much greater, but it's
22 excellent to start seeing coordination and collation, a
23 national awareness, a national approach.

24 We have produced standard protocols. It's
25 taken us about eight or nine years to do these. The

1 main sticking point was defining just exactly what
2 should trigger an investigation and a report.

3 Having produced these first protocols,
4 myself, Carl, and other colleagues were not surprised
5 when, first of all, people weren't interested. Then
6 they became passionately interested.

7 I don't think we've ever received as much
8 criticism as with these protocols, but at least the
9 process is set in motion. Awareness is higher. People
10 are thinking about it.

11 Next, please. Very nearly there, Mr.
12 Chairman.

13 Clinicians should report all post
14 transfusion infections diagnosed in their patients to
15 the blood service for appropriate investigation.

16 Next please. Next overhead please. And is
17 that the final overhead or is there one more? I think
18 I'll just go straight to the final overhead.

19 And a somewhat contentious recommendation
20 that we made, which initially people said that they
21 couldn't comply with, and I think slowly we are winning
22 some of our colleagues around.

23 Hospitals shouldn't destroy blood
24 components implicated in post transfusion reactions
25 expected to be bacterial; should consult the blood

1 service about the investigation of such cases.

2 We don't prescribe exactly how it's done
3 and what is done. We just want to make people aware,
4 talk to the blood center, work out systems, know you
5 have a method, and I think we'll gradually get more and
6 more clarification to prove most points.

7 Thank you very much.

8 (Applause.)

9 DR. KUEHNERT: Next, Dr. Jong-Hoon Lee is
10 going to be speaking. He's served as Chief of the
11 Blood and Plasma Branch within the Division of Blood
12 Applications since 1996 and will be speaking today for
13 this talk on the FDA surveillance for bacterial safety
14 of blood.

15 DR. LEE: Good morning. I'm reminded that
16 I'm rather soft spoken. So I want to make sure that
17 everyone can hear me. I can clip this up higher under
18 my chin if that will help. I believe this is adequate.

19 Could I have the first slide?

20 I'm going to try to step through very
21 rapidly a fair amount of slides just to give you an
22 idea, a fairly comprehensive idea of what the FDA
23 surveillance system consists of.

24 I will first describe the fatality
25 reporting system, and then I will also briefly describe

1 the error and accident reporting system, and then since
2 my presentation follows that of Dr. Roth, I'll try to
3 just show one slide where we try to kind of reconcile
4 the differences that we perceive between the FDA's
5 fatality reporting system and the data accrued under
6 BaCon.

7 Next slide please.

8 In terms of the transfusion fatality
9 reporting, any fatal complication of transfusion is
10 required to be reported as mandated under Title 21 of
11 the Code of Federal Regulations outlined in Part
12 606.170(b). The report should be made to Office of
13 Compliance and Biologics Quality of Center for
14 Biologics Evaluation and Research within the FDA.

15 The report consists of the following
16 elements. An initial report should be made as soon as
17 possible, and typically within 24 hours by telephone,
18 and that initial report is then followed by an initial
19 written report, which is to be submitted within seven
20 days.

21 And as the investigation matures over time,
22 the subsequent follow-up reporting is also forwarded to
23 OCBQ as they are made available.

24 This system represents a joint oversight
25 among CBER of FDA, the Office of Regional Affairs or

1 the inspections force of the FDA, and also the Health
2 Care Financing Administration in which the CBER
3 participates as a coordination point and also a center
4 for data compilation and analysis, and the ORA of FDA
5 and HCFA performs additional inspectional follow-up to
6 verify what's been reported and to assess the status at
7 each blood center with respect to their correctable
8 deficiencies in delivering transfusion therapy and
9 also, along with CBER's analysis, to identify some
10 trends on which there may be some new GNP requirements
11 that could be considered.

12 Just to quickly go over the actual rule,
13 when a complication of blood collection or transfusion
14 is confirmed to be fatal, the Director of CBER shall be
15 notified by telephone or telegraph as soon as possible,
16 and a written report of investigation shall be
17 submitted to Director, CBER, within seven days after
18 the fatality by the collecting facility in the event of
19 a donor reaction or with the facility that performed
20 the compatibility tests in the event of a transfusion
21 reaction.

22 This graph sort of quickly summarizes the
23 number of reports. This is all reports of transfusion
24 related fatalities reported to the FDA for a period of
25 23 and a half years, beginning with when the rule was

1 mandated in 1976 up to the present time, and you can
2 see that there is a trend that is rising upward, and at
3 this point we are projecting approximately between 60
4 and 70 cases to be reported for this year, and just
5 this prior year it has been as high as 85.

6 Next slide.

7 Of these reports, approximately 50 percent,
8 as analyzed through a sampling of eight years of data,
9 approximately 50 percent is reported as a result of
10 hemolytic complication typically due to a clerical
11 error, and of the remaining, the bacterial
12 contamination follows as the second leading cause, but
13 this is also closely followed by transfusion related
14 acute lung injury, non-bacterial infections, and
15 transfusion associated graft versus host disease.

16 And at present -- just go back real quick
17 -- and at present the bacterial contamination rate
18 appears to be ten percent of the ones that are reported
19 to the FDA, and it's averaging approximately 50 cases
20 per year. I'm sorry. Fifty cases over the total
21 period, about five cases per year.

22 If we look at the distribution limited to
23 bacterial contamination for the period of approximately
24 14 years, there is also an indication of a rising trend
25 in reporting, although the numbers are small.

1 For the first ten years since the reporting
2 was mandated, between '76 and '85, we were averaging a
3 little over two percent, which increased to something
4 like five percent in the next decade, and for the third
5 decade for which you only have three and a half years
6 of data it seems to indicate approximately close to
7 eight percent.

8 Next.

9 So this is sort of just a summary in a
10 different form. From 1976 through 1999, for 24 years
11 of data there are a total of 101 cases reported as
12 bacterial contamination, of which only about 84 cases
13 have actual speciation (phonetic) of organisms, and
14 cases per year varies depending upon which set of years
15 you select, but we could say approximately ten percent
16 of all reports is related to bacterial contamination
17 with about four cases on average, but more like seven
18 cases for the recent data, per year in terms of
19 numbers.

20 So it's clear that the reporting of
21 transfusion fatalities to the FDA is increasing, and
22 whether or not this is related to an actual increase in
23 the fraction of bacterial contamination as a fraction
24 of the units that are transfused, whether that's
25 increasing is unclear, and hopefully not, but it's

1 probably a reflection of the fact that more blood is
2 being used and also that there is an increased
3 awareness of the mandatory reporting requirement.

4 And it's also clear that in all of these
5 cases platelets are consistently more frequently
6 reported to us than for red cells, and of the
7 approximately 86 cases that we have fairly good data
8 for, the ratio between platelets and red cells is
9 fairly close to two to one, slightly above that.

10 Next slide.

11 Now, this is a listing of the different
12 organisms that were seen. Now, I broke this up into
13 three different categories: the organisms that are
14 seen only with red blood cells; the organisms that are
15 seen only with platelets; and then the organisms that
16 are seen with both platelets and red blood cells.

17 And for red blood cells you can see that
18 *Yersinia enterocolitica* tops the list at approximately
19 17 percent of the ones that were able to be identified,
20 and then this is followed by *clostridia perfringens*,
21 *propionibacterium acnes* (phonetic), and *enterococcus*
22 with only one cases of each of the three.

23 For platelets only category, *staph.*
24 *epidermidis* tops the list at six percent, followed by
25 *E. coli*, *bacillus*, *streptococcus*, *salmonella*, and

1 proteus mirabilis, and this list so far appears to be
2 consistent with all of the other reports that
3 identified organisms associated with bacterial
4 contamination.

5 For the category that I identified as
6 having affected both red blood cells and platelets,
7 klebsiella tops the list, along with staph. aureus and
8 serratia species, to be followed by pseudomonas and
9 enterobacter.

10 Next slide.

11 If we categorize into two groups, those
12 that affect platelets and those that affect red blood
13 cells and whole blood, in other words, dismantling the
14 third group, just to make sure that we have a complete
15 picture of what are the organisms that affect platelet
16 components, actually klebsiella tops the list with nine
17 cases reported to the FDA, which is representing 11
18 percent of all cases for which organism speciation was
19 available.

20 The similar percentage was true for staph.
21 aureus and serratia, and this is followed by sort of a
22 middle group of staph. epidermidis, streptococcus,
23 salmonella, pseudomonas, and enterobacter and E. coli,
24 and then followed by bacillus and proteus mirabilis
25 species.

1 Next slide.

2 So with the transfusion fatality reporting
3 we have some idea of what might be going on, but
4 obviously there's serious problems in under reporting,
5 and we thought that we could get another piece of
6 information by using the error and accident reporting
7 system.

8 Under the error and accident reporting
9 system, any error or accident that is related for any
10 product that is made available for release should be
11 reported, and this reporting authority stems from Title
12 21 of the Code of Federal Regulations under Part
13 600.14, and the report is to be made to also Office of
14 Compliance and Biologics Quality of CBER.

15 Now, unlike transfusion fatality reporting,
16 the reporting for error and accident is limited to
17 licensed establishments only at this point, although
18 there is a current proposed rule to include all
19 establishments, licensed or unlicensed and registered
20 or unregistered. In other words, all transfusion
21 facilities that handle blood to be included under this
22 rule.

23 It is worthy of note to say that for every
24 fatality report, once a fatality has been implicated to
25 be stemming from a transfusion, that should be followed

1 by an error and accident report, and this is not
2 entirely clear, but most of the reports, in fact, the
3 overwhelming majority of the reports under error and
4 accident are after the fact reports. That is, the unit
5 was actually released for clinical use and then some
6 clinical event or some intervention long after the
7 release has triggered an investigation, which led to
8 the assessment as an error or an accident.

9 So in terms of bacterial contamination,
10 most of the errors and accident reports are actually
11 triggered by a transfusion reaction in which the
12 culture results and the transfusion reaction work-up
13 results implicate the actual bacterial unit used as the
14 cause of the clinical sepsis.

15 So in a way, this is kind of a poor man's
16 assessment of the rate of clinical sepsis that might be
17 occurring as reported to the FDA.

18 Now, the role in CBER with all of this is
19 to assess the potential for recall, but often when an
20 error and accident is discovered by a transfusion
21 facility, they have already initiated a recall, and
22 usually FDA need not ask them to initiate a recall and
23 constant notification.

24 And also, hopefully this type of analysis
25 will recognize trends in product manufacturing

1 deficiencies.

2 So if we look at three years for which
3 there is complete data available, you can kind of see
4 that the numbers are pretty consistent from year to
5 year, and on average, about 11,000 error and accident
6 reports are received total, of which 158 reports
7 represent those errors that are at risk for bacterial
8 contamination, and by that I define in orange at the
9 bottom. At risk means that the donor had flu symptoms
10 or the donor had an unacceptable temperature or the
11 unit's sterility was compromised or improper phlebotomy
12 site preparation techniques were recognized or upon use
13 platelet clumps were recognized.

14 So all of these are conditions that are
15 recognized as an error, which indicate that these
16 results could have resulted from bacterial
17 contamination, but was never confirmed as such.

18 Now, in contrast to the 158 of the 11,000,
19 only 50 on average per year represent cases that are
20 actually confirmed to be bacterial contamination, that
21 is, these error and accidents were, in the first place,
22 identified through a patient reaction, the
23 investigation of which revealed a bacterial
24 contaminated unit.

25 Next slide.

1 So I tried to make some sense out of these
2 data and try to make an assessment of the scope of the
3 problem from the FDA's angle and also at the same time
4 provide an assessment of the accuracy and comprehensive
5 nature of the FDA as it is possible today, since we are
6 getting the world's comprehensive view on the subject.

7 If we assume that there are approximately
8 20 million units that are manufactured and transfused
9 in the United States per year, and through error and
10 accident reporting we identify 158 at risk units and 50
11 confirmed units, which results in seven fatalities, you
12 could generate the following numbers.

13 At risk units seem to occur in 1.3 times
14 ten to the five units, where the use of these units
15 results in clinical sepsis, in one times four times ten
16 to the five units, and fatality that results from the
17 use of these units occur at a rate of one in three
18 million units.

19 Next slide.

20 So just from the angle of transfusion
21 fatalities, to compare this data with that obtained
22 under BaCon, for fatalities that were reported to BaCon
23 between the period of January 1998 and June 1999, for a
24 period of 18 months, there were 16 transfusion
25 fatalities reported to the FDA during the same time

1 period versus three identified at BaCon.

2 And Dr. Roth already mentioned that the
3 rigorous nature of the requirement to document the
4 causality probably accounts for most of this -- for all
5 of the deficiency. In eight cases confirmatory
6 cultures were not available to CDC, and in an
7 additional three cases the cause was ruled out or was
8 unable to be confirmed as the cause, and in two cases,
9 FDA inspection discovered the cases rather than
10 proactive reporting from the transfusion facilities.

11 And in terms of clinical sepsis, the data
12 for BaCon is actually too premature to make any
13 assessments, but if you were to try to calculate some
14 kind of a risk, it would be eight per 12 months,
15 whereas FDA is receiving 50 per 12 months. So once
16 again, there's large discrepancies which indicate
17 levels of sensitivity of surveillance systems more than
18 anything else.

19 Next slide.

20 So in conclusion, the fatality rate of
21 roughly five to ten per year or one per three million
22 units transfused is being reported to the FDA, and of
23 the reports, platelets were twice more likely as the
24 cause of fatality than red blood cells or whole blood.

25 Fatality from plasma use has not been

1 reported to the FDA, although it has been in the
2 literature.

3 For platelet fatalities Gram negative and
4 Gram positive organisms were reported at comparable
5 frequency, and although the frequencies may differ as
6 they're associated with rates of clinical sepsis, if
7 you narrow it down to actual fatalities, they become
8 more comparable.

9 For clinical sepsis rates, it appears to be
10 anywhere from ten to 100-fold more frequent than
11 fatalities, and in terms of clinical sepsis rates and
12 fatality rates, these are both likely to be
13 underestimates the magnitude of which we are unsure.

14 All of this, the FDA's data, the BaCon
15 data, and all of the results reported in the literature
16 are imperfect complementary surveillance data which,
17 when taken together, might yield the true scope of the
18 picture.

19 But through all of this it's fairly evident
20 that bacterial contamination is at least as important
21 as any other causes of transfusion complications that
22 have been an issue for transfusion medicine today.

23 So at this point I would like to pause, and
24 I have the honor of having the break behind me. So I
25 think I'll stop for questions if there are any.

1 (Applause.)

2 DR. KUEHNERT: Thank you, Dr. Lee.

3 We're going to take a break now. We're a
4 little behind, but I think if we reconvene at 10:30
5 with another of Dr. Lee's presentations, I think
6 hopefully we can get back on schedule.

7 (Whereupon, the foregoing matter went off
8 the record at 10:20 a.m. and went back on
9 the record at 10:35 a.m.)

10 DR. BLAJCHMAN: Could everybody take their
11 seats so we can start to try and get back on track?

12 Our next speaker for this session is again
13 Dr. Lee. Dr. Lee attended a symposium in Heidelberg
14 which dealt with various aspects of microbiological
15 safeties, and he volunteered or we volunteered him to
16 tell us about what news from Heidelberg.

17 DR. LEE: Only about three weeks ago an
18 international symposium was held on very much nearly
19 the same topic in Heidelberg, Germany. The
20 international symposium was entitled "New Aspects in
21 Microbial Safety of Blood Components," and it was a two
22 day meeting on September 7th and 8th, and it was hosted
23 by the University of Heidelberg and Paul Ehrlich
24 Institute, which represents, I think, the FDA
25 equivalent in Germany for blood oversight.

1 And what I hope to do in the next ten
2 minutes is to give a brief overview of the symposium
3 and then try to distill what I perceive to be the
4 consensus positions.

5 Now, we didn't really declare this as
6 consensus positions at the meeting, but clearly as the
7 meeting progressed and discussions evolved, there were
8 certain agreements that people generally recognized.

9 Next slide, please.

10 The symposium focused on three areas: the
11 current status of the microbial safety of blood
12 components in Europe, U.S., and Canada; the role of the
13 microbiologic culture; and then the alternative testing
14 strategies, alternatives to the culture, that could be
15 considered in the future.

16 In terms of the status in Europe, U.S., and
17 Canada, the rates of bacterial contamination varied
18 widely depending upon what blood component you were
19 talking about, the level of hemovigilance applied in
20 assessing that rate, and also with what level you are
21 analyzing the problem. In other words, if you are
22 looking at it from a fatality standpoint, that's
23 obviously a very different situation from the
24 standpoint of clinical sepsis, which is different yet
25 from the contamination rate discovered at the

1 laboratory which may or may not have anything to do
2 with clinical event.

3 But overall, a number that sort of kind of
4 emerged was a clinical event rate of something like
5 anywhere between one to 60 per 10,000 units, and
6 depending upon which study and what scenario you
7 analyzed, the numbers were widely different.

8 The symposium considered not only
9 transfusion blood units, but also considered
10 hematopoietic progenitor cells for which the
11 implication of a contaminated unit is entirely
12 different.

13 Nonetheless, the contamination rates were
14 far more prevalent in HBC than in transfusion units,
15 and among the transfusion units, pooled units exceeded
16 by the single donor units. This reflected the number
17 of pools that go into -- number of units that go into a
18 pool rather than any increased incidence of
19 contamination per unit, and it was also clear that the
20 contamination rate per unit for platelets was much
21 greater than those for red blood cells and whole blood.

22 And it was also recognized that the Gram
23 negatives that tended to affect red blood cells and
24 whole blood, although less frequent than those for
25 platelets, actually caused much more severe adverse

1 clinical events than in platelets.

2 Surprisingly, Yersinia in red blood cells
3 and whole blood, which obviously had a small epidemic
4 in the '80s about this, seemed not to be as important
5 in Canada and in Europe than it is for United States,
6 and it's unclear why this is given that Yersinia
7 originates typically from donor bacteremia rather than
8 being introduced during collection or processing.
9 Perhaps it represents some difference in donor
10 populations.

11 In terms of the role of the microbiology
12 culture, this was sort of recognized as the gold
13 standard for the moment anyway, carrying a sensitivity
14 of approximately one to ten organisms per milliliter of
15 blood.

16 And it was generally recognized at the
17 meeting that the targeted use of the microbiology
18 culture in some standardized format in platelets at
19 appropriate storage time may be a practical alternative
20 that can be implicated today and may, in fact, be also
21 cost effective.

22 In terms of alternative testing methods, a
23 variety of methods were briefly presented, and the
24 sensitivities difference, and obviously, although these
25 methods with varying levels of sensitivity had other

1 associated problems of practicality which precluded
2 their use for implication in the immediate future, but
3 holds promise as a method for implementation on a
4 routine basis in the testing of bacteria for
5 contamination.

6 Next slide.

7 Now, the meeting participants generally
8 recognized this good manufacturing practice process
9 flow where we tried to identify a sterile donor. Now,
10 it's clear that there is no such thing as a truly
11 sterile donor, and we have to be wary of the
12 appropriate screening processes, particularly when
13 you're dealing with the autologous donation. It's
14 generally perceived that autologous donation is as safe
15 as any blood donation, but that is not true for
16 bacterial contamination. In fact, the complication
17 rates for bacterial contamination in the autologous
18 setting is probably much higher than for the allogeneic
19 setting.

20 Given a sterile donor hopefully, sterile in
21 terms of bacterial contamination, and given that you
22 have an appropriately validated container collection
23 system which is sterile, the two processes then come
24 together. The two entities then come together in the
25 collection where you try to collect the blood in a

1 sterile fashion.

2 And the key areas that were identified at
3 the collection process as being problematic today is
4 the phlebotomy site preparation and the potential of
5 whether or not to divert the first ten to 15 cc's of
6 blood in the hopes of diverting away that skin plug
7 that Dr. Blajchman mentioned, away from the actual
8 container, but divert this potentially to other uses,
9 such as viral testing.

10 And the processing of that unit once
11 collected leaves some room for improvements towards
12 reduction of the problem. Perhaps there could be a
13 standardized, targeted, culturing method that could be
14 applied to intercept potentially contaminated units.

15 The introduction of leukoreduction has been
16 recognized as being effective for red blood cells in
17 whole blood, although not necessarily for platelets,
18 and whether or not there could be actual inactivation
19 methods either at the processing stage or actually
20 built into the container systems were methods to be
21 considered for the future.

22 Next slide.

23 Now, in Germany a standardized
24 culturing/monitoring scheme was mandated and introduced
25 in the late 1990s, approximately, I believe, introduced

1 in '97-'98, and it specified the following minimal
2 requirements with respect to testing for bacterial
3 contamination.

4 It allowed for either a manual or an
5 automated method of bacterial culturing method, but
6 there had to be an aerobic, as well as anaerobic arm
7 with the surveillance, with the requirement that these
8 be sub-cultured at the appropriate time.

9 The temperatures, storage temperatures,
10 were specified to be between 30 and 37 degrees
11 Centigrade, and the sampling requirements called for at
12 least ten cc's of blood, and these were to be sampled
13 at three days -- that should be plus/minus -- within
14 three days of expiration or shortly thereafter, within
15 three days after expiration.

16 And the number to be sampled at a
17 particular facility per month was based on this
18 equation, the validation for which I am not able to
19 explain at this time, but a number, 0.4, times the
20 square root of the total number of blood components
21 manufactured at that facility per month was recognized
22 as the number to be sampled. So not every unit is
23 sampled, but there has to be a quality control system.

24 The incubation time was either 14 days for
25 conventional culture systems or seven days for

1 automated culture systems, and the interpretation
2 required confirmation/identification of all growths.

3 When this was instituted, two surveys were
4 conducted, one pre-introduction of the requirement and
5 one post, in 1997 without the minimal requirements and
6 '98 with the minimal requirements. And the German
7 experience indicated a fourfold increase in the
8 contamination rate with the introduction of this
9 standardized scheme, and the results suggested a
10 bimodal distribution of blood centers that aligned
11 itself in two distinct groups.

12 But there were two distinct groups for
13 every blood component looked at, and not necessarily
14 the two groups were the same for all blood components
15 across the board. So it's funny how this bimodal
16 distribution resulted, and the validation aspect of
17 this study and the interpretation as two distinct
18 groups was difficult to follow. Yet it was an
19 interesting phenomenon to be looked at further.

20 In that study, according to after
21 instituting the main requirements, the red blood cells
22 and whole blood results were not significantly
23 different from those for platelets.

24 Next slide.

25 After having reviewed the available current

1 data with respect to the scope of the problem and after
2 a review of the available current biotechnology to
3 intervene for this scope of the problem, a certain set
4 of general consensus agreement emerged. Again, this
5 was not declared as consensus, but this is what was
6 readily apparent to the meeting participants.

7 Number one was phlebotomy site antisepsis
8 where it was well recognized that at many centers the
9 current methods which may already be somewhat
10 insufficient for achieving optimal antisepsis is not
11 even followed.

12 And in many European centers, this is
13 already being practiced where the diversion of the
14 first of ten to 15 milliliters of blood is delivered
15 away from the blood container for purposes of testing,
16 excluding bacteriologic testing, obviously, to protect
17 the actual blood collected.

18 The method of surveillance revolved around
19 the culture method, either conventional or automated,
20 but in either case it seemed prudent to standardize the
21 culture method so that the interpretation of the
22 results can be compared across different centers.

23 And in many European centers, including
24 those in Sweden and the Netherlands, this is already
25 being implemented, where platelets at day three of

1 storage are subject to culture according to some QC
2 frame, and depending upon the results, I believe every
3 unit is tested, and depending upon the results, the
4 shelf life of the product could be extended from five
5 to seven days.

6 Now, platelet shelf life had at one point
7 been seven days in the United States as well, although
8 it was cut back to five based on a flurry of bacterial
9 contamination reports. So perhaps this targeted use of
10 the culture method available today allows for increase
11 in shelf life for protection against bacterial
12 contamination while at the same time increasing the
13 blood supply and costs associated with it.

14 Leukoreduction of whole blood and red blood
15 cells, when done in an appropriate time frame,
16 typically within the first day and more like within the
17 first 12 hours, but not solely, such as two hours; in
18 other words, there was a window of anywhere between
19 eight hours and the first day, which appeared to give
20 you the optimal effectiveness in terms of intervening
21 bacteria, given that most bacteria that have been
22 implicated for red cell fatalities and reactions
23 typically reside within the granulocytes; that
24 leukoreduction within the appropriate time frame to
25 intercept these granulocytes before they disintegrate

1 and release the bacterial appear prudent.

2 So this is being considered by the FDA as a
3 potential way to routinely manufacture all blood
4 anyway, and perhaps we should consider this in a way
5 that also addresses the problem with the bacterial
6 contamination.

7 And it was apparent that although the
8 numbers are small, the autologous donor needs to be
9 educated appropriately about the need for potential
10 bacteremia that might subject that donor to great
11 dangers of transfusion, if not properly collected.

12 Next slide.

13 So as a model, international standards
14 could be generated, which will affect obviously
15 interaction between the regulator and industry, which
16 each produce their set of standards that are consistent
17 with each other.

18 And then overall it winds up in a good
19 manufacturing practice scheme, and so far it has been
20 strictly processed, QC oriented, but perhaps these
21 processes can be improved, as well as introducing
22 product elements as well to further improve the blood
23 supply with bacterial contamination.

24 Next slide.

25 So in summary, the symposium focused on

1 three areas: the current status, the role of the
2 culture, and a round table discussion on strategies
3 that are available immediately to improve our current
4 situation with respect to the problem.

5 And the consensus that arose was that
6 bacterial complication was actually more important,
7 much more important than viral complications or other
8 threats that are perceived today as being threats for
9 the blood supply.

10 And at present, relatively simple, new GMP
11 standards could be introduced to alleviate the problem,
12 and in the future the routine detection and even
13 inactivation methods may be available to further
14 control the problem, and these measures that are
15 available even today may be effective, as well as being
16 also cost effective at the same time.

17 And I believe the same themes that occurred
18 at that meeting we'll also hear today hopefully, and I
19 hope they're consistent.

20 (Applause.)

21 DR. BLAJCHMAN: Thank you, Dr. Lee.

22 When the organizers put together this
23 program, we wanted to have the opportunity for poster
24 presentations. Because there were only a handful of
25 poster presentations proffered, we decided to put the

1 posters on the program for a short presentation.

2 The first one of these is by Mindy Goldman,
3 who is the Senior Director for Medical Affairs for
4 HemoQuebec. Mindy will talk on hemosurveillance of
5 bacterial contamination in Canada over the last three
6 years.

7 DR. GOLDMAN: Thank you very much.

8 So hopefully the new technology will come
9 through here as we switch computers.

10 And I should say that my talk, the equation
11 that summarizes my talk is C minus MB, that is, it's
12 Canada minus Mo Blajchman. So there's not --

13 (Laughter.)

14 DR. GOLDMAN: -- that much data there, and
15 the numbers come from the Canadian Red Cross, and then
16 since October of last year from the two new blood
17 suppliers in Canada, who are HemoQuebec, for the
18 province of Quebec, and the Canadian Blood Services for
19 the rest of Canada.

20 Maybe we should go back to overheads here.

21 Is there a problem? If I could be released from the
22 microphone, I will get my overheads.

23 (Pause in proceedings.)

24 DR. GOLDMAN: Can I have the first
25 overhead, please?

1 Okay. Now I really have to talk very
2 quickly. So the two methods we have in Canada of
3 assessing the frequency of bacterial contamination are
4 surveillance cultures that are done on a fixed number
5 of products that are produced in each blood center and
6 reporting of adverse reactions.

7 Next please.

8 The surveillance cultures for Canada are
9 done in three different laboratories.

10 No, I wasn't -- yeah.

11 Two of them are using automated blood
12 culture systems, and one is using a fluid thioglycolate
13 medium. For apheresis platelets, the cultures are done
14 on the day of production on a segment that's attached
15 to the product, and for red cell and whole blood
16 derived platelets, the cultures are done by a sample
17 taken directly from the product, and this is a
18 destruction culture with the product having to be
19 discarded after.

20 Next please.

21 The circular of information that is
22 distributed to all hospitals in Canada specifies that
23 serious reactions have to be reported to the blood
24 supplier, and it specifies that this includes all
25 bacterial contamination, and then the suppliers have to

1 provide this information to our regulator, which is
2 Health Canada.

3 And there are standardized forms, but no
4 standardized investigation protocol for those
5 reactions.

6 So these are the results of the
7 surveillance cultures over a two year period. You can
8 see for thrombapheresis platelets there were close to
9 5,000 cultures. Eight were positive for a rate of 0.17
10 percent, and a 95 percent confidence interval of .05 to
11 .29 percent.

12 For red cell units, close to 4,000 cultures
13 were done. Nine were positive for a rate of 0.23
14 percent, and a range of .08 to .38.

15 And finally for whole blood derived
16 platelets, close to 5,000 cultures were done. Four
17 were positive for a rate of .08 percent, and the
18 confidence interval of .01 to .16 percent.

19 And this number is very close to that found
20 by Dr. Blajchman in his studies in Hamilton.

21 The organisms isolated are pretty much what
22 you would expect. There was one red cell unit that had
23 fungal growth; one platelet unit that had prevotella
24 loescheii, which is a Gram negative rod that's usually
25 found in the mouth; and then 19 had bacteria that are

1 usually part of normal skin flora.

2 In terms of the transfusion reaction, 11
3 were reported, seven involving platelet pools and four
4 involving red cell units. I should say that 95 percent
5 of the platelet transfusions in Canada are platelet
6 pools. Only three of the 11 were actually well
7 documented. In two cases involving platelet pools, the
8 same organism was isolated from the pool and from the
9 patient, one involving Group G streptococcus and one
10 involving staph. epi., and Dr. Blajchman has already
11 described the fatal reaction involve S. aureus.

12 So my conclusions are that surveillance
13 culture rates over the past two years were positive for
14 .08 to .23 percent, depending on the blood components.

15 However, there's a lack of standard methodology and a
16 small number of cultures are done, and so it's very
17 hard to follow if there are any trends with changes
18 that we've made, such as changing our way of skin
19 disinfection, universal leukodepletion of our
20 platelets.

21 And as Dr. Lee mentioned, I think the
22 guidelines that were developed in Germany would be
23 important to improve the data that we're collecting
24 here.

25 And lastly, in terms of transfusion

1 reactions, at least one fatal reaction occurred. Under
2 reporting was likely. There was a marked over
3 representation of the province of Quebec in the data.

4 Etiology, as other speakers have mentioned,
5 is often difficult to determine, and finally, I think
6 the introduction of transfusion safety officers in
7 hospitals in Quebec this fall, similar to the French
8 hemovigilance system, will lead to improvement in the
9 reporting and investigation of these reactions.

10 Thank you.

11 (Applause.)

12 DR. BLAJCHMAN: Thanks, Mindy.

13 The last presentation of this session is by
14 Dr. Lance Trainor, who is Director of Apheresis and the
15 Associate Medical Director of Community Blood Center,
16 Community Tissue Services, and he will talk on fatal
17 transfusion or a fatal transfusion reaction due to the
18 transmission of enterobacter cloacae.

19 Dr. Trainor.

20 DR. TRAINOR: Good morning. Thank you for
21 having me here today.

22 I'd like to admit that this is a new
23 interest of mine, bacterial contamination of blood
24 products, and you're about to find out why.

25 Can I get the first slide, please? Can we

1 back up one slide?

2 This is an issue that came to my attention
3 this past May. I was in my office heading out the door
4 to enjoy a long Memorial Day weekend of camping. I had
5 just closed the door. The phone rang. A local
6 clinician called me. He said, "Can you transfuse or
7 can you transmit bacteria from a blood product?"

8 I said, "Sure, but it's a rare event."

9 And then rather than saying, "Have a nice
10 weekend," I said, "Why are you asking?"

11 And then he proceeded to tell me. I'm
12 going to talk about a fatal transfusion reaction due to
13 transmission of enterobacter cloacae from an
14 asymptomatic donor to a recipient by an apheresis
15 platelet product.

16 The story goes as follows. A 70 year old
17 woman was admitted to the hospital for treatment of
18 advanced small cell carcinoma of the lung. She had
19 previously undergone three cycles of chemotherapy. She
20 was admitted because she was pancytopenic and was
21 suffering from GI bleeding.

22 She received two units of packed red blood
23 cells uneventfully. Immediately following she received
24 a unit of platelet apheresis. After receiving 72 mLs
25 of the product, she developed rigors, shortness of

1 breath, elevated blood pressure, elevated heart rate,
2 nausea and vomiting.

3 Blood cultures were immediately drawn. At
4 the time of blood culture draw, Gram stains were
5 performed. It showed Gram negative organisms in the
6 patient's blood at that time. Empiric antimicrobials
7 were administered. They included Zosyn, Tobramycin,
8 and Diflukin, and the patient expired secondary to Gram
9 negative sepsis 22 hours after the transfusion of the
10 platelet product.

11 The reaction work-up included a patient
12 blood culture which demonstrated enterobacter cloacae,
13 which was also cultured from the platelet product. A
14 collection record review was performed which was
15 unremarkable. The product had been collected 60 hours
16 prior to transfusion.

17 The disposables were all quarantined. They
18 included the anticoagulant, the saline, and the
19 apheresis kit, and the manufacturers were contacted,
20 and they reported no other ill effects with the lot
21 number specified.

22 The machine on which the product was
23 collected was overhauled, and no deficiency was found.

24 The unit appearance was perfectly normal
25 upon shipping and at issue from the transfusion center.

1 No clerical error was identified.

2 The donor was a 57 year old woman in
3 apparent good health. She had recently undergone an
4 unremarkable physical exam that included a negative
5 stool guaiac. She had donated 138 times in the past
6 without problem, 57 whole blood donations and 81
7 platelet apheresis donations.

8 Upon extensive questioning of the donor,
9 she did recall passing loose stools on the evening of
10 the collection, but she did say that this is not
11 unusual for her.

12 Additional work-up, enterobacter cloacae
13 was isolated from the donor stool sample. We
14 subsequently asked the donor to come in, give us
15 another stool sample. We selectively cultured for
16 enterobacter. We did find the organism, and then this
17 was at the suggestion of Dr. Roth from the CDC, who
18 spoke earlier. We sent them that culture, and they did
19 strain analysis, and it was shown that the enterobacter
20 strain from the donor, the recipient, and the product
21 were all indistinguishable by pulse field gel
22 electrophoresis.

23 We then asked the donor to come in for a
24 subsequent donation. We quarantined the unit. We
25 cultured the unit, and it demonstrated no growth after

1 five days.

2 So in summary, we had a fatal transfusion
3 reaction caused by a contaminated apheresis platelet
4 product. Essentially an identical strain of
5 enterobacter cloacae was identified from the recipient,
6 from the product, and from the donor, and there was no
7 equipment disposable or protocol deficiency identified
8 in the collection.

9 This brings up certain questions for us.
10 What is the mechanism of transmission? Could this be a
11 transient bacteremia or could this be a skin
12 contaminant? And actually more important to myself,
13 this is a committed donor who wishes to donate again.
14 She's called me weekly since this event occurred. She
15 is not aware of the outcome of the recipient, but she
16 does know that there was a bacterial organism found in
17 the platelet product. She desperately wants to donate
18 again. She's a very loyal donor, and the question is:
19 would any of you accept her as a donor again, given a
20 history of 138 prior donations?

21 And finally, I'd like to give thanks to the
22 people listed here both at our blood center in Dayton
23 and also to the Centers for Disease Control.

24 Thank you.

25 (Applause.)

1 DR. BLAJCHMAN: I'd like to invite all of
2 the people who spoke this morning up to have a panel
3 discussion.

4 I think we'll see how the discussion goes,
5 but I think we'll only have about a 15, 20 minute
6 discussion rather than the scheduled half hour because
7 we're already short on time.

8 Perhaps we can start with the very last
9 question that was posed by this interesting case.
10 Perhaps, Matt, do you want to maybe ask? The question
11 relates to would you take this donor again. Put you on
12 the spot.

13 DR. KUEHNERT: You're asking me?

14 DR. BLAJCHMAN: Sure.

15 (Laughter.)

16 DR. BLAJCHMAN: There's microphones on the
17 table that I hope are on.

18 DR. KUEHNERT: Oh, are they on?

19 DR. BLAJCHMAN: Can we have the microphones
20 on the table --

21 DR. KUEHNERT: I think they're working,
22 yeah.

23 I think it's a difficult question, but I
24 think, you know, you have to look at what the infection
25 in the donor actually was, and it looked to be that she

1 had a positive stool culture and perhaps either had
2 bacteremia from that or it contaminated the skin.

3 But you know, I guess I'd ask a question,
4 which was: was she treated in any way for her what
5 seemed to be a mildly, if any, symptomatic disease?

6 DR. TRAINOR: No, she did not receive any
7 treatment. She was essentially completely normal, in
8 her normal state of health.

9 Enterobacter can be found in normal
10 individuals as a colonizing organism. I'm not
11 suggesting that she had an infection with enterobacter,
12 but merely that she was colonizing --

13 DR. KUEHNERT: Right.

14 DR. TRAINOR: -- with the same strain.

15 And I know it's a difficult question to
16 answer. I have E-mailed many people in the field, some
17 of you in this room, with this particular question, and
18 I get variable answers, very extreme answers.

19 DR. BLAJCHMAN: John Barbara, do you have
20 any thoughts on this?

21 DR. BARBARA: I suppose one thought is that
22 this was an individual case of bad luck, that if we
23 were to routinely screen and test a whole variety of
24 people, you'd probably come across individuals like
25 this. We wouldn't have any thoughts about taking them

1 off the panel.

2 I think probably what you would need to do
3 is with a group of colleagues agree on a protocol
4 whereby you satisfy yourself that this particular
5 individual is not likely to be continually colonized,
6 set up a validation, and at some point decide that
7 she's going to have to be, you know, returned to panel.

8 You'll have to explain to her to some
9 extent that there was this concern with this particular
10 organism, and this is why you're having to do this,
11 because you cherish her donations and you value what
12 she does for you.

13 DR. BLAJCHMAN: Perhaps one solution could
14 be to do cultures regularly on her products. We should
15 do it on all products, but perhaps in this case.

16 Can I invite questions? There's two
17 microphones, and please ask questions to whomever you'd
18 like.

19 Ed, you start.

20 DR. SNYDER: Ed Snyder from Yale.

21 Dr. Goldman, could you give us some
22 background on the transfusion safety officer as to who
23 pays their salary, by what authority, and who they're
24 responsible to, and just a little general background,
25 please?

1 DR. GOLDMAN: Their introduction is
2 following our whole inquiry that we had in Canada into
3 the blood system. Quebec had its own mini inquiry that
4 came up with a report. In that report it was
5 recommended that the hospitals be grouped and there be
6 sort of hub hospitals that are responsible for the
7 small hospitals in their area, and that there be these
8 special transfusion safety officers in those hubs that
9 would be responsible for educating a staff about
10 transfusion safety and further reporting for
11 transfusion committees in the hospitals and so on.

12 The money, like all health care bucks in
13 Canada, is coming from the "gouvernement," and they're
14 just starting up, and so we'll just have to wait and
15 see.

16 And, of course, there's a lot of influence
17 in Quebec with the French model because, you know,
18 there's "une lengage au commune" (phonetic), and so
19 sometimes we catch ideas from them.

20 MS. HOWLEY: Rebecca Howley, the American
21 Red Cross.

22 Dr. Lee, I would like to comment on your
23 presentation where you compared the number of bacterial
24 contamination incidences that were reported to the FDA
25 and compared those to the BaCon study.

1 Since we collect and distribute more blood
2 than anybody else in the U.S., and since we have a very
3 tight system for monitoring, controlling, and reporting
4 our incidences, and since we are some of the organizers
5 and investigators on the BaCon study, I can tell you
6 that what we report to the FDA as bacterial
7 contamination incidences is not at all the same as what
8 we report to the BaCon study. The requirements are
9 very different.

10 In fact, for deaths that are reported to
11 the BaCon study or even serious cases, it's between one
12 to five and one to ten of the ones that we are required
13 to report to the FDA by our reading of the regulations.

14 They are not intended to be the same thing.

15 So to say that it's under reported and the people are
16 irresponsible about reporting because they are not the
17 same, I think, is not quite accurate.

18 DR. LEE: Yes. Point well taken. I
19 apologize if I implied that people were irresponsible.

20 I tried to point out the differences, and I tried to
21 state that the criteria for reporting for CDC was much
22 more strict than those for FDA, and I had intended to
23 say very much the same comment that you just made.

24 DR. BLAJCHMAN: Virginia.

25 DR. ROTH: Thanks. Just one other point of

1 clarification.

2 If you compare the FDA system with BaCon,
3 we're really looking at two different things. FDA
4 concerns themselves about the unit itself and the
5 safety of that unit. We're looking at the recipient.
6 So our data is coming from what's happening to the
7 recipient and adverse recipient reactions, not
8 manipulation or a problem, a possible problem with the
9 unit.

10 So they are really two completely different
11 things, but I think complementary, and I hope we can
12 continue to work together in this.

13 DR. BLAJCHMAN: Question, Roslyn Yomtovian.

14 DR. YOMTOVIAN: Roslyn Yomtovian from
15 University Hospitals in Cleveland.

16 And I'm going to direct this question at
17 Dr. Lee. You've mentioned twice, at least twice, that
18 bacterial contamination is at least as important as
19 viral disease. Does that statement indicate that it
20 will be treated as seriously from a regulatory
21 oversight as viral disease?

22 And as part of that question, I think on
23 one of your last slides, if I'm getting this correctly,
24 you indicated that there are really two mechanisms,
25 kind of a voluntary standards or accreditation or

1 regulatory.

2 If things are voluntary, obviously they
3 won't be nearly as effective as they would be if it
4 becomes regulatory. So what are your thoughts on
5 regulations for addressing this problem?

6 DR. LEE: Well, I believe that's exactly
7 the focus of today's workshop, to recognize the
8 problem, to surface the problem to the public level for
9 an open exchange of ideas, and to hopefully derive some
10 information on which the agency could consider figure
11 requirements about this area.

12 When I recognize it at least as important
13 or even more important, I guess I was sort of wearing a
14 personal hat, and I did not necessarily reflect the
15 agency's assessment of the problem. I think it's
16 premature to do that, and I have learned over the past
17 three years within the FDA that is not a good thing,
18 that you should always distinguish your opinions from
19 those of the agency's.

20 And if I didn't make that clear, then I
21 should have.

22 With respect to the voluntary versus
23 mandatory requirements, you're absolutely right. I
24 think the French data that Dr. Morel presented to us
25 sort of speaks for that. It's a very elegant system,

1 very comprehensive system, and it's the best available,
2 generating the best available data in the world.

3 And I think that results from the
4 mandatory, proactive nature of the assessment. Whereas
5 our BaCon study in the U.S. is proactive, but it's
6 voluntary, and the FDA reporting is mandatory, but is
7 retrospective.

8 So I agree with you that such a regulation,
9 if it materializes, would contribute to a clearer
10 picture and a safer blood supply, but that's why we are
11 here to discuss.

12 DR. BLAJCHMAN: Dr. Barbara, you had a
13 comment?

14 DR. BARBARA: Just a quick caution that we
15 maybe ought to do this in two phases. First of all,
16 heightened awareness. Collect data; decide on
17 feasible, practical things that can be regulated before
18 leaping into regulation.

19 Nothing is worse than having rules and
20 regulations that are hard to work and hard to police
21 and hard to get anything back from. So I think at this
22 stage don't leap into regulation yet.

23 Now we've increased awareness. Let's start
24 getting science systems that can be well regulated and
25 that will be helpful.

1 DR. LEE: Thanks for substantiating my
2 initial comment about collecting data and ideas and
3 opinions about this. I agree with you 100 percent.

4 DR. BLAJCHMAN: Joe.

5 DR. FRATANTONI: Joe Fratantoni from
6 Rockville.

7 John, I'm not intending to be piling on,
8 but I have a comment also about something that you
9 presented. You had a comparison between deaths from
10 reported bacterial contamination and then viral
11 infections. I think I just want to point out that with
12 most of the viral diseases, deaths will occur many
13 years after the transfusion, and the connection and the
14 reporting to FDA is probably a small fraction of what
15 it is for the bacterial deaths.

16 So I think the comparison may not really be
17 one that you could just make as opening as that.

18 DR. BLAJCHMAN: A corollary to that comment
19 that I would like to make is that one of the reasons,
20 in my view, that bacterial contamination issue has not
21 been dealt with adequately by the government's
22 transfusion medicine community is precisely because of
23 that, that you have with AIDS and with hepatitis, you
24 have a chronic state and, therefore, a set of victims
25 that are alive, that can talk and make comments.

1 Whereas with bacterial contamination you
2 either die pretty quickly or you recover. So there's
3 no chronic state, and there's no group that will
4 agitate towards doing anything about it. So I think we
5 have to agitate.

6 Next question.

7 Is that microphone on? It doesn't sound
8 like it.

9 PARTICIPANT: You can turn it off.

10 DR. BLAJCHMAN: There we go.

11 PARTICIPANT: Okay. Are there any
12 recommendations -- do any of you all have
13 recommendations or is there any data that supports the
14 use of white counts in the donor screening process?

15 Because at my blood bank now we're only
16 using hematocrits and the platelet counts, and as a
17 hematologist, that's always bothered me just a little
18 bit. Is there anything that supports the use of that?

19 DR. LEE: I'm not aware of any firm white
20 count cutoff on which to base donor deferral.

21 PARTICIPANT: I just wonder if any of these
22 bacteremia cases -- if the donor might have had a high
23 white count.

24 DR. LEE: Yeah.

25 PARTICIPANT: But there would be no way to

1 go back and look.

2 DR. LEE: That's information that's
3 available on retrospective look, but I can't
4 substantiate any actual reports about it.

5 DR. BLAJCHMAN: And that's not surprising.

6 Well, there are no data, but it would surprise me that
7 the white count would make a difference because in my
8 opinion, and based on the fact that most of the
9 contaminants are skin contaminants, that doesn't induce
10 an elevated white count, and the odd patient who has a
11 transient bacteremia or even a chronic bacteremia,
12 these are patients that are asymptomatic, and I
13 wouldn't expect them often to have an elevated white
14 count because when they're interviewed, they're well.
15 And so I wouldn't expect that to be terribly valuable.

16 Mark.

17 DR. BRECHER: I'm a little concerned about
18 the under reporting that I think we're still going to
19 be seeing with the hemovigilance and the BaCon study.
20 It's still somewhat of a passive system. You're not
21 actively going out there and looking for the cases.

22 And Matt and I have had some discussions in
23 the past about probably the only way to really pin this
24 down is to have a sentinel hospital type of study where
25 cases are reviewed, patients' charts are reviewed 24

1 hours after the transfusions because many of these
2 reactions are delayed, and having a cutoff of 90
3 minutes, four hours, six hours is probably not going to
4 be sufficient.

5 For example, there was, I think, a classic
6 study that came out at the NIH in the '70s that
7 reported a series of salmonella platelet infected units
8 where the time from transfusion to the time of disease
9 was five, six, seven, eight days after the transfusion,
10 and the way that we're currently looking for things,
11 we're losing all of these reactions.

12 We would have not picked up Mo's case that
13 occurred 24 hours later. So what is the prospect of
14 doing a full prospective, sentinel hospital type study?

15 Is there any chance of doing that to really define
16 what the problem is?

17 DR. KUEHNERT: Well, I think you bring up
18 some good points, Mark. I think that certainly the
19 surveillance systems are not going to capture every
20 case by a long shot, and I think that the idea of
21 sentinel centers is a good one.

22 I would have to add that I think that it is
23 crucial to maintain national surveillance at the same
24 time.

25 Something that was mentioned at an AABB

1 audio-conference was important in that reports to the
2 BaCon study small centers were most commonly the
3 centers that reported, and I think that sort of was a
4 difference from what people expected.

5 So I think that keeping the surveillance
6 and keeping it national is critical. That said, I
7 think that there's going to be biases in these
8 reporting systems, and some of those we can deal with
9 and some of them we can't.

10 The sentinel centers, I think, would solve
11 some problems. I think there would be other problems
12 as well. I think the biggest obstacle, though is
13 funding for those.

14 I think the idea of having safety officers
15 in every hospital is a great one. Trying to implement
16 that I think would be difficult. Even trying to do
17 that in sentinel centers, I think that would be the
18 only way to go, would be to have these safety officers
19 who bring these events to clinicians' attention because
20 that really is the biggest obstacle, is clinician
21 awareness so that they can initiate reporting. That's
22 the biggest problem we've come up against so far.

23 But I think that it's a very good idea and,
24 you know, would be a great thing to implement.

25 DR. BARBARA: I endorse everything you

1 said, but I would say that certainly in the U.K.
2 there's no way we're going to be funded for anything
3 like this, other than as a specific one of piece of
4 prospective research. It wouldn't be on a routine
5 context.

6 DR. KUEHNERT: Yeah. I think, you know, I
7 think that on an indefinite basis. I don't think it
8 would be possible here either, but it would give
9 another piece of the puzzle to do that.

10 Oh, on the second point, as far as the
11 timing of presentation of symptoms, we have wrestled
12 with that issue. We had initially set it at 90 minutes
13 because that was the time that we had seen in
14 previously cases which were dominated by Yersinia
15 cases, which is, you know, one piece of that in Gram
16 negative sepsis.

17 In looking at our data, there were cases
18 that were reported beyond the 90 minute window. We've
19 extended it, and of course, it's come to our attention
20 on numerous other cases, some of which were associated
21 with, for instance, central lines and probably
22 secondary bacteremia, some maybe not.

23 I think there are always going to be
24 exceptions to the rule, and I think we have to keep
25 that in mind, and I think we have to remind people that

1 there are exceptions to the rule, but I think we need
2 to have some rule, and you know, this will be an
3 evolving process not only in the timing of symptoms,
4 but also in the definition of probable cases, which is
5 something that we're looking at very closely and want
6 to include so that we can give a better picture as to
7 how many of these events are occurring.

8 DR. BLAJCHMAN: A quick question.

9 DR. HEATON: Yes. Andrew Heaton of Blood
10 Systems.

11 I have a question and a comment for Dr.
12 Lee. I notice you raised the concept of applying a
13 dating limitation to three days on platelets or some
14 form of bacteriological culture when platelets are
15 transfused after three days, but you know, over the
16 last year we've introduced NAT testing utilizing the
17 pooled approach, and the effect of that is that most
18 platelets are only available for transfusion at about
19 48 hours and often close to 72 hours.

20 And that would pose an enormous limitation
21 as a practical matter to supplying platelets for
22 transfusion.

23 My question to you relates to an
24 alternative strategy, and that is as part of the Best
25 Committee of the International Society of Blood

1 Transfusions, we've done a comprehensive study on the
2 use of the swirl test or visual inspection of the
3 platelets prior to release, and it's a fact that
4 platelets usually do not swirl when they are
5 bacterially contaminated.

6 And I believe that the majority of
7 bacterially contaminated platelets could probably be
8 intercepted by a much less technologically complex and
9 a much less expensive visual inspection of the
10 platelets prior to transfusion.

11 I would appreciate your comments.

12 DR. LEE: Yes, I believe we're going to
13 hear much more about detection methods, including even
14 simple methods such as the swirl test, in the
15 subsequent sessions to follow.

16 One comment about bacterial contamination.

17 Intrinsically it's different from viral contamination
18 in that viral contamination, it's there, always there.

19 The best time to detect it is at the earliest possible
20 time.

21 Not true for bacterial contamination. In
22 fact, every unit is probably contaminated to some
23 degree. It's just that it doesn't amount to much
24 depending upon when you use it.

25 So for platelets that are used within 72

1 hours, it's probably contaminated at the laboratory
2 level, but it probably doesn't make any difference from
3 a clinical standpoint.

4 So what you're really interested in is
5 those units that are clinically going to cause
6 complications, and probably the best time to test,
7 subject them to these for the detection of bacterial
8 contamination is probably at issue. Obviously, with
9 the limitations of the culturing method, you can't do
10 that at issue. So what do you do?

11 You try to strike a compromise, and three
12 days appears reasonable, where anything below that you
13 need not test and it's probably okay. Anything above
14 that you have some results. That gives you an
15 opportunity to intervene, and also at that point the
16 culture results are sufficient in terms of its
17 sensitivity to allow possible increase in out date from
18 five to seven, which will alleviate the burden that it
19 might place.

20 So the inherent difference in contamination
21 character between viral diseases and bacteria I think
22 will allow for some of these methods that on the
23 surface seem inappropriate.

24 DR. BLAJCHMAN: Dr. Barbara, you had a
25 comment.

1 DR. BARBARA: Yeah, just a slightly
2 different angle that this should be looked at. If
3 we're going to introduce NAT and there's going to be a
4 delay in turnaround time, we might actually use this as
5 an opportunity to help ease in some form of bacterial
6 culture in some way, you know, if we decide exactly how
7 we do it, to extend shelf life, to alleviate -- we use
8 this to alleviate some of the delay pressures that come
9 from the NAT results.

10 So you know, we may actually have a bit of
11 a silver lining out of what otherwise is just a cloud,
12 in my opinion.

13 DR. BLAJCHMAN: And the last question of
14 this session, Len, and then you can come up and chair
15 the next session.

16 DR. FRIEDMAN: Thank you.

17 Comment for the panel. Len Friedman,
18 American Red Cross.

19 I'm very concerned about what the Germans
20 are doing, especially since they seem to be
21 promulgating it in Europe. What they've introduced is
22 what I would call statistical process control on their
23 products. It's not product release testing. They're
24 just looking at products, including frozen plasma, for
25 example, where bacteria have never been implicated.

1 So the question is: if we go ahead and
2 consider what they're doing as part of our overall
3 strategy, we have to be very careful about deciding
4 what do we hope to learn from it. Are we learning the
5 same information other ways, and how can it improve our
6 safety? Because it's not product release.

7 DR. GOLDMAN: Yeah, maybe I could address
8 that a little bit because I was at that meeting in
9 Heidelberg.

10 What they're doing basically, as you said,
11 is they're doing destructive cultures on approximately
12 one percent of their production of platelets and red
13 cells, and they have a very fixed protocol as to how
14 they're doing them.

15 I think what was very interesting about
16 that approach was that they had sufficient statistical
17 power to see if there was a difference from one year to
18 the next when you then introduce some change in your
19 process, like you change the way you disinfect the
20 donor skin or you do universal prestorage
21 leukodepletion and then you wonder have you actually
22 made a difference on your contamination rate or not.

23 And we've had a lot of discussion about
24 how, you know, there's a lot of trouble collecting the
25 transfusion reactions, and so another way to do it is

1 to look at these cultures, and because they're doing so
2 many of them by a standardized method, they might
3 actually be able to see differences.

4 For example, at that Heidelberg meeting,
5 they showed data that the rates seem to be lower after
6 prestorage leukodepletion of their products. Now, they
7 didn't show statistical analysis on that, but it would
8 be very interesting to actually see that.

9 I'm not saying that I agree with their one
10 percent or that every place has to be doing this, but I
11 could see that there's some very useful information you
12 could get out of that.

13 DR. BLAJCHMAN: Okay. I think we'll close
14 that session. There are still many other questions
15 that we can answer, but in the interest of time I think
16 we'll go on with Session II. That will be chaired by
17 Len Friedman and Mark Brecher.

18 So I'd like to invite those two people to
19 the podium, and I thank the speakers of this session
20 for their input and thoughts.

21 (Applause.)

22 DR. BRECHER: Okay. We'll start Session
23 II. I'd like to introduce Len Friedman. Len obtained
24 a B.S. in chemical engineering from the Polytechnic
25 Institute of Brooklyn, now Polytechnic University, and

1 M.S. and a Doctorate in Science in chemical engineering
2 from Columbia.

3 He established the Biomedical Engineering
4 Laboratory at the American Red Cross in 1973, and this
5 group evolved into the Holland Laboratory, the central
6 research and development facility of the American Red
7 Cross.

8 He's been mainly interested in applied or
9 translational research, and he is going to be talking
10 on test characteristics and operational implications.

11 Len.

12 DR. FRIEDMAN: Thank you, Mark.

13 If we can have the first slide. We'll see
14 if old technology works. There we go.

15 This session is detection methods in
16 bacteria.

17 Next slide.

18 And what I thought I would do would be to
19 set the stage by discussing some test characteristics
20 and operational implications which you might want to
21 keep in mind as we hear the presentations which
22 follows.

23 First of all, no one has really talked
24 about what the bacterial load is when we collect a
25 unit. It's not that I know what the answer is, but our

1 best guess or best estimate is that there might be
2 somewhere between .1 and 1 bacteria per milliliter.

3 So what are the implications of this? The
4 implications of this is at the time of blood
5 collection, there aren't very many bacterial around.
6 If you take a small sample of blood or platelet, for
7 example, from the bag, what is the likelihood that the
8 sample you take is going to have a bacteria in there,
9 and what is the likelihood that your test is going to
10 have the sensitivity to detect it?

11 So, therefore, that also implies that if
12 you sample early on when the number of bacteria are
13 going to be relatively low, you're going to need a test
14 with high sensitivity.

15 That also implies that the test may have a
16 high rate of false positive reactions, which will cause
17 false positives, which will potentially cause other
18 problems.

19 The next issue is what is the level which
20 leads to sepsis, and once again, if you review the
21 literature you'll find a number in there times ten to
22 the fifth organisms per milliliter. That's essentially
23 saying if it's above that level, there is a chance of
24 leading to sepsis, but there have been no documented
25 cases that I'm aware of where at below that level it

1 has led to sepsis.

2 Of course, sepsis is an issue that is not
3 only what the bacterial load is that's being given to
4 the patient, but the patient's immunological status and
5 whether or not the patient is on antibiotics and other
6 factors.

7 So what does this mean? This means that if
8 we have a test that is going to be implemented, I think
9 -- personal opinion -- that it should have a
10 sensitivity of below ten to the fifth organisms per
11 milliliter, but let's remember there's a time
12 differential between when the sample is taken and when
13 the product is transfused, and bacteria can grow during
14 that time.

15 So you need some safety margin, and that
16 safety margin will depend upon what the organism is,
17 how fast it grows, and what the time is between
18 sampling and transfusion.

19 This is just to show you a model of slow,
20 medium and fast growing organisms. If it's a slow
21 growing organism where the doubling rate is perhaps
22 every eight hours, within the normal shelf life of a
23 platelet product, you're never going to get to the ten
24 to the fifth level.

25 If it's an organism that can double every

1 four hours, you get there in three days, but if it's a
2 fast growing organism, you can get there very rapidly,
3 within the first 24 hours.

4 So, once again, the fact that you're
5 testing is not good enough. The question is: how good
6 is your test? And what's the time between testing and
7 transfusion?

8 Now, we've heard this morning that we have
9 both Gram positive and Gram negative organisms to worry
10 about, and at this point for a, quote, screening test,
11 one to tell us is a product contaminated or not
12 contaminated, do we care?

13 I personally don't think we care. I want a
14 simple yes/no answer. Is this product below a certain
15 threshold or above a certain threshold? However, at
16 some point if it does lead to a septic event, we do
17 need to know more about it and need to know whether or
18 not it's from the person or whether or not it might be
19 from the bag or the environment.

20 Another thing I've seen as companies have
21 come into my department to talk about testing with us
22 is they bring in data, and the data are done on very
23 nice culture systems, very nice model systems, and we
24 say, "Gee, this looks good, but what happens in the
25 presence of platelets and residual white cells?"

1 And that's where there is less information
2 available. So let's hope that the people who will be
3 presenting today use real products after they've
4 developed their system in model products because the
5 model system may not emulate the real system.

6 Can you focus it, please? Thank you.

7 And finally, the issue is: where are we
8 going to do the testing? Are we going to do the
9 testing in the regional blood center or are we going to
10 do the testing in the hospital? Because when you're
11 developing a test system, there's usually
12 instrumentation, and there's usually a product
13 definition which is needed.

14 So the product definition includes the test
15 sensitivity, and I just described the fact that if it's
16 going to be done in a regional blood center, and that's
17 many hours prior to transfusion usually, then it's
18 going to have to be more sensitive.

19 And if it's more sensitive, it may have a
20 greater false positive rate.

21 If it's being done in a hospital, my
22 feeling is it should have a test sensitivity of less
23 than ten to the fifth. How much less I don't know. I
24 think the question is let's see what the manufacturers
25 offer us.

1 The time of sampling, as you'll hear in
2 some of the presentations, typically in the blood
3 center it's going to be 24 or 48 hours after
4 preparation. Why? Because the initial bacterial load
5 is low. You need to give the organism some time to
6 grow so that when you sample you know you're sampling a
7 representative part of the product.

8 Whereas if it's pretransfusion in the
9 hospital, the bacterial will potentially increase from
10 the time of sampling to the time of transfusion, but
11 that time can be minimized.

12 The test complexity. If it's in a blood
13 center, blood centers are used to handling many complex
14 things, and while we don't want it too complex, they
15 probably can deal with a system that has some degree of
16 complexity, especially if it's an automated system.

17 In the hospital, it's got to essentially be
18 turnkey. It can be very sophisticated, but it's got to
19 be transparent to the user.

20 Test duration. In the blood center, while
21 we don't have a lot of time, we have other things going
22 on during product release. So the test can take an
23 hour, two hours, three hours, maybe even long, but in
24 the hospital where we are doing this as a
25 pretransfusion test, we need a rapid turnaround time.

1 In terms of throughput, once again, in the
2 regional blood center we're handling large volumes of
3 products, large numbers of tests. We've got to get it
4 in, and we've got to get it out.

5 Within the hospital environment, that's
6 true. We have to get it in and out, but not at the
7 rate of 1,000 or 2,000 a shift.

8 And finally, people always say, "Well, how
9 much can this test cost?" Well, I don't know if \$5 is
10 a good number, but it is a number to shoot for. Why?
11 Because that's around the price we pay for viral
12 testing today.

13 So when a company comes in and says, "Gee,
14 I can give you this instrument at \$100,000 and this
15 test at \$30 a pop," I say: who's going to buy it?

16 Now, if it's the only thing out there,
17 maybe someone will buy it. Maybe it will be regulated.

18 That I don't know, but I just don't think that's the
19 product definition we should be shooting for.

20 So with that, I think I'm over, and we're
21 going to have our first speaker, and then we're going
22 to break for lunch, and then we're going to come back
23 and continue after lunch.

24 Our first speaker is Jim AuBuchon. He's a
25 Professor of Pathology and Medicine at Dartmouth-

1 Hitchcock Medical Center. He's a nice guy and he's
2 smart.

3 (Laughter and applause.)

4 DR. AuBUCHON: Thank you, Len.

5 That's a lot nicer than the introduce you
6 threatened me with yesterday.

7 If I could have the first slide, please.

8 Most all the points that I'd like to make
9 have already been made by other speakers, but I'm going
10 to try to pull them together and put them into a
11 practical context for you looking at bacterial testing
12 and culturing as a means of detecting bacterial
13 contamination of platelets.

14 Obviously, we know that we have many
15 problems with platelets, and I'm not going to go
16 through this again, but we had the initial low
17 concentration to deal with, and they can be very
18 difficult to detect, particularly if you're trying to
19 pick them out amongst the myriad of little platelets
20 floating by.

21 Platelets come from a number of different
22 sources and also importantly, as you've seen from other
23 speakers this morning, there are lots of different bugs
24 we have to worry about. So we have to go about this
25 generically. We can't have a test for each one of

1 these platelets.

2 And we have to potentially detect them at
3 relatively low levels particularly, as Len said, if
4 you're trying to detect them early on.

5 We also have a problem in that bacteria
6 disappear from platelets or any blood unit, but they
7 may reappear later. For example, some work that we've
8 done with Yersinia and others have done with other
9 organisms has shown that particularly complement is
10 very effective in clearing Gram negative organisms, and
11 there are other mechanisms for other types of
12 organisms, such that even if you start out at a high
13 level, such as 100 organisms per mL, within an hour or
14 two these bugs are not detectable.

15 However, if you let this unit sit for weeks
16 or days at room temperature, the organisms can, quote,
17 reappear. So you have to time your culture or other
18 intervention appropriately to pick up what is really
19 lurking in the background.

20 We also have to recognize that these bugs
21 can disappear from a number of different mechanisms
22 including, in fact, platelets themselves may be
23 bacteriocidal. This bacteriocidal effect may, in part,
24 be due to residual leukocytes in the unit, but in this
25 study, which has been around for a number of years, you

1 can see that the effect of platelets is greater than
2 the effect of just plasma alone in removing bacteria.
3 In fact, there are some theories that platelets may
4 represent some primordial form of scavaging of bacteria
5 to present them to the immune system or the reticular
6 endothelial system, but that's another talk to for
7 another day.

8 We also have to recognize that the size of
9 the inoculum can affect the rapidity with which the
10 inoculum grows up, and if you start with a low level
11 inoculum, shown here in the red bars, you may get an
12 apparent lag period before the bacteria begin to grow.

13 If you inject more bugs into a platelet unit, they
14 appear more quickly.

15 And this isn't just the sensitivity of
16 culture that we're dealing with. It appears that there
17 may be some cooperativity or some other bacteriologic
18 function going on when there are more bugs in the bag.

19 So we have some other things to compound
20 the problem to consider. We have a shift driven by
21 financial concerns from apheresis platelets back to
22 whole blood derived platelets, at least in this
23 country, and as some studies have shown, these
24 platelets may be involved with bacterial contamination
25 at a higher rate.

1 With leukoreduction, many of us are
2 interested in performing this in a prestorage fashion,
3 but at the moment there's no FDA approved way of
4 prestorage leukodeleting platelet units derived from
5 whole blood units because one would have to apply an
6 individual unit, individual filter for each one of the
7 six or eight or ten units that one wanted to prestorage
8 leukoreduce, and that gets just incredibly expensive.

9 And, as Dr. Heaton pointed out, with the
10 implementation of NAT we have delays in release. So
11 platelets are being pushed back further in their
12 inventory holding period, and the potential for further
13 bacterial growth is a concern.

14 So what do we need? Well, we would like to
15 have, optimally, some means of detecting all
16 contaminated units at the blood center right after
17 collection when the concentration may be as little as
18 one bug in the bag. I don't know if we'll ever get to
19 that.

20 Minimally we want to detect a lethal dose.
21 What may be acceptable is detection of a clinically
22 significant dose, whether that's ten to the fifth
23 organisms per mL or some number of organisms per
24 transfusion. I don't know exactly what that number is,
25 but it's clearly more than one bug in a bag.

1 The current technology, as Len said, is
2 going to be maximized in its sensitivity when we move
3 the testing point closer to transfusion, and that's
4 really the focus of my talk today. What are some means
5 that we can do to get there?

6 A number of different techniques, none of
7 which are either available, such as RNA detection, or
8 which seem to pass the Friedman test of getting down to
9 the level where we're really able to pick up bacteria
10 to prevent all contamination cases.

11 Well, can we use the bacterial mechanisms
12 of taking glucose and turning it into acid and CO₂ to
13 detect these organisms by other biochemical means,
14 picking up a drop in glucose in the bag, a decrease in
15 pH and the associated disappearance of swirling? Can
16 we detect CO₂ directly, just as the microbiologists do
17 in their culture mechanisms?

18 And there are a number of different ways
19 that have been tried, looking at automated testing,
20 some biochemical strips that can be used on urine or
21 blood, for example, to determine some of these
22 components, a different framework obviously, and some
23 CO₂ sensitive labels have also been tried to detect CO₂.

24 For example, Klaus Hogman reported several
25 years ago using these CO₂ sensitive labels the problem

1 was that you didn't get any change at all with a number
2 of different organisms, and with other organisms, such
3 as the one that was pointed out earlier today, you'd
4 have to have what would probably be a lethal dose
5 before the indicator would turn positive, and it didn't
6 have a great sensitivity.

7 And of course, the problem is also that
8 platelets can produce CO₂. So you've got some false
9 positives to deal with. That idea looks like its time
10 has not yet come.

11 Dr. Brecher has been very involved in this
12 field and has published a number of studies looking at
13 the little test strips, biochemical test strips, dip
14 sticks, to pick up changes in glucose or changes in pH
15 after a unit has been intentionally contaminated, and
16 you can indeed see glucose fall.

17 This looks like it might be useful because
18 clearly as you move out into the time period
19 particularly that we're interested in, days three to
20 five, there appears to be a difference between the
21 control level and the level found in contaminated
22 units.

23 The problem is that there's a large
24 variance here in terms of what's normal in a control
25 unit, and my apologies to Mark. I had to calculate

1 what the SD was based on publication, and this may not
2 be exactly correct, but there is a relatively broad
3 range of glucoses or pHs that can be found in any
4 normal, noncontaminated platelet unit, and therefore,
5 we have the problem of false positivity or setting your
6 level of detection at a point where the sensitivity
7 suffers.

8 Steve Wagner from the Red Cross and Len
9 Friedman's group has also looked at this, and they set
10 their cutoff level based on platelets tested on day
11 six, but again, there was a significant standard
12 deviation to consider, and so really if you're going to
13 set the cutoff level here at maybe around 50 percent of
14 what the glucose was on day zero, a number of normal
15 units are going to fall below that.

16 And indeed, the false positive rates that
17 they showed looking at glucose levels, pH, or absence
18 of swirling, based on two SD reference levels for the
19 two biochemical tests, showed predictably about five
20 percent false positives. That's what you would expect
21 just from the statistics involved. You're going to get
22 some false positives.

23 Can we withstand that, particularly at the
24 time when we're very cost conscious and we're cutting
25 back on the blood supply for other safety related

1 reasons?

2 As I said, Mark has been involved in a
3 number of attempts to try to figure out a way to
4 prevent bacteria from going undetected, and by using
5 the biochemical test strips in his laboratory at UNC,
6 he's reported greater than 95 percent detection of
7 bacterially contaminated units when the concentration
8 is ten to the seventh bugs per mL.

9 This varies by organism and is not the same
10 for all organisms, but it looks relatively good. The
11 problem is that this is, you know, well over a lethal
12 dose probably, and we want to pick it up before then.

13 Steve Wagner has looked at this as well
14 and, again, comes up with an idea that we're probably
15 not meeting the Friedman rule here. He noted that
16 swirling was about as good as glucose changes in terms
17 of picking up the presence of bacterially contaminated
18 units, and he also noted that pH would not be useful
19 for enterobacter because they, and other bacteria, do
20 not produce acid. So you're not going to see the pH
21 change, and you wouldn't also see the swirling change
22 probably, which is pH related.

23 Swirling is an interesting phenomenon,
24 something that we're all used to seeing in the
25 laboratory, and it depends, of course, on the presence

1 of the platelet in the discoid form, which then can
2 align perpendicular to flow when we shake the bag back
3 and forth, and as the pH drops or there's some other
4 metabolic disturbance, the platelets don't like that.
5 They become spherical over time, and they obviously
6 can't align along a long axis.

7 So this is something which has attracted
8 our attention and others as a simple means of trying to
9 pick up those units that may be contaminated.

10 In performing the same kind of dip stick
11 tests that Dr. Brecher performed and also doing some
12 swirling tests, we're able to essentially recapitulate
13 the data that has been published by others in that you
14 have to have a relatively high contamination rate or
15 bacterial concentration before these tests become
16 positive.

17 Now, by inoculating on day zero with one
18 organism per mL, we would get up to those levels
19 relatively soon. We could pick up, for example, staph.
20 aureus on day to by the fall in glucose or all of the
21 tests somewhere between day three or day five would
22 become positive for salmonella. Staph. epi., the
23 glucose became abnormal on day two, but there were lots
24 of false positives, and trying to adjust the cutoff
25 level by going to either one SD or three SD didn't

1 overall improve the accuracy.

2 We attempted to do this study with blinded
3 observers, our normal bench techs. working with these
4 units along with other units in a paired design to test
5 this out to see exactly how good we could possibly be,
6 and we felt that swirling was probably going to be the
7 best and simplest way to detect.

8 However, not all bugs cause swirling. Only
9 25 percent of the staph. epi. contaminated units were
10 detectable by swirling by day five.

11 The specificity looks pretty good for
12 swirling, but the sensitivity is not what we might like
13 it to be.

14 Getting back to the Friedman rule, we
15 obviously need something that's going to do better than
16 these levels of sensitivity that I've highlighted here.

17 Can we get any better with swirling by automation?
18 The answer is no.

19 However, we do swirl testing on all of our
20 units before release because that's the only thing we
21 have available, and in the early part of this year we
22 detected two units that were bacterially contaminated
23 by lack of swirling, one on day two and one on day
24 three. We are not exactly certain where this came
25 from. These all looked like skin contaminants, and we

1 were happy that we were able to pick them up.

2 But this caused us great concern because we
3 knew that swirling was not going to be perfect, and we
4 might be missing something. So it brought home to us,
5 even in northern New England, that bacterial
6 contamination was a problem.

7 So we looked at then bacterial culture.
8 The problem is obviously that it takes time. There is
9 a lag time for the microbiological test to turn
10 positive if it's going to. There are costs, and
11 there's a sensitivity issue that the more you culture,
12 the greater the sensitivity, but then the less you have
13 to transfuse, and we weren't interested in doing
14 destructive testing on all of our units obviously.

15 So we adopted the following system that we
16 felt was practical for a transfusion service
17 laboratory. All of our units are entered into
18 inventory about on day one, and on day two we perform a
19 bacterial culture using a sterile connecting device.

20 Whenever the unit happens to be needed by
21 our own protocols, we release that. We culture about
22 five to ten mLs via the SCD.

23 Now, why did we pick day two? Well,
24 because of our previous work in inoculating on day zero
25 with one bacteria per mL, we found, of course, that the

1 growth of bacteria over time, on day one only about 80
2 percent of the units that were cultured five to ten mLs
3 were bacterially positive. However, if we waited until
4 day two, all of the units that we cultured were
5 positive. Therefore, we felt that by waiting to day
6 two, we would be increasing our sensitivity, and
7 knowing also that usually the bacteria are not going to
8 be at such a high level in the first 48 hours, and we
9 don't usually transfuse units within the first 48
10 hours, that we would not be causing the patient any
11 problems.

12 Of course, if the positive automated
13 culture is reported to us as being positive at any
14 time, the unit is pulled from inventory, but we don't
15 wait for a negative result. Obviously we don't wait
16 for a seven day culture to release a five day product.

17 We've been doing this now since early May,
18 and I report here on the first 16 weeks of our
19 experience we cultured 401 units in the automated
20 system. We got three units that were initially
21 positive. On two of them, when we went back to the
22 unit, we could not reculture the organism. This
23 appeared to be a tech. problem. These false positives
24 occurred early on in the first couple of weeks, and we
25 did some more training with our techs. about how to

1 take the samples, and that problem seemed to have
2 disappeared.

3 We had two that were positive that were not
4 able to be recultured, one because it had been entered
5 for another reason and one because the unit had already
6 been transfused, and the retention segment was not
7 maintained in a sterile fashion. The patient who
8 received that unit did not show any signs or symptoms.

9 He was on some prophylactic antibiotics, but they
10 weren't very good at dealing with the organism that he
11 was transfused. It was a staph. epi., and he was
12 cultured and had a negative blood culture.

13 And we had one conundrum, one unit that
14 demonstrated no swirling beyond day three. We cultured
15 that every way our microbiologists could possibly think
16 of and could never get anything to grow. So unless
17 it's some very peculiar mycoplasma, I don't know why
18 this unit was not swirling. It wasn't due to a high
19 white count or abnormally high platelet count.

20 Now, this costs money. It cost us about
21 \$11 for each one of our apheresis units to be cultured.

22 We only use apheresis units so these are the only ones
23 we have to deal with. It takes about seven minutes of
24 tech. time, and when we do get a positive, depending on
25 what we get and how difficult it is for the micro lab

1 to work up, it does cost us some money.

2 I'm certainly not interested in spending
3 more money than I have to, despite the fact that I
4 would like to make the blood supply safer, but the
5 payoff here may be in reducing outdates. We outdate
6 about 15 percent of the units that we collect in
7 platelet pheresis. Most of our units are transfused in
8 the latter half of the storage period, but we have a
9 problem with outdates, and we've tried a number of
10 things to beat this down, but it's still with us.

11 What about taking advantage of the fact
12 that we know that platelets can be stored for seven
13 days in these bags and they work fine with that length
14 of storage? The only reason that we're outdating them
15 at the end of day five is because of bacterial
16 concerns.

17 If we have a culture on all of these units
18 that's still negative at that point, why not go ahead
19 and transfuse day six or day seven? And you can see
20 that on the days that we did have units expiring, we
21 had an average of two and a half units expiring, and on
22 the next day, day six of their storage period, we had
23 more than enough units requested to use the units that
24 had expired the previous day.

25 So even being able to use these units one

1 day more would have brought them back into usable
2 inventory. Does that help the finances? It sure does
3 because if you take out of 100 units that you culture
4 and spend this amount of money in working those units
5 up and then don't outdate 15 of them at, let's say,
6 \$500, collection cost, for each one, you have accounted
7 for the bacterial culture costs several fold over while
8 making the blood supply safer at the same time.

9 Now, others have looked at this as well,
10 and I recommend, you know, you take a look at this
11 report as well because we're looking at pools of
12 platelets, but again, they found that they were able to
13 culture units early on and identify those pools that
14 should not be transfused.

15 So by going with bacterial culture in the
16 transfusion service, we get around some of the problems
17 of sensitivity because you're then dealing with a time
18 course that's more amenable to picking up low levels of
19 bacteria, and potentially you're using a cost saving
20 means of dealing with this problem, able to both reduce
21 costs and improve the safety of the blood supply.

22 So what should we do? Well, I'll offer you
23 the hospital transfusionist perspective or at least my
24 own perspective. We need to pay attention to where
25 we're killing people, as Dr. Blajchman opened with this

1 morning. We're killing them by giving them the wrong
2 units of blood and by giving them units that are
3 bacterially contaminated.

4 What can we do to prevent that? Well, we
5 can culture these units. We need to do something. It
6 doesn't have to be perfect, and it doesn't have to be
7 done by the blood center. It can be done at the
8 hospital, and it can be done in a cost effective or
9 cost savings manner even better.

10 And I'll leave you with a quote to consider
11 over lunch, that wisdom consists of knowing when to
12 avoid perfection.

13 Thank you.

14 (Applause.)

15 DR. FRIEDMAN: Thank you, Jim.

16 We're going to break for lunch now.
17 There's a cafeteria on the second floor, and there's a
18 bigger cafeteria in the basement. If you suffer from
19 senior moments the way I do, you'll know in your pack
20 there are pieces of paper you can write questions on if
21 you have any questions from my presentation or from
22 Jim's or after lunch from the other speakers. Write
23 them down. I don't know if we'll collect them, but at
24 least you'll have notes about them.

25 And I think we're breaking for lunch now.

1 Back at one. We're going to start sharp at one.

2 (Whereupon, at 12:02 p.m., the meeting was
3 recessed for lunch, to reconvene at 1:00 p.m., the same
4 day.)

5

6

7

8

9

10

11

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:03 p.m.)

3 DR. BRECHER: Okay. I'd like to welcome
4 you back to the second part of Session II, detection
5 methods.

6 Our first speaker this afternoon is Roslyn
7 Yomtovian. Dr. Yomtovian is the Director of the
8 Transfusion Medicine Service at the University
9 Hospitals of Cleveland. She's an associate professor.

10 She's very active in educational efforts, particularly
11 with the American Society of Clinical Pathology, and
12 one of her areas of long interest has been bacteria
13 contamination of blood, particularly that of platelets.

14 Ros.

15 DR. YOMTOVIAN: Well, thank you, Mark, and
16 thanks to the organizers and those of you who are in
17 attendance to hear once again about this topic which
18 has interested many of us for quite a long time.

19 And, let's see, I guess I -- yes. So what
20 I'd like to do today is share with you our experience
21 in Cleveland at University Hospitals on use of the Gram
22 stain and culture surveillance as applied to this
23 problem and tell you about some of our significant
24 findings, focusing to a great extent on the clinical
25 implications.

1 Now, we entered this foray of studying this
2 problem. Sort of we were victimized in 1991 when we
3 had a mini epidemic of bacterial contamination of
4 platelets which was reported in MMWR. At that time, we
5 were kind of shunned. "Oh, you must be doing something
6 wrong. Why, you know, do you have this problem in
7 Cleveland?"

8 We invited the CDC to come in. They spent
9 several weeks in Cleveland. We also invited the FDA to
10 come in. They spent significant time, and, lo and
11 behold, they found nothing unusual about our practices
12 that could explain why this occurred, and indeed, there
13 was nothing at the hospital level that was causing
14 this. We did not make any of these platelets. These
15 came from various blood providers. I might add more
16 than one. So it was clearly an issue that was
17 occurring before the blood or the platelets even
18 arrived in our inventory.

19 We've heard today that bacterial
20 contamination of platelets is the most common cause of
21 transfusion transmitted infectious disorders. It
22 certainly relates to the room temperature storage that
23 characterize platelets, and the risk certainly
24 increases with increasing storage age, and I will come
25 back to that later.

1 Now, over the eight years that we've been
2 doing surveillance of bacterial contamination, we've
3 really acquired a wealth of information, and some of
4 this information has to do with the rate and incidence
5 of bacterial contamination of platelets, the type of
6 organisms that are involved, the utility of the Gram
7 stain, the clinical significance of contamination, the
8 longitudinal pattern over time, and I'll show you some
9 kind of interesting information on that.

10 And also we've been able to compare the
11 incidence or risk of random donor platelets versus
12 single donor platelets.

13 This is our epidemic curve since the
14 beginning, even since our presurveillance time.
15 Actually there should be another case way out here in
16 late 1989, an enterobacter aerogenes. That's what
17 stirred our initial interest, and then we had the
18 epidemic that caused the MMWR report.

19 And then over time -- and I'll describe
20 these different surveillance periods for you shortly --
21 we've had different ways of approaching this problem.

22 You can see just in general most of our
23 isolates have been a staph. epidermidis, coagulase
24 negative staph. We've had a couple of pseudomonas, a
25 couple of bacillus, a couple of staph. aureus, a couple

1 of strep. veridans group, and one serratia marcescens.

2 We've had mostly random platelets involved, but the
3 stars indicate single donor platelets being involved.

4 Oh, I should add there's eco. bac. for just
5 a minute. After I made this slide, we did have an
6 additional case this month. So it's even hard for me
7 to keep up with this slide.

8 Now, this is how our surveillance has
9 evolved over time. In the very beginning when we had
10 the clustering of cases, we initiated a Gram stain and
11 culture from all platelet pools. We, however, did not
12 use these to interdict any transfusions.

13 As more cases occurred, we then required a
14 negative Gram stain to be issued to us prior to
15 transfusion, and we continued to culture, but did not
16 wait for the culture result.

17 As time went on and we had data showing
18 that the four and five day old platelets, which I'll
19 call at risk platelets, had a much higher rate of
20 contamination than the one, two, or three day old
21 platelets, we began to do our surveillance only on the
22 at risk platelets. So we evolved to a negative Gram
23 stain required on any pool containing a four or five
24 day old platelet, and we continued for a while to do
25 cultures, however, from all the platelets.

1 That evolved into studying only the at risk
2 platelets, both negative Gram stain result and cultures
3 from the at risk platelet pools, and finally, in
4 February of this year, we have evolved now into the
5 last interval that we're currently in in which we're
6 not doing the Gram stain anymore, and that was a very
7 difficult decision to make.

8 Not to preempt myself, I will tell you that
9 three years did go by between the time that we had
10 positive cultures and a positive Gram stain. In other
11 words, all of the cases for three years preceding this
12 decision that were culture positive and confirmed to be
13 true contaminants, all had negative Gram stain. So
14 they were all transfused, and I'll show you what
15 happened to the patients clinically.

16 So we felt we really weren't doing much by
17 continuing the Gram stain, number one.

18 Number two, it significantly delayed our
19 issuance of platelets to ever increasing demand from
20 our blood bank for faster service, and that includes a
21 particularly large growing out-patient service.

22 So we continue to do surveillance, and we
23 certainly reserve the right to go back to Gram staining
24 if it looks like it might help.

25 I might also say over the last few years,

1 the epidemic may be changing somewhat in that the
2 number of organisms in general that we're finding in
3 contaminated units are lower than they were earlier in
4 the epidemic, and I have no readily available
5 explanation for that.

6 I want to emphasize in our culturing
7 methods way back when we developed these, we
8 purposefully developed them, and this was developed
9 with Dr. Michael Jacobs, our microbiologist, to
10 minimize the possibility of false positive results.

11 Now, I will say, unlike what most people
12 have discussed this morning, we are doing quantitative
13 -- we're doing plates, rather, which allow us to do
14 quantitative cultures if the plate comes up positive.
15 We're only using a .1 mL aliquot. It seems like a
16 vanishingly small amount, and therefore, our
17 sensitivity is a little bit lower than what others have
18 reported, but that, again, was decided intentionally in
19 our particular institution.

20 We examined the cultures for three days,
21 and we do quantitative cultures if the plate is
22 positive. Of course, we confirm. If we have a pooled
23 positive, we've saved all of the individual units. We
24 know what order they were pooled in. We go in and we
25 culture them, and we've always had a positive

1 individual unit associated with a positive pool with
2 the same organism.

3 And this is our methodology for the Gram
4 stain, and our criteria for whether it's negative or
5 positive, and you've already heard that the sensitivity
6 of the Gram stain is right around ten to the fifth or
7 ten to the sixth colony forming units per mL, not very
8 sensitive.

9 And, again, this is our curve, just to
10 remind you of the cases, and we had another case, and
11 here I might just tell you after we stopped doing the
12 Gram stain, you would expect, of course, that this
13 would happen. We did have this apheresis platelet that
14 was contaminated heavily with a strep. veridans group
15 that was strongly Gram stain positive. So chances are
16 this would have been interdicted.

17 This was a five day old unit. Chances are
18 it would have been interdicted. This lady did wind up,
19 the recipient of this, did wind up in the intensive
20 care unit with shock. However, it was not involved in
21 her subsequent clinical course. She recovered from
22 that.

23 Now, this is our data presented a little
24 bit differently. Clinical outcome is on the left-hand
25 column. We've had a total of 32 cases. These are the

1 different bacteria that we've isolated.

2 This is the quantitation that I referred to
3 that really we haven't seen yet today, and I want to
4 talk about this shortly. This is obviously very skewed
5 because we're really only studying in a routine,
6 consistent way the four and five day old units. So the
7 ages that are less than that are either patients that
8 have had a transfusion reaction that's been reported to
9 us or occurred in the earlier interval when we were
10 studying all the platelets.

11 I do want to mention we had one death in a
12 pseudomonas case caused by a three day old platelet,
13 which, by the way, had we been doing Gram stains on all
14 of the platelets rather than just the four and five day
15 days old, that was floridly positive and probably -- of
16 course, you never know -- probably would have prevented
17 that transfusion.

18 The pink marks here are the cases where the
19 patient also had a positive blood culture, and it's
20 apparent that there are a couple of cases where the
21 patients, even after thoroughly reviewing the chart,
22 talking to the clinician, had no symptoms. One lady
23 had ten to the eighth CFUs per mL transfused, no
24 symptoms. She did have a positive blood culture and
25 was brought back. She was an out patient and treated

1 with antibiotics.

2 Another patient with ten to the three CFUs
3 per mL also had a positive blood culture. In fact,
4 three -- two of the three cases we've submitted to
5 BaCon, which haven't been accepted, have had positive
6 blood cultures, but one didn't have symptoms and the
7 other one had delayed fever, and that's the other
8 clinical outcome I just want to mention briefly.

9 One of these people that had a delayed
10 fever only got -- and the data is there -- ten to the
11 two CFU per mL in the pool, and it was actually 60 CFU
12 per mL in the implicated unit. That lady went home,
13 spiked a fever to 103 degrees several hours later. In
14 between the time she received the platelet and the time
15 we knew the culture was positive and called the
16 clinician, she had donated autologous hematopoietic
17 stem cells, and when we told the clinician her platelet
18 that she got before that collection was positive, they
19 elected to discard her stem cells. I'm not sure that
20 was the right decision, but that's what they did.

21 So when we ask what is the clinically
22 significant amount of bacteria, the answer is that I
23 have no clue.

24 I might also emphasize one of our cases is
25 a neonate who got ten to the ninth CFU per mL of

1 serratia marcescens, had some subtle clinical changes.

2 There was a change in the antibiotic this neonate was
3 on that occurred shortly after the transfusion and some
4 subtle changes in pulse and blood pressure, but it was
5 very subtle and no one even, you know, worried about
6 this child from that viewpoint.

7 If this had been given to an adult, chances
8 are it would have had a much different outcome.
9 Neonates have a poorly developed cytokine network and
10 probably do not respond the same to endotoxin as adults
11 do. So that's just something else to keep in mind. So
12 outcome is extremely variable.

13 And you've already heard about the tip of
14 the iceberg from Dr. Blajchman, and you know, in most
15 places that rely on a reaction triggered surveillance,
16 as is the BaCon study, we truly are dealing with the
17 tip of the iceberg. Whereas in our facility for years,
18 we've been trying to look at the entire iceberg, which
19 is pretty massive as we've heard.

20 Now, just switching gears very slightly and
21 showing you data that's displayed a little bit
22 differently by year, these are Gram stain positive
23 results, 14 total. Several that were read as negative
24 before transfusion in retrospect may have been called
25 positive, but that doesn't do any good when you're

1 talking about how good a test is. I just put that in
2 for completeness.

3 There were 32 that were confirmed culture
4 positive, and therefore, we interdicted six transfusion
5 by use of the Gram stain.

6 And this is some other data that I wanted
7 to share with you on the total incidence of platelet
8 bacterial contamination in our facility over this
9 almost eight year time period.

10 Over that time we transfused almost 90,000
11 random donor units that were in pools of generally
12 five. There were 19 positive random donor units for an
13 incidence of contamination of about one in 4,700.
14 Realize that this is mixed data. This includes our
15 culture surveillance data for the older units, at risk
16 units, plus those that are reported to us as reactions
17 which later we document at contamination.

18 The better number is our incidence in at
19 risk platelets in which we've studied every single one
20 of these, generally in pools of five. They've all been
21 cultured. We've had 14 positives for an incidence of
22 at right contamination of about one in 2,000, similar
23 to numbers you've already heard. This just shows it
24 over different time intervals. This is the raw data.

25 And more interesting, but I really don't

1 have time to dwell on it right now, is the fact that
2 there is statistically significant variation over time.

3 So this is not a problem that's occurring uniformly
4 and we're now analyzing some additional time periods to
5 see whether we have yet another mini blurb out here
6 over time, but there is something different that
7 occurred during this time. I don't know exactly what
8 it is, but it's different.

9 Similarly, through our single donor
10 apheresis platelets, if you only looked at our raw
11 data, you'd think the incidence was lower than that of
12 per unit randoms, that one in 7,700, but when you look
13 only at the four and five day old units and use the
14 appropriate denominator, then you get a remarkably
15 similar rate of contamination unit for unit in the
16 single donor apheresis compared to the random donor.

17 And actually data I'm not showing today
18 shows that it doesn't make a difference whether they're
19 leukocyte reduced at the time that they're being
20 prepared or not. The rate is the same. There's no
21 difference, although the numbers are very small. So
22 the confidence internals would be probably
23 unreasonable. I mean there are only three cases here.

24 This represents very small numbers, but this is
25 important.

1 This is the contamination rate of single
2 donor versus random donor platelets on the at risk
3 units, which I've already mentioned, per 10,000, 4.15
4 for the single donors, 4.97 for the random donors,
5 exactly the same in a statistical sense unit for unit.

6 Obviously you pool the random donor platelets, and
7 that's why they're riskier.

8 Now, if we look only at our four and five
9 day old random donor platelets, I just summarize this
10 briefly. We had 14 that were positive for bacteria.
11 We had six that were interdicted by a pretransfusion
12 Gram stain, either that had a negative Gram stain, but
13 the culture was positive retrospectively on the unit.
14 What happened to those eight people?

15 Well, three had absolutely no symptoms or
16 sequelae that we could recognize. Two had no symptoms,
17 but had sequelae, including positive blood culture, and
18 three people had symptoms and sequelae. So, you know,
19 partially based on this data, the fact that the Gram
20 stain has not been very good for the last three years
21 and the delay, we did elect to stop using it for now,
22 although as I say, we reserve the right to start it
23 again if our surveillance shows we need to.

24 So in summary, bacterial contamination of
25 platelets is a persistent and ongoing problem with

1 variation over time. Unit contamination rates for
2 random and single donor platelets appear to be
3 identical. They are identical in our facility.

4 Clinical symptomatology and outcome is
5 variable. The minimum pathologic dose of bacteria is
6 unknown, and I might mention here that the fact that
7 many of these patients have in dwelling catheters
8 allowing for a plastic or artificial surface to
9 interact with even small numbers of bacteria may be
10 important.

11 And the Gram stain is limited in its
12 utility to interdict contaminated platelet units prior
13 to transfusion.

14 And I thank you for your attention.

15 (Applause.)

16 DR. BRECHER: Thank you.

17 Our next speaker is Dr. Stephen Wagner.
18 Dr. Wagner is a senior scientist at the American Red
19 Cross Holland Lab; received his B.S. degree in
20 chemistry from the University of Maryland, College
21 Park, and earned an M.S. and Ph.D. in biophysics from
22 Penn State.

23 He's had a long interest in disease
24 transmission by blood products, particular viruses and
25 bacteria, and he is going to be talking today, and his

1 topic is automated culture systems.

2 DR. WAGNER: Thank you very much, Mark.

3 As if you didn't want to hear more about
4 automated blood culture, but what I'd like to try to do
5 today is get into the guts perhaps of the testing and
6 try to define perhaps what's the best way of dealing
7 with automated blood culture, what might be the best
8 way to think about it.

9 And automated blood culture detection is
10 usually based on a change which is a color change,
11 which is based on the rate of change of pH in the
12 culture media, and that's generated by CO₂ which are
13 produced by bacteria predominantly, and the reason why
14 I use the word "predominantly" is because we all know
15 that platelets make CO₂, as do white cells, and that's
16 something that I'll come back to a little bit later in
17 the talk.

18 There are some culture systems, I believe,
19 or there have been some historically that have been
20 based on changes of pressure inside of a culture bottle
21 also.

22 And this is a schematic of a typical
23 culture system where you have media and a disk which is
24 pH sensitive. A light illuminates the disk, and a
25 photodiode and amplifier detects reflected light, and

1 when there's a color change, that's indicated as an
2 electronic signal which is dealt with by the
3 instrument.

4 Many times these instruments make
5 determinations of what the color is on the disk every
6 ten minutes or so.

7 So what culture media might be appropriate
8 for use with automated blood culture? There's a lot of
9 different culture medias. Here are three that we've
10 investigated.

11 T-soy, there are some T-soy broths that
12 contain adsorbents, which might adsorb antibiotics
13 because sometimes if a patient is on antibiotics, that
14 can cause interferences with detection of bacteria
15 obviously, and also Brain Heart Infusion media.

16 And so how does these three look with
17 respect to detecting bacteria? And lots of times when
18 you talk about automated blood culture, you talk of
19 time of first detection. That's a time when the
20 instrument first signals that bacteria is present, and
21 for the most part, these three different media give
22 approximately the same time to detection, 12 hours or
23 so, 13 hours, but there are some interesting
24 differences.

25 For example, staph. epidermidis, which is a

1 very slow growing organism, is much slower in a media
2 that contains activated charcoal, for example, and so
3 I'm just giving this out as an illustration that you
4 have to be careful what media that you're going to use,
5 and it might be good to be clear about that because
6 that's clearly going to affect the time at which you
7 can detect an organism.

8 Well, there's two ways of culturing. You
9 can culture aerobically or you can culture
10 anaerobically, and we're here talking about platelets,
11 which is not quite like talking about red cells, but
12 platelets to me at least are an aerobic environment.
13 Their pO_2 s are somewhere between 40 and 100, and so I
14 don't think they really support the growth of anaerobes
15 very well.

16 You may sometimes find anaerobes in
17 platelets, maybe not strict anaerobes, but they're
18 unlikely to multiply to any great extent.

19 What should the sample volume be? We start
20 going into more controversial issues. The easiest
21 answer is I don't know, but it's easy to define it if
22 you consider what the initial inoculum is, and this can
23 only really easily be done in experimental systems, and
24 a number of people have done these sorts of studies.

25 Klaus Hogman's group in Sweden has looked

1 at this; we've looked at it, and with high inocula, any
2 sample volume is fine because the probability of
3 picking up an organism in a syringe or some other means
4 by which you're accessing the platelet unit, for an
5 organism to be present in the volume is very probable,
6 and so it doesn't matter if you use .5 mL or four mLs,
7 or in our case it doesn't matter if you use .5 mLs or
8 up to two mLs, but you know, that's for fairly large
9 numbers of organisms, ten organisms per mL, two
10 organisms per mL.

11 What happens when you get to low inoculums,
12 which very well might take place in the blood setting?

13 Well, the same people have investigated this, and
14 basically what happens is when you go to low
15 inoculums, for example, .6 colony forming units per mL,
16 if you take a half mL sample, there's a reasonable
17 chance you will not detect that organism if you take
18 that sample immediately from the platelets.

19 And we've found the same to be true looking
20 at a tenth of an organism per mL or one organism per
21 mL. You don't see a significant number of organisms,
22 and so that's the rub, is if you sample immediately and
23 your organism level is very, very low, you may not have
24 an organism in your sample. So you're going to end up
25 with a negative test. Yet the unit can grow up during

1 platelet storage, and it's transfused, and someone
2 would have a severe reaction or die.

3 So what to do? Well, instead of sampling
4 immediately, one possibility is to delay the sampling,
5 and so therefore, you're letting the platelet container
6 to be your growth media rather than the bottle, and
7 you're letting the organisms grow up in the platelet
8 container before you take a sample.

9 And we've done work like this. I'll show
10 you examples of actual data later. Our bottom line in
11 looking at a fast growing organism and a slow growing
12 organism was basically you had to wait until day two
13 before you were very, very reasonably assured that if
14 an organism was present, that you could detect it.

15 And the same sorts of things have been
16 actually done rather than a spiking experiment, but a
17 very nice experiment by Mo Blajchman, which showed in a
18 pilot screening study a comparison of positive cultures
19 in actual non-spiked samples looking at day one and day
20 three, where he roughly saw twice as many positive
21 cultures on day three as he did on day one.

22 And so now here are actual data. What
23 happens is as you delay the time at which you take a
24 sample from the platelet concentrate, you see a
25 decrease in the time to first detection.

1 So, for example, E. coli, which is a very
2 fast growing organism, this particular strain in our
3 lab, in some platelet units it grows with a doubling
4 time of one hour. It can be detected at six hours on
5 day zero and about half a day, but after a couple of
6 days it basically takes four hours to detect.

7 And if you take a large volume rather than
8 a .5 mL inoculum, there's a very, very slight decrease
9 in the time to first detection, but it's not
10 particularly significant, but again, you see this trend
11 of going to shorter and shorter times for actual
12 detection if you delay your sampling.

13 Now, what happens when you look at a slower
14 growing organism, for example, staph. epidermidis? In
15 our hands, this organism many times has a four hour
16 doubling time, that is, in platelets. If you sample
17 immediately and you're lucky enough to detect it -- and
18 remember this is a low inoculum, and so you have to be
19 incredibly lucky. You do a lot of experiments -- it
20 can take between two or three days to detect it in an
21 automated blood culture system.

22 But as you delay your time for sampling out
23 to day two, it takes roughly about a half a day, and
24 again, it really doesn't matter whether you take a half
25 a mL inoculum or a 2 mL inoculum, and I'll show you

1 more data on that later.

2 So this is not time to first detection, but
3 this is detection frequency, and as I had mentioned
4 before, if you sample immediately, you're not going to
5 detect the organism all of the time. For example, with
6 E. coli, at a very, very low inoculum, out of 12
7 experiments we didn't detect any with a half a mL
8 inoculum, and we only detected three of 12 with a two
9 mL inoculum.

10 And you can see by the time we get 12 out
11 of 12 for E. coli, we have to sample at day one, but E.
12 coli, this particular strain, is a very, very fast
13 growing organism, and so to get the real answer, you'd
14 have to look at a slow growing organism that's had some
15 significance for transfusion associated bacterial
16 sepsis, and in that case, we looked at staph.
17 epidermidis.

18 And you can see -- no, that's E. coli
19 still. Could you advance that? It's stuck. Thank
20 you.

21 So you can see the same trends, where if
22 you delay sampling, you're detecting at a higher and
23 higher frequency, and for staph. epidermidis you don't
24 detect all of the spiked samples until you go to two
25 days.

1 And so we seem to be stuck all the time.
2 So you may have to -- could you help me? Well, could
3 you advance it manually, please? Thank you.

4 So if you look at a composite, and we did a
5 series of experiments with different levels of spiking
6 levels in this case for E. coli, it basically
7 summarizes what I just said. In one day you can detect
8 all organisms.

9 And the next slide -- no, we're still stuck
10 on E. coli. Could you handle this, please? Next slide
11 please. Unfortunately I didn't bring overheads.

12 Anyway, with staff epidermidis, basically
13 it takes two days for detection of all samples, and so
14 maybe she'll get it.

15 I'm going to switch over now, I think, I'm
16 hoping, to a discussion of false positive frequencies
17 because I think that's something of concern, and
18 basically there's been four studies -- not all the
19 names I can remember now -- that I've been able to call
20 data -- oh, does leukoreduction affect detection? No.

21 Unfortunately we can't move from this
22 slide. Okay.

23 There's been a report in the literature
24 with high levels of leukocytes affecting detection
25 where you got false positives. There's been a European

1 study, and this, in particular, has been noticed in
2 peripheral blood stem cell preparations, and these
3 authors found that if they added .5 percent Saponin,
4 which affects leukocytes, they could inhibit the CO₂
5 productions by white cells, and that reduced their
6 false positive rate.

7 And so this is something I think that
8 merits consideration and thought when we're thinking
9 about platelets, which also can produce CO₂, and
10 possible ways of improving systems that already exist.

11 As I said, there are four studies where I
12 can look at the data that's been presented either in
13 abstract form or in scientific reviewed papers where
14 you can come up with false positive rates, and these
15 have varied quite a bit.

16 There's a cluster around .1 to .2 percent
17 basically, with three authors here, but there's one
18 disturbing result at two percent false positive
19 frequency, which I think would probably cause problems
20 for platelet availability if such a test were done in a
21 global way.

22 And, you know, you ask yourself questions.

23 For example, is there anything about the white cell
24 level that distinguishes the work that these people did
25 compared to the other people's work? I don't really

1 think there is.

2 There are some examples of white cell
3 levels that are the same. One of the things that seems
4 to be distinguished is that these authors used a very
5 high volume of inoculum when you consider the ratio of
6 the inoculum volume to the bottle volume, and I think
7 something like that needs to be investigated further.

8 And so for the false positive results, the
9 questions that are out there that probably need to be
10 answered are: do leukocyte levels in the platelet
11 preparations affect false positive frequencies?

12 Do platelets contribute to CO₂ levels and
13 influence false positive frequencies?

14 And what I just noted, what is the
15 relationship of platelet inocula volume and false
16 positive frequencies?

17 And the answer to all of these questions
18 are important if you're thinking about a test that
19 might be implemented.

20 And so in conclusion, automated blood
21 culture is a very sensitive method for detection of a
22 large variety of bacterial species. I didn't show you
23 that, but other people have shown you that.

24 There are practical limits on sample
25 volume. For example, for a platelet that's derived

1 from whole blood, you probably don't want to take more
2 than two mLs, and there's a potential for detection for
3 low bacterial loads, in other words, one colony forming
4 unit per mL in terms of limit of detection, suggesting
5 that sampling needs to be performed after day one of
6 storage, and our data at least support the idea that
7 perhaps two days of storage might be appropriate before
8 sample collection.

9 The results from in vitro spiking
10 experiments with a slow growing organism indicate that
11 all samples were detected within 24 hours after samples
12 were obtained on day of two of platelet storage. So
13 we're into day three, and I think there is the rub, and
14 there's the problem, is there enough time to provide
15 these products, if they are products, to people who
16 need them.

17 Think about what happens over weekends and
18 other things. Is it possible to extend the storage
19 time of platelets? Is it possible to introduce a test
20 and simultaneously extend the storage time of platelet
21 from a regulatory perspective?

22 A three day test may limit availability of
23 platelets with their current five day storage period.

24 Thank you so much for your interest.

25 (Applause.)

1 DR. FRIEDMAN: Thank you, Steve.

2 Mark Brecher didn't want to introduce
3 himself. He's Professor of Pathology and Laboratory
4 Medicine at the University of North Carolina and will
5 be speaking to us on nucleic acid based tests and
6 cytometry.

7 DR. BRECHER: Thank you, Len.

8 Okay. Can we have the first slide?

9 I was fortunate to be able to attend the
10 NIH transfusion medicine symposium here in this
11 auditorium yesterday, and there was a lot of talk about
12 Jacob-Kruetzfeld disease, and even though this session
13 today is about platelet contamination, it seems like
14 Jacob-Kruetzfeld keeps creeping into the talk. So I
15 wanted to have the final word here.

16 (Laughter.)

17 DR. BRECHER: I probably should have shown
18 this before lunch.

19 (Laughter.)

20 DR. BRECHER: For those of you who can't
21 read the red on the left it says, "If your cow looks
22 like this, then feel free to fire up the barbecue. If
23 your cow looks like this, may we suggest the fish?"

24 Okay. I was asked to talk about some of
25 the high tech. methods of rapid bacterial detection,

1 and I have looked at a lot of different methods in my
2 laboratories over the years, both low tech., mid-tech.,
3 and high tech., and I'm going to concentrate on
4 basically three methods today.

5 Nucleic acid amplification I will only
6 cover briefly because there really isn't very much
7 material out there that relates to platelets and,
8 therefore, abides by the Friedman rules, that if you
9 can do a test, you have to do it in platelets.

10 I'm going to talk about chemiluminescent
11 ribosomal RNA probes, and a novel, new technique,
12 microvolume cytometry and antibiotic probes, and then
13 try to put all of this into some sort of perspective.

14 Just briefly -- and I note this is note
15 platelets, Len -- there has been some work, albeit not
16 too much, on polymerase chain reaction to detect
17 bacteria in blood. This is a study that was published
18 in 1992. That's already some time ago, looking at
19 *Yersinia enterocolitica*, where they were able to get
20 down to five times ten to the third colony forming
21 units per mL.

22 When we looked at platelets, a method that
23 had a lot of -- I should say "had" a lot of promise. I
24 don't know that it is promising any longer -- was the
25 Gen-Probe technology. Several years ago when I was at

1 Mayo Clinic, I was working with Dave Persing in the
2 Microbiology Lab on some bacteria experiments, and he
3 had a bright idea.

4 At the time there was a new diagnostic
5 system that had been licensed for the rapid diagnosis
6 of urinary tract infections that used chemiluminescent
7 linked probes against universal bacterial sites. Now,
8 this was a high tech. test for a low tech. disease.
9 You don't need rRNA probes to tell you whether you have
10 a urinary tract infection, and needless to say, this
11 particular system failed in the market and was pulled.

12 But Dave had a bright idea that maybe we
13 could take this method and adapt it to bacterial
14 detection in blood products, and basically what this
15 does is you take your crude blood products, and in this
16 case we're going to be talking about platelets, which
17 are these little yellow things here. You subject it to
18 a rapid, crude enzymatic lysis, which gives you the
19 free RNA.

20 You then add your probe, and the probe will
21 hybridize to the ribosomal RNA. Any non-hybridized
22 probe is not protected for when you add a base. When
23 you add a base, these nonprotected probes hydrolyze,
24 whereas the probes that have linked up with the RNA are
25 protected, and this is referred to as a hybridization

1 protection assay.

2 And so these are selectively left intact.

3 So this is a no wash system.

4 You then put it in a luminometer, and a
5 luminometer looks a lot like a spectrophotometer,
6 except it costs three or four times as much. That
7 automatically adds a little bit of hydrogen peroxide,
8 some more base, and you get a flash of light.

9 The machine records the light over time and
10 integrates the area under the curve and gives you a
11 result that is outputted as a relative light unit.

12 Now, the nice thing about using rRNA probes
13 is that in every cell for every copy of DNA you have
14 10,000 copies of ribosomal RNA. So there is this
15 intrinsic built in amplification that is already there.

16 The nice thing about using probes in
17 general is that how you design your probes defines the
18 specificity. So you can have a probe that detects all
19 cellular life, for all bacterial life, which is the
20 probes we were using. You can even be kingdom
21 specific, family specific, genus specific, or species
22 specific, which sounds a little redundant.

23 So what did we do? Well, the initial
24 trials on platelets were done in my lab at the
25 University of North Carolina that looked very

1 promising, and we rolled this out to a multi-center
2 study that involved University of North Carolina,
3 University Hospital at Cleveland, Sacramento Blood
4 Center, Greater Kansas Blood Center, and the Mayo
5 Clinic, and the different sites are colored
6 differently, but you can see how all of the points
7 overlap each other. So each site had basically similar
8 results.

9 And you can see that the test is a semi-
10 quantitative test. We have log RLU, relative light
11 units, along the bottom, and then the log of the colony
12 forming units up here, and these were 366 tests done on
13 120 inoculated platelets, four different organisms:
14 bacillus cereus, pseudomonas aeruginosa, staph. aureus,
15 and staph. epi.

16 And you can see that there was some piling
17 up of data here. Only one site continued to do the
18 assay once the material had been saturated, and so you
19 can see this pile-up of data here, but it looks
20 relatively good.

21 And you see that around ten to the fifth,
22 maybe slightly better than that, CFUs per mL are
23 detected with this assay. The assay takes about two
24 hours from start to finish.

25 Depending on where you choose your cutoff,

1 this is choosing a cutoff at 30,000 RLU, and what is
2 the range of your bacteric contamination? Ten to the
3 second, ten to the third. You see that the assay
4 picked up about a third of staph. aureus in the ten to
5 the second, ten to the third CFUs.

6 And then when we get to the ten to the
7 fifth, basically we're picking up almost all organisms.

8 So, in essence, using this cutoff we detected
9 everything greater than five times ten to the fifth,
10 and we had a specificity of 98 percent.

11 If you lowered the cutoff to 15,000 RLU,
12 you pick up more organisms. You can see here we picked
13 up everything greater than two times ten to the fifth,
14 and we even picked up 75 percent of the cases where the
15 bacteric contamination was between ten to the third and
16 ten to the fourth, and we still get these one-third of
17 staph. aureus down to ten to the second, ten to the
18 third.

19 We lose some specificity with the initial
20 test, but that can be addressed, and I will show how we
21 might address that here.

22 In a trial at the University of North
23 Carolina to see how we would implement this in a
24 transfusion service, in the wee hours in the morning we
25 just rolled through all of our apheresis inventory for

1 six weeks and tested all of our inventory in a batch
2 fashion.

3 Now, there were 304 apheresis platelets
4 tested. Some of these platelets were tested on
5 multiple days. So there were 509 occasions, but of
6 these 347 platelet bags, 336 tested negative. We
7 cultured all of these units, gave us a specificity of
8 96.8 percent. So roughly 97 percent specificity in
9 roughly 350 units.

10 When we retested the units that were
11 initially positive, they were all negative. So no unit
12 was repeatedly reactive, and so in so much of this you
13 can think of having a retest specificity of 100
14 percent.

15 Can we go back one?

16 Unfortunately, while this study looked very
17 promising, and a lot of people were very hopeful that
18 this would be the first nucleotide based test that
19 would come into use in blood banks, the Gen-Probe
20 Company underwent some changes in management. At that
21 point they decided to reshuffle their priorities of the
22 company and decided to shelve this project, despite
23 protestations by several prominent blood bankers.

24 And so this test is not currently
25 available. Of course, Gen-Probe has gone on to do

1 other great things. We all know about its work with
2 the NAT testing.

3 Okay. Now, another interesting technology
4 involves this instrument. This is the IMAGN 2000 from
5 Biometric Imaging. In many ways you can think of this
6 as a desktop flow cytometer.

7 Unlike flow cytometers which have cells
8 that are essentially dripped past a laser, in this
9 machine there are disposable volumetric capillary
10 tubes. You see each one of these little triangles here
11 is a disposable set, and there were two capillary tubes
12 in here.

13 A laser scans down the capillary tube and
14 records any fluorescent events, and it has a computer
15 hooked to it, and it actually can draw a scan map of
16 the capillary tube. This is actually a colorized
17 version of a scan map. This is actually with CD-34
18 enumeration.

19 This technology is currently licensed for
20 CD-4, CD-8, CD-34 enumerations, and residual white cell
21 testing in leukocyte reduced blood products.

22 I kind of felt like Ted Turner here. I
23 colorized the spikes so you could see them well, but it
24 makes -- I did this around Christmas time. So green
25 and red.

1 Now, Richard Rocco had a very bright idea.
2 That's Richard with a little light bulb over his head.
3 He started thinking about the probes that are
4 generally used in flow cytometry and for this
5 volumetric cytometry, which are usually monoclonal
6 antibodies, and so probes are usually raised usually in
7 a murine system. So you have a mouse that you start
8 with, and you get your monoclonal antibody, and this
9 costs big bucks.

10 Then he thought, well, what about using
11 some other probe, and the pharmaceutical industry has
12 invested large sums of money searching all over the
13 world for exotic chemicals that have high affinity for
14 bacteria. We know of these chemicals as antibiotics.
15 So the difference here is on the end of the word.
16 These are antibodies versus antibiotics, and although
17 they cost a lot of money to isolate these compounds and
18 put them into production, they're actually relatively
19 cheap, cheaply available in the very corner drugstore.

20 And so the first one that they chose to
21 look at was vancomycin. This is an antibiotic isolated
22 from cultures of streptomyces orientalis, which was
23 originally isolated from soil in India and Borneo.
24 See, I wasn't kidding you. They really did search all
25 over the world, and let me tell you. Drawing this on

1 my computer was not easy.

2 (Laughter.)

3 DR. BRECHER: But we did the first trials
4 in my lab at University of North Carolina about a year
5 ago, and we used a vancomycin conjugated fluorescent
6 probes to look at staph. epidermidis, and we chose as
7 our cutoff the mean of sterile controls, which we had,
8 I think, eight or nine examples, plus three standard
9 deviations. So being very generous.

10 And you can see that we were able to detect
11 down to at least ten to the fifth CFUs per mL. It's
12 questionable whether we can go any further. I think as
13 the technology is developed, it's going to go much
14 lower.

15 And we did some preliminary work using a
16 genomycin assay. We just did some dilutional studies
17 here, but it looks pretty good, genomycin for a Gram
18 negative, in this case serratia marcescens.

19 Now, I was trained as a pathologist at one
20 point in my career, and I've always taken away from
21 that that seeing is believing, and so here are some
22 experiments that Richard did in his lab.

23 Sterile platelets here under a fluorescent
24 light. Here's your capillary tube. You don't really
25 see anything.

1 This is serratia marcescens with the use of
2 a polymyxin conjugated antibody, and you can actually
3 see these things glowing back at you. So they really
4 are there, and when you conjugate the antibodies, you
5 don't necessarily inhibit the actions of the
6 antibiotics. This is looking at inhibitory
7 concentrations of bacteria, and this is our vancomycin
8 labeled antibiotic, and you see that as you go to
9 higher concentrations, as you come around the clock
10 face here, that you still inhibit larger areas of the
11 bacteria from growing.

12 So this is a very promising, novel
13 approach.

14 Now, to put things into perspective, I've
15 included this figure in the handout that's available in
16 your package, and this is a modification of a figure
17 that we published just a few months ago in Transfusion
18 Medicine Reviews. It sort of summarizes the
19 sensitivity of the various methods in terms of the log,
20 CFUs per mL that you can detect, and the timing.

21 For examples, cultures, we have it down
22 here down to ten CFUs per mL. Well, if you use a
23 bigger inoculum, maybe you can do a little bit better
24 than that, but it can take up to a day or more to
25 detect versus some of these newer technologies like

1 ribosome RNA probes where clearly we can get down to
2 around ten to the fifth. It takes an hour or two, and
3 the antibiotic probes, which is very similar, less than
4 an hour or two, and there's promise that these methods
5 can even go much lower under certain circumstances.

6 Now, this is somewhat similar to some of
7 the slides that Jim AuBuchon has already shown you.
8 From the ribosome RNA experiment where we had
9 inoculated 120 platelets with four different organisms,
10 we looked at how many days it took for them to be
11 culture positive, and we inoculated between either ten
12 to 50 CFUs per mL or 1,000 CFUs per mL. So we were a
13 little on the high side.

14 But you see one day after we inoculated
15 them, we were able to pick up 90 percent of the bags
16 using culture plates which had a sensitivity of ten
17 CFUs per mL. By day two, 97 percent; by day three, 99
18 percent of the units were detectable by culture plates.

19 There was one organism, one bag with staph.
20 epi., however, that did not turn culture positive until
21 day seven. So while you may pick up the majority of
22 cases, there may be a rare bag that you would not pick
23 up.

24 We've actually been doing very similar
25 experiments in my lab recently to what Steve Wagner

1 already discussed using automated culture detection
2 system, using the Organae Technica BacT/Alert, and
3 we've already looked at ten different organisms on our
4 way to looking at 16, and our results are very similar
5 to Steve's. However, we used a larger inoculum, four
6 mLs of platelets per bag, and so our times are a little
7 bit better.

8 But for the most part, for these ten
9 organisms we detected them all, and we did replicates
10 of ten; we detected them all in about 11 or 12 hours,
11 except for staph. epi., where we had to go out to about
12 19 hours, but the standard deviation is very small.

13 Now, there are some institutions around the
14 world that do not have to answer to the FDA, believe it
15 or not, and this is a study that was presented to the
16 ISBT last year from an institution in Denmark where
17 they used automated screening, and they found a rate of
18 about .23 percent, not too different from what we've
19 seen reported from other studies.

20 But when they were doing their testing on
21 day three, they said, "Okay. If it's culture negative,
22 we will extend our platelets to seven days," and their
23 outdate prior to doing this was 18.5 percent, which
24 actually is remarkably like Jim AuBuchon's outdate, and
25 they dropped that to 8.8 percent by implementing this

1 testing so that they could extend their platelets an
2 additional two days.

3 And in the handout I gave a little cost
4 analysis that based on this sort of data in a
5 transfusion service that transfuses about 2,000
6 apheresis platelets a year, and I used the data from my
7 institution, which is about \$415 a dose. The annual
8 potential savings for a drop in outdate like that would
9 be \$72,000 a year, and that's a lot of money, and that
10 would certainly pay for a lot of bacterial testing.

11 Finally, I just want to remind people about
12 some basic principles. My German, I'm afraid, is not
13 very good. My grandfather would be ashamed of me, I
14 suppose. "Vorsoregprinzip" -- I got the "prinzip"
15 part. It means the precautionary or foresight
16 principle.

17 When human health or the environment is
18 threatened, precautionary measures are indicated, even
19 if additional scientific evidence is needed to
20 establish certain cause and effect relationships.

21 We know we had bacterially contaminated
22 platelets. We know that they're going out and they're
23 being transfused, and they are hurting people. We have
24 ways of preventing this. I don't understand why we
25 haven't implemented this.

1 For example, I recently heard of some data
2 that will be presented soon from another institution
3 where they took urine dip sticks, a method that came
4 out of my lab, and they screened 3,000 random
5 platelets. They found two that were bacterially
6 contaminated with bacillus cereus and pulled them out
7 of inventory.

8 It can be done. You can stop many of these
9 transfusions.

10 And finally, I think we really need to
11 remember what happened with HIV in the early '80s and
12 look back at the Institute of Medicine report, and let
13 me remind everybody that the perfect should not be the
14 enemy of the good, and implementation of partial
15 solutions -- and we may not have a perfect one -- but
16 partial solutions, and we have a variety of partial
17 solutions that are currently available and many others
18 that will be shortly available, that have little risk
19 of causing harm should be encouraged.

20 And I think we need to encourage the use of
21 these methods. Now, I'm not saying that the FDA has to
22 mandate this, but we already have a lot of regulations.

23 (Laughter.)

24 DR. BRECHER: But this is an FDA sponsored
25 meeting. So I couldn't resist showing this slide,

1 which says, "These federal regulations are killing me."

2 And the sign says, "You must be this tall
3 to attack the postman."

4 Okay. Thank you.

5 (Applause.)

6 DR. FRIEDMAN: Thank you, Mark.

7 Our final speaker for this session before
8 the panel discussion is Dr. Mark Seaver. He's with the
9 Naval Research Labs, and he has a background in
10 chemical physics. Actually Steve and I networked with
11 him not knowing that the Navy was going to be doing
12 anything that might be useful, and he's going to be
13 talking -- and we gave him platelets. We gave him
14 platelets, you know. What did the Navy have to do with
15 the type of thing we're doing?

16 So, Mark, it's all yours. Epifluorescence.

17 DR. SEAVER: Well, I think we're going to
18 kind of talk in between the two previous talks.
19 There's a little bit of high technology here, but
20 living at the edge of high tech., I have this tendency
21 to appreciate how easy it is for high tech. to fail.
22 So trying to come up with a solution that's moderate
23 tech. seems a good way to go.

24 You can see here's a list of colleagues.
25 Phil Venturelli and Shiva Goel were actual high school

1 summer students who spent summers in my lab. They did
2 a lot of good computer programming for me.

3 James Crookston provides our microbiology
4 expertise, and Steve Wagner then is also clearly
5 knowledgeable in the issues of bacterial contamination
6 of platelets, and then lots of talks with Len Friedman
7 and Daniel Robinette providing samples for us, platelet
8 sample for us then to take back home and play with.

9 I think given what Len said earlier and
10 some of what Steve said earlier I don't need to say
11 much on this one. The only one I guess I would like of
12 point to is this issue of false negatives, and I think,
13 you know, you kind of get the sense looking at today's
14 presentations of what I'm trying to say here, and that
15 is that at a clinically significant level, false
16 negatives is a problem, but if your detection limit is
17 two orders of magnitude lower than what is clinically
18 significant, then you can stand a fair number of false
19 negatives.

20 And obviously, the standard, everybody
21 would love to have the \$5 device that anybody can walk
22 in off the street and use.

23 Next slide, please.

24 What we're doing is doing automated
25 microscopy. In doing automated microscopy and image

1 analysis though, your computer can only do a certain
2 amount. You really need to make most of the good
3 things happen in the sample preparation and how you
4 handle the sample, and a lot of that gets into sample
5 volume issue.

6 As Steve Wagner spoke about the sample
7 volume really is an issue, because if you have 1,000
8 CFUs per mL and you only sample a microliter, the
9 statistical probability is that there's going to be
10 most of your samples will have zero in them.

11 We work with E. coli that was provided by
12 the Red Cross. We work with staph. epidermidis that
13 was provided by a colleague, and what we do is we take
14 and we grow our bacteria overnight, and typically that
15 gives us about ten to the nine CFUs per mL. In the
16 sample we spin and rinse those, and then we spike them
17 into the platelets at a variety of concentrations to
18 try and make sure that our detection methodology is
19 linear in concentration.

20 Our initial concentrations for some of the
21 earlier work, we started at ten to the fifth, ten to
22 the sixth, and ten to the seventh CFUs per mL. As we
23 got a little bit smarter about how to make these
24 measurements, we wanted to push the detection limit.
25 So then in our most recent sample, we worked on our

1 preparation methodology, did some sample concentration
2 work, and were able to get down, working down into the
3 ten to the four CFUs per mL.

4 We, of course, run lots of controls to make
5 sure that what we're seeing has a reasonable chance of
6 being what we think it ought to be. So we both stain
7 platelets only and bacteria only. We do plate counts
8 on the platelet mixtures and all of our spike samples.

9 Staining takes about 15 minutes, and we
10 haven't really worried about that time. That was kind
11 of an intermediate time. We didn't want to spend too
12 long doing it, but we wanted to make sure the stain got
13 to where it needed to be.

14 We prepare slides in triplicate for each of
15 our samples, and then we stick that under the
16 microscope and turn on the computer. The computer can
17 handle 100 images and the analysis associated with
18 acquiring those images in about ten minutes.

19 We work at a roughly 200x magnification on
20 the face plate of a digital camera, of a CCD camera,
21 and as I'll talk in the next couple of slides, I'll
22 show you kind of how the automated analysis works.

23 The automated analysis as we've implemented
24 it at this point in time is not perfect. It takes a
25 significant amount of off-line analysis afterwards to

1 separate things that we believe are bacteria from
2 interfering particles. Largely in this case we're
3 using information on the morphology of the particles.

4 Well, here's a picture. This kind of
5 illustrates what you have to do in this case, in the
6 epifluorescence, what your computer has to do in the
7 image analysis. All of these little bright dots are
8 stained staph. epidermidis.

9 Now, you can see, for example, here.
10 There's a fairly dim one. So one of the problems is
11 ideally you'd like to detect both this one and count
12 that as a bacterium, as well as all the other bright
13 ones.

14 However, here's something that we think may
15 be a clump of platelets, and you can see there's all of
16 these nice, little bright lumps that are physically
17 about the same size and brightness as the bacteria.
18 Obviously there's a problem there.

19 Here's a leukocyte, and we're dealing with
20 in this case leukoreduced samples, but there's still
21 significant numbers of leukocytes, and that actually
22 seems to vary quite a bit from platelet sample to
23 platelet sample.

24 Now, then what we figured out is that by
25 way of an image analysis algorithm, it takes it us to

1 the next slide, and that is the blue ones are the ones
2 that the computer has found and told us that based on
3 the criteria that I've told it, it's regurgitating to
4 me that these are the ones it things are bacteria.

5 And, for example, we don't see this dim one
6 that was right here. However, you do see -- there's
7 one here that may very well be a bacterium sitting on
8 top of that mass of platelets that you can barely see
9 right around in here, and we don't pick up the
10 leukocyte because we told the computer to ignore
11 anything that's bigger than, you know, a certain size.

12 So the computer can easily separate the leukocyte from
13 the bacteria based strictly on size criteria.

14 Now, when we're actually doing the
15 analysis, and a lot of times you do, we pick up
16 segments, you know, in a mass like this that the
17 computer tries to tell us are bacteria, but as I said,
18 in the post processing, we can look through those and
19 based on morphology characteristics determine that
20 these are unlike to be bacteria.

21 In this case we've detected 35 bacteria
22 that I had identified visually from the previous one.
23 There were eight that were too dim to be picked up, and
24 we got lucky here. There was zero interfering
25 particles.

1 Okay, and the next slide, please.

2 Here's the results. Like I said, we
3 started out basically trying to demonstrate to
4 ourselves that this could be done, that the image
5 analysis worked, and what we found is that it did work
6 fairly well. We could fairly routinely detect about
7 one and a half to two times ten to the five CFUs per
8 mL, which means that in our 100 frame analysis, we'd
9 get ten to 15 counts.

10 Now, that gives us about a two to one to
11 three to one ratio of counts in a spiked sample with
12 the counts that we get from the platelet only controls.

13 And right here is what sets your detection
14 limit. You need to beat these numbers down so you can
15 either analyze more sample, which would increase or
16 improve your detection limit, reduce your detection
17 limit, or, well, I mean, that's the only answer.
18 You've got to get rid of the counts from the platelets.

19 We see that our count values or count
20 numbers are linear with concentration. They tend to be
21 reproducible at a particular concentration from the
22 triplicate slides at the plus or minus ten to the plus
23 or minus 20 percent level that's indicated here.

24 Now, the last set of platelets that we had
25 we said, okay, it's time to start pushing the detection

1 limit. So we spent most of the effort in revising the
2 protocol, the sample handling protocol, allowing
3 ourselves to concentrate the sample somewhat, and with
4 that, we were able to push our detection limit down
5 into the low ten to the four CFUs per mL for the E.
6 coli.

7 And here's the actual count numbers from
8 our three slides. In the case of staph. epi. here's
9 the count numbers from the three slides, and if you
10 look at those, I'm not ready to declare that those are
11 statistically different from the counts here, and I'm
12 kind of glossing over this 42 counts per 100 frames. I
13 don't know what happened in that one.

14 As we get more samples and try and
15 reproduce this, I'm hoping to find out whether
16 something happened here or whether we have this kind of
17 variability. Obviously, if we have this kind of
18 variability I can't claim that detection limits at
19 these numbers represent two and a half CFUs or two and
20 a half by ten to the fourth CFUs per mL.

21 One of the other interesting aspects in
22 doing these experiments was that the staph. epi. was,
23 in our kind of novice vernacular, was not behaving very
24 well. We were having a hard time with staining the
25 staph. on this particular day. So we think that may be

1 contributing to the fact that these really are down in
2 the noise for detection limit. Again, something
3 further to work on.

4 Next slide, please.

5 And then the conclusions. Consistently the
6 simple protocol was allowing about one by ten to the
7 five CFU per mL detection. I forgot my milliliters in
8 here. These are all in CFUs per mL, not straight CFUs.

9 With our protocol modifications, we think
10 we can pretty readily do two by ten to the fourth CFUs
11 per mL, although it remains to be truly demonstrated
12 that we can do that.

13 Everything is commercially available, you
14 know, which again, part of what I do is develop
15 instrumentation for field use in the Department of
16 Defense, and that's a big deal for DOD folks, and I
17 think, you know, it's pretty important for everybody
18 because the more development that goes into apparatus,
19 the more expensive it's going to be.

20 Right now it's taking us about 45 minutes
21 to prepare our samples and do the analysis. We think
22 we can push that down probably by a factor of two.

23 The microscope slide preparation
24 surprisingly are archival. We've put them in the
25 drawer and come back weeks later and gotten essentially

1 the same kinds of counts that we got on our initial
2 measurements.

3 And I think one more. These are pretty
4 obvious. We want to work on improving the protocol.
5 We think there's a reasonable chance to get the
6 detection limit below ten the fourth CFUs per mL. Part
7 of this will be sample preparation issues, and part of
8 it will be improving our image analysis algorithms.

9 The post processing is readily amenable to
10 being automated. Those issues may go away as we go to
11 more sophisticated algorithms in real time, and I guess
12 the additional algorithms are the ones that I'm talking
13 about here.

14 And then assuming all of that works out,
15 then you can actually start to think you don't
16 necessarily -- since we're only using one
17 magnification, you don't have to have a full blown
18 laboratory microscope. There may be ways to build
19 smaller dedicated units that would work very well for a
20 variety of people.

21 Thank you.

22 (Applause.)

23 DR. FRIEDMAN: If the panel members could
24 come up, the panel members, and those of you who have
25 questions, go to the microphones.

1 On the right.

2 MR. TABOR: Ed Tabor from FDA.

3 I'd like to ask Dr. Brecher a couple of
4 questions. You describe the ad hoc use of urine dip
5 sticks. Do you have any idea what method is involved
6 in the dip sticks that were used?

7 DR. BRECHER: It must be a low bid. Can
8 you hear me?

9 In that particular study, they set their
10 own cutoffs.

11 MR. TABOR: No, I don't mean cutoffs. I
12 mean do you know what scientific method was used in the
13 dip stick to detect bacteria.

14 DR. BRECHER: They used the Ames urine
15 multi-sticks.

16 MR. TABOR: That's a brand name. That's
17 not a method.

18 What I'm getting at is it's easy to glibly
19 describe experiments without data and say that we
20 should be doing things that are good rather than
21 waiting for the perfect.

22 DR. BRECHER: No.

23 MR. TABOR: And it's easy to point to
24 federal regulations and say, "This is what's stopping
25 us from making progress."

1 The reason for this workshop is to present
2 new data and new methods and bring it to the attention
3 of the scientific community and to FDA, and we've heard
4 some very exciting new methods described, some of which
5 may be in use within the foreseeable future, but I'd
6 like to know what federal regulations have stopped the
7 application of any method --

8 DR. BRECHER: Oh, oh.

9 MR. TABOR: -- that you've described that
10 is both sensitive and specific at the level that you
11 and all the rest of us would expect for the detection
12 of bacteria.

13 DR. BRECHER: Okay. I see where you're
14 going.

15 It was not my intention to say that there
16 are any regulations that have stopped the
17 implementation, and there are people who are out there
18 using certain commercially available or generally
19 available methods, such as the Gram stain. In my lab,
20 I use the dip sticks, and several other labs use the
21 dip sticks, and the methodology is well described and
22 published and is basically based on the use of dextrose
23 levels and pH changes, and if you want, I've got a
24 paper here that will tell you exactly the chemical
25 reactions.

1 What I'm suggesting is that a lot of people
2 are honestly waiting for the FDA to say one way or the
3 other that we all should be doing a method, some
4 method, or at least allow a methodology that would have
5 a direct benefit to the transfusion service or the
6 blood bank.

7 MR. TABOR: We've already heard data today,
8 this morning, that glucose and pH are really not where
9 we're going to be going.

10 DR. BRECHER: Well, I --

11 MR. TABOR: And I think what we're trying
12 to do is identify the best new technology that can be
13 applied to prevent a serious health problem, and the
14 concept of taking the good without seeking the perfect
15 sounds very nice, but I think we have to keep our eye
16 on the scientific rationale and try to achieve
17 something that meets 1990 standards of accuracy and
18 reproducibility, and that will, in fact, prevent these
19 infections.

20 DR. FRIEDMAN: Jim, you have some comments
21 on this?

22 DR. AuBUCHON: I do not believe that the
23 FDA is preventing us from using culturing, for example,
24 and we are using culturing, but it's on a trial basis.

25 The problem that we face is how to get the return on

1 this.

2 I do have to live in a real world, and I
3 don't have increased funds available to do culturing on
4 all of my platelets. So I will ultimately have to be
5 able to document to the laboratory administrator that
6 I'm not costing him more money and hopefully I'm even
7 saving some money by doing this culturing extending the
8 outdate.

9 In order to do that, I've had some
10 preliminary discussions with FDA staff, and it's been
11 suggested that I put in for an IND and do this under a
12 research protocol, and I could certainly do that, but
13 if we're looking at an event of low frequency, say, one
14 in 3,000 or even one in 1,000, and we only transfuse at
15 our institution about 1,200 platelet units a year,
16 apheresis units, I'm not sure exactly what endpoint I'm
17 going to shoot for without culturing all platelet
18 recipients and having a very involved study.

19 So I'm early in my thinking about this, but
20 I'm not exactly sure how I'm going to document the
21 safety of the approach I'm proposing, although it's
22 intuitively safe, but I don't know how I can document
23 it and still get some benefit within the foreseeable
24 future.

25 Any thoughts you have on that or anyone

1 else has, please see me afterwards.

2 MR. TABOR: Well, I think studying these
3 under IND is the way it should be done. It should be
4 done with the highest level of scientific planning and
5 analysis, and in 1999, and we're really talking about
6 2000 because most of these studies are still in their
7 early stages, we should expect tests of the highest
8 level of technology that are hopefully rapid and
9 inexpensive and can pick up most of these infections.

10 It's actually very surprising that four
11 years after our previous workshop we still don't have a
12 way to prevent these infections.

13 DR. AuBUCHON: Well, design the study that
14 you suggest. Even if we get three or four other large
15 medical centers to join us, design it, executive it,
16 and show that we could extend the platelet outdating by
17 a couple of days by culturing on day two or day three.

18 We would probably be to the year 2002, and
19 I don't meant to steal the thunder of people who are
20 going to be speaking in the next session, but I bet by
21 about then we're going to be talking about viral
22 inactivation and bacterial inactivation in platelet
23 concentrates, which would render moot the issue of
24 culturing.

25 So it's hard to get too much enthusiasm

1 because by the time we show that this will work, it
2 will be too late. In the interval, without more people
3 doing this there are going to be a lot of patients who
4 are going to be succumbing to transfusion induced
5 infection, and that's unfortunate.

6 DR. BRECHER: Yeah, what I'd hate to see
7 happen -- I'd agree with you, Jim -- is that we wait
8 another four years while we're waiting for this
9 perfect, high tech. solution. We have several
10 solutions out there that the data is available that get
11 us part of the way there. To not implement them I
12 think is a mistake, and I think, to be honest, if the
13 media tumbles to this or if a celebrity dies from
14 bacteria contaminated platelet and it gets out that,
15 you know, that one in 2,000 were contaminated, I think
16 we're going to get -- "we," the blood blanking industry
17 and the FDA -- are going to get raked over the coals.

18 MR. TABOR: Well, nobody is objecting to
19 detecting bacteria in platelets. In fact, that's the
20 reason we're holding this workshop, and my only point
21 was it's very easy to glibly say, "Use urine dip
22 sticks. So-and-so tried it," but we're talking about -
23 - and when you say things like that and you're talking
24 about anecdotal experience and neither the public nor
25 the medical community will accept that as a basis.

1 DR. BRECHER: Well, maybe the better
2 question is, because I think you've got a world expert
3 panel sitting here right now, is given the technology
4 that is available today, what can we implement rapidly
5 that would impact on the problem of bacterial
6 contamination of platelets.

7 MR. TABOR: That's a good question, and I
8 think that's what we should be discussing.

9 DR. BRECHER: Okay, and so let me just give
10 my thought on that, and then I'll introduce the panel.

11 I agree. I don't think the dip stick
12 method is all that good. I don't think it's any better
13 than the Gram stain, but it would stop a few.

14 However, what I think we should be doing is
15 trying to stop as many cases as possible, and the way I
16 see this happening is probably with the technology that
17 is currently available is to do bacterial culture,
18 probably using an automated system at, say, 12 hours
19 and then again at three days. That way I think we
20 would maximize the capture of as many units as early as
21 possible, and leave the door open to extend the shelf
22 life of platelets, which I think would pay for most of
23 these cultured units.

24 Now, I'll open this to the panel to see if
25 they have any other ideas using currently available

1 technology.

2 DR. YOMTOVIAN: Well, I agree. I think of
3 all the methods that are potentially available and
4 discussed today, culture by far and away has the
5 sensitivity level that I believe is needed in terms of
6 the bacterial loads that we want to detect and
7 interdict. So I support that.

8 But there are other questions. Mark is
9 suggesting a two tiered approach. That gets a little
10 bit cumbersome, although scientifically I think it's a
11 good idea. Where to do it? You know, should the blood
12 centers do it routinely on day two, as in the Vox
13 Sanguinis article by Dr. Liu, I believe, and co-workers
14 who are now in their second phase of linking it
15 probably to a seven day outdate.

16 But I think those two are inexorably --
17 sorry for that -- linked. You have to link an increase
18 in storage time with the bacterial detection.

19 I think from my vantage point at the
20 hospital that I'm in, I come in every day wondering if
21 I'll have enough platelets to meet the clinical needs,
22 and I see that only getting worse as more regulations
23 are passed on that will reduce the blood supply, and I
24 think this is an opportunity to really make a
25 difference by linking detection and extending of

1 outdate.

2 I think we should do it now.

3 DR. FRIEDMAN: Jim, do you want to add
4 anything?

5 (Applause.)

6 DR. WAGNER: I would like to add something.

7 I think for the most part I agree with most of the
8 statements that have been said here. I think right now
9 culture is probably the only method that most people
10 seem to have confidence in, and I agree that there is a
11 problem with logistics, of being able to provide
12 platelets with the current dating period.

13 And I think where I see the problem is, you
14 know, I talked about false positives during my test,
15 but I didn't talk about false negatives, and so I think
16 what people are subconsciously struggling with is if we
17 extend the storage time to seven days, which might
18 appear reasonable, you know, will there be some units
19 that wouldn't be picked up with the methodology? And
20 is it possible to implement it, for example, under a
21 Phase IV type of situation where there would be
22 surveillance of the data to try to determine if this is
23 a reasonable way of going forward?

24 And so I think it's a matter of how is it
25 best handled. What's a responsible way of going

1 forward? And I think those are very hard issues to
2 deal with.

3 DR. FRIEDMAN: Jim, before I go to Celso?

4 DR. AuBUCHON: I would only make the
5 comment that after five days of storage with most
6 bacteria you're probably looking at a concentration of
7 at least ten to the seventh, if not ten to the ninth,
8 organisms per mL. Multiply that by a couple of hundred
9 mLs that you're going to infuse. That's a potentially
10 lethal dose.

11 If you go from five days to seven days,
12 those organisms are already maxed out in terms of their
13 concentration, and it's probably not going to make much
14 difference in terms of the bacterial load that's being
15 infused into the patient.

16 DR. WAGNER: There is going to be some slow
17 growing organisms on day six and seven that we haven't
18 dealt with here during this discussion that are going
19 to pop up.

20 We're changing the system, and there will
21 be new things that we will learn about it, and the
22 question, I think, before us is if we're considering
23 this, should that change be determined before anything
24 is implemented if we're considering it, or should it be
25 after it's implemented with surveillance, you know, if

1 this sort of thing is really considered something that
2 might be worthwhile?

3 DR. AuBUCHON: I vote for your proposal for
4 a Phase IV approach because the low frequency of
5 occurrence of contamination and the lower frequency of
6 these very slow growing bugs means that we'll never be
7 able to prove safety in any kind of reasonably sized
8 study. We're going to have to do it and then see what
9 we did.

10 DR. WAGNER: Right.

11 DR. FRIEDMAN: Celso. Thank you for being
12 patient.

13 DR. BIANCO: Celso Bianco, New York Blood
14 Center.

15 I wonder if the panel could give me a
16 little bit of a sense of the other side of the
17 equation. There was a little bit of discussion about
18 false positives, and what, if we were to go massively
19 to do culture in all the platelets, single donor
20 platelets or random donor platelets that we use, what
21 would be the rate of false positives just by accidental
22 contamination at the time of inoculation of the
23 bottles?

24 The second part of that is considering the
25 bag configurations that we have today and the way we'll

1 have to sample these bags on day three or day two or 12
2 hours and 48 hours, what are the chances that we are
3 going to introduce a contaminant into the bag into that
4 process and maybe create more incidence of bacterial
5 contamination?

6 DR. FRIEDMAN: Which panel member would
7 like to respond? Steve.

8 DR. WAGNER: Celso, I really agree with
9 you. I think that how we do things is incredibly
10 important. How the bag is sampled, how sterile samples
11 are taken. I don't think that there's really a lot of
12 information.

13 We just saw four studies on false
14 positives. What's the basis for those false positives?

15
16 And when you think about false positives
17 from a blood bank perspective, we're not only dealing
18 with the platelet issue because what happens, you know,
19 when someone says there's a contaminated platelet?
20 Well, the blood bank has to go search the red cells and
21 the plasma and pull those out and destroy them, too,
22 and so there are economic impacts. There are supply
23 impacts. There's logistical impacts, and we can't just
24 snap our fingers and do this.

25 And so I'm glad to see this level of

1 interest. I think it's really good, but I think it's
2 also important to think very carefully about how we do
3 it and try to do it in the most logical, reasonable,
4 but, you know, fast way possible.

5 DR. FRIEDMAN: Becky.

6 MS. HOWLEY: I'd like to follow on to --

7 DR. FRIEDMAN: Becky Howley, Red Cross.

8 MS. HOWLEY: Becky Howley, Red Cross.

9 -- what you just said, Steve, about
10 thinking through this. Approximately half of the
11 platelets that are transfused in the United States
12 today, maybe a little fewer than half, are platelet
13 pools from individual platelet concentrates.

14 If we plan to culture every platelet
15 concentrate made from whole blood in inventory, that is
16 going to be a tremendously expensive and extensive job,
17 and again, that's about half of what's given today.

18 Then when you find your positive culture,
19 you're going to have to go find and throw out the red
20 cell and the plasma. So now you've got this mounting
21 heap of things that you're going to throw out.

22 The other alternative, if we have to go to
23 a pre-cultured platelet, would be to switch to all
24 platelet pheresis type platelets, and that is something
25 that I don't think that the blood suppliers or the

1 blood supplyees have thought about or thought about
2 financing.

3 And so I'd like any comments from the panel
4 on those ideas.

5 DR. BRECHER: Well, Becky, the reason why
6 we cannot pool platelets today is because of the fear
7 of bacteric contamination of the pooling. It would
8 seem to me that if you were going to prospectively
9 culture platelets at times to be determined, that you
10 could make a case to pre-pool your random platelets and
11 then only culture that pool bag, and then that would
12 save considerable costs.

13 MS. HOWLEY: Yeah, and then you could throw
14 out all six of the red cells and the plasma.

15 DR. BRECHER: Well, but also --

16 MS. HOWLEY: The pile is getting higher.

17 DR. BRECHER: Well, possibly, or you could
18 quarantine them until you could repeat the culture and
19 maybe set it up two more times before you throw
20 everything away, similar to the way the viral testing
21 is done. Two out of three wins because if there was a
22 contamination in the methodology of taking your
23 samples, you don't want to throw that product away
24 because it really is sterile.

25 MS. HOWLEY: We've cultured hundreds, maybe

1 thousands of co-components because when we have report
2 of a culture positive platelet in a pool, we go back;
3 we withdraw the red cells; we culture those. They're
4 negative. I can't remember a time when one was
5 positive.

6 I know that it's possible to happen, and
7 you always way to do that to see if it could happen,
8 but it just doesn't happen.

9 DR. BRECHER: Well, probably that reflects
10 the storage temperatures of the products.

11 MS. HOWLEY: Oh, of course.

12 DR. FRIEDMAN: Andy.

13 DR. HEATON: Andrew Heaton, Blood Systems.

14 You know, one of the issues, as I listen to
15 the panel talking, is those who are speaking are
16 primarily those who are at the transfusion end of the
17 system. Now, you are already going to face a huge
18 bolus of cost increase as a result of NAT testing, now
19 the deferral of NAT English donors, such as myself, and
20 as a result of other regulatory initiatives.

21 In order to license or the FDA to approve
22 some form of change, they undoubtedly would wish to see
23 a change to the manufacturing process, not a change to
24 the end user process.

25 And if you think in terms of the

1 implications of what you're suggesting, I presume that
2 you're thinking in terms of having some bag of a
3 culture medium which might be attached to a pheresis
4 kit or a pooling kit. Then you then seek to have the
5 manufacturer divert a portion of the product into this
6 and then monitor it over a period of time.

7 That's got an enormous production
8 implication. It would require computer tracking,
9 computer measurements, the withdrawal of in date
10 products. The cost implications of that would be far
11 greater than anything else we've yet passed on, and I
12 wonder whether the hospital environment is prepared to
13 absorb such a major increase in manufacturing costs.
14 From everything I see, I doubt it.

15 DR. AuBUCHON: Well, Andrew, I appreciate
16 everything that our blood center does for us. That's
17 not your blood center, but I appreciate everything that
18 all blood centers do for their hospitals. My argument
19 is this isn't something you can help us on; that for a
20 blood center to try to prove sterility, bacterial
21 sterility, is going to be incredibly expensive and
22 inevitably low sensitivity. It's just not going to
23 work.

24 This is something that's going to have to
25 be taken up by those of us who transfuse the platelets,

1 who generally are holding the platelets at the time
2 that they can be found to contain bacteria.

3 Now, not every hospital has an automated
4 bacterial detection device. I understand that, but
5 most hospitals that have a platelet inventory, that are
6 holding platelets, that use a significant number of
7 platelets, do have some type of automated device.

8 The small, little hospital that transfuses
9 platelets once a week or once every two weeks gets its
10 platelets from the blood center and transfuses it
11 within a half an hour probably. That's a different
12 kind of situation, and maybe in that circumstance the
13 blood center could act like a transfusion service could
14 act, the way I'm proposing the transfusion service
15 proceed.

16 So I really don't look to the blood centers
17 to solve this problem for us.

18 DR. BRECHER: Well, you know, if we talk
19 about phasing things in, and I had proposed a two
20 tiered sampling technique, but maybe the way to start
21 is to go to a Phase IV sampling on day three or four,
22 allowing at least a 24 hour culture, and then extending
23 it to seven days, and I think that that would be very
24 well received by the transfusing hospital services all
25 over the country. It would probably be cost neutral,

1 if not cost saving.

2 DR. FRIEDMAN: Sir.

3 DR. KUEHNERT: Matt Kuehnert, CDC.

4 I just was thinking about some of the data
5 that Roslyn was presenting, and I guess the question is
6 primary directed towards Mark.

7 We're talking a lot about false positives
8 and false negatives and using culture as the gold
9 standard, but what would be the practical positives and
10 negatives in that what is the level of organism in
11 which there actually is a significant transfusion
12 reaction in recipients? We still don't know the answer
13 to that question, and I was just wondering in any of
14 the studies that, Mark, you've done whether you've
15 looked at platelets that have been released and whether
16 they cause significant transfusion reactions.

17 Because I think, you know, we're sort of
18 looking at units that have ten to the two organisms
19 versus obviously ten to the sixth, ten to the seventh.

20 That's a unit that's going to cause problems. We
21 don't know with lower levels what that really means,
22 and when we're talking about day five, extending to day
23 seven, that may become a significant issue and already
24 is a significant issue, but a more significant issue.

25 DR. BRECHER: Well, most bacteria that have

1 been studied will reach plateau or at least significant
2 concentrations by day three or four. I think there's a
3 lot of hard data that has been published over the years
4 to show that.

5 What is the lowest inoculum that can cause
6 problems? I think you have to go back to that hold NIH
7 paper with salmonella. Back in the good, old days they
8 were transfusing fresh platelets within a couple of
9 hours of collection, and it is estimated that the
10 concentration that went into the patient there was
11 probably less than one CFU per mL.

12 So it's going to depend on the recipient.
13 It's going to depend on the organism as to what is the
14 lower limit, and it can be very low to cause disease.

15 However, when you're talking about storage
16 at room temperature for multiple days, presumably every
17 bacteria that we have been concerned about will reach
18 detectable levels with a small sample size.

19 DR. KUEHNERT: So that means if there is
20 any bacteria detected or at any level, then you would
21 say that the unit needs to be discarded?

22 DR. BRECHER: I think so. That would be my
23 opinion.

24 DR. AuBUCHON: If reproducible.

25 DR. BRECHER: Right. If reproducible.

1 DR. BLAJCHMAN: I just want to make a
2 comment that it seems to me that we're going around in
3 circles again, and the reason I say "again," it seems
4 to me that the blood transfusion industry has been for
5 years figuring out reasons why things should not be
6 done.

7 This has accounted for delays in HIV
8 testing and delays in other things, and it seems to me
9 that we have an instant with bacterial contaminations
10 and sepsis, as you heard this morning, of a serious
11 problem, and there are other problems that need to be
12 worked on as well, but it seems to me we have
13 methodology.

14 We seem to have encountered dealing with
15 NAT testing, despite the problems we've been
16 implementing, other things when they've been mandated,
17 and what is required at this point is a mandate that
18 requires something to be done for the bacterial sepsis
19 problem, and when that happens, we'll figure out a way
20 of doing it.

21 And I think one of the things that has also
22 happened is that nothing has been done because we
23 haven't agreed that it needs to be done. So there
24 aren't friendly systems. We don't even have systems.
25 The blood packs are not readily available to do

1 adequate sampling. We have to take samplings from the
2 tubes.

3 We've talked about this for a number of
4 years because we haven't agreed that it needs to be
5 done, and now we're being -- people talk about the
6 financial impact. Well, you have a system in the
7 United States where you haven't figured out how to put
8 money into the system, in a DRG system, to get to pay
9 for some of these things. So you're using that as an
10 excuse for inaction.

11 And I think it's time to get over that
12 inaction soon.

13 DR. FRIEDMAN: Last question.

14 MR. BERNARD: Bernard, Waynespol (phonetic)
15 Corporation, directed at Jim AuBuchon.

16 Jim, of the many things that you're known
17 far and wide for, quality of adjusted life years is one
18 of them. You've been strangely mute on that point
19 today. Would you comment?

20 DR. AuBUCHON: No. I think I actually did
21 myself one better, and that is that I was able to show
22 based on our own -- I'll be brief -- but our own
23 experience that I don't have to calculate the cost
24 effectiveness of culturing if I can extend the shelf
25 life of the platelets because it's cost savings. That

1 means the cost effectiveness number is negative, and
2 most decision analysis don't want to try to display
3 that and explain it.

4 So if we can culture and extend the
5 outdate, we save money in the process of making the
6 blood supply safer. That's a win-win all around.

7 MR. BERNARD: So do you see this as a
8 package?

9 DR. AuBUCHON: Yes, I definitely see this
10 as a package that we can do one by doing the other and
11 do the patient better in the process.

12 DR. FRIEDMAN: Thank you for attending this
13 session.

14 I think the message that's getting recorded
15 is that a group of people feel something can be done.
16 It probably needs to be coordinated because it's not an
17 individual. It's a multi-center activity that probably
18 will require manufacturing input, but the tools are at
19 hand where potentially something could be studied.

20 Mo Blajchman has some travel plans, and
21 he's asked to present before the break. So I'm going
22 to turn the program over to Steve Wagner to introduce
23 Mo.

24 (Applause.)

25 DR. WAGNER: Len, I don't need to introduce

1 Mo at this point. So welcome.

2 DR. BLAJCHMAN: Just following protocol.

3 I have to catch a plane. So I need to go.

4 So I'm going to very relatively briefly start the next
5 session, even though I'm the third speaker, and I'm
6 going to start the session on how we might undergo
7 contaminant avoidance and microbial interaction.

8 If I can have my first slide, there are a
9 number of potential strategies to reduce the risk of
10 transfusion associated bacteremia and sepsis, and there
11 are at least eight, and they're listed here and will be
12 talked about during this session: improved donor skin
13 disinfection; removal of first aliquot; extension of
14 blood donor screening; limitation of component storage
15 time; leukocyte reduction; pretransfusion detection;
16 and lowering the temperature and pathogen inactivation.

17 I'm going to briefly talk about three of
18 these that we have and review some of the literature
19 and provide some of our own data in this regard, and
20 these are the three approaches that I'm going to talk
21 about over the next few minutes.

22 One is the data that's available about
23 extension of blood donor screening, leukocyte
24 filtration, and lowering the storage temperature of
25 platelet and red cell units.

1 Extension of blood donor screening. Now,
2 obviously this can only be effective in instances where
3 there's a silent donor bacteremia, and the comment that
4 I would make or the data that's available is that this
5 extension of donor screening has been in ineffective,
6 particularly in studies to reduce *Yersinia*
7 *enterocolitica* infection.

8 There's a paper by Grossman, 1991, and the
9 Austral-Asian (phonetic) group from New Zealand by
10 Theakstan, et al., where they looked both at -- I think
11 it's my next slide -- no, they looked both at serologic
12 screening and donor screening, and these have been
13 shown to be ineffective.

14 Now, what about leukocyte reduction? First
15 of all, there is a fairly large body of data out there
16 about bacterial contamination and how leukofiltration
17 affects the level of bacteria at present. It's clear
18 from the available information and literature that
19 phagocytosis is clearly important in the elimination of
20 viable bacteria that might be present in a cellular
21 blood product unit.

22 Now, in spiking experiments -- and I
23 emphasize all of the data are from spiking experiments
24 -- the use of leukofiltration for both whole blood and
25 for platelet units particularly experimentally has been

1 shown to reduce bacterial contamination. The level of
2 bacterial contamination can be reduced by
3 leukofiltration.

4 The problem with this is that not all
5 bacteria are reduced in this result, but many are, and
6 particularly *Yersinia enterocolitica*.

7 Now, a very important point, and I'll show
8 you a few experiments that have been done in our
9 laboratory, but just to summarize first that the
10 experiments with leukofiltration indicate that the
11 leukofiltration should be done after a hold of at least
12 eight hours at 22 degrees, and I'll come back to that
13 momentarily.

14 And I would emphasize, however, that
15 despite the fact that leukofiltration is associated
16 with a reduction in the number of bacteria and in some
17 instances can eliminate the bacteria that are present,
18 and all of these experiments, as I've emphasized, are
19 from spiking experiments; there are no prospective
20 studies that have been done to indicate that there's a
21 clinical efficacy of leukofiltration in reducing the
22 transfusion associated septic reaction rate.

23 Now, here's some experiments that we did.
24 This is with staph. epi., and basically we have shown
25 that over an eight hour incubation at four degrees --

1 and in this study we used 77 spiked units -- you can
2 see the controls. The growth just keeps going on, but
3 with an incubation at four degrees as you increase the
4 time of incubation, you increase the growth in the
5 bacteria, which seems to bottom out at about three to
6 four days, but seems to start back up beyond that.

7 Now, if you do the same experiment in the
8 same bags, essentially the same bags -- this is a
9 bigger number -- with 22 degrees hold before the
10 filtration, you can see that over an eight hour period
11 you can actually, with the organism that was used in
12 this experiment, you can actually show that with such a
13 hold for eight hours, you can reduce completely the
14 number of bacteria that are present in that unit.

15 This experiment, as I mentioned, was done
16 with staph. epi. We've done similar experiments with
17 Yersinia. This is incubation at four degrees over an
18 eight hour period, and you can see the filtration after
19 up to an eight hour hold had very little impact on the
20 removal of bacteria.

21 However, if you did the incubation at 22
22 degrees Centigrade, you can see that you can reduce the
23 bacterial load.

24 Again, I emphasize these are spiking
25 experiments, but if you're going to try to reduce the

1 bacterial load with leukofiltration, you need to do
2 this or it's optimal to do this at 22 degrees and eight
3 degrees.

4 But I'm not suggesting for one minute that
5 this approach be used to reduce the bacterial load in
6 cellular blood products because there are some
7 organisms that this works with and others that it does
8 not, but certainly at least from spiking experiments in
9 our lab and in other labs, it suggests that
10 leukofiltration may be useful in reducing the bacterial
11 load.

12 And, incidentally, just a word about the
13 mechanisms. I think the mechanisms are multiple and
14 include phagocytosis by leukocytes. It includes
15 compleminic (phonetic) inactivation, and there is also
16 direct effect of the filter.

17 We've done experiments in which we've
18 leukoreduced units of blood so there are no white
19 cells, and you pass these contaminated units --
20 platelet units we've done in most of our experiments --
21 through the filters, and the filters in many instances
22 will remove the bacteria that's present, and high
23 levels of bacteria are often reduced to very low
24 levels.

25 So leukofiltration is a way of reducing the

1 bacterial load in both red cells and in platelets.

2 May I have the next slide? Not moving
3 ahead.

4 It's been suggested that we lower the
5 storage temperature of both platelet and red cell units
6 and this would reduce the rate of bacterial growth.
7 That's the intention, and there is some data in the
8 literature out there.

9 Sorry. Go back. Back to my last slide,
10 please.

11 It would be effective, particularly for
12 platelets, to reduce the risk of transfusion associated
13 sepsis for platelet concentrates by lowering the
14 temperature to four degrees. However, none of the
15 techniques that have been studied thus far to lower the
16 platelet suspension or platelet concentrate to four
17 degrees, if you examine the hemostatic function of such
18 platelets that have been stored at four degrees using
19 every method that's out there, those platelets are not
20 hemostatically effective.

21 Jaroslav Vostal has reviewed this issue in
22 a relatively recent article in Transfusion Medicine
23 Refines, but these cold storage, despite using all
24 sorts of inhibitors, does not protect the platelets
25 from the platelet storage lesion that occurs during the

1 cold.

2 There's an interesting report that occurred
3 in 1997 by Bradley, et al., and they lowered the
4 temperature of red cells that have been deliberately
5 contaminated with Yersinia. They lowered the
6 temperature from four degrees to zero degrees, and that
7 drop of four degrees seemed to have an impact on the
8 proliferation of Yersinia enterocolitica.

9 There's one single report. It's
10 interesting, but I think it needs to be duplicated. I
11 think there's a problem with that, to store red cells
12 at zero degrees, because the control at that
13 temperature in terms of avoiding ice crystal formation
14 that could do other things to the red cell is
15 important.

16 I'm going to stop there and leave you at
17 this point, and that's just a start. There will be
18 other speakers over the next hour that will talk about
19 other approaches to contaminant avoidance and microbial
20 inactivation.

21 Thank you for your attention and giving me
22 a chance to speak before I leave.

23 (Applause.)

24 DR. SYIN: Right now we have time to take a
25 ten minutes break, and would you please come back at

1 3:05 so we will finish this session on time?

2 Thank you.

3 (Whereupon, the foregoing matter went off
4 the record at 2:55 p.m. and went back on
5 the record at 3:05 p.m.)

6 DR. SYIN: I think we're going to continue
7 our third session.

8 Steve.

9 DR. WAGNER: Hopefully people are filtering
10 back. I'm hoping that this slide carousel is better
11 than the previous one that I had.

12 Okay. This is the third and final
13 scientific session where data will be presented. It's
14 entitled "Contamination avoidance and Microbiological
15 Inactivation."

16 Mo Blajchman spoke of a few measures that
17 might reduce the bacterial load, and I will speak of
18 two others, and they involve -- I'm waiting for the
19 slides to come, and I'm hoping that they're in a
20 different carousel -- and the two measures that I'd
21 like to talk about today in a real brief talk -- we'll
22 try to keep it to about ten minutes -- is -- you're
23 there. Good -- is diversion of the initial blood flow.

24 This is of whole blood.

25 You've heard about this a bit through this

1 meeting, and extension of room temperature hold for
2 whole blood, which is an old idea that I'm just
3 bringing up for reconsideration.

4 I'm afraid you are going to have to advance
5 this slide. Oh, no. We're okay.

6 The reduction of the bacterial load of skin
7 associated bacteria might be achieved by diversion of
8 initial blood flow. It won't do anything for someone
9 who's bacteremic. It also might prevent the
10 unnecessary destruction of whole blood.

11 There's a lot of cases where phlebotomists
12 collect around 500 mLs or so of whole blood, and then
13 they try to collect the sample tubes that are needed
14 for viral testing, and they can't get enough blood to
15 fill those tubes, and that whole unit has to be
16 destroyed.

17 And so if you take your samples first and
18 then collect your blood, there's a chance that you
19 might be able to have enough blood for viral testing or
20 infectious disease testing, and also have enough blood,
21 which would be at least 450 mLs for transfusion.

22 And so these systems that have the
23 potential for diverting blood for collection initially
24 can potentially both reduce the bacterial load of skin
25 organisms, as well as perhaps enable the collection of

1 units that might otherwise not be obtained.

2 There's a few papers, not very many, on
3 phlebotomy coring. Mo Blajchman talked about this
4 paper in the morning. There's another paper that's an
5 abstract that appeared in last year's ISBT meeting
6 where subcutaneous fatty tissue was found in blood bags
7 as a result of phlebotomy.

8 So we know that there is tissue that is
9 sometimes introduced into blood units, and that tissue
10 may contain bacteria.

11 There's three studies that have
12 demonstrated in somewhat different ways that skin
13 organisms might be reduced in bacterial load by
14 diverting the initial blood flow and collecting that
15 into separate containers from the blood that might be
16 given for transfusion.

17 There's a European study that has been
18 talked about today. Basically they found that roughly
19 about two percent of their units were contaminated when
20 they collected whole blood in two satellite containers
21 rather than the primary containers. So that was 116
22 out of about 3,400 units.

23 When they looked at the components of blood
24 that were made from these units, in other words, red
25 cells, platelets, and plasma, and this was all done by

1 culture results, they found instead of seeing 116
2 associated components that were contaminated, they only
3 found seven of the components of the 116 were
4 contaminated, and that's suggestive that some bacteria
5 was removed by these satellite containers, and the
6 resulting bacteria that went into the primary container
7 contained much fewer organisms.

8 And these are encouraging results, but I
9 think that further evaluation might be difficult. They
10 had a very high culture positive rate of two percent,
11 and typically, you know, when we look at all the data
12 that's presented today, we've seen culture positive
13 rates, maybe one in 3,000 or so, and so you question
14 the high culture positive rate.

15 They also didn't compare the culture
16 positive rate in whole blood pre and post diversion.
17 Remember they're comparing whole blood to platelets or
18 whole blood to red cells, and so in a sense that's
19 mixing apples with oranges, and there was no
20 quantitation to demonstrate what the reduction in
21 bacterial load might be, and so anyone might guess what
22 it might be.

23 There was another study that was done in
24 Raleigh Carmen's lab a few years ago that was a poster
25 that was presented at the AABB, where they introduced a

1 small liquid inoculum, in this case staph. aureus,
2 inside the lumen of the needle, and they either
3 maintained that small inoculum inside of the needle as
4 liquid or they allowed it to dry, and then they used
5 that needle to pierce a blood bag, a container that
6 contained sterile plasma, and then every five -- and
7 then they transferred the plasma into -- through the
8 needle and collected 5 mL samples from that needle, and
9 they did quantitative plating.

10 And what they found basically was that
11 there was a 98 percent reduction of colony forming
12 units from the first tube of blood that they collected
13 compared to the fifth tube of blood. So there's a one
14 to two log reduction in bacterial load in this system.

15 We've looked at a similar system that some
16 work was done at the Red Cross. This is an
17 experimental set that was constructed by Truomo
18 (phonetic) Corporation. Jeff Meripole (phonetic) was
19 very helpful in putting this together, and basically
20 what it is is a needle in a diversion arm that goes to
21 a connector which you can -- a Luer lock fitting, which
22 you can connect to sample tubes.

23 This particular experimental model is not a
24 closed system, and so it would not be able to be
25 introduced for blood banking use, but it's not hard to

1 imagine how one might devise a closed system, and this
2 small, supplemental bag was merely to collect the air
3 that's present in the tubing, and so initially what we
4 did is we contaminated a sterile medication port with
5 staph. aureus, and we basically painted it on and then
6 allowed it to dry, and inside of this blood bad we had
7 either whole blood or saline.

8 And we allowed the fluid -- the
9 contaminated port was pierced by the needle, and we
10 allowed the fluid to pass through and go through to the
11 diversion arm, and we successively took six, seven mL
12 samples of blood.

13 And then we closed this clamp and allowed
14 the blood to flow into a transfer pack, and we analyzed
15 how many bacteria were present in the sample tubes that
16 we took, and we analyzed how many bacteria was present
17 in the sample pack.

18 And basically the data, in summary, when we
19 used saline in a system like this is that by the time
20 you got to the third tube, about 96 percent of the
21 bacteria were in the sample tubes and not in the
22 system, and by the time you got to the sixth tube,
23 about 99 percent or so percent of the bacteria were in
24 the sample tubes and not the system.

25 When that experiment was repeated with

1 whole blood, we got similar, but not identical,
2 results. The collection of bacteria was not quite as
3 good in whole blood as with saline, but basically we
4 saw about 88 percent of the contaminating organisms
5 that were introduced were removed by the third tube,
6 and 93 percent by the sixth tube.

7 So potentially taking samples in the
8 beginning rather than later might reduce the bacterial
9 load of skin associated bacteria.

10 What other measures might be considered? A
11 number of years ago we did some studies and other
12 people have done studies on extending the room
13 temperature holding time of whole blood before
14 platelets are prepared for components, and basically
15 what you're doing is allowing the white cells, and
16 particularly the granulocytes and the monocytes more
17 time to interact with bacteria, and that interaction of
18 bacteria can both kill bacteria, as well as have them
19 sediment differently during component preparation.

20 And basically a group in Spain compared a
21 six hour room temperature whole blood hold to a 16 hour
22 whole blood room temperature hold, and this was all
23 done with in vitro spiking experiments, and they looked
24 at day 35, at platelets, and found in general by day 35
25 anyway they didn't see any bacteria in platelets.

1 I'll show you some more detailed
2 information about red cells by day 35.

3 They did a 16 hour hold and saw fewer
4 staph. epidermidis and few E. coli in the six hour hold
5 than in the 16 hour hold, and they saw this at both day
6 two and day five.

7 So it appears that if you incubate whole
8 blood with organisms at room temperature for an
9 extended period of time, there's more time for
10 granulocytes and monocytes to interact with the
11 bacteria, and there's a higher proportion of those
12 bacteria when you sediment the cells during component
13 preparation to sediment with a buffy coat, and then
14 when you prepared red cells and platelets, there are
15 fewer bacteria in the platelets and more bacteria in
16 the red cells.

17 And we did a similar study look at ten
18 organisms and found the same thing, that basically with
19 respect to the platelets, there was a trend toward
20 lower levels of bacteria in the platelets in the 24
21 hour held platelets -- 24 hour held whole blood from
22 which platelets were prepared -- compared to eight
23 hours.

24 Now, for red cells, it's kind of an
25 interesting story, and I'll show you more of that in

1 the next slide, and basically we found very little, if
2 nothing, in the plasma in terms of bacterial level when
3 whole blood is spiked.

4 In this slide, basically red denotes when
5 more organisms are noticed in 24 hour hold compared to
6 eight hour hold, and these are unfiltered. And so if
7 you don't filter red cells, but you extend the whole
8 blood room temperature hold for 24 hours, you see more
9 organisms for the most part in the 24 hour in red cells
10 who have been prepared from whole blood at 24 hours
11 than at eight hours.

12 Now, if you look at platelets -- oh, and if
13 you filter these cells through a leukocyte depletion
14 filter, a lot of these bacteria are associated with
15 leukocytes, and so you find that you end up bringing
16 the levels down when you filter the bacteria in this
17 case to levels that are similar. The purple indicates
18 similar levels, statistically insignificant
19 differences.

20 Now, for platelets, if more of the bacteria
21 are going with the buffy coat, that means fewer of the
22 bacteria are in platelets, and bacteria normally would
23 sediment with platelets during centrifugation based on
24 their size and other properties, but with a 24 hour
25 hold if white cells are associating with bacteria, then

1 you find out that the bacterial loads are less in the
2 platelets if they're derived from whole blood that's
3 been kept for 24 hours compared to eight hours.

4 And so both a diversion of whole blood as
5 well as whole blood hold can have some impact on the
6 bacterial load in components. It certainly won't
7 prevent sepsis in all cases, but it's not clear if it
8 will prevent sepsis in some cases.

9 Anyway, the next talk will be given by Mr.
10 Carl McDonald. He's going to talk about an NBS
11 evaluation to optimize skin disinfection. Mr. McDonald
12 has a Master's in applied immunology from Brunell
13 University in London. He's worked in transfusion
14 microbiology for 18 years. He's currently the head of
15 bacteriology in the Transfusion Microbiology
16 Department, National Blood Service.

17 And so, Dr. McDonald, please.

18 (Applause.)

19 MR. McDONALD: Okay. The first slide,
20 please.

21 So I'm going to talk on the National Blood
22 Service, the NBS evaluation to optimize skin
23 disinfection, and I'm going to start off with the
24 objectives of our study, run through critical factors
25 affecting donor arm disinfection, go through the

1 methods that were actually used to quantitate the
2 amount of bacteria present on the donor's arm pre and
3 post disinfection, go through methods of actually
4 evaluating our study, go through our results, and
5 finally finishing off with our conclusion, which we
6 thought was the optimum method.

7 So the objectives of our study were to have
8 a national, validated, best practice venipuncture
9 procedure which would meet our MCA requirements, and
10 the MCA, the Medicine Control Agency, are our
11 equivalent to the FDA.

12 Also very importantly, we want to reduce
13 the risk of bacterial contamination we've all heard
14 today causes severe morbidity and mortality in patients.

15 And also, we'd like to reduce our
16 litigation costs, which are becoming quite substantial
17 particularly in regard to transmissions which have
18 occurred due to inadequate donor arm disinfection, and
19 we feel in court we can argue that we're using the best
20 practice procedure and hence reduce our costs.

21 So critical factors for donor arm
22 disinfection. Obviously, highly important is the
23 disinfectant or disinfectants you're actually using;
24 the type of application device, how you're actually
25 putting a disinfectant onto the arm, be it a sponge, a

1 swab, a wipe, or a gauze; the method of application, a
2 one or a two stage process, three, four, et cetera; how
3 many do you actually want which would be the optimum
4 method; time of application of the disinfectant; time
5 of drawing of the disinfectant; and the mode of
6 application. Is it put on in a spiral manner? Is it
7 put on in an up and down motion?

8 And also, we think what tends to get
9 overlooked is the quantity of disinfectant actually put
10 onto the arm, and actually having completed this study,
11 we're avid believers of putting copious amounts of
12 disinfectant onto the donor arm.

13 So after initial evaluation, trying out
14 various techniques, such as contact plates, we decided
15 that the most sensitive and practical to be used in a
16 donor session was a direct swabbing and plating
17 technique which performed pre and post disinfection.

18 This is carried out by impregnating a
19 cotton wool swab with phosphate buffered saline, a
20 three percent between 80. All of the materials are
21 sterile. Swabbing a four by four centimeter area of
22 the antecubital foca (phonetic) for 20 seconds, plating
23 directly onto neutralizing agar plates, incubating for
24 48 hours at 37 degrees and then enumerating.

25 This slide here shows you what we found

1 typically looking at 100 blood donors pre disinfection
2 counts, and these are colony forming units per plate.
3 We had a main count of 3,099. We had no bacterias, no
4 donors to start with had no bacteria present on their
5 arm, and 27 percent had over 3,000 colony forming units
6 per plate preinfection. Another 70 percent had over
7 5,000.

8 So we've got a very, very high bacterial
9 load before we start, and we're currently working in
10 our laboratory on a spiral plating study to more
11 accurately determine the content of bacteria present on
12 the donor's arm, and we found that approximately five
13 percent of donors have over 10,000 organisms per square
14 centimeter present on their arm.

15 So we're starting off with a very, very
16 high bioburden with a certain percentage of our donors.

17 We're expecting a lot of our disinfection procedure to
18 go down from very high numbers to what we obviously
19 want to be zero in a very, very short space of time.

20 And I must say in our study we're put under
21 quite a bit of pressure to come up with a technique.
22 Not only was it excellent, but it also had to be very,
23 very rapid, and that's pressure that would be put on us
24 by nursing staff.

25 So this is what we found typically, between

1 ten percent of our donors predisinfection, a plate with
2 almost constant growth of bacterium, and this is what
3 we found ten to 20 percent of the time, showing a very,
4 very high bioburden.

5 We started off with our study, the initial
6 trial with routine blood donors evaluating three
7 methods, and the three methods we evaluated were the
8 North London method, which consists of impregnated 70
9 percent alcohol, 0.5 percent chlorohexidine wipe
10 system. The North London method, the current method,
11 is a one stage wipe method.

12 And we also tried out a two stage process
13 to see if performing the operation twice would actually
14 improve the procedure.

15 And we also carried out the method which at
16 that time was used by the Liverpool Center, which was
17 impregnating sterile gauze with Simpson isopropyl
18 alcohol, hydrogen peroxide 0.125 percent, and
19 chlorohexidine gluconate, 0.5 percent, and we're quite
20 interested in this method to see if the hydrogen
21 peroxide would have a sporicidal effect, which the
22 other methods don't.

23 So this is the North London method. It
24 comes in with little sachets here, patches out for a
25 company, for Y company for us, and this is then wiped

1 onto the donor's arm.

2 And this is a deliverable methods, sterile
3 gauze here and disinfectant mixture in this container
4 here.

5 I've got to say that this is now no longer
6 used by the Liverpool Center after an MCA inspection.
7 After an audit they were criticized on the fact that
8 gauze was no longer sterile after the package had been
9 opened, and also they weren't happy about the sterility
10 of this disinfectant once it was opened. So this has
11 now been withdrawn from use on those grounds.

12 So these are our results for the initial
13 part of the study. The three different arm cleaning
14 procedures down here, and these are post disinfection
15 results, coliform units per plate.

16 No donors after cleaning had zero bacteria
17 on their arm, which is very, very disappointing, and
18 over eight percent of donors had over 1,000 remaining
19 at the end, and we had over one percent over 3,000, and
20 for our study we on the advice of our statistician
21 ranked all of the results on log reduction, and very,
22 very poor log reduction was obtained by all three
23 methods, and there is no statistical difference between
24 all of these three methods, showing that performing the
25 North London method twice or once didn't statistically

1 make any difference.

2 So we were quite despondent about the
3 actual findings, particularly two of these methods, the
4 North London method and the Liverpool method, were used
5 by our service to routinely clean the blood donors. So
6 very, very despondent findings about this.

7 So rather than going out to a full field
8 trial for the next stage of the study, we decided to
9 perform mini trials of nine disinfectant techniques on
10 our own staff, (a) that we could actually use things
11 that we possibly could not get away with with routine
12 blood donors, and also it's much, much quicker to
13 perform using our own staff.

14 So these are the nine methods we evaluated.
15 Just running through them, we used a commercial
16 disinfection kit, the Medi-Flex kit, which consists of
17 a two stage process. The first stage, isopropyl
18 alcohol, and then a second stage of two percent
19 tincture of iodine, and these are both put onto the arm
20 for 30 seconds and then left to dry according to the
21 manufacturing instructions, and we've determined that
22 the left to dry time is 30 seconds.

23 We also did our own adaption of this method
24 by what we called the Medi-Flex fast method, which the
25 only difference between that and the standard method is

1 we reduced the tincture of iodine application drying
2 from 30 seconds to 15 seconds, and we did a Medi-Flex
3 adapted method which was exactly the same as this,
4 except the tincture of iodine, instead of being put on
5 the arm in a spiral motion, was actually put on in an
6 up and down, putting copious amounts onto the actual
7 venipuncture site.

8 We also evaluated the Medi-Flex alcohol
9 application devices as a two stage process for two
10 reasons, (a) for donors who possibly could be allergic
11 to iodine, and I should say at this point in the United
12 Kingdom and Europe iodine is not used for phlebotomy
13 disinfection at all. We used alcohol disinfection. So
14 we've got no idea how many donors out there who could
15 possibly be allergic to iodine.

16 And also, to see as we're using alcohol
17 here and we're using an alcohol swab stick down here,
18 and we also used our own wipe system, also an alcohol
19 disinfectant, to see if the method of application of
20 the disinfectant -- what effect that had. So we did
21 this as a two stage process.

22 And then we also evaluated the Standard
23 American Association of Blood Banks' method according
24 to their technical bulletin, which is a two stage
25 application of povidone iodine, 0.75 percent in the

1 first stage and a second stage of one percent.

2 Then we devised our own little method of
3 applying iodophor in the form of povidone iodine swab
4 sticks, 0.75 percent, and then 70 percent isopropyl
5 alcohol.

6 When we did this, there were concerns
7 expressed by nursing staff that the donors wouldn't
8 like having their arm stained with iodine, and
9 obviously this stage would remove it, and also we were
10 interested to see this combination of disinfectant, how
11 it actually worked.

12 So we used an impregnated commercial
13 alcohol swab stick, and we used this as a two stage
14 process, and we also tried out a commercial bench wipe,
15 which was a quaternary ammonium compound as a one stage
16 and a two stage process.

17 And this is the Medi-Flex commercial donor
18 arm disinfection kit. This is a nice little package,
19 sterile package here, which is gamma irradiated, and
20 this one little packet serves one blood donor.

21 This is the alcohol application device
22 here, and this pencil type structure here is the iodine
23 applicator.

24 And this is the Medi-Flex alcohol
25 applicator being used. It's quite an ingenious device.

1 You've got two sort of plastic wing type structures
2 here with a vial of alcohol in the middle, and it works
3 by you squeeze the two plastic wing structures
4 together. It crushes the alcohol vial in there, and
5 then releasing the alcohol into the sponge, and it does
6 release a nice amount of alcohol into the sponge, and
7 you can put a nice, copious amount of alcohol onto the
8 arm.

9 Also what we liked about it, it does give a
10 nice abrasive action, and you can give a nice, good
11 scrub of the arm, a nice, good preclean of the arm
12 prior to adding the tincture of iodine.

13 And this is the iodine applicator, pencil
14 type structure with a little white pen tip, which is of
15 gauze type material. This is, again, crushed between
16 the fingers, releasing the tincture of iodine into the
17 tip, which can then be applied with a nice, controlled
18 motion onto the arm. We did like the way -- how
19 controlled it actually was applying it. There wasn't
20 any dripping of iodine around the donor or near our
21 staff.

22 And this is the povidone iodine swab
23 sticks, which used the AABB method. And I at this
24 stage thank Dr. Mindy Goldman for supplying us with
25 these kits and also the initial Medi-Flex donor

1 disinfection kits, and we were actually quite surprised
2 that these were put into routine use because we did
3 find them extremely messy. Once we opened the
4 packaging, the iodine did drip everywhere, and we were
5 quite shocked actually it could be used.

6 I think if we used these in the U.K., we
7 would have a very, very large bill on donors' clothing,
8 as well as our staff's clothing, and also more
9 importantly, some very, very unhappy blood donors.

10 So this is the povidone iodine swab stick
11 being applied to the arm with the AABB method, and we
12 also used these sticks for our own povidone iodine
13 first and the alcohol swab stick second.

14 These are the alcohol swab sticks being
15 applied to the arm. It came as a nice, little
16 commercial package, each individual stick being
17 packaged individually in a nice, little sachet, rather
18 like the swab sticks.

19 And these plates here show you, although
20 I'm not going to present you the results regarding the
21 quaternary ammonium bench wipe. For each disinfectant
22 we evaluated, we validated the neutralizing ability of
23 our neutralizing agar plates by putting on an
24 impregnated cloth for the relevant disinfectant of the
25 selectin being evaluated.

1 A plate with bacteria prior to putting the
2 cloth onto the plate, and this here is the AABB one
3 percent povidone iodine, and we've got no zone of
4 inhibition around the plate, showing 100 percent
5 neutralization of that disinfectant.

6 Here with the quaternary ammonium compound
7 you can see this zone of inhibition, which was
8 approximately 50 percent of that of the control, and
9 that is why we could not neutralize the quaternary
10 ammonium compound on this plate, and that's why we're
11 not going to present any results regarding the
12 quaternary ammonium bench wipe. The results were
13 indifferent even taking this into account.

14 So these are the results for our mini
15 trial, ranked again, as I said, by log reduction.
16 Medi-Flex adapted method came in as number one. Small
17 numbers, I should say, in our mini trials, 29, 30
18 donors, in that region.

19 We had a mean count with the Medi-Flex
20 adapted method of three. And 79 percent of donors post
21 disinfection had no bacteria present on their arm.
22 This was the sort of thing we were looking for in our
23 initial study. Ninety-three percent, less than ten;
24 and 100 percent had less than 100 bacteria present, and
25 a good log reduction, 2.38.

1 Medi-Flex fast method came second, and the
2 Medi-Flex standard method came third, and I should say
3 there is no statistical difference between these three
4 sets of results, although our statistician did say that
5 there's almost a difference, as statisticians speak.
6 So he reckoned if we did 100 of these, we would
7 actually have a difference between the Medi-Flex
8 adapted and the Medi-Flex standard. There would be a
9 difference.

10 And it goes to show that just applying the
11 disinfectant, an up and down motion, copiously putting
12 it onto the actual venipuncture site would appear -- or
13 to the equivalent to the actual spiral method, going
14 out in a spiral from the center outward, and the theory
15 of that is you don't recontaminate area you've cleaned.

16 So as I said, the proof of the pudding is
17 actually in the eating, and that doesn't actually
18 appear to be true from our results. We don't think
19 that applies.

20 We're also concerned with the spiral that
21 the area you really want the disinfectant is actually
22 where the needle is going to go in, and although you
23 can do this nice little spiral pattern, we are
24 concerned you may actually not put enough in that
25 center region.

1 So coming to number four was the Medi-Flex
2 alcohol application devices, again, with a good log
3 reduction of two. I should say that no donors in this
4 mini trial had no bacteria present on their arm, 69
5 percent less than ten, and 86 percent less than 100,
6 and 90 percent less than 1,000, but we haven't got 100
7 percent as we have here, and there is a statistical
8 difference between the alcohol method and the adapted
9 method.

10 So the center AABB method, 39 percent of
11 donors having zero bacteria post disinfection and not
12 achieving 100 percent, less than 1,000, and a different
13 log reduction and poor results attained for the last
14 two methods.

15 I'll just show you, just going back, that
16 the method of application, the application devices are
17 very, very important. This nice Medi-Flex application
18 device gives a nice, good scrub of the arm, and that is
19 far superior to actually putting the alcohol, the same
20 alcohol, on with a swab, and it's also superior to our
21 wipe system of putting the same alcohol on to the arm.

22 So what you have to do from these mini
23 trials is take out the top methods, the Medi-Flex
24 adapted method, compare that to the North London
25 Standard county used, North London method, to try those

1 out on a full field trial, and we also decided to take
2 out the Medi-Flex alcohol method for use for donors
3 that could be potentially allergic to iodine.

4 So these are the results from our final
5 field trial, post disinfection results going Medi-Flex
6 adapted method coming number one, 100 donors in each
7 wing of the study. The mean count is seven. Again,
8 results very much like the mini trial. Seven percent
9 of donors had zero counts post disinfection. Five
10 percent had less than 90. Ninety-eight percent less
11 than ten; 98 percent less than 100; between 100 and
12 1,000, which achieved 100 percent reduction.

13 There was two donors who had 214, 215
14 counts. Excellent percentage reduction, 99.79, and log
15 reduction, 2.67. And there is a statistical difference
16 between each wing of this study. The Medi-Flex adapted
17 method is superior to the Medi-Flex alcohol, which did
18 perform well, but not in the same league as the Medi-
19 Flex adapted method. Good log reduction, good
20 percentage reduction, not achieving 100 percent less
21 than 1,000, but overall not bad results.

22 The North London method, the one we are
23 currently using shall I say, again, giving very, very
24 poor results.

25 So summarizing, the Medi-Flex adaptive

1 method is the most effective method, vastly superior to
2 the current North London method, giving a tenfold
3 improvement in performance over that method, and the
4 Medi-Flex alcohol times two does offer an alternative
5 to donors allergic to iodine, and the Medi-Flex
6 commercial disinfection system does offer considerable
7 advantages.

8 And these are the disinfectant can be
9 applied in a controlled manner, and this is
10 particularly relevant to the tincture of iodine. Using
11 iodine or iodophor, et cetera is not used in the U.K.,
12 and we were concerned about this, but we were happy the
13 way the iodine could actually be put on in such a
14 controlled manner. It didn't get all over our donors'
15 clothing, and it wouldn't get onto our staff's
16 clothing.

17 Also putting iodine onto the arm has a
18 benefit. You can actually mark what was actually
19 cleaned. It gives a nice stain where the needle is
20 going to go in.

21 The alcohol application devices did give a
22 nice, good, abrasive action and did put isopropyl
23 alcohol onto the arm.

24 The arm cleaner's fingers do not come into
25 contact with the donor, which stops what we have at the

1 moment of using these wipes, that our staff's fingers
2 become extremely dry. It also stops cross-
3 contamination occurring from staff to donor and donor
4 to donor, et cetera, and very importantly, the
5 applicators are sealed in sterile units, which
6 obviously meets our inspection requirements.

7 The disadvantage of the system is the cost,
8 which is considerably greater than our current wipe
9 system, and also it will require increased storage
10 capacity compared to our wipe system, which are quite
11 small little sachets.

12 So in conclusion, the Medi-Flex adapted
13 method offers the National Blood Service a national,
14 validated and superior best practice arm disinfection
15 procedure and we hope should contribute significantly
16 to the reduction of the risk of bacterial transmission,
17 which we've all heard today does cause severe morbidity
18 and mortality in patients.

19 Thank you.

20 (Applause.)

21 DR. WAGNER: Thank you.

22 The last scientific talk today will be
23 given by Lily Lin of Cerus Corporation. Dr. Lin has
24 been working many years with a number of her colleagues
25 to inactivate viruses and also bacteria in platelet

1 components. She's, I believe, the head of platelet
2 biology; is that right? No -- in Cerus Corporation,
3 and she's going to talk to us about methods -- she's
4 going to talk to us about inactivation of bacterial in
5 platelet concentrates by treatment with the Psoralen S-
6 59 and UVA.

7 Dr. Lin.

8 DR. LIN: Thank you.

9 May I have the first slide, please?

10 Well, my presentation today is a summary of
11 all the bacterial inactivation studies we have done
12 using single donor platelet concentrate and pooled
13 random donor platelet concentrates by treatment with
14 the new Psoralen S-59 and UVA.

15 And I would like to acknowledge these key
16 people who contributed to the work I'm presenting
17 today. At Cerus, Aarti Savor and Larry Corash and Dr.
18 Peyton Metzel and Dr. Don Buchholz of the Baxter Health
19 Care Corporation, and Dr. Folki Knutson and Professor
20 Claes Hogman of the University Hospital in Uppsala,
21 Sweden.

22 Now, Cerus in collaboration with Baxter
23 Health Care has developed this photochemical treatment
24 system for platelet concentrate to increase the safety
25 of platelet transfusion, and this process involves the

1 addition of the new Psoralen S-59 into a unit of
2 platelet concentrate suspended in a combination of
3 plasma and a platelet additive solution named PAS III,
4 then the illumination of this mixture on a UVA light
5 device for a brief period of time, and the data I'm
6 presenting today are generated by the staff, and the
7 commercial system that Cerus and Baxter are developing
8 for commercial use contains a final step by treating
9 the illuminated platelet concentrate in an SRD to
10 reduce the level of residual S-59, as well as the free
11 photo products before transfusion. So that reduces the
12 patient exposure to the S-59.

13 Now, just briefly, the mechanism of
14 Psoralen is that Psoralen has a basic structure like
15 this. It has two reactive ends of this molecule, and
16 in the absence of light, Psoralen specifically
17 intercalates into helical regions of both RNA and DNA,
18 and only when UVA light is turned on, it activates the
19 molecule, and it forms a covalent addition. This is a
20 2+2 cyclobutane addition to pyridine bases on the
21 nucleic acid, and because it has two reactive ends,
22 both a mono addition of the compound, as well as the
23 cross-link can occur if there is another pyridine base
24 on the opposite strain of the nucleic acid.

25 Modified nucleic acid can no longer

1 replicate, and pathogens whose genomes have been
2 modified by S-59, no longer infectious, and it is this
3 mechanism that works for platelet concentrate because
4 platelets are terminally differentiated cells. They do
5 not contain nuclei, and the ex vivo storage do not
6 require nucleic acid.

7 So the photochemical treatment process uses
8 150 micromolar of S-59 with a three Joules per
9 centimeter square of UVA light, and the UVA
10 illumination device developed by Baxter is capable of
11 delivering this dose of UVA light in a brief three
12 minutes.

13 Now, the system is developed to accommodate
14 the whole unit of platelet concentrate in the blood
15 bank and also the treatment is using the platelet
16 storage containers. The plasma and platelet additive
17 solution -- the use of platelet additive solution
18 increases the pathogen inactivation efficiency.

19 And the other reason of using a combination
20 plasma and platelet additive solution is that it's now
21 compatible with buffy coat platelet concentrate. As
22 you know, buffy coat is made by a different random
23 donor procedure, and some European countries already
24 are using a platelet additive solution in their
25 preparation.

1 So I'm going to describe two types of
2 experiments that we have done to demonstrate the
3 bacterial inactivation efficiency of this system. In
4 one group experiment, we have measured the bacterial
5 inactivation kinetics. In another group of
6 experiments, we have measured the whole unit bacterial
7 inactivation with five days of storage post
8 photochemical treatment. For the kinetic study, only
9 single donor platelet concentrates were used, and for
10 the whole unit inactivation we have used both the
11 single donor platelet concentrate, as well as the buffy
12 coat derived platelet concentrate.

13 The method for the kinetic inactivation
14 briefly described here, each unit of platelet
15 concentrate was inoculated with ten to the five to ten
16 to the six colony forming units or CFU of bacteria per
17 mL of platelet concentrate, and the inoculated platelet
18 concentrates were then treated with 150 micromolar S-59
19 and UVA light.

20 And after one, two, and three Joules of UV
21 light illumination, samples were withdrawn for analysis
22 of viable bacteria, and the methods for bacteria
23 detection are the standard microbiological plate
24 assays. So that allows us to quantify the residual
25 viable bacteria.

1 So the results are summarized here for six
2 strands of Gram positive bacteria, including the most
3 common contaminants, staph. epidermidis, and the
4 inoculum level, as shown here. We target somewhere
5 between five to six, and we did get 5.3 up to 6.2 log
6 CFUs per mL, and after illumination with one, two, and
7 three Joules of UVA light, the levels were reduced
8 drastically, and most of the samples were below the
9 detection limit.

10 And I will come back and show you the
11 inactivation kinetic curves in a minute, and I just
12 want to point out that the total log reduction after
13 three Joules of illumination is greater than the input
14 level that's each -- for each strain of bacteria we
15 demonstrate greater than five, up to greater than 6.8
16 logs of inactivation.

17 And similarly, in this slide I have
18 summarized the results for four strains of Gram
19 negative bacteria, including the klebsiella,
20 salmonella, Yersinia, and enterobacter strains, and the
21 inoculum was as expected. We have achieved five, 4.9
22 to 6.3 logs of inoculum level per mL of platelet
23 concentrate, and after illumination the levels were
24 reduced, and the total log reduction achieved were
25 between 5.5 and greater than 6.7 logs.

1 And here, this slide, the inactivation log
2 reduction is plotted as a function of the UVA dose, and
3 as you can see, for the six Gram positive strains of
4 bacterial, they're very sensitive to this photochemical
5 treatment. After one Joule of illumination between
6 greater than four to greater than 5 logs inactivation
7 were achieved, and with additional illumination of UVA
8 light we further reduced levels of viable bacteria.
9 Most of them were below the detection limit that is
10 indicated by the arrow here.

11 And the inactivation dose response for the
12 Gram negative strains appear to separate into two
13 categories. One group of Gram negative bacteria shows
14 a sensitivity similar to the Gram positive strains, and
15 a couple of Gram negative strains show slight or more
16 resistance to this treatment.

17 However, after three Joules of treatment,
18 we have achieved a greater than five logs of
19 inactivation.

20 Now, the second group of experiments
21 involve the whole unit inactivation. The methods are
22 briefly described here. For each unit of in this case
23 it's apheresis platelet concentrate, was inoculated
24 with ten to the three to ten to the four CFU of
25 bacteria. This is the inoculation per unit. We

1 attempt to model the real level in the platelet
2 concentrate units immediately post collection.

3 Then each of these units was treated with
4 150 micromolars of S-59 and three Joules of UVA light.

5 After treatment, the units are stored for a total of
6 five days, and after the five days of storage, the
7 treated platelet concentrates were then cultured for
8 viable bacteria.

9 And the results are show here for five of
10 the Gram positive bacteria that we looked at. I forgot
11 to mention early on that the number in the parentheses
12 indicate the number of replicates we have done for that
13 strain of bacteria using, for example, here is a four
14 independent platelet concentrate units.

15 So the inoculum we have achieved for this
16 set of experiments was between 3.6 logs for bacillus up
17 to 6.8 logs at the high end for staphylococcus
18 pyogenes.

19 So each unit after the treatment and the
20 five days of storage, we cultured the platelet
21 concentrate, and no viable bacteria were detected.

22 Similar results were obtained for Gram
23 negative strains of bacteria. Four of them are shown
24 here. Each were done in four replicates, and the
25 inoculum level was between 4.8 to 6.7 logs. Now, keep

1 in mind these are per unit.

2 And after treatment and the five days'
3 storage, no viable bacteria were cultured. So this
4 shows that these bacteria are sensitive for
5 photochemical treatment and also under the conditions
6 we used, no bacteria escaped the photochemical
7 treatment, since if they did after the five days of
8 storage they would come up.

9 And this system now is so robust that we
10 use it to test the systems being developed for
11 commercialization to look for any possible nooks and
12 crannies that might shield the bacteria from
13 photochemical treatment.

14 So our results obtained from the apheresis
15 platelet concentrate have been extended to buffy coat
16 derived platelet concentrates, and experiments we've
17 done here. Each buffy coat derived platelet
18 concentrate was prepared from a pool of five random
19 donor buffy coats, and they were made in a combination
20 of 35 percent plasma and 65 percent platelet additive
21 solution.

22 And two of these units were then pooled and
23 inoculated with ten to the three to ten to the six CFU
24 of bacteria into the pool, and the pool is redivided
25 into two identical units. One unit was not treated,

1 and the other unit was treated with 150 micromolar S-59
2 and the three Joules of UVA light and then stored for
3 up to seven days.

4 And during the storage at day one, day
5 five, and day seven samples were taken from both the
6 control and the treated units and cultured for any
7 viable bacteria.

8 And the methods for culturing the bacteria
9 in the buffy coat experiment is different. We used the
10 BacT/Alert automated system. So the output is, instead
11 of in colony forming units, the outcome of this assay
12 is given in the time from the start of the culture to a
13 positive reaction. So for the Gram positive bacteria
14 results with five different Gram positive strains we
15 have achieved the targeted level of inoculum, somewhere
16 between three to ten to the six -- three to six logs
17 CFU per unit.

18 And the results for the control units are
19 shown here. The numbers indicate the number of hours
20 from the time the four mL of platelet concentrate was
21 inoculated into the BacT bottle, and as you can see,
22 the untreated samples all had bacterial growth, mostly
23 on day one, and certainly day five and day seven. Some
24 are slow growers, that it was test negative on day one,
25 but came up on day five, and certainly on day seven.

1 Now, in contrast, the paired
2 photochemically treated units showed no bacterial
3 growth in all of the samples, with the exception of one
4 of the two units inoculated with the bacillus.

5 The results for three of the Gram negative
6 bacteria strains are shown here, and again, we did
7 achieve the three to six logs of inoculation, and the
8 control untreated units showed bacterial growth on day
9 one, day five, and day seven. In contrast, the paired
10 treated units showed no viable bacteria, indicating
11 complete inactivation of these bacterial strains.

12 So in conclusion, our data so far
13 demonstrate that the photochemical treatment system
14 with S-59 and UVA is effective in inactivating a wide
15 spectrum of bacteria, with high efficacy in platelet
16 concentrates, and this treatment system is robust and
17 is compatible with either single donor or pooled buffy
18 coat platelet concentrates.

19 And I'd offer just a last slide, a little
20 bit of additional information. That is, this
21 photochemical treatment system has also been shown to
22 inactivate a wide range of viruses, and the condition
23 used for the bacterial and viral inactivation retain in
24 vitro platelet function, and clinical trials with
25 health volunteers have demonstrated acceptable

1 viability of the platelets after five days of storage.

2 And this process is currently in Phase III
3 clinical transfusion studies both in Europe and in the
4 U.S.

5 So thank you very much for staying for my
6 last presentation of the day.

7 (Applause.)

8 DR. WAGNER: Thanks, Lily.

9 Before you leave, I'd like to invite you
10 and the other speakers, I guess, excluding myself
11 because it's difficult to moderate and to be moderated
12 at the same time in front for a panel discussion.

13 Yes. Please identify yourself.

14 PARTICIPANT: While applying the skin
15 prep., you have shown the picture that they're holding
16 under the arm and stretching the skin. Is that the
17 standard practice? The preparation, applying the
18 antecubital foca, the picture shows that holding the
19 arm and possibly stretching the skin.

20 MR. McDONALD: That was just for the sake
21 of the photograph.

22 PARTICIPANT: That's not the standard
23 technical?

24 MR. McDONALD: No, that was just for the
25 photograph, yeah.

1 DR. WAGNER: Please use the microphone.

2 MR. McDONALD: That was for the purpose of
3 the photography. That was for photographic purposes.
4 It's not used routinely.

5 DR. WAGNER: I have a question for Lily.

6 Does Psoralen -- are spore forming bacteria
7 less resistant to Psoralen mediated inactivation?

8 DR. LIN: Well, that's a good question. In
9 fact, I think, preformed spores are resistant to the
10 photochemical treatment, and this, I think, explains
11 why one of the experiments with bacillus failed.

12 DR. WAGNER: So it's a very, very broad
13 inactivation method, but as we see always in biology,
14 nothing is perfect.

15 DR. LIN: Nothing is prefect, correct.

16 DR. WAGNER: Yes, Mark.

17 DR. BRECHER: I was just speculating maybe
18 that because the bacillus was the bug you had trouble
19 with, it's such a large organism compared to the other
20 bacteria, that the size of the organism may have some
21 way or something to do with it. It may need longer UVA
22 radiation to penetrate the bacteria.

23 DR. LIN: Well, we have actually in the
24 early -- the very first slide that's showing the
25 inactivation kinetics, we used the non-small forming

1 bacilli. In fact, we can kill five logs and six logs
2 easily. So once they germinate, they're very
3 susceptible to inactivation, but it's the spores that
4 during illumination they might escape the inactivation,
5 and during storage they germinate, and you find
6 bacillus.

7 DR. BRECHER: I do have one question. At
8 the ASH meeting a couple of years ago, the survival
9 data was presented on this, and while the in vitro
10 recovery was acceptable, the in vivo survival was
11 acceptable. As I recall, there was about a 20 percent
12 decrease in in vivo recovery, and a 20 percent decrease
13 in in vivo survival.

14 So does that mean you would need -- let's
15 see, 20 plus 20 is 40 -- 40 percent more platelets to
16 get the same kick out of a bag of platelets?

17 DR. LIN: Well, I think the best person to
18 answer this question really would be Dr. Larry Corash,
19 but I will try.

20 I mean, I would not calculate it the way
21 you did. I mean if we did see 20 percent, I think the
22 recovery and survival goes together. If you have a
23 reduced recovery, it would translate into reduced
24 survival in the meantime.

25 DR. BRECHER: Okay. Well, at least you

1 need 20 percent more platelets.

2 DR. LIN: But then really our Phase III
3 clinical trial would gather enough data to show if that
4 would be necessary.

5 PARTICIPANT: We did some of those. I
6 don't know why you add 20 and 20. There was a
7 decrease, but the decrease was the same as you would
8 see in the control with plasma storage, about a 42
9 percent survival and about a 120 hour recovery, 40
10 percent recovery and about 120 hour survival, which is
11 what you would get in non-PAS (phonetic) stored
12 platelets, if you stored it in plasma. If you stored
13 it in PAS, you got the higher level.

14 So when you Psoralen treat in PAS, you got
15 back to where you currently are getting something in
16 plasma. So there was a quid pro quo for the viral
17 inactivation, which is basically what you're seeing
18 today. The results with Psoralen treatment and PAS are
19 similar to what we're getting as we speak today.

20 DR. WAGNER: If you have a comment, please
21 go to the microphone, please.

22 Mark.

23 DR. BRECHER: I'm sorry. My comment was
24 that just may imply that we need to store all of our
25 platelets in PAS to get better recovery and survival.

1 DR. WAGNER: Yes, please.

2 DR. KUEHNERT: Matt Kuehnert, CDC.

3 I just had another question about your
4 talk. It looked like a promising method, but one thing
5 that confused me was you had a slide that had the
6 amount of bacteria inoculated, and then after treatment
7 you had some negative log values.

8 DR. LIN: Yes.

9 DR. KUEHNERT: And I wondered if you could
10 explain how they could become negative.

11 DR. LIN: Yeah. That's just a function of
12 how large of a volume you assay. If, for example, you
13 only assay one mL and you did not find anything so your
14 titre is less than one per mL and log is zero, but then
15 when you assay more than one mL, for example, three
16 mLs, and you did not find any bacteria, so your titre
17 is less than one in three and the log of one-third is a
18 negative value.

19 DR. KUEHNERT: So you're assuming that you
20 have the same number of bacteria or that your sample
21 from one mL is the same for, say, the three mLs.
22 You're making that assumption?

23 DR. LIN: No, we cultured the three mLs.

24 DR. WAGNER: They used more than one plate.

25 DR. KUEHNERT: Oh, I see. Okay. Thanks.

1 DR. WAGNER: Yes, please. Chris Boles.

2 DR. BOLES: Perhaps this has already been
3 published, but could you speak to how your processed
4 platelets score in assays of mutagenicity and
5 carcinogenicity, like the Ames test or something
6 similar to that?

7 DR. LIN: No. The data of our toxicology
8 study has not been published or disclosed as of today.

9 DR. WAGNER: Yes, Ros.

10 DR. YOMTOVIAN: Roslyn Yomtovian,
11 Cleveland.

12 May I ask you, Steve, a question? Two
13 speakers today, yourself and I think Mo Blajchman,
14 talked about -- maybe there were other speakers, too --
15 about the reduction in levels of growth when you divert
16 varying amounts of samples. You know, to create a bag
17 with that configuration, I mean, what is the barrier to
18 that?

19 I mean if that really does reduce the
20 incidence of contamination between 75 and maybe 90
21 percent possibly, I mean, why aren't we seeing that as
22 one of the sort of first line approaches to this
23 problem, keeping with the concept that what harm will
24 it do? I mean, how much more will it cost to, you
25 know, reengineer bag designs to do that?

1 DR. WAGNER: Yeah, I think that's a good
2 point. My own sense is that we're going to be seeing a
3 number of these systems being submitted in the near
4 future, and I'm not sure how they'll be dealt with.

5 Obviously you have to be concerned that
6 when blood passes through this diversion arm, it's not
7 anticoagulated, and so it potentially can clot, and so
8 I think you have to show that your device actually
9 works the way you hope it to work.

10 But, you know, these are issues that
11 companies can deal with, and I expect in the future
12 that we may see more of this. I think it's a
13 reasonable idea to think about.

14 DR. BARBARA: To extend that logically, and
15 I fully agree with the point, you probably ought to
16 think about how well you're cleaning your arm, and
17 maybe there needs to be some form of a continual brief
18 assessment or monitoring as the efficacy of arm
19 cleansing.

20 I don't know around the world. I don't
21 think people have really addressed the question. We've
22 always cleaned arms, you know. We assume that it
23 works, and I'm not sure that we really have the data
24 that it does work.

25 And looking at today, we've been looking at

1 this total package of approaches to reduce the problem,
2 starting to think about the same level of systematic
3 effort that we quite readily employ for the viruses.
4 You know, here we've got systems that will apply to
5 bacteria in general. For viruses, we're quite happy to
6 add on millions of dollars worth virus by virus.

7 So it's no great effort, I think, to start
8 thinking of all of these stages systematically, and as
9 I say, I'd start with some form of monitoring for the
10 effectiveness and build in a requirement to demonstrate
11 effectiveness, and then think about the diversion, as
12 well.

13 DR. WAGNER: Yeah, I think this area,
14 particularly of arm cleansing, is one that has been
15 generally ignored for the most part, with the exception
16 of some work that Mindy Goldman has done, for a number
17 of years, and I think it's incredibly important, but
18 just has not really been systematically studied.

19 We are just, I think, beginning to learn
20 more and more about it, and I certainly would encourage
21 cooperation between countries and investigators and
22 promote studies of these type to try to see what the
23 best arm cleansing technique is.

24 This idea of diversion is, again, another
25 one that is simple and may not be particularly

1 expensive, and I would promote companies into
2 investigating whether or not these sorts of devices
3 might be considered for submission.

4 Any other questions?

5 (No response.)

6 DR. WAGNER: If not, I'd like to thank the
7 speakers for their very nice talks, and I think we move
8 on to the closing session, which is the tough one.
9 It's future direction and potential impact, and the
10 first speaker is Dr. Edward Snyder.

11 And Dr. Snyder is from Yale University.

12 DR. SNYDER: Hello. I will be mercifully
13 brief.

14 First of all, I could not prepare slides in
15 advance because I didn't know what was going to be
16 said.

17 Secondly, my handwriting is, for those of
18 you who know medicine, DNR. It's do not resuscitate.
19 So I will try to walk you through these. I wrote these
20 as we were listening to the various talks.

21 What I decided to do was to go through the
22 list that Jay Epstein mentioned in the beginning, which
23 is what Merlin Sayers had said five years ago about
24 where things were. I learned many years ago not to go
25 against Merlin Sayers ever.

1 So the first thing, what he said was that
2 the concept of transfusion associated sepsis is not a
3 new concept. Where are we in 1999 based on that? And
4 what I heard today, it's still not a new concept, but
5 there are now new approaches, new hopes, new
6 enthusiasm, less inertia, and new dollars.

7 And I think the new dollars is the
8 important aspect. People have listened. I remember Mo
9 Blajchman railing at the ceiling, the sky, and anyone
10 who would listen to him, as well as others who were
11 less histrionic, but still had the same sense of
12 enthusiasm like Ros Yomtovian and so forth, talking
13 about this as if they were talking to wall, and now I
14 think the wall is finally moving.

15 We have heard you. We now believe that
16 this is a problem, and we will be moving forward. So I
17 think we have made progress in this area.

18 Next slide or next acetate. My handwriting
19 gets worse as they go along because I got tired.

20 Then he said in 1991 the impetus for all of
21 this was a mini Yersinia epidemic that occurred. In
22 1999 the impetus is there, but it's changed. It's now
23 the impetus to achieve a zero risk blood supply.

24 Immanuel Kant came up with a categorical
25 imperative which I remember vaguely from my college

1 days. The categorical imperative was act so that your
2 act should be universalized. That is, if you help an
3 elderly man across the street, that's a good thing to
4 do.

5 The categorical imperative for the new
6 millennium is act so that you can explain it to Ted
7 Koppel on "Night Line," and not have him think that
8 you're wrong.

9 The promotion of the public health in a
10 zero risk blood supply is the impetus for getting rid
11 of bacteria. Somebody mentioned to me, tongue in
12 cheek, that there are more people injured in vacuum
13 cleaner accidents than are injured, than are killed by
14 bacterial contamination of the blood. Why are we
15 spending this much time on it? This was mentioned by
16 an unnamed person outside.

17 The fact is that we are trying. We deal
18 with one in 700,000, one in a million risks of HIV as
19 intolerable, and when you have bacterial contamination,
20 although the numbers are low, the risk is there. The
21 population has very little trust in the blood supply
22 internationally, and we are trying to retain that, and
23 you see this everywhere with new variant CJD and so
24 forth.

25 So the impetus today is still there, but

1 it's no longer a mini epidemic of Yersinia. It's to
2 achieve zero risk, which is asymptotic. I don't think
3 we'll ever get there. It's to promote public health,
4 promote public trust and confidence, and it's become a
5 worldwide effort, and that, I think, is extremely
6 rewarding and exciting, that there can be all the
7 efforts from the various countries involved.

8 And also as was pointed out very
9 appropriately, autologous blood donation is more at
10 risk probably for sepsis than allogeneic because often
11 these autologous donors come in with surgery that may
12 involve some septic process that they may not even be
13 aware about it. Hip replacement, it's a problem and
14 they may actually have an occult septic hip and so
15 forth.

16 So these are issues that affect all blood
17 donors, including autologous, as opposed to the viral
18 issues.

19 Number three, the field was taken to task
20 for an absence of data. In 1999, we have BaCon with
21 AABB, FDA, CDC, and the Red Cross. We have SHOT,
22 hemovigilance, hemosurveillance, the FDA. The AABB has
23 the National Blood Data Resource Center; the Heidelberg
24 Symposium. It's a worldwide effort.

25 Data is being collected as we speak, and

1 it's our job to try to coordinate all of this, not only
2 within the United States, but nationally because we're
3 all members of the globe, and it's becoming a worldwide
4 effort, and I don't think we can anymore look to Dr.
5 George Nemo and the NHLBI for funding or CDC. I think
6 we have to look possibly to some of the manufacturers,
7 to other sources of funding in order for us to pull all
8 of this together, and I think a meeting like this may
9 provide some impetus because at least it shows we're
10 all reading from the same page in the "missalette," as
11 they say.

12 Next slide. I don't say that, but other
13 people do.

14 (Laughter.)

15 DR. SNYDER: In 1994, we were taken to task
16 for under reporting. In 1999, it's still a problem,
17 but less so. The gap is wide, but narrowing, and
18 questions were raised. Do we need to achieve 100
19 percent reporting?

20 Dr. Roth, I believe, mentioned something
21 about this, that the gap is narrowing; the question of
22 whether you look at number of units transfused or the
23 number of total units given out.

24 The question is whether we're going to
25 achieve this by education and regulation, and if I have

1 to say something that may be a little surprising to
2 myself, it has to be through regulation. You are not
3 going to convince the hospitals and the third party
4 payers in this world that you need to screen units of
5 blood or do blood cultures on all these units because
6 it's good medical care or because it's appropriate or
7 because Ted Koppel would like you to do it.

8 It has to be done by the gentleness of our
9 next speaker telling us, "I think it's a really good
10 idea if you try to get rid of bacterial contamination
11 of blood."

12 In 1994, there was the request for the need
13 to increase the ability to recognize transfusion
14 reaction better, which meant clinician education. In
15 1999, BaCon is attempting that. The AABB, ASCP, state
16 and regional conferences, all are aimed at education.
17 It's much stronger.

18 Canada has the transfusion safety officers,
19 which appear to be more educational than regulatory
20 from what I can find out.

21 The need that we still have is to increase
22 the BaCon education efforts, get the slides and so
23 forth out to more centers.

24 FDA regulation versus hoping, which is the
25 appropriate way? And I think it's regulation. Nothing

1 says "I care" like a page of 483s.

2 (Laughter.)

3 DR. SNYDER: The JCAHO awareness would
4 help. If the JCAHO and our friends from the FDA state
5 that it's appropriate to do bacterial cultures and so
6 forth, this frees up hospital enthusiasm. Nothing
7 else, I tell you standing here from someone who is in
8 the middle of a hospital that has had its third budget
9 reduction and we now are down to a four unit platelet
10 pool at Yale, down from 12; some of this was good
11 medical care, excellent Red Cross efficiency. Some of
12 it was budget reduction.

13 Eventually we're going to go to virtual
14 platelet transfusions where we just show a picture of a
15 platelet and ask them to think thrombopoietic thoughts
16 and then bill for my time.

17 (Laughter.)

18 DR. SNYDER: Unless there's a mandate from
19 the government -- and I know Dr. Tabor had strong
20 feelings about the FDA being the fall person for this -
21 - I think the federal government needs to step in and
22 do something and say, "This is appropriate," even if
23 it's a strong suggestion. We'll see what Dr. Vostal
24 has to say after I leave.

25 And maybe increasing public awareness would

1 help because then they would call their Congress person
2 and the Congress persons would then beat up on the FDA,
3 and then it would happen that way.

4 So either way, I think it has to come from
5 a mandate, either federally or from other countries,
6 and so forth. Like leukoreduction is sort of pushing
7 the U.S. into this.

8 Next slide please. I have to keep you
9 awake. It's late in the day.

10 In 1995 -- it used to be 1994. I got tired
11 -- more investigation --

12 (Laughter.)

13 MR. SNYDER: -- into techniques, referring
14 specifically to the loss of the chemiluminescence that
15 Mark Brecher talked about.

16 Well, what subjects do we have here? We
17 have leukoreduction filtration, automated blood
18 cultures, Gram staining, dip sticks, diverting blood
19 bags, swirling, platelet or pathogen inactivation by S-
20 59, solvent detergent, Inactine (phonetic), some
21 technologies that weren't mentioned here,
22 autoepifluorescence. All of these technologies are
23 here. People are trying very hard to get all of this
24 to the field.

25 What Jay Epstein said a while ago to me was

1 that he wants promising technology which is
2 standardizable, and that's what is needed for the
3 agency to be able to evaluate this.

4 And some of you say, "Well, what about S-
5 59? What effect is that going to have on bacterial
6 testing, dip sticks and so forth, or some of the other
7 assays?"

8 And I'm reminded of that scene in "Raiders
9 of the Lost Ark" with Indiana Jones where he's standing
10 there trying to get past, and there's a man with a
11 scimitar with this big, huge sword swirling around, and
12 he says, "Aw, heck," and pulls out a gun and shoots
13 him.

14 And I think may be what happens. This may
15 be the gun, and SD or Inactine or other technologies
16 that may just shoot the technologies that are being
17 developed by some companies that may be eliminated.
18 That is a possibility as the field and free enterprise
19 does its thing.

20 So that's something that all of the
21 corporate people have to consider, that new
22 technologies coming out may totally obviate, obsolete,
23 if you will -- I just made that word up -- their
24 technology, and that's the way things are in the field
25 today.

1 Next one. I think there's one or two more,
2 and then I'm done.

3 Judicious use of blood products was the
4 task in 1995, and it's still a task in 1999. What the
5 physician does not know about blood transfusion risk,
6 the plaintiff's attorney will, and that is still true
7 today.

8 And I tell that to our residents and the
9 house staff, that if you don't know what kind of work
10 you're doing in blood products, your patient's attorney
11 will, and that's still the challenge, and that still
12 relates to the appropriate use of blood.

13 Future needs? Well, risk factor research,
14 as we've heard about, whether it's arm prep. or coring
15 of needles; sentinel BaCon sites I think is a superb
16 suggestion. I had considered calling them BaCon
17 inactivation transfusion sites or BaCon BITS, which I
18 thought was very clever. It was easier to come up with
19 an acronym for that than Salad Shooters. So I chose
20 that.

21 That, however, I think is an excellent
22 idea, not to negate national evaluation, but I think if
23 you have sites like there were TMAA sites, transfusion
24 medicine sites that the NIH had, if you could set up
25 sites that really would look at every unit because

1 we're not going to culture every unit. I'm not going
2 to get a call, four in the morning, and fly in in a
3 costume, Ninja gear costume, to evaluate a patient who
4 had a chill or a fever.

5 I think the criteria for the BaCon study
6 are very good, but it's a nine-to-five type study when
7 you've got two nurses who can run off and do it, and
8 the floors are not going to do this, and I think that's
9 why there's so much under reporting. It's a very
10 difficult study.

11 Maintain international reporting I think is
12 critical. Fast tracking, new pathogen inactivation
13 technology. Jay said they wouldn't stand in the way,
14 but it has to be appropriate technology that's
15 standardizable, and the agency, I believe, would fast
16 track. Perhaps Dr. Vostal will comment.

17 We need increased regulation. I'll beat
18 that drum one more time. Increased education,
19 increased public awareness, increased research funding,
20 NIH and possibly through the SBIR program, which is now
21 giving even more money for development of these types
22 of commercial efforts.

23 And the last acetate is in '95 and '99,
24 there's no one strategy that works best, and that was
25 true then, and it's true now. When all else fails, do

1 something. That was said by Dr. AuBuchon. Dr. Brecher
2 said similar things when he talked about his
3 "farfigneuton" (phonetic) or that German thing that he
4 was talking about versus Dr. Tabor who said, "Only do
5 good science." He didn't say, "When all else fails do
6 something." You can do something, but he wanted good
7 science, and Mark obviously meant the same thing, as
8 did Jim.

9 But what Mo Blajchman said essentially was
10 when all else fails, regulate, which is what I've been
11 saying. What Mo really said was, "Give us a mandate,
12 and we'll do the rest." That's what we did with PCR
13 testing and NAT testing, and I agree with him.

14 I have finally come 180 degrees, that I
15 believe the way this field will move forward is not by
16 the good efforts of the voluntary organizations, but I
17 think the FDA needs to assume a role of leadership and
18 to gently push us into some type of bacterial testing,
19 keeping all of these things going while we're
20 developing inactivation technologies.

21 Thank you very much.

22 (Applause.)

23 DR. SYIN: Thank you, Dr. Snyder. What a
24 summary.

25 And next one, we're going to have Dr.

1 Vostal from Division of Hematology in Office of Blood
2 to present closing remarks, and currently he is Medical
3 Officer in Division of Hematology, and he is current
4 Section Chief for the Platelet Lab, right?

5 Thank you.

6 DR. VOSTAL: Thank you very much.

7 Dr. Snyder is sure a difficult act to
8 follow.

9 It's my pleasure to be able to present some
10 comments from the FDA and the closing remarks for this
11 workshop. I actually foolishly did make some slides
12 ahead of time. So could I have the first one, please?

13 Well, this morning we started out with a
14 couple of general objectives. The main objective was
15 to get the current information on bacterial
16 contamination of platelets. I think we've been very
17 successful in obtaining this information and in
18 reaching this goal.

19 This workshop has been very helpful to us
20 in telling us exactly where we stand and where we've
21 some from since the last conference in 1995.

22 The second objective is to encourage the
23 future research and development efforts to minimize
24 risk of platelet transfusion associated bacteremia and
25 septicemia. We hope that this will take place. I

1 mean, we certainly hear that there are areas of
2 interest and concern in the transfusion community, and
3 we hope that something will certainly come out of this
4 workshop.

5 Now, this is the general FDA perspective,
6 and I put this together before having the benefit of
7 listening to the discussion at this workshop. So some
8 of these comments are rather general, but I did job
9 down some more specific ones I'll go into later.

10 The primary goal of FDA, of course, is the
11 safety and efficacy of blood products for transfusion.

12 You're certainly concerned with the high rate of
13 platelet transfusion associated morbidity and
14 mortality, and this gets driven home to us every time
15 we see one of the mortality reports that comes across
16 our desk.

17 I think it's very sad that people are dying
18 from contaminated platelet transfusions, and we
19 certainly want to do something about that.

20 We commend the efforts expended by the CDC
21 and collaborating medical centers to determine the
22 extent of the problem. This is a very important
23 effort, which we hope will give us the underlying rate
24 that we can then work on to decrease together.

25 We certainly encourage research and

1 development of bacterial detection and decontamination
2 methodology. There have been some exciting
3 developments since the last workshop, and I hope that
4 some of these will be able to reach practical use.

5 Of course, we're always willing to discuss
6 novel approaches to achieve the goals of decreasing
7 bacterial contaminations. We like to think of
8 ourselves as a user friendly agency. So please give us
9 a call or arrange a meeting with us, and we'd be happy
10 to discuss any idea that you have and help in any way
11 we can to bring it to market.

12 Now, some of the thoughts I had while I was
13 listening to the discussion here I wrote down, and I'd
14 like to share those with you.

15 I certainly heard that there are some
16 strong opinions in the audience that the FDA should do
17 something now and not wait for the ultimate test or
18 ultimate solution to the problem. I think having this
19 workshop here is a first step towards doing something
20 because we certainly need to find out what the
21 contaminating rate is and know where we're starting
22 from.

23 I think it's fortunate that we're dealing
24 with a familiar foe, and that's bacteria. This is in
25 contrast to the issue with CJD where we're not familiar

1 with the pathogen. We have difficulty detecting it and
2 inactivating it.

3 Here we're dealing with bacteria. We're
4 familiar with bacteria. We know what to do about them.

5 So I think we're way ahead of the game in that
6 problem.

7 It appears to me that there actually could
8 be too many choices in terms of addressing this
9 problem, and the difficulty is in picking out the right
10 choice for a solution.

11 I've heard some interesting ideas today. I
12 think especially of interest to me are those things
13 that could be done very simply, such as prevention, for
14 example, the diversion of the first 15 cc's from
15 collection; novel skin decontamination; or increasing
16 the whole blood hold. I think these are simple things
17 we could do, and since the rate is so high, I suspect
18 that anything we do might have a beneficial effect.

19 Now, we heard about bacterial culture for
20 all of the units. I think this is a viable option. I
21 think there are issues we have to work through about
22 when to culture and whether we're going to be able to
23 get the benefit of platelet storage extension for
24 bacterial culture. And we're willing to talk to the
25 community about this and work through this problem.

1 Okay. So what are we going to do about
2 this? Well, as the FDA, we certainly will go back and
3 look at the data that was presented here at the
4 workshop. There was so much presented that we really
5 have to go over it again and see what we could use and
6 what could be useful in designing any studies or
7 solutions in the future.

8 We're hoping that you will be able to do
9 the same, and we're looking forward to working together
10 with you in dealing with this problem.

11 Okay. I was going to go through this, but
12 I think we covered this very well during the workshop
13 today. So let me just move on to thanking the workshop
14 planning committee that did a wonderful job in
15 arranging this workshop in a very short period of time.

16 Dr. Chiang Syin was the chairman. He was
17 the tireless driving force behind getting this
18 organized.

19 Dr. Mo Blajchman, Paul Aebersold, William
20 Jarvis, Roger Dodd, George Nemo, Kay Gregory, David
21 Stroncek, Paul McCurdy, Stephen Wagner, Kia Sen, John
22 Finlayson, and Joe Wilczek, all of these individuals
23 contributed greatly to being able to put this workshop
24 together.

25 So that concludes my comments. I would

1 like to thank the speakers that participated today and
2 the discussants and also for the people in the audience
3 who have stayed around to listen to the end of the
4 workshop.

5 I look forward to working with you in the
6 future, and have a good trip home.

7 Thank you.

8 (Whereupon, at 4:32 p.m., the workshop was
9 concluded.)