

1 DR. TOLLEFSON: My name is Gary Tollefson,
2 President of Neuroscience at Lilly. I want to just say a
3 few words so that we don't make this more complicated than
4 it perhaps is.

5 This was an independent investigator initiated
6 trial. However, it was done under conventional **double-**
7 blind methodology, as you would expect with any clinical
8 trial.

9 Now, it happened at mid-course with the patient
10 numbers that you have seen that the primary investigator
11 opted to look at a group level for whether or not there was
12 a treatment effect. The primary investigator, as you
13 heard, saw a very robust treatment effect between the two
14 **groups**, and for ethical reasons elected to terminate the
15 study at that point. So, the patients that were
16 represented as study 2 patients **were** patients randomized
17 prior to an unscheduled interim analysis, and given the
18 robustness of the treatment effect -- you might recall the
19 slide showing overall treatment effects -- the investigator
20 felt that it would not be prudent or ethical to continue
21 prospectively in light of the drug/placebo difference that
22 he saw.

23 DR. TAMMINGA: Dr. Hamer.

24 DR. HAMER: I'm now puzzled, as usual. You're
25 saying that they did an interim analysis after 10 patients.

1 For ethical reasons, they decided to terminate the study.
2 There were patients in that study then at that time
3 currently assigned for placebo, but ethically it didn't
4 bother them then to continue those patients on placebo
5 double-blinded to the end of the study.

6 DR. TOLLEFSON: The patients that had been
7 initially randomized to the trial were followed throughout
8 the entirety of the trial. Thus they provided the basis
9 for the interim analysis. Once that analysis was done, no
10 additional prospective patients were enrolled to go up to
11 the initially targeted patient sample of 30. So, in other
12 words, no additional randomizations occurred after the
13 interim. The interim was based on those patients
14 previously randomized which was the study cohort you saw.

15 DR. HAMER: Right, but the ethical concerns
16 were not sufficient to terminate patients on placebo in the
17 trial rather than continue to give them placebo for the
18 rest of the trial.

19 DR. TOLLEFSON: Yes. You remember the
20 crossover design, and it was felt that the value of the
21 additional scientific information that would be generated
22 via the crossover was justifiable in order to continue
23 patients through the rest of the trial. However, based on
24 the phase I data, separation between drug and placebo, it
25 didn't make a lot of sense to repeat that by enrolling

1 additional patients in the eyes of the investigator.

2 DR. TAMMINGA: But the decision was made on an
3 efficacy basis, not on a side effect basis.

4 DR. TOLLEFSON: That's correct by the PI.

5 DR. TAMMINGA: Dr. Temple.

6 DR. TEMPLE: No one obviously approached us to
7 see whether we thought it was ethical to continue to
8 randomize people to this non-lifesaving treatment. I'm
9 appalled at the idea that someone thought it was ethically
10 imperative to stop the study because of this. That would
11 imply you **couldn't** do more studies in this disease, which
12 is really a garbled view of what's ethically necessary.
13 But nonetheless, it seems to have happened.

14 DR. TAMMINGA: Dr. Katz.

15 DR. KATZ: I just had a question about the
16 interim analysis. It was done on 10 people. Are those the
17 10 people who at the time had completed both arms of the
18 trial, or did it include data from all the 19 that were
19 enrolled in their various periods and states?

20 DR. JUDGE: It included data from 10 patients.

21 DR. KATZ: Who had completed?

22 DR. JUDGE: Yes.

23 DR. COOK: I still haven't had my question
24 answered in terms of how this blind could be broken in
25 terms of the security of the blinding codes, et cetera.

1 This seems to have been relatively arbitrary. It obviously
2 wasn't being kept separate from the investigator for the
3 investigator to be able to do this analysis. I just need
4 assurances that we know the blinding was secure.

5 DR. JUDGE: Investigators and all the raters in
6 this study were blind to the individual patient assignment
7 to drug. It is not uncommon to do interim analyses, as you
8 know., for studies to delineate a group effect.

9 DR. COOK: But if they're blind, they can't do
10 the analysis.

11 DR. TAMMINGA: Are you asking the question, Dr.
12 Cook, who was allowed to be unblinded?

13 DR. COOK: Yes.

14 DR. TAMMINGA: Who was allowed to be unblinded
15 in this study? Do we know that? Was that prospectively
16 determined?

17 DR. JUDGE: I don't know specifically the names
18 of those people, but the people who did the analysis were
19 not involved in the conduct of the study in terms of rating
20 the patients or ascribing treatment and seeing them from
21 cycle to cycle and providing them with treatment,
22 monitoring the adverse events, and rating their scales.
23 So, those raters, which are specifically those who saw the
24 patients, were not the ones who completed the statistical
25 analysis. The statistical analysis was done by

1 | statisticians who were not part of the rating cohort of
2 | that study, and in fact patients themselves who rated
3 | themselves on the primary outcome measure were also kept
4 | blind to their individual patient assignment as well.

5 | DR. TAMMINGA: Are you worried, Dr. Cook, that
6 | people might have taken other peaks at the data?

7 | DR. COOK: It just seems non-standard. It is
8 | true-that the patients are rating themselves, but it is
9 | certainly possible if anyone-working with them or involved
10 | in the study knows who is on which, then you violate the
11 | basic principles of blinding. That's my real concern.

12 | DR. JUDGE: And that was obviously a concern
13 | for Lilly. It's a necessary requirement that a study is
14 | blinded and kept blinded to the raters of that study, and
15 | in comprehensive audit, absolutely comprehensive, a very
16 | meticulous audit, assurances were made and received with
17 | respect to the blinding of this study.

18 | DR. TAMMINGA: Dr. Katz.

19 | DR. KATZ: You probably believe that you've
20 | already answered this. Maybe you have. But we've heard
21 | that the PI took a look at the data. Now, did the PI have
22 | anything to do with evaluating patients? Was that person
23 | involved in the conduct of the trial?

24 | DR. JUDGE: No. The principal rater of that
25 | study was Dr. Su. In fact,. the authors of that study were

1 Su and Schmidt, and Dr. Schmidt was the person whose name
2 is on the abstract. Dr. Su actually was more involved with
3 the patients from a day-to-day basis, and he was at that
4 time not privy to the individual patient assignment, as
5 I've already alluded to.

6 DR. TAMMINGA: Dr. Fyer.

7 DR. FYER: I guess what makes me a little
8 uncomfortable about this is the smallness of the operation.
9 What we're talking about is a **19-patient** trial with two
10 physicians involved in a relatively small sort of
11 organization.

12 I think I would feel a lot more comfortable if
13 the sponsor had just presented the data saying, look, this
14 is what happened. We had two doctors. One was the PI.
15 They decided to take a look at the data. We don't really
16 know to what extent these individuals communicated or the
17 ethos or sort of cultural environment in a small clinic,
18 which all of us at this table have worked in this kind of
19 environment, might have contaminated this data. But the
20 fact is that the effect at 10 patients was very robust, and
21 we looked into it. **We're inclined** to think that this
22 didn't occur. That kind of straightforward thing I think
23 would be a lot more reassuring than what's sort of going on
24 here.

25 The other **thing that** I would just raise as a

1 point is if there was a robust treatment effect at 10
2 patients, did the sponsor consider just presenting that
3 straightforward data about which there could really be no
4 questions at all? Even though it's a smaller n, in fact
5 we're dealing with a very small n overall.

6 DR. JUDGE: Firstly, I do apologize if you felt
7 that it wasn't straightforward. I was trying to give
8 straightforward answers to these questions in that this
9 study was conducted by one center. And, yes, it's
10 relatively small compared to the other study. Patients
11 acted as their own control, so providing enhanced
12 sensitivity. So, in crossover studies in general one would
13 expect smaller patient numbers.

14 The individuals involved in this study are very
15 well established in terms of their research field.

16 But in terms of our assurances, we also wanted
17 to assure ourselves before coming to you guys that, indeed,
18 the data was collected in a manner that is conducive to GCP
19 standards and to assure ourselves of the highest quality
20 for the data. So, regardless of anything else, we did
21 assure ourselves with very meticulous comprehensive audit
22 that went on at both sites, including the other sites in
23 the other studies as well. All three studies involved
24 comprehensive audit from Lilly personnel, which was also
25 ascribed by also independently conducted audit as well.

1 | So, regardless of that, we actually did a lot to assure
2 | ourselves of the study in terms of the quality and the
3 | integrity of the data.

4 | DR. TAMMINGA: Dr. Hamer.

5 | DR. HAMER: But good clinical practices don't
6 | usually include an unplanned interim analysis, do they, by
7 | the investigator?

8 | DR. JUDGE: Good clinical practice assures the
9 | safety and benefit for those patients done in a **double-**
10 | blind way per protocol. And, yes, there was an unplanned
11 | analysis in this-study, as you heard, and that was
12 | unfortunate, but we've tried to explain to you the reasons
13 | why.

14 | DR. TAMMINGA: Dr. Parry.

15 | DR. PARRY: First a comment. It would just be
16 | helpful for purposes of references to have copies of the
17 | published papers.

18 | But I was interested in whether there was a
19 | difference in primary outcome measure as a function of
20 | site. As I recall, Meir Steiner's Canadian study, there
21 | weren't differences as a function of site, but were there
22 | in the Stone-Pearlstein study?

23 | DR. JUDGE: In the Stone-Pearlstein study, the
24 | publication did cite the CGI as an outcome measure, and it
25 | involved the CGI 1 or 2 as the primary outcome measure.

1 For Dr. Su-Schmidt, for the second study, X022,
2 the publication -- it was difficult to ascertain from the
3 publication, if you just viewed the publication in the cold
4 light of day, it talks about the DRF and the VAS Mood-4 as
5 relevant outcome measures. We will have copies of that.
6 We could provide them to you perhaps during lunch, and the
7 FDA have been provided with copies of the publications.

8 DR. PARRY: But I mean, not the measures but
9 the change scores. Were there differences as a function of
10 where the study was conducted in the primary outcome
11 measures, whichever primary outcome measure was used?
12 Whether it was western Canada or eastern Canada or New
13 Jersey or New York.

14 DR. TAMMINGA: Is your question about the
15 number 1 Steiner study? Was there a site effect?

16 DR. PARRY: As I remember, there wasn't.

17 DR. JUDGE: If we're talking about the
18 individual site effect for seven centers in Canada, we've
19 got some information on that and my statistician will take
20 you through that. Dr. Brown.

21 DR. BROWN: For the primary efficacy variable,
22 the VAS Mood-3, there was, in fact, a site by treatment
23 interaction. This graph here shows the results by site for
24 the VAS Mood-3. Again, green is placebo, and orange is 20
25 milligrams. Yellow is the 60 milligrams. We can see for

1 sites 3 through 7, the dose response is quite similar.
2 Remember that the placebo going above the 0 mark means that
3 the placebo group, in fact, got worse. So, the dose
4 response is quite similar.

5 And it appears that the interaction was being
6 driven perhaps by sites 1 and 2 where you can see in site 1
7 the 20 milligram dose group responded better, in fact, than
8 the 60, which is not consistent across the other sites. In
9 investigator site number 2, the 20 milligram group did not
10 respond as well, in fact, as the placebo group.

11 DR. HAMER: Were there particularly small
12 numbers of subjects at sites 1 and 2?

13 DR. BROWN: Here are the site-by-site patients
14 entered and randomized. So, sites 1 and 2 are a little
15 smaller, but about the same size as sites 6 and 7. Sites
16 3, 4, and 5 were the biggest sites, but the spread was
17 pretty good among them.

18 DR. TAMMINGA: Dr. Dominguez.

19 DR. DOMINGUEZ: Do you have a similar breakdown
20 as far as discontinuations between sites?

21 DR. BROWN: No. I'm sorry we don't have that.
22 Well, there are completers there, but I don't have
23 discontinuations for a particular reason by site.

24 DR. DOMINGUEZ: For specific reasons per site.

25 DR. BROWN: No.. Sorry.

1 DR. THYS-JACOBS: (Inaudible) or entered
2 treatment. You have 400 --

3 DR. BROWN: Pardon me. What was the question?

4 DR. THYS-JACOBS: 405 patients entered. I
5 thought the definition of entering was the treatment phase.
6 So, this is screening.

7 DR. BROWN: That's screening, and then the
8 numbers there, the 108, 104, and 108, those sum to the 320
9 randomized.

10 DR. THYS-JACOBS: What was the difference when
11 patients actually entered treatment phase in terms of
12 numbers? Do you have that data?

13 DR. JUDGE: Patients who entered the placebo,
14 fluoxetine at randomization numbered 108, just over 300,
15 320. So, that's the difference between 405 as the patients
16 went to screening.

17 DR. TAMMINGA: Do you have additional questions
18 on this issue? Any additional questions for Dr. Judge?
19 Dr. Chen.

20 DR. CHEN: I have one more question about the
21 study number 2. So, could you tell me about the resource?
22 It seems to me today you are real clear about the
23 randomization scheme and the interim analysis, but it seems
24 to me when I reviewed the study, the application, the
25 document said it depends on the investigator's decision.

1 It was not very clear in the submission.

2 But two weeks ago, we asked the same question
3 about the early termination of study 2. At that moment, we
4 didn't get it answered. But it seemed to me our question
5 what's the resource -- within two weeks everything becomes
6 clear to you.

7 DR. JUDGE: No, indeed. You're referring to
8 the telephone conference that we had with the FDA, that
9 Lilly had, to understand our presentation, whether you felt
10 that our presentation was suitable. We asked you to
11 comment on our slides. You said to us that it would be
12 important for us to provide additional information on
13 various questions, and one of them was the use of oral
14 contraceptives. One of them was with respect to this
15 question, and one of them was with respect to study 19 in
16 terms of the primary outcome measure.

17 We didn't really go through, at that time, all
18 of the answers, but we did undertake to provide to you in a
19 few days some of how we would elaborate on it today, which
20 we did just a few days after that telephone conference.
21 So, today you find we understood and then we provided all
22 these answers to you today in terms of your request to us
23 for all of those questions at that time.

24 DR. CHEN: So, are all of those, what you
25 mentioned today, documented.

1 DR. JUDGE: Yes, indeed, they are.

2 DR. TAMMINGA: Dr. Laughren.

3 DR. LAUGHREN: One of the concerns about **SSRIs**
4 as a group is an effect on sexual dysfunction. That wasn't
5 something that was looked at specifically in these trials,
6 but I did notice, as you were going through the various
7 rating instruments, that the PMTS did have one item that
8 looked at sexual drive and interest I believe. Do you have
9 any data on that specific item?

10 DR. JUDGE: If we could bring up those. Dr.
11 Steiner would like to comment before we bring up those
12 slides as well.

13 DR. STEINER: We actually started to look at
14 the data just a few weeks ago not because of this, because
15 we were interested in looking at it. If you look at our
16 baseline data for that particular question on the PMTS, you
17 see that women rate sexual dysfunction premenstrually as
18 part of the symptoms of PMDD. They're not interested in
19 sex. It's not a very specific question. It just asks are
20 you interested more or less or not at all. So, you see
21 that their baseline, their normal, is during the follicular
22 phase and then they score high during the late **luteal** phase
23 because they're not interested in sex.

24 What we have done is we have then looked across
25 the six cycles of treatment; and there was no change in

1 | this course during the follicular phase. This was
2 | continuous treatment with fluoxetine 20 and 60. It was no
3 | worse than during the follicular phase in terms of what
4 | they score on sexual functioning, and there is an
5 | improvement, an overall improvement, in that question
6 | during the late luteal phase. So, overall we have not seen
7 | that in this particular population Prozac caused sexual
8 | dysfunction.

9 | I have to say that **we're** now looking at how
10 | many actually we lost or how many were dropped out of the
11 | study because of sexual dysfunction, and I believe that
12 | there is a total of 5 subjects.

13 | DR. TAMMINGA: Dr. Steiner, could you be more
14 | specific about when the improvement occurred in the **luteal**
15 | phase, what percentage of that of the follicular phase --
16 | how high did it --

17 | DR. STEINER: **It's** not back to the same normal
18 | baseline, but **it's** halfway there.

19 | DR. TAMMINGA: Dr. Parry.

20 | DR. PARRY: I want to address the issue of
21 | suicide. Many of these patients may actually present with
22 | suicidal ideation. So, it is a potentially lethal illness.
23 | There has been some, though maybe erroneously, association
24 | with fluoxetine and suicide. I was wondering were patients
25 | with suicidal ideation excluded from studies, and if not,

1 | was that targeted? What was the effect of treatment?

2 | DR. JUDGE: In general, patients with
3 | significant coexisting other illnesses, generally medical,
4 | were excluded and, as you heard, also patients with other
5 | Axis I diagnoses were excluded.

6 | Now, specifically in terms of patients
7 | presenting symptoms, I'm not aware from the data that we
8 | have in these studies, that anyone presented with a suicide
9 | attempt as a presenting symptom. I can tell you that from
10 | these studies, all of the studies, there was no one who
11 | attempted suicide, and I think **it's** pertinent at this point
12 | to perhaps ask Dr. Tollefson to comment in the overall
13 | Prozac and the suicide question because, obviously, **it's**
14 | very important.

15 | DR. PARRY: But **it's** also suicidal ideation --

16 | DR. JUDGE: Well, remember, the scales that
17 | were used -- in this study, for the big study, Dr.
18 | Steiner's study, for the Su study, there was a **Beck's**
19 | Depression Inventory scale that looked at, in the
20 | follicular phases of that study -- the **Beck's** is the
21 | Beck's, and we saw nothing significant in the follicular
22 | phase indicating that in the follicular phase the patients
23 | had very, very low levels of symptomatology. **You're**
24 | looking at a score of around 4 on the Beck's, which is
25 | really, really very low.

1 In terms of Dr. Steiner's study, study number
2 1, there was no instrument that captured the suicidal
3 ideation per se, as **one** would expect, for example, in the
4 HAMD.

5 Dr. Tollefson, if you could comment on the --

6 DR. TOLLEFSON: Well, I think that when we look
7 at spontaneous events in these clinical trials, a suicide
8 attempt or a completed suicide would register as a
9 spontaneous event. None of those were observed in the
10 clinical trial, to answer your question. I think that is
11 consistent with our overall meta-analysis across not only
12 depression but OCD and bulimia where we see certainly a higher
13 emergent rate in patients randomized to placebo than we do
14 on active therapy. In fact, active therapy in other
15 indications at least is associated with a reduction in
16 suicidal ideation, as measured by HAMD item 3. Those were
15 gender indicated.

18 We did not have specific suicide indices built
19 into these prospective studies, so one would have to just
20 really rely on the adverse event data, of which there was
21 no evidence of a suicide attempt. There was one drug
22 overdose with cocaine. That is the only event that would
23 map to "**overdose**" in the entire cohort.

24 DR. PARRY: I think it argues for using the
25 Hamilton or some other scale. I think it argues for using

1 the Hamilton or some item that assesses that in future
2 studies.

3 DR. TAMMINGA: Dr. Judge, would you -- Dr.
4 Steiner, do you want to say something?

5 (Laughter.)

6 DR. STEINER: They were screened for major
7 depression, and if they had major depression, including
8 **suicidality** or not, they were excluded. So, they were not
9 included. But that is not to say that we have not screened
10 them for that.

11 DR. TAMMINGA: Thank you.

1 2 Dr. Judge, the committee would note that the
13 dosing throughout these three studies is continuous.
14 Certainly there is some question about whether dosing needs
15 to be continuous or whether it can be intermittent. I
16 would wonder whether you would like to comment on that, and
17 also if you could give us some indication of whether Lilly
18 is continuing to pursue, in Lilly-sponsored studies,
19 additional questions in this area, if would be helpful to
20 the committee.

21 DR. JUDGE: Thank you.

22 If you could bring up my slide with respect to
23 intermittent dosing. I've tried to attempt to note the
2 4 considerations with respect to intermittent dosing on one
25 slide.

1 Intermittent dosing. Certainly there have been
2 anecdotal reports that would indicate intermittent dosing
3 may be effective. I think in order to explore this fully,
4 there are various considerations that one has to think
5 about.

6 First of all, in respect to safety, when we
7 talk about intermittent dosing, as I would understand you,
8 something like 10 to 14 days perhaps of drug before the
9 onset of menses. Firstly, does the intermittent
10 administration of fluoxetine, or indeed any other agent,
11 subject that patient to repeated typical adverse events
12 month after month after month. As you appreciate with
13 fluoxetine, there is a diminution in the adverse event
14 profile with time. If you administer intermittently month
15 after month, does that subject the patient to a continuous
16 high level of adverse event reporting?

17 Furthermore, we know that fluoxetine with its
18 longer half-life -- patients **don't** really report
19 discontinuation symptoms, but perhaps patients on the maybe
20 more shorter half-life agents may, in fact, report
21 discontinuation symptoms. So, that's important to
22 establish that.

23 With respect to efficacy, 'also there's a
24 question of compliance. Will patients comply to treatment
25 that they perhaps have to -- will they find it easy to take

1 treatment for a few days in the month rather than every
2 day?

3 Importantly, this question of kindling. Is the
4 possibility of kindling phenomenon -- is that going to take
5 place in these patients? I think **that's** also important.

6 With respect to fluoxetine, there is one pilot
7 trial with intermittent dosing with fluoxetine. Again, Dr.
8 Steiner did this trial. This is an open label, **single-**
9 blind trial in which patients were administered 14 days of
10 fluoxetine treatment prior to menses, and this was compared
11 to continuous dosing 20 milligrams throughout the cycle.
12 There was some evidence of a similar response for both
13 groups of patients.

14 However, **it's** worth noting that that was not
15 randomized appropriately. In terms of the patients who had
16 a past history of depression, they were given the
17 continuous trial of 20 milligrams of fluoxetine, and
18 patients who did not have a past history of affective
19 disorder were administered intermittent fluoxetine. So,
20 that is an open trial, but nevertheless to your other
21 question, yes, Lilly is pursuing other intermittent
22 studies.

23 In the literature, all of the **SSRIs, it's** fair
24 to say, have the largest body of data for continuous
25 dosing, roughly at around the same dose that is also

1 applicable to the depression population.

2 DR. TAMMINGA: Could you be specific about what
3 Lilly is doing to address these considerations?

4 DR. JUDGE: There are studies underway with
5 intermittent dosing for fluoxetine.

6 DR. TAMMINGA: Lilly-sponsored studies.

7 DR. JUDGE: Indeed.

8 DR. TAMMINGA: Dr. Temple.

9 DR. TEMPLE: **It's** not easy to have a
10 discontinuous study of Prozac because 14 days after you
11 **stop**, with a **14-day** half-life, you still have half the same
12 amount of long-acting metabolite on board, which raises the
13 larger question which I know will come up later, but you
14 need to address it too.

15 Is this a sensible approach to an intermittent
16 disease? You basically are on Prozac. You didn't find any
17 abnormalities with sexual function. **It's** not quite clear
18 to me how hard you looked. But there are consequences to
19 being on an SSRI all the time. So, the committee is going
20 to address that later, but you may want to comment on it in
21 advance.

22 DR. JUDGE: With respect to fluoxetine,
23 continuous dosing of fluoxetine, as one attributes to PMDD,
24 as well as other disorders, we know that fluoxetine at a
25 dose of 20 milligrams, which seems to be the optimal dose

1 in this population, is very safe and very well tolerated.
2 There is very long-term experience and a large body of
3 evidence which relates to fluoxetine with **respect to that.**

4 I think the question is more around
5 intermittent dosing. Yes, indeed, fluoxetine does have a
6 longer half-life, but nevertheless, if patients are given
7 intermittent dosing from day 1, do they have enough time
8 then-to reach that steady state in order to have high
9 levels when patients are off dose?

10 Furthermore, these other safety questions would
11 also be still relevant, and that is ongoing in terms of
12 clinical trials. And there are also pertinent questions to
13 other treatments that are being studied for intermittent
14 dosing. So, the questions are more around intermittent
15 dosing I think than relate to continuous dosing. I think
16 we're fairly comfortable with particularly the safety
17 profile for fluoxetine in continuous dosing. Even though
18 the disorder is just intermittent, as you say, many
19 disorders are intermittent with respect to intensity of
20 symptoms, but nevertheless, a longer-term approach prevents
21 their reemergence and relapse of those symptoms.

22 DR. TAMMINGA: Dr. Temple.

23 DR. TEMPLE: Usually you don't know when a
24 disease is going to relapse. So, you have no choice except
25 to treat continuously. This is a little different. You

know exactly when it's going to happen.

2 DR. TAMMINGA: That's what Dr. Endicott
3 emphasized in her presentation.

4 Dr. Fyer.

5 DR. FYER: Sort of two comments. First, on
6 your response, Dr. Judge, I think that there's a big
7 difference between a drug being overall safely tolerated
8 the way these kinds of databases are put together and the
9 question of individual people taking a drug for a very long
10 period of time and it having effects that impact their
11 life. It may not be medically dangerous. I would like to
12 feel that the sponsor, in undertaking to get this kind of
13 indication, would really take that seriously in terms of
14 labeling and how they advertise and promote the drug
15 because we're talking about women who might conceivably
16 take this for a very long time.

17 The second comment I have is actually a
18 question to Dr. Steiner. I was glad to hear that the
19 reason for your dropouts -- it seemed to me that it was
20 just a very hard study to do and that there wasn't
21 something odd going on with the drug.

22 But the question I have is that in most of the
23 studies we have mean scores as opposed to responder
24 outcome. In the one study where we had the CGI used, in
25 fact the 1's and 2's -- it was a small study and there

1 weren't significant differences. I wondered if you could
2 give us from your clinical experience some idea as to
3 whether we're dealing with a situation like OCD where even
4 the people that get better don't get completely better or
5 very few of them do, or if we're dealing with a situation
6 like major depression or panic disorder where a large
7 proportion of the responders are really better, you know,
8 just-in terms of risk-benefit ratios, things like that.

9 DR. STEINER: As old as I am and have been in
10 this field, this is the first time that something works.
11 This is the first time that the clinic got flowers from
12 husbands --

13 (Laughter.)

14 DR. STEINER: -- because we treated something
15 that sort of restored life in some of these households.
16 This is not something to sneeze at. I don't care about
17 statistics. I'm telling you that clinically this was so
18 impressive that it was almost unbelievable.

19 You were asked who was driving this study. We
20 had 10 or 12 patients that we piloted before we went to
21 Lilly and we said, this is the first time. I have worked
22 with a lot of other compounds. They were all as good or as
23 bad as placebo, and really nothing works after works after
24 three or four cycles. This was the first time that
25 something worked. We went to Lilly and we said we must do

1 that properly.

2 DR. TAMMINGA: Dr. Steiner, did all the
3 patients get a little bit better or did all the patients
4 get totally better?

5 DR. STEINER: Most of the patients got totally
6 better. I'm talking about the completers.

7 DR. FYER: How would you comment on the third
8 study where they did do CGIs and they didn't find for the
9 1' and 2's? Remember, 1 is completely and 2 is slightly.

10 DR. STEINER: I wasn't involved in that study
11 and I don't think that I should be commenting on it.

12 DR. FYER: Somebody else?

13 DR. JUDGE: Again, for that study, there was
14 clearly a trend towards significance, .07. When one looked
15 at the overall CGI any improvement, then there was a
16 statistical separation. Again, there were small numbers of
17 patients in that study which might have accounted for some
18 of the lack of significance. But generally the results are
19 entirely consistent with the efficacy noted in the other
20 studies.

21 DR. FYER: Respectfully, I just submit that if
22 in fact all the patients got all better, you couldn't have
23 seen that kind of CGI outcome. I don't know what happened,
24 but that's not consistent.

25 DR. TOLLEFSON: -1 think it might be useful if

1 we put the CGI response slide up for you to take a look at.
2 Again, remembering it's a very small sample size, which
3 increases the hurdle for showing a p value less than .05.
4 If you look just at treatment effect size, you look at the
5 20 to 60 milligram fluoxetine arm, you're seeing a response
6 rate on CGI 1 or 2 that is in excess of 50 percent. I
7 would argue that's as good as any response data,
8 schizophrenia, depression, OCD, that we see in the
9 literature.

10 If you then expand it a little bit more to
11 include 3, you see now that we're approaching 90 percent
12 plus who had CGI improvement with fluoxetine, and despite
13 the small sample size, there's a very robust statistical
14 separation between fluoxetine and placebo. You can see
15 that there is a very strong differentiation from that of
16 bupropion.

17 So, I would argue that that's a fairly
18 impressive response rate with CGI, statistics aside for a
19 moment, at either of the score of 1 or 2, which a priori
20 was the primary outcome, or scores 1, 2, and 3 as a
21 secondary analysis.

22 To Dr. Laughren's earlier question, which I'm
23 not sure was fully answered, we do have the sexual
24 functioning item from the PMS scale that we could up for
25 you. I think that might provide some light on the issue of

1 | either improvements or, alternatively, deterioration in
2 | function between drug and placebo.

3 | Go ahead, Rajinder.

4 | DR. JUDGE: This is looking from study 1 for
5 | those patients during the study who 'scored on the PMTS
6 | patient rated scale. So, this is looking at those patients
7 | who had sexual drive increased or sexual drive decreased.
8 | For the placebo group, these numbers are 58 and **51 percent**,
9 | respectively. For the fluoxetine group, roughly around 50
10 | percent each group, and for the fluoxetine 60 milligram
11 | arm, again roughly around 50 percent each group.

12 | So, it seems from the data here that, overall,
13 | sexual drive could increase or decrease with respect to any
14 | treatment, and also that the differences between fluoxetine
15 | and placebo were not statistically significant as noted
16 | here.

17 | DR. TAMMINGA: Dr. Laughren.

18 | DR. LAUGHREN: Is this follicular phase or
19 | **luteal** phase data?

20 | DR. JUDGE: This is **luteal** -- at any time, at
21 | any time during any visit. And patients were scored in
22 | follicular and **luteal** visits, so this is at any point in
23 | that study they could have sexual drive increased or
24 | decreased.

25 | In **fact**, this is consistent with other studies

1 | with fluoxetine where we note that patients -- if you just
2 | flick on to the second carry-on in this file, with the
3 | overall depression database patients improving or not
4 | improving. It's the last slide in this file.

5 | **So**, this is looking at a meta-analysis of
6 | several studies with fluoxetine. It looks at another
7 | parameter, the SCL-58, and looking obviously at females
8 | only*. We see that for overall fluoxetine database, indeed,
9 | some patients do worse with the use of fluoxetine on
10 | fluoxetine or placebo. Nevertheless, some patients' sexual
11 | dysfunction remains the same, but some patients actually do
12 | improve and a greater number of patients do improve on
13 | fluoxetine versus placebo.

14 | **So**, I think the sexual dysfunction question in
15 | terms of what we know less about the PMDD population, we
16 | know less about how these patients are at baseline, how is
17 | their sexual function at baseline. That's really
18 | information that is not very clear at the moment.

19 | DR. TAMMINGA: Yes, Dr. Altemus.

20 | DR. ALTEMUS: Do you have any data about
21 | anorgasmia? Because that's really the main side effect.
22 | Would that have been picked up in any of the measures?

23 | DR. JUDGE: If we could go back to the first
24 | slide of this file, the overall treatment adverse events
25 | for all three studies. Specifically they're items relating

1 to sexual dysfunction in each of the three studies. For
2 study number 1, anorgasmia was reported in 0 placebo
3 patients, in 1 fluoxetine patient in 20, and again a
4 similar number for fluoxetine 60 milligrams.

5 For decreased libido, again small numbers of
6 patients reported decreased libido in this trial, as you
7 can see here. Highest numbers for fluoxetine 60, **but then**
8 again we're talking about 8 patients, which is 7 percent.
9 The p value was not statistically different for the placebo
10 versus fluoxetine in these groups.

11 In these studies you see perhaps a higher level
12 of reporting, but it's more important to note that the way
13 that these adverse events were not just spontaneously --
14 they were not just treatment emergent. They were any
15 adverse events collected at any point. So, one would
16 expect a higher level of reporting.

17 Again, most importantly to note, the
18 differences between fluoxetine and placebo were not
19 statistically significant for any measure. That's the data
20 that was evident from these studies, the PMDD studies.

21 DR. TAMMINGA: Dr. Laughren.

22 DR. LAUGHREN: One comment on these data. In
23 all cases you're relying basically, I think, on spontaneous
24 reporting of those events, and you may see a different
25 picture if you have sensitive scales designed to look at

1 | sexual function.

2 | DR. JUDGE: Yes, indeed. The interesting point
3 | would be also again to exploit such scales at baseline as
4 | well to see what the baseline level of functioning was.

5 | DR. TAMMINGA: Any additional questions from
6 | the committee for Dr. Judge or for Lilly?

7 | (No response.)

8 | DR. TAMMINGA: Thank you, Dr. Judge.

9 | Before we break for lunch, we have one person
10 | who has requested a time in open hearing to speak on this
11 | particular topic, I'd like to ask Dr. Sherry Marts from
12 | the Society for Women's Health Research to come forward and
13 | give her remarks.

14 | Also, I would ask, if you would, in the spirit
15 | of disclosure, to indicated whether or not you actually get
16 | money, your organization gets money, from Lilly, and give
17 | us an idea of what percent of your finance of that might
18 | be.

19 | DR. MARTS: My name is Sherry Marts. I'm
20 | Scientific Director for the Society for Women's Health
21 | Research, which is a Washington-based advocacy group
22 | dedicated to improving the health of women through
23 | research. We were founded in 1990 when we brought national
24 | attention to the need for research on conditions that
25 | affect women not only solely because they're women but also

1 | differently from men or more often than men.

2 | I would like to say that we do get support from
3 | Eli Lilly. They have been a longtime supporter of our
4 | efforts, and they provided a grant for the consensus
5 | roundtable on PMDD, which I'm going to talk about today.
6 | This was convened by the society in 1998, and a **peer-**
7 | reviewed summary of that conference was published in the
8 | Journal of Women's Health this past June. I have a few
9 | copies of it. Unfortunately, I didn't get the speaker's
10 | guidelines in time, and I only brought four copies. But if
11 | you're willing to share, I'd be happy to hand those out.

12 | The group that assembled for that conference
13 | included experts in psychiatry, psychology, gynecology, **and**
14 | epidemiology. This conference report was published as a
15 | peer-reviewed paper, as I mentioned. Among the conclusions
16 | of that conference are that PMDD is a distinct clinical
17 | entity with a clinical picture that is not a typical
18 | picture for depression, mood, or anxiety disorders, and in
19 | particular, internal tension, anger, and irritability are
20 | characteristics of PMDD.

21 | The key differences between PMDD and other
22 | disorders is the clear onset and disappearance of symptoms,
23 | both linked to the menstrual cycle. There's considerable
24 | stability in the course of PMDD from cycle to cycle and
25 | over time in the absence **of treatment.**

1 The conference noted that PMDD is a chronic
2 condition that in some women can worsen over time. Age of
3 onset varies and it can be any time after regular menstrual
4 cycles are established.

5 PMDD differs from other disorders in that there
6 may or may not be comorbidity with other mood disorders,
7 and unlike in depression, in PMDD the **hypothalamic-**
8 **pituitary-adrenal** axis often functions normally. Blocking
9 the menstrual cycle, as happens in pregnancy, can eliminate
10 PMDD, but has no effect on other mood disorders, and after
11 pregnancy, symptoms return once the menstrual cycle is
12 reestablished.

13 Finally, the consensus conference concluded
14 that there is biological evidence for the involvement of
15 the serotonin system in PMDD.

16 Now, I want to say that the society does not
17 have expertise in the evaluation of therapeutics. I'm not
18 here to encourage or discourage the approval of any
19 particular pharmaceutical agent for treatment. We gladly
20 leave those decisions to the experts.

21 But we want to emphasize that PMDD is a severe,
22 often debilitating disorder that is distinct from
23 premenstrual syndrome. **PMDD's** symptoms significantly
24 interfere with a woman's life, preventing a sufferer from
25 functioning effectively at work and at home.

1 We're concerned that this disorder may go
2 unrecognized, undiagnosed, and untreated in many women.
3 Among the barriers to diagnosis and treatment are the
4 stigma that may be attached to a condition associated with
5 the menstrual cycle. There's still a negative connotation
6 against seeking treatment for something that's just in your
7 head or just PMS. The admission that treatment is needed
8 is seen as a weakness. Because this condition is not well
9 understood, physicians may not recognize the signs and
10 symptoms or know how best to diagnose PMDD by
11 distinguishing it from mood disorders.

12 The possibility of a medical treatment for this
13 disorder is heartening and it's evidence that women have
14 succeeded in bringing attention to this condition to their
15 health care providers.

16 We as the Society for Women's Health Research
17 are committed to reducing the stigma of diagnosis with PMDD
18 and committed to educating women that PMDD can be diagnosed
19 and treated and that symptoms are, as I said, not just part
20 of being a woman or all in your head. We encourage women
21 to consider all treatment options and to insist on
22 treatment that is effective and appropriate to the severity
23 of their symptoms. We encourage the members of the
24 advisory panel to consider the significance of this
25 disorder for the approximately 5 percent of menstruating

1 | women who suffer from it.

2 | Thank you.

3 | DR. TAMMINGA: Thank you very much, Dr. Marts.

4 | Although Dr. Marts was the only person who
5 | requested a spot for remarks, this is the open public
6 | hearing part of the meeting, and I'd like to ask if anybody
7 | else has any statement to make.

8 | (No response.)

9 | DR. TAMMINGA: In that case, I think we ought
10 | to break for lunch. I would like people to come back
11 | promptly at 1 o'clock, and at that time the committee will
12 | have a discussion both about the diagnosis and about the
13 | efficacy and safety questions.

14 | DR. TITUS: There is a table reserved for you
15 | in the restaurant, and I would like to caution you that the
16 | conversation needs to be about weather and neutral topics.
17 | The topic we're discussing -- you need to come back here
18 | and do it publicly.

19 | (Whereupon, at 11:55 p.m., the committee was
20 | recessed, to reconvene at 1:00 p.m., this same day.)

23 |

22 |

23 |

24 |

25 |

AFTERNOON SESSION

(1:03 p.m.)

1
2
3 DR. TAMMINGA: I'd like to reconvene the
4 meeting.

5 The committee is now in the committee
6 discussion and deliberation portion of our meeting. I'd
7 like to focus our discussion on the items that Dr. Laughren
8 **raised** for us this morning about the questions that the FDA
9 has of this indication, both of the indication and of the
10 **drug**, and remind the committee that the question that Dr.
11 Laughren would like some discussion of, first of all, is
12 the general question regarding PMDD as a new indication.
13 With some more specific questions, Dr. Laughren asked about
14 the relevance of the DSM-IV appendix status for PMDD, PMDD
15 as a distinct disorder, distinguished from MDD, the
16 relationship of PMDD to PMS, and as a follow-up question,
17 the possibility, although we're not considering a drug for
18 this today, the related question of PMS as a candidate for
19 an approved indication.

20 **So**, I'd like us to start this afternoon
21 meeting's deliberating about these kind of things. I would
22 actually invite our consultants, who are experts in this
23 area, to perhaps take a lead-off in the conversation. Dr.
24 Parry.

25 DR. PARRY: Well, I think that the studies that

1 | were presented this morning and most other studies that are
2 | accepted for publication use the criteria for premenstrual
3 | dysphoric disorder. There was a lot of deliberation going
4 | into making those criteria. The term "premenstrual
5 | syndrome, **PMS**" is amorphous and ill-defined. There's no
6 | definition of it. So, to say that one is a subset of the
7 | other or how it's differentiated I think is really a moot
8 | point.

9 | I would strongly advise against fluoxetine
10 | being considered for use in this amorphous term PMS because
11 | the studies that the decision will be based on do not use
12 | -- specifically and go to a lot of trouble to define
13 | criteria for PMDD. So, we really don't have information on
14 | this amorphous, ill-defined PMS. So, I think we should
15 | confine our focus of attention on the studies and even
16 | treatments to the very carefully and very rigorously
17 | defined premenstrual dysphoric disorder. It's more
18 | rigorous than most other psychiatric disorders. The
19 | terminology that's used in the DSM-IV was based on pooling
20 | studies from across the country. So, I think given that
21 | background, the focus should be on premenstrual dysphoric
22 | disorder.

23 | DR. TAMMINGA: Dr. Thys?

24 | DR. THYS-JACOBS: I think PMS is a definitive
25 | and distinct disorder. Women who have had it -- and I

1 | would say across the board, there are about 70 to 80
2 | percent of premenopausal women who are suffering with
3 | premenstrual syndrome. So, I think it's a very distinct
4 | disorder.

5 | DR. PARRY: What's the definition?

6 | DR. THYS-JACOBS: I think it's been defined as
7 | an occurrence of physical and affective mood symptoms that
8 | **occur** during the **luteal** phase of the cycle, as distinct
9 | from the follicular phase. Now, exactly what that ratio is
10 | in terms of symptomatology -- is it a 30 percent increase?
11 | Is it a 50 percent increase? Is it 100 percent? We all
12 | know that premenstrual syndrome exists, it's a **luteal** phase
13 | disorder, and we know that these symptoms indeed occur.

14 | Is PMS distinct from PMDD? Now, there is a
15 | definition in the DSM-III and the DSM-IV that cites
16 | specifically that five out of a lot of these symptoms
17 | define PMDD. I think **it's** fine. I think for a woman who
18 | has the very severe end of the spectrum -- and I don't see
19 | any difference between PMDD and PMS, not at all. In my
20 | practice and in my research, I don't see any distinction
21 | between the two. What I do see is that the women who come
22 | in who have PMDD indeed have a prominence of affective
23 | symptoms. Are they more symptoms than physical symptoms?
24 | I don't find that, not at all.

25 | But I do **agree that** it is a distinct disorder.

1 | **It's** distinct in terms of defining it from other depressive
2 | or other mental or mood disorders.

3 | DR. TAMMINGA: You're talking about PMDD.

4 | DR. THYS-JACOBS: PMDD and severe PMS. I do
5 | not consider them as different. Not at all.

6 | DR. TAMMINGA: Dr. Altemus?

7 | DR. ALTEMUS: I imagine that if this is
8 | approved, in the general public and in primary care, people
9 | aren't really going to know how to diagnose PMDD, and it
10 | will probably be used very widely for anyone with any
11 | premenstrual symptoms at all.

12 | DR. THYS-JACOBS: I think the criteria are
13 | pretty well spelled out in terms of the DSM-III and DSM-IV.
14 | I think women know what PMS is. I **don't** think there's any
15 | question about it. The people that I speak to who are
16 | seeing these women, the gynecologists, the primary care
17 | internists, they all know what PMS is. They all know that
18 | **it's** a group of these symptoms, whichever symptoms you want
19 | to define, that occur specifically during this phase of the
20 | menstrual cycle, the **luteal** phase of the cycle, and remits
21 | with menses or the follicular phase. That is PMS.

22 | Is PMS distinct from PMDD? No, it is not. It
23 | is not different.

24 | DR. ALTEMUS: Well, I **don't** think we can make
25 | the leap that the drug is effective for PMS. I mean, there

1 | have been two studies in PMDD. Even though you intuitively
2 | think that it will be effective for PMS.

3 | DR. THYS-JACOBS: I'm not saying that. I'm not
4 | saying that this particular drug --

5 | DR. TAMMINGA: We're really just talking about
6 | the diagnoses now just as a starter.

7 | DR. THYS-JACOBS: -- should be indicated for
8 | premenstrual syndrome. When I think of premenstrual
9 | syndrome, I think of the global syndrome and I think of the
10 | physical and the affective, the water retention symptoms
11 | and the pain symptoms. I think of the whole global
12 | syndrome. I think what **we've** seen is that we have defined
13 | PMDD mostly as an affective syndrome with these particular
14 | group of symptoms, and this drug is or is not effective for
15 | this particular group of symptoms in this disorder. Is it
16 | effective across the board for the entire syndrome? We
17 | haven't seen that.

18 | DR. PARRY: **What's** your operational definition
19 | in terms of severity of symptoms? They do make a
20 | distinction between major depressive disorder and
21 | depressive symptomatology. So, I think not only in terms
22 | of the nature of the symptoms -- and you specified timing,
23 | but what about severity?

24 | DR. THYS-JACOBS: I think the timing makes the
25 | syndrome. **There's** no question about it. **It's** the timing

1 during the **luteal** phase. **That's** why it was late **luteal**
2 phase dysphoria and premenstrual dysphoria. **It's** the
3 timing of the syndrome that makes this different from major
4 depression and all the other mood disorders. There's
5 absolutely no question this disorder remits, subsides, ends
6 with the beginning of menses, period. So, **it's** the timing.
7 Whatever symptom you want -- it could be cravings, it could
8 be pain, it could be depression -- any symptom you want
9 that occurs during this **luteal** phase of the cycle and
10 recurs, you have PMS.

11 DR. PARRY: Well, but again **it's** severity.
12 Would you use fluoxetine to treat a little bit of breast
13 swelling?

14 DR. THYS-JACOBS: I'm talking about PMS not
15 versus **PMDD**.

16 DR. PARRY: Yes, but what are your severity
17 criteria for PMS is what I'm asking.

18 DR. THYS-JACOBS: There's no severity. **It's**
19 the timing of those symptoms that occur during the **luteal**
20 phase of the cycle.

21 DR. ALTEMUS: But **you're** saying **it's** a
22 disorder.

23 DR. THYS-JACOBS: **It's** a disorder. **It's** not a
24 disease. **It's** an occurrence of symptoms, whatever group of
25 symptoms the woman has **for that** particular phase of the

1 cycle.

2 DR. ALTEMUS: Would you say 80 percent of
3 people have this disorder?

4 DR. THYS-JACOBS: Yes, absolutely. I think 70
5 to 80 percent of women who are walking around have suffered
6 at some point in their life with PMS. There's absolutely
7 no question. If you're out there and you're seeing women
8 on a daily basis, yes, there are women out there who are
9 suffering with this syndrome.

10 DR. TAMMINGA: Let me focus this discussion, if
11 you would a minute on PMDD since the question on the
12 plate -- we have sort of a minor question about PMS, but
13 the major question on our plate is the PMDD question, the
14 suitability, if you will, of the PMDD as a diagnosis and as
15 an indication for drug treatment.

16 Good, Dr. Dominguez.

17 DR. DOMINGUEZ: I would like to ask the
18 consultants, taking a step back from the discussion that
19 just happened, why was LLPDD not elevated in DSM-IV to a
20 distinct disorder? We learned from Dr. Endicott that there
21 was a lack of consensus in that area. What were the major
22 issues that drove this lack of consensus? Can the
23 consultants or perhaps even Dr. Endicott enlighten the
24 committee as to why?

25 DR. PARRY: Well, from my view, as Dr. Endicott

1 indicated, there was consensus on the-nature of the
2 symptoms. I view it that was a political decision, not a
3 scientific one. But the concern was that if it was put in
4 the main body of the text, it would implicate all women as
5 having a disorder, even though it specified that based on
6 the estimates we had **from studies**, only 5 percent of women
7 met criteria, but the concern was that it would stigmatize
8 women. So, I'm happy to hear other comments, but I think
9 it was primarily a political decision, not a clinical or
10 scientific one.

11 DR. TAMMINGA: Why was the diagnosis changed
12 from LLP -- whatever that was -- to the PMDD?

13 DR. PARRY: Well, it was first listed in the
14 DSM-III-R as late **luteal** phase dysphoric disorder because
15 the attempt was to define it as carefully as we could into
16 the **luteal** phase of the menstrual cycle. But I think it
17 was changed to premenstrual dysphoric disorder because late
18 **luteal** phase dysphoric disorder was a bit cumbersome. It
19 got referred to as L squared PD squared, and the
20 premenstrual terminology was more user friendly.

21 DR. DOMINGUEZ: So, if the issue was political,
22 as you say, then this disorder is going to remain in the
23 appendices of future **DSMs** forever and ever? I think it is
24 worded as studies **that** need further research and further
25 verification. What else has to happen in order for this to

1 | rise to a clear Axis I category?

2 | DR. PARRY: I think the majority of the people
3 | who were on the board wanted it to go in not under the
4 | appendices, but the board, because of a few dissenting
5 | opinions and because of the public controversy, overrode
6 | that. So, my view would be we made a little bit of
7 | progress just getting it in, and I would hope that the next
8 | step would be to put it in.

9 | Would you share that, Jean?

10 | DR. TAMMINGA: Dr. Endicott.

11 | DR. ENDICOTT: Dr. Parry and I were both on the
12 | work group that were advisory to the nomenclature
13 | committee, and it was fairly apparent from day 1 of that
14 | work group or advisory group that there were going to be
15 | issues around this political issue and that there was going
16 | to be some disagreement on the ultimate recommendation.
17 | But the group was able to work together very well on the
18 | subset of criteria and on the evidence to support those
19 | criteria.

20 | There was a desire on the part of the
21 | nomenclature committee to have some kind of consensus
22 | recommendation, and they finally accepted that there was
23 | not going to be a consensus from our group. So, they were
24 | presented with the evidence.

25 | As Barbara said, both in the advisory committee

1 and in the overall nomenclature committee, most of the
2 people were in favor of moving it up from the appendix.
3 But there were other issues going on, and this is always a
4 committee kind of decision in a general setting in which
5 political issues do get considered.

6 DR. TAMMINGA: Dr. Laughren.

7 DR. LAUGHREN: Let me try and clarify the
8 question that **I'm** interested in having answered. Actually
9 there are two questions.

10 One has to do with this entity PMDD and whether
11 or not **that's** a reasonable clinical entity to focus on as a
12 claim, an indication in labeling. In terms of this
13 application, **that's** the only entity that **we're** focusing on
14 because **that's** what they studied.

15 The second question really relates more to our
16 advising other companies who are interested in this area
17 and what to tell them about broader claims that **they might**
18 be approaching. There is some interest in looking at this
19 broader entity of PMS. My question is, separate from your
20 answer to whether or not you think PMDD is a reasonable
21 entity, is this broader entity something that, in a sense,
22 is ready for prime time in terms of an indication? Is it
23 well enough defined? Is there a consensual agreement about
24 what the diagnostic criteria are so that a company could
25 reasonably run a development program and submit an

1 application for this more diffuse claim? **That's** really the
2 question.

3 DR. TAMMINGA: So, **I'd** like to hear from both
4 the consultants and the committee members in response to
5 each of those questions, addressing the first question
6 about PMDD first and then the broader question about PMS.

7 Abby, do you want to go first?

'8 DR. FYER: Sure. Actually I guess I would take
9 it from the other point of view. What is it about this
10 disorder that makes you feel that it might not be something
11 viable to address as an indication for a drug treatment?
12 Usually we look at epidemiologic data, we look at distress
13 and impairment, et cetera, we look at distinctness from
14 other disorders. It may be slightly different in some
15 aspects from others, but I guess **I'm** missing the point.
16 Maybe **it's** a little new in the history of the explicit
17 definition of the disorder, but not really much different
18 than panic which had a similar long history unofficially
19 and then came into --

'20 DR. LAUGHREN: Actually my own bias is in favor
21 of what you said, that this entity is reasonably well
22 defined. Really more my question has to do with this
23 broader entity of PMS and whether or not **that's** a candidate
24 for drug development. The questions I have listed here.
25 Whether or not this entity is distinct from depressions,

1 | **say**, major depression, I think that has largely been
2 | addressed, but other committee members may want to weigh in
3 | on that. But really, my main question has to do with
4 | future development programs for possibly this broader
5 | entity of PMS and whether or not **that's** a reasonable course
6 | for companies to be taking.

7 | DR. FYER: You mean whether or not **it's**
8 | **reasonable** to advise people to develop indications for PMS.

9 | DR. LAUGHREN: Right. Is PMS at this point
10 | well enough defined, well enough accepted in the community,
11 | and does it have enough agreement about diagnostic criteria
12 | that companies could reasonably go down that path and
13 | submit applications for that entity?

14 | DR. TAMMINGA: Well, we've had two answers from
15 | our committee. One is yes and one is no. So, why don't
16 | the two of you restate your opinions, and then the rest of
17 | the committee can comment?

18 | DR. THYS-JACOBS: I think PMS, premenstrual
19 | syndrome, is a distinct, defined disorder. There is
20 | absolutely no question that what makes this group of
21 | symptoms **with** this disorder or this phenomenon different
22 | from any other affective or any other disorder is the
23 | occurrence of these particular group of symptoms during the
24 | **luteal** phase of the cycle. I can't stress more to you that
25 | I really believe it. We have all recognized this for

1 centuries. It has been spoken about, written about by
2 historians. We've defined it.

3 I think there was, indeed, a National Institute
4 of Mental Health consensus that defined a 30 percent
5 increase from luteal to follicular phase, that you had to
6 know that there was a difference in scores, in visual
7 analog scales, that there was, indeed, this difference of
8 symptoms during the luteal phase to the follicular phase of
9 the cycle.

10 So, we know what it is. We've defined it
11 basically as a group of symptoms that occur. It's phase-
12 related. It's temporally related to the menstrual cycle.

13 DR. LAUGHREN: Are you talking about PMS or are
14 you talking about something called severe PMS?

15 DR. THYS-JACOBS: I'm talking about
16 premenstrual syndrome, PMS.

17 DR. LAUGHREN: So, you're not making -- earlier
18 you were talking about --

19 DR. THYS-JACOBS: No. I am not making any
20 distinction in terms of severity, no, because I believe and
21 my research has shown that, that the occurrence of
22 premenstrual symptoms is abnormal. It's like being
23 pregnant. If you're pregnant, you're pregnant. If you're
24 a little pregnant, you're 1 month pregnant, versus 9 months
25 pregnant. It's the pregnancy that makes the difference in

terms of the reproductive cycle.

2 What I'm saying to you is that the occurrence,
3 the presence of symptomatology during the **luteal** phase of
4 the cycle is not normal.

5 DR. TAMMINGA: Dr. Temple.

6 DR. TEMPLE: Couldn't the relationship between
7 these two terms be described as PMS is the larger term, and
8 when-the dysphoric symptoms are particularly prominent and
9 are also premenstrual and have the appropriate timing, you
10 call it premenstrual dysphoric disorder?

11 DR. THYS-JACOBS: Yes. I look at it that way.

12 DR. TEMPLE: So, one is perhaps a subset of the
13 other.

14 DR. THYS-JACOBS: Yes. Yes.

15 DR. TEMPLE: And the way you get into a trial
16 of premenstrual dysphoric disorder is you meet the criteria
17 for those.

18 DR. THYS-JACOBS: You focus more on the
19 affective --

20 DR. TEMPLE: If someone wanted to do PMS more
21 generally, then they would be focusing on whatever the
22 symptoms that happen to accompany this person's
23 premenstrual syndrome, but they might even not have a
24 dysphoric disorder. They might just be bloating or
25 something. But you'd say that's still PMS, but no one

1 | would say that's premenstrual dysphoric disorder because
2 | they're not dysphoric.

3 | DR. THYS-JACOBS: Right. I definitely agree
4 | with that.

5 | I should actually qualify this. What I look
6 | for is a difference between follicular and **luteal** phase.
7 | When I treat a woman who has premenstrual syndrome, what I
8 | look for after treatment is the equalization between **luteal**
9 | and follicular mean scores. **That's** what I look for. It
10 | doesn't have to be absent. I like to see absent symptoms.
11 | **So**, when I even tell you the presence, **that's** not really
12 | right. **It's** not the presence. **It's** the increase in
13 | symptoms during the **luteal** phase compared to the follicular
14 | phase. **That's** what I look for. I look for the decrease in
15 | **luteal** phase symptomatology, those mean scores -- or you
16 | could **use** a visual analog scale -- between one phase of the
17 | cycle and the other, and I look for the equalization
18 | between these phases. Then I say, yes, this woman is
19 | adequately treated.

20 | DR. TAMMINGA: Dr. Hamer has a comment.

21 | DR. HAMER: No. Actually, as usual, **I'm** just
22 | confused. Did I hear you say at one time that 80 percent
23 | of women had this and then at another time that it was
24 | abnormal?

25 | DR. THYS-JACOBS: Yes.

1 DR. HAMER: I just wanted to make sure I heard
2 that.

3 DR. THYS-JACOBS: Yes. I think 70 to 80
4 percent of women are suffering with premenstrual
5 symptomatology.

6 DR. HAMER: Then why isn't that normal?

7 DR. THYS-JACOBS: Well, it depends on what
8 norm-al is. If somebody comes in and says, I feel wonderful
9 and their vitamin D level is 0 and then you start'treating
10 them, and they say, wow, I've never felt better, **what's**
11 baseline, **what's** normal? I don't have the answer to that
12 question.

13 DR. TEMPLE: **It's** like asking whether
14 presbyopia is normal or not. **It's** a matter of definition.
15 Everybody gets it, but the lens isn't working anymore.

16 DR. TAMMINGA: The committee would like to hear
17 from both Dr. Parry and Dr. Altemus about the broader
18 question of PMS and the suitability, if you will, of PMS as
19 a diagnosis.

20 DR. ALTEMUS: Well, I think PMDD is definitely
21 well defined, and **that's** definitely an indication.

22 I have a more conceptual question I guess. For
23 a drug to be approved, does there have to be a disorder
24 with diagnostic criteria? I'm just thinking of, say, pain
25 medication. Do we approve pain medications for arthritis?

1 Does there have to be a severity criteria for the drug to
2 be accepted?

3 DR. TAMMINGA: Maybe Dr. Laughren can answer or
4 Dr. Katz can answer this question.

5 DR. ALTEMUS: I think the problem with PMS is
6 **there's** no severity criteria, like 80 percent of women have
7 some symptom. I'm wondering for a drug to be approved, do
8 we have to have a defined disorder with diagnostic
9 criteria, or is it possible to have, say, a pain medication
10 approved that works for all different severity of pain? Do
11 you know what I'm saying?

12 DR. KATZ: Well, you could have a pain
13 medication approved I suppose for all different severities,
14 and they are. But pain is something that everybody
15 understands. I mean, **it's** commonly accepted that pain is a
16 syndrome, if you will, **that's** commonly understood.
17 Everybody knows what you mean when you say I have pain,
18 although there are different types of pain, of course.

19 The question here that Tom is asking, that **we'd**
20 like to hear what everybody has to say about, is, is PMS so
21 well-defined, so clearly understood by the community and
22 accepted as a bona fide diagnosis in the community so that
23 we could reliably identify people who have PMS, know what
24 their symptoms are, and that there would be a common
25 understanding so that we could write a label that says,

this drug is approved for patients with PMS?

2 DR. ALTEMUS: Well, if you wanted to do that,
3 you'd have to have severity criteria, and the way you're
4 describing it right now is there are no severity criteria.
5 It's just symptoms.

6 DR. THYS-JACOBS: No. I'm not --

7 DR. KATZ: I don't know exactly what you mean
8 by severity criteria, other than everything is on a
9 continuum. First you're normal with anything and then
10 eventually you're abnormal. So, I guess in some sense any
11 disease state is defined almost by a severity criteria.

12 DR. TEMPLE: You could use presence or absence,
13 that kind of thing.

14 DR. ALTEMUS: I guess what I'm saying is I
15 think right now PMS is in the same sort of realm as pain,
16 that it's a subjective report, and there really aren't
17 diagnostic criteria, for what's the severity of bloating or
18 discomfort that's defined as PMS.

19 DR. TEMPLE: You could develop one, and in fact
20 you have to develop one or you won't be able to detect
21 improvement. So, make a visual analog bloating scale and
22 check it out and see if people -- it's subjective anyway.
23 Actually nobody gave weight change measurements with this
24 drug, which I meant to ask about and forgot to. But
25 they're subjective symptoms. There are always ways to

1 develop a scale for those things. People do it when
2 they're forced to because they want to study a drug
3 usually.

4 DR. ALTEMUS: No. I agree there are scales.
5 But right now, I'd say for PMS, as it's generally
6 understood, there's no diagnostic criteria.

7 DR. KATZ: Well, then how do you know somebody
8 has it?

9 DR. ALTEMUS: It's like pain.

10 DR. TEMPLE: But I think we heard this. They
11 have cyclical symptoms of one kind or another and they
12 vary, that come at that time, --

13 DR. KATZ: Right.

14 DR. TEMPLE: -- and are not there otherwise.

15 DR. KATZ: Right, but the question is if you're
16 developing a treatment for it, do you study people who only
17 have bloating, only have breast tenderness, who are
18 dysphoric? That's what we're asking.

19 Ordinarily when we consider an application for
20 an indication, the indication is something that is
21 generally recognized as being a bona fide, reliably
22 identifiable entity by the community so that people know
23 what Parkinson's disease is, people know what major
24 depression is. It's well understood what those things
25 consist of.

1 Here the question is, how do we define PMS?
2 Does the field in general believe that one definition as
3 opposed to another is acceptable? That sort of thing.

4 DR. TAMMINGA: Do you have another comment,
5 Tom?

6 DR. LAUGHREN: Well, I guess another question
7 that I have, and this is really a more subjective thing.
8 **It's** true that we approve drugs for something like pain or
9 for nausea which are conditions which people understand.
10 You don't have to have, in a sense, diagnostic criteria.

11 I guess my question is here what we're talking
12 about is committing patients with this entity to possibly
13 decades of treatment, of continuous treatment, with a drug
14 which has some risk associated with it. The question is,
15 if this entity is so vaguely defined that it exists in 80
16 percent of menstruating women --

17 DR. THYS-JACOBS: I can't imagine that you're
18 saying that this is vague. I think it's very clear-cut. I
19 think it's cyclical. It's recurrent. There's a group of
20 symptoms that are increased during the **luteal** phase of the
21 cycle. I cannot see that as vague. If this group of
22 symptoms is increased by 30 percent, 50 percent, 100
23 percent compared to the follicular phase of the cycle, for
24 me that is a definition of what this syndrome is all about.
25 I don't think you really have to say does this person who

1 is suffering with premenstrual syndrome have this
2 particular symptom. No, we don't look at that.

3 You have to go back in history and realize that
4 there have been clearly over 150 symptoms that have been
5 associated with this syndrome. **We've** come down to maybe
6 we've agreed to maybe 15, 17, or 20 symptoms. Do you want
7 to look at the whole syndrome? That's fine. What I look
8 for is this group of symptoms that occur and it's the
9 change from the follicular phase, and that's the
10 definition. I think that's clear. That is not vague.

11 DR. TAMMINGA: Dr. Parry, do you want to weigh
12 in on this question? This is the PMS question.

13 DR. PARRY: Well, to try to go back to the
14 original question, I do think that the disorder,
15 premenstrual dysphoric disorder, as defined in the DSM-IV,
16 has substantial evidence for clinical viability, the nature
17 of the symptoms being primarily affective in nature, the
18 severity of the symptoms.

19 When the DSM was initially developed, the
20 definition of psychiatric disorder was that condition which
21 causes symptoms of distress as well as a certain amount of
22 impairment in social or occupational functioning. Now, for
23 research criteria, we may use a cutoff score, but as with
24 any other psychiatric disorder, it has to impair some
25 aspect of social, occupational, or even school functioning,

1 as Dr. Endicott reviewed this morning.

2 Then the other nature of the diagnostic
3 criteria is the distinct timing of the symptoms in
4 relationship to the menstrual cycle. Many women may have
5 cyclic mood symptoms, but they may bear no relationship to
6 the timing of the menstrual cycle. And retrospective
7 reports on that are notoriously unreliable. So, a
8 prospective documentation of symptoms I think is critical
9 in making the diagnosis.

10 So, not only the nature, the severity and the
11 timing of the symptoms have been, I think, very carefully
12 described in the premenstrual dysphoric disorder, but the
13 associated features of the course of the illness, its
14 inheritance patterns have also been described, and also its
15 relationship to other psychiatric conditions and
16 differential diagnoses. So, I do think that premenstrual
17 dysphoric disorder is a well-defined, viable clinical
18 entity.

19 I do not think that premenstrual syndrome is a
20 defined clinical entity, and I think that there would be a
21 great risk in trying to develop a drug treatment for a
22 **very**, what I consider, ill-defined syndrome. It would be
23 comparable, in my view, to taking someone with -- let's
24 say, developing a drug treatment -- all the work that has
25 gone into defining depression. Granted, major depression

1 | may be a 'spectrum illness and needs to be differentiated
2 | from grief or just feeling cranky or having some irritable
3 | symptoms. But I don't think it's advisable to develop drug
4 | treatment for something that's not a disorder that you
5 | could get recurrent pain syndrome, you could get very minor
6 | symptoms, and to use a drug treatment to mitigate those
7 | symptoms I have to say I think would be very inadvisable.

8 | DR. TAMMINGA: Dr. Geller.

9 | DR. GELLER: I think it will help if we make a
10 | distinction between what men accept and what women accept
11 | as commonly accepted entities. I don't want to be
12 | disrespectful to the meeting, but if this were a Perry
13 | Mason show, I'd ask all women who think PMS doesn't exist
14 | to raise their hand.

15 | I think there is such **wide clinical** acceptance
16 | that I think that really is not so much the issue as the
17 | definitional issues in terms of being scientists. We don't
18 | have good measures that distinguish what the impairments
19 | are with PMS from non-impairments. The challenge to the
20 | companies who want to use this as an indication would be to
21 | do what Dr. Temple just said, develop instruments that are
22 | validated and reliable that can measure **PMS** symptoms, and
23 | then you can define an entity. From that, you can go on to
24 | drug treatment.

25 | DR. TAMMINGA: **Barbara**, the difference between

1 | like an entity or a diagnosis, that PMS is in your point of
2 | view a diagnosis, as well as commonly accepted entity.

3 | DR. GELLER: I think what we generally do is we
4 | make things a diagnosis if they produce impairment, and I
5 | think until we have validated, reliable. scales that show
6 | what the impairment is, we ordinarily don't call it a
7 | diagnosis. The experts are telling us that at this point
a | in time, those scales don't exist for PMS.

9 | DR. THYS-JACOBS: No, that's not correct.
10 | There are scales for premenstrual syndrome.

11 | DR. GELLER: Well, if there are scales and you
12 | can show --

13 | DR. THYS-JACOBS: That's absolutely not
14 | correct.

15 | DR. GELLER: -- the severity, then I'm
16 | misunderstanding Dr. Parry and I need correction. I
17 | thought you were saying at this point in time you didn't
18 | feel that severity of PMS symptoms could be measured in a
19 | way that would be appropriate for drug studies.

20 | DR. PARRY: Oh, no, I did not mean to imply
23 | that. I think that the scales that were reviewed this
22 | morning -- the visual analog scale has been found to be one
23 | of the most sensitive markers, and it is a requirement to
24 | meet criteria for premenstrual dysphoric disorder that
25 | ratings be done on a daily basis over 2 months.

1 DR. GELLER: Right. No, for PMS, not the PMDD.

2 DR. PARRY: No. I'm talking about premenstrual
3 dysphoric disorder. The symptoms that were listed this
4 morning that are in the DSM-IV are listed by frequency of
5 occurrence based on reports made at least five different
6 centers across the U.S.

7 DR. GELLER: Dr. Parry, I think maybe we're
8 having definitional problems. I don't think anybody
9 listening to Dr. Endicott and the other presentations this
10 morning has doubts about PMDD being a distinct entity where
11 you can show severity in symptoms. My understanding is the
12 FDA wants to know about the broader question of PMS, and
13 here it sounds like there's a difference of opinion about
14 how distinct an entity that may be in terms of what we can
15 measure with rating scales.

16 DR. TAMMINGA: I'm hoping that Dr. Endicott
17 might be willing to weigh in on this question. Even though
18 you're not on the committee, we'd love to hear from you.

19 DR. ENDICOTT: I think I find myself falling
20 kind of in the middle in the sense that I do think that
21 most women know what PMS is and that they could give us a
22 description. We could just tap any woman in the room and
23 most of the men also could give us a clinical description
24 of PMS that we would all nod our heads and say yes.
25 However, when it gets to the issue of whether all PMS is a

1 | disorder or not, that's where I do part company with Dr.
2 | Jacobs.

3 | I think of it along the lines of a continuum
4 | ranging from just perceptible changes usually in physical
5 | symptoms that maybe get worse and worse and involve more
6 | and more symptoms, and at some point along that continuum,
7 | it becomes bothersome enough for the woman herself and for
8 | a her mate or her children or her coworkers that treatment is
9 | warranted. Certainly all of us who do studies of PMDD do
10 | have women that we don't put into our formal protocols
11 | because they don't meet our most stringent criteria for
12 | PMDD, but they do have moderate to severe PMS that is
13 | causing them problems. We tend to sedge them over into
14 | another protocol or treat them openly because they have
15 | sought treatment for a condition that they have identified
16 | and that our prospective ratings show exist.

17 | So, where is that cut-point along this
18 | continuum between what is just a phenomenon that is
19 | somewhat bothersome but not necessarily worthy of treatment
20 | and at what point is it severe enough that a woman wants
21 | treatment, needs treatment, and is willing to put up with
22 | the side effects that go with most treatment?

23 | I think that there's always this issue. It
24 | comes up with everything else. How much pain do you have
25 | to have before you take an analgesic? How bad does your

1 | headache have to be? How bad does your cold, sore throat,
2 | or flu have to be before you decide I got to do something
3 | about this?

4 | So, I do think of PMS as ranging from something
5 | that nobody would seek treatment for or think is worthy of
6 | much attention, all the way up into PMDD.

7 | DR. TAMMINGA: And that cut-point in your
a | **opinion** does not occur at the point of diagnosis of PMDD?

9 | DR. ENDICOTT: PMDD is a stringent diagnosis.
10 | There are certainly women who want to do something about
11 | their PMS that is just below the threshold of PMDD. But
12 | the idea that every woman might want to pop a pill or
13 | something -- even women with PMDD, a lot of them say, well,
14 | isn't there anything else I can do, lifestyle, vitamins,
15 | diet, exercise? Are there other things I can do so that
16 | maybe I won't need to take medication? So, for any woman
17 | there is always this issue of the balance between whether
18 | or not they want to take a medication or not and how severe
19 | it is, how much pain it's causing in their lives, and how
20 | much impairment it's causing.

21 | So, we do see women who don't quite meet our
22 | criteria for the studies, but certainly are severe enough
23 | to warrant treatment.

'24 | DR. TAMMINGA: Thanks.

25 | Other comments from the committee or its

1 | advisors? Dr. Fyer.

2 | DR. FYER: Following up on something Dr.
3 | Endicott said, years ago when Dr. Endicott started her
4 | studies, I remember having a conversation with her about
5 | starting the prospective follow-up. The thing that really
6 | struck me was the number of people who self-reported for
7 | PMS who did not turn out to have menstrual related
8 | symptoms.

9 | I guess in terms of Dr. Laughren's question
10 | about drug companies using PMS as an indication, I think
11 | that it would be particularly important to make sure people
12 | get the right kind of care, that the prospective assessment
13 | be built into anything anybody does, and also the issue of,
14 | if people don't have that particular menstrual related
15 | thing, to begin to characterize the other things people
16 | have and what other kinds of treatment may be useful for
17 | them, and sort of see it as an opportunity to identify
18 | other treatment responsive syndromes that are troublesome
19 | to women.

20 | DR. PARRY: In that line, I think there was a
21 | study done a while ago, the De Jong study at the NIH, that
22 | looked at women who came in complaining of premenstrual
23 | symptoms. They looked at the group in whom the diagnosis
24 | was confirmed versus those in whom the diagnosis wasn't
25 | confirmed by these daily prospective ratings. In the group

1 that was not confirmed, a majority of those, like 80
2 percent, had other psychiatric diagnoses, the most frequent
3 of which was major depressive disorder. It was, I think,
4 maybe less stigmatizing to think that they had premenstrual
5 symptoms when they really had major depressive disorder.

6 DR. TAMMINGA: Additional comments, thoughts?
7 Some of the committee hasn't spoken up.

a DR. THYS-JACOBS: I just want to mention that
9 most of the clinical trials on PMS have criteria of 2
10 months of prospective documentation of symptoms, and their
11 criteria varies from trial to trial. Some people have used
12 a 30 percent, some people have used a 50 percent. The
13 majority of the studies have ruled out depressive
14 disorders. So, the trials are there, and I think PMS, as
15 it stands, in research is a distinct entity.

16 There are, indeed, a number of women who come
17 in and say that they have symptoms, and when you
18 prospectively document their symptoms, it's not what it
19 turns out to actually be. But when you document for two
20 menstrual cycles and you show that there's a **luteal** phase
21 increase in their symptoms, that's what they have.

22 DR. TAMMINGA: Dr. Cook?

23 DR. COOK: I was just going to say I can't
24 speak because I only have one X chromosome.

25 (Laughter.)

1 'DR. COOK: No.

2 But I did want to raise the issue, however,
3 that although people are saying women would know what PMS
4 is, most of the objections that have not been represented,
5 other than to describe them as political, particularly have
6 to do with PMS and are raised by women, not men.

7 So, I think the discussion has covered that
8 there would be some risk in developing an indication for
9 PMS, but if someone wants to go for the broader indication,
10 I think the FDA should seek some input from those who had
11 enough impact to keep what to me sounds like a very clear
12 disorder, PMDD, out of the body of DSM-IV. I think that
13 those are people that should be heard from. I don't
14 necessarily agree with them. I just would suggest that
15 that be included.

16 DR. PARRY: One risk factor I just thought of.
17 For example, given the cyclicity of the symptoms, one very
18 important differential diagnosis is women with rapid
19 cycling mood disorders, and giving something like
20 fluoxetine or other antidepressants can potentially
21 exacerbate the rapid cycling. I think that would be one
22 very strong indication. And 90 percent of patients with
23 rapid cycling mood disorders are women, and it would be one
24 of the very adverse consequences of not having a careful
25 diagnosis.

1 DR. TAMMINGA: Dr. Hamer.

2 DR. HAMER: I'd also like then in my role as an
3 advocate to do the same thing I did last time, which is
4 strongly urge the FDA to, as it usually does, be very
5 careful in writing the labeling for exactly that reason.
6 As this will probably largely be prescribed by primary care
7 physicians, gynecologists, they really do need to be sure
a **to eliminate** rapid cycling disorders so that they don't
9 inadvertently give an SSRI to them.

10 DR. TAMMINGA: Dr. Winokur.

11 DR. WINOKUR: I'll jump in and make a comment.
12 That was the direction of some of my questions earlier. I
13 think I want to also distinguish just missing women who may
14 have rapid cycling from the need to really focus down on
15 the potential effects of the SSRIs or other antidepressants
16 for the treatment of PMDD or other menstrual cycle related
17 entities because we are talking about a cyclic mood
18 disorder. And now we're talking about potentially applying
19 these drugs that we know in other populations have a
20 potential to induce mania or hypomania, as is the case for
21 other symptoms or problems that have been talked about,
22 such as sexual dysfunction. We know that sometimes
23 symptoms have to be explicitly looked for rather than just
24 kind of observed as adverse events. So, I think that
25 future research studies might build in specifically

1 | assessment of hypomanic type symptoms.

2 | DR. TAMMINGA: Additional comments about PMDD
3 | as a diagnosis or about PMS as a disorder?

4 | (No response.)

5 | DR. TAMMINGA: If not, I think we should move
6 | on and talk about the appropriateness of the mood scales,
7 | of the VAS Mood-3 and the VAS Mood-4, in the data that we'
a | heard presented today by Lilly.

9 | Dr. Parry, you already mentioned something
10 | about this, that the visual analog scale was the single
11 | most appropriate scale. Maybe you could launch a
12 | discussion of this.

13 | DR. PARRY: I was just referring first, in
14 | general, that the visual analog scale has demonstrated
15 | reliability and validity not just in terms of premenstrual
16 | dysphoric disorder but in depression and other disorders.

17 | I think that the thing that you want to check
18 | is that these women are turning in their scales every week
19 | so that they're not retrospectively filled out.

20 | I think in evaluating studies, it's important
21 | to see sometimes -- some of the earlier studies done just
22 | might have only a scale of 1 to 3, no symptoms, some
23 | symptoms, severe symptoms. I think the advantage of at
24 | least like 100 millimeter line visual analog scale is it
25 | gives the subject a whole range of symptoms and allows for

1 individual variability in completing these scales.

2 I think that the ones that were used in these
3 studies were very adequate. There was one case where they
4 linked I think happiness or mood and energy which may or
5 may not be associated. But for the most part, they tapped
6 into the main symptoms of depression, anxiety, rapid mood
7 shifts, and irritability. Thanks to much of the work of --
8 Jean Endicott has developed the other scales -- it's quite
9 adequate. They have been used extensively, and I think
10 it's important to have both a clinician monitored -- as
11 well as a subjective and an objective assessment built into
12 the scales.

13 But I'd again like to point out compared to
14 other psychiatric disorders, the daily ratings, at least
15 for 2 months to get into the study, is more rigorous than
16 we have for most other disorders. So, overall it doesn't
17 mean there's not room for improvement.

18 DR. TAMMINGA: Dr. Thys?

19 DR. THYS-JACOBS: I would definitely agree.
20 The VAS scale has been used extensively for not only PMS
21 but for PMDD, and the fact that it was Mood-3 versus Mood-4
22 I don't think is really of any major consequence.

23 DR. TAMMINGA: What about using a **subscale** of
24 the VAS rather than the total VAS score? We heard from Dr.
25 Steiner this morning about the rationale for doing that.

1 DR. THYS-JACOBS: I don't think it makes that
2 much of a difference because those symptoms that were
3 chosen really are very much reflective of the total PMDD
4 scale.

5 DR. PARRY: Yes, I do think they're much more
6 like same amoeba, different pseudopods. So, they do
7 correlate but I think it is of interest to go back, at
8 least from a research point of view, and look at the
9 subtypes of symptoms. Now, that's an area for development
10 which specific subtypes of symptoms to do an item analysis
11 to respond to specific interventions, physical versus
12 emotional symptoms, for example.

13 DR. TAMMINGA: Dr. Laughren?

14 DR. LAUGHREN: Can I try and clarify again the
15 specific question that I had? I thought I heard Dr.
16 Steiner say earlier, expressed perhaps some surprise that
17 fluoxetine had as robust effects as it had on physical
18 symptoms, as well as, of course, the affective symptoms.
19 I'm wondering if in retrospect -- and again, this has to do
20 with our advising other companies in their development
21 programs -- if you would have focused on that affective
22 subset or if you would have focused on the total scale as
23 your primary outcome in that trial. Again, the question is
24 for future companies, should we advise them -- given the
25 findings that we're seeing here, should the primary outcome

1 | be the total scale or should it still be the, affective
2 | subscale?

3 | DR. TAMMINGA: 'We could ask Dr. Steiner to give
4 | us his opinion on that.

5 | DR. STEINER: Each line on a visual analog
6 | scale is its own scale. You can look at it that way.
7 | There are studies were you use one line of a visual analog
8 | scale and that is your rating scale. The fact that we
9 | picked seven lends itself to the fact that we were able to
10 | analyze it in total, which we did, which was statistically
11 | significant, lends itself to take the three major emotional
12 | behavioral symptoms that we wanted to analyze, and then
13 | separately the physical symptoms.

14 | If you look at what's available in the
15 | literature for other **SSRIs**, it looks as if all of them work
16 | for both the emotional and the physical symptoms. So, if I
17 | were to advise to you guys, I would say take a combined
18 | visual analog scale.

19 | DR. TAMMINGA: Dr. Katz.

20 | DR. KATZ: Yes. We'd be very interested in the
21 | committee's view of this whole question of the effect on
22 | the physical symptoms. Certainly it wasn't expected, and I
23 | suppose one possibility is that it's entirely an artifact,
24 | if you will, of the primary affective effect of the drug.
25 | I gather there were no objective, quote/unquote, measures

1 of bloating or weight gain or this sort of thing that were
2 examined. I gather that's true. Maybe that's not true.
3 If there are objective --

4 DR. JUDGE: We have information on weight.

5 DR. KATZ: Okay, well, that might be useful to
6 look at because this will have impact if the drug were
7 approved or might have an impact on the label and what this
8 should be indicated for, just the affective symptoms of
9 PMDD or the entire syndrome.

10 DR. TAMMINGA: Because presumably there are
11 some people who have just physical symptoms of PMDD --

12 DR. KATZ: Right.

13 DR. TAMMINGA: -- while emotional symptoms --

14 DR. KATZ: Well, not of PMDD I gather by the
15 diagnostic criteria, but of PMS.

16 In fact, it will be interesting, of course, to
17 look and see if there were any work done on the effects of
18 the drug in women who just had physical symptoms.

19 So, the whole question of the effect of the
20 drug on the physical symptoms I think is very important for
21 us to hear what the committee has to say.

22 DR. PARRY: I would just like to point out
23 there was a study. I believe it was in the archives. Now,
24 this is not PMDD but depressive symptoms in the general
25 population. When you looked at somatic symptoms of

1 depression, a good portion of those were reported by women.
2 So, now, it may not be the case in this disorder certainly,
3 but some of the somatics being a depressive equivalent I
4 think is a distinct possibility.

5 DR. TAMMINGA: Dr. Temple.

6 DR. TEMPLE: But my understanding is -- someone
7 tell me if this is wrong -- that you can see cyclical
8 weight changes and actual edema, at least in a fraction of
9 the population. So, those presumably wouldn't be the
10 result of your improved mood if you could show a difference
11 in those things.

12 DR. PARRY: I think there is documented
13 evidence of fluid and electrolyte changes with the
14 menstrual cycle. I guess I was being more broad about
15 symptoms other than just weight gain and fluid retention.

16 DR. TEMPLE: It would be extremely interesting
17 to see if a drug that's known primarily at least as an SSRI
18 had an effect on those things which certainly would have
14 been a surprise to us.

20 DR. TAMMINGA: Perhaps, Dr. Judge, you could
23 give us whatever data you have on the objective measures of
22 physical symptoms.

23 DR. JUDGE: I'll take your points about weight
24 with respect to two discrete questions. Firstly, overall
25 weight changes in the groups per se. And the information

1 here is -- this is a summary of changes in weight from two
2 of the studies. We were able to glean information on
3 weight changes from baseline to end point. What we see is
4 for the placebo group, overall there's a mean change of a
5 gain of .2 kilograms. For the fluoxetine patients, overall
6 there **was** a mean loss of 0.4 kilograms.

7 **So**, individually within the groups, if you
8 looked at the next slide please, in terms of patient
9 numbers who had potentially clinically significant changes
10 in weight -- and that is, patients who had neither a 7
11 percent increase or decrease in weight -- we see overall
12 for placebo very few patients exhibited any such
13 parameters, and for fluoxetine a few patients again, but
14 more than placebo, did exhibit either a weight gain or a
15 weight loss. But overall the percentages were very low, 7
16 and 9.5 percent, respectively. Those differences were
17 statistically different from placebo. So, that's the
18 summary of changes in weight with respect to fluoxetine.

19 Now, overall in terms of your question with --

20 DR. TEMPLE: What you're really interested in
21 is the difference between the **follicular** and **luteal phase**.

22 DR. JUDGE: Yes. That's what I was going to
23 say in terms of the discrete question with respect to
24 the --

25 DR. TEMPLE: We already know fluoxetine can

1 affect maybe weight.

2 DR. JUDGE: Yes. I **was** going to say with
3 respect to your other question, especially for those
4 patients who had bloating as a reported symptom, we don't
5 have that information.

6 DR. TAMMINGA: Dr. Altemus?

7 DR. ALTEMUS: I'd just add that at first I was
8 really surprised by that information that bloating went
9 down, that those symptoms improved. But when you think
10 about it, we don't really know what's mechanistically
11 wrong, like what's going wrong in these women, but they
12 have normal shifts in hormones but they have a very
13 exaggerated response mentally to those shifts in hormones.
14 Certainly there are mild changes in appetite and metabolism
15 and fluid electrolytes with the cycle. So, when you think
16 about it that way, it's not that surprising if they
17 overreact to the hormone changes in terms of mood, that
18 they'd overreact in terms of appetite or metabolism too.

19 DR. KATZ: The question is whether or not the
20 drug is having an effect on those independent of its effect
21 on -- you may not be able to tease this out, but since they
22 are subjectively reported, if a person is feeling better
23 from an affective point of view, there might be less
24 attention paid to these other physical symptoms or they
25 might feel as if they're lessened when in fact objective

1 | measures may show that they're not lessened. That's the
2 | question.

3 | DR. ALTEMUS: Yes. We were talking about this
4 | at lunch. I'm not even aware of a study in normal
5 | menstrual cycle that shows weight changes. I'm not sure
6 | that's ever been documented. It's a really common
7 | complaint, but I don't think it has been shown.

8 | DR. TAMMINGA: Dr. Endicott.

9 | DR. ENDICOTT: There are two issues here.

10 | In terms of weight gain, a couple of studies
11 | that have tried to actually document the subjective feeling
12 | of weight gain have generally not done so. What they have
13 | found is a redistribution of water, not an actual increase,
14 | the idea that the bloating is not necessarily from water
15 | retention, but from a redistribution of water so that the
16 | **subjective** weight gain may well be response to that.

17 | Now, on the other issue about whether if you
18 | improve the dysphoric mood changes you automatically get a
19 | reflected improvement in the physical symptoms, at least in
20 | one of our published studies of a drug, unnamed, we got
21 | very good changes in irritability, depression, and anxiety
22 | with no changes in the physical symptoms. So, it is
23 | possible to demonstrate changes in the dysphoric mood
24 | symptoms without changes in the physical symptoms. In
25 | fact, the women often said, 'well, I still have my physical

1 symptoms, but that's no big deal.

2 DR. TAMMINGA: Dr. Steiner.

3 DR. STEINER: I think that bloating and the
4 sense of bloating is more subjective than the breast
5 tenderness. My surprise was that the breast tenderness --
6 and maybe it should not be a surprise -- is a pain and
7 you're giving an SSRI. The bloatedness is also something
8 like Jean said. When we tried to measure whether there was
9 a change in weight, there isn't, although there is this
10 complaint in the sense of bloatedness. But the breast
11 tenderness is really an eye-opener. These women say I
12 don't have that pain anymore, and I think that that is a
13 drug effect.

14 DR. PARRY: SSRI's and other antidepressants do
15 increase the pain threshold.

16 DR. TAMMINGA: We've been kind of discussing
17 around the issue of whether the indication should be for
18 the affective symptoms of PMDD or for the whole syndrome,
19 however one might define that, of PMDD.

20 Dr. Fyer.

21 DR. FYER: Well, this is not at all my area of
22 expertise, but it sounds to me, from what people have been
23 saying, is that we really don't know about the actual
24 effect on the physical symptoms, and that it would be
25 premature to put in labeling something we don't know.

1 Rather, it seems to me that it would be very nice if the
2 sponsor would undertake the responsibility of doing **some**
3 well designed studies to address that question. It would
4 **be**, I think, a help to everybody.

5 DR. TAMMINGA: Other comments? Dr. Temple.

6 DR. TEMPLE: What should they do?

7 DR. FYER: What should --

8 DR. TEMPLE: Well, they had physical symptom
9 scores of the usual kind. Do you have a thought about how
10 they could tease that out further?

11 DR. FYER: Well, like I said, I do anxiety
12 disorders, so I'm not a measurement expert in this area.

13 But I think Dr. Katz' comment was well taken.
14 We don't know to what effect what we're seeing is the
15 result of mood changes, and it seems from what Dr. Endicott
16 just said that, in fact, there's not all that much known
17 about what actually physiologically goes on. I think it
18 would be very helpful to everybody to do studies in which
19 they actually looked at weight changes, **luteal** versus
20 follicular phase of the cycle, during the drug treatment,
21 as well as before, and to try to characterize that.

22 DR. TEMPLE: I suspect that's true. I guess my
23 nervousness about it is that we don't **usually expect that**
24 someone will solve a dilemma that's existed for 20 years
25 before they can get a practical claim that they improve it.

1 DR. FYER: Well, no. Wait a second.

2 DR. TEMPLE: So, one has to balance what's
3 reasonable.

4 DR. TAMMINGA: Dr. Cook.

5 DR. COOK: My concern is that it's new for them
6 to really raise this. They had almost wanted to take it
7 out in the design of these studies. So, even if they don't
8 need to do more, they certainly need to test the hypothesis
9 a priori.

10 DR. TAMMINGA: Dr. Fyer.

11 DR. FYER: I'm a little surprised to hear Dr.
12 Temple take that position because I think when we talk
13 about the real world, where drugs are prescribed, I think
14 somebody raised the point before that much of the
15 prescription for this indication is going to be in **non-**
16 psychiatric settings by **GP's** where there is a predominance
17 of managed care and limited time. I really think you want
18 to be careful about somebody saying they have breast
19 tenderness premenstrually and then being put on long-term
20 fluoxetine. Before we get into that kind of situation, I
21 think some experimental data would be useful.

22 DR. TEMPLE: That seems to me an important but
23 different question. What level of symptomatology should
24 make you want to go on an antidepressant for many years is
25 a very important question. .

1 But, for example, the things that occur to me
2 is they could find a population that doesn't have any
3 obvious psychiatric component and see if they improve.

4 DR. FYER: Yes. That would be an excellent --

5 DR. TEMPLE: So, that's one thing.

6 You could also look within the trial to see
7 whether people who have better response on mood also have a
8 better response -- this is after the fact and it wouldn't
9 be as convincing, but that's another thing they might do.
10 They could look to see if there's a correlation between
11 improvement on one and improvement on the other. That
12 wouldn't be definitive, obviously.

13 I'm just trying to think of what they could do.
14 But I would say nothing in this database would suggest a
15 claim for treating those conditions alone in the absence of
16 the dysphoric disorder. So, I don't think anybody has been
17 thinking about that.

18 DR. FYER: But I think the other issue is to
19 what extent the labeling reflects the idea that a whole
20 syndrome -- where there's the implication that people who
21 don't have predominant dysphoric symptoms will respond to
22 this drug. I think that's where the sort of gray area
23 issue for labeling comes in.

24 DR. TAMMINGA: Of course, that wasn't tested
25 here at all. The only thing that was tested here was

1 | whether -- it only looked at the population of people with
2 | dysphoric disorder, and then we're kind of wondering about
3 | the status of the physical symptoms, and that's really less'
4 | clear. But for sure, we're not looking at any data of
5 | people who had no dysphoric disorder but PMS and had only
6 | physical symptoms.

7 | Would Lilly like to suggest what studies
8 | **they're** doing? There has been some question in the
9 | committee about what studies Lilly might be currently doing
10 | that would address these kinds of questions.

11 | DR. JUDGE: I just want to make clear that the
12 | application is for fluoxetine in PMDD, premenstrual
13 | dysphoric disorder. The question was raised as to what
14 | other studies Lilly are doing with respect to PMDD and
15 | specifically intermittent dosing. These are currently the
16 | two multi-center, multi-national studies that are ongoing,
17 | taking place in Europe and in the United States.

18 | Firstly, a randomized, parallel, **placebo-**
19 | controlled study comparing two doses of fluoxetine
20 | intermittently and that is defined as 14 days prior to the
21 | onset of menses in approximately 250 randomized patients,
22 | and this will involve many, many more patients screened,
23 | but randomized will be approximately 250 patients.

24 | Secondly, there is another study ongoing,
25 | randomized, parallel, placebo-controlled study comparing

1 infrequent monthly dosing of fluoxetine with an alternative
2 formulation in approximately the same number of patients.

3 These are also again in PMDD patients, not
4 specifically with one or two physical symptoms or PMS,
5 specifically PMDD.

6 DR. TAMMINGA: Dr. Judge, in either of these
7 studies, do you have objective measures of physical
8 symptoms?

9 DR. JUDGE: Again, as we considered with a
10 group of leading researchers in PMDD/PMS, it was felt that
11 the scales currently used in the trials, namely the visual
12 analog scales, namely PMTS, and perhaps one or two other
13 scales, were indeed good scales in order to measure the
14 appropriate outcomes with respect to physical symptoms and
15 mood symptoms.

16 As you saw from the studies presented this
17 morning, the secondary objectives of measuring physical
18 symptoms was, indeed, stated as a secondary objective
19 measure. Indeed, consistently the effect was found for
20 physical symptoms, and we hope to find consistent effects
21 in the ongoing studies as well.

22 DR. TAMMINGA: Dr. Fyer.

23 DR. FYER: It's really interesting that Dr.
24 Endicott just got up and said that when the objective
25 studies have been done about weight gain, what they found

1 is redistribution. Yet, **you're** doing these new studies,
2 and the design of the study is not really addressing what's
3 apparently known in the field. It might be nice to take
4 these things into account.

5 DR. JUDGE: The studies that **I've** talked about
6 are Lilly's commitment to further the work in PMDD with
7 respect to intermittent dosing. The question was raised
8 earlier, is it appropriate to pursue intermittent dosing?

9 With respect to weight change, I think **that's** a
10 very important question. Is weight an appropriate symptom
11 to study? We won't know that from **these studies**. **That's** a
12 different question for which we have not put a study in
13 place. Perhaps **it's** more appropriate for that study. I
14 **don't** know that someone would have a special interest in
15 that and do that. **I'm** not sure. But the studies ongoing
16 are the ones that **I've** listed here. I think that's a very
17 important and nice question, but **it's** not particularly
18 going to be addressed by these questions.

19 DR. FYER: Yes, that's exactly my point, that
20 it isn't going to be addressed. I think it would be nice
21 if it were.

22 DR. TAMMINGA: 'Dr. Hamer.

23 DR. HAMER: In the existing 019 study that was
24 Dr. Steiner's study, you saw the subjects once during the
25 **luteal** phase and once during the follicular phase. Did

1 | they get weighed each time?

2 | DR. JUDGE: No, they did not get weighed each
3 | time. They got weighed infrequently, and definitely at
4 | baseline and endpoint, but not during every visit.

5 | DR. HAMER: In the new studies, are they being
6 | seen both during the follicular and luteal phases, and are
7 | they being weighed?

8 | DR. JUDGE: Again, there would be infrequent
9 | measurements of weight.

10 | DR. PARRY: The inherent problem, though, of
11 | course, is that other things can affect weight,
12 | particularly diet and carbohydrate craving, which you'd
13 | have to control, and that's one of your outcome criteria
14 | for PMDD symptoms that you're trying to monitor.

15 | DR. HAMER: Sure. Just asking about weight is
16 | asking about, in some sense, result not mechanism. But it
17 | would be nice to design in weight measurements at those
18 | places, as well as perhaps some physical measurements,
19 | various sorts of circumferences and things like that, so
20 | you could look for this redistribution of water.

21 | DR. TAMMINGA: Dr. Altemus?

22 | DR. ALTEMUS: If women on their rating forms
23 | are feeling significantly less bloated with the treatment,
24 | I don't think we would deny them the treatment because they
25 | don't actually have a physical change in weight. It's an

1 interesting mechanistic question, but it really is not
2 relevant to whether this should be an indication for the
3 drug.

4 DR. ENDICOTT: I'd like to comment. Weight
5 gain is not part of the criteria for PMDD for the very
6 reasons that have been mentioned, that the studies suggest
7 that it is feelings of bloatedness that are part of the
8 criteria but not weight gain, which is one of the reasons
9 that I don't think any of the studies are focusing on
10 weight gain per se because the really careful studies that
11 have been done looking at that issue have found evidence of
12 redistribution, not of actual gain.

13 DR. TAMMINGA: So, the physical symptoms that
14 we're talking about could more precisely be called
15 perception of physical symptoms rather than what we might
16 really document with weight gain.

17 DR. ALTEMUS: Right. Like somatic symptoms in
18 depression. People would complain of more aches and pains
19 that improve.

20 DR. PARRY: You can always look at the item
21 analysis on the Hamilton of either subjective or objective
22 measures of weight change.

23 DR. TAMMINGA: This may be a good time to
24 transition into the discussion of continuous versus
25 intermittent dosage, especially since we've seen that Lilly

1 | has two current studies now, one in intermittent and the
2 | other one in infrequent dosing. Any comments from the
3 | committee or from its advisors on that?

4 | DR. PARRY: Well, I think the jury is still out
5 | with the **luteal** phase dosing. There are some preliminary
6 | reports.' I think to me probably one of the primary
7 | determinants may be the half-life of the drug. I think
8 | that was mentioned earlier., So, I think to put this
9 | forward, it would be best -- I think to put it on **luteal**
10 | phase dosing at this point I think would be premature.

11 | DR. TAMMINGA: Dr. Thys?

12 | DR. THYS-JACOBS: I agree.

13 | DR. TAMMINGA: It would be --

14 | DR. THYS-JACOBS: Premature.

15 | DR. TAMMINGA: Premature to suggest **luteal**
16 | phase dosing.

17 | DR. THYS-JACOBS: Right. There's not enough
18 | evidence at this point in time to advise **luteal** phase
19 | dosing.

20 | DR. ALTEMUS: I think it's also not just the
21 | half-life of the drug but how long-lasting the changes are
22 | in the brain, that it may take several weeks to reverse
23 | once you've been on a drug for 2 weeks. That intermittent
24 | dosing might work for regular depression.

25 | DR. PARRY: That's why we have patients off

1 fluoxetine for at least a month before they enter studies.

2 DR. TAMMINGA: Dr. Katz?

3 DR. KATZ: I don't think we're asking whether
4 or not we should write **labeling that** says you should give
5 it just during the **luteal** phase or however often. The
6 question 'is given that it is an intermittent condition and
7 that the presumption that you might be able to just treat
8 this with intermittent dosing maybe even a couple of doses
9 -- who knows -- whether it's appropriate to approve it with
10 chronic dosing, in other words, whether this is something
11 that is -- it's a benefit-risk question, whether it's worth
12 having women on this drug chronically for a condition that
13 occurs just intermittently for a relatively brief period of
14 time. That's the question.

15 DR. TAMMINGA: The data that's in front of the
16 committee is only continuous data.

17 DR. KATZ: Right and the question is whether or
18 not you think that is appropriate to approve the drug on
19 the basis of that. Is it worth it? Or should they do more
20 studies that further define? Now, it is invariably true
21 that we almost never know, when we approve a drug, the
22 perfect dosing regimen. We only know what people studied.
23 The question here is, should they study more before we
24 approve it, or can you live with this?

25 DR. TAMMINGA: Dr. Altemus.

1 DR. ALTEMUS: I don't think so just because I
2 don't think we put that burden on other disorders. You
3 could say, well, can you give medication half as often for
4 major depression? I think just because it appears during 2
5 weeks or a week of the month, I think it's pretty unlikely
6 that -- well.

7 DR. PARRY: Yes, I would kind of echo that,
8 that this is a recurrent, periodic illness and that the
9 medication may work as a mood stabilizer. To recommend
10 anything less, than that, we just don't know if that's going
11 to have the same efficacy until that's demonstrated.

12 DR. TEMPLE: That's not the question.

13 DR. COOK: No, but I would add something, that
14 until you have evidence that the intermittent dosing is
15 both efficacious and safe, I don't think one should presume
16 that it's safer to give it in an intermittent manner.
17 These drugs have been tested for safety largely in a
18 chronic manner, and as alluded to, I wouldn't use the word
19 "kindling," but sensitization changes from this sort of
20 dosing pattern wouldn't have the safety behind them.

21 Now, with fluoxetine, of course, you have the
22 problem is anything truly intermittent, but as you're
23 asking a more general question about SSRIs, hitting it just
24 a few times a month may not be the best paradigm for long-
25 term safety. We don't know. It's intuitive that it's

1 | worth trying, but the data has to be there.

2 | DR. TAMMINGA: Dr. Temple.

3 | DR. TEMPLE: No one thinks we could approve an
4 | intermittent regimen that hadn't been studied. This goes
5 | to the fundamental question of the approvability of a
6 | chronic treatment for an intermittent disease where, in
7 | this unusual case, you actually know when the disease is
8 | going to occur.

9 | DR. THYS-JACOBS: This is not a disease. **It's**
10 | a disorder.

11 | DR. TEMPLE: Whichever. But you know when what
12 | you're treating, however you will care to define it, is
13 | going to occur.

14 | It really goes to the **fundamental** question of
15 | whether a drug with a half-life of 14 days is the best way
16 | to treat this or an appropriate way to treat this. In
17 | asking that question, I want to be very clear I'm not
18 | offering an opinion. It's just something that ought to be
19 | discussed. That's all. I'm not saying that we are
20 | horrified by that idea. It might be the best thing in the
21 | world for everybody.

22 | DR. TAMMINGA: Dr. Winokur?

23 | DR. WINOKUR: Well, this is a disorder that
24 | we've heard, I think, convincing evidence is associated
25 | with a good deal of morbidity and distress, and I think we

1 | can evaluate data from studies that have been done to form
2 | opinions about efficacy and safety, tolerability,
3 | acceptability in this context and then consider alternative
4 | approaches to treating when such data come along. But I
5 | don't see anything that is a barrier to our rendering an
6 | opinion about continuous treatment for a disorder that is
7 | discontinuous, but has significant implications. **We've**
8 | heard a lot of testimonials to that effect.

9 | DR. TAMMINGA: Dr. Geller.

10 | DR. GELLER: There was mention in the material
11 | we got of a sertraline trial that was discontinuous. Is
12 | there more information on that? Did people get withdrawal
13 | when it was taken away and stuff?

14 | DR. TEMPLE: I don't think we know yet.

15 | It's also possible new information will emerge
16 | later that will cause people to reconsider what the best
17 | approach is.

18 | DR. GELLER: I was wondering if specifically
19 | there was more information about the sertraline study at
20 | this point in time.

21 | DR. TEMPLE: I don't think we do.

22 | DR. TAMMINGA: We don't have it on our table.

23 | DR. PARRY: The analogy that just comes to mind
24 | is lithium, recurrent mood disorder, and I think that
25 | there's substantial evidence at this point that for a

1 recurrent mood disorder that lithium works better the
2 longer you leave it on them, and there are actually risks
3 of taking them off. I might see that as the closest
4 analogy to address that.

5 DR. TAMMINGA: If you don't mind my
6 interrupting you, I'd like to ask you the question in the
7 case that Dr. Temple proposes that in PMDD this is a
8 predictable cyclic -- I mean, mania is not predictably
9 cyclic, but this is clearly predictably cyclic -- would you
10 still hold the same opinion?

11 DR. PARRY: Yes. Well, first, mania may be a
12 rapid mood cycling. In most cases it is, but in not all
13 cases is PMDD predictable. Of course, as soon as you enter
14 them in the study; they don't get their symptoms.

15 DR. ALTEMUS: Also, I think it's premature to
16 think that because it's cyclic you should give the drug
17 when the symptoms appear. To really prove that, I bet you
18 could give the drug during the follicular phase every 2
19 weeks and they may respond just as well. What I'm saying
20 is it's premature to think that it should be given during
21 the **luteal** phase just because that's when the symptoms
22 appear.

23 DR. TAMMINGA: Additional comments? Dr. Fyer?

24 DR. FYER: I think that's an excellent point.
25 We don't know the pathophysiology. I think Dr. Parry

1 | referred to the possibility that it's acting as a mood
2 | stabilizer, and we don't know the important point of entry
3 | really is when people are clinically ill. It might be the
4 | week before.

5 | DR. TAMMINGA: The data we have on the table
6 | are continuous data, and we've seen Lilly present that
7 | it's, in fact, doing a couple of different intermittent
8 | designs. so, we'll have some confidence that additional
9 | data will be coming.

10 | I guess the question would be whether Lilly
11 | wants it to be continuous dosing because it uses more drug.
12 | That's not the right way to think about it.

13 | DR. KATZ: No. I think Dr. Temple put it well.
14 | It's simply a question of is it appropriate to approve a
15 | drug for chronic use when the symptoms occur predictably
16 | intermittently. That's the question. We're not bringing
17 | an opinion to the table. We're simply asking the question
18 | is that an appropriate type of treatment for this sort of
19 | disorder.

20 | DR. PARRY: Well, yes. I think the other
21 | consideration is if it's not treated in its initial stages,
22 | which may be a follicular phase or early on -- and I think
23 | there's data to support this, at least in PMDD -- it can
24 | get worse over time, I think the point that Dr. Cook was
25 | making, that it can exacerbate symptoms.

1 DR. TEMPLE: Part of the problem is it's hard
2 to know how to even talk about this. With a drug with a
3 half-life of 24 hours, you could ask the question, when do
4 we have to treat, because then you could treat for the week
5 before, the week before and during, you could modify your
6 regimens and actually ask the question. With a drug with
7 an active metabolite whose half-life is 14 days, you can't
8 even ask the question. You're treating chronically whether
9 you like it or not, and the only question is how much to
10 give.

11 But just from my point of view, I thought what
12 Dr. Winokur said was, look, you can look at the benefit,
13 you can look at the consequences, and you can make a
14 judgment about whether the consequence of being on Prozac a
15 lot for a long time, which is obviously something that
16 happens to a lot of people in this country, is worth it in
17 view of the benefit of preventing these symptoms. I think
18 that's what we're trying to --

19 DR. PARRY: The risk of no drug treatment and
20 drug treatment.

21 DR. TAMMINGA: Dr. Laughren.

22 DR. LAUGHREN: Let me just give an example.
23 There are drugs for chronic conditions that are given
24 intermittently. Methotrexate is given once a week for
25 treating rheumatoid arthritis. So, there are examples.

1 That's clearly 'a drug where you would want to limit the
2 overall exposure., So, you would want to find a regimen
3 that involved the least exposure to that drug to get a
4 benefit. So, that's really the question. Is **there** a more
5 optimal dosing strategy that works that gives a benefit and
6 limits the risk?

7 DR. TAMMINGA: Dr. Fyer.

8 DR. FYER: Yes. I think this is a very .
9 interesting question, but I think it comes down to sort of
10 pragmatic issues. I think what you're seeing on the
11 committee is a reflection of that. The sponsor and other
12 people in the literature have demonstrated efficacy using a
13 certain -- or made a strong case for that using a certain
14 approach, and that certainly seems better than leaving
15 people in distress. Anything else is going to be more of a
16 question.

17 I think this almost becomes political in that
18 would I personally think that it would be best that all
19 sponsors be required to invest time and effort to find the
20 optimum approach that has the least risk, et cetera. Yes,
21 I definitely would.

22 Has that and is that going to happen in the
23 current climate in this country? I doubt it.

24 So, I think if you want to know is it best to
25 do it that way, of course, **it's** best to do it that way, but

1 | what's **actually** going to happen?

2 | DR. LAUGHREN: We simply wanted to raise the
3 | question here for two reasons really. Again, this is a
4 | condition where the symptoms are cyclical. They're not
5 | present continuously. And secondly, there is a suggestion,
6 | I don't know how well established, that the possibility of
7 | intermittent dosing may be of benefit. That's not
8 | established yet. I totally agree with that. So, the
9 | question is whether or not there should be encouragement to
'10 | look at more optimal dosing strategies for this condition.

11 | DR. FYER: I personally think there should be
12 | encouragement in all kinds of disorders. What you're
13 | saying, Dr. Laughren, is that because of the particular
14 | pattern of appearance of the symptoms, this particular
15 | question gets raised. But in fact, since we don't know
16 | pathophysiology of most psychiatric disorders, one could
17 | legitimately raise that question about most of them.

18 | DR. TAMMINGA: Dr. Laughren, is the data that
19 | the sponsor presented about the studies that are ongoing
20 | encouragement to the FDA or --

21 | DR. LAUGHREN: **We've** not seen any data from any
22 | other studies.

23 | DR. ALTEMUS: I think just one final point
24 | about that. From what we know about how antidepressants
25 | work, it's not an immediate relief of symptoms when you

1 take it. So, I think it's almost counterintuitive that you
2 would expect it to work within those 2 weeks.

3 DR. LAUGHREN: Except that in this condition,
4 it appears to have a fairly rapid response compared to its
5 rate of onset in depression.

6 DR. ALTEMUS: Do we know, though? Is it within
7 2 weeks? It was a month.

8 DR. TEMPLE: It works 3 weeks later.

9 DR. ALTEMUS: But the question is does it work
10 in the first week. Is there any evidence of that?

11 DR. TAMMINGA: Your question is, does
12 fluoxetine work in the first luteal cycle?

13 DR. ALTEMUS: No. It has to start if you start
14 on day 14, would that --

15 DR. TAMMINGA: Oh, we don't have those data in
16 front of us. The only data that was presented to us this
17 morning was when the treatment was started in the
18 follicular stage, yes, there seemed to be a clear response
19 that first cycle. The major response really occurred.

20 DR. ALTEMUS: So, by then they've been on it
21 for 3 weeks by the time they get to their symptomatic
22 period.

23 DR. TEMPLE: And that's not from the
24 antidepressant.

25 DR. ALTEMUS: Right;

1 DR. PARRY: On the **visual** analog scale data,
2 how soon do the ratings start going down?

3 DR. JUDGE: All patients starting dosing on the
4 first day of their cycle, first day of menses, and what we
5 saw for all those studies was that at the first cycle,
6 which is usually roughly around 2 weeks after beginning of
7 dosing, 2 to 3 weeks, that they did have a significant
8 effect. Remember, the visits were done from cycle to cycle
9 and the patients were seen follicular, so I can't answer
10 that question whether at the first week, if we looked at
11 the first week, whether there would be significance at that
12 point.

13 DR. PARRY: But the **VASS** were done daily.

14 DR. JUDGE: That was not analyzed for purposes
15 of today.

16 DR. TAMMINGA: I think we'll just have to wait
17 till your **luteal** dosing studies are finished and look at
18 those data.

19 If there aren't any more comments on the
20 **intermittency** of dosing, I'd like to direct our attention
21 to the use of oral contraceptives along with fluoxetine in
22 this condition. The oral contraceptives were excluded from
23 this study. They're certainly commonly used in the age
24 range of people who would be treated for this disorder.

25 Do oral contraceptives do something promising

1 | in this disorder and would we say that fluoxetine is both
2 | effective and safe in PMDD people who are using oral
3 | contraceptives?

4 | DR. PARRY: I think one of the reasons
5 | obviously that oral contraceptives are excluded is because
6 | oral contraceptives in and of themselves can induce an
7 | atypical depression. They also have physical side effects.
8 | **So**, they have to be excluded. I just don't think we have
9 | the data to answer whether they can be used concomitantly.

10 | If you look at what predicts onset of
11 | premenstrual dysphoric disorder, if you look at clinical
12 | demographic features, many **women** have previously been on
13 | oral contraceptives and some had dysphoric mood symptoms in
14 | relationship to that. Is that data different?

15 | DR. TAMMINGA: So, PMDD does occur in women who
16 | use oral contraceptives.

17 | DR. THYS-JACOBS: Yes, it can definitely occur.
18 | In fact, the evidence is either way. Some of the studies
19 | show that the **OCPs** can actually increase affective symptoms
20 | and diminish physical symptoms. What the data is on the
21 | **SSRIs** in combination with the **OCPs** I'm not sure.

22 | DR. TAMMINGA: We saw some data this morning,
23 | not in PMDD, but in other treated populations, of oral
24 | contraceptive use along with fluoxetine, and the safety
25 | data looked with and without oral contraceptives looked

1 straightforward.

2 DR. ALTEMUS: And the response data too.

3 DR. TAMMINGA: Right, response data.

4 Dr. Winokur.

5 DR. WINOKUR: Yes. I would just reiterate I
6 think thought Dr. Judge's review of these issues this
7 morning was very on target. First of all, I think it would
8 have been significantly complicating and confounding to
9 have the presence of OCs in these studies, and then the
10 second issue is potential risks of combining fluoxetine
11 with OC use. I both agree that there's a pretty
12 substantial database outside of PMDD for us to extrapolate
13 to. I can't think offhand of any reason why we'd expect
14 there would be different safety risk issues. I agree that
15 the potential drug interactions, which are nontrivial with
16 some drugs, are not, to my knowledge, a significant
17 concern. I felt that that was quite thoroughly addressed
18 in the presentation.

19 DR. TAMMINGA: Dr. Hamer.

20 DR. HAMER: It seems there are two aspects of
21 these questions. One is to help the FDA write appropriate
22 labeling, if this drug is approved, based on the studies
23 that have been done. There have been no studies done, at
24 least among the three we've been shown, in women on oral
25 contraceptives. You might consider that you should then

1 | write labeling saying that this shouldn't be used in women
2 | taking oral contraceptives because we have no evidence.

3 | On the other hand, virtually every depression
4 | trial that I've ever run, or for that matter, almost any
5 | other trial, has excluded people who are suicidal, and we
6 | give antidepressants to people who are suicidal all the
7 | time. Most of the antipsychotic trials have excluded
8 | people abusing drugs, and we give antipsychotics to drug
9 | abusing psychotics all the time.

10 | DR. TAMMINGA: Bob, we saw data this morning of
11 | fluoxetine given to women taking oral contraceptives.

12 | DR. HAMER: But not for PMDD.

13 | DR. TAMMINGA: Right, we didn't see PMDD plus
14 | oral contraceptives.

15 | DR. HAMER: Right. But the point I'm trying to
16 | make with the discussion of suicidality and depression and
17 | so on is that that does not stop the FDA. The FDA does not
18 | then write labeling saying we haven't done clinical trials
19 | in suicidal people, so don't give the drug to suicidal
20 | people. They should sort of use the same kinds of
21 | judgments in this context too.

22 | The other issue is whether to encourage or
23 | discourage, in further clinical trials or in other clinical
24 | trials, the use of oral contraception as an exclusion
25 | criterion. And I don't have any thoughts on that.

1 DR. TAMMINGA: A more naturalistic design.
2 Do you want to say anything about that, Dr.
3 Laughren?

4 DR. LAUGHREN: Many parts of the population are
5 left out of typical development programs. Now, hopefully
6 what we see in a development program is that as you move
7 from phase II into phase III, more heterogeneous
8 populations are enrolled and you are including patients who
9 have other disorders or taking other medications and so
10 forth.

11 Really what we want a sense from the committee
12 about on this issue is how important is this exclusion for
13 this drug for this indication for labeling. It's likely if
14 you think it's not a critical issue, that the extent of our
15 modification of labeling -- we might say, in describing the
16 clinical trials, simply that patients taking oral
17 contraceptives were excluded. There wouldn't be any
18 restriction on its use, simply a simple statement that that
19 part of the population was excluded.

20 DR. TAMMINGA: I would feel comfortable with
21 that, especially since we already have data in women with
22 oral contraceptives, even though they don't have PMDD.

23 Any other opinions on this? Dr. Temple.

24 DR. TEMPLE: Just to launch an advertisement, I
25 would say as a general matter, we agree with what was said,

1 that in phase III, as drug development progresses,
2 exclusions should drop off, if possible, to nothing, and
3 you should look at the consequence of the interaction, not
4 avoid it. One of these days I'm sure we're going to write
5 something to that effect, but I think there's a growing
6 appreciation of that. In some areas, like exclusion of the
7 elderly and things like that, there is considerable
8 progress, but we all believe that should be much more
9 general and that, in general, trials should include
10 everybody who might get the drug.

11 DR. TAMMINGA: It's a good point to always
12 make. I think I agree with you that it is becoming more
13 widespread.

14 What I would like us to do is to proceed into a
15 discussion of the specific studies that were presented. We
16 addressed a lot of questions this morning to Dr. Judge and
17 to the rest of the people in the sponsor's group about
18 these studies, the size of the studies, the dropout rate,
19 the single study that had a crossover design, the
20 investigator initiated nature of the studies. I'd just
21 like to open this phase up for some discussion of the
22 committee and hear people's comments on any aspect of this
23 that they think is important.

24 I bet Dr. Hamer could launch this part of the
25 discussion.

1 DR. HAMER: Yes, and I'm going to launch it by
2 saying that I'm really bewildered. I know how to behave
3 when I look at a set of clinical trials submitted as part
4 of an NDA whose purpose is registration, that the sponsor
5 designed, managed, supervised with prespecified endpoints
6 negotiated with the FDA. I know how to trust the results
7 of those trials.

8 In a set of trials that was much more loosely,
9 in some sense, organized and evolved, I'm not sure I know
10 how to behave with these results. This is the intersection
11 of science and regulation, and so it's not just a
12 scientific interpretation.

13 For example, it's unclear to me how many other
14 trials there are out in the literature involving fluoxetine
15 and PMDD. I have actually been looking through the
16 material and if it's in there, which it probably is, I just
17 can't find it. But why these three trials that we're told
18 about?

19 Again, in the usual NDA, there's half a dozen,
20 a dozen, or whatever phase II trials that I don't pay any
21 attention to because they were small and they were **dose-**
22 ranging and all that sort of stuff. What I pay attention
23 to is the negotiated-out phase III trials. Here I don't
24 know what to pay attention to, and I don't know if there's
25 stuff that I don't know about that I should be **paying**