

UNITED STATES DEPARTMENT OF AGRICULTURE
FOOD SAFETY AND INSPECTION SERVICE

+ + + + +

NATIONAL ADVISORY COMMITTEE ON
MEAT AND POULTRY INSPECTION

+ + + + +

SUBCOMMITTEE NUMBER 1
USING RISK TO DIRECT IN-PLANT PROCESSING
AND OFF-LINE SLAUGHTER INSPECTION ACTIVITIES

+ + + + +

October 12, 2006
2:30 p.m.

USDA South Building Cafeteria
1400 Independence Avenue, S.W.
Washington, D.C.

CHAIR: DR. DAVID CARPENTER
Southern Illinois University
School of Medicine

SUBCOMMITTEE MEMBERS:

DR. GLADYS S. BAYSE
MR. MICHAEL W. GOVRO
DR. ANDREA GRONDAHL
MR. MICHAEL E. KOWALCYK
MR. MARK P. SCHAD

FSIS:

DR. BARBARA MASTERS
MR. DON ANDERSON
MR. ROBERT McKEE
MR. BOBBY PALESANO

ALSO PARTICIPATING:

MS. FELICIA NESTOR
MS. JENNY SCOTT
MS. KATHY GRANT
MS. ANN RASOR
MS. KIM RICE

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Introductions	4
Discussion on Question 1: What information should we use to support the optimal levels of inspection?	6
Discussion on Question 2: What are the essential inspection activities for level one inspection?	80
Discussion on Question 3: What other inspection activities do you consider appropriate to perform in RBI [Risk-Based Inspection] above level one?	102
Adjourn	

1 P-R-O-C-E-E-D-I-N-G-S

2 (2:20 p.m.)

3 MR. SCHAD: I'm Mark Schad. I own and
4 operate Schad Meats in Cincinnati, and I've been on
5 the Committee for four years.

6 MR. KOWALCYK: Michael Kowalcyk from Safe
7 Tables Our Priority. I've been on the Committee for
8 four years. In my professional work, I work in the
9 area of database marketing and marketing research.

10 MS. NESTOR: I'm Felicia Nestor. I'm with
11 Food and Water Watch and I'm not on the Committee.

12 MS. SCOTT: I'm Jenny Scott. I'm with the
13 Food Products Association, and I'm the Vice President
14 of the Food Safety Program and I'm on the Sister
15 Committee, National Advisory Committee of
16 Microbiological Criteria for Foods.

17 DR. GRONDAHL: I'm Andrea Grondahl, and I'm
18 the Director of the North Dakota State Meat Inspection
19 Program with -- which is with the North Dakota
20 Department of Agriculture, and I've been on the
21 Committee for two years now.

22 MR. GOVRO: I'm Mike Govro, Assistant

1 Administrator of the Food Safety Division, Oregon
2 Department of Agriculture, and I've -- this is my last
3 meeting with the Committee. I've been here six years.

4 MS. GRANT: Kathy Grant, Senior Mediator
5 with RESOLVE.

6 MR. ANDERSON: Don Anderson, FSIS.

7 MS. RASOR: Ann Rasor with the North
8 American Meat Processors Association.

9 MR. McKEE: Hi, I'm Bob McKee. I'm a
10 frontline supervisor with FSIS.

11 MS. RICE: I'm Kim Rice with Crider,
12 Incorporated, and I'm the VP of Quality Assurance and
13 Regulatory Affairs.

14 MR. PALESANO: Bobby Palesano with Food
15 Safety Inspection Service.

16 DR. CARPENTER: And Dr. Masters. Thank you.

17 You should have -- outlined by a member of
18 FSIS, Bobby Palesano, and I think you can refer to
19 what was discussed today, and if you can't hear, but
20 you all have copies, Using Risk to Direct In-Plant
21 Processing and Off-line Slaughter Inspection
22 Activities, and he referenced three questions and

1 those are in the last three slides. What information
2 should we use to support the optimal levels of
3 inspection? What are the essential inspection
4 activities for Level 1 inspection? And, what other
5 inspection activities do you consider appropriate to
6 perform in risk-based inspection above Level 1?

7 There was some discussion about algorithms,
8 and how there might be different algorithms for
9 different processes, which we should consider that.
10 Bobby mentioned that PBIS stays. He talked about the
11 PBIS scheduler perhaps being stopped in certain
12 activities in certain plants, but the overall activity
13 would continue. Am I talking loud enough?

14 DR. MASTERS: May I interrupt for just a
15 second. Non-members --

16 DR. CARPENTER: Okay. Coming on. Is this
17 any better now? It's working. Okay.

18 COURT REPORTER: Thank you.

19 DR. CARPENTER: You're welcome. So we
20 should hold off until copies are in everybody's hands.
21 No. Keep going.

22 Okay. Here's the way -- I think I'd just

1 like to paint a picture for us to start with. Taking
2 what Bobby said, if you think about the nine pocket
3 grid that he went through, which derives five levels,
4 and they are essentially as outlined in -- is it the
5 seventh slide, Bobby? Eighth slide. The second one.
6 Is that what you guys all have? But he did throw it
7 up on the board, and so if you look at it, if we can
8 at least for a starting point of discussion, go with
9 the five levels.

10 So he's got at the lower left-hand corner is
11 Level 1, a really good plant, consistent in what it
12 does and the product -- the inherent risk in the
13 product that it deals with is pretty low. And then if
14 you can look at that chart, going from the lower left
15 corner to the upper right, you can see that Level 2 is
16 a diagonal line, Level 3 is a diagonal line, 4 is
17 diagonal line and at the very upper right-hand corner
18 we have Level 5 which is a plant that needs a lot of
19 babysitting. Just something to throw out for you.

20 One of the members of our Committee who is
21 in Subcommittee 2, Joe Harris said, if we're going to
22 implement something like this in the plants, why don't

1 we just go along in the first go around and say
2 everyone's a Level 3. That's what we're going to
3 start with. And then we're going to do the inspection
4 based on predictive values and you either go minus 1,
5 minus 2, plus 1, plus 2 or 0. For a starter? I don't
6 know. Might it work that way? That's one option.

7 But as we consider all of the data that are
8 needed, we've heard the predictive indicators, I think
9 that was the right word. Is that the word that came
10 up? Now, you know, in my mind, a predictive indicator
11 is -- one example was the construction, you know, in a
12 facility. Another one was what Mark related to me
13 yesterday. As he considers product that he processes
14 in his plant, don't let bad product in your plant. If
15 you never get it in, you're going to pretty much
16 assure that your product, in the overall processing of
17 that product will keep you at Level 1.

18 So that's just another example in my mind at
19 least of what is a component that contributes to an
20 outstanding level.

21 Something else that comes to mind that I
22 need I think FSIS to make input, I think Felicia made

1 some reference to it, and we've talked about it at
2 other meetings, what are the best practices? I mean
3 which inspectors feel, Bobby can probably address this
4 for us, are there inspectors who feel, you know, I
5 look at half a dozen plants in the course of a day,
6 and I know which ones are best, and if I were to be
7 slapped with a Level 1, 2, 3, 4, 5 system, I know who
8 would go to 1, and I think I know who would go to 5.
9 Maybe in between a little fuzzy. Do or does an
10 evaluation or do inputs from the inspectors render
11 this whole process a little easier. I mean I think
12 that's something that we might consider as a
13 recommendation. Maybe not.

14 So those are just my ideas. If I had a
15 flipchart up here, you know, I would be drawing a
16 three part grid and the other part -- I want to do a
17 flipchart. The first one is the grid.

18 The second one is the effort of FSIS, and
19 correct me if I'm wrong, Bobby, you can speak up, is
20 that regardless of what level you're at, considering
21 inherent product risk, or processing risk, we don't
22 want to have a whole lot of Level 5 plants staying at

1 Level 5. Because we want to work them down to Level 1
2 because I think, I think what the members of FSIS want
3 to say eventually is, we gave it all of our effort
4 over the course of all of our deliberations to
5 generate products from all of our 5600 plants that are
6 close to or heading for a Level 1 and that the output
7 of those plants represented an equivalent, an
8 equivalent risk to public health which is very low.

9 I mean does that make sense? I mean if we
10 consider the inherent risk in the product, if we
11 consider the processing risks and appropriately
12 address them or have FSIS and its employees address
13 them, could we not get every plant and product closer
14 to a Level 1 so that the threat to public health for
15 any product out of any plant would be very low and
16 hopefully close to nil to eliminate those 14 deaths.
17 Is it 14, Michael, a day that occur? And 25,000
18 hospitalizations. Felicia.

19 MS. NESTOR: Felicia Nestor. I thought we
20 can only get them to Level 3 because of the inherent
21 risk. Are you saying there's a way to modify the
22 product so that even the products don't have --

1 DR. CARPENTER: Go ahead. Good question.
2 I'm just throwing out my impression, and you can tell
3 me bad, not the right pathway, but I think, I think we
4 can all agree that when you look at the varieties, and
5 Bob could address it I bet, look at the variety of
6 product that's processed and the different processing
7 that's done, there are different types of
8 interventions, interventions that can be initiated so
9 that 8, 10 years from now, all of our plants are in a
10 position to produce product that has the same level of
11 threat, if I can use that term, to public health, and
12 it's pretty low. Some plants are going to need a lot
13 of work. Some plants not a whole lot.

14 So how do we go about helping the Agency to
15 get all of those factors in place, so that 8 to 10
16 years from now, that's another fuzzy number, you know,
17 we can finally be there. Those are the kind of
18 impressions I have.

19 So from that point, how do we go about it?

20 MR. GOVRO: I had a question for Bobby
21 regarding question 1. I think I understand what it
22 says but please allow me to rephrase it and you tell

1 me if I've done it correctly, and then I'll know if I
2 understand the question.

3 If I said what criteria should we use to
4 accurately determine the correct level of inspection
5 for a plant, is that what we're after there?

6 MR. PALESANO: I believe what we're after in
7 question number 1 relates back to a comment that was
8 made at the public meeting yesterday. We were talking
9 about the chart and the chart, whatever, how many ever
10 numbers you put in the chart, you know, whether it's 5
11 levels of inspection or 12 levels of inspection, what
12 we're really trying to get at in the first question is
13 what information should we use to make that
14 determination for the appropriate levels of
15 inspection. Did that help?

16 MR. GOVRO: Yes.

17 DR. CARPENTER: Okay. Are you okay, Mike,
18 or do you have another question?

19 MR. GOVRO: Okay. And then maybe to kind of
20 take it where you were going, David, obviously there's
21 a lot of information that should be collected daily
22 from the inspections that are done by FSIS employees

1 and that is going to give us particularly, if those
2 are ranked with regard to criticality, that's going to
3 give you a pretty good indication of how that plant is
4 doing relative to the requirements. What I -- let me
5 throw something out as an idea that might be used in
6 risk.

7 Obviously temperature control times,
8 temperature relationship are important in the
9 production of any food product. So I would, I would
10 say that maybe it would be useful to look at the
11 plant's heating and cooling capacities relative to the
12 volumes that they produce and their ability to heat
13 and cool quickly and properly. If a plant was
14 constantly at the edge of the capacity, I would
15 consider them to be a high risk, than a plant which
16 had adequate or more than adequate capacity to heat
17 and cool. Am I on the right track there?

18 MR. PALESANO: This is Bobby Palesano.
19 Again, I think you're looking at the establishment
20 level. What we're really looking at is -- the comment
21 was made yesterday that when I put the chart up or
22 after I put the chart up, that there were five levels

1 of inspection on that chart. The question was asked
2 of me how did we determine those five levels.

3 So what we are looking for more broadly is
4 the process that we use to determine those levels and
5 how we would support the levels that we selected. And
6 in our example chart, Mike, there were five levels.
7 What information do we need to support that five
8 levels of inspection is the correct number. Okay.
9 Does that help?

10 MR. GOVRO: Yes.

11 DR. CARPENTER: Mike Kowalcyk.

12 MR. KOWALCYK: Thank you. Michael addressed
13 the one question I had about the first question. I
14 think question 2 and, David, you talked about the
15 essential activities for a Level 1, and I would
16 caution against getting caught up in levels, just to
17 Bobby's point as well as we don't know how many levels
18 there are going to be essentially, and if you think
19 about it, I think about what I taught in graduate
20 school, you have classes where these levels are always
21 going to be changing. If you're looking at a system
22 that's constantly using data to provide some type

1 of -- I look at this as some type of score card based
2 on plant attributes as well as the product that the
3 plant is making at that given time. It's possible for
4 a plant to go across multiple levels during a year. I
5 mean the types of data we're looking at bringing into
6 this process, it's not unrealistic.

7 Now we don't know what attributes are going
8 to be most indicative. We saw some evidence on the NR
9 analysis that kind of points us in one direction, but
10 I think the question here is if the statutory
11 requirements aren't necessary on the table, then
12 wouldn't the least risky -- the least risky would
13 always be Level 1, because that would be you're A+
14 students in the class. And as the system, if the
15 system is successful, the average test score is going
16 to go up. So everybody on average is going to lower
17 its risk. Even if the bottom, they're not as risky,
18 they're still your riskiest. So you should still pay
19 attention to that because you always want to force
20 that to drop.

21 So the essential inspection activities for
22 me for the least risky, shouldn't that default to the

1 minimum as required by the statutes? I don't see if
2 there's -- to me it seems clear to provide no changes
3 in the rules and regulations, why would you go, why
4 would you go beyond that or below that level of
5 inspection? That's just a question that I have out
6 there related to this because it seems like the least
7 risky group.

8 Now within that group there's going to be
9 some variance because you're going to have, I don't
10 know, maybe it's got 100 plants, the number 1 plant
11 and number 99th plant, they have different levels of
12 risk. So what do you do with that? So again it gets
13 to, how many levels do you have? So that's just a
14 concern I have going into this.

15 DR. CARPENTER: So let me get it clear so
16 Rob can capture it. I mean you're saying that a Level
17 1 plant, if we arbitrarily say the best, you're going
18 to apply or you're suggesting that we apply the
19 minimum statutorily required inspection for that
20 plant. Is that what you're saying? So at the Level
21 5, we ought to be putting five times the effort into?

22 MR. KOWALCYK: Well, I don't know how many

1 times the effort is because a Level 5 might be 100
2 times more risky. We don't know. So a Level 1, you
3 need to have some minimum threshold of inspection
4 system, like what are you doing today, and what would
5 you not do if you found the plant was less risky, and
6 maybe that goes into we're looking at implementing
7 some type of process that would use this data.

8 You say I have, I don't know, Carpenter's
9 Meat Processing Plant, okay. This is how we are
10 inspecting them today. You have a stellar record and
11 you would be classified as a Level 1. Now what does
12 that mean? Does that mean we cut back on inspection?

13 Well, I would argue that you certainly would not want
14 to cut back inspection because there is a possibility
15 that if inspection became lax, you could move into a
16 Level 2 or a Level 3.

17 DR. CARPENTER: Uh-huh.

18 MR. KOWALCYK: Because there could be things
19 in there that are helping you manage your business and
20 that's part of your process. So that's why I have
21 that concern that if you reduced inspection, that
22 would go below statutory requirements and that

1 involves changing the rules and I think that's outside
2 of the realm of this question.

3 DR. CARPENTER: You know, I've always felt
4 that minimum statutory requirements is subject to
5 interpretation, and I may be wrong. Dr. Masters could
6 probably correct me, but I think if FSIS is not intent
7 on backing away from statutory minimum requirements,
8 but I think you're asking us to help them apply
9 resources in a way that is conducive to giving greater
10 attention where greater attention is needed. I don't
11 know if I said that well, but if you go from Level 1
12 to Level 5 plants, and 1 is consistently good, I, you
13 know, Mark, maybe you could answer that. If you're a
14 Level 1 plant and you're good and you get five marks
15 of inspection all the time, and -- are you going to
16 want to do things that make you drift off to 2 or 3?

17 MR. SCHAD: To answer your question, no. I
18 was thinking about what Mike said, and I don't think
19 Mike is too far off as far as the answer to question 2
20 because I was thinking, what if say you had a Level 1
21 plant and the inspector was -- he was going to come in
22 every day to meet that requirement, the daily

1 inspection, but what would he do on a Level 1 plant,
2 or the safest plant there is, and he would make his --
3 come in every day. He would make sure that the HACCP
4 plan or the food safety system is being implemented,
5 the SSOPs are being met and, you know, he would do
6 that by inspecting records, SSOPs, you know, do a
7 sanitation pre-op, and I think that's what he would do
8 on the Level 1 plant, that that would be -- my
9 understanding is that that would be meeting the
10 statutory requirements.

11 DR. CARPENTER: Okay. So -- I mean it would
12 be difficult -- unfavorable to put yourself in a
13 position to go from a 2 to a 3 or a 3 to a 4.

14 MR. SCHAD: You know, personal opinion, I
15 would not want to, you know, move out of that.

16 DR. CARPENTER: But Michael still has the
17 concern that without inspection, you can't be sure of
18 that. Jenny, I'm sorry. Go ahead. Fire away.
19 You've got some input.

20 MS. SCOTT: And sort of to play on what
21 Michael was saying, which takes us back to the first
22 question, as I interpret Bobby's interpretation is

1 basically how do we decide how many levels you want.

2 Now given there's a statutory requirement
3 for at least daily inspection, you have to start
4 there, and then you have to say, well, within the
5 district, there's a finite number of inspectors,
6 inspection hours that you can distribute across the
7 plants at these various levels, and so we want to
8 focus more at the higher levels and less at the lower
9 levels. And I will put it back on the Agency. How
10 many levels could you really manage? Does it make any
11 sense to have 10 different levels? Can you really
12 distinguish different amounts of inspection based on
13 that? And in my way of thinking, five is probably
14 about the most that you could adjust the inspection
15 resources and have any kind of significant differences
16 between the levels and something that's meaningful.

17 DR. CARPENTER: And the Level 5, it's
18 probably fluid at this point, you know, like Bobby
19 said, you start off at 3 and they went to 5 and Dr.
20 Raymond said, you know, why don't we make it 4 by 4
21 and that's 16 and I think the Agency is going to have
22 to determine once you answer these questions. Bob, go

1 ahead.

2 MR. McKEE: Yeah, Mike hits on a real
3 important point, and the point is that regulatory
4 compliance is mandatory to our plants to operate. I
5 mean that's the minimum standard. So if you've got a
6 plant in category 1, in my mind, that's the plant that
7 meets all of the minimum requirements but then goes
8 beyond and has certain interventions and prerequisite
9 programs that insure ongoing compliance with that
10 regulatory requirement.

11 We're not going to change the number of
12 visits. We're going to go there every day, but under
13 RBI we may have the latitude to adjust our inspection
14 intensity which is very important because it deals
15 with the amount of time that we're going to need to
16 spend at each location. So we may be able to satisfy
17 our concern that the plant is complying in a Level 1
18 plant by doing a review of records, a spot check of
19 their monitoring practices, whatever it might be on a
20 particular day, where when we go into that Level 5
21 plant, we're going to kind of want to set up our stuff
22 and spend maybe 3 or 4 hours looking at records,

1 justifying their monitoring activities. We're going
2 to assure ourselves that this plant is going to comply
3 now. We're going to be in a position to identify
4 those failures, document them and either move them to
5 a lower level or out of the system, and I think that's
6 kind of the intent in my mind with RBI.

7 DR. CARPENTER: Okay. Good think. Kim. It
8 is Kim, right?

9 MS. RICE: Yes, it is Kim. It's not Bobby.
10 I just wanted to -- just more of the same I guess.
11 Once product comes through anyone of these levels and
12 bears the mark, it's all equal. The mark makes it all
13 equal. It says it has met the statutory requirements,
14 it is safe and it is wholesome, once the mark is on
15 the product and it leaves the plant.

16 The level determines how much help I get
17 from this gentleman and this gentleman every day, day
18 in and day out, and like he said, whether they spread
19 it out on the table and set up their computer and
20 decide to spend the week, or whether they're there,
21 take a walk through, look at a couple of pieces of
22 paper, make sure we are monitoring according to how we

1 are supposed to monitor, you can get a general feel,
2 anybody who has spent any time in plants, just by
3 walking through, how things are going. So I would say
4 that just keep in mind that once product comes out of
5 one of these plants, regardless of the level, and
6 there's the mark, it's all equal.

7 DR. CARPENTER: Bobby.

8 MR. PALESANO: Yeah, this is Bobby. Just
9 more of a point of clarification to try to help the
10 group so they will understand, that in order to meet
11 the statutory requirements, we have to visit the
12 establishments daily. So the frequency at this point
13 in time is not optional.

14 What we do when we visit daily is something
15 that question number 2 actually addresses. So now
16 we've got an establishment in Level 1, what is it that
17 we should do there that is of utmost importance for
18 us, as far as the methods are concerned why we are
19 there, keeping in mind that if we are looking beyond
20 turning the scheduler off, we are no longer bound to
21 the particular procedures that we may have in place
22 today.

1 So if Mark has a plant and his plant is in
2 Level 1, what would be the most important things that
3 inspection personnel to focus on during their visit to
4 a Level 1 establishment?

5 DR. CARPENTER: So -- go ahead. I'm sorry.

6 MR. SCHAD: I just wanted to follow up with
7 what Bobby said, and this is just my viewpoint of it.
8 A lot of this goes back to the food safety system
9 design, and if the food safety system design is a good
10 one, then the inspector comes in, and the CCP or CCPs
11 are being met, then everything else should fall into
12 place. That's my viewpoint on that. So if you're
13 asking what an inspector would look at, I don't think
14 you would call that an assumption up front, but if a
15 FSA [Food Safety Assessment] has been done and the
16 EAIIO [Enforcement Analysis and Investigations Officer]
17 says, you know, this is a good, this is a good design,
18 this is a good plan and the CCPs are being met, then
19 the end product should be safe and wholesome.

20 DR. CARPENTER: Another question, Bobby, or
21 are you all done?

22 MR. PALESANO: Don.

1 DR. CARPENTER: Okay. Kim, I'm going to go
2 back to your point, once it gets the mark of approval
3 or inspection, going out the door, they're all the
4 same. Is that what you said?

5 MS. RICE: Yes.

6 DR. CARPENTER: Okay. So that mark is a
7 fix. When the inspector is in a very objective way
8 satisfied with the controls of the process in the
9 plant, okay, could it be that that mark of inspection
10 would go on a product and the microbiological quality
11 of the product coming in the door was less than fully
12 acceptable and not known. How would you know going
13 through the process that you had, that you had
14 processed a, you know, sub-par product, raw product?

15 MS. RICE: And I think that goes back to
16 Mark's point, that it is all based on the food safety
17 system design, that you are part of your food safety
18 system design, understanding whatever the criteria is
19 for start to finish, and I believe that the regs read
20 before, during and after the product leaves the
21 establishment. And that's the standard we're held to.
22 And if you use a real live example, and I've been out

1 of the ground beef side for a while, but it's my
2 understanding that ground beef suppliers are required
3 to take into account the testing programs of their
4 suppliers, and also as part of their food safety
5 system design determine and develop testing programs
6 to insure the safety of a raw product leaving their
7 facility, and all ties back into their food safety
8 system design.

9 But it's the Agency's statutory charge, if
10 you will, that once that mark is on the product, they
11 have insured that it has met whatever the requirements
12 are.

13 DR. CARPENTER: Okay. I asked that
14 question, Kim, because the question specifically
15 addresses what information should we use to support
16 optimal levels of inspection. It's actually a complex
17 question. What's the optimal levels of inspection?
18 And what information do we need to use to support
19 those inspections?

20 Okay. So going back to what Mark said, and
21 Mark and I have had some conversations about, he knows
22 who his suppliers are and comes up with the best or is

1 one supplier better than another. So is that
2 information that every processor should embrace and
3 make part of their SSOPs? You know, I want to know
4 the microbial quality. I want to know the kind of
5 SSOPs you have in your slaughter plant. Mark doesn't
6 slaughter for instance, et cetera, et cetera, because
7 I think Bobby's looking for that information.

8 MS. RICE: Well, I think that first question
9 at least in my mind and I apologize, I'm not on the
10 Committee, but I'm answering a lot of questions, is it
11 goes back to the wheel, the data wheel with the six or
12 five, if you take food defense out, those, those are
13 the parameters or the input I believe as it was
14 discussed yesterday that talked to determining the
15 establishment risk or the X axis versus the inherent
16 product risk which is the Y axis which in the diagram
17 that they put forth with the five levels, or three, if
18 that one was taken out, both of them though had the X
19 and the Y axis with those inputs. So I think question
20 1 goes back to that. Are those the right pieces of
21 information that should be used? At least that's my
22 view of the world and maybe I'm wrong.

1 DR. CARPENTER: Okay. Michael.

2 MR. GOVRO: As I understood Bobby to answer
3 the question, that's not the question. The question
4 is how do we decide how many levels there should be
5 and what information do we use to decide that as
6 opposed to what I thought the question was in the
7 beginning which was how do we -- what information do
8 we use to appropriately place the firm in the right
9 level. Did I get it right?

10 MR. PALESANO: You got it right, Mike.
11 Actually what we were trying to get at with the first
12 question, which was related to a comment that was made
13 yesterday, and we only used those five levels again as
14 an example. It could be 25 if that were the optimal
15 levels of inspection. But the comment was made did
16 you arbitrarily pick five levels or was there some
17 basis for those five levels? And if there was a
18 basis, what was it? So we're trying to put that back
19 on the Subcommittee today to help us know what kind of
20 information should we use to support whatever those
21 levels are, whether it's 5 or 25.

22 DR. CARPENTER: That's pretty fluid. The

1 number of levels. I mean we know we'll have a Level 1
2 because that's the first one, because that's referred
3 to in questions 2 and 3. We have one. Where we go
4 from there -- the comment that Jenny made --

5 MS. SCOTT: I mean we have to think about
6 what we're trying to achieve when dividing up these
7 different levels, and that really is how many -- how
8 much of the inspection resource should be devoted to
9 each of these different levels, and again to be -- you
10 can't infinitely divide that up and have any kind of
11 meaningful difference. So, you know, maybe we ought
12 to think a little bit about what different goals we
13 would be focusing on at different levels, and that
14 might help tell us then how many we would really need
15 to achieve those different goals.

16 DR. CARPENTER: And maybe we ought not try
17 to get ourselves bogged down right now, what's an
18 optimal level. Once the Agency has got a whole ton of
19 data, they can come back to us six months from now and
20 say, it looks like 8's the perfect. I don't know.
21 Andrea.

22 DR. GRONDAHL: I guess I'm having a really

1 hard time coming up with anything for question 1
2 because it is a very complex question, and it just
3 seems to me that that seems like more of a last
4 question that could be answered rather than one of the
5 first questions, and that maybe it would be easier to
6 look at what inspection activities are necessary for
7 Level 1, and then question 3, what inspection
8 activities do you consider necessary above Level 1,
9 and those are both difficult questions, in my mind,
10 too. How do you answer that and I guess the only
11 thing I'm thinking is, you know, maybe you need to go
12 back to looking at the expert elicitation or
13 inspectors and say, okay, if you have, you know, what
14 we're looking at as Level 1, a low risk product with
15 good establishment risk control, you know, what's
16 absolutely -- what's the minimum necessary to control
17 the risk of that product as far as inspection
18 activity, and then looking at the highest risk and
19 doing the same thing. What inspection activities are
20 necessary? I think, you know, in my mind I'm thinking
21 about the various PBIS procedures and, well, what, you
22 know, that's a really hard thing to answer. I think

1 it almost takes a focus group or expert elicitation or
2 something to start looking at, you know, what product
3 are we talking about. And if you have like an
4 establishment control, you know, what inspection
5 activities do we need to do, how much time is that
6 going to take, and then how many levels are between
7 that and Level 1. -- Okay. Mike Govro.

8 MR. GOVRO: I was going to suggest that
9 perhaps we, for the sake of discussion, we start with
10 five levels and then discuss more levels and fewer
11 levels and list the pros and cons of having more or
12 less and perhaps we could then come up with something
13 that would point us in one direction or another. Does
14 that make sense? I'm just throwing that out.

15 DR. CARPENTER: So do you think we would be
16 in a position to list what are associated with each of
17 the levels in terms of inherent product risk and risk
18 associated with an establishment? Go ahead. Just
19 elaborate.

20 MR. GOVRO: More or less. If we said let's
21 say the number we've chosen arbitrarily is 5, let's
22 talk about 6 or 9 levels, and what would be the

1 advantages of having more levels, and what would be
2 the disadvantages and, you know, Jenny's already
3 mentioned some possible disadvantages to having more
4 levels. What would be the advantages and
5 disadvantages to having three levels. I don't think
6 you can go much lower than that, but -- and then see
7 if we could -- see if anything shakes out as we make a
8 laundry list of pros and cons.

9 DR. CARPENTER: Okay. It could work. Mike
10 Kowalcyk.

11 MR. KOWALCYK: I would agree with that
12 approach. I think what we need to keep in mind is,
13 and this is related to the first question, too. What
14 information should be used? Well, in looking at --
15 just looking at establishment risk and looking at the
16 data wheel, around this data warehouse, we saw a good
17 example on NRs and some good analysis that was put
18 into that, to get an understanding as to what type of
19 relationship there is with respect to certain types of
20 NRs. Now similar methodologies can be undertaken to
21 look at, I don't know, FSA outcomes. What are
22 elements from those data sources that can be used in

1 some type of system? Pathogen control, in-commerce
2 findings, public health data or attribution data that
3 could be linked up at a facility level. And then to
4 make it even more -- unfortunately more complicated,
5 then you've got interactions across all these data
6 sources. Because your pathogen control results are
7 probably related -- there probably is a correlation
8 with the number of NRS if the inspectors are seeing
9 things that are causing contamination.

10 So -- I don't know if there's the research
11 out there that shows any correlation yet. So using
12 the information, there's a wide variety of data that
13 comes into this, and then I mean I'm looking at it as
14 the Agency looking at building a statistical model
15 using regression techniques to develop a score. So
16 then this gets into forming levels then. Let's say
17 we've got a score and what is the score's range? I
18 mean going back to the stats 101, you can look at
19 distributions of data and some of them are very
20 skinny, some of them are very wide, some of them are a
21 normal bell curve, some of them are highly skewed.

22 So levels, I mean for the sake of our

1 discussion, sure, 1, 2, 3, 4, 5 is fine, but what is
2 the spread of those levels? I mean how different is a
3 Level 5 from a Level 4? It depends on the data that
4 goes into it, and I mean you could have other
5 confounding factors that Mark and I were discussing,
6 such as plant size. Do you want a small plant like
7 Mark's in the same distribution or the same -- should
8 it be modeled the same way as a large ConAgra plant?
9 Maybe, maybe not. I don't know. I don't know if
10 anybody knows that at this point. So I think the --
11 looking at Level 5 is your most risky, how are they to
12 be dealt with? Level 1, your least risky, and then in
13 between. I mean it's -- I think it goes back to what
14 data would we recommend the Agency to look at and to
15 investigate and then to use that additional
16 information to aid the allocation process and how the
17 inspectors are allocated. You have a minimum
18 requirement. So you need daily visits, and then even
19 that daily visit can be components of, you know, you
20 spend a small amount of time there but there's riskier
21 parts of that plant's process that, okay, you don't
22 have to spend four hours there, but in your hour, make

1 sure you look at this.

2 So there's even another risk assessment
3 that's kind of at that level, and I think we want to
4 make sure that the inspection force is trained well
5 enough to -- and still have that latitude to make
6 those decisions in the field. So I don't know if I
7 helped answer any of your questions, but that's just
8 in my mind what drives this whole thing, if it's to
9 develop some type of score for each facility, that I
10 hope would be dynamic. I mean even from a producer's
11 side, if you come out and you've got a score that puts
12 you in a Level 4, you would, you know, you would want
13 to make efforts to move yourself up to a Level 2 or a
14 Level 1 over time. So --

15 DR. CARPENTER: Mark, I'm going to ask you
16 to talk -- I want you to address what Michael just
17 said. You feel the same way when you go to a very
18 small plant and you go to a ConAgra plant in assessing
19 overall Level 1, 2, 3, 4, 5, being theoretical. Okay.
20 Mark, go ahead.

21 MR. SCHAD: Yes, very quickly. I agree with
22 Mike Govro. I believe we need to pick a spot here

1 because we're bouncing all over the place. So let's
2 pick a number and start with that.

3 DR. CARPENTER: What if I said let's put all
4 the data in and then pick a level?

5 MR. SCHAD: Well, let me just say to that,
6 I'm glad we have a frontline supervisor here, not that
7 I'm going to put you on the spot right now, Bob, but I
8 think another think we have to think about this, is
9 the workability of it on a daily basis, the different
10 levels. I mean we can sit here and make this big
11 fancy picture and everything, on how many levels on a
12 piece of paper, but a frontline supervisor, they'll
13 end up directing these activities, so many hours for
14 this plant, so many hours to that plant, baby-sit this
15 plant, baby-sit that plant, you know, but from a
16 frontline supervisor's point of view, I'd be -- I
17 think it would be good input on workability on a
18 number of levels on a daily basis.

19 DR. CARPENTER: Robert's back here, we've
20 got these questions, we've got to get answers to them.
21 He's going to tap me on the answer pretty much and say
22 Dr. Masters says you're fired unless you start getting

1 some answers here, and he's here being a wonderful
2 scribe. So help us out, Bob.

3 MR. McKEE: I'd like to just make a blanket
4 statement and say that I'm very comfortable with five
5 levels, but I know Michael will challenge me
6 statistically, so I don't want to do that. But in my
7 mind, without getting real deep into it, I can see
8 where we could operate on five levels and certainly if
9 a plant were running between two and four, we would
10 have a fairly good level of competence, and then 2
11 would certainly start to -- I'm sorry. Four would
12 raise the flag for us. We would start to become very
13 interested, more interested in what was going on and
14 certainly with 5, so we'd be spending a lot of time.

15 Given that the 1s, 2s and 3s are all
16 generally in compliance, meeting all of the
17 requirements, at least the way it's laid out, I would
18 feel comfortable with that. But then as we start to
19 edge up into Level 4 and 5, I think we need some more
20 latitude to be able to really focus there.

21 So I think what we need to be careful of is
22 setting up the parameters for each level, and for

1 movement between those levels.

2 DR. CARPENTER: Bob, share with us, if you
3 approach a very small plant and if you approach a
4 ConAgra plant, I mean do you based on previous data,
5 do you -- about those plants, would you be in a
6 position to slot them at a certain level or would you
7 look at a really big operation very differently, when
8 you look at all the aspects of their operation? Or
9 can you be objective regardless of size?

10 MR. McKEE: We really have interest and
11 concern in the food safety systems, whether it be a
12 ConAgra or a very small plant. If that food safety
13 system is all-inclusive, and it's written properly,
14 implemented properly, there's not a great variation in
15 what we're going to find. Usually when we get into
16 problems, it's in a plant where the design is not what
17 it should be or the implementation doesn't match the
18 design. So if you've got a good foundation in food
19 safety plans, you can pretty well expect that you're
20 going to have success if they're implemented properly.

21 DR. CARPENTER: Good. Thank you. Gladys.

22 DR. BAYSE: I don't know if this is

1 subliminal, but as a teacher, the five really sticks.
2 We call it A, B, C, D and F, and in the course, I'm
3 wondering if we all sort of weren't thinking about
4 that. We also have pass/fail courses. And, in fact,
5 we have B-, B+ and so forth. So I think it seems
6 to -- but it's very -- it's more than apples and
7 oranges. It might be like herding cats. I've heard
8 that expression. I think it's really difficult and
9 that's why we're struggling with all these things, but
10 I like five.

11 DR. CARPENTER: Felicia.

12 MS. NESTOR: I just wanted to remark on
13 something that Bob said. You were saying something
14 about plants 1, 2 and 3, are in compliance, but my
15 understanding of the chart is that a plant 3 could be
16 one of the least compliant plants if it's making a low
17 risk product, right? Am I right about the chart?

18 Okay. So we can't assume that a plant 3 is
19 following the regs.

20 UNIDENTIFIED SPEAKER: Well, and Kim says if
21 they're not meeting the minimum standard which is the
22 regulatory requirement, the product is not going to

1 ship. The HACCP plant or the SSOP has to be met. If
2 they're not meeting critical limits, the product would
3 not be eligible to be shipped.

4 MS. NESTOR: Yeah, but the presumption of
5 this whole thing is that all plants, 1 through 5, are
6 shipping. All of these plants are in business and
7 shipping, even though they may be making a high risk
8 product and have a number of NRs. I mean I think
9 it's, you know, it sounds good to say that a product
10 that bears -- that has the seal meets the
11 requirements, but I don't think in actuality that is a
12 factual determination. A lot of times it's the result
13 of the fact that, for instance, an inspector might not
14 have gotten to that plant that day, but it still bears
15 the seal. I don't know why you're shaking. Some
16 plants, inspectors don't get to them every day and
17 they still produce product with the seal. I don't
18 understand how that could be theoretically wrong.

19 DR. CARPENTER: Kim.

20 MS. RICE: Kim Rice. My understanding of
21 the regulations and the statutory meaning of -- legal
22 meaning of the mark, if the product bears the mark,

1 and it has been made available for inspection, then
2 they've met the minimum requirement legally. Now if
3 the Agency has taken action whether it's in plant, a
4 regulatory control action in the plant, and retained
5 the product or detained the product, retained the
6 product at the plant, and the plant ships it, yeah,
7 then we're in a whole different realm, and it's not --
8 it falls completely outside this little diagram.

9 Now it may move them from, you know, over
10 here on the 3 -- down here on the 3 up to the 5
11 because they've done that once, but still once the
12 product is in the marketplace and bears the mark, they
13 theoretically met the minimum requirements --
14 statutory and regulatory requirements.

15 MS. NESTOR: Theoretically, but it can still
16 kill somebody.

17 MR. McKEE: We could be dealing with a high
18 risk product like ground poultry or ground beef, and
19 that may automatically put that plan into a risk 3
20 which doesn't mean they've violated anything. It just
21 simply tells us that they're dealing with a higher
22 risk product, and certainly we would deem that plant

1 to be in compliance and then expect that they would
2 continue to ship product. So I'm not sure if that
3 helps you out or --

4 MS. NESTOR: Well, I know --

5 DR. CARPENTER: Felicia, please.

6 MS. NESTOR: I know that on the chart
7 there's a plant Level 3 that is in compliance and it's
8 one of the best compliant plans, but has a high risk
9 product, but there is also another Level 3 which is a
10 very low risk product that is a non-compliant plan, or
11 one of the least compliant plans.

12 DR. CARPENTER: So the point being made is
13 you could be a wonderful plant, a great operation,
14 fantastic control of the process but always dealing
15 with a high risk product, you'll never get better than
16 a 3. Is that true?

17 MS. NESTOR: That's my understanding of the
18 chart as presented by FSIS.

19 DR. CARPENTER: So maybe that argues for --
20 oh, we did get a flipchart. Thank you, Michael.

21 MR. McKEE: And we do have an enforcement
22 system in place --

1 DR. CARPENTER: Bob McKee.

2 MR. McKEE: -- and if we have plants that
3 are dealing with low risk products that are
4 gravitating to an area where we can demonstrate non-
5 compliance, we will take enforcement actions. A plant
6 may not necessarily have to go to a Level 5 to fall
7 into an enforcement action, and again that's going to
8 be based on our knowledge at the circuit level of that
9 plant, the inspector's judgment and supervisory input.
10 So there are ways to encourage those people to come
11 back toward the left-hand side of the box.

12 DR. CARPENTER: Dr. Masters.

13 DR. MASTERS: I've seen Mike has taken over
14 what I was going to suggest, even though we had come
15 up with three questions we thought would be helpful, I
16 really like the suggestion of going through pros and
17 cons on different levels because I think this is an
18 area that would be very helpful feedback to the
19 Agency, the pros and cons of different levels of
20 inspection. Because until we get some decision around
21 the number of inspection levels, it becomes much more
22 difficult for us to make some more affirmative

1 decisions as to what those inspection levels could do.
2 So I think this would be a very helpful exercise.
3 Although it's not one of the questions, I need to say
4 as the Committee we took over the Agency and marked
5 out one of their questions and gave us a new one and I
6 think that would be a fabulous approach. So we would
7 greatly appreciate it. I think this is very useful
8 feedback.

9 MR. GOVRO: I'll be the scribe and let's
10 just -- do you want to start with 5 or --

11 DR. CARPENTER: Jenny, please.

12 MS. SCOTT: Jenny Scott, and before we go
13 into doing this, I think this is a really good idea.
14 I want to make sure that we're not thinking of a Level
15 1 box, we'll just spend this much time in a Level 1
16 plant. I'd like Gladys' analogy of the grades with
17 the A+ and B+ because if you think about a Level 1
18 plant, and you know, this is low risk product and
19 generally in control, you're still going to have very
20 small plants and large plants, and you're going to
21 have to do more in the large plants because there's
22 more to oversee even though they're low risk.

1 Likewise, in a Level 3 plant, I see a difference in a
2 low risk plant that's highly variable in their
3 controls, and a high-risk product that you have very
4 good control. You would still have a different level
5 of intensity in what you do in there. So it comes
6 down to what things we would want to do with respect
7 to those and there's going to have to be some judgment
8 call in this. That being said, we can go to the pros
9 and cons of the different levels.

10 DR. CARPENTER: So before we get -- Michael,
11 do you want to make an input before we get to the
12 chart?

13 MR. KOWALCYK: Yeah, I want to clarify the
14 statement I made earlier. I don't know if it caused
15 confusion. I think the reason why I said that, you
16 know, there may be more than five levels, we don't --
17 I think FSIS wants to use this as a management tool.
18 I don't think this is, while the goal is to push
19 everybody into a Level 1 because, you know, the grade
20 example, if you're teaching a class and the grade
21 distribution goes down, the lowest score is an 80,
22 that's still the lowest score. Okay. And maybe the

1 push is to get everybody's risk scores lower. Now it
2 depends on how that score is assigned really matters
3 in ranking everybody and how you segment that. That's
4 up for debate, and I guess that's a management
5 question is, you know, what is workable for the
6 Agency.

7 Thinking about my job, when I allocate work
8 to analysts in my team, I'm not going to give one
9 analyst let's say three Level 5 projects, okay. I'll
10 give that person a Level 5 project, maybe a Level 2
11 and two Level 1s. And it's about allocating
12 inspection resources, i.e., inspection intensity, then
13 maybe that -- maybe five levels is fine. Maybe three
14 levels is fine. That's for the Agency to consider but
15 again, it's how, within each level, what's the
16 disparity and risk. And, you know, that -- I don't
17 know what that is until I see what goes into creating
18 that score. Mark Schad's establishment gets, I don't
19 know, a very favorable risk score of like 5. Well,
20 what does 5 mean? What's the range of scores? So 5
21 levels for practical purposes, probably a reasonable
22 place to start. So I'm not opposed to that, but I

1 just want it to be considered that within score
2 ranges, there's going to be differences like the
3 example like A+, A-, or that. So five levels is a
4 place to start for practical reasons, yeah, fine.

5 DR. CARPENTER: All right, Michael. Thank
6 you.

7 Michael's got up for discussion, pros and
8 cons of five levels or pros and cons of six levels?

9 MR. GOVRO: The first one I wanted to
10 capture because it's already been thrown out is what
11 Gladys said which is people can relate to five steps,
12 to the grading system. I'm trying to think how to
13 write that down briefly.

14 DR. MASTERS: I would say familiarity which
15 something that people do relate to when you're going
16 through a significant change. I think it's a well-
17 known factor that you would try to have something that
18 people can relate to, that's familiar to them.

19 DR. CARPENTER: So familiarity grading.

20 MR. GOVRO: I would suggest that it provides
21 a reasonable level of differentiation between the top
22 and the bottom, and another thing I wanted to bring

1 up, this is kind of a side note, but I'm a little bit
2 concerned that as we rate these 1 through 5, we really
3 have two different things that we're talking about,
4 you know, the X and the Y axis, and it might be more
5 useful or less a source of confusion if we used a two
6 number grading system, a 1/1, 1/2, 1/3. My concern is
7 that we would look at one that was a Level 3 and you
8 look down that diagonal axis and you would be saying
9 that a plant that was a low risk product with poor
10 controls was -- had an equivalent risk to a product --
11 a plant that had just the opposite, a high risk
12 product but was run very well, and I know that to some
13 degree industry is concerned with the public
14 perception of their number, and I think it's
15 important -- and I just throw that out as a suggestion
16 that we could do a two number system. So I'm going to
17 write down differentiation. You're free to disagree
18 with me if you'd like.

19 DR. CARPENTER: Go ahead. Jenny. While
20 Michael's writing.

21 MS. SCOTT: Jenny Scott. I just heard a con
22 on this, that you have plants that are the same level

1 but that level doesn't reflect necessarily the same
2 thing because the level incorporates both the inherent
3 risk and the establishment risk control.

4 DR. CARPENTER: Andrea.

5 MS. SCOTT: I'm not sure we would want two
6 numbers but maybe you would want numbers and letters.
7 Letters on one access, numbers on the other.

8 DR. CARPENTER: Okay. And so for all of us
9 numbers and letters product and establishment process.
10 Help me out. Is that what we're looking at Michael?

11 MR. GOVRO: Yeah, didn't we say the same
12 thing pretty much. Yeah, that the risk would not
13 necessarily be equal between two firms that were at a
14 Level 3.

15 DR. CARPENTER: Okay. Andrea.

16 DR. GRONDAHL: I think it's already been
17 said but I was just going to add a little to what Mike
18 and Jenny both said, just because right now the way
19 the sample chart is, if you have someone producing a
20 high risk product but good establishment risk control,
21 they're already policing themselves. They're not
22 going to need the same amount of inspection activity

1 that a plant producing a low risk product but doesn't
2 have good as controls needs. So I think you need to
3 differentiate that and I think that's a con of the
4 current five level system.

5 DR. CARPENTER: Andrea. Thanks. Felicia.

6 MS. NESTOR: I'm really struggling on here,
7 but just based on everything that everybody's saying,
8 someone was just mentioning a 1/1, a 1/2. What is
9 going to be the distinguishing feature between levels
10 and inspection. I mean is it going to be tasks that
11 get performed or is it going to be amount of time,
12 because like Jenny was saying, if you've got a very
13 small plant at a 2, it's going to take less time than
14 a very small -- a very large plant at a 2. So I don't
15 know, I mean how helpful is that going to be to the
16 Agency. Is the Agency then going to have to deal
17 with, well, this is a 2 at a large plant or this is at
18 a large plant that makes 40 different products or this
19 is a 2 at a large plant that makes one product? I
20 mean it seems like there's so many different factors
21 that could factor into what the activities are plus
22 how much time one inspector has to spend in doing it.

1 So I don't know how, I don't know how that's going to
2 be helpful to the Agency.

3 MR. GOVRO: Okay. You guys can correct me
4 if I'm wrong on this. I would have to say that that's
5 probably a question for another day. The Agency's
6 asked us just to come up with a rating system. I
7 think we should focus on that today, but you're right.
8 It's going to be problematic and difficult and I'm
9 sure they're up to it.

10 DR. CARPENTER: Jenny, did you want to add
11 something else?

12 MS. SCOTT: Jenny Scott. I was just saying
13 that it's going to be a combination of the amount of
14 time and the type of tasks that are performed that are
15 going to be different at these different levels, and
16 clearly that is something that is going to take some
17 in depth discussion.

18 DR. CARPENTER: Okay. Thanks. Michael.

19 MR. KOWALCYK: Michael Kowalcyk. Looking at
20 the level assignment using the values of the cell. So
21 you've got low risk, consistent establishment risk
22 control, they're a 1/1. Okay. I'm an inspector. I'm

1 assigned at a Level 3 level of inspection, okay, for
2 an establishment that has the highest risk product
3 category, consistent control. So you would be a 3/1.
4 I'm also assigned to Level 3 that has more variable
5 establishment control, but a low risk product.
6 They're both ranked as a Level 3, but the reason
7 they're a Level 3 is because for different reasons.
8 So my focus obviously with that upper left-hand side
9 should be product related. Is it more microbial
10 testing? Because I know this plant. They're doing
11 the best job they can process-wise. I mean they're
12 following their plan. Their NR records are good. But
13 then you're focus -- so maybe having that level of
14 granularity is a management tool rather than just a
15 Level 3 might be more useful because then it -- and
16 then it also begs a question, is it appropriate to
17 weight product risk and establishment risk at the same
18 level? That's a question for another meeting I think
19 but --

20 MR. GOVRO: I think that's what we're
21 getting to here with a two digit designation, is that
22 a 1/3, the Agency would not necessarily have to look

1 at that in terms of assigning time and intensity as a
2 3/1.

3 DR. MASTERS: I want to ask Michael a
4 clarifying question.

5 DR. CARPENTER: Dr. Masters.

6 DR. MASTERS: It gets to -- Barb Masters --
7 gets to question 3 but asking it in a different way.
8 We were asking what inspection activities you would
9 consider appropriate to perform above a Level 1, and I
10 think now is really doesn't matter what level you're
11 at with the approach you all are considering, and as I
12 understand what you're suggesting, Michael, is that
13 you're considering that since we in this five square
14 analogy that -- or five levels that we came up with,
15 that if the level is higher because you're high on the
16 inherent risk category, compared to the establishment
17 risk control category, you think the inspection
18 activities ought to be related to the product as
19 opposed to the establishment risk control. And so
20 you're, and I think, if I understood you correctly,
21 I'm hearing the answer a little bit to question 3,
22 that depending on where they land on this chart, might

1 drive something as far as what their inspection
2 activities are.

3 MR. KOWALCYK: Yeah, I would say that's
4 accurate. I mean it's a simple example but I think it
5 makes sense that there's a reason why that facility is
6 at a Level 3 or Level 4 or Level 2, and what are the
7 driving factors. Is it because the product's so
8 risky? Is it because the process, there's a lot of
9 holes in it, and that would help. If the true goal is
10 to efficiently allocate resources, that may help get
11 you there. So I think that should be considered as
12 the decision making process.

13 DR. CARPENTER: Mark.

14 MR. SCHAD: Yeah. I'm just going to put my
15 two cents worth in as a small plant owner. I think
16 that's an excellent -- I agree with Mike. I think
17 that's an excellent way of doing it. That's definitely
18 a pro in my opinion, of the two digit.

19 MR. GOVRO: Okay. Do I need to capture
20 anything you've said, Michael, that's not already
21 here? Have we --

22 MR. KOWALCYK: I just think rather than just

1 the five levels capturing the detail as to what rank
2 they are with respect to product as well as to
3 establishment should be accounted for, how they're
4 categorized. Yeah, I would think that basically a
5 dual matrix approach where you're cell 1/1, 1/2.
6 Again, you know, we can go out to whatever number it
7 is, but for practical reasons, this might be
8 sufficient. At least it's a place to start, and I
9 think it helps drive the efforts, the inspection
10 efforts towards the issues that needs to be looked at
11 more so than just treating all Level 3s the same way.

12 DR. CARPENTER: Don Anderson.

13 MR. ANDERSON: Don Anderson. Just as a
14 point of clarification, in all the discussions that
15 I've ever had with the staff around the Agency, we
16 have never -- I don't think we've ever considered that
17 we would just give inspection force Level 1 or Level 3
18 or Level 5 without any additional information. We've
19 always known that we have to give them some deeper
20 information as to what gave rise to that number
21 because it may influence how they do inspections.
22 That's about all I could say about it. I don't know

1 all the details but that's always been an assumption.

2 MR. GOVRO: At the moment, I'm not seeing
3 any other hands. On the five levels or what we've
4 come up with, the dual 1, 2, 3, 3, 2, 1 system. Shall
5 we go to more and less and take a couple of comments
6 on those, so we've got something on the record?

7 DR. CARPENTER: Michael.

8 MR. KOWALCYK: I guess the answer I would
9 have is I don't know if that would be appropriate or
10 not, if we even have enough information. I think Mr.
11 Anderson raised a point that as far as, and then it
12 goes back to question 1, maybe I should table it for
13 later but providing that detailed level of information
14 to the inspection force, I mean I'm going back to
15 this, and I don't know what methodology the Agency is
16 going to ultimately use to generate this ranking but
17 you have all these data elements in here, even where
18 the plant's located, if this data would be summarized
19 in such a way wherein in the morning, you can go do
20 your inspection and say, here, this plant's on your
21 list today, they're in this level and here is the
22 information that's behind why they're in this level,

1 and say it's updated weekly or monthly, based on the
2 most recent data, this is why this plant is classified
3 here, and here's the things that you could probably
4 look at.

5 But I don't know where that fits in with
6 these questions but when you made that recommendation,
7 it seems like managing resources, I would hope that
8 your management, middle level management would be
9 directing them in that way and using this information.
10 Again, I don't know whether it would fit at level --
11 more than five levels, I don't know. Again, it goes
12 to how wide is that distribution score. It depends on
13 what the disparity is.

14 DR. CARPENTER: Kim.

15 MS. RICE: Yeah, this is for me, not Bobby,
16 and -- Kim Rice. We're sharing a name tag here. I
17 guess I'm kind of a simple, visual person. If we're
18 going to use an X and a Y axis, then four in my, you
19 know, the least number I could get is four, and you're
20 still on the same situation. So I don't think where
21 five gets you anything. I mean you get the same
22 detail but you're in the same position. So you have

1 less familiarity with four. You don't have the grade
2 association, et cetera. So I don't think less than
3 four is going to get -- or less than five, excuse me,
4 is going to get you much of anything else. If you
5 come off the inherent product risk and the
6 establishment risk, then it's all open season again,
7 and I think we start over where we did Tuesday, and
8 I'm not sure any of us want to do that again.

9 DR. CARPENTER: You know, I have to expand
10 on Gladys' academic analogy, just thinking about the
11 wheel, you know, ever -- when you start a class every
12 year, you give students a syllabus. Thirty percent of
13 your grade is your final, 25 percent is your midterm,
14 30 percent is your presentations and 10 percent is
15 your class participation. Do you do that for every
16 plant? Come up with a grade, A, B, C, D, E -- no, A,
17 B, C, D, F, you know what I mean.

18 (Laughter.)

19 DR. CARPENTER: Gladys.

20 DR. BAYSE: I know you don't want to go
21 there, but you know there's always the question, is an
22 A the same at Harvard as at Southern Illinois. So I

1 know we don't want to go there.

2 (Laughter.)

3 DR. CARPENTER: Good point. The pros about
4 less than five levels? I mean -- Mark.

5 MR. SCHAD: Yeah, this is Mark Schad. I'm
6 probably repeating the same thing but just looking at
7 the number of these potential factors that we're
8 putting into this thing, and not knowing what the
9 algorithm is going to look like, really specifically,
10 I just don't see how anything less than five is going
11 to work. You're going to come up with a number and I
12 don't know whether you're going to be rounding off,
13 you know, rounding up or rounding down. I just don't
14 see enough -- less than five where you're going to be
15 able to make enough distinction among different
16 plants.

17 DR. CARPENTER: Okay. Thanks, Mark. Jenny,
18 please.

19 MS. SCOTT: And I was just going to offer
20 words that says that less than five is not
21 discriminatory enough.

22 DR. CARPENTER: That's another con. Okay.

1 We're into this about an hour. Bobby, back to you.
2 We've got questions 1, 2, 3. How are we doing? Are
3 you getting what you need?

4 MR. PALESANO: I'm not here to give you an
5 A, B, C, D, or F grade.

6 DR. CARPENTER: So we're not -- are we
7 getting there or are we just rearranging the questions
8 with new verbiage? Greater than five. Pros. Well,
9 Jenny will say that is definitely more discriminatory.
10 Go ahead, Jenny.

11 MS. SCOTT: So the pro could be it would be
12 more discriminatory but the con would be you have to
13 divide up your resources to meet those different
14 levels, and it may be more difficult to appropriately
15 segregate tasks that distinguish between those levels.

16 DR. CARPENTER: Good point. Michael,
17 please.

18 MR. KOWALCYK: I think to follow up with
19 Jenny's comment, I think she's right. Allow for more
20 granularity and the breakout. It does bring in the
21 logistic issue and managing, but you might find that
22 the range across product inherent risk, you might --

1 your table might look more like a rectangle, depending
2 on the spread of the data. So that may be something
3 that should be on the table based on what further
4 analysis would tell us.

5 Another thing to put out there is would the
6 Agency entertain, I guess stratifying the population
7 of plants, into -- I mean right now we have large,
8 small and very small. That turns into three tables,
9 maybe still have five levels, but, you know, 5A, 5B,
10 5C, but it's based on plant size, because you might
11 not -- again, it goes back to the comment made
12 earlier, you might not want, you know, a plant the
13 size of Mark's in the same mix as a large ConAgra
14 plant, so to speak. I don't know. Maybe that will
15 allow for further discrimination.

16 DR. CARPENTER: Don, please.

17 MR. ANDERSON: What I've been trying to do
18 is just keep track between these three notes and go
19 directly to the question of what information, what
20 information should we use to support the optimal
21 number levels of inspection, and things that we keep
22 hearing over and over are differentiability or

1 granularity, whatever words you want to capture how
2 many levels do you need, given the distribution of
3 plants. So that's one, is kind of granularity. The
4 second that we keep -- that we've heard at least once
5 is familiarity. Familiarity is a totally different
6 concept. The third we've heard about which is going
7 to be a pro or a con is manageability. How, you know,
8 what is the manageability of the number of levels? So
9 we've got granularity or differentiability, we've got
10 manageability, we familiarity. The only one I haven't
11 hear, maybe it's a subset or part of manageability are
12 training issues, that it may be that the more levels
13 of inspection that you have, the more challenging your
14 training is. I don't know if that's an issue or not,
15 but that's something that I would just put out as a
16 question.

17 DR. CARPENTER: Okay. Thanks, Don. Mark.

18 MR. SCHAD: Yeah, I'm just going to address
19 what Michael said here, and I think it's, I think it's
20 a good thought because I think we just need to be very
21 careful what that idea, and I learned this from
22 working in a food defense focus groups, because we're

1 trying to get out guidelines to different size plants,
2 and really it's the small plants, that there's such a
3 wide range there, you know, if we differentiated the
4 plants from that standpoint, we would be doing from 10
5 to whatever that number is, there's such a wide range
6 there, and there's also some plants that have a very
7 few number of employees that put out a lot of poundage
8 and vice versa. So I'm not saying it's a bad idea.
9 We just have to be very careful how we differentiate
10 that.

11 DR. CARPENTER: Okay. Good point. Kim, go
12 ahead.

13 MS. RICE: Well, I have a comment to make to
14 Don or to support what he was saying, related to
15 training. I don't have the number of employees that
16 the Agency has but I have had responsibility for up to
17 10 plants at one time with anywhere from 1,000
18 employees to 500 plus employees in each facility, and
19 the more complicated you make whatever, anything from
20 a HR policy to how they're going to pick up their
21 paychecks, the more difficult implementation becomes.
22 And I think we can all, those of us involved in HACCP

1 implementation, as simple as we tried to keep that,
2 that was still overwhelming at times, and still can be
3 challenging even how many years later it is. I try
4 not to think about how long we've been doing that. So
5 that's -- as simple as we can keep it is how we need
6 to keep it.

7 But then my second question is, I'm sitting
8 here trying to do the two number thing, and just a
9 point of clarification for myself is when we go back
10 to that five level, is the intent to keep five levels.

11 If you look at the picture, the nine blocks, with the
12 1, 2, 3, 4, and 5, the way it's done, the second set
13 of numbers that you're assigning, is it going to be 3
14 or is it going to be 5, or is it going to be letters?

15 Is it going to be -- because you really are getting
16 to be more than five levels. It actually becomes nine
17 levels.

18 MR. GOVRO: It becomes nine levels, yes.

19 MS. RICE: Right.

20 MR. GOVRO: You're correct.

21 MS. RICE: So the answer to greater than
22 five is we're already there, if we all agree, and I

1 think we all do agree that the two -- assigning two
2 numbers is an easy way to -- easier way to communicate
3 that it's either inherent risk product or control of
4 the establishment that puts it in one block or the
5 other, excuse me, the combination of the two. So we
6 may want to go back and relook at what we've done so
7 far. Or rewrite what we've done.

8 MR. GOVRO: Yeah, actually I would maybe
9 just suggest that now these titles are simply wrong.
10 Okay. Do we have consensus? Do we like the two
11 designations for each axis rather than one single
12 number?

13 DR. CARPENTER: Bob, do you agree with that?
14 Do you see yourself five years from now having to do
15 this on a day-to-day basis and is it practicable,
16 reasonable?

17 MR. MCKEE: The double digit designation may
18 actually be very helpful to us.

19 DR. CARPENTER: Okay. Good. Thanks.
20 Jenny, go ahead, please.

21 MS. SCOTT: Just personally I would find the
22 double digits confusing and would rather see a digit

1 and a letter than the double digit. I have enough
2 trouble with the way they reverse the dates in Europe.
3 But it doesn't matter. I can certainly live with it.

4 DR. CARPENTER: Okay. Thanks. Kim, did you
5 have something else?

6 MS. RICE: No.

7 DR. CARPENTER: Do we then agree or do we
8 need to revise that the original five -- the five
9 levels that we've got from three in each axis is still
10 appropriate even though it's giving us nine boxes? Do
11 we want to go with four on each axis or five on each
12 axis? Personally, I'm comfortable with three and
13 three.

14 So we can all embrace the fact that there's
15 nine levels now in a way, like Jenny said, with a
16 letter and a number. Okay. Michael.

17 MR. KOWALCYK: This is Michael Kowalcyk. I
18 would just want to make sure that we put in our
19 recommendation that as a starting point, this seems to
20 be a good place to start. Again, we don't know what
21 the tool's going to give us. So -- and, you know, the
22 Agency might want to manage it very, you know, very

1 specifically. You don't know what the data's going to
2 tell you. So it's a starting point though. It's
3 probably sufficient, high, medium, low for
4 establishment, high, medium low for product, have a
5 three by three matrix.

6 DR. CARPENTER: Okay.

7 MR. GOVRO: I just added one here on the
8 pros at this, to digit or letter, digit with the three
9 levels on each axis, not too complex. It was a
10 thought of mine as well as someone else's, the
11 complexity of going to too many levels. So I added
12 that.

13 DR. CARPENTER: All right. So I mean we
14 agree, looking at question one, we write looked at the
15 issue of optimal levels. There's still the other part
16 of that question about information, and I'd like to go
17 back to what Bob said about 20 minutes ago, you know,
18 when I go into a plant and there's all these things I
19 look at, are they in total compliance with the SSOPs
20 and do they have -- I mean could you generate a list
21 of appropriate information, you know, give it to
22 Robert and he'd wrap them up and -- oh, we can't turn

1 to my slide right there now. Do you know what I'm
2 getting at, the information issue that's outlined here
3 in question 1? That, that came to mind, the things
4 you were saying Bob when you go into a plant and you
5 know, those are kind of the issues that I thought were
6 related to information that was referred to in this
7 question 1.

8 MS. RICE: That's what I thought, too, and
9 was told I was wrong. Because that's what's on the
10 date wheel, the information that he was talking about,
11 right? SSOPs and HACCP.

12 MR. McKEE: Primarily we're going to focus
13 on the SSOP and the HACCP when we go in.

14 DR. CARPENTER: Okay. I guess I wasn't
15 listening real well then. Go ahead, Bobby.

16 MR. PALESANO: Yeah, I just want to make a
17 comment that when we had someone volunteer to take
18 over the flipchart, I thought it was decided that we
19 were going to go down the road to changing what the
20 initial charge was on the first question, to help us
21 determine what the appropriate optimal levels of
22 inspection were. So the group decided that we were

1 going to change that question, and this is the work of
2 this wonderful working group that we have, this
3 Subcommittee.

4 DR. CARPENTER: Oh, yeah, as I look back at
5 the slide, yeah, we did agree to that. Okay.
6 Granularity, familiarity, manageability, training
7 challenges.

8 MR. PALESANO: I just have one comment, and
9 it will probably come into play as we, as we move
10 forward with the other two questions, that for all
11 intent and purposes, I do believe now that we have
12 established that we have nine levels of inspection.
13 Is that kind of true? Keeping in mind that we believe
14 that, and we believe there is some differentiation
15 between all nine of those levels, the next question I
16 believe that we are to address, I think, if my senile
17 memory will keep me on track, is what would be the
18 inspection activity for a Level 1 establishment? That
19 means that we will have eight more somewhere in the
20 system to define. I just wanted for you all to keep
21 that in mind as we move forward.

22 DR. CARPENTER: The way I look at it, Bobby,

1 going from 2 through 9, they may not be different but
2 just additives perhaps, right?

3 MR. PALESANO: I didn't mean your charge was
4 go to through all nine of those. What I mean is as an
5 Agency, if we take this approach, someone in this
6 room, that I know quite intimately, may have to go
7 through that process of trying to come up with nine
8 levels of inspection.

9 DR. CARPENTER: Don, do you have something
10 to add?

11 MR. ANDERSON: I just wanted to -- I would
12 rephrase -- I would suggest that we rephrase what
13 Bobby said a little differently. I don't think that
14 we've determined that we have nine levels of
15 inspection. I think we have acknowledged that we have
16 nine combinations of risk and risk control and what
17 we're trying to figure out is given that, and give
18 other types of information, how many different levels
19 of inspection should we have? It could be less than
20 nine. It could be more than nine.

21 DR. CARPENTER: Okay.

22 MR. SCHAD: Should we call that nine

1 categories instead of nine levels, if that helps out?

2 MR. GOVRO: Whatever you say.

3 DR. CARPENTER: Okay. Ann, did you have
4 something to add?

5 MS. RASOR: I think that's what I was going
6 to say, what Mark is saying, is the way we have it
7 here, one is better than two, is better than three,
8 like that, and if we have these nine, then it's not
9 necessarily that one is better than, you know, seven
10 is better than eight, but seven is different than
11 eight. So that's a way to think about it a little
12 differently I guess.

13 DR. CARPENTER: I think you're trying to
14 wordsmith category, with whatever descriptive level
15 or -- Kim.

16 MS. RICE: Well, I think in looking at
17 question 2, if I understand question 2, which is up
18 for debate, somebody said, and I don't remember who it
19 was, that if, you know, with the two numbers, one
20 would say, you look more towards product related
21 issues, for example, inherent risk, you're up in that
22 top row, that's supposedly the riskiest groups of

1 products. So if you're up in that top row, you know,
2 the essential inspection activities should be related
3 to -- something related to the product, and that's
4 probably going to end up being CCP type stuff or
5 system design stuff. If you're in the bottom or I
6 guess the top row again -- excuse me -- if you were in
7 the first column on risk or the last column on risk,
8 if you're in the -- on the establishment risk where
9 you're on that riskier end of the spectrum, then
10 you're going to be -- you're going to want to focus on
11 implementation type stuff, if you're in that, you
12 know, your ability to implement whatever it is you've
13 got going is probably in question --

14 DR. CARPENTER: Okay. Good point.

15 MS. RICE: -- and so you focus more on and,
16 Bob, you may have been the one that said it, but
17 you're going to focus more on one side of the coin
18 rather than the other depending on what the -- was it
19 you, Jenny?

20 MS. SCOTT: I believe Michael said that.

21 DR. CARPENTER: Michael, did you --
22 Michael -- I might be out of queue. Go ahead,

1 Michael.

2 MR. KOWALCYK: Yeah, I -- my fellow
3 Committee members are going to love me for this but
4 (laughter) but question one in the context of this
5 dual -- this matrix with two numbers assigned to what
6 cell you're in, 1/3, what does that mean, and what
7 information should we use to support the optimal
8 levels of inspection?

9 Optimization is a difficult thing to tackle.
10 Optimal is the best, and that's a tough thing to come
11 up with at this stage, but what information should we
12 use, and I'm thinking back to work I've done in direct
13 marketing, as a simplistic example, but if I have an
14 algorithm that brings in data about a household, their
15 spend with my company recently, what they bought, how
16 many times they bought it. Okay. These are just
17 simple factors that go into, their score is 10.

18 Now the information that you would use to --
19 then if I printed out that record of data about that
20 household or about that plant, okay, this plant score
21 is 10, and what does that mean. Well, that means they
22 are in cell 3/2, which would be Level 4 inspection.

1 Now what information would we use to support
2 optimal inspection. Well, if something -- the product
3 inherent risk is high. Their establish risk control
4 is in that variable range. Now what information from
5 the data warehouse could we provide to the inspector
6 so that they can make the optimal decision for that
7 day or that week. Maybe that's what we should really
8 be looking for is to say, you've got all this
9 information and it could be -- there could be an
10 outbreak in an area that this plant is shipping to.
11 They could be a very good plant but the product is
12 high risk. Ah ha. Do we need to do pathogen testing?
13 Or something else because of the information we have.
14 So it's almost like what information should we use.
15 You have the data, take advantage of it. It's like
16 leaving a, you know, I've got a power saw but I use my
17 old hand saw that my dad gave me years ago. Well,
18 that's dumb. I ought to use the power saw. So it's
19 kind of the same thing. If you're armed with the
20 data, and you're confident in that data, you use it so
21 that you shouldn't -- the information that goes into
22 creating that score be available because also if I was

1 an industry, if I was a producer, I would want to
2 know. Why are you looking at me more intensely this
3 month than you did last month? What's going on? You
4 have evidence to show, well, you had three NRs last
5 month or, you know, there's something else with your
6 pathogen control testing that you've had these
7 positives. So we've got these things more closely
8 now. That can be a sticky issue but I mean to mean
9 that would be the power of this tool is to say, you
10 know, you have your procedures at a minimum but then
11 what are the things you really focus on?

12 So maybe we should address that question of
13 what information should we use to support optimal
14 inspection? Well, you know what category the plant
15 would be in at any given time and -- then you almost
16 need -- you don't need just a score. You need the
17 data behind the score. So I think we should recommend
18 to the Agency that they have a means of communicating
19 that information to the frontline.

20 DR. CARPENTER: And so what I think you're
21 saying, Michael, is what Dr. Masters referred to
22 earlier, we eliminating stovepipes of data, and it

1 will be in the warehouse and easily accessed by field
2 personnel. So to implement what Michael said, it
3 would be facilitated. That's what you're saying,
4 right?

5 MR. KOWALCYK: Yes.

6 DR. CARPENTER: Okay. Good. Excellent.
7 Mark.

8 MR. SCHAD: Yeah, I stand with you, Michael.
9 I think it's a good idea and from a plant owner, I
10 think that should be shared with the plant owner also.
11 I think it would be frustrating for a plant owner, for
12 the inspector to come in and say, well, you're in this
13 category now or this level now, and I'm going to spend
14 a lot more time here. As a plant owner, I would want
15 to know why? You know, what happened or -- maybe I
16 should know that already, maybe not, but I would want
17 to know what data the inspector had, what data does
18 the Agency have that put me there.

19 DR. CARPENTER: Okay. Now, you know, when
20 NRs are in the hands -- I mean the data, but is there
21 also associated CA that an inspector can get those
22 data and find out if they implemented that?

1 MR. McKEE: That data is available daily to
2 both inspection personnel and plant management. We
3 have access to our laboratory information, through
4 the -- system. We have NRs on file and they have
5 access to PBIS database that they can go back and
6 track trends through today. So if we get a plant
7 that's flagged at a certain level, we would have an
8 expectation that our people would go in and review the
9 available data and utilize that as they go.

10 MR. GOVRO: I just wanted to ask, were you
11 just adding that as a side note to question number 1
12 or -- has his comment just negated the need to write
13 anything down with regard to what you said?

14 MR. KOWALCYK: Well, you know, I think we
15 should consider in, you know, question one, the
16 information that should be -- what information should
17 be used to support optimizing inspection levels. It
18 should be, you know, all relevant information that
19 would be captured in this system, not just, okay,
20 you're a Level 4, and your Level 4 becomes -- it's
21 you're Level 4 because of this, and then the details
22 of what's shared and how it's managed, you know,

1 that's another question but to me it would make sense
2 that -- I'm just thinking if this is used as a
3 management tool, you would want the inspectors to be
4 aware of why I've got three plants to inspect today,
5 and why plant C, my boss is asking me to spend three
6 and a half hours at, okay, when I'm only spending an
7 hour at the other two. Why is that? And what should I
8 be looking for, because, you know, you don't just want
9 to have an inspector there just for the sake of
10 putting in more time, but that time should be more
11 productive. So --

12 MR. McKEE: The large of majority the data
13 that's going to drive those level of assignments is
14 going to be generated at the location. So the
15 inspector is going to be aware or at least have the
16 ability to become aware in very short order of what
17 the immediate history is. In fact, there's two years
18 worth of history available there. So if a person is
19 assigned to a Level 4/2 plant, we would have an
20 expectation that they would go in and take a look at
21 the history there, and that should inform them and
22 bring them up to speed with things that are going on.

1 Certainly if you've got a plant that's
2 traveling upscale, there's going to be a history
3 that's available.

4 MR. KOWALCYK: Okay. Now is that, is that
5 data at the plant itself?

6 MR. McKEE: That's correct.

7 MR. KOWALCYK: And is that PBIS?

8 MR. McKEE: Well, it would be hard copy. It
9 would be NRs. They have the ability to access the
10 PBIS data. They can getting into our -- system which
11 tracks the pathogen sampling. So it's all readily
12 available through the computers.

13 MR. KOWALCYK: So would it be necessary to
14 have the -- the data that's housed in this, that would
15 generate that classification of that plant, that would
16 have to be synced up with what's at the plant or not
17 necessarily so?

18 MR. McKEE: Well, we're going to input those
19 systems that will make those determinations daily --

20 MR. KOWALCYK:

21 MR. McKEE: -- through synchronization of
22 the computers and what not. Our people report

1 everything through the laptops. They synchronize at
2 least once a day, and that's all going into the
3 warehousing and stuff where it can be sorted.

4 MR. KOWALCYK: So it's --

5 MR. McKEE: It's real --

6 MR. GOVRO: I think I get your point in that
7 we need to make this -- the determination transparent
8 to the plant, and I've added the recommendation on the
9 previous page that we make that information available
10 to the plant owner. I'm sure it's probably all
11 available there. At some point it's going to be
12 melded into the algorithm that's going to create the
13 category, and I think it's important that the plant be
14 able to see that. So that again will be something for
15 the Agency to work on.

16 So I would suggest that we maybe go to
17 question 2, and I had a suggestion for perhaps
18 changing this question as well a little bit. Since
19 we've abandoned Levels 1 through 5, it seems to me the
20 question you're asking there is what are the essential
21 inspection activities for the lowest level. So should
22 I put -- could I say base level inspection activities?

1 Will that be fine?

2 MR. TYNAN: I've been taking notes
3 diligently. But did we abandon five levels?

4 MR. GOVRO: Yes.

5 MR. TYNAN: And we went to what?

6 MR. GOVRO: Well, we went to a two --

7 MR. TYNAN: Two tier.

8 MR. GOVRO: -- digit/letter system that ends
9 up with nine categories.

10 MR. TYNAN: Okay. I see. I've got you.

11 MR. GOVRO: And I'm trying to convert
12 question 2 so that it fits the system we've come up
13 with.

14 Did you get most of that? He said we
15 didn't -- to answer Robert's question, we -- yeah, we
16 came up with a two digit or digit/letter system that
17 ended up with nine categories. Now I'm trying to
18 change question 2 so that's the system we're now on.

19 DR. CARPENTER: And the change would be to
20 call it basic -- base level activities, base level
21 inspection activities. Okay. Jenny, take the first
22 crack at that. What do you want to put in here?

1 MS. SCOTT: Jenny Scott. First let me go
2 back to the dual number system and tell you why I
3 really don't like it. I spent half of the time that
4 Mike was talking trying to figure out which box he was
5 in when he talked about box 3/2, and it wasn't until
6 he said certain things that it was, okay, he's in this
7 box, not this box.

8 So just to get away from that, and back to
9 something Kim said about the, you know, you're
10 focusing more on the product when you're up here, and
11 you're focusing more on the process down here, but --
12 and I know what she's getting at, but if you think
13 about it, really the only place we can focus is on the
14 process control because it's inherent risk. It's in
15 the products you're producing, and you can't change
16 that without changing the product. So really, we're
17 coming back to what activities we're going to be
18 doing, in these different boxes, whatever we call
19 them, A through I, 1 through 9, letter number, double
20 numbers, whatever, and starting out the low risk where
21 you have consistent control, you're going to do
22 certain things, and we can start out by describing it

1 in general terms, and Mark said -- gave us those
2 initially but, you know, we would go in, we would look
3 at HACCP critical control points and some SSOPs, and
4 it's hard to get too far in depth on this because a
5 lot of this is going to depend on the product and the
6 process. We're not going to be taking any
7 microbiological samples, I can guarantee, in a canning
8 plant regardless of how well -- I mean pathogen
9 testing samples in that plant, no matter what the
10 control is. You might take some samples for the lab.
11 So that one doesn't figure in. So we can't get into
12 that kind of detail, but we could certainly talk in
13 general terms about the types of verification
14 activities that are most appropriate and what you
15 would do more of certain activities in other plants,
16 depending upon the level of control or the risk of the
17 product.

18 DR. CARPENTER: So the very first step for
19 inspection activity is to look at that plant, past
20 performance in terms of the six spokes in the wheel,
21 pathogens control, system design. Is that what I'm
22 hearing? Bobby.

1 MR. PALESANO: Well, I guess I kind of would
2 like to throw out just a little bit of a suggestion
3 and maybe Mark can help us with this, and I think, you
4 know, if Mark put himself in the base level as far as
5 the inspection level is concerned, if he ends up in
6 that low level, which is the desired level, because he
7 has a low risk, low inherent risk and he also has
8 great control, then he is at the base level.

9 I guess my thought would be, and the reason
10 I'm saying this to Mark, and maybe the folks on my
11 right can also play into this, what I would think that
12 we would want to look at is we already know the risk
13 of the product is fairly low, and we know they have
14 good controls. So what is it that we really need to
15 do when we go to that establishment because as Michael
16 pointed out on more than one occasion, we're trying to
17 use this as a management tool perhaps. So, you know,
18 we don't want to use a lot of our resources in Mark's
19 plant if, in fact, we don't have a lot of concerns.
20 So what is it that we ought to do when we're there,
21 right? And then so that we can actually put some
22 management thoughts into our resource use, into some

1 of the other establishments in our charts.

2 DR. CARPENTER: Michael?

3 MR. KOWALCYK: Michael Kowalcyk. I have a
4 comment that points back to question 1, but if we want
5 to stay on this topic, that's fine. I'll hold it
6 until later.

7 I think the comment I have was or question
8 is that the process behind formulating that question
9 for this Subcommittee, were you getting at this wheel
10 diagram? Do you want guidance on what should be
11 included in here? Is it a conclusion? Has a
12 conclusion been made about what information is going
13 to be used or is that still open for discussion
14 because there are certain data elements that from a
15 public health perspective I feel would be important to
16 include in this. Dr. Goldman presented consumer
17 complaint database. There is also public health data,
18 the potential use of attribution data. Is that the
19 essence of question 1? Are you looking for guidance
20 with respect to that or is that going to come through
21 this committee at another time or in some other form
22 because I'm getting the sense that this is seen as

1 kind of a final product, and I hope it isn't. I hope
2 it's still up for discussion.

3 DR. MASTERS: The --

4 DR. CARPENTER: Dr. Masters.

5 DR. MASTERS: Yes, this is Barb Masters.
6 That document was handed out yesterday in response to
7 question we were receiving. It is more applicable to
8 the risk control document that Don Anderson presented
9 at the workshop for RESOLVE. It's certainly
10 appropriate to present comments to the Agency through
11 our risk-based website in response to having it
12 provided at the RESOLVE workshop. If we end early
13 tomorrow and we have time, certainly we'd take them
14 here, but it wasn't intended to be the driver behind
15 this question.

16 MR. KOWALCYK: Okay.

17 DR. MASTERS: Just put it in front of this
18 because recognizing these are all intimately tied
19 together, but it became clear to me yesterday in our
20 iterative Agenda, that we needed to give you enough
21 information at that meeting so that you would have
22 something to comment to. So we wanted to give you

1 something to work from.

2 MR. KOWALCYK: Okay.

3 DR. MASTERS: And it's not a done deal, it's
4 not a finished document. I'll make that very clear.

5 DR. CARPENTER: Okay. Jenny, did you want
6 to make a -- Jenny or Mark? Jenny first.

7 MS. SCOTT: Jenny Scott. Coming back to
8 this question of the base level, and I was trying to
9 put myself into an inspector's position going into a
10 plant that has low level, low risk product, and it has
11 a good level of control, and we want to make sure they
12 stay there. So I would think that that inspector
13 would have certain tasks that would be mandatory, you
14 want to go in and you want to do some observation on
15 the line, to see that people are following what
16 they're supposed to be doing in the HACCP plan, and a
17 review of records, probably centered around critical
18 control points and maybe some clarification
19 activities.

20 And then provide them with some flexibility
21 to look at other areas of the operation because there
22 are other regulatory requirements they have to meet

1 and certainly other things that are important as well,
2 but the biggest focus would be on those areas that are
3 critical to insure the safety of both -- of the
4 product.

5 And so my suggestion is that for essential
6 inspection activities at the base level, that there be
7 some record review, some on site observation and
8 focusing on critical control points in the plant.

9 DR. CARPENTER: Okay. Maybe I'm the one
10 that's confused but base level to me means there's
11 inspection activities that are going to be done
12 always. No matter what level you go into, you've got
13 to cover these bases. I mean do we agree? I mean it
14 doesn't refer to five level, nine level, whatever,
15 okay and then you just mention what they are. Okay.
16 Is everyone -- is there consensus on that?

17 All right. Mark, go ahead.

18 MR. SCHAD: Well, maybe I don't need to add
19 anything. I was just going to start off, you know, go
20 with what you were saying, Bobby, and I think I've
21 said it before, going in that the food safety system
22 or the design has been, you know, determined to be a

1 good one, and I was talking about the CCPs being met
2 every day, and SSOPs, are they being met?

3 And the other thing I didn't mention before
4 is I think reviewing the pathogen records are being
5 met because I think that's also proof that the food
6 safety system is a good one and being implemented
7 correctly. So that would be my input on what would be
8 the base level of inspection activities.

9 DR. CARPENTER: So, Mark, are you saying two
10 more items up here, pathogen review and SSOPs?

11 MR. SCHAD: Yeah. The review of the
12 pathogen analysis, to review those records, whether
13 it's, you know, from Agency samples and possibly the,
14 you know, company or the plant might be doing its own
15 sampling, and --

16 DR. CARPENTER: Great.

17 MR. SCHAD: -- I would think the plant, you
18 know, would share that if they had it.

19 MR. GOVRO: So this is HACCP record review,
20 on site observations related to CCP, laboratory review
21 of laboratory results.

22 MR. SCHAD: Review of lab results, yes.

1 MR. GOVRO: Did you have one other? SSOPs.

2 MR. SCHAD: Yes, SSOPs. Maybe just say
3 prerequisite programs.

4 MR. GOVRO: All right.

5 DR. CARPENTER: Don, please.

6 MR. ANDERSON: I just think that we maybe
7 are going down the -- not the wrong path, but again a
8 point of clarification. I think that we probably
9 would agree that almost all of the tools that the
10 Agency has at its disposal are appropriate to conduct
11 at some frequency in a Level 1 plant. I don't think
12 we're -- I mean I don't know. Are we saying that we
13 would never sample a Level 1 plant or that we would
14 never do a SSOP? I think that it's not what we do,
15 it's what we do and how often we do it in different
16 levels of inspection? Because that list you're
17 putting up there, you're almost to an exhaustive list
18 of the things that we can do in establishments. And
19 to say that we should be doing all of those things in
20 a Level 1 plant, does that mean every day or what does
21 that mean?

22 MR. GOVRO: Well, my question, when I saw

1 question 2 was, could we possibly tell you anything
2 about making an inspection that you don't already know
3 and, and is that a question that might better be
4 answered by the Agency and put out for discussion? I
5 think it's going to be very difficult for this group
6 of people, most of us not dealing with inspection on a
7 regular -- on a daily basis, to provide you a list of
8 things other than in general concept which we're
9 doing.

10 DR. CARPENTER: So are you saying the
11 Committee members could probably concur with the list
12 you've got?

13 MR. GOVRO: Well, I guess maybe I'm asking,
14 given the direction we're going with our answer,
15 Bobby, could you clarify the question or steer us in
16 another direction so that we could give you more
17 useful information?

18 DR. MASTERS: This is Barb Masters. We had
19 asked this Committee once before and tried to
20 particularly get those such as Mark that work in a
21 facility to answer. What are those things in your
22 facility that you do each and every day that you feel

1 is essential to accomplishing public health
2 activities, and tried to really hone down on those
3 activities you believe if you left unaccomplished
4 truly could lead to public health consequences. And
5 that was somewhat what we were hoping to get out of
6 this discussion.

7 DR. CARPENTER: Gladys, did you want to add
8 something?

9 DR. BAYSE: Well, I guess it's sort of
10 relevant to the other things you were saying, and we
11 keep changing Bobby's questions and his words. The
12 Level 1 inspection to me is bothersome because we've
13 got 1/1, 1/2, a numeric system, and as Mike said, how
14 can we possibly tell you anything from this group that
15 you don't already know about inspection. And so I
16 guess the issue really is do we expect any less in
17 Mark Schad's establishment, and I don't know how we're
18 supposed to handle that, you know, than we do ConAgra.

19 And I guess as Dr. Anderson said, you know,
20 everyone needs to be at some interval inspected in
21 every way because one might not stay a 1/1. So -- but
22 anyone, Level 1, if will let us, might be another

1 term.

2 DR. CARPENTER: Okay. Committee members,
3 Mark and Michael.

4 MR. SCHAD: I remember you asking the
5 question, Barb. You asked me if there was one thing I
6 had to do every day, what would I do? And really I
7 told you two things, and I have a RTE [Ready-To-Eat]
8 plant. I said I make sure the CCPs are met and
9 sanitation.

10 DR. CARPENTER: Is a sanitation record
11 something that an IIC [Inspector-In-Charge] would come
12 in and look at or an inspector, sanitation records?
13 Is that important or can you just see it by walking
14 in?

15 MR. SCHAD: I guess you can see it by
16 walking in.

17 DR. CARPENTER: Okay.

18 MR. SCHAD: The question was posed to me,
19 what would you do, Mark? It wasn't like what would
20 you have the inspector do? So that's the way I'm
21 answering the question. If I did one thing every day,
22 what would I do to insure the product was safe?

1 DR. CARPENTER: Okay. Michael.

2 MR. KOWALCYK: Yeah, I would just have to
3 agree with Mike Govro about running a track to an
4 exhaustive list, and I think one thing is the testing
5 regimen that the Agency has, you wouldn't want to
6 exclude Level 1 plants from that because they're a
7 Level 1. You should get a look at across all
8 spectrums of perceived risk levels. So, yeah, I mean
9 it's a struggle here, and that's why earlier on I
10 raised, you know, that that statutory requirement
11 needs to be met. If that's on the table, then that's
12 a whole other probably meeting and everything else.
13 So I would have to agree with Mike. Maybe the Agency
14 can provide some more guidance in what you're looking
15 for.

16 MR. GOVRO: I'll let Felicia go first.

17 MS. NESTOR: This is Felicia Nestor. I just
18 would hate to see the Agency limit itself to a review
19 of a plant's CCPs because a plant can, plants do, and
20 it has been recognized, plants do designate CCPs in
21 order to limit Government inspections. So I think if
22 the Government has information about something that

1 could be a problem which is not necessarily identified
2 by the plant's HACCP plan, the inspector should have
3 the authority to look further than the company's HACCP
4 plan.

5 MR. PALESANO: I do have a thought or two
6 and I want to go back to what Mark said. Mark said in
7 his particular operation, there were two things that
8 as a manager/owner/operator that he would not give us.

9 In my opinion from an Agency perspective at least,
10 Mark is not willing to give up those two items or
11 those two situations because he would consider that if
12 he did not do them, then he would have some questions
13 about the safety of that product that was being
14 produced.

15 Now that kind of ties in with what I feel
16 like our verification activities might be in those
17 particular operations, keeping in mind that I think
18 that the in-plant inspection personnel should always
19 have the flexibility to do -- to go above and beyond
20 based on what they see on any given visit in that
21 establishment.

22 DR. CARPENTER: Bob, please.

1 MR. MCKEE: To tie in with what Bobby's
2 talking about, generally we would expect our people to
3 walk through the plant on each visit, regardless of
4 how much or how little they're scheduled to do, and
5 during that walkthrough, if there are issues that come
6 up that demand attention, our expectation is that they
7 will address those issues. So we won't ever I don't
8 believe abandon that. That's kind of key and central
9 to our being there.

10 It really kind of boils back to intensity.
11 Don said it right. We've got procedure codes to
12 address everything. I think it's a matter of
13 frequency how often are we going to do them, and as
14 you travel through the levels, I guess at the local
15 level we need to make those determinations.

16 DR. CARPENTER: Okay. Felicia.

17 MS. NESTOR: They're doing away with the
18 Procedure Codes as far as I know. So we'd have to --
19 aren't we trying to devise a system of guidance now
20 that's sequential? I mean we're not using 01B01 as
21 far as I know anymore.

22 DR. CARPENTER: Bob.

1 MR. MCKEE: I think we're only going to turn
2 off the scheduler. We will still report our work
3 under specific procedure codes.

4 MS. NESTOR: Oh, is that right?

5 MR. MCKEE: That's my understanding.

6 DR. CARPENTER: Andrea.

7 DR. GRONDAHL: In an attempt to kind of
8 summarize what everyone saying in answer to question
9 2, I would suggest that there's three inspection
10 activities, CCP verification, sanitation verification,
11 pathogen reduction verification. From what I've heard
12 and what I see in our plants, those are the three
13 basic inspection activities that need to be performed
14 at Level 1 inspection.

15 MR. GOVRO: Is that captured in any of these
16 four things that I've listed here or does it need to
17 be put down separately? Maybe I should ask what you
18 mean by -- what does pathogen reduction activities
19 entail?

20 DR. GRONDAHL: I guess it would entail both
21 verifying any lab results the plant is doing as well
22 as Agency testing.

1 MR. GOVRO: Okay. So I started the first
2 two that Mark mentioned, CCP verification and
3 prerequisite programs which I use as a term for
4 sanitation verification, that sanitation has been
5 done. Should I also include this as a start or would
6 you rather have it written down differently?

7 DR. GRONDAHL: I guess in my mind as far as
8 inspection activities, you know, just to use those key
9 words, CCP verification, sanitation verification,
10 pathogen reduction verification. It's just a
11 suggestion to narrow it down to three things. In my
12 mind, that answers the question.

13 DR. CARPENTER: Andrea, are you saying that
14 pathogen reduction verification is different than
15 review of lab results?

16 DR. GRONDAHL: No, I'm just saying that's
17 part of pathogen reduction. Review of lab results
18 would be reviewing the testing that the plant is doing
19 but it doesn't include the testing that the Agency
20 would be doing. So I just used that term to include
21 both review of lab results and Agency testing.

22 DR. CARPENTER: Okay.

1 MR. GOVRO: What was the term you used for
2 the third one?

3 DR. GRONDAHL: Sanitation verification.

4 DR. CARPENTER: CCP verification, pathogen
5 reduction verification, sanitation verification.
6 Okay. Jenny, please.

7 MS. SCOTT: Jenny Scott. Given how Andrea
8 described the pathogen reduction verification, I think
9 we need to say where appropriate because not all
10 establishments produce products where there would be
11 any kind of microbiological testing. Canned foods is
12 an example. I certainly wouldn't waste any time
13 testing lard or things like that. There's quite a
14 number of products that may not have that component.
15 They will all have HACCP, CCP and SSOP or sanitation
16 verification.

17 DR. CARPENTER: Add, where appropriate.
18 Okay. Felicia.

19 MS. NESTOR: Felicia Nestor. I think we
20 should explicitly say sanitation including pre-op. I
21 don't think any plant should be, you know, let off of
22 having pre-op occasionally, you know.

1 DR. CARPENTER: Explain that.

2 MS. NESTOR: Pre-op sanitation. There's
3 operational sanitation and pre-operational sanitation
4 and it's important that the plant periodically cleans
5 top to bottom so you don't have residue from
6 yesterday's product going into today's product.

7 DR. CARPENTER: So pre-op is going to be
8 every day then.

9 MS. NESTOR: Well, they don't do it every
10 day now. Pre-op inspectors do pre-op maybe twice a
11 week now as far as I know. They used to do it every
12 day. Now they only do it twice a week as far as I
13 know.

14 DR. CARPENTER: Can you ding them on that,
15 Bob? Can you ding a plant when they don't have -- get
16 rid of all of today's stuff to start clean the next
17 morning?

18 MR. McKEE: Well, certainly we perform
19 procedures to verify the effectiveness of their
20 sanitation systems, and when we find that they haven't
21 been successful, we take appropriate action.

22 DR. CARPENTER: Got you. Ann.

1 MS. RASOR: First just to clarify, the
2 plants do pre-op everyday. The inspectors aren't
3 there to see their pre-op every day.

4 DR. CARPENTER: So it's a moot point. It's
5 a moot point, right, Felicia?

6 MS. NESTOR: No.

7 DR. CARPENTER: No.

8 MS. NESTOR: No --

9 DR. CARPENTER: I apologize. Right. Okay.

10 MS. RASOR: I just wanted to say that when
11 we first started talking about this, I was thinking
12 about it, and when Bobby asked the question and you
13 answered, it still made me think about it in that way,
14 is what are the essential activities that they're
15 performing on a daily basis? I assume that all the
16 verification activities are going to be done on some
17 basis but on a daily basis, the CCP and the sanitation
18 are the two that need to get done, and then everything
19 else, the pre-op and the lab reviews and all that,
20 that's going to get done at some point, at some
21 frequency, but it's not the two most important things
22 that need to happen every day.

1 So I don't know if daily is part of that or
2 not but maybe we should discuss that.

3 MR. GOVRO: It seems, since Felicia brought
4 up the point, that they should have a periodic pre-op
5 inspection, I think it would make sense to perhaps
6 build in some level of periodic activities where
7 there's weekly or monthly or whatever, that you
8 wouldn't necessarily include. I mean you would -- as
9 you said, you would want a plant to sometimes get a
10 pre-op and basically this is a system where we're
11 formalizing the assignment of work. So let's include
12 that. That's my opinion.

13 DR. CARPENTER: Michael.

14 MR. KOWALCYK: Yeah, I think, just to follow
15 up on that, I think in our answer back to the full
16 Committee we should delineate between daily and then
17 the periodic. I think that makes sense.

18 DR. CARPENTER: Okay. So it's the consensus
19 of the Committee, that we should go with that. Okay.
20 Ann, did you have something else?

21 MS. RASOR: No.

22 DR. CARPENTER: Okay. Question 3, have we

1 touched that in any way, shape or form? What other
2 inspection activities do you consider appropriate to
3 perform an RBI and we've eliminated the words above
4 Level 1, right, Bobby? What other inspection
5 activities do you consider appropriate to perform a
6 RBI? You know, Mark, they're going to use your plant
7 for a guinea pig. You know it's coming, huh?

8 (Laughter.)

9 DR. CARPENTER: Jenny, go ahead please.

10 MS. SCOTT: Jenny Scott. Well, we listed
11 that CCP verification as a basic activity. Is that
12 correct?

13 DR. CARPENTER: Yes.

14 MS. SCOTT: We've specifically written in
15 CCP verification in there --

16 MR. GOVRO: Yeah, we did. CCP verification,
17 pathogen reduction verification where appropriate and
18 sanitation verification, including period pre-op.

19 MS. SCOTT: Other HACCP related activities
20 would -- the plant does verification which includes
21 calibration, direct observations of monitoring and
22 corrective actions as well as record reviews. So the

1 inspector would want to verify that those verification
2 activities were occurring.

3 DR. CARPENTER: Do you want Jenny to repeat
4 those, Michael?

5 MR. GOVRO: I believe so.

6 MS. SCOTT: It's verification of plant's
7 verification activities is the shortcut.

8 DR. CARPENTER: Verification of plant's
9 verification activities. Do you have another one,
10 Jenny? That's one, right?

11 MS. SCOTT: No, that --

12 DR. CARPENTER: That's it. Okay.

13 MS. SCOTT: That covers multiple activities
14 there. If anyone asks you, it relates to their
15 calibration activities, their direct observations, and
16 their records review.

17 MR. GOVRO: He's taking much more thorough
18 notes than I am.

19 MS. SCOTT: Do you want it again? It's
20 their calibration activities, their direct observation
21 activities and their records review activities. Those
22 are just three examples. There may be other

1 verification activities as well, but those are three
2 required ones.

3 DR. CARPENTER: What's the third on Robert
4 says?

5 MS. SCOTT: The first one is calibration,
6 the second one is direct observation of monitoring
7 activities, and the third is records review.

8 DR. CARPENTER: Records review. Got it?
9 Andrea, it's getting more into her program. Go ahead.

10 DR. GRONDAHL: I was just going to say I
11 think we could come up with a really long list of
12 inspection activities that are ready and within the
13 PBIS inspection procedures, and so I don't know, maybe
14 a way to answer the question would be a simply all
15 current inspection activities.

16 DR. CARPENTER: All current that are well
17 known by inspectors and that are part of PBIS now.

18 DR. GRONDAHL: Right.

19 DR. CARPENTER: Okay. Does everyone concur
20 with that? Bobby?

21 MR. PALESANO: I would just like to throw
22 something out to the group to consider because what I

1 hear you all saying, even though you may not realize
2 what you have done (laughter) is that you have, in
3 fact, separated some of our verification activities
4 from our current procedure codes. So presently,
5 today, when our inspectors do a HACCP procedure,
6 verification procedure, they would look at certain
7 regulatory requirements. One of them would be
8 verification and the other one might be monitoring, et
9 cetera.

10 So I guess my question to you all is are you
11 recommending, when you separate out verification, of
12 the establishment's verification activities, are you
13 suggesting that now we separate out, or we change the
14 methodology that is present described in some of our
15 Agency issuances? That's only a question to the
16 group. It's not a suggestion.

17 MR. McKEE: Let me build in that a little
18 bit before we try to answer. Every plant has a list
19 of procedures that is to be followed in that plant
20 that are relative to what goes on in there, whether
21 it's net weights, labeling concerns. So there's a
22 whole inventory of procedures that are already in the

1 computer for that plan to undergo, and again, I hate
2 to keep repeating myself, but in my mind, I just see
3 this more as a matter of frequency than procedures.
4 Questions 2 and 3 in my mind run together because the
5 procedures that should be performed at any point are
6 already listed. So, you know, I don't want to short
7 circuit the process here.

8 MR. PALESANO: I don't think you're short
9 circuiting the process, and I think I said this during
10 my presentation, and I would like to reiterate it if I
11 overlooked saying it, if we are going to look at
12 designing a truly risk-based inspection system, I
13 think we need to examine are we doing the right things
14 to give us the data that we need to use Michael's
15 works to manage our resources effectively. So, if in
16 fact, in some of our examples, you know, we say CCP
17 verification, if we're going to do that as we
18 presently do that because we believe that is the right
19 thing to do, then we can move forward with that and
20 play with the frequency as to how frequently we would
21 do that versus how frequently we would do something
22 else, you know, and make that work.

1 I just want to be sure that everyone
2 understands that we are asking you openly to provide
3 input as to whether what we are presently doing fits
4 into the risk based environment correctly.

5 DR. CARPENTER: So we modified that
6 statement to say as applicable within current
7 provisions and guidelines. Is that something --

8 MR. PALESANO: I'm very sorry I created
9 confusion. I just wanted you to know that if we
10 continue to do HACCP verification procedures, we would
11 be doing verification of the establishment's
12 verification at some frequency when we perform those
13 procedures and if I confused the issue, I apologize.

14 MR. GOVRO: At a Level 1. So what you're
15 saying is it's not currently considered something
16 that's optional, that would be optional in a Level 1/1
17 plant. And you're not suggesting that we change it.
18 You're just making us aware that we have separated it
19 and --

20 MR. PALESANO: I'm only creating confusion
21 because I was not sure that you understood how we
22 presently do inspection procedures. I wanted you to

1 know that our inspectors have been trained to verify
2 all regulatory requirements using the existing
3 procedures that we have.

4 MR. GOVRO: And speaking for myself, I
5 wasn't aware of that we had differentiated.

6 DR. CARPENTER: Who's next here? Mark.

7 MR. SCHAD: I guess I'll start out with a
8 question. Bobby, you used the term methodology. Did
9 you mean frequency when you were asking the question?

10 DR. CARPENTER: Bobby, do you want to answer
11 it?

12 MR. PALESANO: I'd be happy to attempt. I
13 guess what I'm suggesting is that presently when we do
14 a HACCP procedure code, we have trained our inspection
15 personnel to randomly select one or more of the
16 regulatory requirements which are monitoring
17 verification blah, blah, blah. Okay. They will
18 verify whether or not the establishment is meeting
19 those regulatory requirements that they selected.
20 Methodology to me might mean there are specific things
21 within the establishment rather than verifying a
22 monitoring requirement. We may decide that now there

1 are some other things that we believe are more
2 important to look at. So we might need to change how
3 a procedure is done, and it may not even be called a
4 procedure, and I'm not -- again, I'm not suggesting
5 that we do that. I'm just letting you know that we're
6 here seeking input for a system that gives us the best
7 results for designing a risk based inspection system.

8 DR. CARPENTER: Are you okay with that,
9 Mark? Michael.

10 MR. KOWALCYK: I think I'm seeing where
11 you're going with this, where right now currently
12 they're randomly selecting what they want to verify
13 based on information and this is probably why it's
14 useful looking at where that plant is in the vertical
15 and horizontal axis because that should guide -- take
16 the randomness out of it and say this plant is in this
17 cell because of these factors. So you verify this.
18 Maybe still randomly verify something else, but again
19 rather than spinning your wheels testing something
20 that really isn't the cause of that plant being in
21 that risk level. So is that what you're looking for?

22 DR. CARPENTER: Bobby.

1 MR. PALESANO: Keep in mind, I'm not trying
2 to design the system. I'm seeking input, but I think
3 at least what I thought we might get is some
4 suggestions similar to that where there is a reason
5 why we would look at something, and that does not
6 prevent inspection personnel from looking elsewhere as
7 well.

8 DR. CARPENTER: Okay. Michael.

9 MR. GOVRO: Then would it be appropriate for
10 me to mark down here to link the frequency and
11 methodology of inspection activities to the reason why
12 they've attained a certain risk category?

13 MR. KOWALCYK: Yeah, it should be -- I guess
14 it would be relative to the risk level with respect to
15 product and the establishment. It would have to
16 account for both if it's just a two dimensional.

17 DR. CARPENTER: Michael's going to wordsmith
18 that please.

19 MS. NESTOR: Felicia Nestor. I think as
20 Bobby was saying, they instruct inspectors to randomly
21 do certain tasks but they -- but the inspectors do not
22 record what specific tasks they do or what specific

1 CCPs they verify. It's my understanding that the
2 plant could have -- let's say they have three CCPs.
3 The inspector goes in, he can check one CCP. He
4 doesn't make a note to himself or to the night
5 inspector or to anybody else, I checked CCP 1. He
6 just makes a note, I checked CCP.

7 So I mean in order to insure coverage of all
8 the important aspects, I think the inspectors should
9 use their computer and make little notes like that.

10 DR. CARPENTER: To assure comprehensive
11 monitoring --

12 MS. NESTOR: Exactly. To make sure
13 everything's getting covered. You know, because if
14 some CCP is in the back of the plant or at some
15 inconvenient location, you know, you don't want the
16 inspectors going to the easiest one all the time.

17 DR. CARPENTER: So Michael's writing, should
18 link intensity and frequency of inspection activities
19 to reason firm is in a particular risk category.
20 Basically assuring that all critical elements are
21 evaluated each month or each week or --

22 MR. GOVRO: Can you summarize it?

1 MS. NESTOR: The inspectors should record
2 the particular things that they monitor in a plant.
3 They shouldn't just record I monitored sanitation.
4 They should say I monitored sanitation at X spot or I
5 monitored CCP 1 as opposed to I monitored a CCP.

6 DR. MASTERS: They should be more explicit in
7 their documentation of their requirements in the HACCP
8 O1 procedure.

9 MR. GOVRO: -- procedure verified or --

10 DR. MASTERS: The regulatory requirement
11 verified as well as the CCP verified on a HACCP O1
12 procedure.

13 MS. NESTOR: Maybe they should do that with
14 sanitation, too. Maybe not just with HACCP O1. And
15 so what would it be in sanitation?

16 DR. CARPENTER: Bobby.

17 MR. PALESANO: This is Bobby. I think they
18 could probably do that, if that is a suggestion and a
19 consensus of the Subcommittee, by using some method of
20 recording what regulatory requirements they verified.
21 You know, presently they only record a procedure as
22 being performed if, in fact, it is noncompliant. So

1 what you're suggesting I believe is a record to show
2 what they verified when it is in compliance. That
3 could be done similarly I believe.

4 MR. GOVRO: Do I need to expand on this?
5 I'm not sure I'm --

6 MR. PALESANO: You might just put HACCP/SSOP
7 or sanitation requirements, so we have them both
8 covered.

9 MR. GOVRO: SSOP requirements.

10 MR. PALESANO: Yeah, just put /SPS and
11 you'll have all of them.

12 DR. CARPENTER: Mark, go ahead. I'm sorry.

13 MR. SCHAD: I keep on running through my
14 mind that this is HACCP and it's industry's
15 responsibility to prove safe product, and so I'm going
16 to pick up Bobby's term, methodology. So say a plant
17 comes up with -- has been doing well but comes up with
18 this positive pathogen on the sample, and so maybe
19 some of the methodology of an inspection would be
20 like, okay, the inspector goes to the plant owner and
21 says, well, we've got this positive on this sample,
22 and sometimes the plant owner might say, okay, well,

1 this is -- I've investigated it, and I found something
2 happened here in the process and I've corrected it.
3 So that would be one method of looking at it.

4 Say he went to a plant owner, another plant
5 owner had the same situation but the plant owner says,
6 well, you know, I don't know what happened, and I'm
7 going to get to it next week. Well, I think that guy
8 needs -- the inspector might want to spend some time
9 with that plant owner and operator.

10 So maybe -- I'm trying to think how to put
11 this in a concise way, but that's when I start
12 thinking in terms of what type of different inspection
13 activities might be going on in a plant that was not a
14 Level 1 plant.

15 MR. PALESANO: Yeah, I think what you're
16 getting at a little bit is how an establishment might
17 react to a positive result, whether or not they met
18 the regulatory -- the corrective action regulatory
19 requirements or not. Obviously you indicated you are
20 an RTE facility and if, in fact, the Agency got a
21 positive result, you know, we might do some testing in
22 conjunction with a FSA as well. You know, those are

1 additional activities that will be factored in
2 obviously when -- on an as needed basis, and our
3 sampling direction that we're taking now it trying to
4 go with risk-based sampling anyway. So we kind of
5 have not factored sampling in only from the standpoint
6 that we're already building in a risk-based sampling
7 system. So obviously that is a very important point
8 that you're bringing up.

9 MR. GOVRO: Shall I add sampling to the
10 list?

11 DR. CARPENTER: Mike, you've had additional
12 time to formulate this into words that go right up on
13 here, other activities?

14 MR. GOVRO: Just a clarification for my own
15 edification. Does this item here that we've listed,
16 document regulatory requirements, verified SSOP/SPS,
17 does that fall under this heading of something to do
18 in addition above base level or does it belong in all
19 inspections?

20 MR. PALESANO: I think what Felicia is
21 suggesting is that we put in a system of some sort to
22 capture the inspector would be documenting what

1 regulatory requirement they verified even when it is
2 in compliance so that another inspection personnel
3 that came in would have that information and know what
4 they looked at and what the status of it was when they
5 verified it.

6 MR. GOVRO: I understand that, but I've
7 written this down under the category of other
8 inspection activities that would take place above
9 Level 1.

10 MS. NESTOR: No, it should go everywhere.
11 Level 1, too.

12 MR. GOVRO: Okay.

13 MR. PALESANO: That would be --

14 MR. GOVRO: I'll put it off of this page and
15 put it somewhere else. Okay.

16 DR. CARPENTER: It stays there or moves to
17 another page?

18 MR. GOVRO: It really --

19 DR. CARPENTER: Okay. I mean, Mark, did you
20 have a concise thought that you wanted to put up here
21 regarding an inspector comes in the plant, ought to be
22 spending more time with this guy. I mean do you know

1 how to say that? I know what you're saying --

2 MR. SCHAD: --

3 DR. CARPENTER: Hit the button. Oh, you're
4 not ready yet. Okay. We can go over here to Jenny.
5 Okay, Jenny, go ahead.

6 MS. SCOTT: Jenny Scott. In thinking about
7 this, it seems to me that you're going to be doing a
8 lot of the same types of activities at the base level,
9 but you'll be doing more of them. You're going to
10 look at more of the records. If there are multiple
11 products, you will look at more of the critical
12 control plans for more products. For example, the
13 Level 1 plant may have five different products, but
14 you may feel they have enough control that on one
15 visit you'll look at one product and another visit
16 you'll look at another product and rotate through
17 there whereas at a Level 5 plant, you may go in and
18 look at every one of those products. So more of what
19 you would do at a base level. The higher up you go in
20 this -- in terms of risk product, in terms of loss or
21 limited control.

22 DR. CARPENTER: So the inspection

1 activities, what you want to capture is the intensity
2 of the inspection activity should be commensurate with
3 the volume of the plant and/or product inherent risk.

4 Can someone else say that differently?

5 MS. SCOTT: It should be --

6 DR. CARPENTER: Have you got your button
7 out? Yeah. Go ahead.

8 MS. SCOTT: -- based on the lower the
9 establishment risk control, and I'm not talking about
10 numbers, I'm -- the less in control the establishment
11 is, the more frequent the --

12 DR. CARPENTER: More extensive.

13 MS. SCOTT: -- more extensive, more
14 intensive, whatever term you want to use, there will
15 be more of them with lower control.

16 DR. CARPENTER: How should we -- Don, do you
17 have some input on this?

18 MR. ANDERSON: Yeah, I think I can maybe
19 help clarify. Don Anderson. We've got either two
20 dimensions -- we've either got two axes or three axes.
21 If production volume is part of inherent risk as
22 originally shown here, then you have two dimensions.

1 If instead volume breaks out to be a third dimension,
2 the Z axis so to speak, then you've got three
3 dimensions, but perhaps what you're saying is that the
4 intensity of inspection should increase, not
5 necessarily proportionally, but should increase with
6 greater inherent risk, that the intensity of
7 inspection should decrease with the effectiveness of
8 the risk control and should increase, again maybe not
9 proportionally, but should increase with the
10 production volume of the establishment. Those are the
11 relationships I think I hear people saying.

12 DR. CARPENTER: So Michael wrote what you
13 said succinctly as link inspection intensity to degree
14 of control exercised by plant.

15 MR. ANDERSON: That is one of the three
16 factors. There is two others. There is volume and
17 there is inherent risk. I'm having a little trouble
18 reading what's up there. My eyes aren't that good.

19 MR. GOVRO: What I got from Jenny was that
20 she was specifically interested in focusing on the
21 controls as opposed to inherent risks which are
22 related to volume and product. Am I correct on that?

1 MR. ANDERSON: Well, it depends again. By
2 one definition of inherent risk, inherent risk is --
3 includes volume, but if there is this third axis, then
4 inherent risk is not related to volume. If inherent
5 risk is just product process, then that's one
6 definition. If inherent risk includes volume, then
7 that's another definition.

8 MR. GOVRO: Okay. What I'm specifically
9 trying to get at, I think Jenny made a point earlier
10 that she was really more concerned about controls than
11 those which were related to other factors. So -- but
12 I can certainly put them down. Also consider volume
13 and inherent risk.

14 MR. ANDERSON: Well, those are the three
15 factors or the three dimensions in that three
16 dimensional world, talking about volume, inherent
17 product process risk and risk control.

18 MR. GOVRO: I've been putting words in your
19 mouth, Jenny. Do you want to speak up?

20 MS. SCOTT: No, I think that Don is right,
21 that if you envision this three dimensional surface as
22 you go up in risk, as you go up in lack of control, as

1 you go up in volume, there's an increase in your
2 inspection intensity, and as Don says, it's not
3 necessarily proportional. I think a lot of it comes
4 back to exactly why that plant is where it is on that,
5 what data as Michael talked about, back when we
6 started a long time ago, that you need some
7 information about why the plant is at the position
8 they are in, and use that to factor in what you're
9 going to be doing.

10 DR. CARPENTER: So it's been captured with
11 link inspection intensity to degree of control,
12 exercised by plant, volume, inherent risk, not
13 necessarily proportional. Don. Jenny. Okay.

14 MR. GOVRO: I'm just the flipchart keeper.
15 So Robert's surely getting the notes here.

16 DR. CARPENTER: Felicia, were you next or
17 Michael? Go ahead, Felicia.

18 MS. NESTOR: Felicia Nestor. I think some
19 plants, especially those that are the ones over to the
20 very non-compliant side, I think they need an
21 inspector there sometimes just to hang out and watch
22 production. I mean if you have a very small plant,

1 the inspector can go in and verify that they've done
2 all of their CCPs in no time flat, but I think that,
3 you know, you may need the inspector to stay there and
4 watch how they're producing because you can have --
5 and I read NRs where, you know, product is piling up
6 on the floor and people are, you know, picking it up
7 and they're stepping on it with their boots and
8 climbing up on the conveyor belt to get something, you
9 know. I mean sometimes they just have to be watched
10 how they produce.

11 DR. CARPENTER: Okay. Michael, Mark, Bobby,
12 who's next? Michael. Okay. Please, thanks.

13 MR. KOWALCYK: I would be in agreement with
14 linking the intensity to the degree of control
15 exercised, volume, inherent risk, and you don't know
16 how -- what's going to have more weight. I think we
17 also need to look at targeted based on what weight
18 those elements draw. So I think we get to it earlier
19 on in our discussion about it's not random anymore.
20 It's verify something that's relative to why that
21 plant is scored as a higher risk plant for whatever
22 reason. So maybe it's linking intensity but also

1 direction of that additional inspection as well. So
2 maybe we want to add something in there as far as
3 targeting those inspection efforts. Maybe we need to
4 be more specific.

5 MR. PALESANO: By modifying what?

6 MR. KOWALCYK: Or do we already have that?

7 MR. GOVRO: I've got this down.

8 MR. KOWALCYK: Yeah, I think that gets to
9 the essence of what I -- the point I'm trying to make
10 is that you can increase intensity but if you're
11 looking -- if you just inspect more than one area of
12 the plant, where that's really not the problem, you're
13 not doing the right thing. So it should be relative
14 to the reasons why.

15 MR. TYNAN: Robert, the recorder. Could I
16 make a suggestion? I've taken an awful lot of notes.
17 Would it be helpful to print them out, get a couple of
18 copies and then look and see where you are? Because
19 you have you have just about an hour left. So that
20 would give you enough time to mull it over and maybe
21 make a few more comments and be done for the day. And
22 you could reconvene in the morning to discuss it

1 further if you want, but --

2 DR. CARPENTER: Unless somebody has a real
3 point they'd like to make. Mark, do you want to say
4 something? Bobby or not? Mark and Bobby.

5 MR. SCHAD: I was just looking at on the
6 next page there. I was just looking at that statement
7 there. It seemed like a broad statement. I was just
8 wondering where we were at on that, you know, link
9 inspection intensity to degree of control, you know.
10 What are we saying that's not new?

11 MR. GOVRO: This is --

12 MR. SCHAD: Yeah, okay. All right.

13 MR. PALESANO: And my comment was on that
14 same -- Bobby -- statement because I thought I had
15 heard Jenny say that many of the verification
16 activities that were going to be performed would be
17 repetitive in some of the higher inspection level
18 facilities. So, you know, depending on the process
19 category, and I was wondering if, in fact, what was
20 put on the flipchart actually captured what Jenny was
21 saying because somehow I got lost in what went up on
22 the flowchart, and I was just trying to understand if,

1 in fact, that was captured correctly to get her
2 comment.

3 DR. CARPENTER: Jenny.

4 MS. SCOTT: Jenny Scott. So this is that
5 link inspection intensity --

6 MR. GOVRO: Link inspection to the degree of
7 control exercised by plant -- volume and inherent
8 risk, not necessarily proportionally, and so I don't
9 know if this watered down the statement you were
10 trying to make or I didn't fully capture what you were
11 trying to -- the point you were trying to make?

12 MS. SCOTT: Or does it come back to what do
13 we mean by intensity. I mean to me what I was trying
14 to reflect is that we're doing similar types of
15 activities. We're just doing more of them, and --

16 MR. GOVRO: And frankly I chose to leave
17 that just intensity --

18 MS. SCOTT: Right.

19 MR. GOVRO: -- to cover frequency, depth of,
20 you know, depth of the look you give it. It's sort of
21 a catchall word --

22 MS. SCOTT: Yeah.

1 MR. GOVRO: -- but if you want to use some
2 other word in place of that, I'd be --

3 MS. SCOTT: I would certainly say frequency
4 and you might spend more time analyzing what's there
5 than. So I don't have any objection to intensity.

6 DR. CARPENTER: But should we -- I mean
7 after the word intensity, should we add something like
8 frequency parenthetically, frequency and time?

9 MS. SCOTT: Yeah. Yeah. Did you hear me
10 say something different, Bobby?

11 MR. PALESANO: I really again was not trying
12 to create confusion. I thought I heard you say
13 something different than what was put up, and I wanted
14 to be sure that what was put up there captures your
15 comment because I thought we were talking about, you
16 know, doing HACCP verification, sanitation
17 verification and as we went from one level of
18 inspection to another, you indicated based on the
19 process categories, et cetera, we would be doing
20 similar type activities more frequently, and I somehow
21 got some language in there that I didn't understand
22 where it came from.

1 DR. CARPENTER: Are you okay, Jenny? Or no,
2 go ahead. Clarify.

3 MS. SCOTT: So inspection intensity, instead
4 of saying HACCP verification activities but I guess
5 maybe that's what we're talking about here. We might
6 be a little more clear about when we say inspection
7 intensity.

8 DR. CARPENTER: Well, what Michael has
9 modified intensity to say is frequency in time.

10 MS. SCOTT: And we're not capturing that
11 it's HACCP and SSOP types of verification activities.
12 So we might have to --

13 MR. PALESANO: I'm okay if you're okay.

14 MS. SCOTT: I'm okay.

15 DR. CARPENTER: You're okay with that,
16 Bobby. Consensus? Have we -- okay. We have one,
17 two, three. The answer's two. We'll look at them.
18 One more comment that Robert should catch before he
19 prints out. Okay. Felicia's got another --

20 MS. NESTOR: Well, I just want to make sure
21 that my previous comment got in there because it
22 didn't get up on the -- where I'm saying that -- no,

1 not pre-op. That sometimes depending on the level of
2 compliance in the plant, that the inspectors have to
3 be there just to watch production, and that's not a
4 HACCP verification activity. So it's a different type
5 of inspection activity. So it doesn't get caught when
6 you say inspection intensity because -- as I
7 understand it, we mean by inspection intensity, we
8 mean inspection intensity of HACCP and SSOP
9 verification activities as opposed to just monitoring
10 production.

11 MR. PALESANO: I think I might try to
12 rephrase that a little bit in a couple of words or a
13 phrase. I think what she is suggesting is, it is
14 necessary for inspection presence to be there.

15 DR. CARPENTER: So we should modify that
16 statement to capture that?

17 MS. NESTOR: No, add.

18 DR. CARPENTER: Excuse me.

19 MS. NESTOR: Add.

20 DR. CARPENTER: Add. It's necessary for
21 inspection presence. What else did you say, Bobby?
22 Necessary to be there. Presence kind of says that.

1 MS. NESTOR: It means something though in
2 terms of FSIS terminology. I mean --

3 DR. MASTERS: The --

4 MS. NESTOR: And not necessarily specific
5 activities but just to be there, to monitor. To baby-
6 sit.

7 DR. CARPENTER: Wait a minute. He's getting
8 frustrated because you're not using the microphone.
9 You're talking --

10 DR. MASTERS: The inspection process is in
11 the more variable plants on the right side of the
12 chart and inspection presence, as FSIS lingo, the
13 inspection presence is based on their knowledge and
14 ability of the plant environment and they then have
15 the flexibility not tied in to do these specific
16 activities but they're there, providing oversight and
17 based on what they observe at the time, then they make
18 their own inherent decisions on what inspection
19 activities to do. So there really is that flexibility
20 and latitude to do what they need based on what
21 Felicia was talking about earlier. We can't take away
22 the inspector's ability to do what they see needs to

1 be done at the time, and an inspector's presence gives
2 them that ability to do that.

3 DR. CARPENTER: So due to plant variability,
4 inspection presence is necessary when appropriate.

5 DR. MASTERS: Right. We're focusing
6 primarily on the more variable plants and the more
7 variable plants, we would look at a higher inspector
8 presence.

9 DR. CARPENTER: Plants exercise more
10 variable control should be subjected to increased
11 inspection presence. That's what's going up on the
12 board, gang. Are we okay? Don, are you fanning
13 yourself or --

14 MR. ANDERSON: This is maybe almost
15 philosophical but I would like to think that rather
16 than saying that we want to put -- that we want more
17 presence in a plant, I would say that we would want
18 them doing more inspection activity in a plant which
19 would naturally mean that they would have a greater
20 inspection in the plant. I don't think we want to be
21 in the business -- I really don't like the word
22 babysitting. We're not babysitting the establishments

1 it seems to me. We are performing inspection
2 activities, and if we have a lot of inspection
3 activities to perform in a plant, we will have to
4 spend quite a bit more time there I suppose.

5 MS. NESTOR: But you may not have a lot of
6 inspection activity, and the inspector may feel like
7 he needs to stay there past the point of doing the
8 verifications. I mean he could get through with the
9 verifications in short order, but he happens to know
10 that this plant, you know, when he leaves the plant,
11 runs up their line speed and the product piles up on
12 the floor.

13 DR. CARPENTER: Don, do you want to rebuttal
14 quickly?

15 MR. ANDERSON: Don Anderson. I think if
16 that's been -- I would hope that we would see more NRs
17 written in that establishment. I would not like to
18 think that happens but --

19 MS. NESTOR: I would think you would get
20 more NRs written in the plant if the inspector was
21 there to see it, but if he's not there to see it, he
22 can't write it.

1 DR. CARPENTER: Mark.

2 MR. SCHAD: Well, I'm -- I don't use the
3 FSIS lingo every day but I think I see what Don is
4 saying. I agree more with Don. I mean presence to me
5 like he's in the front door. That's what presence
6 means to me and I would think -- I understand Don's
7 point. You want the inspector to be performing some
8 type of activities other than just maybe in the front
9 door.

10 DR. CARPENTER: Bob McKee.

11 MR. McKEE: I just want to make sure that
12 everybody understands that we do unscheduled
13 activities. The purpose of inspector presence, and
14 I'm just trying to shorten what Felicia was saying,
15 she was saying that sometimes those establishments
16 need FSIS oversight and so if they are there, they
17 would not be standing inside the front door. They
18 would be doing some kind of inspection activity,
19 whether there was anything specifically assigned for
20 them to do or not. If they are there, they will be
21 doing some verification.

22 DR. CARPENTER: So before we go onto the

1 next -- I mean are we all done adding to question 3.
2 I mean does anybody else want to make any additions?
3 Bob, you clarified it. Is there consensus? Robert
4 wants to print this out. So is Robert ready to hit
5 print? Ann, did you want to add to this?

6 MS. RAZOR: No.

7 DR. CARPENTER: Okay. Mark, you're still --
8 you're good. Okay. Felicia and Jenny.

9 MS. NESTOR: I'm good.

10 DR. CARPENTER: You're good. Okay. We're
11 going to get a printout of all of our deliberations to
12 consider. Tonight, what is the consensus of the
13 group? Committee members, we get the printout from
14 Robert, look at it now or look at it tomorrow morning?
15 And then hack through it because Robert's going to
16 make me stand up here and give your thoughts tomorrow
17 morning. So I want to make sure there is thorough
18 consensus.

19 UNIDENTIFIED SPEAKER: --

20 DR. CARPENTER: Really, and one of them's
21 not me? Andrea, that's right. She said she wanted to
22 finish off.

1 DR. BAYSE: How many pages is it going to
2 be?

3 DR. CARPENTER: How many pages, sir?

4 MR. TYNAN: It looks like four.

5 DR. CARPENTER: Dr. Bayse, it looks like
6 four.

7 MR. GOVRO: I just wanted to get to
8 Felicia's last point because I sense that maybe there
9 wasn't a consensus on the need for inspection
10 personnel to just be there to simply watch the plant
11 work, to prevent them from doing something that they
12 might do if you weren't there. Am I correct on that?
13 And that the focus would be on inspection activities,
14 assigning more inspection activities, and I got from
15 you that that wasn't exactly what you wanted to say.

16 MS. NESTOR: Well, Jenny explained to me
17 that there's actually -- that is actually an
18 inspection activity.

19 MR. GOVRO: Okay. So we're trying to get
20 this sentence to read -- it's necessary for inspection
21 presence to be there for simply monitoring, and I
22 don't think that's -- monitoring and making decisions

1 on activities to be performed by inspectors using
2 their knowledge and skills.

3 MS. NESTOR: They also said increased
4 oversight. How about increased oversight?

5 MR. GOVRO: That would be a good catchall.

6 UNIDENTIFIED SPEAKER: The --

7 DR. CARPENTER: Okay. Felicia, we're going
8 to reread the sentence. It's necessary for inspection
9 presence to be there for increased oversight and to
10 perform unscheduled inspection activities. This is
11 more critical in plants with variable controls. Okay.
12 All right. Don.

13 MR. ANDERSON: I'm sorry. I wanted to -- it
14 was my understanding that eventually under RBI there
15 will be no such thing as a scheduled activity. So I
16 would assume there would be no such thing as an
17 unscheduled activity. What would that mean?

18 DR. CARPENTER: Yes.

19 MR. PALESANO: Well, you're right, Don.
20 There won't be any scheduled procedures if we turn the
21 scheduler off. What I heard from this group, however,
22 is that they are suggesting, I believe, that we

1 continue with present inspection verification
2 procedures. So what I thought we were putting up
3 there is with the increased oversight time, we would
4 be doing extra unscheduled verification activities.

5 DR. CARPENTER: So what I think you said is
6 extra previously unscheduled inspection -- no.

7 I think we can tell our recorder, you're
8 officially -- you captured everything.

9 (Whereupon, at 5:22 p.m., the meeting was
10 concluded.)

11

12

13

14

15

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

NATIONAL ADVISORY COMMITTEE ON
MEAT AND POULTRY INSPECTION
SUBCOMMITTEE NUMBER 1
USING RISK TO DIRECT IN-PLANT PROCESSING
AND OFF-LINE SLAUGHTER INSPECTION ACTIVITIES

Washington, D.C.

October 12, 2006

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

TIMOTHY BOND, Reporter

FREE STATE REPORTING, INC.