

sgg

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR FOOD SAFETY AND APPLIED NUTRITION

FOOD ADVISORY COMMITTEE

ACRYLAMIDE

Monday, February 24, 2003

8:30 a.m.

Sheraton College Park Hotel  
4095 Powder Mill Road  
Beltsville, Maryland 20705

MILLER REPORTING CO., INC  
735 8th STREET, S.E.  
WASHINGTON, D.C. 20003-2802  
(202) 546-6666

## PARTICIPANTS

Sanford A. Miller, Ph.D., Chairman  
Catherine DeRoever, Executive Secretary

## PARTICIPATING FOOD ADVISORY COMMITTEE MEMBERS:

Francis Fredrick Busta, Ph.D.  
Annette Dickinson, Ph.D.  
Johanna Dwyer, D. Sc., RD  
Brandon Scholz

## TEMPORARY VOTING MEMBERS:

Jean Halloran  
Ken Lee, Ph.D.  
Harihara Mehendale, Ph.D.  
Robert Russell, M.D.  
Clifford W. Scherer, Ph.D.  
J. Antonio Torres, Ph.D.

## GUEST SPEAKERS:

Robert Brown, Ph.D.  
Tim Fennell, Ph.D.  
Stephen S. Olin, Ph.D.  
Sorell Schwartz, Ph.D.  
David Zyzak, Ph.D.

C O N T E N T S

Welcome and Introductions, Dr. Sanford Miller	4
Conflict of Interest Statement, Ms. Catherine DeRoever	7
Opening Comments, Mr. Joseph Levitt Dr. Lester Crawford	9 12
Development of the Acrylamide Action Plan, Dr. Terry Troxell	18
Report of the Contaminants and Natural Toxicants Subcommittee (CNTS) Findings, Dr. Henry Kim	29
Questions of Clarification	38
Revised Action Plan, Dr. Terry Troxell	47
Questions of Clarification	65
Mechanisms of Formation, Dr. David Zyzak	99
Questions of Clarification	116
Reduction Strategies, Dr. Robert Brown	127
Questions of Clarification	151
Exposure Assessment, Dr. Donna Robie	151
Questions of Clarification	157
Adduct Studies, Dr. Tim Fennell	195
Questions of Clarification	221

P R O C E E D I N G S

**Call to Order and Opening Remarks**

DR. MILLER: Good morning. I am Sandy Miller and I am Chairman of the Food Advisory Committee. I want to take this opportunity to welcome the members of the committee and the subcommittee that are with us today, and our guests and staff members, to deal with the issues that are concerned with acrylamide and food safety.

Before we go any further, let me take this opportunity and ask each of the members of the committee to introduce themselves briefly and then we can go on to the next agenda item.

DR. MEHENDALE: I am Dr. Mehendale from the University of Louisiana and the Monroe School of Pharmacy.

DR. RUSSELL: Rob Russell. I am Director of the USDA Human Nutrition Center in Boston at Tufts.

DR. TORRES: Antonio Torres, Oregon State University Food Science.

DR. BUSTA: I am Frank Busta, Professor

1 Emeritus at the University of Minnesota.

2 MS. DEROEVER: Catherine DeRoeever, the  
3 executive secretary for the Food Advisory  
4 Committee, FDA.

5 DR. MILLER: I am Sandy Miller, as you all  
6 know.

7 DR. DWYER: I am Johanna Dwyer at Tufts  
8 New England Medical Center in Boston.

9 DR. DICKINSON: Annette Dickinson, Council  
10 For Responsible Nutrition.

11 DR. LEE: Ken Lee, Ohio State University  
12 Food Science and Technology.

13 MR. SCHOLZ: I am Brandon Scholz,  
14 Wisconsin Grocers Association.

15 DR. SCHERER: Cliff Scherer, Cornell  
16 University, with an interest in risk communication.

17 DR. MILLER: Thank you. The committee has  
18 been organized for the purposes of reviewing an  
19 Action Plan prepared by the staff in order to deal  
20 with the issues of acrylamide in food.

21 I am not going to provide the background  
22 to this because this will be done by some of our

sgg

1 speakers in time, but I want to remind the  
2 committee that, as you will see, what the committee  
3 is being asked to do is to review the Action Plan,  
4 not to make a decision concerning the safety of  
5 acrylamide in food. As we continue our activities  
6 I want to focus on that issue rather than the  
7 ancillary issue, although there are questions  
8 concerning safety that are going to have to be  
9 resolved.

10 Let me also remind all of the speakers  
11 that we have a number of people to speak and to  
12 make sure that everybody gets their proper time, we  
13 are going to have to stick very close to the time  
14 schedule. What I will do, I will interrupt you  
15 when your time begins to run out and then as you  
16 continue to talk, continue to interrupt you and, if  
17 necessary, I will adjourn the committee until you  
18 sit down. But it is really important. Quite  
19 seriously, it is very important that people stick  
20 to their time.

21 I will also at periodic times ask the  
22 committee if they have questions and, hopefully,

1 these will be questions for clarification rather  
2 than comment. We will come to comments and  
3 opinions at the end of the meeting.

4 Before we move on to our opening comments,  
5 Kathy DeRoever has some comments she needs to make  
6 concerning conflict of interest.

7 **Conflict of Interest Statement**

8 MS. DEROEVER: Good morning. As you have  
9 heard, I am Catherine DeRoever, executive secretary  
10 for the Food and Drug Advisory Committee. First, I  
11 would like to welcome all of you to the meeting,  
12 particularly the members of the committee, Dr.  
13 Miller, Dr. Busta, Dr. Dickinson, Dr. Dwyer and Mr.  
14 Scholz; the members who serve on our subcommittees  
15 who graciously agreed to be here today, Dr. Lee,  
16 Dr. Mehendale, Dr. Torres; and also our temporary  
17 voting members--Jean Halloran, as you can see, has  
18 not arrived yet but Ms. Halloran, Dr. Russell and  
19 Dr. Scherer have been appointed as temporary voting  
20 members for the purpose of this meeting by the  
21 authority granted to the Center Director, Mr.  
22 Joseph Levitt, in the Food Advisory Committee

1 Charter.

2           Second, the following announcement  
3 addresses the issue of conflict of interest with  
4 respect to this meeting, and is made part of the  
5 record to preclude even the appearance of a  
6 conflict of interest. The issues to be discussed  
7 at this meeting are issues of broad applicability.  
8 Unlike issues in which a particular sponsor's  
9 product is discussed, the matters at issue do not  
10 have a unique impact on any particular product or  
11 manufacturer but, rather, may have widespread  
12 implications with respect to foods and their  
13 manufacturers.

14           To determine if any conflict of interest  
15 exists, the committee has been screened for  
16 interests in the food industry. As a result of  
17 this review, in accordance with 18 USC, Section  
18 208(b)(3), Dr. Busta, Dr. Dwyer and Dr. Miller have  
19 been granted a particular matter of general  
20 applicability waiver that permits them to  
21 participate fully in the matters at issue. Copies  
22 of the waiver statements may be obtained by



1 submitting a written Freedom of Information Act  
2 request.

3 Our invited guest speakers have also been  
4 screened for conflicts of interest. Dr. Saunders,  
5 who I understand is being replaced by Dr. Brown,  
6 and Dr. Zyzak are employed by the regulated  
7 industry. No other conflicts have been reported.

8 I now turn the meeting back to Dr. Miller.

9 DR. MILLER: Thank you, Cathy. For  
10 opening comments, Mr. Joseph Levitt, Director of  
11 the Center for Food Safety and Applied Nutrition,  
12 will make some remarks.

13 **Opening Comments**

14 MR. LEVITT: Good morning. Let me again  
15 welcome you to our wonderful Washington, DC area in  
16 the middle of winter. I last saw this committee in  
17 the heat of summer. So, I guess, we have a way of  
18 playing these things around the weather. At least  
19 the subcommittee that Dr. Busta so ably chaired in  
20 December was in the middle of a snow storm so here  
21 we at least waited till some of the dig-out  
22 occurred.

sgg

1 Today's subject is acrylamide. We are at  
2 what I think of as phase two of this process.  
3 Phase one I would describe as discovery, where we  
4 all heard less than a year ago, last spring, of new  
5 findings reported from Sweden on acrylamide levels  
6 in food. That created a lot of activity that many  
7 of you know about and you will hear about in more  
8 detail. Phase two is what I call formulating a  
9 course of action. That is what we have been doing  
10 for the last six months or so. We presented a  
11 Draft Action Plan at a public meeting at the end of  
12 September, presented that to the Subcommittee of  
13 Contaminants and Natural Toxicants in December and  
14 are trying to finalize that today. Phase three,  
15 therefore, would be the implementation and finding  
16 solutions.

17 This has been such an important issue that  
18 actually phase three started before phase two was  
19 over. We are happy also to be sharing continued  
20 results of research that has been ongoing.

21 So, what you will hear today in summary is  
22 as follows: Number one, you will be hearing a

1 summary of our plan, including the subcommittee's  
2 review and suggested improvements to that that have  
3 been incorporated. You will be hearing continued  
4 findings of research that FDA has conducted and,  
5 for the first time, our overall exposure  
6 assessments on acrylamide. You will be hearing a  
7 presentation from industry research later this  
8 afternoon, preliminary research on how to reduce  
9 the levels. That is really a major goal here. The  
10 results, though preliminary, look very encouraging  
11 from what we have heard, and we are pleased to be  
12 able to present that to you today.

13           So, in less than a year I think you will  
14 find, as all of us involved, that an awful lot has  
15 been done in less than a year though, clearly, a  
16 lot more needs to be done to see this important  
17 issue through to its conclusion.

18           As always, what we want from you is your  
19 best advice. How can we best crystallize, direct  
20 and target this plan to accomplishing what we need  
21 to do in the shortest time possible, recognizing  
22 that research does take time, that it does take

1 work by a lot of people in order to try and solve a  
2 problem of this degree of complexity?

3 With that, it is my pleasure to make way  
4 for the FDA Deputy Commissioner, Dr. Lester  
5 Crawford. Thank you very much.

6 **Opening Comments**

7 DR. CRAWFORD: Thank you, Joe and thank  
8 you Dr. Miller. I would like to share a few  
9 thoughts with you with respect to the agency's  
10 current position on acrylamide, but before doing  
11 that I want to recall a couple of points of  
12 nostalgia to be sure that I run over my time, Dr.  
13 Miller.

14 [Laughter]

15 One is that I used to be on the Food  
16 Advisory Committee back in the golden days when we  
17 successfully solved the problem of food  
18 biotechnology--

19 [Laughter]

20 --and also somatotropin and then finally  
21 Ephedra. But we didn't have a chairman like Dr.  
22 Miller. That is for sure. Even earlier, about

1 half a mile from here, my first stint at FDA was  
2 out in Beltsville, vaccinating pigs. So, I am  
3 happy to be here.

4 [Laughter]

5 Somebody is out there vaccinating pigs  
6 right now.

7 First of all, let me just say that we are  
8 fully committed to developing better knowledge of  
9 acrylamide in foods and passing this information  
10 along to the public as quickly as possible. We  
11 have had a number of issuances on acrylamide, and  
12 we are about to have another as a result of this  
13 meeting.

14 At this point we simply don't know what  
15 the actual human health risk of acrylamide might be  
16 at the low levels found in food. We know it causes  
17 cancer and reproductive problems in animals in high  
18 doses and is a neurotoxin in humans at high doses.  
19 That is why the FDA created an Action Plan that Joe  
20 just talked about and that Terry Troxell will talk  
21 about a bit later to understand the risk that might  
22 be associated with acrylamide in foods, and to

1 reduce levels of acrylamide in foods, including  
2 active involvement of this committee.

3           As a result, we are learning more about  
4 acrylamide levels in a broader range of foods than  
5 has been previously analyzed. We are also learning  
6 more about acrylamide forms in foods and steps that  
7 may help reduce acrylamide formation.

8           Based on our current understanding of the  
9 science, FDA continues to advise consumers to eat a  
10 balanced diet, choosing a variety of foods that are  
11 low in trans and saturated fat and rich in high  
12 fiber grains, fruits and vegetables.

13           The purpose of the meeting, as Joe has  
14 said, is to seek input from the committee to assist  
15 FDA in analyzing and finalizing the revised Draft  
16 Action Plan. The revised Action Plan includes  
17 greater detail and reflects new research activities  
18 and comments from the subcommittee which we  
19 received on December 4 and 5 of last year.

20           We are releasing 110 additional test  
21 results in the spirit of openness and transparency.  
22 The findings released today are generally similar

1 to the preliminary results FDA released previously.

2           FDA exploratory survey findings on levels  
3 in foods have shown substantial variability among a  
4 wide variety of foods, as well as substantial  
5 variability within foods. The initial exposure  
6 assessment has found results similar to those  
7 conducted by organizations worldwide, such as the  
8 World Health Organization and the Food and  
9 Agriculture Organization.

10           The exposure assessment has found that  
11 many foods contribute to acrylamide exposure. No  
12 single food accounts for the majority of acrylamide  
13 exposure for the U.S. population. Some foods that  
14 have lower levels of acrylamide contribute  
15 appreciably to the overall exposure because they  
16 are commonly consumed. Researchers are seeking  
17 ways to get acrylamide levels down. Preliminary  
18 data are encouraging and we are convinced that  
19 there will be some techniques and some technologies  
20 developed that might help and we are eager to learn  
21 more about that from presentations that will be  
22 made at this meeting.

1           With that, I will conclude and will  
2 officially declare, from my position as Deputy  
3 Commissioner, that I was on time, Dr. Miller.  
4 Thank you.

5           DR. MILLER: Thank you. I am always happy  
6 to be present at rare events.

7           [Laughter]

8           I wanted to remind Dr. Crawford that the  
9 function of this committee is to provide advice,  
10 not to make decisions on implementation, and if a  
11 problem still exists that is not because the  
12 committee didn't give good advice.

13           [Laughter]

14           That brings me to the next issue. As I  
15 said before, the function of the committee is to  
16 review the Action Plan and to review the  
17 conclusions made by the Subcommittee on  
18 Contaminants and Natural Toxicants and to add or  
19 make recommendations concerning any changes in this  
20 plan.

21           There are three basic issues we have to  
22 think about as far as the Action Plan is concerned.



1 One, as mentioned, is the toxicology. How strong  
2 is the data? What does the data tell us in terms  
3 of calculating risk? And, what else needs to be  
4 done in order to provide a stronger base for an  
5 agency Action Plan?

6 Secondly, exposure and, as Dr. Crawford  
7 mentioned, some additional data is being released  
8 today. Is that right? It is going to be released  
9 today, some additional exposure data?

10 DR. CRAWFORD: Yes, 110 additional  
11 analyses.

12 DR. MILLER: All right, I wanted to be  
13 sure I heard that right. Thirdly, as was pointed  
14 out, there are available technologies for reducing  
15 exposure to acrylamide and to determine whether or  
16 not these are important in order to reduce the  
17 potential risk from exposure from this material.

18 In order to accomplish these tasks we have  
19 a number of speakers who will talk about the  
20 different subjects, but I think the committee ought  
21 to focus on these issues, and we will come back to  
22 them at the end of the meeting when we have our

1 general discussion concerning recommendations that  
2 we need to make to the agency.

3 The first speaker this morning to talk  
4 about the Action Plan is Dr. Terry Troxell, who is  
5 director of the Office of Plant and Dairy Foods and  
6 Beverages, CFSAN. Terry?

### 7 Development of the Acrylamide Action Plan

8 DR. TROXELL: Good morning. We bring you  
9 another simple problem to solve, like biotech and  
10 Ephedra.

11 What I would like to do first thing is to  
12 go over the charge. You all have the charge and  
13 questions in your packets. I think we should focus  
14 on that for a minute so we orient the meeting. The  
15 charge is to evaluate the revised Action Plan as a  
16 tool for providing the scientific basis from which  
17 to assess the significance of acrylamide in foods  
18 and potential public health consequences.

19 The first question of the committee is  
20 does the revised Action Plan meet its intended goal  
21 of serving as a tool for providing a scientific  
22 basis to assess the significance of acrylamide in

1 foods and its potential public health consequences?

2           The second question is new data on  
3 acrylamide levels exposure and potential  
4 interventions have become available in recent  
5 months. Does the Action Plan accommodate these new  
6 data? Please comment on the new data, including  
7 the exposure assessment of potential interventions.

8           The final question is FDA's consumer  
9 message stresses the importance of eating a  
10 balanced diet. Given the uncertainties associated  
11 with the current state of the scientific knowledge,  
12 FDA has concluded that there is insufficient data  
13 to revise this message. Please comment.

14           [Slide]

15           For this talk I want to go over the  
16 development of the plan and the content, FDA's  
17 overall goal and ongoing work on the Action Plan.

18           [Slide]

19           This all began back on April 24 of 2002  
20 when the Swedish national food agency surprised the  
21 world with a report that acrylamide was in numerous  
22 foods, surprised the world partly because cooking

1 process had been thoroughly studied and the  
2 mutagenicity, and so on, had been worked on for  
3 many years and this one was missed apparently  
4 because it wasn't positive in the Ames test.

5           Basically, what happened then was that  
6 many, many countries began developing methodology.  
7 The methodology was not initially available. We  
8 knew we had an LC/MS/MS method but we basically had  
9 to go from scratch, pretty near scratch, to develop  
10 a method. Then, as I said, we started thinking  
11 through the problem to try to clear up what in the  
12 world could be underlying the formation.

13           We posted our first version of our  
14 LC/MS/MS method on June 10th and we have updated it  
15 two times. It is either being updated on the web  
16 or was just recently updated, in the last couple of  
17 days. It is an excellent method of linear  
18 quantitation for 10 ppb.

19           Due to the intense interest worldwide in  
20 the subject, the WHO and FAO put on a consultation  
21 only two months after the announcement by the  
22 Swedish authorities. FDA sent three scientists to

1 this meeting and the consultation concluded that  
2 acrylamide was a major concern.

3           We posted our original Action Plan on  
4 September 20th so it didn't have the benefit of the  
5 next meeting, which was our interagency round table  
6 for which FDA brought together various components  
7 of CDC, NIOSH, National Institute of Occupational  
8 Safety and Health, and the National Center for  
9 Environmental Health. Of course, our National  
10 Center for Tox. Research was there and NIEHS of NIH  
11 was also at the meeting to exchange information on  
12 what was going on with acrylamide research and  
13 pathways and work of interest to various groups.  
14 So, we began our process of trying to organize the  
15 work to deal with the problem. Finally, we had our  
16 public meeting on September 30th and that was the  
17 first time we presented the plan.

18           [Slide]

19           The next event was the JIFSAN/NCFST  
20 workshop on October 28th to 30th. JIFSAN is our  
21 Joint Institute for Food Safety and Applied  
22 Nutrition. It is a consortium with the University

1 of Maryland. Our National Center for Food Safety  
2 and Technology is our consortium with the Illinois  
3 Institute of Technology. This workshop brought  
4 together researchers from many countries, four  
5 months after the original consultation, to  
6 thoroughly review the status of methods, mechanisms  
7 and formation for reduction of exposure, toxicology  
8 and risk communication. The workshop led to a list  
9 of priority research for each category. In  
10 addition to this, JIFSAN is operating the WHO/FAO  
11 acrylamide in food information network on the web  
12 to try to bring together research from around the  
13 world.

14 The next event was our subcommittee  
15 meeting in the snow storm of December 4th and 5th.  
16 At that time, of course, we presented the original  
17 Action Plan and got very valuable comments from the  
18 group.

19 [Slide]

20 The Action Plan outlines FDA's goals and  
21 planned activities on acrylamide in food over the  
22 next several years. The plan discusses intentions

1 to work with other federal agencies and participate  
2 in international efforts. We saw from the very  
3 beginning that there was a large amount of work to  
4 do, and work that would cost a lot.

5           It was our belief that we could get the  
6 answers that we needed as quickly as possible by  
7 leveraging our efforts and as important was a means  
8 to coordinate work with researchers and communicate  
9 throughout the process in order to accelerate the  
10 solutions. For example, several labs discovered  
11 concurrently that asparagine and glucose through  
12 their reaction were the primary cause of acrylamide-  
13 formation.

14           It would be useful if there was a way of  
15 limiting redundancy in order to optimize our  
16 efforts. However, the desire of academics and  
17 other researchers to publish gets in the way of  
18 that because they want to hold their work until the  
19 publication occurs. In any event, the Action Plan  
20 and coordination we are trying to foster, we  
21 believe, will move this effort in the right  
22 direction.

1 [Slide]

2 The original Action Plan included sections  
3 on testing foods, toxicology formation,  
4 methodologies, meetings and collaborative projects,  
5 consumer messages and regulatory options. The  
6 revised plan, summarized in the next talk, covers  
7 the same areas pretty much in more depth, with the  
8 addition of a couple of new sections.

9 [Slide]

10 The scientific review at FDA and WHO  
11 indicated cause for concern, as I indicated.  
12 Actually, although we note neurotoxicity here, the  
13 consultation did not expect neurotoxic effects from  
14 acrylamide levels present in foods. But  
15 discussions since then, for example at JIFSAN  
16 meetings, suggested that more work was needed to be  
17 done to characterize potential neural development  
18 of effects in chronic exposure.

19 Another factor that was involved in  
20 developing the Action Plan was that the consumption  
21 was in the tens of micrograms range. In contrast  
22 to that, food additives and water purification



1 effects of exposures were 100 to 1000 times lower.  
2 Also, the scientific review, as I have said,  
3 indicated that there were quite a few gaps and a  
4 lot of work needed to be done.

5 [Slide]

6 The results were intense worldwide  
7 interest, as indicated by the desire to have the  
8 consultation very shortly. Our optimal desire, of  
9 course, would be to harness that intense interest  
10 and at least try to influence it toward a better  
11 coordination and less redundancy. Research  
12 indicates acrylamide is formed through traditional  
13 cooking practices. As I said, it appears to be the  
14 product of one of the standard cooking reactions  
15 that is essential to cooking foods at processors  
16 and in the home. The reaction produces desirable  
17 flavors and browning. Thus, acrylamide is formed  
18 in a wide number of foods and is going to be a  
19 challenging problem for chemists.

20 [Slide]

21 We developed an overall goal for this  
22 project, and that is, through scientific

1 investigation and risk management decision-making,  
2 to prevent and/or reduce the risk of acrylamide in  
3 foods to the greatest extent feasible.

4 [Slide]

5 As far as ongoing work, we revised the  
6 plan based on the subcommittee's input as well as  
7 other developments along the way. We will present  
8 that plan today and will look forward to your  
9 assistance in finalizing the plan.

10 [Slide]

11 What is going on in the future here? Of  
12 course, our work on the projects is outlined in the  
13 plan. Then, there is the CCFAC meeting coming up  
14 shortly, March 17th. Of course, as we have  
15 discussed, there is intense national interest and  
16 we expect that the CCFAC will undertake new work at  
17 this meeting on acrylamide. As part of our effort  
18 to coordinate and share information on acrylamide,  
19 we are proposing a formal workshop on acrylamide in  
20 conjunction with the CCFAC meeting. The WHO and  
21 FAO have adopted that proposal and we will be  
22 conducting a workshop at the meeting. Finally, the

1 WHO and FAO JECFA, the Joint Expert Committee on  
2 Food Additives, which also evaluates contaminants,  
3 will be looking at acrylamide sometime in 2004.  
4 Whether it is February or June is not clear but  
5 they will be evaluating, and that is the group that  
6 does the risk assessment that flows into the CCFAC  
7 committee which is the risk management group.

8           There are tens of meetings on acrylamide  
9 going on all over the world, as well as in the  
10 U.S., and we have just highlighted a few of those  
11 but there is just very intense interest and it is  
12 hard to know which ones to highlight and which ones  
13 not to highlight.

14           [Slide]

15           Anyway, in the next talks we will be  
16 betting a report from Dr. Kim on that subject and  
17 then I will come back and summarize the revised  
18 Action Plan. Thank you for your attention. Any  
19 questions?

20           DR. MILLER: We have some time if there  
21 are any questions of clarification. Johanna?

22           DR. DWYER: Thank you for an interesting

1 introduction. I have one question on one of your  
2 early slides where you mentioned that the Swedish  
3 report came out in April, and then you developed a  
4 method which you released on June 20th. Why was  
5 the Swedish method not available?

6 DR. TROXELL: Again, they released a  
7 method but they were waiting to publish the work.

8 DR. DWYER: And they would not release it  
9 to the authorities?

10 DR. TROXELL: Right, they released a  
11 sketch of the methodology which helped accelerate  
12 our development of the method pretty quickly and  
13 observe it in an appropriate LC Mass Spec. method.

14 DR. MILLER: Any other questions? If not,  
15 thank you. As you know, the organization of the  
16 Food Advisory Committee consists of the committee  
17 itself and several subcommittees. This issue was  
18 referred to the Contaminants and Natural Toxicants  
19 Subcommittee, which you have heard about already  
20 Dr. Henry Kim is the executive secretary and he  
21 will present the report of the committee. Henry?

22 **Report of the Contaminants and Natural Toxicants**

1                               **Subcommittee (CNTS) Findings**

2                   DR. KIM: Thank you, Dr. Miller. As the  
3 executive secretary for the Contaminants and  
4 Natural Toxicants Subcommittee, I was asked by Dr.  
5 Busta, who is the chairman of that subcommittee, to  
6 present a report of the subcommittee meeting's  
7 findings and it is my pleasure to do so this  
8 morning.

9                               [Slide]

10                   For my presentation what I would like to  
11 talk about is briefly on the purpose of the  
12 subcommittee meeting, as well as the presentation  
13 that was made at this meeting, and then talk a  
14 little more in detail about the subcommittee's  
15 discussions and recommendations that were made.

16                               [Slide]

17                   The purpose of this subcommittee meeting,  
18 which was held on December 4th and 5th of 2002 was  
19 to present the major components of the FDA's Draft  
20 Action Plan and then to seek advice and  
21 recommendations from the subcommittee.

22                               [Slide]

1           In presenting the FDA's Draft Action Plan,  
2 the subcommittee was asked to evaluate whether the  
3 research steps outlined in FDA's Action Plan are  
4 scientifically adequate to describe and address the  
5 public health significance of acrylamide in food.

6           [Slide]

7           In addition, the subcommittee was posed  
8 with three questions. That is, given what we know  
9 of acrylamide, that is, the toxicology, the  
10 occurrence, formation, exposure and risk, one, are  
11 the research steps appropriate to describe and  
12 address the public health significance of  
13 acrylamide in food? Two, are there gaps in the  
14 research plan or areas where emphasis should be  
15 increased? Three, are there priority research  
16 needs that should be addressed first?

17           In order to facilitate our responses from  
18 the subcommittee on the Action Plan, FDA  
19 representatives presented five major components of  
20 the Draft Action Plan, that is, the toxicology, the  
21 occurrence, formation, exposure and risk.

22           [Slide]

1           First, Dr. Canady talked about information  
2 that we already know in addition to data that we  
3 need, and what we are planning to do to obtain  
4 those additional data with regard to the five  
5 toxicology elements that are shown on this slide.  
6 That is toxicokinetics, animal carcinogens and  
7 human neurotoxicants, reproductive/development  
8 effects and safety and risk assessment.

9           [Slide]

10           For the occurrence component of the Draft  
11 Action Plan, Dr. Musser discussed the development  
12 and performance of the LC/MS/MS method for the  
13 quantitation of acrylamide in a wide variety of  
14 foods, and also presented results of the  
15 exploratory survey data that was collected through  
16 November 15th, 2002.

17           [Slide]

18           Then Dr. Jackson provided an extensive  
19 summary on the current state of knowledge about  
20 mechanisms, the precursors and factors affecting  
21 acrylamide formation, and identified additional  
22 research needs in this area, and then discussed two

1 major elements regarding acrylamide formation in  
2 the Draft Action Plan, that is, understanding the  
3 conditions leading for acrylamide formation and  
4 developing methods to prevent or reduce acrylamide  
5 formation.

6 [Slide]

7 For the exposure component of the plan,  
8 Dr. DiNovi talked about the FDA approach for  
9 conducting exposure assessment using the occurrence  
10 and consumption data to estimate exposure, and then  
11 talked about the two exposure studies that were  
12 conducted by Sweden and FAO/WHO and indicated that  
13 the FDA was currently conducting an initial  
14 exposure assessment which is to be completed  
15 shortly.

16 [Slide]

17 Finally, Dr. Acheson talked about  
18 achieving a balance with respect to the importance  
19 of balanced diet, risk from exposure to acrylamide  
20 in food and potential dangers of inadequate cooking  
21 for addressing the complex issue of reducing risk  
22 to consumers from the presence of acrylamide in



1 food.

2 [Slide]

3 Some of the other presentations that were  
4 made just briefly, Mr. Levitt provided an opening  
5 remark sort of to kick off the meeting, if you  
6 will. Dr. Schwetz talked about scientific overview  
7 of acrylamide in food, focusing mainly on the work  
8 that was done at the FAO/WHO consultation in June.  
9 Then, Dr. Lineback, the Director of Joint Institute  
10 of Food Safety and Applied Nutrition, provided an  
11 overview of the JIFSAN workshop on acrylamide that  
12 was conducted last October. Finally, public  
13 comments were made by a representatives from  
14 National Food Processors Association, SNF and the  
15 American Council on Science and Health.

16 That is what happened on the first day of  
17 the meeting so now I would like to move on to the  
18 second day of the meeting, which was devoted mainly  
19 to discussions by the subcommittee members about  
20 the various components of the Draft Action Plan, as  
21 well as responding to the three questions that were  
22 posed to them. So, I would like to first talk

1 about the responses that were made by the  
2 subcommittee members with respect to the three  
3 questions and then highlight some of the major  
4 recommendations that were made on five components  
5 of the Draft Action Plan.

6 [Slide]

7 In response to question one, are the  
8 research steps appropriate to describe and address  
9 the public significance of acrylamide in food, the  
10 subcommittee generally supported the overall Draft  
11 Action Plan as well as the research plan that was  
12 outlined in the plan.

13 [Slide]

14 In response to question two, are there  
15 gaps in the research plan or areas where emphasis  
16 should be increased, the subcommittee felt that  
17 more detailed information was needed in the plan in  
18 discussing the risk assessment, human toxicology  
19 and epidemiology studies, as well as animal tox.  
20 studies and sampling and analytical variability and  
21 food consumption data by various population groups.

22 [Slide]

1           In response to question three, are there  
2 priority research needs that should be addressed  
3 first, the subcommittee generally felt that the  
4 priority as outlined in the draft plan, that is,  
5 the methodology, occurrence, formation, exposure  
6 and then risk--they felt that this outline was  
7 appropriate but there were suggestions made that  
8 perhaps an explicit statement about the priority in  
9 the plan may also be appropriate.

10           The subcommittee also recommended that the  
11 toxicology and risk assessment studies should move  
12 forward quickly because these types of studies take  
13 a long time to conduct, as well as focusing on  
14 developing a rapid and inexpensive method to  
15 analyze a wide variety of foods and to provide  
16 science-based risk communication messages.

17           Now I would like to move on to  
18 highlighting some of the major recommendations that  
19 were made by the subcommittee on various components  
20 of the plan.

21           [Slide]

22           In the area of toxicology the subcommittee

1 recommended that more human studies should be  
2 conducted, such as physiological studies looking at  
3 absorption, metabolism, distribution and excretion,  
4 as well as toxicokinetic studies of ingested  
5 acrylamide in humans. They also recommended that  
6 more detailed discussion about studies with respect  
7 to animal neurotoxicity and genotoxicity should be  
8 made; as well as animal bioassay studies,  
9 particularly the short-term studies; as well as  
10 looking at the dose-response relationships at lower  
11 levels, that is, between the no observed hazardous  
12 effect level and the levels at which there is tumor  
13 formation; and also looking at the mechanism of  
14 action in these bioassay studies.

15           Finally, they also suggested that the  
16 Action Plan should look at discussing  
17 biomarker-exposure relationship in smokers. They  
18 felt that data from those type of studies may be  
19 useful in conducting biomarker studies for  
20 acrylamide exposure from foods.

21           [Slide]

22           In the area of epidemiology the

1 subcommittee recommended that a separate section on  
2 epidemiology should be included in the Draft Action  
3 Plan, talking more about the human epidemiology  
4 studies such as identifying the study populations  
5 with higher or lower exposures to acrylamide from  
6 various diets, as well as investigating  
7 epidemiology studies of workers exposed to  
8 acrylamide to determine whether those types of  
9 studies may be applicable to food exposure.

10 [Slide]

11 With regard to the exposure assessment  
12 component of the plan, the subcommittee recommended  
13 that the Action Plan should highlight the exposure  
14 assessment element of the plan more prominently by  
15 providing information about data bases that would  
16 be used to conduct the exposure assessment; as well  
17 as looking at improving the ability to blind the  
18 data that will be released to the public, such that  
19 that will facilitate more data sharing; as well as  
20 obtaining quality data, and to statistically  
21 determine when enough samples have been collected,  
22 such that the exposure assessment can be conducted;

1 as well as obtaining inputs from the exposure  
2 assessors in the type of foods that should be  
3 sampled; and, finally, to use food consumption data  
4 by various population groups.

5 [Slide]

6 With respect to risk assessment, the  
7 subcommittee recommended that more information  
8 should be incorporated into the Draft Action Plan,  
9 particularly in discussing the importance of  
10 conducting a risk assessment, as well as the  
11 methods for conducting that risk assessment and on  
12 how to incorporate new data as they become  
13 available.

14 [Slide]

15 Finally, this is the last recommendation  
16 section within the area of risk communication. The  
17 subcommittee recommended that more emphasis should  
18 be made on the risk communication activities by FDA  
19 and to provide, as I mentioned previously,  
20 science-based information for dietary choices, as  
21 well as involving dietetic and nutrition  
22 associations in communication efforts, such as the

1 American Dietetic Association and the American  
2 College of Nutrition and, finally, to disseminate  
3 consumer and cooking messages through extension  
4 services.

5 [Slide]

6 In summary, the subcommittee spoke  
7 positively about the FDA's Draft Action Plan and  
8 generally agreed with the approach and the planned  
9 research activities that were outlined in the plan,  
10 and then provided some very valuable  
11 recommendations with respect to toxicology,  
12 epidemiology, exposure and risk assessments and  
13 risk communication.

14 With that, I turn the meeting back to Dr.  
15 Miller.

16 DR. MILLER: Thank you, Henry. Are there  
17 any questions or comments?

18 **Questions of Clarification**

19 DR. BUSTA: I just want to compliment  
20 Henry on doing a very succinct presentation of our  
21 two-day meeting. There are other members who were  
22 at the subcommittee meeting if there are additions,

1 but I think you summarized in a very succinct  
2 manner a lot of comments.

3 DR. KIM: Thank you.

4 DR. MILLER: Dr. Torres?

5 DR. TORRES: We heard a lot about  
6 variability within food, within food batches and  
7 then I see that the plan does include studies on  
8 the formation of acrylamide in foods. But when I  
9 look at the subcommittee recommendations there  
10 seems to be nothing in that area of formation and I  
11 am a little bit surprised about that. I don't see  
12 any specific recommendations about the formation  
13 kinetics of acrylamide. We see so much variability  
14 within food, between foods, between batches of  
15 food, however, I don't see any recommendations for  
16 further studies on formation.

17 DR. KIM: Yes, I did mention in the  
18 formation slides that Dr. Jackson presented  
19 formation information and did go into some of the  
20 additional research needed, such as looking at  
21 factors like pH, temperature, moisture content and  
22 those types of research activities. But I did not



1 kind of highlight that in this presentation because  
2 of lack of time to present everything that was  
3 discussed at the meeting. There was a whole lot of  
4 discussion on all the major topics and I just  
5 wanted to focus on the major components of the  
6 plan.

7 DR. MILLER: I think the point is that  
8 this issue which is, of course, vitally important  
9 wasn't highlighted as one recommendation for the  
10 Action Plan in your presentation.

11 DR. KIM: Well, I can add that to the  
12 recommendation list.

13 DR. MILLER: That is the point that Dr.  
14 Torres was trying to make. It is a vital issue and  
15 is certainly deserving of the same emphasis, at  
16 least in my view, as other aspects such as  
17 toxicology.

18 DR. KIM: Yes, I would agree with that.

19 DR. MILLER: Do you want to say something,  
20 Terry? You look anxious.

21 DR. TROXELL: I always look that way. Our  
22 original Action Plan did highlight research on

1 mechanism and formation. So, I think the  
2 subcommittee recognized that and I know that no  
3 recommendations came out on that, to change that.  
4 They just agreed that we should keep pushing on  
5 those aspects because they are vitally important.

6 DR. MILLER: I think the report ought to  
7 reflect that. It is an area that obviously got  
8 missed because in reading the report, it doesn't  
9 come across. Johanna?

10 DR. DWYER: Thanks for a good report. One  
11 thing that troubles me about this whole area is the  
12 database that one is using to get at the foods.  
13 Just a cursory reading of the report we were given  
14 this morning doesn't seem to highlight that for  
15 further attention. My concern is this, I know that  
16 on page two or three of the Action Plan you talk  
17 about FDA doing some analyses and then we were told  
18 that today more values will be released, but the  
19 question is how to capture all the values that are  
20 there, not just these but others. We went through  
21 this with methyl mercury where we found out that  
22 there is a lot more data out there than we had in

1 the database. I wondered what efforts would be  
2 focused on all the different government agencies  
3 that may have data on this.

4 DR. KIM: I was told that this will be  
5 addressed in the revised Action Plan. There were  
6 discussions about data collection. I think with  
7 respect to FDA--we obtained data from surveys--I  
8 don't know, maybe Dr. Busta or Dr. Lee may have  
9 some additional thoughts.

10 DR. DWYER: I am talking about the  
11 presence of this compound or compounds in food, not  
12 the further step of taking those data and trying to  
13 get exposure estimates for food. I am talking  
14 about the database that is used to say the French  
15 fries have this much, the broccoli has that much.

16 DR. BUSTA: I thought Henry covered that  
17 under the exposure assessment in improving the  
18 ability to blind the data and facilitate the data  
19 sharing, and maybe that should be more explicit but  
20 when I see that I think that there a lot of data in  
21 the food industry that, if they were made blinded,  
22 would be made available but might not be offered

1 freely if it said somebody's brand.

2 DR. LEE: Just to amplify on the  
3 subcommittee's recommendation, a lot of time was  
4 spent on the JIFSAN website and ways to share  
5 acrylamide data, and there was also a lot of  
6 discussion about the need to at least single-blind  
7 acrylamide levels in food so an industry that has a  
8 lot of data on acrylamide content of its products  
9 would not be in danger of being fingered as a  
10 source in the diet. So, I think that thought is  
11 there and, in all fairness, Henry gave a great  
12 summary but couldn't get into that level of detail  
13 in his presentation.

14 DR. MILLER: Other comments? This is not  
15 a clarification, Henry or Terry, but how much  
16 emphasis--excuse me, let me go back, I think it was  
17 you, Terry, who said something about acrylamide not  
18 being positive in the Ames test? Did I hear that  
19 correctly?

20 DR. TROXELL: That is my understanding,  
21 and when they did research on cooking carcinogens  
22 that form in foods, and so on, apparently that is

1 probably the reason acrylamide was missed among the  
2 other chemicals that are formed.

3 DR. MILLER: But it is genotoxic?

4 DR. TROXELL: Yes.

5 DR. MILLER: That is what I thought. That  
6 is why I didn't understand why it didn't show any  
7 results the Ames test.

8 DR. TROXELL: If you want further  
9 clarification, Dr. Canady probably can provide  
10 some.

11 DR. MILLER: It is a matter of curiosity,  
12 but thank you. Any other comments? If not, we are  
13 actually ahead of time. I think what we are going  
14 to do is--Terry, why don't we move on?

15 MS. DEROEVER: We have a technical  
16 difficulty.

17 DR. MILLER: We have a technical  
18 difficulty? All right, we will take a break and  
19 return in about 30 minutes.

20 [Brief recess]

21 DR. MILLER: Our next speaker is Dr. Terry  
22 Troxell, who will describe the revised Action Plan.

1 Terry? I assume these are revisions that were made  
2 in response to the recommendations of the  
3 subcommittee.

#### 4 Revised Action Plan

5 DR. TROXELL: Right. Thank you. You  
6 should have the revised Action Plan before you and  
7 also those 110 new data points should be in your  
8 packet. They are kind of at the bottom of the  
9 packet, under "exploratory survey."

10 [Slide]

11 I am going to go very briefly over the  
12 revised Action Plan that we updated based on the  
13 input from the subcommittee, as well as the public,  
14 and also all the things that have happened in the  
15 meantime. Like I said, we put out the first Action  
16 Plan before the interagency meeting that we had,  
17 also before the JIFSAN meeting, and so on. Again,  
18 what we are looking for today is we are seeking  
19 your input to assist us in finalizing this.

20 [Slide]

21 What I am going to do is summarize key  
22 changes; review the major goals of the plan; walk

1 through the action sections; and then highlight the  
2 changes section by section.

3 [Slide]

4 The major change, of course, is that we  
5 reorganized the plan. It now has a more logical  
6 flow, at least from my viewpoint. We have added  
7 new sections on exposure assessment, epidemiology  
8 and risk assessment and this is in response to  
9 subcommittee recommendations. We added more  
10 details and updated the information. The plan is  
11 about double the size of the previous plan and this  
12 also was in response to the subcommittee.

13 [Slide]

14 There are seven sub-goals to our work. I  
15 already mentioned our overall goal before. The  
16 first sub-goal is to develop rapid and inexpensive  
17 screening methods and validate confirmatory methods  
18 of analysis. The second is to identify mechanisms  
19 responsible for the formation of acrylamide in  
20 foods and identify means to reduce acrylamide  
21 exposure. Again, we know at this point that  
22 asparagine and glucose with high heat are

1 responsible for most of the formation of  
2 acrylamide. What we don't know at this point is  
3 how to quench that reaction.

4 [Slide]

5 The next goal is to assess the dietary  
6 exposure of U.S. consumers to acrylamide by  
7 measuring the levels in various foods and  
8 estimating the dietary exposure.

9 [Slide]

10 The next goal is a rather long one and I  
11 am not going to read this but basically what it  
12 says is that we are going to explore the toxicology  
13 and epidemiology, and we are going to use  
14 quantitative risk assessment to determine the risk  
15 and the uncertainty associated with those risk  
16 calculations.

17 [Slide]

18 The next goal is to develop and foster  
19 public/private partnerships to gather scientific  
20 and technological information and data for  
21 assessing the human risk. We believe this is  
22 really essential and at the core of getting this



1 work done and also it will harness that interest  
2 around the world by all parties, academia, industry  
3 and the governments.

4 [Slide]

5 The next goal is to inform and educate  
6 consumers and processors about the potential risk  
7 associated with acrylamide throughout the  
8 assessment process and as knowledge is gained.

9 [Slide]

10 The next goal is to provide all the  
11 essential elements for risk analysis, that is, risk  
12 assessment, risk communication and risk management.  
13 That is kind of a sum-up goal because that kind of  
14 covers the waterfront of what we need to do on this  
15 process and pretty near in any project we have on  
16 food safety.

17 [Slide]

18 There are nine sections detailing the  
19 actions toward accomplishing these goals. They  
20 cover the methodologies, research on formation,  
21 measuring exposure, toxicology and health effects,  
22 epidemiology, risk assessment, meetings, inform and

sgg

1 educate the public, and then further actions. I  
2 will now look at each of those sections.

3 [Slide]

4 As I said, our LC/MS/MS method was posted  
5 on the web back in June. We have recently updated  
6 it. Dr. Musser of CFSAN and his group have done an  
7 enormous job of developing the method and analyzing  
8 around 400 samples to date. This is in addition to  
9 the numerous analyses they have done in determining  
10 the characteristics of the method.

11 I am going to diverge a second to make a  
12 point. Acrylamide has been a real team effort  
13 here, at FDA. It is not only my office, Office of  
14 Plant and Dietary Foods and Beverages, that is  
15 involved, but the Office of Food Safety is doing  
16 the exposure assessment, the Office of Systems and  
17 Support, the office in which Dr. Musser has done  
18 the analyses, and there are others in CFSAN like  
19 the food safety staff and the Office of Science.  
20 In the FDA in a broader sense, our National Center  
21 for Tox. Research is doing an enormous amount of  
22 work on toxicology and our Office of Regulatory

1 Affairs will be running total diet study samples.  
2 This gives a sense of the energy going into  
3 developing the science around this issue, and is  
4 being repeated in many countries by many different  
5 kinds of parties.

6 With respect to validation, while there  
7 are certain excellent methods for determining  
8 acrylamide, Dr. Musser's method is an excellent  
9 definitive method that we believe should go through  
10 the full AOAC validation process.

11 Then, there are screening alternatives.  
12 We want to explore, and are encouraging others to  
13 explore the screening methods. Dr. Diachenko's lab  
14 in CFSAN is presently looking at use of LC/UV as a  
15 screening method. We believe the equipment, the  
16 LC/UV detectors are available in many labs around  
17 the world and this could provide a simple means for  
18 people in many countries to get a handle on the  
19 screening levels.

20 [Slide]

21 So, what is new in methodologies? Our  
22 second update is being posted for our LC/MS/MS

sgg

1 method. We are explicitly talking about AOAC  
2 validation rather than just validation and we are  
3 currently exploring LC/UV alternatives.

4 [Slide]

5 Research on formation, CFSAN's Division of  
6 Food Processing and Packaging is located in Chicago  
7 at the National Center for Food Safety and  
8 Technology. NCFST has the capability of doing  
9 pilot process research so we can take the bench-top  
10 research on reduction, whether done in academia or  
11 done in industry labs, and test it under pilot  
12 processing conditions. Currently, NCFST is  
13 planning research on the formation of acrylamide  
14 during home cooking of toast, French fries and  
15 other foods. This seems to be a gap that we don't  
16 believe others are pursuing. The laboratory out  
17 there has the capability of measuring surface  
18 temperatures of food and also looking at the degree  
19 of browning so we can actually bring some science  
20 to bear on what would be happening in the home with  
21 toasting and frying of foods.

22 Also, the interaction of academia and

1 industry, again, we expect that reduction  
2 strategies will vary with many types of food in  
3 which acrylamide is formed. Therefore, it will  
4 take the efforts of the food science departments  
5 like Mike Pariza's Food Research Institute at the  
6 University of Wisconsin and the food industry to do  
7 the heavy lifting on research in this area. You  
8 will hear from Frito Lay and Procter and Gamble  
9 this afternoon on their progress on reduction  
10 strategies. Procter and Gamble will talk about the  
11 mechanism, Frito Lay will talk about reduction  
12 strategies.

13 [Slide]

14 So, what is new in the research on  
15 formation? NCFST is going to investigate  
16 scientifically home cooking.

17 [Slide]

18 Now to get at some of the questions we had  
19 this morning of measuring exposure, as I think I  
20 said earlier, Dr. Musser's group has done about 400  
21 locally collected food samples thus far with this  
22 additional 110. These are the analyses we have

1 used in our initial exposure assessment that you  
2 will be hearing about later, and we have handed out  
3 that data. I hope you each have a copy of that.

4 We are contracting through JIFSAN, our  
5 consortium with the University of Maryland, for 400  
6 to 500 more foods to be analyzed, and these will be  
7 collected across the country, so we will get a  
8 national scope now, by our field forces.

9 In addition, we have begun testing total  
10 diet study foods. The total diet study look at  
11 foods as eaten and serves as a good indicator of  
12 exposure to contaminants--I mean, that study looks  
13 at contaminants and pesticides, and looks at  
14 nutritional minerals, and so on. So, it will be a  
15 means for us to understand what the kind of gross  
16 exposure is and then what our progress is on  
17 reduction.

18 As far as other testing goes, we plan to  
19 continue testing in subsequent years in part to  
20 fill in the distributions. You will see in our  
21 exposure assessment presentation that there are too  
22 few samples in many categories so we need to fill

sgg

1 those in. As was discussed earlier, JIFSAN is  
2 compiling data from industry and academia which  
3 will fill gaps in distribution and help solve this  
4 data problem.

5 We also understand that Europe has  
6 compiled a large amount of data. The U.K.  
7 apparently has a database that may run up to 4000  
8 samples but their processing conditions may be  
9 somewhat different than processing conditions in  
10 the U.S., therefore, they may not always be  
11 directly applicable to the U.S. situation. But we  
12 think it is really important for us to tap into the  
13 data that is out there from all parties to  
14 basically fill out the distributions for each of  
15 the food categories and understand the variability  
16 on exposure.

17 [Slide]

18 Then there is exposure assessment and I am  
19 going to pretty much skip over this part because  
20 Dr. Robie will be thoroughly discussing our  
21 methodology but, again, we will be periodically  
22 updating that exposure assessment as more data is

1 compiled and, hopefully, we will be bringing to  
2 bear this blinded data that industry can make  
3 available to us.

4 [Slide]

5 So, what is new in exposure? Well, it is  
6 a new section and we put in a lot more details in  
7 the revised Action Plan on what we are going to do,  
8 and those details include information on how we are  
9 going to model the database we are going to use.

10 [Slide]

11 Now we move on to toxicology and health  
12 effects. Toxicology will provide information for  
13 risk assessment and consist of two general areas,  
14 animal toxicology and human toxicology.

15 [Slide]

16 Now I am going to pretty much summarize  
17 the next three or four slides with the following:  
18 There are basically four things we want out of this  
19 work. One, we need a better understanding of the  
20 carcinogenicity, neurotoxicity and germ cell  
21 toxicology in rodents.

22 Two, we really need to understand the



1 bioavailability of acrylamide from food versus  
2 water in which most of the studies have been done.

3           Three, we need to understand the  
4 difference between high and low dose metabolism at  
5 high doses and, again some others may need to  
6 correct me because, as they always say, I am not a  
7 toxicologist, I am only a "Troxell-cologist." So,  
8 at high doses the p450 pathway produces glycidamide  
9 which is thought to be the penultimate carcinogen.  
10 but at low doses a smaller fraction of acrylamide  
11 may go through the p450 pathway. So, it is really  
12 important for us to understand the high versus the  
13 low dose differences.

14           Fourth, we need to understand the  
15 differences in metabolism and processing of  
16 acrylamide between animals and humans.

17           For carcinogenicity we need to understand  
18 the levels of adducts that form on ingestion of  
19 acrylamide. There is a very convenient biomarker  
20 adduct that is formed with hemoglobin. Once the  
21 relationship is established between acrylamide  
22 intake and adduct formation we will be able to use

1 the adduct levels in red blood cells as a  
2 convenient means of understanding population  
3 exposure to acrylamide. Of course, that will  
4 integrate everything. That will integrate the  
5 acrylamide from smoking and occupational and all  
6 possible sources.

7           We don't really believe that this adduct  
8 with hemoglobin leads to adverse effects. In fact,  
9 it probably prevents acrylamide from ultimately  
10 reacting with the DNA. It is the DNA adducts after  
11 enough insults to the DNA that are thought to lead  
12 to the tumor production. So, we need to also  
13 understand the correlation between acrylamide  
14 intakes, the hemoglobin adducts to the DNA adducts,  
15 and we need these studies for rodents where we can  
16 study cancer production at the highest doses and we  
17 need these studies on humans who are exposed to  
18 very low levels from foods. Such studies should  
19 significantly reduce the uncertainty about the risk  
20 to humans but, so everybody is clear, we never are  
21 going to be totally precise in this. There are  
22 still going to be fair uncertainty bars but we can

1 reduce those uncertainty bars by quite a bit.

2           The challenge is going to be to measure  
3 adducts in situations where there are low levels of  
4 exposure because, obviously, at low level exposure  
5 you are forming very few adducts and, therefore,  
6 you are having difficulty pulling those out in your  
7 analysis. Many of the studies that we list on the  
8 next several slides can contribute to these key  
9 needs in a relatively short time for toxicology.  
10 To me, a relatively short time for toxicology is  
11 one to two years. So, with that having been said,  
12 I think I have summarized the short-term studies at  
13 the National Center for Tox. Research on  
14 bioavailability and adducts.

15           [Slide]

16           Then, they are going to be doing a gold  
17 standard, you know, NTP bioassay. The subchronic  
18 and mechanistic components of that will be done  
19 fairly fast and will contribute to our  
20 understanding of the toxicokinetics and can be  
21 pumped into physiological-based pharmacokinetic  
22 modeling. But obviously definitive results on

1 number of tumors that are produced in the rodents  
2 won't be available for many years because that  
3 takes a lifetime of study in the rodent.

4           The NCTR will be looking at  
5 neurodevelopmental effects and also there will be  
6 mechanistic research on germ cell toxicology and  
7 neurotoxicity by the National Institute for  
8 Environmental Health studies.

9           [Slide]

10           Then there will be the adduct studies that  
11 CDC is going to be party to, as I mentioned.

12           [Slide]

13           We are looking at using the NHANES study  
14 and measuring adducts to understand population  
15 exposure to acrylamide. As well, NIOSH is looking  
16 at this area and the acrylamide manufacturers are  
17 doing a variety of toxicokinetic studies. So, we  
18 are trying to bring all parties to bear in the  
19 process.

20           [Slide]

21           Before I leave this area, again, there are  
22 many groups that are going to be expected to

sgg

1 contribute to this work, and this work costs  
2 millions. We are trying to facilitate the research  
3 that needs to be done in all areas, but outside the  
4 agency it will be up to the researchers, their  
5 priorities, and how much they can invest to see how  
6 far they go. This will be particularly true for  
7 doing epidemiology studies which I will discuss a  
8 little later.

9 [Slide]

10 So, what is new in the tox. area? We have  
11 listed a series of new toxicology studies, new  
12 areas, neurotox. and germ cell tox. and industry  
13 working on toxicokinetics. We have talked about  
14 doing PBPK modeling and so on. Since the early  
15 report, we now know that the NTP is going to be  
16 doing a chronic bioassay.

17 [Slide]

18 Let's move on to the epidemiology area.  
19 Some of the work on adducts is viewed as  
20 epidemiology so it is kind of cross-cutting. Of  
21 course, we are going to be exploring the  
22 feasibility of prospective studies and also we will

sgg

1 be using all the studies that are reported in the  
2 literature, whether they are going to be on worker  
3 exposure or food exposure.

4           Now I will go to a little description of  
5 my view of the epi. area here. There are basically  
6 two avenues of epi. studies in my view, the  
7 occupational and the food exposure. If I  
8 understand it right, occupational studies are most  
9 likely to be able to tell us that acrylamide at  
10 high levels can cause cancer in humans. I think  
11 occupational exposures may be the highest. Thus  
12 far they have not shown an effect. This may be due  
13 to the fact that not enough years of exposure have  
14 been studied.

15           The other avenue, of course, is intake  
16 from foods. Can epi. studies make a difference?  
17 Dr. Acheson will discuss the Mucci study, which was  
18 published several weeks ago, in his talk. I  
19 believe that is tomorrow

20           This was a case control food intake study  
21 and no effect was seen. The basic problem, as I  
22 see it, is that we should have an epi. study that

1 can detect a lifetime risk of 1/10,000 and we don't  
2 want to wait 50 years for the result. If the study  
3 can only detect 1/1000 risk then we are not any  
4 better off than what the rodent studies can give  
5 us. So, I have asked our experts if it would be  
6 possible to detect such low risk. I don't have the  
7 answer yet but the initial reaction is that it  
8 would be very hard, at best.

9           The kind of study we have been discussing  
10 at the FDA is to do a case controlled study within  
11 one of the ongoing prospective studies, like the  
12 Nurses Health Study. They have collected diets as  
13 well as blood samples for the study. Many pieces  
14 would need to come together in order to do such a  
15 study but, if they did come together, it could be  
16 done in one to two years.

17           But consider the complications. How  
18 accurate are the diets? Misclassification would  
19 reduce the power of the study. Further, because  
20 acrylamide is in so many foods, it will not be  
21 possible to find a population that is exposed  
22 versus not exposed. What we are talking about is

1 groups that may vary in levels of exposure by maybe  
2 10-20 microgram differences. Then, there is the  
3 further complication with food in that an array of  
4 chemicals make up the composition of any foods.  
5 So, if one sees an effect or doesn't see an effect,  
6 is it because of acrylamide or is it because of  
7 other chemicals that are also present in the foods  
8 that are being looked at which have carcinogenic  
9 and also anti-carcinogenic effects?

10 So, there are a lot of difficulties in  
11 looking at these food studies because of the  
12 confounding factors and many other factors that are  
13 also involved concurrently in causing various  
14 effects. So, in sum, we are exploring epidemiology  
15 but we need to carefully consider any study to  
16 determine if it will likely provide added value  
17 once it is completed.

18 [Slide]

19 So, what is new in epidemiology? Well, it  
20 is a new section. It explains how the epi. data  
21 could benefit FDA work on acrylamide, and a number  
22 of studies and collaborations are under



1 consideration.

2 [Slide]

3 The next action section is risk  
4 assessment. Clearly, when we have adequate  
5 information we want to characterize potential risk,  
6 including the uncertainty analysis. We think that  
7 key data needs are bioavailability, biomarkers,  
8 metabolism and toxicokinetics.

9 [Slide]

10 We would revise the risks assessment when  
11 significant developments materially change the  
12 assessment.

13 [Slide]

14 So, what is new in risk assessment? We  
15 have given an explicit section to risk assessment  
16 and it outlines our goals, data needs and expected  
17 output of the risk assessment.

18 [Slide]

19 The next section is meetings which we will  
20 continue to participate in and convene meetings as  
21 appropriate. As I said earlier, we convened the  
22 interagency meeting and we participated in the

1 JIFSAN meeting, and so on. I am not repeating the  
2 meetings here because I went over the key things  
3 that have been going on in the first presentation.

4 [Slide]

5 The next action section is to inform and  
6 educate the public. We are, and have been,  
7 committed to communicating with the public  
8 throughout this process. This is the third public  
9 meeting. So, that is one form of our communication  
10 and transparency on the acrylamide issue. Also,  
11 our website has a lot of information on our Action  
12 Plan, on levels and so on. Also, there has been an  
13 FDA Consumer article published in the  
14 January/February issue.

15 [Slide]

16 We have a message and do not believe that  
17 we have enough science at this point to change it.  
18 That message is eat a balanced diet; choose a  
19 variety of foods that are low in trans fat and  
20 saturated fat and rich in high fiber grains, fruits  
21 and vegetables. We may recruit diet and nutrition  
22 organizations to help spread that message and

1 changes to the message.

2 [Slide]

3 What is new in this section? We have  
4 expanded it to indicate that we have a continued  
5 process of risk communication going on and  
6 participation of outside organizations.

7 [Slide]

8 The last section is further actions. One  
9 of our further actions, of course, is to develop  
10 and revise regulatory options as additional  
11 knowledge is gained on acrylamide in food. Another  
12 action is to encourage industry to adopt feasible,  
13 practical and safe processes that are successful at  
14 reducing acrylamide, as needed.

15 [Slide]

16 Another action is to develop and revise  
17 the consumer message about dietary choices and  
18 cooking, as additional knowledge is gained to  
19 assist consumers in making informed choices.  
20 Finally, any adjustments to messages would be made  
21 as dictated by the totality of the science

22 [Slide]

1 In conclusion, the Action Plan outlines  
2 goals and planned actions. It was revised to  
3 reflect comments of the subcommittee and new  
4 information from many sources. It was reorganized,  
5 with new sections added and more details. Finally  
6 we are here seeking your input to assist us to  
7 finalize the plan. Thank you for your attention.

#### 8 Questions of Clarification

9 DR. MILLER: Thank you. Before we begin  
10 the questions, let me just introduce Jean Halloran.  
11 Comments or questions?

12 DR. RUSSELL: Yes, I was wondering if it  
13 is known which p450 enzymes are involved in  
14 creating the ultimate carcinogen. The reason I am  
15 asking that is that you might be able to target  
16 certain populations whose p450 enzymes, for  
17 example, are turned on or induced for a particular  
18 effect of this carcinogen because more carcinogen  
19 would have been formed. One example would be  
20 people who drink alcohol where certain p450 enzym-  
21 profiles are turned on, and if those enzymes are  
22 the same ones that are involved in forming the

1 carcinogen you may be able to target more  
2 supopulations that may be at particular risk. So,  
3 the question is do we know that or is that a part  
4 of the puzzle also?

5 DR. TROXELL: I don't know that. Dr.  
6 Canady may be able to comment on that.

7 DR. CANADY: Rick Canady, FDA. 2E1 is the  
8 enzyme and it is pretty clearly implicated in the  
9 conversion of glycidamide and it is one of the  
10 things that we are looking at in designing studies.

11 DR. RUSSELL: Another comment is that you  
12 mentioned in the epidemiology, perhaps just as an  
13 example, that the Nurses Health Study might be a  
14 population to look at. My only caution there would  
15 be that they are not a particularly representative  
16 group because they have other health habits. They  
17 may be more health conscious, for example, than the  
18 normal population that you are dealing with. So,  
19 it may be that a longitudinal population that has  
20 been followed that is more representative, perhaps  
21 a Framingham study for example, might be a better  
22 population group to look at.

1 DR. TROXELL: Thank you.

2 DR. SCHERER: I am just wondering whether  
3 any consideration has been given, or discussion, in  
4 terms of expanding a bit the risk communication  
5 section. For example, it seems to me that the idea  
6 of communicating all of this to the public is  
7 certainly a sound one but it seems to me that maybe  
8 a part that is missing is more of the preparation  
9 for how to do that. This particular risk, it seems  
10 to me, is in some ways potentially, if the science  
11 bears it out, what might be described as the  
12 perfect risk, risk challenge. It has all of the  
13 elements of being one of the more difficult ones to  
14 communicate. So, the idea would be that to some  
15 extent there needs to be some work done on  
16 understanding how to change human behavior in this  
17 case because that seems to me to be the real  
18 challenge.

19 DR. TROXELL: I think we would agree with  
20 you that it is always a challenge to provide  
21 messages and education that consumers will respond  
22 to. That is the challenge of any message and in

1 this case probably more so because consumers hear  
2 many negative messages and they are puzzled many  
3 times about which ones are really important to  
4 listen to. So, yes, a study of what would work and  
5 what won't work is important. You know, if we are  
6 going to go out with a targeted message, for  
7 example relating to cooking, we certainly want to  
8 make sure that what we do has scientific  
9 underpinnings like the toasting issue. We clearly  
10 don't want consumers to hear cook food less  
11 generally because then we would end up having more  
12 problems from pathogens. So, we would be reducing  
13 a risk from this a little bit but we could be  
14 creating an actual health hazard from people not  
15 cooking their hamburgers well enough.

16 DR. TORRES: When I look at where most of  
17 the studies will be done on formation, it seems  
18 that the focus is on the National Center of Food  
19 Safety. So, I have two questions. One is that  
20 most of the research at that center is fee-based  
21 access. So, if you pay a fee you have access to  
22 the research. So, the question is how accessible

1 would that research be to any industry?

2           Second, you mentioned that the focus  
3 should be on home use study so where would the  
4 non-home use study be done?

5           DR. TROXELL: Actually, most of the FDA  
6 research will be at the National Center for  
7 Toxicology Research. They are undertaking the NTF  
8 bioassay and all the mechanistic work. As to the  
9 studies relating to reduction, the National Center  
10 will do some of that work, and has the capability  
11 to do some additional work on pilot process  
12 testing, and that work will be fully available to  
13 everybody as early as possible and generally is  
14 presented as early as possible.

15           Yes, I think the Center does some private  
16 work but our Division is not involved in that kind  
17 of work out there. That is work that is between  
18 the researchers at IIT and particular industry  
19 members. We will only do a portion of the  
20 formation research. We don't have the capacity to  
21 do a large portion. We really need to depend on  
22 other organizations, other governments, industry



1 and so on to push the research on reduction  
2 forward. We also expect that is necessary because  
3 food is so complex we will probably need different  
4 strategies for different foods. While we can hope  
5 for a magic bullet to quench the reaction, that is  
6 something that I am not aware of anybody having a  
7 handle on at this point.

8 DR. TORRES: My question specifically is  
9 will we hear more details about the research done  
10 at the National Center for Food Safety. I agree  
11 with you that they do have the capability to do  
12 pilot studies and they have the equipment to  
13 simulate industrial processes, but I don't see that  
14 anywhere in this report.

15 DR. TROXELL: Well, part of our effort is  
16 to make information available as early as possible  
17 to help fuel the process of discovery. Obviously,  
18 the FDA is committed to that so we will make any  
19 discoveries available as soon as possible. Dr.  
20 Jackson, at the subcommittee meeting, presented on  
21 the mechanism and also showed some initial results  
22 on potato chip work. So, we are making our

1 research available as soon as possible.

2 DR. MILLER: Johanna?

3 DR. DWYER: Thanks for a good  
4 presentation. Just a couple of points, the first  
5 is I was delighted to see that you are using HANES,  
6 or are hoping to use HANES, but the number of  
7 subjects above the 95th percentile will be very  
8 small, as you well know, because the sample size is  
9 only 5000. But the notion of using blood and  
10 connecting it with diet and other exposures is very  
11 appealing.

12 I was taken by Dr. Russell's comment about  
13 longitudinal studies and Framingham is one example  
14 of a place where other agencies in the public  
15 health service have invested considerable money in  
16 maintaining sample sizes. The other one is the  
17 Women's Health Initiative which, not surprisingly,  
18 eliminates men but is very large, about 35,000  
19 people I think in the randomized study and I think  
20 the observational study is even larger. That,  
21 again, is heavily supported by the NIH. It is one  
22 of the largest studies in the country. It would

1 seem like you would also want to explore that  
2 particular study when you look at feasibility of  
3 prospective studies. The advantage of that  
4 particular study is that the data and the  
5 assumptions that are used in the food frequencies  
6 are totally transparent because it is all federally  
7 funded, and basically the forms they use are things  
8 that were developed I think at NCI.

9           The second point is simply this issue  
10 again of getting all the data that are available on  
11 acrylamide levels in food into your database. It  
12 sounds to me like you are spending at least a  
13 million dollars, if not more, to try to do these  
14 assays of food within the agency. I haven't looked  
15 at the FDA budget lately but it strikes me that it  
16 is a lot of money, and if there are others who also  
17 have data on this we have to find ways--and food  
18 composition in general--to get this data into  
19 national databases for risk assessment.

20           DR. TROXELL: Thank you for those  
21 comments. Getting the data together on levels was  
22 a major discussion point at the JIFSAN meeting. It

1 looks to me like they are going to be able to  
2 compile, hopefully, an enormous amount of data that  
3 is going to fill in the gaps for us substantially.

4           Clearly, we are going to have to look at  
5 individual foods to see what kind of data we have  
6 for those distributions and where we need to fill  
7 them out. We will be consulting with our  
8 statisticians to make sure that we have adequate  
9 information. But, as you know, you can do an  
10 exposure assessment with one data point and it is  
11 just how good is that exposure assessment. So, we  
12 ultimately want to understand the distributions  
13 from the major foods.

14           DR. MILLER: More comments? I have just a  
15 couple of points. Clearly, some of this work is  
16 already in progress. Can you give us some idea of  
17 what the timeline is for your priorities about what  
18 is going to be done first?

19           DR. TROXELL: I am sorry, I don't have a  
20 timeline on each of these studies. Much of the  
21 tox. work is either in the planning stage or has  
22 already begun. There is short-term work from which

1 within a year or two we should be reaping benefits.  
2 Of course, the bioassay is going to be very long.  
3 We have just put in a proposal for the home cooking  
4 work for the National Center. It is going to take  
5 some time, I am sure, for JIFSAN to compile the  
6 database and so on but that work was begun a while  
7 ago to try to get the different players to submit  
8 some of their analyses.

9 We are--what?--ten months into this  
10 project. We started without a method and,  
11 obviously, the first thing we need is to be able to  
12 detect and to find out what we are dealing with so  
13 we are pushing forward as readily as we can. I  
14 don't know if that is enough. Dr. Canady may have  
15 some additional information on timelines.

16 DR. MILLER: Well, it just occurred to me  
17 that we are looking at an action plan that may  
18 already be in progress. Unless there is something  
19 seriously wrong, other than beating a dead horse,  
20 there isn't much you can do about changing it. The  
21 committee made a decision that they would like to  
22 see you modify some of the activities but if a

1 study is already under way it would be difficult to  
2 change.

3 DR. TROXELL: Well, I think any  
4 recommendations coming out of the committee would  
5 be carefully examined to see if something should be  
6 modified in approach to a short-term study or other  
7 studies. Things are not that far down the road, I  
8 believe, that they cannot be altered and, I mean,  
9 we are here to receive this input. It is important  
10 to us, very important to us that we get it as right  
11 as possible at this point so we have what we think  
12 is a good framework, a good template for  
13 proceeding, not that we won't need to evolve that  
14 template in another year.

15 DR. MILLER: The other question I had  
16 concerned funding. How are you, or are you,  
17 attempting to get other groups, particularly  
18 university research groups, involved in some of  
19 this research in order to expand the research base?

20 DR. TROXELL: We have tried to do some  
21 extramural proposals. I don't know that we have  
22 been successful in getting those through. It is

1 not clear to me how much we are going to be able to  
2 accomplish as, you know, the budgets are not  
3 growing at this point; they are decreasing. So we  
4 are hoping to tap into I guess other people's  
5 budgets.

6 DR. MILLER: That is what I meant, like  
7 NIHS.

8 DR. TROXELL: Right, we will work on that  
9 but, again, that isn't in our control. We can  
10 control the agency's dollars and where they go. We  
11 can work through the Department to try to get  
12 additional funds focused on this and, hopefully, we  
13 will be successful in that.

14 DR. MILLER: Have you had discussions with  
15 NIHS on this issue, or any of the NIH institutes?

16 DR. TROXELL: Well, they are definitely  
17 interested in looking at the neurotox. and the germ  
18 cell part of the work so that is in progress.

19 DR. MILLER: Dr. Lee, yes?

20 DR. LEE: Terry, since we are on this  
21 subject of "Troxell-cology" I would just like to  
22 ask perhaps philosophically what is the long-term

1 outcome? Do you think we will ever get to a point  
2 with acrylamide that no more information is needed?

3 [Laughter]

4 DR. TROXELL: Well, in the sense of good  
5 "Troxell-cology" as with all toxicology, we always  
6 need one more study.

7 DR. LEE: Right.

8 DR. TROXELL: If we can nail down those  
9 essential needs of bioavailability, the high  
10 dose/low dose and so on, that is going to really  
11 help us get a handle on what the potential human  
12 impact is. Again, trying to understand high  
13 dose/low dose is going to depend on some pretty  
14 sophisticated chemistry trying to measure adducts.  
15 This is science and, as you know, you never know  
16 exactly where science is going to take you and how  
17 far you are going to go with it, and we are going  
18 to push it as well as we can and certainly some of  
19 the best researchers in the country are measuring  
20 adducts and you will hear from one of them later,  
21 Dr. Fennell, on adducts and we will see how far  
22 they can go with this work.



1 DR. MILLER: Johanna?

2 DR. DWYER: I am concerned a little bit  
3 though about singing from the same hymn issue of  
4 all the government agencies working on this. I  
5 know you are trying very hard to coordinate the  
6 work, and so forth, but I am just concerned that we  
7 don't sit here in a year or two and hear three  
8 different agencies, all telling us different things  
9 about the exposure assessments they have done, and  
10 so forth. And, what kind of guarantee is there  
11 that this isn't going to happen? I am also  
12 concerned about Dr. Miller's question of the time  
13 frame. How do we know if the time frame has been  
14 revised if we don't know the time frame to start  
15 out with?

16 DR. TROXELL: Well, as far as the exposure  
17 assessment, the FDA is responsible for the exposure  
18 assessment I believe so we will be doing that. We  
19 are doing our best to coordinate the work and all I  
20 can say is we are working very hard at it. We had  
21 an interagency meeting. We are going to go back  
22 and have further meetings to try to ensure

1 coordination. There have been meetings along the  
2 way on the neurotoxicity. Dr. Canady attended a  
3 meeting that had a session on acrylamide in  
4 November. We will be doing a meeting at some point  
5 this spring, I believe, on the germ cell toxicity,  
6 and so on. So, we are making every effort to  
7 coordinate the actions and we hope, you know, that  
8 it pulls together. I don't want to provide any  
9 absolute guarantees because obviously it is not all  
10 under the control of FDA but we will work our best  
11 to keep it coordinated and on track.

12 DR. MILLER: Have you made an attempt to  
13 develop some kind of a consortium of those people  
14 who are working in this area? Because if you just  
15 have meetings periodically there is always a delay  
16 in this thing but a consortium with different  
17 groups given the responsibility of coordinating  
18 information on different aspects of the problem  
19 might be a way of getting the data distributed much  
20 more rapidly.

21 DR. TROXELL: The closest thing to a  
22 consortium is the work of JIFSAN to coordinate the

1 efforts.

2 DR. MILLER: Coordinate which efforts?

3 DR. TROXELL: They are basically  
4 coordinating all aspects and gathering the  
5 information together on all aspects internationally  
6 to make sure that all information is available to  
7 everyone. That is more of an information exchange  
8 kind of system. So, I guess I would have to say,  
9 no, we don't have an explicit consortium to work on  
10 this. The FDA, because this is a problem in FDA  
11 regulated foods, is basically taking the leadership  
12 to coordinate the efforts among the agencies and to  
13 do what we can to leverage the academia and  
14 industry. So, in a sense, we are the head of the  
15 consortium to explore acrylamide.

16 DR. MILLER: Yes, Dr. Mehendale?

17 DR. MEHENDALE: I was pleased to see that  
18 you mentioned the high dose/low dose  
19 bioavailability studies as being very critical. I  
20 was hoping that there was a timeline on those  
21 studies. But it seems like the results of those  
22 studies would help us to at least get the direction

1 of the larger issues I think.

2           The second point I wanted to make is I  
3 didn't see anything in what you mentioned, if you  
4 are considering any special populations. A couple  
5 of them I can think of, You know, just last week  
6 we had an issue of Science devoted to obesity and I  
7 know lots of people are considering diet  
8 restriction or caloric restriction. One of the  
9 things that happens with caloric restriction is  
10 induction of Cyp 2E1. That is a special population  
11 or special physiological condition. Diabetes is  
12 also thought to be associated with some induction  
13 of Cyp 2E1, and there may be other special  
14 populations. I wonder if you have any comments on  
15 that aspect. I think considering these populations  
16 might also be helpful when you are looking at more  
17 sensitive populations and, therefore, it might be  
18 helpful in arriving at safe levels.

19           DR. TROXELL: Number one, I don't have any  
20 further information personally on timelines, other  
21 than to expect that the toxicology on the  
22 short-term studies, which can give us some insight

1 on high/low dose bioavailability, are going to take  
2 one to two years. Do you have anything further,  
3 Rick?

4 DR. CANADY: With regard to the toxicology  
5 studies, we have already got some results. For  
6 example, work on adduct formation, DNA adduct  
7 formation has already been developed.  
8 Bioavailability and studies along those lines with  
9 regard to toxicokinetics, some of the initial  
10 findings I guess we will have within the next six  
11 months to a year. We have proposals that we are  
12 currently reviewing on the specific studies but  
13 some of the studies as you can imagine, for example  
14 bioavailability, are relatively short-term studies  
15 comparing area under the curve for different routes  
16 of exposures and that is something that can be done  
17 fairly quickly. So, those studies with regard to  
18 toxicokinetics we can expect to see, as Terry was  
19 saying, in the next year or two but probably some  
20 of the initial results will be in the next six  
21 months to a year. Again, some adduct studies are  
22 already available.

1 I am not sure how else to give a more  
2 specific deadline or specific timeline, other than  
3 to say we have proposals and we have specific  
4 information on modes of action we are evaluating  
5 within those timelines. Is there more detail you  
6 would like with regard to that? It is not like we  
7 can give you that the bioavailability studies will  
8 be done by June or--

9 DR. MILLER: I think the question was in  
10 terms of priorities, what is being done and what is  
11 going on. I think you have done that.

12 DR. CANADY: Good. With regard to the  
13 special populations, clearly in considering the  
14 prospective studies that is a very important  
15 determination, very important source of confounding  
16 or a way of designing the studies. Glutathione  
17 transferase, Cyp 2E1, polymorphism with regard to  
18 those inductions are things that are clearly  
19 important to consider when thinking about how you  
20 might go about doing those studies, and we are well  
21 aware of that.

22 With regard to specific animal studies,

1 NIEHS has already initiated some studies with Cyp  
2 2E1 knock-out mice to look at the conversion of  
3 acrylamide to glycidamide and looking at the  
4 mechanistic interpretation of that information with  
5 regard to neurotoxicity, germ cell toxicity and  
6 also DNA adduct interactions. NCTR and NHANES are  
7 working together to develop that line of  
8 mechanistic research. Specifically, NCTR will do  
9 the DNA adduct work for that set of experiments.

10           The NHANES studies, as you know, sampled  
11 some specific populations but they weren't designed  
12 to specifically look at acrylamide issues so maybe  
13 others can comment more specifically with regard to  
14 how NHANES would be used to look at specific  
15 populations. You would have information that would  
16 be relevant to intake but whether it is  
17 specifically relevant to caloric restriction,  
18 probably not. Whether it is specifically relevant  
19 to foods that are high in acrylamide, that is  
20 something we need to evaluate still. But the  
21 bloods have been set aside to do specific adduct  
22 work, the bloods that were taken earlier this year,

1 in fact, for NHANES so that information would be  
2 worked into the study design to the degree it  
3 could.

4 Results of separate studies being  
5 considered by CDC and CEH are intended to look at  
6 sort of add-on acrylamide dosing or foods that have  
7 acrylamide and through that we could evaluate some  
8 of the questions that you are talking about.

9 DR. MILLER: I think this issue of stress  
10 populations, particularly for something as  
11 ubiquitous as this is, would seem to me important  
12 enough to mention as part of the Action Plan. I am  
13 not exactly sure how it would be done but it seems  
14 to me it needs to be considered, and more general  
15 stress situations where we know there are metabolic  
16 changes and inductions that occur in response to  
17 stress.

18 DR. TROXELL: See, it makes a difference  
19 when you have a real toxicologist instead of a  
20 "Troxell-cologist" to provide the answers!

21 DR. MILLER: Any other comments or  
22 questions? Yes?



1 DR. RUSSELL: Jumping ahead to risk  
2 communication because I don't see any other time to  
3 really comment on this, I think it is a good idea  
4 to have the dietetic nutrition associations in  
5 concert with you in communication efforts, but you  
6 will have to cast the net pretty wide for these  
7 nutritional organizations. It is not that you can  
8 just pick one or two or them. There is a whole  
9 group of them that have different kinds of  
10 missions, if you will, but they have very important  
11 audiences and very important journals, a couple of  
12 them, even though the societies are pretty small.  
13 So, you need to really cast the net pretty wide  
14 there. You have mentioned one very big one, the  
15 American Dietetic Association, and one very small  
16 one but you need to go much beyond that.

17 DR. TROXELL: Thank you.

18 DR. MILLER: Yes, Johanna?

19 DR. DWYER: Specifically, I would suggest  
20 that you go to the American Society for Clinical  
21 Nutrition, the American Society for Nutrition  
22 Sciences and certainly IFT. I believe Dr. Russell

1 is currently the president of the ASCN.

2 DR. TROXELL: Thank you.

3 DR. MILLER: Have you made a commitment,  
4 Robert?

5 DR. RUSSELL: Well, that journal reaches a  
6 large audience.

7 DR. MILLER: Yes?

8 DR. SCHERER: I wonder whether there has  
9 been any thought or discussion about the potential  
10 problems that the agency faces in terms of release  
11 of information? I certainly support the idea of  
12 open communication but given the nature of the wide  
13 range of studies that are being done, it is likely,  
14 it seems to me, that at least one of those studies  
15 at some point will have implications for serious  
16 health concerns, whether that is eventually  
17 supported or not but the possibility exists. So,  
18 the challenge, it seems to me, for the agency is  
19 how do you respond to that information that is  
20 likely to be picked up by the media and, as we all  
21 know, perhaps be exaggerated? But the idea of  
22 trying to put it in perspective of the wide range

1 of studies that are going on, whether there has  
2 been any thought given to that process?

3 DR. TROXELL: Well, for every one of our  
4 meetings we do a lot of thinking about how  
5 appropriate the message is about acrylamide, and we  
6 will clearly bring a lot of energy and information  
7 to bear from our people who do consumer messages,  
8 and so on, in the chain who understand risk  
9 communication we bring that kind of to bear.

10 I think also, as you suggested, it is  
11 important for us to think about how we are going to  
12 communicate what would reach consumers, and I think  
13 that is a valuable comment.

14 DR. MILLER: Actually, that is an issue  
15 that really needs special emphasis because I have  
16 been trying to think if there were any other  
17 materials that I could remember that are so  
18 ubiquitous in diets everywhere in the world so any  
19 culture that produces a dough baked product is  
20 going to be exposed to some level of this material  
21 and how do you deal with that? That is going to be  
22 a big problem, it seems to me, as a research issue

1 and it needs to be emphasized. I think maybe it  
2 requires going back and looking at it again to  
3 reemphasize it again.

4 DR. TROXELL: Thank you. I think these  
5 are valuable points.

6 DR. MILLER: Any other comments or  
7 questions? We are caught between a rock and a hard  
8 place in terms of timing. We are substantially  
9 ahead of time and I was thinking of going on to our  
10 next speaker.

11 DR. TORRES: Maybe I could ask one more  
12 question.

13 DR. MILLER: Please, do.

14 DR. TORRES: On the subject of cooperation  
15 with different groups, one thing that really caught  
16 my attention was the message that the U.K. had done  
17 analysis on 4000 food items. That seems to be a  
18 lot of work. Could you explain to me why there is  
19 a difference between 100 in the U.S. and thousands  
20 in the U.K.?

21 DR. TROXELL: Well, I don't think the  
22 Central Science Lab of the U.K. has done 4000.

1 They have compiled 4000 from industry and around  
2 Europe, as well as their own analyses. It is just  
3 the level of progress we are at in different areas.

4 DR. TORRES: Thanks.

5 DR. MILLER: Terry, there is another  
6 question that I meant to ask before, given the  
7 different methods--well, let me ask you this  
8 question, how many different methods are being used  
9 by the different laboratories?

10 DR. TROXELL: That is a good thing to  
11 dwell on for a minute because even with the most  
12 elegant methods, like the LC/MS/MS method, it is  
13 pretty easy to mess up and get erroneous results.  
14 But there is LC/MS/MS; there is GC/MS; there is GC  
15 after bromination. Well, if you brominate your  
16 extract and then run it through GC with electron  
17 capture detection you get pretty good results. We  
18 are trying to look at this LC/UV as more of a  
19 screening method. I mean, we are looking for  
20 something that people can use as quick and dirty to  
21 screen their products, to help fuel their research,  
22 for developing countries to be able to use, and so

1 on, and also maybe as a quick screen for us  
2 although we will probably end up as an agency, when  
3 we get into the screening mode, using something  
4 like the GC/MS.

5 Surprisingly, when you look at the cost,  
6 and they did this at the JIFSAN meeting, the costs  
7 are--what?--\$200 or something per sample for the  
8 LC/MS/MS and you are still talking about \$100 or so  
9 per sample for some of the GC/MS. So, this is very  
10 expensive work and you can imagine that developing  
11 countries wouldn't have the capability to be doing  
12 much of this work.

13 Of course, some countries have quite  
14 different foods, different fried products and so on  
15 that can reach the high temperatures. So, for the  
16 world to get its arms around the distribution of  
17 acrylamide in foods is an interesting point, and  
18 also for us to understand if the analyses that are  
19 being put into the database are valid is also  
20 another interesting question. We are going to have  
21 to be very careful, based on the information they  
22 provide, to understand if the results are good. I

1 mean, there are many methods that give good results  
2 but, again, you have to perform the analyses  
3 correctly and it is very easy, initially  
4 particularly, to have problems. Therefore, people  
5 are circulating proficiency samples to try to make  
6 sure that the results that other labs are getting  
7 are going to be accurate. That is one of the  
8 efforts that is going on, to get proficiency  
9 samples in different types of foods to help improve  
10 the credibility of the results.

11 DR. MILLER: Looking at the developing  
12 world, is FAO doing anything to try to collect some  
13 samples?

14 DR. TROXELL: Not that I am aware. As I  
15 said, we have proposed an informal workshop and  
16 FAO/WHO were behind getting that together. Of  
17 course, FAO and WHO were behind the consultation  
18 and also will be working on gathering information  
19 for the next meeting.

20 DR. MILLER: Someone has to do those  
21 samples.

22 DR. TROXELL: Right, and if you look at

1 the JIFSAN info. net you will find, I think, there  
2 are samples in there from South Africa and I think  
3 there might be some samples from Egypt. People are  
4 sending data. That is not the JIFSAN info. net; it  
5 is the WHO/FAO information network that JIFSAN is  
6 operating for them. Anyway, these data are coming  
7 in; the databases are growing and there are  
8 criteria for methods. There are many fields to  
9 fill out--what is your methodology, and so on--so  
10 that in the end, hopefully, we will be able to  
11 understand what these results look like and how  
12 valid they might be in different foods.

13 DR. MILLER: I imagine a lot of these  
14 populations that consume flat breads might get a  
15 little higher exposure.

16 DR. TROXELL: Yes.

17 DR. MILLER: Johanna?

18 DR. DWYER: Back to the issue of the  
19 quality ratings of the values that are coming in, I  
20 don't have any knowledge of this particular  
21 compound but certainly with flavonoid in food,  
22 where there are a lot of advantages for industry



1 and others to try and find out what is in there  
2 because there are possibly positive health effects,  
3 there are quality rating systems already in place  
4 that can be used and adapted for determining  
5 whether a value is adequate or not adequate. I  
6 believe Dr. Beecher and others at the Ag. Research  
7 Service, right over in Beltsville, developed those  
8 methods some time ago. I wonder whether you are  
9 using those methods, adapted for acrylamide, to  
10 screen your values so that the database is as  
11 inclusive as possible, at the same time meeting  
12 quality criteria for analysis, sampling and so  
13 forth.

14 DR. TROXELL: Clearly there are  
15 performance criteria one can apply to analytical  
16 methods, and the GEMS database at WHO has many data  
17 fields which list out what is your method, what is  
18 your limit of quantitation and how you establish  
19 it, and so on. I believe an awful lot of  
20 information is requested of submitters so probably  
21 it is more than adequate and, in fact, if anything,  
22 there is so much information requested that it kind

1 of inhibits people from submitting data because  
2 they have to put so much energy into providing the  
3 data. So, yes, I think we should be able to  
4 distinguish between the good data and the  
5 questionable data. Clearly, when it is  
6 questionable we won't use it.

7 DR. MILLER: Any other comments or  
8 questions? If not, we are going to adjourn for  
9 lunch and return at 12:45 for the afternoon  
10 session. Thank you.

11 [Whereupon, at 11:15 a.m., the proceedings  
12 were recessed, to resume at 12:45 p.m.]

1           A F T E R N O O N P R O C E E D I N G S

2                   **Mechanisms of Formation**

3           DR. ZYZAK: April 24, 2002, this is a  
4 shocking date to many people in the food industry  
5 as Stockholm University, in connection with the  
6 Swedish NFA, revealed acrylamide presence in a  
7 variety of foods. As many organizations began to  
8 develop analytical techniques with a capability of  
9 analysis of acrylamide in foods it came out that  
10 the data released at this time was accurate.

11                   [Slide]

12           We, at P&G, did our own product survey and  
13 here is a sample list of that. As you can see, we  
14 also found acrylamide present in a variety of foods  
15 such as toasted bread products, roasted asparagus,  
16 corn chips and potato chips.

17                   [Slide]

18           What is acrylamide? Acrylamide is a  
19 conjugating e-amine molecule. It has a high  
20 boiling point and it is also very hydrophilic or  
21 water loving. I think this is probably why it took  
22 a while for us to detect it in the food system.

1 Typical analytical techniques in the food industry  
2 involve head space which is dependent on the  
3 boiling point or extraction of organic solvents and  
4 in this case acrylamide would tend to stay more in  
5 the aqueous phase.

6 [Slide]

7 Now that people have accepted the fact  
8 that acrylamide is present in foods, the next issue  
9 is how is it formed. Some initial mechanisms that  
10 were proposed from the Food Research Institute were  
11 based on equivalence formed in the frying process  
12 so there are lipid oxidation products which could  
13 be precursors, and some of these have similar  
14 structures to acrylamide. You can see acrylamide  
15 here and this is acrylic acid. The only difference  
16 is we need an amide bond here and acrolein, another  
17 lipid oxidation product.

18 However, this formation of the amide bond  
19 is not a very favorable reaction in typical food  
20 conditions and subsequent research in this area has  
21 shown that this is not occurring under typical  
22 cooking conditions. Again, the trouble came in the