

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR FOOD SAFETY AND APPLIED NUTRITION

FOOD BIOTECHNOLOGY SUBCOMMITTEE (FBS)
of the
FOOD ADVISORY COMMITTEE

Tuesday, August 13, 2002

9:00 a.m.

Harvey W. Wiley Federal Building
5100 Paint Branch Parkway
College Park, Maryland 20740

PARTICIPANTS

Acting Chair, Edward N. Brandt, Jr., M.D., Ph.D.
Executive Secretary, Dr. Margaret Cole

MEMBERS

Fred McDaniel Atkins, M.D.
Bob B. Buchanan, Ph.D.
Francis Fredrick Busta, Ph.D.
Anne R. Kapuscinski, Ph.D.

TEMPORARY VOTING MEMBERS

Jonathan Arias, Ph.D.
Douglas Gurian-Sherman, Ph.D.
Samuel Lehrer, Ph.D.

INDUSTRY SPECIAL LIAISON

James Astwood, Ph.D.

GUEST SPEAKERS

Paul R. Mayers
Dean Metcalfe, M.D.
Michael Pariza, Ph.D.

C O N T E N T S

Welcome and Introductions Dr. Edward Brandt	4
Conflict of Interest Statement Dr. Margaret Cole	8
Overview of CFSAN's Office of Food Additive Safety Dr. Alan Rulis	11
Charge and Questions Mr. Robert Lake	25
Basic Food Allergy Background Dr. Dean Metcalfe	37
Questions of Clarification	68
Safety Assessment of Enzymes and Protein Ingredients in Foods Dr. Michael Pariza	101
Questions of Clarification	125
FDA Food Biotechnology Policy and Current Approach to Allergenicity Dr. James Maryanski	127
Questions of Clarification	166
Codex Draft Annex on the Assessment of Possible Allergenicity Dr. Paul Mayers	178
Questions of Clarification	198

1 P R O C E E D I N G S

2 Welcome and Introductions

3 DR. BRANDT: Good morning and to those of
4 you in the auditorium, we are glad you are here.
5 We have a busy day. There are several
6 announcements and then we will go around and let
7 everybody introduce themselves.

8 First, tomorrow, we will start at 8:30
9 instead of 9:00. The Public Comment period will be
10 moved to 9:45 a.m. tomorrow.

11 So we can introduce ourselves so everybody
12 in the audience will know, I'm Ed Brown. I am the
13 temporary chair, called back to active duty after
14 having been retired. I am an old professor at the
15 University of Oklahoma Health Science Center.

16 DR. ASTWOOD: I am Jim Astwood. I manage
17 the Product Safety Center at Monsanto Company. I
18 am the industry representative to this
19 subcommittee.

20 DR. LEHRER: I am Sam Lehrer. I am at
21 Tulane University in New Orleans. I am in the
22 Section of Allergy, Rheumatology and Clinical
23 Immunology.

24 DR. KAPUSCINSKI: I am Anne Kapuscinski.
25 I am at the University of Minnesota. My home

1 department is Fisheries, Wildlife and Conservation
2 Biology. I also direct Institute for Social,
3 Economic and Ecological Sustainability. I have
4 served on a number of other federal advisory
5 committees, mostly the USDA, on biotech mostly
6 focussing on biosafety issues. I currently also
7 serve on the Global Environmental Facilities
8 Scientific and Technical Advisory Panel in the area
9 of biosafety.

10 DR. BUSTA: I am Frank Busta from the
11 University of Minnesota, Professor Emeritus in the
12 Department of Food Science and Nutrition. I am on
13 the general advisory committee for FDA.

14 DR. ATKINS: I am Dan Atkins. I am an
15 allergist with an interest in adverse reactions to
16 foods. I am at the National Jewish Medical and
17 Research Center in Denver.

18 DR. ARIAS: I am Jonathan Arias. I am a
19 plant molecular biologist in the faculty of the
20 Center for Agricultural Biotechnology at the
21 University of Maryland Biotech Institute.

22 DR. GURIAN-SHERMAN: Doug Gurian-Sherman.
23 I am the Science Director of the Biotechnology
24 Project at Center for Science in the Public
25 Interest.

1 DR. BUCHANAN: Bob Buchanan, University of
2 California at Berkeley, Department of Plant and
3 Microbial Biology. I am a plant biochemist.

4 DR. COLE: I am Margaret Cole, Food and
5 Drug Administration.

6 DR. BRANDT: And the one that is going to
7 run our lives for today and tomorrow, at least. If
8 you have any questions about what is going on, ask
9 her. Don't ask me, preferably. Now, back here,
10 are all these FDA'ers. Stand up and be recognized.

11 MS. GLEW: I am Jeannette Glew. I'm with
12 the Office of Food Additive Safety, Center for Food
13 Safety and Applied Nutrition. I supervise and
14 evaluate biotech submissions.

15 DR. MARYANSKI: I am Jim Maryanski. I am
16 with our Office of Policy and Regulation. I help
17 put together our biotechnology policy.

18 MR. LAKE: I am Bob Lake. I am the
19 Director of Policy and Regulations here at the
20 Center.

21 DR. BRANDT: And now we have a interloper
22 from the NIH.

23 DR. METCALFE: I'm Dean Metcalfe, Chief of
24 the Laboratory of Allergic Disease, NIH. I have a
25 long-term interest in adverse reactions to foods.

1 DR. RULIS: I am Alan Rulis. I am the
2 Director of Food Additive Safety in this Center.

3 MS. AINSWORTH-RAY: Hello. I am Karen
4 Ainsworth Ray. I am a press officer here. Is a
5 member of the periodical press sitting back here?
6 Someone signed in periodical press. Okay.

7 MS. KRETZER: I am Allison Kretzer. I am
8 with the Grocery Manufacturers of America. I am
9 the Director of Scientific and Nutrition Policy.

10 DR. PARIZA: I am Mike Pariza. I am the
11 Director of the Food Research Institute at the
12 University of Wisconsin, Madison.

13 MR. HINTON: I am Dennis Hinton. I am
14 with the Office of Applied Research and Safety
15 Assessment. We have been doing research in
16 immunotoxicology for over twenty-four years for the
17 Center for Food Safety. We are currently working
18 on food animal models.

19 MS. FU: My name is Gigi Fu. I am with
20 the FDA Office of Dairy and Food Allergy. I am a
21 research scientist working on determining the
22 severity of allergens and other food proteins.

23 MR. GENDEL: I am Steve Gendel. I am
24 Chief of the Biotechnology Studies Branch of CFSAN.

25 MS. MacINTOSH: I am another interloper.

1 I am Sue MacIntosh from Bayer Crop Science. I am
2 the Director of Regulatory Affairs and Regulatory
3 Science in the Americas. But I am here
4 particularly to give comments on behalf of HESI
5 because of the Protein Allergenicity Technology
6 Subcommittee.

7 DR. BRANDT: Dr. Cole?

8 Conflict of Interest Statement

9 DR. COLE: As I mentioned, I am Margaret
10 Cole, Executive Secretary for the Food
11 Biotechnology Subcommittee of the Food Advisory
12 Committee.

13 First, I would like to read into the
14 record the appointment of our temporary voting
15 members. It reads, "By the authority granted under
16 the Food Advisory Committee charter, I appoint Dr.
17 Jonathan Arias and Dr. Douglas Gurian-Sherman as
18 temporary voting members of the Food Biotechnology
19 Subcommittee of the Food Advisory Committee for the
20 August 13 through 14, 2002 meeting on food
21 biotechnology," signed, Joseph A. Levitt, Director,
22 Center for Food Safety and Applied Nutrition, U.S.
23 Food and Drug Administration.

24 Dr. Samuel Lehrer, as Chairman of the
25 Committee for Allergenic Products in the Center for

1 Biologics Evaluation and Research, is appointed to
2 serve as a temporary voting member for this meeting
3 by the authority of Linda Skledani, Senior
4 Associate Commissioner for External Relations, U.S.
5 Food and Drug Administration.

6 The following announcement addresses
7 conflict-of-interest issues associated with this
8 meeting and is made part of the public record to
9 preclude even the appearance of a conflict of
10 interest at this meeting. All subcommittee members
11 and temporary voting members have been screened for
12 financial conflicts of interest.

13 Based on the agenda made available, it has
14 been determined that the subcommittee will be
15 addressing general matters only. The general
16 nature of the matters to be discussed by the
17 subcommittee will not have a unique or distinct
18 effect on any of the members' personal or imputed
19 financial interests. However, the following
20 interests are being disclosed so the public can
21 evaluate any comments made by meeting participants.

22 Dr. Frank Busta has been granted a waiver
23 because he serves as a consultant to the food
24 industry on issues not related to the topic of this
25 meeting. Dr. Samuel Lehrer has been granted a

1 waiver because he owns stock in affected firms and
2 holds various research grants.

3 We have asked all our guest speakers to
4 complete a financial-interest and professional-relationship
5 certification for guests and guest
6 speakers to identify any potential conflicts of
7 interest. Dr. Michael Pariza has a financial
8 interest related to food-ingredient companies.

9 We would like to note for the record that
10 Dr. James Astwood is participating in this meeting
11 as a nonvoting industry special liaison acting on
12 behalf of regulated industry. As such, he has not
13 been screened for any conflicts of interest.

14 In the event the discussions involve
15 specific products or specific firms for which FDA
16 participants have a financial interest, the
17 participants are aware of the need to exclude
18 themselves from such involvement and their
19 exclusion will be noted for the record.

20 This meeting is being transcribed. When
21 we reach the discussion portion of the meeting,
22 please use your microphone and clearly identify
23 yourself before speaking.

24 With that, I will turn the meeting back to
25 Dr. Brandt.

1 DR. BRANDT: I notice he didn't appoint
2 me. Anyway, I am here for whatever reason.

3 DR. LEHRER: Could I comment on that one
4 point?

5 DR. BRANDT: Yes.

6 DR. LEHRER: To my knowledge, I don't own
7 any stock in any companies that are affected by
8 this. All I said was that I had TIAA Kreff and
9 retirement funds and also mutual funds. I really
10 don't have any idea what they own. I am afraid to
11 know what they own, actually. But, in any event,
12 just in terms of full disclosure, I would imagine
13 that they own some pharmaceutical companies. I
14 have no idea.

15 But, in terms of my personally owned stock
16 in any of these companies, I do not.

17 DR. BRANDT: Any other statements? Any
18 questions?

19 I want to alert the speakers that we are
20 sitting up here with a timer. You have been
21 allotted certain amounts of time at the end of
22 which the gavel comes down, whether you are in the
23 middle of a word. So, just be prepared.

24 Dr. Rulis?

25 Overview of CFSAN' Office of Food Additive Safety

1 DR. RULIS: Good morning.

2 [Slide.]

3 I am Alan Rulis. I am Director of the
4 Office of Food Additive Safety in the Center for
5 Food Safety and Applied Nutrition. My task this
6 morning, in just a few moments, is to provide a bit
7 of context for this meeting to point out that the
8 work that this center does in regard to reviewing
9 consultations, conducting consultations, with
10 industry about new plant varieties that have been
11 altered by recombinant and DNA biotechnology are
12 actually conducted in the context of the Food
13 Additive Safety.

14 So I want to tell you a little bit about
15 that office so you know something about its makeup
16 and its history and that will help you, I think, as
17 we move forward with your discussions.

18 [Slide.]

19 Just to remind you that the Federal
20 Register document that announced this meeting--the
21 purpose of this meeting is to discuss science-based
22 approaches to assessing whether new proteins and
23 bioengineered foods are likely to cause allergic
24 reactions in some individuals in order to assist
25 FDA in developing draft guidance for industry.

1 [Slide.]

2 The Office of Food Additive Safety is laid
3 out like this. I will take you through it a little
4 bit so you will understand some of the makeup of
5 it. This office is principally comprised of four
6 divisions. You can see them across here. The
7 historical roots of this office come out of this
8 division, actually, the Division of Petition
9 Review. It turns out that, in 1958, when the
10 Federal Food Drug and Cosmetic Act was amended to
11 require premarket approval of new food additives,
12 FDA had to pull together a cadre of scientists who
13 could evaluate data submitted to the agency by
14 industry for the purpose of getting FDA approval
15 for new food additives.

16 This division, historically, has had
17 within it scientists of various backgrounds in
18 order to do those kinds of reviews.

19 Actually, the same basic structure occurs
20 in all divisions of this office, but let me just
21 explain this one and then I will clone that, so to
22 speak, into these other divisions. This division
23 has within it three types of individuals; chemists
24 who look at information about the chemical identity
25 of the substances being added to food, the amounts

1 that people are likely to eat, information about
2 the specifications and purity of those substances.

3 So we are really looking at the question
4 of what is the substance and what is the human
5 exposure to it. We also have toxicologists who
6 evaluate, in this case, in this division, mostly
7 animal feeding studies, traditional short-term of
8 chronic feeding studies in animals, to look at the
9 biological effects of food ingredients in living
10 systems.

11 We also have a group of people who, in
12 this case, we have called them regulatory groups,
13 that are really, in government jargon, consumer-safety
14 officers. They are scientists in their own
15 right. They almost all have Ph.D.s in various
16 fields and they are basically project officers.
17 Their job is to manage the evaluation of petitions
18 for new food additives, make sure that all the
19 correct questions have been asked and all the
20 correct questions have been answered and that there
21 is an administrative record backing up all of the
22 work the agency does.

23 So there is a linear process that anybody
24 can go to and look at in writing that documents the
25 agency's work.

1 Across the office, the basic makeup of
2 these divisions in the same as that. It is a
3 rather interdisciplinary group of these chemists,
4 these toxicologists and consumer-safety officers.
5 Almost everybody has a Ph.D. in one field or
6 another from chemistry to biology, microbiology,
7 molecular biology, pharmacology, toxicology.

8 The division of interest for your purposes
9 this morning is this one, called the Division of
10 Biotechnology and GRAS Notice Review. It turns out
11 that, under the current statute, there is an
12 exemption to premarket approval for food additives
13 if the added substance is generally recognized as
14 safe. So there is a class of substances we call
15 GRAS ingredients--GRAS is an acronym for generally
16 recognized as safe.

17 So they are evaluating not only whether a
18 substance is safe but also whether there is a
19 general recognition across the scientific community
20 of that safety. In addition, they conduct the
21 consultations with industry for crop products that
22 are produced using recombinant DNA biotechnology,
23 and they are looking particularly at the human
24 health aspects of the injection of those crops, not
25 the crop characteristics because that is the

1 purview of APHIS and USDA and not the pesticidal
2 traits because that is the purview of the
3 Environmental Protection Agency.

4 I will just point out briefly these other
5 two divisions for your own edifications. This one
6 is the Chemistry Research Division where there is
7 research done on both what we call indirect and
8 direct food additives--this is chemistry laboratory
9 research--and an environmental group that looks at
10 any National Environmental Policy Act
11 considerations that are associated with any of our
12 actions.

13 Down here is a division that is devoted to
14 food-contact substances. Here we are looking at
15 materials that touch food but that are not
16 intentionally added to food. But, under the
17 statute, we have purview over them.

18 [Slide.]

19 This, just for your interest, is a rather
20 busy slide that shows the various areas that come
21 within our purview. You can see we have interest
22 in a whole host of different kinds of things that
23 end up in food or contacting food. We look at
24 direct food additives, sweeteners, preservatives,
25 nutrients, fat substitutes and so forth.

1 Color additives in animal food, drugs and
2 cosmetics, medical devices. That includes sutures
3 and contact lenses, strangely enough.

4 GRAS ingredients, enzymes, fibers,
5 proteins, lipids, sugars and so forth, going up to
6 the upper right. Processing aids, antimicrobials,
7 defoamers, ion-exchange resins, radiation
8 equipment. It turns out that the statute defines
9 the sources of irradiation for food as food
10 additives. So we review these materials in order
11 to ascertain that food that has been irradiated for
12 microbial control is, in fact, safe.

13 Then we also, as I mentioned, just on that
14 last division, we look at food packaging and food-contact
15 substances. So coatings, paper, metal,
16 recycled plastics, paper adhesives, and so forth.

17 And, in the lower left, foods and
18 ingredients produced using modern biotechnology.

19 [Slide.]

20 Within the office, as you recall, I
21 pointed out that the originating division was one
22 that conducted premarket safety evaluations for
23 food additives. But, in reality, a lot of our work
24 is done under the rubric of notification these
25 days. There are three notification programs

1 operating in the office. There is the one that we
2 have instituted as a result of the 1997 proposal in
3 the Federal Register to review industry notices to
4 us that their product is generally recognized as
5 safe.

6 We have a notification process that
7 relates to food-contact substances and that comes
8 out of the 1997 Food and Drug Administration
9 Modernization Act. Then, we also conduct
10 consultations on bioengineered foods.

11 [Slide.]

12 On the subject of bioengineered foods
13 consultations, you are probably aware that, in May
14 of 1992, the FDA published its policy on foods that
15 are in the marketplace and including those that are
16 the subject of recombinant DNA biotechnology and
17 we, as a result of that and after that, began
18 conducting consultations with industry since '94.
19 Up until the present moment, we have conducted
20 about 80, more than 80, of these consultations.
21 About 50 of them have actually completed the
22 process.

23 [Slide.]

24 If you go to our website and you double-click on
25 the hypertext link in our website, I will

1 try to simulate that here, what you will get is
2 this HTML screen. This is a list of completed
3 consultations on bioengineered foods. It, in fact,
4 explains what I just said about the '92 policy and
5 talks about the consultation process and delineates
6 the differences between what FDA does with these
7 types of foods and what the Animal, Plant, Health
8 and Inspection Service of USDA does and what EPA
9 does regarding pesticides, and then proceeds to
10 talk about the consultations that we conduct and
11 the information that is in this website.

12 There is a lot of it. If you go to the
13 website, you will find that there is a listing that
14 contains the genetic modification. The actual gene
15 or gene product is here. The source organism, the
16 intended effect, the industry designation and then
17 hypertext links to FDA letters to the company and
18 in response to the consultation. So you can find
19 all the information you need for completed
20 consultations on our website.

21 [Slide.]

22 Just to bring you up to date, you probably
23 are aware that, in 1999, the FDA held public
24 meetings around the country to discuss its current
25 consultation process. It received comments. In

1 January of 2001, we published, in the Federal
2 Register, a proposal for making these
3 notifications, these consultations with industry on
4 crops, mandatory. We also made available some
5 draft guidance, a notice of availability of draft
6 guidance--that is, on the subject of voluntary
7 labeling.

8 To this point, we have received over
9 100,000 comments that are currently being reviewed.

10 So I think that is pretty much my spiel.
11 I just wanted to be sure that you saw the work with
12 this subcommittee within the context of the office.
13 I hope I have made that clear. If there are any
14 questions, I would be happy to take them at this
15 time.

16 DR. BRANDT: Questions.

17 DR. GURIAN-SHERMAN: Doug Gurian-Sherman.

18 I would like some clarification, one, on the
19 premise of the meeting, itself. You mentioned, in
20 the Federal Register Notice, that the purpose is to
21 determine or avoid--not your words--a protein
22 likely to cause allergenicity. I guess I have a
23 question as to how that relates to the FFTCA's
24 standard of reasonable uncertainty of no harm. It
25 would seem that you are flipping somewhat the

1 burden of proof in terms of the level of certainty
2 that you are looking for when you say that it
3 should be likely, or identified as likely, to be a
4 food allergen, that reasonable certainty of no harm
5 seems to suggest the opposite.

6 DR. RULIS: Let me say this. I purposely
7 did not launch into a discussion of our legal
8 framework because I think could take up a
9 tremendous amount of your time and it would be
10 probably be derailing the purpose of the meeting to
11 do so. I think it is certainly something you may
12 want to discuss as you go forward, if it does
13 appear to be needed.

14 But I think it would probably not serve
15 purposes of this committee so well to get into the
16 legal questions. I think the purview of this
17 committee is scientific, as I understand it, and I
18 am going to defer to Bob Lake momentarily to give
19 the charge and to talk about his view of what you
20 are here to do and put that in the context of
21 charge and questions eventually.

22 But my reading of the current charge and
23 questions to this subcommittee are really not legal
24 ones. They are scientific ones. We are looking
25 for your scientific input.

1 I would say, just in brief response to
2 your point, that we have had in place for a long
3 time premarket safety evaluate scheme for new food
4 additives that uses the reasonable certainty of no
5 harm standard. That is in place and, for some
6 situations involving biotech foods, it is
7 conceivable that a protein would be introduced in
8 such a way that the appropriate modus operandi
9 would be to go through the premarket approval
10 scheme and use the reasonably certainty of no harm
11 standard.

12 But that has not been the case for the
13 vast majority of biotech foods that have come
14 before us. In that context, we are looking more at
15 the food in the context of other foods. The
16 question before us is as it as safe as its
17 counterpart food, as safe as is really more the
18 standard we are using there.

19 But we have open the possibility of using
20 the reasonable certainty of no harm standard. I
21 think to get into a discussion of the interstices
22 of that standard probably would not serve us well
23 this morning.

24 DR. KAPUSCINSKI: Anne Kapuscinski. It
25 seems somewhat obvious what would constitute the

1 end of a consultation for biotech foods but I am
2 curious because you said that there are 80 since
3 1994 but 50 have been completed. So what is the
4 difference between one that is completed and
5 uncompleted. Why is there such a big difference?

6 DR. RULIS: It may be that, at some point
7 in the consultation, we are asking for a package of
8 information to cover the corrections we might have.
9 If the company decides, at some point, that they
10 don't have the information that we are asking for,
11 they may decide to withdraw.

12 DR. KAPUSCINSKI: So is the consultation
13 completed when either the FDA says, "This looks
14 fine; you can go forward with it," or the company
15 decides to withdraw and just doesn't want to do any
16 more consultation?

17 DR. RULIS: We look at the package they
18 have come in with and ascertain whether we think
19 all the relevant questions have been answered to
20 our satisfaction, that they have dealt with all of
21 the necessary aspects of it. If they have, in our
22 mind, then we will write them a letter that
23 basically says, "It is your responsibility to
24 market a safe product. You have brought before us
25 your--you have laid out before us all the questions

1 that you have dealt with and your answers to them.
2 We have looked at them and have no further
3 questions at this point."

4 DR. KAPUSCINSKI: Okay. Thank you.

5 DR. BRANDT: Any other questions?

6 DR. BUCHANAN: I have one question. This
7 is Bob Buchanan. How many products do you see on
8 the horizon?

9 DR. RULIS: I can tell you that, at the
10 moment, under the rubric of biotechnology, the
11 number has actually fallen off somewhat. There was
12 an initial burst of several dozen and then, in
13 fact, if I could easily put this HTML screen back
14 up there, which I can't, I would show you that, in
15 2001, there were a couple and, in the Year 2000,
16 there were a couple. Most of them were 1999 and
17 before.

18 So it struck up a bit. But that is not
19 necessarily a prediction for the future in that I
20 know that there is a likelihood that there would be
21 some new developments on the horizon that would
22 bring more forward. But, at the moment, we have
23 had a slight lull.

24 DR. BRANDT: Mr. Lake is now going to tell
25 us what we have to do.

1 Charge and Questions

2 MR. LAKE: My name is, again, Bob Lake. I
3 am the Director of Regulations and Policies for the
4 Center and, as such, represent Center management
5 for this meeting and, in that capacity, let me
6 first welcome all of you, to the Food and Drug
7 Administration, to the Center for Food Safety and
8 Applied Nutrition and to our new building in
9 College Park.

10 Biotechnology is, obviously, a very
11 important topic for a lot of reasons. The issue of
12 allergenicity is also important across the board,
13 irrespective of biotechnology. When you get the
14 two together, you have a particular set of very
15 interesting issues and it is very important. It is
16 not new. I expect that long after we are done
17 here, there will continue to be many discussions.

18 So I would like to, I think, first talk a
19 little bit about the context of this meeting, sort
20 of where it fits in and also a little bit about
21 what may happen in the future.

22 In the first place, just a little bit of
23 context, and you will hear a lot more about this,
24 but we had a Food Advisory Committee meeting back
25 in '94 dealing with the issue of allergenicity and

1 biotechnology. So that sort of got us started.

2 We have, through the consultations that
3 Dr. Rulis was just talking about, gained some
4 experience that involves our thinking on this
5 issue. In addition to that, as you can well
6 imagine, this is seen as a very important topic
7 internationally and we have been actively
8 participating in an effort about a Codex
9 Alimentarius Commission to grapple with a number of
10 issues that relate to evaluating the safety of
11 bioengineered foods including allergenicity.

12 You will be hearing more about that as the
13 day goes on as well. But we have been active
14 participants in that process.

15 We think we are at a place where it is
16 time for the Food and Drug Administration to put
17 down on paper, and make public, something we call
18 guidance. This is a document that serves several
19 purposes, or will serve several purposes. One, it
20 is, in part, guidance to our own people on how they
21 evaluate the information that is coming in. It is
22 also guidance to the industry. It tells them what
23 it is we are going to be looking for so that it is
24 guidance to them on what kind of work they need to
25 be doing.

1 It is also an articulation to the public
2 about what it is we are doing and why. Under our
3 current procedures, we have to develop something
4 called draft guidance, publish it for public
5 comment and then come back with final guidance.

6 We think we are at a point where it is
7 time to begin the drafting of that guidance. But,
8 before we do it, we would like to, in effect,
9 bounce some ideas off of this subcommittee. So you
10 will getting a lot of information this afternoon
11 and tomorrow and then we will be asking you to give
12 us some feedback.

13 We will be using that feedback to draft,
14 do what I will call a preliminary draft, of
15 guidance. We will then be getting back to you at a
16 future meeting to actually have you look at our
17 preliminary draft before we go public with it. So,
18 one of the things I want to leave with you is we
19 are not going to ask you to solve the whole problem
20 is this meeting and, indeed, I think as the science
21 develops, as we get different kinds of submissions
22 in the figure, the policy will have to evolve.

23 But, what we are for primarily now is to
24 articulate something that is based on the
25 experience that we have had with the kinds of

1 submissions we have been getting and that we expect
2 to get for the next few years.

3 We will, if it hasn't already been handed
4 out, be handing out shortly a copy of the charge
5 and questions. You can read that at your leisure
6 and there will also be an opportunity, before you
7 begin your deliberations tomorrow, to look at that
8 in some detail. So I am not going to spend a lot
9 of time on that.

10 I simply wanted to give you the idea that
11 what we are really asking you to do is to consider
12 the various pieces of information that you are
13 going to hear in conjunction with your own
14 knowledge and to give us some feedback that will
15 assist us in putting together some draft guidance,
16 or some preliminary draft that we will then show to
17 you at a future meeting before we go public.

18 At least, that is our current intention.
19 Also, as a part of what we are going to be asking
20 you, we would like you to spend a little bit of
21 time, to the extent that you can, identifying areas
22 where research is needed, either research that we
23 can do or others could do, that would put us in a
24 better position and, perhaps, help us to evolve a
25 better policy, a more definitive policy, for the

1 future.

2 So those are kind of the two big things.
3 What are kind of your thoughts on what we say now,
4 what kind of research we ought be doing and then,
5 to the extent that you can help us, because part of
6 our document is going to be an explanation to the
7 public what we are doing and how we do it. If you
8 have got any ideas on how we can do that well and
9 in a way that the public can best understand, we
10 would appreciate your thoughts on that as well.

11 Having said that, and I think that is
12 probably enough to say before you actually have
13 heard very much of what you are going to hear, it
14 occurs to me that because this is the first meeting
15 of this committee, most of you are new to us and we
16 are certainly new to you. So I guess I would like
17 to--I was going to ask the Chairman's permission to
18 do this, but since he is not here, I will take the
19 liberty of inviting any questions that you have
20 about this center, either our structure, our
21 philosophy, what it is we do, things that help you
22 understand why we have you here.

23 But, really, at this point questions not
24 about biotechnology or allergenicity because others
25 will talk to you more about that, but questions you

1 have about this place, this organization, who we
2 are.

3 So let me stop and invite your questions
4 on that.

5 DR. BUCHANAN: Bob Buchanan, again. How
6 much research do you do? I really don't have a
7 feel for that.

8 MR. LAKE: Research is a component of what
9 we do. Quite frankly, it is not as large a
10 component as we would like. Again, our budgets are
11 appropriated by Congress. Our colleagues at NIH is
12 the place where most of the research as it relates
13 to the public health ought to be done, so we don't
14 get a whole lot of it here.

15 But we do some. But a lot of the research
16 we do is focused on helping us to do the other part
17 of our job which is enforcement. We make these
18 kinds of decisions, but we also have the day-to-day
19 enforcement responsibility. That requires that we
20 have methods of analysis so we have a fairly large
21 effort devoted to that for all of the different
22 things that we are responsible for.

23 But, to the extent that we can, we do as
24 much research as we can do but it is limited. Now,
25 you may also know that the University of Maryland

1 is within walking distance and we do, even before
2 we came out here, had created with them something
3 called GFSAN which is a collaborative research
4 activity.

5 We also have some other collaborative
6 efforts where we, in conjunction with other
7 academic institutions, try to get some leverage on
8 some research that is helpful. But, the general
9 answer to your question--again, I have to confess,
10 I have never been in a laboratory except to visit.
11 That is not my background. But it is something we
12 consider important.

13 DR. GURIAN-SHERMAN: Doug Gurian-Sherman.
14 What kind of relationship do you have, let's say,
15 with NIH in terms of giving them input into what
16 kind of research would be done, I would imagine NIH
17 is more focused on basic research and your interest
18 is, in part, trying to get input that will help you
19 make regulatory decisions? Do you have any formal
20 working relationship in terms of that?

21 MR. LAKE: I actually don't know the
22 answer to that question. Again, research is not
23 the area that I am involved in. It is more policy
24 development and regulation. But I know, in
25 general, our philosophy is to collaborate with

1 anybody we can collaborate with to get at the
2 information that will help us in making our
3 decisions.

4 Let me also comment on your previous
5 question. I think, at least to some extent, in
6 some of the further discussion, there will be some
7 more description of--as we talk about how we go
8 about our current business that may help answer
9 your earlier question.

10 DR. BRANDT: Other questions? Yes, ma'am?

11 DR. KAPUSCINSKI: I have a question about
12 how you really make operational coordination under
13 coordinated framework. So I guess I am curious,
14 when an issue such as allergenicity comes up, if
15 there is a difference of opinion between FDA and,
16 let's say, EPA that was involving a crop that might
17 be producing a compound that has questions of
18 allergenicity but it is a crop that fits under
19 EPA's purview, how do you resolve the differences
20 and is there--even though I have studied all the
21 coordinated framework laws, it is never really
22 clear to me if there is one law that preempts
23 another or whether the agencies have some other
24 process for reaching the actual decision.

25 MR. LAKE: A couple of comments around all

1 of that. One of the challenges that all of the
2 agencies are grappling with is that the statutory
3 framework that we all are using did not contemplate
4 biotechnology.

5 So we are all making do with statutes that
6 already exist. It is a challenge. I mean, it is a
7 challenge, to be perfectly honest, as somebody who
8 has done this for a number of years, before, even
9 internally within a single center such as CFSAN.
10 When you reach out to other parts of the agency, it
11 is a bigger challenge and when you go to other
12 agencies is it still a bigger challenge yet. But
13 it is very important. We take that seriously.

14 I think we have not had the kind of
15 conflict that you are describing, those kinds of
16 differences of opinion. I think largely the reason
17 for that is that the responsibilities, even though
18 it is a coordinated framework, if you look very
19 carefully, the responsibilities for each of the
20 agencies is distinctly different.

21 So, while we want it to mesh, each is
22 doing a separate piece. For instance, APHIS has
23 the responsibility to oversee what is going on in
24 fetal trials, et cetera. They do not make
25 judgments and don't even want to make judgments

1 about whether any of these foods, if eaten, would
2 be safe to the person who eats them. That is not
3 their focus.

4 By the same token, we defer to them in
5 terms of their oversight of fetal trials and then
6 whether things are properly contained, et cetera.
7 There is more likely to be overlap between FDA and
8 EPA because we actually make similar kinds of
9 judgments.

10 But, actually, the division there is that
11 what they look at are pesticides that are
12 genetically engineered in food. With regard to the
13 pesticide, itself, we defer entirely to EPA. They
14 actually have a strong statutory framework for
15 pesticides. So if they decide that a protein that
16 is genetically engineered to be a pesticide in corn
17 or soy or whatever, if they make a decision that it
18 is unsafe, we accept that because they do that
19 process.

20 What we look at--we look at two different
21 kinds of things with regard to those crops that are
22 genetically engineered to contain a pesticide. As
23 I said, we defer to EPA on the thing that is the
24 pesticide in the crop. What we look at are what
25 are the other changes that occur in that and is

1 there anything about those other changes that would
2 give us concern.

3 They, in turn, defer to us on those
4 questions. There are, of course, other things that
5 come to us--again, I think you will hear some more
6 about them--that don't have anything to do with
7 pesticides. So the food-safety question is
8 entirely one that we grapple with and that the
9 other agencies both defer to us.

10 At the same time, we do try to be sure
11 that are policies are consistent. The most recent
12 example is the OSTP document that relates to low-level
13 presence, unexpected presence, of food things
14 in other foods. Again, that was something that we,
15 in an interagency context, under the leadership of
16 OSTP, have been working on for quite some number of
17 months.

18 Hopefully, that gives you some answer to
19 that question. Again, I think some of the later
20 presentations may touch on that a little bit more.

21 DR. BRANDT: Very similar to resolving
22 differences between two departments in a college or
23 a university. About the same thing.

24 Any other questions?

25 Thanks very much. We have this document.

1 MR. LAKE: You should have it.

2 DR. BRANDT: Tomorrow afternoon, one of
3 the things that we will be talking about are the
4 three questions at the bottom of Page 1 and the top
5 of Page 2. So you might start thinking about
6 those. They are not particularly in order of
7 importance, but, certainly, the first two are the
8 ones that they need a lot of help on. The last
9 one, if you have thoughts, why that will be great.

10 MR. LAKE: Absolutely. Again, as I step
11 down, let me again express my appreciation to all
12 of you for taking time out of your busy schedules
13 to be with us during these two days. Again, this
14 is the beginning, hopefully of a series of
15 meetings, at least one of them being on this topic
16 but then other meetings down the road as well.

17 I will be here throughout the day. If any
18 of you has any, again, organizational kinds of
19 questions or questions about this place, feel free
20 to talk to me. I think it is okay to do that.

21 DR. BRANDT: It is up to you.

22 MR. LAKE: I will try to answer those
23 questions. The other thing I am involved in is
24 implementation of the new bioterrorism law. I have
25 a meeting at the department tomorrow that I must

1 attend but I will be back for tomorrow afternoon
2 for the deliberations.

3 Thank you very much.

4 DR. BRANDT: Thank you.

5 We will now take a break for approximately
6 twenty minutes. Dr. Metcalfe, you will be prepared
7 to go about ten minutes ahead of time. That
8 doesn't give you ten extra minutes, however.

9 [Recess.]

10 DR. BRANDT: We are ready to begin. Dr.
11 Metcalfe from the National Institutes of Health is
12 going to give us his presentation on basic food
13 allergy background.

14 Basic Food Allergy Background

15 DR. METCALFE: Thank you.

16 [Slide.]

17 As I was just kind of talking to Dan
18 before I started the lecture, this is a nuts-and-bolts food-
19 allergy lecture. A couple of committee
20 members, maybe more than two, could take over this.
21 I can show them how to advance the slides. They
22 could give this.

23 I actually have a lecture on how the
24 decision-tree thing, and everything else--I was
25 hoping to be able to do that because then I

1 wouldn't have to put all these slides on power
2 point. But Jim is going to cover that and I am
3 going to cover the nuts-and-bolts of food allergy.
4 This power-point presentation is really off of
5 slides that go back a long time because, in terms
6 of the basics of food allergy, we haven't seen a
7 lot of new things to put into this lecture.

8 I will try to update you on some of the
9 classification and things of that sort, but it is a
10 fairly direct lecture and hopefully, it will be
11 helpful to those of you who don't think about
12 allergenicity.

13 I am going to try to make a few comments
14 about things that you--I am anticipating some
15 questions as we go through on certain areas of this
16 and then, hopefully, I will have enough time to
17 take questions at the end.

18 [Slide.]

19 Now, the standard definitions, two
20 standard definitions, that we work under in this
21 field are here; food intolerance is really anything
22 abnormal that you experience with a food that
23 somebody else does not. That is everything from a
24 lactase deficiency, meaning lactose intolerance, to
25 a true allergic reaction to a food.

1 We generally use the word food
2 hypersensitivity as an abnormal reaction resulting
3 from a heightened immunologic response to
4 glycoprotein components within foods. We could
5 specify that a little bit more if we talked about
6 food allergy. Generally scientifically, we would
7 be moving toward an IgE mechanism. To the lay
8 public, there is not much difference in these
9 definitions.

10 [Slide.]

11 One way to look at the spectrum of
12 reactions to foods on an immunologic basis that not
13 everybody experiences is this kind of diagram.
14 Some of the stuff that I am going to show you is
15 from an ILSI-sponsored classification approach to
16 disease, particularly with infants, that can be
17 extended to adults that was published a couple of
18 years ago.

19 So you can kind of go from an IgE to an
20 non-IgE mechanism in these reactions. Most of
21 those that will concern this committee will be IgE
22 based. Those are the classic immediate
23 hypersensitivity reactions, hives, asthma,
24 gastrointestinal problems and anaphylaxis after
25 exposure to a food in an immediate sense, within a

1 few minutes.

2 Oral allergy syndrome is an immediate
3 reaction largely confined to the mouth. We will
4 come back to that. Atopic dermatitis is listed in
5 the middle because it has an IgE basis but other
6 things in that person experiencing that reaction
7 move toward eczema. But what of what is known
8 about IgE reaction, particularly published by Hugh
9 Sampson, has been actually in challenges of
10 children with atopic dermatitis.

11 Then there are other diseases such as
12 allergic eosinophilic esophagitis, gastritis and
13 gastroenterocolitis that have a strong IgE
14 component. Clearly, there is something different
15 going on that we don't understand from a strict IgE
16 reaction.

17 Then there are non-IgE reactions,
18 virtually exclusively observed in infants and
19 children, dietary protein enterocolitis, proctitis,
20 enteropathy and then celiac disease which you will
21 have to think about, but, since we have a better
22 idea of the active components, that is an easier
23 problem to handle, we think, in terms of moving new
24 proteins into foods. You would probably not move
25 the proteins responsible for celiac disease. That

1 is a more obvious question.

2 [Slide.]

3 So let's start out with the typical
4 genesis of an IgE-mediated reaction, the immediate
5 responses that we are most concerned about. The
6 steps are well described. You have to have some
7 exposure to the antigen at some point in your life
8 and then TH2 cells, that is kind of a TH2
9 phenotype, an allergic phenotype, cells that tend
10 to make things like IL4 and IL5 rather than gamma
11 interferon, collaborate with antigen-processing and
12 these cells to make IgE which then becomes fixed to
13 high-affinity receptors on the mast cell and, for
14 that matter, the basophile surface.

15 Then, on re-exposure of antigen, there is
16 release of mediators. That is the allergic
17 response. It has been an amazingly difficult
18 response to fine-tune details about or, for that
19 matter, to thwart. There is no, for example,
20 specific drug known that specifically inhibits
21 mast-cell degranulation and the regulation of IgE
22 synthesis has been very difficult although some
23 approach is now talked about such as anti-IgE
24 removal from the system so you could have some
25 promise.

1 Now, if you talk about the amount of
2 antigen required to sensitize, which comes up in
3 these committees all the time, the answer is
4 probably it doesn't take very much if somebody is
5 of the TH2 phenotype. You could show that in
6 animal models where you can dose-response
7 sensitization and, if you use intraperitoneal or
8 intramuscular, then it is easier to sensitize. If
9 you use certain adjuvants like alum, you could get
10 more IgE.

11 Then, if you use TH2-responsive animals,
12 in mice and rats, for instance, it is easier to
13 sensitize. So you put all that together and what
14 that means is that the ability to sensitize to
15 certain amount of allergen and the threshold is
16 going to vary on the individual, vary on the
17 protein, vary on any adjuvant effects.

18 The end of that is that it has not been
19 possible, really, to set a level below which you
20 can assure that someone will be sensitized. In an
21 extreme case, somebody with the TH2 phenotype,
22 highly allergic, genetically predisposed to react
23 to certain antigens with breaks in the mucosa or
24 inflammatory valves or wherever you want, would be
25 sensitized whereas it would never happen in anybody

1 else.

2 In terms of the amount of antigen to
3 elicit a response, it is a dose response.
4 Generally, in food allergy, it takes large amounts.
5 It take milligrams to grams. But there are
6 exceptions. When you look at those exceptions,
7 like Steve Taylor has done through the Food Allergy
8 Research Program and some of the industry-sponsored
9 things he does, you start looking at thresholds in
10 a feeding, particularly infants or young
11 individuals, of about a microgram. But that is
12 very rare. You can count those cases.

13 But if you try to set a threshold and you
14 get down to that microgram level, in reality, what
15 is going to protect most things in this system and
16 most people in this whole system is that a few
17 things are allergenic and it is awfully hard to
18 sensitize and it is awfully hard to precipitate a
19 reaction.

20 But when you try to set numbers for
21 thresholds, then you run across huge problems. So
22 that is IgE-synthesis mechanism and a few comments
23 about how difficult it is to set regulatory
24 guidelines based upon what we know about it.

25 [Slide.]

1 Now, prevalence data. This is typical
2 prevalence data. It is more than existed ten years
3 ago. These are a number of studies that have been
4 published. I picked them out fairly at random.
5 Here is one, food Allergy intolerance where they
6 sampled and challenged of 2.4 percent. This would
7 include a lot of things that are nonallergic.
8 1.3 food-allergy adults, by Woods et al. This is
9 very typical of what you see in the literature.

10 1.1 percent food allergy in children and
11 adults together to tree nut and peanut. This is a
12 random digit-dial survey specifically limited to
13 these two substances. So intolerance in infants
14 and children at 8 percent, if you look within that,
15 about 2 to 3 percent are IgE-mediate. Milk
16 intolerance, the first three years, 2.5 percent.

17 What does all of this mean? It means
18 generally that in children, IgE reactions often
19 transient, can be seen in 2 to 4 percent of
20 children, somewhere in that ball park, and, in
21 adults, it is somewhere around 1 percent. A lot of
22 those reactions can be handled.

23 But, if you look at the total numbers,
24 now, you are talking about in the United States
25 somewhere in the neighborhood of 40 or 50 million

1 people, potentially, that could be affected through
2 these IgE-definitive mechanisms. so it is not a
3 small number of people. When you look at the
4 percent of the total population, it looks small
5 but, in aggregate numbers, it is large.

6 [Slide.]

7 Now most food allergens, as you well know,
8 are glycoproteins. They tend to be 20,000 to
9 40,000 molecular weight. These are rough
10 guidelines. They tend to be protease resistant.
11 They tend to be acid resistant. Let me just speak
12 to that for just a moment.

13 This is usually, at least over the last
14 ten years, have often been discussed in the context
15 of digestibility. So you eat something and, if it
16 is resistant, then you are more likely to absorb it
17 and become sensitized or provoke a reaction.

18 It is not clear to the structural
19 biologist who studies allergen structure whether
20 that is really the issue or whether or not it
21 reflects something about the tertiary structure of
22 the antigen which might be more important. For
23 instance, it might have more to do with antigen
24 processing in a macrophage than it really has to do
25 with digestibility. My comment here would be think

1 about acid and proteases in terms of resistance to
2 degradation and don't argue about whether or not
3 something can be digested in the stomach in the
4 stomach acid of one, fasting, resting and go into
5 that kind of discussion.

6 To me, this is really just a
7 characteristic, a relative characteristic. It is
8 not absolute and it just kind of generally can be
9 used in an assessment program. It has been
10 overused and underused. I know you will probably
11 discuss this more.

12 Then there is the whole idea about whether
13 or not linear or discontinuous or continuous
14 epitopes and all this are the active component in
15 food allergy. Hugh Sampson would argue that many
16 of the true food allergens are allergens that
17 provide linear fragments of molecule that can
18 provoke an allergic reaction. He will argue with
19 that.

20 But there is also evidence that when you
21 lose the tertiary configuration, that some things
22 lose their allergenicity. So probably both are
23 going on.

24 [Slide.]

25 The most common food allergens, and you

1 can expand this list, but in children, it is
2 generally peanut, milk, soy and egg. In adults,
3 peanut, crustacea, crayfish, lobster, crab, shrimp,
4 that sort of thing. Tree nuts, fish and eggs.
5 Now, some people would add to this, for example,
6 sesame and the Europeans like to add celery because
7 it causes a lot of oral-allergy syndrome.

8 You can expand this list but this accounts
9 for about 90 percent of reactions. A major allergy
10 within this is an allergy within one of these
11 proteins that causes more than 50 percent of the
12 reaction. So those are two rough definitions.

13 Again, what I think probably saves most of
14 us as much as anything else from getting a food
15 allergy is that is hard to be wrong no matter what
16 you do because of the ability to find people that
17 are truly allergen that you can reproduce on
18 challenge is fairly--is not that common.

19 So what happens is that you can have a lot
20 of strategies that appear to work because of the
21 frequency of these reactions when, in reality, it
22 really has nothing to do with it and that has a lot
23 to do with controversial techniques, diagnostic
24 techniques that I don't think you will get into.
25 But here are most common food allergens. And I

1 will get into the how you make a diagnosis.

2 [Slide.]

3 The diagnosis is both subjective and
4 objective. Subjective; history, diet diaries,
5 elimination diet. So history is a big thing that
6 doctors use; were you the only person that got
7 sick, did everybody get sick. Look at
8 epidemiologic factors. You can send people home
9 with diet diaries and say, every time you think you
10 get sick, write it down, what food you are eating.
11 Then they come back with a long list. They are so
12 happy because they found other things they are
13 allergic to and you are so distressed because you
14 had enough to worry about before. So we don't use
15 them a lot.

16 Elimination diets really is something that
17 used to be used more than it is today because you
18 don't want to send people home and say, "Well,
19 reintroduce this food," and have them anaphylax at
20 home. So they have to be used very cautiously.
21 So, really, history is the big one here.

22 Objective is cutaneous testing and then
23 measurement of allergen-specific IgE by RAST and
24 ELISA. Leukocyte histamine release where you take
25 leukocytes and sensitize them or leukocytes from

1 the individual and challenge with antigen is rarely
2 done just because it is technically more
3 cumbersome. Then there is double-blind food
4 challenge.

5 I am going to go over just a few points
6 about some of these very quickly for you.
7 Cutaneous testing can be used for raw food or
8 purified allergen from food. The general method is
9 to put a drop of this substance on the skin, tint
10 the skin through it and then look for a local
11 allergic reaction characterized by itching, redness
12 and a wheel formation, and then their policy,
13 generally, but they are more of a control which is
14 just diluent and you have to have a positive
15 histamine to skin test to show the person is not
16 suppressing antihistamines and that sort of thing.

17 Fairly direct, simple. Does identify
18 specific IgE in the skin. Relatively safe,
19 although people who are strongly allergic to
20 something like tree nuts, you probably would not
21 test them this way, for instance, or peanuts. So
22 you occasionally have to worry about severe
23 reactions.

24 It is hard to skin test if somebody has
25 widespread eczema and this sort of thing. So

1 sometimes you have to go to in vitro diagnostics.
2 Here is the important one. They are not
3 diagnostic. In other words, some of you in the
4 room probably have skin tests to foods and eat them
5 without a problem and never realize you have a
6 positive skin test.

7 The same thing for pollens. It is not a
8 mystery to food. Some people do have a ragweed-positive
9 skin tests and won't have a clinical
10 sensitivity. But, the other side is very unusual.
11 It would be very unusual to have somebody who had
12 an anaphylactic reaction to peanut to have a
13 negative skin test.

14 So, they confirm your suspicion but they
15 cannot work in the absence of an evaluation that
16 looks at history and other features. It cannot be
17 used in isolation.

18 Now, can it be used for everything? No.
19 If you are worried about something that might be a
20 chemical that might act as a haptene so it has to
21 bind that body albumin or something before you have
22 a reaction or be degraded, you wouldn't pick it up
23 on a skin test, so it doesn't work, for example, as
24 a general technique for pharmacologic agents.

25 You have to be very careful when you use

1 it because you can easily get a negative skin test
2 but the person could still be allergic after that
3 material is degraded or act as a haptene or
4 something of that sort.

5 RAST and ELISA have gotten very good.
6 They are almost as good as skin tests. You can
7 kind of quantitate how much IgE there is to an
8 antigen and, generally, the higher they are,
9 particularly the Pharmacia cap system which has
10 been widely studied, the stronger the results are,
11 generally there is a correlation with more severe
12 reactions. But you can have a low cap and
13 anaphylax to peanut and have a high cap and
14 anaphylax to peanut. But there is a general
15 correlation.

16 They measure antigen-specific IgE in the
17 serum. They are a little bit more costly. They
18 are somewhat more remote. Again, they are not
19 diagnostic for the same reasons I went over with
20 IgE testing through skin tests. The same caveats
21 apply to positives and negatives.

22 [Slide.]

23 Double-blind food challenge is not done
24 very much. Doctors don't like to do it in their
25 office because it is cumbersome and they put the

1 patient at risk so only those people really
2 comfortable with it do it. If you put it into a
3 safety assessment, you have to get IRB approval.
4 Today, at least at my institution, that would be
5 hard. It would be hard to do that.

6 So it is a wonderful test in terms of it
7 is kind of the gold standard for people who say
8 they are allergic to food. It simply involves
9 putting food somehow or other blinded in capsules
10 or in a liquid where they can't taste the food.
11 You start with small amounts and then go up to a
12 regular feeding.

13 It is diagnostic if positive.
14 Occasionally, I think that there are reasons why
15 you can get a negative and miss it on food
16 challenge. Those are not that common. It is very
17 difficult work to do with multiple sensitivities.
18 But, the bottom line is that this is a technique
19 which, while straightforward, would only be used
20 when the patient wouldn't be put at great risk,
21 when you can resuscitate if you have a problem and
22 the patient agrees.

23 In the doctor's office, you can elect to
24 do it. If you are doing it at a scientific
25 institution, those people who have done it for many

1 years without a problem, like Hugh Sampson, say it
2 is getting very, very hard to get approvals to do
3 these kinds of things, at least currently, in the
4 current IRB--it is just a fact of life.

5 [Slide.]

6 Now, the differential diagnosis, I will
7 not go through. It is not the purpose of this
8 slide. But just to let you know, if you are a
9 physician and you asked to look at somebody who
10 flushes after they eat shrimp, there are other
11 reasons. It could be a lot of histamine that grew
12 from bacteria contaminating the shrimp or something
13 of this sort.

14 If somebody had bloating or something, it
15 could be an enzyme deficiency like lactase
16 deficiency. If somebody had pain when they are
17 swallowing, it could be esophageal cancer for all I
18 know. So you have to use some common sense here.
19 You have to look at what else can mimic the
20 symptoms and make sure that you are dealing with
21 food allergy and not another disease. This results
22 in the common recommendation that people who think
23 they have food allergy really need to go through a
24 doctor and vet it because you would be surprised
25 what kinds of diseases hide under food allergy and

1 people don't realize it.

2 [Slide.]

3 Food additives. Food additives have
4 generally not been associated with allergic
5 reactions. There are four here I list. You would
6 almost have to talk about every one of them.
7 Sulfiting agents went through the FDA many years
8 ago. If you inhaled the gas sulfiting agent, SO₂,
9 you could provoke asthma.

10 There were examples that perhaps a few
11 people recognized sulfite bound to serum albumen as
12 a haptene. This is not a major problem any more
13 since rayon spray-on sulfites were banned, but
14 there are still a lot of people that think they are
15 sensitive to sulfites.

16 With tartrazine, monosodium glutamate and
17 sodium benzoate, most of the time we are talking
18 about something associated with chronic hives.
19 This probably doesn't happen very often. It may be
20 real. You are going to see a lot of confusion as
21 you go into the literature about chronic hives,
22 what causes them. This is because it is so hard to
23 put somebody on a diet and then challenge them in a
24 situation where you can be sure that the result is--the hive
25 that comes up is a result of the

1 challenge. It is very hard to design these
2 clinically

3 So you will have people claiming that 50
4 percent of the people that they see are sensitive
5 to additives, which is not true, and you have other
6 people say they could never identify, they are
7 probably missing few. Somewhere in here is some
8 truth, but it is not very common. Anaphylaxis to
9 these agents is virtually nonexistent even though
10 tartrazine causes anaphylaxis. I don't know who
11 documented this.

12 DR. BUSTA: I have heard a lot comment on
13 flushing. Is that equivalent to hives?

14 DR. METCALFE: Flushing is simply
15 cutaneous vasodilatation, vasodilatation of your
16 surface vessels. I can happen when you exercise.
17 It can happen when you get embarrassed. Some
18 people have prominent flushes in the face and upper
19 chest. It depends on your ethnic background and
20 your age.

21 Flushing can result from allergic reaction
22 when histamine is released. Many other things can
23 cause it. It has been proposed for sulfiting
24 agents. You can get a vasovagal reaction that
25 causes flushing. Flushing is very nonspecific and

1 frequently believed to be important and often is
2 not.

3 But, that being said, it is one of the
4 things that goes along with the systemic allergic
5 reaction. But other things that physicians look
6 for, like conjunctival irritation and things like
7 that, that we like the signs of systemic
8 anaphylaxis better than flushing.

9 [Slide.]

10 Controversial diagnoses. These are the
11 kinds of things you see in the literature that are
12 due to foods or not. There is very little evidence
13 that these are due to foods and I don't think we
14 will get into these except that, when you see
15 people come to talk to you about these reactions,
16 you have to ask them to specify their allergies.

17 If somebody comes in and says, "I am here
18 because I have allergy to such-and-such, and they
19 don't describe what that is, you need to ask them
20 because, every once in a while, they will say, "I
21 get tired," or, "I have psychotic episodes." It
22 helps define what their definition of allergy is.

23 All too often, you just assume, oh,
24 allergy. They are having hives and anaphylaxis.
25 But, when you ask them, it is far different. So

1 just a warning about that.

2 [Slide.]

3 Now, let's talk about oral-allergy
4 syndrome. This is IgE-mediated disease. It is
5 believed to be certain people eating fruits that
6 often have antigens that cross-react with pollens
7 and latex and other things can eat certain fruits
8 and vegetables and they get burning and swelling
9 and itching in their mouth.

10 The proteins implicated are heat-labile
11 food and vegetable allergens, often cross-reacting
12 with some polyallergens and latex cross-reactivity,
13 believed to be IgE-mediated, generally destroyed by
14 cooking or by digestion and frequently seen in
15 people who have allergies.

16 Rarely do these allergens cause a systemic
17 reaction but, occasionally, they do. They are very
18 labile allergens and most skin-testing materials do
19 not pick them up because the allergens are degraded
20 in the bottle of the extract with a lot of
21 proteases and things like that.

22 So, again, when you looking at prevalence
23 of allergen diseases, a lot of European papers, in
24 particular, will add oral-allergy syndrome and the
25 numbers go way up. You have to just be careful of

1 that. This is generally considered to be less of a
2 problem than the more significant food allergies,
3 but it does exist. It is a problem for a lot of
4 people and you need to know about it.

5 [Slide.]

6 Anaphylaxis is the signs and symptoms
7 resulting for IgE-mediate mast-cell and basophil
8 activation leading to the release of chemicals
9 whose target organs are primarily such things as
10 blood vessels, smooth muscle. The site of mediator
11 effects may be local and remote from the site of
12 allergen ingestion or exposure; for example, you
13 could have a skin test to peanut right here, but
14 you would have systemic circulatory flaps.

15 In other words, it goes from here
16 everywhere. Anaphylaxis; some people distinguish
17 anaphylaxis from anaphylactoid which is the
18 clinical signs and symptoms of anaphylaxis but we
19 either don't know the mechanism or it is not IgE
20 mediated. Today, most people just say anaphylaxis
21 and say most of it is IgE-mediated and worry about
22 the rest later.

23 But it is life-threatening. It is the
24 major problem that we worry about with food
25 allergies.

1 [Slide.]

2 This is some data from Hugh Sampson's
3 extrapolation of the number of people who might die
4 in the United States every year from food
5 anaphylaxis. He took the frequency of anaphylaxis
6 in Denmark. He looked at the number of patients
7 seen in the Mayo Clinic experiences foods, did an
8 extrapolation, came up with 2,500 cases a year in
9 the United States with 125 deaths.

10 It is ball-park figure. It could be off
11 by 100. Who knows? But it just gives you an idea
12 that it is not that frequent but does exist and it
13 is what you worry about. The cases often make the
14 newspapers. They are highly visible cases, often
15 tragic cases, involving healthy children and heart-wrenching
16 when they occur. But their numbers are
17 not great.

18 [Slide.]

19 Fatal food-induced anaphylaxis. This is
20 an early study. There are plenty of studies. I
21 picked this one up, both males and females, all
22 ages. Almost all these people are atopic. It
23 usually happens away from home when they don't know
24 they are eating. Peanut is a big provocateur.
25 Often they die because they have had no epinephrine

1 early. The other risk factor is asthma. Most
2 people who die from anaphylaxis have asthma. So it
3 is a pulmonary death.

4 These are the features of anaphylaxis that
5 have to do with foods. There are a larger series,
6 but these are the basic determinants of it.

7 [Slide.]

8 The diagnosis of an allergy, or an
9 allergy-causing anaphylaxis is the presence of
10 allergic signs and symptoms, hives, angioedema,
11 trouble breathing, et cetera, acute hypotension
12 and/or upper or lower-airway obstruction. Often,
13 people develop laryngeal edema, can't breath. That
14 can lead to demise.

15 Absence of conditions in the differential
16 diagnosis. Elevated levels of mast-cell tryptase
17 release by mast cells where the serum can be used
18 in post mortem. Exposure to agents known to be
19 associated with anaphylaxis or the patient would
20 have a history of anaphylaxis without knowing the
21 cause.

22 So those are basically the nuts and bolts
23 of anaphylaxis.

24 [Slide.]

25 The treatment of IgE-mediated sensitivity

1 remains avoidance and prepare to treat inadvertent
2 exposure. If you are severely affected, you wear a
3 medic-alert bracelet or a device to notify people
4 if you are found unconscious. You give yourself
5 epinephrine upon exposure to something that you are
6 anaphylactically sensitive to. You may take
7 antihistamines or seek medical help.

8 Unproven. We don't have any way to
9 desensitize to foods. It is recognized that there
10 are no prophylactic medications that reliably
11 prevent. So, really, the problem, then, for us in
12 the field and with you is that the prime protection
13 for people that may have food allergies or may
14 develop them is simply avoidance. That goes into
15 labeling which we are going to talk about. That
16 goes into what is going on here.

17 [Slide.]

18 Novel approaches to the treatment of food
19 allergy being discussed; anti-IgE antibodies. This
20 takes a lot of IgE out of your system, may make you
21 less sensitive. There are some trials going on.
22 The hope would be that a child extremely sensitive
23 to peanut taking IgE would have to ingest more
24 peanut for a reaction. So it would lower their
25 risk and that may well be the case.

1 There is vaccination with plasma DNAs to
2 induce responses that are protective. Antiallergic
3 immunostimulatory sequences that are supposed to
4 promote interferon gamma. We will talk about these
5 if you want. The concern there is that if you go
6 from a TH2 to a TH1 response, instead of allergy
7 asthma, you end up with Laker's granulomatosis or
8 something.

9 But there are all concerns about these
10 approaches. Immunotherapy with mutated proteins
11 and peptides so that you get a new response without
12 the risk of a reaction. All of those are being
13 looked at now and we can talk about them if you
14 want. There is nothing I see that is really going
15 to protect people, at least within the next five to
16 ten years, I don't think. So we are stuck with
17 what we have.

18 [Slide.]

19 We have covered this clarification. Now
20 we are going to briefly cover some of the others.
21 I am going to go through these very rapidly.
22 Allergic eosinophilic esophagitis is carried mostly
23 in infants and children. It is such things and
24 emesis and failure to thrive. The proteins
25 implicated include cow's milk. There is an

1 eosinophilic infiltrate. Poor correlation to skin
2 tests. The treatment is protein elimination and,
3 you can see here, sometimes steroids.

4 This is a disease which is really of
5 interest to pediatricians now. We have learned a
6 lot more about it. We don't know a lot about it
7 right now, but this is what we do know. It is
8 largely limited to infants and children. One of
9 the themes--I will come back to it in a minute.

10 [Slide.]

11 Allergic eosinophilic gastritis is more
12 likely to be IgE-mediated. This is associated with
13 vomiting, abdominal pain, failure to thrive in
14 children. Many of the cases are atopic. Many have
15 peripheral eosinophilia. Age of onset, neonate to
16 adult. Proteins are the common allergens that we
17 have talked about.

18 Eosinophilic infiltration in the gut.
19 Elevated IgE, although about half you can't find
20 skin-test specificity to. The other half have
21 multiple positive skin tests to foods. There are
22 probably two populations in here. Atopic
23 predisposition is possible. Treat with steroids
24 and try to structure a diet.

25 We are studying this. Anti-IL5 will make

1 these patients better somewhat, for instance.

2 These patients tend to be of a strong TH2
3 phenotype, at least to orally ingested allergens.

4 [Slide.]

5 Gastroenterocolitis is basically the same
6 thing affecting more of the intestinal system. You
7 add things like colonic bleeding, protein-losing
8 enteropathies, but you still have the eosinophilia,
9 elevated IgE. Many that have skin-test response.
10 This is a fairly unusual disease.

11 [Slide.]

12 Dietary protein enteropathy. The rest of
13 them that we are going to talk about don't have an
14 IgE basis are seen primarily in infants and
15 children. They often outgrow the disease. If it
16 occurs in adults, it is hidden within things like
17 inflammatory-bowel disease and we certainly don't
18 know about it.

19 They are caused by proteins. There are no
20 known animal models. There are no known diagnostic
21 tests. The reason I am showing you these is
22 because, no matter what you decide to do about a
23 food, it may be done for you. You can't do much
24 about these because we don't know much about these
25 and so that is why we have always focused on IgE.

1 So, in a child, diarrhea, malabsorption,
2 failure to thrive, anemia, edema. They get quite
3 ill. No increase in evidence they are of an
4 allergic phenotype. Food challenge can result in
5 vomiting and diarrhea. Age of onset, up to two
6 years.

7 Here are the proteins implicated, common
8 foods that children often eat. Pathology is
9 dramatic, often small-bowel injury, intraepithelial
10 leukocytes, et cetera. No food-specific IgE. You
11 eliminate the offending allergen and then they
12 outgrow it.

13 [Slide.]

14 Same for dietary proteins; colitis,
15 diarrhea, vomiting and anemia, failure to thrive,
16 hypotension, villous injury, colitis, fecal
17 leukocytes, no food-specific IgE. With food
18 challenge, there is believed to be an increased
19 risk of hypotension and shock and then basically
20 there is an elemental formula until they start to
21 outgrown this problem. Most of these go away.

22 [Slide.]

23 Proctitis; basically, the same idea,
24 limited to the rectal area. It is not clear what
25 is going on here. Probably cells that are

1 sensitized are homing to the gut and are causing
2 disease in this area causing proctitis.

3 The same kind of idea; fecal leukocytes.
4 No role for IgE. Again, a fairly rare disease.

5 [Slide.]

6 Celiac disease I mentioned early.
7 Everybody knows about this disease and pretty much
8 knows how not to create a new food that would cause
9 celiacs to have a problem. Manifestations are
10 chronic diarrhea, diarrhea and failure to thrive in
11 infants. Age of onset typically more than six
12 months. The protein foods implicated are wheat,
13 rye and barley, primarily. Pathology is a villous
14 atrophy and there are certain characteristics of
15 certain kinds of lymphocytic infiltrates.

16 Certain antibodies that can help in
17 diagnosis. Treatment is elimination of gluten
18 associated with certain HLA patterns. Lifelong
19 history. There probably is a lot of gluten
20 sensitivity that may be one allele instead of two
21 or something that is really not picked up. There
22 may be a lot of subclinical celiac disease.

23 But, at any rate, this, on the surface,
24 would appear, at least to most people, to be
25 something that a company simply would not create by

1 moving gluten into some new foods. So I don't
2 think this has even been a major issue, but it must
3 be remembered.

4 [Slide.]

5 So, again, this is really what we can
6 worry about plus atopic dermatitis. These are
7 unusual diseases, but they do have an IgE
8 component. These are non-IgE-mediated disease,
9 granted more rare, granted mostly in infants and
10 children and very difficult to deal with.

11 DR. PARIZA: How much atopic dermatitis is
12 due to food versus other causes?

13 DR. METCALFE: In adults, it you look at
14 the series, it is rarely associated with the
15 digestion of foods. So, in adults, atopic
16 dermatitis is very difficult to associate with
17 foods. In children, it is much more common.

18 DR. ATKINS: About a third of children
19 with atopic dermatitis have a food that will
20 trigger it, is one trigger.

21 DR. PARIZA: How do you know that? Do
22 they eat a food and then they get it? Is that the
23 way you see it?

24 DR. METCALFE: Yes.

25 DR. ATKINS: Generally within two hours

1 ingestion of the food, they develop flushing at the
2 sites.

3 DR. PARIZA: Oh; within two hours?

4 DR. ATKINS: Sometimes much quicker than
5 that, but they develop flushing at the sites of
6 excema and start to scratch and, the next day, they
7 will have a rash.

8 DR. METCALFE: An awful lot of what is in
9 the literature that tells us about food allergies
10 is atopic dermatitis studied by pediatricians. If
11 you look at most of the literature that you are
12 going to base your decisions on, there is very
13 little evidence from adults. It is almost all
14 pediatric data.

15 Why are we interested in this?

16 [Slide.]

17 I am going to show some people from the
18 lab to jus kind of candid shot of our lab. You may
19 have seen this before.

20 So, I think we have time for questions.

21 Questions of Clarification

22 DR. BRANDT: We do have. Questions?

23 Anybody?

24 DR. LEHRER: Sam Lehrer. You had
25 mentioned the figure of 40 to 40 million Americans.

1 Did you mean have the potential for allergic
2 responses or that have food allergy?

3 DR. METCALFE: Let's talk about that data.
4 It is only 1 percent to 2 percent that we think
5 really have it so that is something like 4 to 6
6 million. If we look at the people that think they
7 have it, then you are talking about 40 million.
8 I'm sorry; I should have made that clear and I am
9 glad you asked that, because the problem that you
10 deal with in this area is an awful lot of people
11 that think they are sensitive but relatively few
12 that do.

13 But, still, if you talk about 1 to 2
14 percent, you are talking about 4 to 6 million
15 people in the United States. That is a huge
16 population. But if you look at perception, it is
17 huge.

18 DR. LEHRER: I would agree. Of the 1 to 2
19 million that have a food allergy, this is all of
20 the food allergies that we see. They don't all
21 react to peanut. They all don't react to shrimp.
22 So, if you take one of the major food allergens--I
23 guess peanut would probably be a likely candidate--how many
24 people are you talking about, if we are
25 taking the worst allergen that we know of?

1 DR. METCALFE: That is an interesting
2 thing to ask. That is a good question. Let's say
3 we have 1 percent of adults who have true food
4 allergy. This actually goes back to stuff done
5 many years ago. If you look at what most people
6 react to as adults, it is going to be peanut or
7 tree nuts or a little bit of crustacean. Most of
8 those people react to one allergen, something like
9 60 percent.

10 So one could, right away, say, out of that
11 1 percent, probably half of those individuals,
12 maybe more, are reacting to one allergen that is
13 probably going to be peanut or tree nut or
14 crustacean. Then you get another 30, 40 percent
15 that take in the rest of them and start to have
16 multiple allergies.

17 Then you have a very small number of
18 people that seem to be reacting to everything. We
19 are not talking about oral-allergy syndrome here
20 which puts up the numbers. We are talking about
21 generally. Dan, do you want to comment on that?
22 You have thought as much about this as I have. Is
23 that fair?

24 DR. ATKINS: That's fair. You could go to
25 the telephone surveys that Ann Furlong and her

1 group have done. They have got sensitization on
2 both adults and kids to peanuts and tree nuts. I
3 think, in children, it is supposed to be about 0.5
4 percent and, in adults, it is supposed to be about
5 0.7 percent, if I remember right.

6 DR. BRANDT: Those are true, or those are
7 responses?

8 DR. METCALFE: That is just a random
9 digit-dial survey with a high screen. Those are
10 undocumented.

11 DR. LEHRER: The ones that are reacting,
12 seem to react to everything. I know you said it is
13 a very small group. Do you have any idea--are you
14 talking about 0.1 percent?

15 DR. ATKINS: I don't think it is that
16 high. If you look at the number, probably you pick
17 up--so, 50, 60 percent, one. Another two; you
18 probably pick up another 20 percent so that puts
19 you up to 80. Maybe three or more, another 10 or
20 15 percent. Beyond that, you have multiple
21 reactors. So it is a very small number. It is
22 probably--you are right; it is 0.5 or less in the
23 population.

24 DR. METCALFE: But the point is, it can
25 change over time. There are children who become

1 sensitized to multiple foods; milk, eggs, wheat,
2 soy and then, by the time they are between five and
3 seven, they may lose sensitivity to two or three of
4 those foods, peanut sensitivity or--

5 DR. LEHRER: But just to get some kind of
6 handle on numbers.

7 DR. METCALFE: That's in adults. If you
8 look at children, it is more frequent. The
9 percentage goes up to 2 to 3 percent and it is
10 heavily weighted toward milk and soy. Those
11 sensitivities are generally lost. It is very hard
12 to identify an adult that is allergic to milk or
13 soy. It is just hard to find.

14 DR. LEHRER: If you eliminate the milk and
15 soy and you ask for a percentage of children, what
16 do you think that would drop down to?

17 DR. METCALFE: I don't know; about 0.25
18 percent, maybe? Dan?

19 DR. ATKINS: Again, 90 percent of allergic
20 reactions to foods in kids are milk, eggs, wheat,
21 peanut, soy. By the time kids are five to seven
22 years of age, they tend to outgrown sensitivity to
23 milk and wheat and soy and egg and then you are
24 left with peanut, tree nut, fish, shellfish.

25 DR. LEHRER: So I guess the question would

1 be of the five-to-seven-year age group, what
2 percentage?

3 DR. ATKINS: We think it drops from about
4 6 percent in young kids and infants--infants and
5 young children--to about 1 to 2 percent in adults.
6 The majority of that occurs over that five to seven
7 years early on.

8 DR. METCALFE: A lot of these reactions
9 are not life-threatening, either. Not everything
10 causes anaphylaxis. So it is a spectrum, just like
11 all allergy is, to pollen or anything else.

12 DR. ATKINS: The point I want to make,
13 though, is that it not concerning to the people who
14 have it. If you talk about oral-allergy syndrome,
15 they are still very affected by that. There are
16 foods that they can't eat. Then, if you take a
17 food and it is not digestible, or we change it so
18 that it is not digestible, and that patient eats is
19 and now it gets to the lower gastrointestinal tract
20 whereas, before, it was digested above, you may
21 have a group of people that are anaphylaxing who
22 weren't before exposure to that food.

23 DR. METCALFE: The difficulty in this is
24 that 1 to 2 percent of the population is not a
25 small number of people. Then, if you take that up--and I am

1 glad you asked that question because we
2 are really talking about a couple of million people
3 here. When you look at the people who think they
4 are at risk and you have to get through that chaff.

5 But it is not a small problem. Of course,
6 no company wants--I don't want to speak for a
7 company--but no company wants to create something
8 that is going to put them into court and put them
9 out of business. I mean, things like silicon
10 breast implants would pale by the consequences of
11 putting out something as sensitive as peanut into
12 the general population. Monsanto or one of these
13 companies would be out of business, I think.

14 So, everybody, for various reasons, wants
15 to protect everyone. But there is a real risk out
16 there.

17 I want to catch a couple of other
18 questions. Yes, sir?

19 MR. HINTON: Not to change the subject
20 but, in any case, I was wondering if you would
21 comment on the potential of animal models in terms
22 of the mechanisms of allergenicity and so forth
23 because one of our charges will be in that area in
24 terms of the mechanisms in animal models being
25 similar as to what we see in humans.

1 DR. METCALFE: I give you my view on
2 animal models because--let's talk about
3 practicality. First of all, any reasonable animal
4 model is going to have to use a small animal like
5 the mouse, I think. I think dog models and beagle
6 models and pig models are just not reasonable.

7 When you go into those animals, then the
8 purpose of an animal model would be to rank-order
9 things that are allergenic in the population, from
10 something non-allergenic to allergenic. Here, I
11 don't have any--I would recommend you not recommend
12 think about trying to mimic human disease, that it
13 has to be orally fed, that it has to happen on oral
14 challenge, but simply that you have an animal that
15 can rank order allergens for a given class of
16 allergens. That is my own feeling about it.

17 If you said the only animal model we can
18 use has to result from oral sensitization without
19 and adjuvant and provoke a reaction on oral
20 administration, I think you are going to have it
21 extraordinarily difficult to make an animal model.

22 But if you said, I am going to take a
23 certain mouse with a certain background that
24 responds to a certain profile and I am going to see
25 if, on the basis of skin-test reactivity or IgE

1 synthesis or something, rank order those things
2 roughly to what humans see, then I would say, yes;
3 that should be possible.

4 If you are asking for a single validated
5 model, there is none. I would even predict, if you
6 started to see some animal models that worked with
7 some protein classes, they wouldn't work with all
8 protein classes. I, personally, don't think you
9 are going to ever see one validated model. I could
10 be wrong.

11 And, no matter what happens, it is never
12 going to be like a toxicology assessment. I don't
13 ever see it being perfect. This is something we
14 have discussed for ten years and I have just given
15 you--it needs to be worked on, and I applaud those
16 people who are trying to do it.

17 DR. BRANDT: Why don't we stick here to
18 the subcommittee members.

19 DR. METCALFE: Oh; all right.

20 DR. KAPUSCINSKI: This is Anne
21 Kapuscinski. When you were talking about the
22 grains that are known to cause celiac disease, you
23 made the comment that it would seem that no one
24 would want to introduce genes from those into other
25 foods. But how about if you were to actually

1 engineer wheat or barley or oats? How much do we
2 know about our ability to predict whether that
3 would accidentally increase the allergenic reaction
4 or broaden the percentage of people that might get
5 exposed? What do we know about that?

6 DR. METCALFE: I, personally, don't know
7 the answer to that. But it would seem to me that,
8 because you know what the active ingredient is,
9 that one of the things you would ask for is a
10 measurement of the level of gluten. That can be
11 determined. But, certainly, you would want to know
12 that, that you didn't upregulate its expression.

13 You could go one step beyond. You could
14 actually go into a crop that is not known to
15 produce gluten and actually ask if it starts to.

16 DR. KAPUSCINSKI: Right. I guess I was
17 thinking, also, not only the level of the gluten
18 but do we know enough about the structure of the
19 gluten? What about the structure is really causing
20 an allergenic reaction to know if there could be
21 subtle changes, again, in its three-dimensional
22 tertiary structure that could broaden the range of
23 people that might--

24 DR. METCALFE: There is a fair amount
25 known. But it is unclear enough to make me worry

1 about trying to get down to the peptide sequence.
2 There are known peptide sequences that cause the
3 disease and bind to certain HLA groups. But there
4 is enough noise in the background to say that you
5 don't pick up everything with that that I would
6 personally recommend a different way to look at it
7 which would be overall to measure gluten or
8 glutenagen or something which would have, within
9 it, the active peptides.

10 But you should go to somebody that studies
11 this to ask that question. If there is somebody
12 that knows more about that, please comment. But
13 that would be my own feeling about that.

14 I just reviewed this because I just
15 reviewed a chapter written on celiac disease, just
16 yesterday. That is my read on the current state of
17 the art.

18 DR. GURIAN-SHERMAN: I guess the question
19 I have with the current kind of passive reporting
20 system, and I am talking about a postmarketing
21 issue, what do you feel the likelihood is--you
22 mentioned that companies would certainly be
23 concerned about liability--but the likelihood that
24 some of these conditions would be reported if they
25 are occurring at a fairly low percentage of the

1 population and nobody is actively looking for it in
2 the population.

3 DR. METCALFE: I think it is hard for a
4 passive reporting system to do a good job of
5 looking for reactions. I think it works to a
6 degree if you follow up case report challenge or
7 something to really find out if you have somebody
8 sensitive.

9 The difficulty is that if you had
10 something that was causing the problem that was in
11 a common protein source and then got into other
12 foods, people developing a new reaction would have
13 a hard time identifying where it was coming from.
14 So that while it has a value, I think everybody
15 recognizes the limitations.

16 Then there is the other side. Once you
17 publicize something, then everybody starts saying,
18 oh, now I know what causes my headaches. So it has
19 a value but, in my own judgment, it is seriously
20 flawed.

21 I think we try to teach all allergists
22 that, if they have somebody coming in with
23 something that they are reacting to that they take
24 by mouth and it is unclear what that is, then they
25 should think about what might be novel in that food

1 and then they can make extracts of that food and do
2 skin testing.

3 There are ways to try to get at the
4 answer, but I think it is very difficult for the
5 individual, unless you have engineered a blue
6 peanut and people say every time they eat a blue
7 peanut, they react, "And I don't react to regular
8 peanuts."

9 But that is not the way it works in
10 reality. Then, for a lot of places in the world,
11 there is no label. You buy from street vendors and
12 stuff. So, really, the way to keep the genie from
13 getting out of the bottle, I think, is to try to do
14 a good job on the front end, not the back side. I
15 think that is what everybody worries about.

16 Did you have something, Bob?

17 DR. BUCHANAN: Yes; I did. Bob Buchanan.
18 I think I need to rise to the defense of the dog.
19 While not wanting to cover the earth with canines,
20 I think that the dog has its place in testing, at
21 least according to current evidence. It is the
22 only animal model that I know of that has allergies
23 similar to humans including clinical symptoms.

24 We have an article under review now in
25 JACI, Journal of Allergy and Clinical Immunology,

1 that shows that there is a hierarchy, just as there
2 is in people. So I think that it may behoove a
3 company or another interested party to use that as
4 a test if they are not satisfied with rodent tests.
5 I think the cost of that would be totally
6 insignificant compared to what has happened--so I
7 think it is something that should be considered.

8 DR. METCALFE: You have a point, Bob.
9 They do have a role. Since I will be leaving this
10 room shortly, and you will be staying in, I am sure
11 that the dog--

12 DR. BUCHANAN: I am not as persuasive as
13 other Virginians have been, but thanks.

14 DR. ATKINS: This is Dan Atkins. In
15 reviewing source materials, there appear to be two
16 different approaches. One is the weight-of-evidence
17 approach. The other is the decision-tree
18 approach. In reading these articles, you have been
19 involved in the development of decision trees. I
20 was just curious, before you leave the room here,
21 if you could give us your impression of the two
22 different approaches and the pros and cons of both.

23 DR. METCALFE: This is, of course, a huge
24 problem. It is a huge question. I would say this,
25 that if you have a decision-tree approach and you

1 have defined points where something is rejected
2 from consideration, then you are going to make
3 mistakes sometimes in rejecting something you
4 shouldn't. That is going to happen.

5 But what it does from a committee
6 standpoint is it give you, in essence, some cover.
7 On the other hand, the weight-of-evidence approach
8 should work as long as--but it puts more
9 responsibilities on the committee. Very few things
10 are absolute in this decision process.

11 The only thing I would say is a weight-of-evidence
12 approach actually puts more of a burden on
13 a committee and the FDA to look at the weight of
14 evidence and make a balanced approach. It may, in
15 the end, be preferable. I don't know. But, from a
16 committee standpoint, it really makes this
17 committee extraordinarily important because there
18 is no automatic rejection at certain contiguous
19 amino-acid sequences, unless you decide.

20 There are no automatic rejection points so
21 you can set that bar as high or as low as you want
22 it. Then, from a committee standpoint, you really
23 have to know what you are doing so you will
24 understand the difference between a protein made
25 with E. coli and protein expressed in a plant and

1 all these other subtleties.

2 If you don't know that, then you may miss
3 critical decision points. So my general comment is
4 I have no problem with it but I do think it makes
5 committees like this extraordinarily important in
6 the portion in which they look at data.

7 Does that answer your question?

8 DR. LEHRER: Another point that I wanted
9 to clarify that I think is very relevant to this
10 committee in our discussions is the amount of food--and I
11 think we need to consider it in terms of not
12 the food, itself, so much but a protein, in terms
13 of sensitizing individuals and also the amount that
14 can provoke a reaction. I know this is a tough
15 question for all the reasons that you mentioned in
16 your presentation, but could you go over that
17 again?

18 I wrote down it was milligrams to grams,
19 but--

20 DR. METCALFE: If you look at, for adults
21 and for many children, the amount of food that you
22 have to eat orally that contains the allergen--I am
23 not talking about purified allergen--is usually in
24 milligram-to-gram amounts. It is a reasonable
25 amount of food in terms of being able to measure

1 it.

2 But if you look for cases where people
3 have used purified allergen or the lowest amount of
4 a compound food that would cause an reaction, you
5 will find cases at the 1 microgram level. So, if
6 you try to set a level below which you can't
7 provoke a reaction under any circumstances by oral
8 feeding, it is probably going to be at one
9 microgram or less.

10 Some people have argued for 10 nanograms.
11 But, of course, you are talking about the
12 absolutely most sensitive child or infant. I don't
13 know if other people want to comment on this but I
14 get very comfortable at the 1 microgram level.

15 In terms of sensitization, you really have
16 a huge problem here because cross-reacting
17 allergens can be, in part, sensitizing. So I don't
18 think it is possible to set a level. I think if
19 you use a 1-microgram level for provoking, I think
20 you just accept it for sensitization. But probably
21 sensitization is a much more complex procedure.

22 For instance, we all know the tropomyosin
23 is a major allergen in shrimp. It is also in
24 cockroach. Shrimp and cockroach are more closely
25 related, as you well know, Sam, because Sam has

1 done a lot of a work on this. So sensitization may
2 be much more complex than just things that you
3 thought you had eaten.

4 So sensitization, I think, is an
5 enormously difficult thing to try to address. I
6 would only be relevant if you said, if this stuff
7 is in less than X number of nanograms that it won't
8 sensitize somebody. If you had to reach for a
9 figure there, I would probably think in the
10 microgram, nanogram, range but I would have a hard
11 time defending that.

12 DR. LEHRER: Can we glean any information
13 out of the foods that we know are major allergens
14 and the eating habits of the population; for
15 example, something like peanuts, which are exposed
16 at a relatively young age in large amounts in the
17 American population as opposed to maybe other
18 populations and which seem to be such an important
19 food allergen.

20 DR. METCALFE: There are general things
21 you can say. As a population, in general, is
22 exposed to more allergens, peanut or whatever, the
23 reactions to that go up. So there is an
24 association with exposure.

25 But if you go down to the specific, you

1 will find cases of children who had their first
2 peanut and anaphylaxed and you don't know where
3 they got sensitized. Those are the two polar ends
4 of it.

5 DR. ATKINS: The point is about 70 percent
6 of kids who are allergic to peanut have their
7 reaction on first known injection of peanut. So
8 the point is that they are probably sensitized
9 through breast milk, mom ingesting peanut butter
10 while she is breast feeding, sensitizing her. At
11 least a large percentage are sensitized that way.
12 That is what we think, unless there is some cross-reacting
13 allergen out there that we haven't picked
14 up yet.

15 So, again, if you are talking about
16 sensitization, the amount is small.

17 DR. METCALFE: This is really the issue in
18 children particularly. If you look at adults who,
19 let's say--but there are a lot of cases of adults
20 who, in their twenties or teens, first get allergic
21 to shrimp and they have been eating them regularly.
22 So they have probably had a whole lot of exposure
23 before finally something happened and they lost the
24 ability to regulate IgE to it.

25 In children, though, it is very clear. I

1 would take that data and say that it is very clear
2 that nanograms to microgram levels are sensitizing
3 those children.

4 DR. ATKINS: Right. Again, you have got a
5 special case here. Their GI tract may not be
6 mature. Their immune system is not quite mature.

7 DR. LEHRER: In those children that are
8 sensitized, possibly sensitized, to peanut via
9 mom's breast milk, have those moms been shown to be
10 eating high doses of peanuts or is there any
11 correlation with that at all?

12 DR. ATKINS: I am not aware with a
13 correlation with dose.

14 DR. LEHRER: Nothing is known about it?

15 DR. ATKINS: In regard to tolerance, we
16 don't know if it is a small amount fed frequently
17 or larger amounts at intervals.

18 DR. METCALFE: Then there is the argument
19 because this is genetically predisposed, do we do
20 children a disservice, on an epidemiologic basis,
21 if we don't expose them to small amounts when they
22 are children to tolerize. So you have a
23 counterargument that, if you go overboard on this,
24 that you will get more children sensitized and
25 there is evidence for that.

1 There is evidence that more children get
2 sensitized to peanut when their mothers stay away
3 from peanuts breast feeding, at least one study I
4 know of. So it is a moving target, really. It is
5 very difficult to make absolutes in allergic
6 diseases.

7 There are generalities that we know. I
8 think the more we know, the more difficult it will
9 become. It is not that we are going to find
10 something out that is going to solve this problem.
11 The more we find out, the more difficult the
12 problem has become over the last decade. So that
13 is why I think, going back to Dan's question, that
14 people have gone after the weight-of-evidence
15 approach, because, with time, absolutes seem less
16 absolute. But it does mean that the committee does
17 has to very informed.

18 Can I take one question back here?

19 DR. BRANDT: Yes.

20 DR. METCALFE: You had a question?

21 DR. PARIZA: I was just wondering. I
22 heard several of you say something about outgrowing
23 these allergies. What is the cellular or
24 molelcular basis for this. Does anybody know? Do
25 the plasma cells die off? What happens?

1 DR. METCALFE: No. It is tolerance. What
2 happens is you tolerize yourself through regulatory
3 t-cells and other things. There are a lot of ways
4 to tolerize and specific mechanisms in the specific
5 instance you could give. But your global question
6 is difficult.

7 Let me just make this point. You have a
8 child sensitive to milk and they have an IgE
9 response. Then, when they grow up, they are no
10 longer sensitive to milk and they probably will not
11 have IgE to the milk most of the time and they will
12 not have a TH1 response. They don't see the
13 antigen.

14 So if you look at--take something we know
15 more about, say, ragweed. If you look at people
16 that are not sensitive to ragweed, they do not have
17 a TH1 response to ragweed with gamma interferon
18 production. They have no response. They are TH0.

19 The problem with most of these strategies
20 is to try to counteract the TH2 with a TH1. What
21 you really want is to take a TH2 and make it TH0.
22 That is a very important concept because when you
23 start overproducing gamma interferon in response to
24 an allergen, then you start to get other kinds of
25 diseases.

1 DR. KAPUSCINSKI: I appreciate your
2 concerns about labeling. Do you think, though,
3 that there is any other kind of approach for
4 postmarket monitoring like some kind of planned
5 epidemiological tracking that could be done that
6 would still allow us to gather some information
7 after the fact? I guess I am interested in sort of
8 pressing on that because, given your last comments
9 about the fact that the more we know, the more
10 complex it is and the fact that there is not a very
11 good chance we are going to complete a magic-bullet
12 answer, every time I think about that, in risk
13 assessment, I find myself thinking, well, clearly,
14 then the most useful package for risk assessment or
15 risk management would be to make the best up-front
16 decision but then follow up to see if what we
17 thought was our best decision really was so, and
18 sort of prepare ourselves for--be better prepared
19 for surprises or problems, detect things before it
20 really gets out of hand.

21 DR. METCALFE: This is the best question
22 you could ask and the most difficult question to
23 answer because you could start out with a
24 dramatically different approach than is used for
25 foods. You could take a new product and you could

1 say it has to go through clinical trials, you have
2 to feed people that might potentially be sensitive.
3 How many would you have to feed? Thousands and
4 thousands.

5 And then you would have to say that, we
6 don't see a response, or, nobody got allergic.
7 Then you would release it. So that is one side of
8 the coin.

9 Then, if you don't want to do that, which
10 is extraordinarily difficult and no one wants to
11 get into, really, at this point in the world, then
12 you have to say, we are going to release it into
13 the population but we want to monitor for
14 reactions. The only way you can do that is to know
15 who it is released into, tell everybody to look for
16 the reactions, particularly physicians, and raise
17 the awareness of this.

18 Of course, you get a lot of noise. There
19 are a myriad problems with that approach. But you
20 could do it. Labeling, I think has a role. It has
21 a role in protecting against allergens in general
22 and it is always debatable, in terms of genetically
23 engineered foods because foods lose their identity.

24 But there are people and places and groups
25 that have decided that labeling, they are going to

1 try for good, bad or indifferent. I think it has a
2 role. People would have to decide what that is. I
3 wouldn't be so bold as to say that. But that is
4 the way you would have to do it.

5 Then kind of the third tier down is to
6 say, well, let's just have people self-report if
7 they have a reaction. Most of the time, they don't
8 know what they are eating. They don't know if
9 something new is introduced. That makes it as a
10 kind of safety assessment, very, very weak.

11 So those are, really, the three broad
12 things I think you are asking.

13 DR. BRANDT: There is another problem that
14 most all epidemiologists have, having been one at
15 one time, and that is that, once you let it be
16 known that you are out looking for something like
17 this, you will get flooded with people. The
18 classic case of increasing the incidence of
19 tularemia in Arkansas by a hundred-fold simply by
20 announcing that they were going to go out and look
21 for it.

22 Almost everybody that had seen a rabbit
23 had tularemia. It is very difficult to do that
24 postmarketing if you announce in advance that that
25 is what you are going to do.

1 DR. LEHRER: Just a quick question about
2 physician follow up on reactions or reported
3 reactions. A patient comes into his office and it
4 is difficult to identify. One of the real
5 problems, as I think you alluded to, is reagents
6 and availability and knowing how to trace things.

7 Do you think that, perhaps, if a panel of
8 these reagents was made available so this could be
9 used for testing such patients, this would be a
10 useful way of following it in a controlled
11 environment as opposed to--

12 DR. METCALFE: By reagents, do you mean
13 the genetically engineered form, raw extract, or do
14 you mean the genetically engineered protein
15 purified?

16 DR. LEHRER: No; the raw extract. The
17 extract in terms of whatever is being used as a
18 component in the food.

19 DR. METCALFE: There is a certain value.
20 I don't know how practical it is. If somebody came
21 into your office and said, "For the first time, I
22 am reacting to corn." And you said, okay; you
23 found out that that was engineered. So you say,
24 all right, I can call away to a certain place and I
25 can get an extract of that corn. I can get an

1 unengineered in that corn, too. I can skin test.

2 Yes; I think that has value.

3 Whether or not it is practical, because
4 there are so many things engineered, I don't know.
5 And I don't know how you vet it and purify it. I
6 don't know about liability and I don't know how you
7 would set up the system. But there would be a
8 certain value.

9 If you think about the way people make
10 skin-test extracts, I don't think that they are
11 paying any attention, engineered or not, right now.
12 You go get a corn extract from Hollister Steer,
13 they are going to the supermarket. They are buying
14 what is on the market.

15 They are not saying, wow, this is
16 genetically engineered corn. So, the stuff in the
17 bottle, most of the stuff, if it is engineered from
18 corn, it has already got the stuff in it.

19 DR. GURIAN-SHERMAN: It would have to be
20 updated over time as they are introducing new
21 proteins.

22 DR. METCALFE: The way that extracts are
23 made, if you talk to people at Hollister Steer,
24 they used to send the technician down to the
25 supermarket. That is the way they do it.

1 DR. ATKINS: The other thing, though, is
2 that these extracts are unreliable for fruits and
3 vegetables. So if you are talking about corn, you
4 would have to have them bring in the corn and make
5 up a fresh extract.

6 The point I wanted to ask you about is you
7 made it sound like challenging humans with the food
8 was going to be impossible because you would have
9 to challenge so many people. But, to me, we are
10 going to make the jump from animal models and serum
11 testing to releasing it out into the public and
12 basically exposing everybody with that.

13 So, just like we are contemplating here
14 looking at serum reactions, why wouldn't we take
15 the population of patients that we would think
16 would be at highest risk and feed them the food and
17 see what happens in that group.

18 DR. METCALFE: Let me be clear. First of
19 all, Dan, I didn't say not to do it or it was
20 unreasonable. I just said it is an option that
21 people have looked at and decided that they don't
22 want to do for various reasons. For a lot of
23 regulatory reason, statutory reasons, practical
24 reasons, everything else, this has been an approach
25 that has not been institutionalized.

1 My guess is that is not the purview of
2 this committee. But you could have a real think
3 tank about this and look at the pros and cons of
4 it. There are ethical issues. If you don't have
5 to eat an engineered food, a lot of the Helsinki
6 rules become a problem, as you know, because you
7 then have to put people to a risk that they might,
8 arguably, never have in the real environment.

9 I don't say that that is not a hurdle you
10 can't get over but when you start to look at this
11 issue, there are a lot of things that you have to
12 discuss before you would institutionalize such a
13 procedure.

14 I am not saying I am against it. I am not
15 so sure some day, in the future, people might not
16 do this if there is a huge error made in screening
17 these crops.

18 DR. ATKINS: To me, the logical problem is
19 we are going to take people that agree to do it and
20 have read the pros and cons, and we are going to
21 take that stuff out and feed it to the public
22 without informed consent. I don't understand that.

23 DR. BRANDT: Let me ask a question. For
24 seventy years, we have been genetically engineering
25 foods by hybridization and cross-breeding,

1 selective-breeding, all the other techniques and we
2 haven't seen much as a result. There have been new
3 corns put out all the time, for example, new beans,
4 new strawberries, that are not being done in the
5 lab but are being done by people out--grafting and
6 doing other kinds of things that people like that
7 do. Being a gardener, I have bought them many
8 times.

9 Yet, the allergic responses to those, and
10 the allergens--and there you are doing very gross
11 transfers and it would be easy to transfer almost
12 anything--we haven't seen all of this that I know
13 of. What is the evidence that, over the years, we--I doubt
14 if you can buy a food on the market today
15 that was there seventy-five years ago, that isn't
16 genetically engineered.

17 DR. METCALFE: I wouldn't argue with your
18 premise. I would say that it shows you that most
19 of the time that you do traditional plant breeding
20 and most of the time, fortunately so far, it looks
21 like all the time, when you approve something that
22 is genetically engineered, you have not had a true
23 allergy created that caused a problem.

24 It doesn't mean that it won't happen
25 tomorrow. That is the problem.

1 DR. BRANDT: Yes; I understand that.

2 DR. METCALFE: Obviously, the number of
3 things that cause true allergies are fairly
4 circumscribed. For all the reasons I have said,
5 there are a lot of alternative practices of
6 medicine. You can say, "I have a food allergy,"
7 and they will put you on a light box and they will
8 give you acupuncture and you can get better. A
9 lot of things just aren't real.

10 So what you really are looking is the fact
11 that it is fairly uncommon and it protects you and
12 gives you layers of a kind of security that has
13 nothing to do with your intellectual prowess or the
14 scientific prowess or just the odds of creating
15 something that is going to be allergenic is going
16 to be unusual.

17 DR. BRANDT: One more question.

18 DR. ASTWOOD: Jim Astwood. Dr. Metcalfe,
19 how do you feel about, given some of the slides
20 that you showed that a lot of the anaphylactic
21 reactions that result in death, particularly, are
22 due to unexpected exposures? That is basically
23 when someone stumbles across peanuts, they are
24 peanut-allergic, and they didn't expect it to be
25 there.

1 to do that. What you don't have is when you get
2 into the gray areas of bringing in, expressing more
3 protein from some source like some soil bacteria or
4 you bring in an allergen from something that people
5 commonly don't eat, or you are worried about
6 changing something in its endogenous expression, or
7 you are worried about some other unintended
8 consequence in some other protein.

9 That is where the real difficulty is. And
10 we know that. I think this committee--I don't
11 think you are going to see that. Nobody is going
12 to say, well, we have engineered this tomato to
13 express peanut storage proteins that are
14 allergenic. Why would you want to do that?

15 DR. BRANDT: You wouldn't sell it,
16 probably.

17 DR. METCALFE: I don't think you are ever
18 going to see that.

19 DR. LEHRER: If you do, you will never
20 sell another tomato.

21 DR. BRANDT: Let's go to lunch. Then we
22 will reassemble here at 1 o'clock.

23 [Whereupon, at 11:30 a.m., the proceedings
24 were recessed to be resumed at 1:00 p.m., this same
25 day.]

1 activity that you are after.

2 There might be some modest amount of
3 purification that goes on but, in no sense, would
4 it be the kind of instrument we would take in the
5 laboratory to study enzyme kinetics or something
6 like that.

7 So the question was there were general
8 rules, or general regulations, that said that
9 enzymes could be derived from microorganisms as
10 long as they were nonpathogenic and nontoxogenic.
11 But then they listed various organisms that could
12 be used, one of them *Bacillus cereus*, for example,
13 which we know is a pathogen that produces toxins.

14 So the issue was how do you go about
15 determining that, in fact, these enzymes are safe.
16 So we began, in 1983, Mike Foster and I--it took us
17 about three years actually to come up with the
18 paper that was ultimately published. I want to say
19 that Pete Reed, who is now deceased but who then
20 was the chief microbiologist of FDA, was quite
21 helpful in developing this as were the industry
22 people, in developing the initial concepts.

23 In 1990, the concept was expanded to
24 include microorganisms that were genetically
25 modified and then, most recently, in 2001, we

1 published the latest version of this which now
2 takes into account the potential for protein
3 engineering.

4 So I would like to discuss, then, each of
5 these and lead you to where we are today on our
6 thinking.

7 [Slide.]

8 The first paper that was published in
9 1983, the focus was for enzymes produced by
10 traditional methods from microorganisms, plants and
11 animals. Plants and animals didn't present much of
12 a issue because these were enzymes being derived
13 from plants and animals that were already
14 considered food.

15 So the focus quickly became, really,
16 primarily in microorganisms. We considered a
17 number of issues by way of discussion points. The
18 first and foremost is the safety of the production
19 strain which we refer to as the source organism
20 with particular regard to toxigenic and pathogenic
21 potential of those strains.i

22 We came to the conclusion that the enzyme,
23 itself, should not be focus of toxicological
24 evaluation because the enzymes that one is using in
25 food processing are carbohydrases or proteases or

1 enzymes that already have--so the focus, we
2 determined, should not be on the enzyme, itself,
3 because the enzymes that one typically uses in food
4 processing are not associated in any sense with
5 toxic responses in animals.

6 What you really ought to be focusing on
7 are the other things that can be in the microbial
8 preparation, the other metabolites of the
9 microorganism and the potential for toxins to be
10 associated with the other metabolites within the
11 organism.

12 So the conclusion that we reached was that
13 the enzyme, itself, is not the issue but really the
14 other things that could accompany the
15 microorganism. So it became a matter of how do you
16 determine the safety of the microorganism so that
17 it can be used as a source of enzymes.

18 [Slide.]

19 We considered a number of possible issues
20 including allergies and primary irritations. That,
21 back in 1983, quickly reduced to the idea that
22 there are allergic and irritating reactions that
23 are associated, of course, with enzymes,
24 particularly proteases, but they are limited,
25 certainly in those days, to uses where you would

1 get into inhalation. So it would be either worker
2 exposure or the potential for their use in
3 detergents and that kind of thing.

4 We were unable to find any instance where
5 an allergy had been associated with an enzyme that
6 had been used in food processing that had been
7 ingested. To my knowledge, that is still true
8 today. There are, certainly, allergies and
9 irritations that one can have from enzymes but,
10 like I say, those are primarily through worker
11 exposure in manufacturing or they are due to their
12 use within certain specific applications like a
13 detergent. That area has been largely cleaned up
14 due to the reduction of dust generation.

15 But I would like you all to think about
16 that. If I am wrong, I would sure like to hear
17 about it, but I am unaware of any instance where an
18 enzyme used in food processing has ever caused an
19 allergy.

20 DR. ATKINS: What about papain?

21 DR. PARIZA: A papain allergy?

22 DR. ATKINS: Yes.

23 DR. PARIZA: To a person ingesting where
24 papain was used?

25 DR. ATKINS: Or injected into, papain

1 injected or papain in foods. I thought that was an
2 allergen.

3 DR. PARIZA: I am not aware of it. I
4 would like to hear more about that.

5 DR. ATKINS: I just remember reading about
6 sensitivity to papain in the past. It is an enzyme
7 and it is used in food processing as a meat
8 tenderizer.

9 DR. PARIZA: The question here is whether
10 there is any residual papain to result in an
11 exposure.

12 DR. ATKINS: That is part of a meat
13 tenderizer. You would sprinkle it on the meat and
14 the meat would be tenderized and it can be
15 sensitized.

16 DR. PARIZA: I have to admit that I am not
17 familiar with that particular one. But, as far as
18 I know, if that is an enzyme sprinkled on it, that
19 would be one thing. I guess I am thinking
20 particularly of a commercial application where the
21 enzyme has been put in food.

22 DR. LEHRER: You were saying bacteria,
23 weren't you?

24 [Multiple conversations.]

25 DR. BRANDT: I have to remind you, speak

1 into the microphone. I have already been chewed
2 out once.

3 DR. METCALFE: The point is the bacterial
4 enzymes that are part of this, that was the primary
5 focus. I should say that, for example, we were
6 aware of people that--there are fungal
7 carbohydrases, for example, there are well-known
8 allergies to that in workers, but we were unable to
9 document that that occurred as a result of people
10 ingesting food that had been treated with those
11 enzymes.

12 There are reasons for this. The enzymes
13 that are used in food processing are used at low
14 levels and it is generally well less than 1
15 percent. That would be of the mixture, so the
16 actual enzyme would be much lower than that. The
17 second part of that would be that there is heat
18 processing involved and you guys would know more
19 about that than I would, but, certainly, that would
20 be a factor in all this.

21 So I think those are considerations but,
22 in terms of the microbial enzymes, I still think
23 that what I said holds. So we did consider that as
24 a factor.

25 We also looked at the issue of carcinogens

1 and mutagens, teratogens and reproductive effects.
2 These are certainly effects that are produced by
3 small organic molecules but, so far as we know,
4 proteins are not involved in these effects and
5 there is no product toxicity that you wouldn't pick
6 up as an acute effect due to a protein or an
7 enzyme, particularly an enzyme exposure.

8 We looked at the issue of antibiotics.
9 Certainly some microorganisms can produce
10 antibiotics. This needs to be part of any
11 screening assay that you are doing. We considered
12 the question of products of enzymatic reactions.
13 Again, I will refer to the original paper but the
14 issue here refers to fairly standard reactions that
15 are occurring as a result of enzymes that would be
16 fairly well known. It is not exotic enzymes doing
17 exotic things to foods.

18 Interactions between enzymes and other
19 food components was another factor that we looked
20 at as well as the issue of direct effects of
21 enzymes on consumers. Again we are talking about
22 the enzymes that would actually be used in a food-processing
23 setting.

24 [Slide.]

25 We developed a decision tree for

1 determining the safety of enzymes in this original
2 paper. It was aimed at focusing on toxigenic
3 potential, primarily of the source organism. It is
4 important here to consider that you have got
5 bacteria, yeasts and fungi and they all are
6 different and you need to consider them differently
7 when you are thinking about toxigenic potential.

8 For example, the toxins that bacteria
9 typically produce, the toxins that will produce
10 some type of an adverse reaction upon ingestion,
11 are protein toxins. They are enterotoxins. There
12 are a number that have been described. They will
13 produce a very rapid response as a result of
14 ingestion.

15 Yeast present, as far as I know, no known
16 problem because they are not known to produce
17 toxins. If you read the microbiology textbooks,
18 they all tell you that yeasts--there are certainly
19 pathogenic yeasts but not toxins associated with
20 yeasts unless, of course, you consider alcohol a
21 toxin.

22 There is another issue with these that you
23 can get into and that concerns urethane which
24 potentially is carcinogenic, but that is a separate
25 issue. It depends on how the organism is grown.

1 So that needs to be taken into account when you are
2 dealing with yeast fermentations.

3 Finally, we get into the filamentous fungi
4 and molds. Here, of course, there is a whole slug
5 of toxins that one could be concerned with, small-molecular-
6 weight toxins, that are potentially
7 carcinogens and mutagens and teratogens and so on.
8 In fact, if you want a life career as a young
9 microbiologist, just go into the mycotoxin area
10 because I don't think you would ever run out of
11 things to do. There is no end to the problems that
12 molds can cause.

13 Fortunately, there are ways of screening
14 for these. So a lot of the known toxins can be
15 readily screened for in the laboratory so you can
16 get around those problems fairly easily. The other
17 thing is that, by doing the relatively short-term,
18 say a three-month, study, one could easily
19 determine whether there was something in a mold
20 preparation which was, in fact, producing a toxic
21 response in an animal. So subchronic feeding test
22 is very useful for determining the toxigenic
23 potential of a filamentous fungi, of mold.

24 So the emphasis that we developed was to
25 do specific screening for chemical and biochemical

1 tests. Of course, in 1983, the ability to do this
2 was nowhere as near as sophisticated as it is today
3 but the idea is to do screening tests with
4 biochemical tests for toxins and to rely on animal
5 tests at the end of the game once you have
6 convinced yourself that there is nothing that ought
7 to stop you earlier. So you are relying primarily
8 on the chemical tests early on to screen out
9 potential bad actors before you get to the animal
10 tests.

11 [Slide.]

12 At the end of the day, we reached the
13 conclusion that the enzymes, per se, that are now
14 used or are likely to be used in the future in food
15 processing are inherently nontoxic and that safety
16 evaluation should focus on possible contaminants
17 which could be present.

18 Assuming good manufacturing practices are
19 followed, toxic contaminants could only come from
20 the enzyme source, itself. In other words, we are
21 assuming that the ingredients one uses ought to be
22 food grade. I think it is very important that the
23 manufacturers use ingredients in enzyme
24 fermentations that are, in fact, safe to begin with
25 and approved by FDA.

1 So, therefore, you are really talking
2 about toxic contaminants that are coming from the
3 source, from the organism, in this particular case,
4 the microorganisms that are producing the enzymes.
5 So the safety of the source organism should be the
6 primary consideration in determining the safety of
7 the enzyme preparation.

8 [Slide.]

9 So that paper was quite well received and
10 particularly the microbiologists liked it. I have
11 had long talks with toxicologists about the ability
12 to be able to do things or think about things in
13 this kind of a manner with regard to determining
14 the safety.

15 So things went along pretty well until we
16 reached the early 1990s when, by then, it was clear
17 that genetic modification was coming into the fore
18 and so this presented, then, new challenges that
19 needed to be addressed.

20 If you look at the paper, Biotechnologies
21 in Food: Assuring the Safety of Foods Produced by
22 Genetic Modification, which was published in 1990
23 produced by the International Food Biotechnology
24 Council, one of the chapters deals with food and
25 food ingredients including enzymes which are

1 derived from genetically modified organisms. The
2 enzymes was the particular part that I dealt with.

3 Incidentally, that still represents a very,
4 very, very comprehensive list of all the known
5 toxins that are associated with plants,
6 particularly plants, but there are also microbial
7 toxins listed as well, although, in that case,
8 because of the mycotoxins, that part of the list
9 could be updated.

10 But if you want to see a really
11 comprehensive list of toxins associated with
12 plants, this is an excellent source. There are
13 something like 225 toxins that are associated, that
14 were identified and discussed, at least to some
15 extent in this report and so I would refer you to
16 that as a very nice compilation of things.

17 [Slide.]

18 So the new discussion points that we
19 considered in 1990 were information on antibiotic
20 resistance genes, vectors, DNA inserts, DNA from
21 intermediate posts. These were all the things that
22 came into consideration in our 1990 presentation.

23 [Slide.]

24 We, basically, at the end of the day
25 reaffirmed the basic concept of the original

1 decision tree but we added on top of that six new
2 decision-tree questions regarding genetic
3 modification.

4 [Slide.]

5 Those are as follows: does the microbe end
6 up in the food? Is the organism free of
7 transferable antibiotic resistance genes? Does a
8 resistance gene code for resistance to a substance
9 used in the control of disease agents in human or
10 veterinary medicine? Are the vectors characterized
11 and free of attributes that would render them
12 unsafe for constructing microorganisms to be used
13 in food-grade products? Does the DNA insert code
14 for a substance that one could consider safe for
15 use in food. Finally, is the microbe free of DNA
16 from some intermediate host which could code for a
17 toxic product.

18 So these are the new questions that we
19 felt were relevant to the whole issue of using an
20 organism, a microorganism, specifically, as a host
21 for a gene that could then produce a new enzyme
22 that that organism would not have otherwise have
23 produced, would not naturally produce.

24 So these are the questions, then, that we
25 felt needed to be put on top of the original

1 decision tree to come to grips with this.

2 [Slide.]

3 This is just a rendition of what I just
4 said.

5 [Slide.]

6 So the focus of the decision tree is on
7 the safety of the organism and the products it
8 produces. It is assumed, again, that if the
9 organism is nontoxigenic and nonpathogenic, then
10 foods and food ingredients produced from the
11 organism under good manufacturing practices will be
12 safe to consume. That was a conclusion that was
13 reached in 1990.

14 Now, we have reached 2000. We have
15 reached the new millennium and we have discovered
16 there are yet--or we have put into practice, I
17 should say, yet other ways of modifying enzymes.
18 So now one needs to consider the possibility of
19 engineered enzymes that may vary slightly from
20 their naturally occurring progenitors.

21 One thing to consider in this case is that
22 the kinds of engineering that one is doing--I will
23 talk about this in a little more detail in a few
24 minutes, but the kind of engineering that one talks
25 about doing is within the variation that one might

1 normally find. We are not talking about wholesale
2 reconstruction of an enzyme, but usually a change
3 of an amino-acid sequence here or there which would
4 make the enzyme, either increase its activity under
5 some particular condition to increase its
6 resistance to heat and that kind of thing.

7 So they are relatively small changes.
8 Fortunately, there are very large databases that
9 one can use. I will refer you to the paper. In
10 fact, I think we are going to have copies of it for
11 all of you which will give you, really, a very
12 large compilation of all the databases that are
13 available for being able to consider what kinds of
14 changes are out there naturally, what kinds of
15 things one could potentially do with an enzyme.

16 The other thing about this new paper that
17 you will find; Table 1 has an enormous listing of
18 enzymes. It goes on for four pages. I thought we
19 had them all but, even with four pages, we missed a
20 couple. But at least you will find most of the
21 enzymes, virtually all the enzymes, anyway that are
22 currently in use or at least were in use as of
23 2001.

24 So you can get some feel for the kinds of
25 enzyme products that are used in this case

1 worldwide.

2 [Slide.]

3 Another part of this is that we have also
4 now come to recognize something that wasn't so
5 clear in 1983 and that is that all microorganisms
6 are, to some degree, genetically unstable. So it
7 is important to consider these factors in
8 determining the safety of the producing strain and
9 the products that it produces. This is something
10 that is very important to keep in mind.

11 [Slide.]

12 We revamped and expanded the decision tree
13 to fully encompass current industry practice and we
14 worked with the industry, the enzyme-manufacturing
15 industry, to find out what it is that is actually
16 being done because, when I went into this project,
17 I said, we don't want to be talking about things
18 that could be done or might be done or maybe were
19 done last year. We want to know what is being done
20 so that we can evaluate things based on current
21 industry practice, and so that is really where an
22 important focus is here.

23 As I say, we included an almost complete
24 list of microbial enzymes. In fact, I think it is
25 a complete list of microbial enzymes used in foods.

1 One enzyme I know we missed was a nonmicrobial
2 enzyme. So, again, we were primarily focused on
3 microbial enzymes.

4 [Slide.]

5 Now, this is a very important concept,
6 particularly with what we know about microorganisms
7 today, and that is the safe strain lineage. There
8 are strains that industry, that various enzyme
9 manufacturers, have been using for a long time,
10 producing different products, different enzymes in
11 particular, using a specific strain which is kept
12 in house, which is controlled, which is kept away
13 from contamination.

14 Those are the strains that one feels most
15 comfortable with. If you go out in the back yard
16 and you dig something up, you might think it looks
17 exactly like the one you have got in the lab but it
18 may not be. And that gets back to this whole
19 issue, again, of genetic stability.

20 If you want to go through the trouble of
21 sequencing it and showing that it is exactly the
22 same thing that you have in your lab, that's fine,
23 or in the plant, that's fine. But an important
24 consideration in terms of safety evaluation is safe
25 strain lineage.

1 If you are able to determine that an
2 organism, in fact, doesn't produce toxins, doesn't
3 produce adverse problems that one would be
4 concerned with, then you should be able to use that
5 organism as a starting point, logically, for
6 further modifications. It would make more sense to
7 begin with that than it would be to begin with
8 something that is less characterized and less well-known.

9 [Slide.]

10 So this is the decision tree. I won't
11 begin to ask you to go through all this stuff from
12 this, but this just shows you how complicated it
13 gets. But I will go through just a few of the
14 issues.

15 [Slide.]

16 Number 12 tells you that is where you will
17 end up if things don't get booted out of this at
18 any point. Number 12 says that and undesirable
19 trait or substance may be present and the test
20 article is not acceptable for food use. If the
21 genetic potential for producing the undesirable
22 trait or substance can be permanently inactivated
23 or deleted, the test article may then be passed
24 through the decision tree again. The test article

1 in this case would be the enzyme preparation, what
2 you are actually selling, not purified enzyme, per
3 se, unless you are selling a purified enzyme.

4 DR. ASTWOOD: A quick point of
5 clarification. On Number 11 there, the no-adverse-effect
6 level, is that a subchronic study or an
7 acute study?

8 DR. PARIZA: It could be either one. A
9 lot of this is based on comparative toxicology. It
10 depends on the organism. It depends on the
11 background of what you are talking about. But I
12 will come to that in a moment.

13 [Slide.]

14 This is such a long thing, I thought I
15 would split it up so it is a little more readable
16 for you, but it begins with the question, is the
17 production strain genetically modified. If the
18 answer is yes, you go on. If it is no, you go to
19 6, and we will come to 6 in a minute.

20 If it is genetically modified, then you
21 ask question like, is the production stream
22 modified using our rDNA techniques It would be
23 possible to modify an organism without that; for
24 example, through traditional mutagenesis.

25 Then if you are using recombinant DNA

1 techniques, then you go on to specific questions
2 relating to recombinant DNA. That is what 3a, b,
3 c, d and e refer to. One of these, you will see,
4 again, refers to a NOAEL, no observable adverse-effect
5 level. Short-term oral studies, we are
6 talking about studies that are designed for the
7 questions being asked.

8 If you are working with a bacterium and
9 you are worried about the potential for an
10 enterotoxin, then you design your tests in certain
11 ways. If you have organisms that have the
12 potential to produce small molecular-weight toxins--for
13 example, molds--you would design your tests in
14 other ways.

15 Of course, you first do your chemical or
16 biochemical screening before you even get to this
17 question. But these animal studies are tailored
18 and designed to go after the kinds of issues that
19 could be associated with the particular strain that
20 one is concerned with.

21 Questions about antibiotic resistance
22 gene, whether those genes are coding for drugs that
23 are related to the treatment of disease in humans
24 or in animals and other introduced DNA and whether
25 or not it is safe for constructing food-grade

1 organisms.

2 [Slide.]

3 Then we go on to the next part of it which
4 just says concerns, if the DNA is randomly
5 integrated into chromosomes, another issue that one
6 needs to consider. Is the production strain
7 sufficiently well-characterized so that one may
8 reasonably conclude that unintended pleiotropic
9 effects--that is another issue that you need to be
10 concerned with. This was first described in plants
11 where one gene can affect a whole bunch of other
12 genes.

13 That is a very important consideration,
14 particularly with eukaryotes, again in the molds
15 and things. So, again, if you have got a lot of
16 information from safe-strain lineage, it makes it a
17 whole lot easier to do these characterizations. If
18 you are working with brand-new strains, you have to
19 do a lot of work to get to the point where you can
20 be sure that you, in fact, have something that is
21 safe to use.

22 That is where 6 comes in, safe strain
23 lineage, as previously demonstrated by repeated
24 assessment via a evaluation procedure like this or
25 one that is very similar. If that is the case,

1 then, at that point, you couldn't separate it.

2 If there are still questions, then you
3 need to go on and ask, for example, is the organism
4 nonpathogenic. Is the test article free of
5 antibiotics. I know a lot of screens that one
6 could potentially do. Is the test article free of
7 oral toxins known to be produced by other members
8 of the same species? Are the amounts of such
9 toxins in the test article below levels of concern?

10 Then, the one that you asked me for which
11 is about the no-observable adverse-effect level.
12 There are a number of different tests, animal
13 tests, that we describe in here that are aimed at
14 going after the kinds of issues that might be
15 associated with the organism, source of organism,
16 that one is concerned with.

17 Again, I will refer you to the paper. I
18 think you all be getting copies so you can look at
19 this in depth regarding that.

20 [Slide.]

21 These are the issues that I think address
22 the toxicology, what I would call or what Dr.
23 Metcalfe referred to before, as the traditional
24 toxicology questions. Of course, we don't have
25 worked into this some kind of a test for

1 allergenicity, per se.

2 It is really up to you to come to grips
3 with the whole issue--that is what you are doing
4 here today--the whole issue of allergenicity. I am
5 not going to pretend to have any answers for you,
6 per se, but there are some considerations that I
7 think you need to keep in mind when you are dealing
8 with enzymes used in food processing.

9 One is the low level, the control level,
10 that one can use in this particular case and that
11 compared certainly to other proteins that are
12 present the levels are quite low. The second issue
13 is, of course, that the food almost always go
14 through some heat processing step which would
15 likely certainly inactivate the enzyme, would
16 likely denature other proteins that are in there,
17 too, to some extent.

18 The other important question is the whole
19 idea of safe strain lineage because, generally, at
20 least the kinds of enzymes that traditional
21 manufacturers are going to produce today to build a
22 food, are going to be enzymes that are coming from
23 organisms that they have used over the years and
24 they have made modifications here and there to
25 improve enzyme yield, or they might not be

1 engineering those enzymes to--making very small
2 modifications to increase the ability of the enzyme
3 to tolerate heat, and that sort of thing, maybe
4 change some of the substrate specificity.

5 Again, the changes that are being made are
6 very conservative and within the range of what one
7 would find in nature. That is an important
8 consideration. It is certainly an important set of
9 questions to ask and that is what this is all
10 about.

11 So, I don't think the allergenic potential
12 for food-processing enzymes should be a real top
13 priority for you compared to some of the other
14 things you have heard about today.

15 So, at that point, I will stop and ask for
16 questions.

17 DR. BRANDT: Questions?

18 Questions of Clarification

19 DR. BUCHANAN: Yes; I have a question.

20 Bob Buchanan. Approximately how many enzymes have
21 been added to food and none of which has yet been
22 shown to cause an allergy?

23 DR. PARIZA: The only exception I can
24 think of is the papain story. I guess the issue is
25 whether it is really the papain or something else

1 that might be in there. With that exception, and I
2 have to admit I am embarrassed and I should know
3 more about it.

4 In terms of microbial enzymes, you are
5 talking--well, you can look at the list. I didn't
6 count them, but I am going to say there are
7 certainly well more than 100 here. You will have
8 this paper very soon. There are many, many, and
9 there have been more added in the last ten years.
10 But they are generally from the same organisms.
11 These are new enzymes that are being used but there
12 is not a big change in the strains.

13 DR. BUCHANAN: Even so, they are different
14 proteins.

15 DR. PARIZA: Yes. That is another
16 important consideration. People think that,
17 because they call an enzyme by a certain name, that
18 if the enzyme comes from another organism it is the
19 same enzyme. That is not true. We know that. The
20 protein structure can certainly change.

21 DR. BRANDT: Other questions? Thank you
22 very much.

23 We need Dr. Maryanski.

24 DR. LANE: If I am guessing right, he is
25 scrambling from the auditorium to here. He wanted

1 to see how the presentation was coming in.

2 DR. MARYANSKI: I just spent a little time
3 in the hinterlands, meaning the auditorium. I
4 would suggest that we do try to speak into the
5 microphones and one person at a time. It is
6 difficult for the people in the auditorium to hear
7 otherwise.

8 So I will try to use a louder voice and
9 hope it holds up.

10 DR. BRANDT: I just want to remind
11 everybody that I have now been sensitized. So, the
12 next time you don't use the microphone, I am going
13 to have an anaphylactic--please use the microphone.

14 FDA Food Biotechnology Policy and Current
15 Approaches to Allergenicity

16 DR. MARYANSKI: Thank you very much, Mr.
17 Chairman. Good afternoon, ladies and gentlemen.
18 Again, on behalf of all of us who have worked and
19 put this meeting together, we really appreciate all
20 of you taking the time from everything else that is
21 very important to you to come and help us out with
22 this. We look forward to working with you over the
23 next couple of years, actually, hopefully.

24 This is a first meeting. We want to
25 provide you with enough background so that you have

1 a good sense of how we have got to where we are
2 today. So part of my presentation is going to be
3 quite old information for a number of you, but we
4 thought it was important to give you a sense of
5 what our policy is, the point we have reached today
6 and why we are where we are.

7 Then I will also give you some information
8 about what our current policy is. So this is all,
9 again, by way of giving you some background
10 information so that you will have that as you bring
11 your discussions.

12 [Slide.]

13 The Food, Drug and Cosmetic Act, as I
14 think you have probably understood by now, is the
15 statutory authority, the legal basis under which we
16 work and that really guides everything that we do
17 in the sense of what we can do and what we cannot
18 do.

19 The Act is very broad. I won't go into
20 all of its provisions but it has basically been in
21 place in essentially its current form since 1938.
22 So it has been around a long time. It has been
23 amended many times, as you heard earlier, in 1958,
24 to give us authority to approve the food additives.
25 But the Act is very broad. It gives us both

1 authority over the safety of foods and sets the
2 standards for those foods. It also gives us
3 enforcement action, to take action if anyone or any
4 product violates the Act.

5 We base our policies and our regulations
6 on the best science that we have at the time. That
7 is one of the reasons that we are all here today,
8 to examine what the science is in a particular
9 area.

10 Our authority is about products that are
11 in interstate commerce and products, that means
12 products that are imported into the United States,
13 products that are moving within the United States.

14 We do not regulate research. I think that
15 is an important point but it is also important to
16 understand that developers tend to come in and see
17 us early in the process and we encourage them to do
18 that. So we have a number of interactions at the
19 research level, but we do not have authority to
20 regulate research in the development of food, food
21 ingredients.

22 Of course, our mission is to ensure a safe
23 and wholesome food supply. I think I will
24 emphasize the fact that, while we talk about
25 biotechnology a lot and I, in particular, talk

1 about it a lot, we are not proponents of the
2 technology or the products. Our role is protecting
3 public health. So that is our mission.

4 [Slide.]

5 You have already heard about this. I am
6 going to go through this very quickly now, but just
7 to give you a sense of what our authority covers.
8 There are three agencies, federal agencies, that
9 are primarily responsible for the safety of food
10 produced by biotechnology, FDA, EPA and USDA.

11 We, of course, are responsible for most
12 foods. Meat, poultry and certain egg products are
13 regulated by the Department of Agriculture and
14 USDA. FDA regulates everything else in the grocery
15 store, so all the other packaged foods, all the
16 fruits and vegetables, all fall under FDA's
17 authority. So, in terms of crops, the foods
18 derived from crops all fall under FDA's authority.

19 USDA, in terms of products produced by
20 modern biotechnology, is primarily responsible for
21 ensuring that those crops are not plant pests and
22 that those products can move into the country as
23 plant products. So their oversight takes into
24 account most of the environmental issues that might
25 be thought about for these products.

1 So, as you heard earlier, we defer to USDA
2 on most environmental issues. The growing of
3 crops, you might think of as primarily being under
4 USDA and the safety of those foods, feeds, derived
5 from those crops is FDA.

6 [Slide.]

7 EPA has authority to regulate pesticides
8 under both FIFRA, which is the Federal Insecticide
9 Fungicide Rodenticide Act but, also, under the Food
10 Drug and Cosmetic Act. EPA sets tolerances for
11 safe levels of pesticides or exemptions from
12 tolerances including tolerances and exemptions
13 under the Food Drug and Cosmetic Act for pesticides
14 and foods.

15 So, if you think of biotechnology corn,
16 for example, where it is a BT corn, you have the BT
17 as a pesticide trait. It is a characteristic that
18 has pesticide properties. So that trait, the BT,
19 falls under EPA. They do the safety assessment of
20 BT.

21 USDA has authority over the growing of
22 that crop during the field testing and the
23 exception from their regulations for commercial
24 growing. FDA has authority over the corn products
25 that would be used, say, as high-fructose corn

1 syrup or animal feed that are derived from those
2 corn plants. So, in the case of a BT corn plant,
3 all three agencies have some authority over that
4 product.

5 [Slide.]

6 In 1992, we published what we call a
7 policy statement. This was our attempt to answer
8 questions that were coming to us early in the
9 development of crops produced by modern
10 biotechnology. Companies were at the point where
11 it was obvious they were going to eventually want
12 to market foods derived from these crops. They
13 knew this was a new technology and so they were
14 asking us questions about what would be the legal
15 basis for how these foods would be regulated and
16 what would be the safety testing that would be
17 needed to ensure that these products were safe for
18 the public.

19 The '92 policy, which is available on our
20 website, was our effort to answer those questions.
21 We set out the legal basis for how we regulate
22 foods. We explained the various provisions of the
23 Act that apply to regulating foods and food
24 ingredients but, more importantly, we set out the
25 issues that we thought should be taken into account

1 for safety of these products.

2 We did that through both text and a series
3 of decision trees that explain what the issues are.
4 We do not describe specific tests. We simply
5 indicated what kinds of questions should be asked.
6 That was done so that developers would have the
7 advantage of our guidance early in the process
8 before the products came to market.

9 This policy statement covered fruits,
10 vegetables and grains, basically foods that are
11 derived from crops, and it applied to all methods
12 of plant breeding. We did this for the purpose of
13 answering questions about modern biotechnology--that is, the
14 use of recombinant DNA techniques, but
15 we thought that these products should meet the same
16 standards that apply to all other foods.

17 If a food is derived by conventional
18 hybridization, or embryo rescue, or some clonal
19 selection or recombinant DNA, those foods should
20 all meet the same standards under the Act. So the
21 '92 policy really is about all foods derived from
22 crops but intended to answer the questions about
23 the use of rDNA.

24 When I speak of foods, unless I
25 specifically mention feeds, I am also speaking of

1 feeds. Feeds are included in our definition, our
2 legal definition, of food. So the policy does
3 apply to both foods and feeds.

4 [Slide.]

5 I am going to give you a little bit of a
6 sense of just what are the very broad-brush legal
7 tools that we have to ensure the safety of foods.
8 There really are two provisions. Foods, under the
9 Act, are not subject to a requirement for review or
10 notification or an approval by FDA before they are
11 placed on the market.

12 The first time kiwi, for example, was
13 introduced into U.S. grocery stores, no one was
14 legally required to tell FDA about that. On the
15 other hand, the Act does set out the safety
16 standards for foods so the developer, or the
17 sponsor who is putting that product on the market,
18 has a legal duty, under the law, to ensure that
19 that food is safe.

20 FDA has enforcement authority to take
21 action if that product is not safe. If that
22 product violates the law in some way, then we have
23 the authority to take action to prevent that
24 product from continuing in the marketplace. We
25 even have authority, under some circumstances, to

1 initiate criminal prosecution if someone breaks the
2 law.

3 So the system works for foods in the sense
4 that a developer does not want to put a product on
5 the market that would be called into question in
6 terms of its legal status or that FDA would raise
7 questions about. A company who is buying a product
8 wants to make sure that any product they buy from a
9 developer meets all the provisions of the Act so
10 they will ask, is this okay with FDA. So that is
11 built into the system and it is why this system
12 works effectively.

13 We do have premarket authority for food
14 additives, as you heard Dr. Rulis mention earlier.
15 In 1958, we were given authority and the
16 requirement to assure that any substances that were
17 added to food or were intended to become components
18 of food did undergo premarket review and approval
19 and the issuance of a regulation by FDA before they
20 were used in food, but there is, as you heard, an
21 exemption for those substances that are generally
22 recognized as safe.

23 Of course, there are many substances that
24 are in the marketplace under that exemption.
25 Things like salt and vinegar and pepper and other

1 common things added to food were generally thought
2 to be generally recognized as safe. Congress
3 provided a mechanism for newer substances to be
4 considered safe if there was this wide recognition
5 among experts that the substance was safe for use
6 in food.

7 Just to show you how we have applied this
8 to bioengineered foods, we have said that, if a
9 gene is introduced into a crop plant and that gene
10 then results in a protein, for example, or some
11 other substance that is new to the food, that
12 substance will be treated as a food additive if
13 there is not a basis to consider it generally
14 recognized as safe.

15 So this is our legal tool to be sure that,
16 if there is any modification of the food that
17 introduces a substance that, in fact, should be
18 reviewed as a food additive, we have that authority
19 to do so.

20 What we have seen to date have been
21 mostly, almost entirely, metabolic enzymes that are
22 very similar to enzymes that are components of food
23 already. So we have only used the food-additive
24 authority one time, at this point, and that was at
25 the request of Calgene when they were developing

1 the Flavr Savr tomato, which was the first product
2 we were asked to review. They wanted to be sure
3 that that product was shown to meet the highest
4 standard it could meet under the Food Drug and
5 Cosmetic Act.

6 So they actually asked us to regulate that
7 kanamycin-resistant enzyme in the tomato as a food
8 additive. So we did not regulate the tomato as a
9 food additive, but that one substance which was the
10 only new substance in that tomato. So there is a
11 food-additive regulation for the enzyme that is
12 produced by the kanamycin-resistance marker gene.

13 But, to date, we have seen a very narrow
14 class. That is one of the things you will probably
15 hear from us several times over the next couple of
16 days is that, at this point, we are looking at a
17 very narrow range of the possible proteins that we
18 might be dealing with. I think that is an
19 important consideration.

20 We did issue, as I said, guidance to the
21 industry. That basically gave them a yardstick to
22 know if they were meeting the expectations that we
23 would have for safety testing. We recommended that
24 companies come in and consult with us. We said
25 this is new technology. It is important that we

1 know about these products before they go to market
2 even though there is not a legal requirement for
3 companies to come in.

4 Our experience has been that, as far as we
5 know, and as far as anyone has been able to report
6 to us, all the products that have gone to the
7 market in the U.S. have been through FDA's
8 consultation process before they have gone to
9 market. We also, in the '92 policy, laid out our
10 preliminary thinking on the labeling of products.
11 I won't say much about that except to say that any
12 characteristics that are new to the product, that
13 make that product substantially different, would be
14 required to be labeled to disclose that difference.

15 So, if there is a new allergen in the
16 food, that would have to be disclosed in the
17 labeling. If there is a nutritional difference
18 that is different from what the consumers expect,
19 then that would have to be labeled. The consumer
20 has to know how to cook the food or prepare the
21 food in some different way. That information would
22 have to be labeled.

23 [Slide.]

24 We did establish, as I have said, a basis
25 for companies to come in and talk to us. We really

1 started out wanting to make sure that we were
2 operating, treating everyone internally, by some
3 standards. So we developed some internal operating
4 procedures which really became our consultation
5 procedures. We made those public so that everyone
6 would know how we were operating.

7 Those were put out in 1996. They were
8 based on the experience that we had had up to that
9 point in developing our 1992 policy, the evaluation
10 that we did on the Flavr Savr tomato and other of
11 the first products that came to market shortly
12 after our first decision in 1994.

13 We had some meetings of our Food Advisory
14 Committee in 1994 where we discussed our policy and
15 our scientific approach with the committee and we
16 used the Flavr Savr tomato and other products as
17 examples of products that were evaluated under the
18 approach we had put out. At that time, the
19 committee felt that, for the types of products we
20 were seeing at the time, that that was a reasonable
21 scientific approach for assuring that these
22 products would be as safe as other foods on the
23 market.

24 One thing that we have always encouraged
25 developers is to come to see us early and often.

1 That is very important when products are new. We
2 don't expect them to come in on products that we
3 know, that we are very familiar with, and they are
4 familiar with what needs to be done to assure that
5 they meet all the provisions of the Act. But when
6 something is a new product, has new traits, new
7 characteristics, then it is important that they
8 come in very early in the process so that our
9 scientists can have a dialogue with their
10 scientists about the issues that need to be
11 examined and the appropriate tests that would be
12 carried out.

13 [Slide.]

14 I want to give you just some general ideas
15 about some of the issues we have thought about in
16 developing our guidance to industry on safety
17 testing. If you think about it was about 1989 when
18 Calgene started to ask questions about the Flav'r
19 Sav'r tomato and other companies were also coming in
20 at that time.

21 We realized that they were asking us a
22 question we really hadn't been asked before. We
23 are very used to dealing with food additives and
24 other ingredients that are added to food. But we
25 were being asked about a whole food. As I told

1 you, there is no requirement for new varieties of
2 corn and soybeans and potatoes to come to FDA
3 before they go to market.

4 But now companies were saying to us, we
5 have a new tomato, for example. We want to know
6 what kind of testing will show that it is safe for
7 people to eat. That was really a new question for
8 us in the late '80s. So we had to decide how to go
9 about that.

10 We weren't the only ones. This was being
11 discussed in the international community as well.
12 But one of the things that we decided, after
13 looking at the kinds of products, was that these
14 were basic food crops, fundamentally. They had
15 been modified using recombinant DNA techniques to
16 introduce new traits into those crops, but,
17 basically, it was still corn, potatoes, soybeans,
18 and so forth.

19 So we weren't really dealing with an
20 entire new entity. We were dealing with new crops
21 with new traits. So we thought that the best way
22 to approach that would be to compare the new
23 variety with its traditional counterpart. This was
24 for the purpose of identifying, first of all, what
25 is different about the new product compared to what

1 has gone on before it, so that we can make sure
2 that any differences that have been introduced are
3 safe, and then, secondly, to make sure that the
4 food still is what you would expect it to be for
5 that particular crop.

6 This required a different approach. For
7 food additives, we were very used to characterizing
8 the additive and using a series of toxicological
9 tests to establish its safety. But it was obvious
10 from other things we had learned, from protein
11 supplements and other complex mixtures, that a
12 substance such as a tomato or a potato or corn that
13 is, in fact, a complex mixture of chemicals, would
14 not work as well in the traditional kinds of
15 toxicological battery of testing.

16 So we worked out a different approach that
17 takes into account several different kinds of
18 information. The first is really the screen that
19 plant breeders do all time with new varieties.
20 Plant breeders look at the agronomic
21 characteristics, the growth of the plant, the
22 setting of seeds, flowering of the plant, the yield
23 from the plant, how it grows in different regions.
24 That is the first screen and that still occurs with
25 products produced by modern biotechnology just as

1 it does with conventional varieties.

2 That is one of the mechanisms that
3 developers have to screen out the so-called
4 unintended effects. They occur by all methods of
5 plant breeding.

6 But we also have new tools in terms of
7 molecular analysis now. We know much more about
8 the traits that are being introduced into the
9 plant. We know what the gene is. We know the
10 function of that gene. So we can focus safety
11 assessment on the new characteristics of the plant
12 based on what that substance is.

13 We also, then, look at other aspects of
14 the food. Has it been changed in any ways with
15 respect to nutrition. Does it still have the same
16 vitamins, the same minerals, the same components of
17 the plant in terms of toxins, antinutrients or
18 nutrients that are expected for that crop. Each
19 crop, of course, is different.

20 It is taking all of this information into
21 account that gives us a picture of is this product
22 safe in terms of the changes that have been made in
23 the product as well as is this food still basically
24 the same food in addition to those changes.

25 We do not run, normally, toxicological

1 tests because of the difficulties of testing whole
2 foods. But, nevertheless, if this information does
3 not resolve all of the questions, then one could
4 design an animal test, for example, to answer a
5 specific question.

6 That is sort of, in a nutshell, the basis
7 of safety assessment.

8 [Slide.]

9 But, just to give you a sense, while I say
10 we don't generally do toxicological testing, that
11 is not to say that we would never do it. In fact,
12 there would be circumstances where we would. If
13 there is a really new substance in the food that we
14 don't have any knowledge about its ability to be
15 consumed safely, then that substance would need to
16 be subjected to the more traditional kinds of
17 toxicological tests. We haven't run into any of
18 those, so far.

19 [Slide.]

20 This is just to give you a sense of some
21 of the major elements of the safety assessment and,
22 again, to emphasize that what we are looking at is
23 both the intended change in the plant--that is, are
24 there new substances that will be in the food and,
25 if so, what are they, what is their structure and

1 function, and do they come from a source that would
2 create questions about allergenicity.

3 This is really where we are focusing much
4 of our discussion these two days; can we digest
5 this substance. Is it consumed normally and how
6 much do we eat. These are standard food-safety
7 questions. There is nothing exotic about these
8 questions for bioengineered plants. They are the
9 same questions we would ask for a non-bioengineered
10 plant.

11 But we also take into account unintended
12 modifications because we know that unintended
13 changes occur by all methods of plant breeding. As
14 I have said, it is something breeders have to deal
15 with normally.

16 So, in addition to the screen that
17 breeders usually do, we also have the ability now
18 to make sure that the genetic material is stably
19 incorporated. This is one way of making sure that
20 changes don't continue to occur in successive
21 generations.

22 We also expect companies to look at the
23 composition for these nutrients and toxicants to
24 make sure that, basically, the food is what we
25 expect it to be. This is another way of monitoring

1 for changes that would have occurred in the food in
2 addition to all of those things that the developer
3 looks at in terms of how the plant grows in the
4 field.

5 So it is taking into account all of this
6 information, then, that gives us a sense of whether
7 this food is as safe as other foods that are on the
8 market. That is just to emphasize the fact that
9 the developers have the first stage. That is just
10 an example of just a few of the characteristics
11 that are examined for soybeans, in terms of their
12 agronomic characteristics.

13 [Slide.]

14 This is a slide to really emphasize--we
15 talk about consultations and we have often said
16 that companies submit a summary of data to us as
17 part of these consultations. I just have two quick
18 slides here to show you that this is not a postcard
19 to FDA. When we say that companies are providing
20 us information about their safety review, we do not
21 ask them for all the raw data. But we do ask them
22 for enough data to show what kind of issues they
23 have addressed, what kinds of tests they have done
24 and what the results are that they have found.

25 [Slide.]

1 These are just examples. So a submission
2 on a consultation will be, say, 100 to 200 pages,
3 just in round numbers. So we are not talking about
4 a letter to FDA saying, "I am going to market with
5 this product." This is the culmination of
6 discussions with our scientists about the testing
7 on these products.

8 [Slide.]

9 This is just to give you a sense of the
10 fact that there are a number of major crops that
11 have been developed by recombinant DNA. We have
12 beet, canola, corn, cotton, potato, soybeans, flax,
13 radicchio, squash and tomato. So there are about
14 ten crops there, but some of them are very major
15 crops. So the techniques are being used to a
16 limited basis in terms of the breadth of the food
17 supply but some of these are very major components
18 of foods.

19 And the number of traits is also
20 relatively limited at this point. There are many
21 products that are resistant to various pests and
22 disease as well as tolerant to chemical herbicides.
23 We have several products that are modified--vegetable oils--
24 but most of them are, at this
25 point, for agronomic traits.

1 So, in terms of how we look at these, and
2 there was a question raised this morning about
3 reasonable certainty of no harm, we are looking at
4 the safety of a food here. The standard that we
5 expect developers to meet is to show that the new
6 food is, in fact, as safe as other foods on the
7 market.

8 So it is a little bit different standard
9 than for the specific food additive. This is not a
10 comprehensive review where we look at all of the
11 data and we establish an administrative record for
12 that data and a regulation which is the process for
13 food additives.

14 This is a process that is one where we
15 satisfy ourselves and our scientists that the
16 company has addressed all the scientific questions.
17 We reach a point where we are satisfied that there
18 is no scientific issue related to the safety of the
19 food for human consumption that has been left
20 unresolved.

21 [Slide.]

22 In 1999, we conducted some public
23 meetings. This is a picture of an exciting meeting
24 we held in Oakland, California. We held three
25 meetings and the purpose of these meetings was to

1 listen to the public. At that time, we were
2 getting an increase in the number of questions
3 about these products from the public and we also
4 wanted to have an opportunity to explain to the
5 public what we were doing, what our policy had been
6 up to that point.

7 But we really needed to hear what the
8 basis was for the concerns that were being
9 expressed. At these public meetings, we had panels
10 in the morning and afternoon, one on scientific
11 issues, one on public-information issues, including
12 labeling.

13 There were a number of panelists and
14 speakers. We had the panelists and, of course, we
15 had public speakers at each of these meetings. We
16 had written comments submitted. This was a very
17 important process.

18 One of the things that we learned from the
19 public meetings is that there was no information
20 presented to us that would question the safety of
21 products that had been through FDA's consultation
22 process. There was a lot of concern about the fact
23 that that process was a voluntary one in the sense
24 that companies were not required to come to FDA for
25 these consultations. That was something that the

1 public was really not comfortable with.

2 Now, the Food Drug and Cosmetic Act is not
3 voluntary. I think it is important to understand
4 that. But it is voluntary for companies to
5 actually come in and consult with us. Calgene
6 could have put the Flav'r Savr tomato on the market
7 at any point they had decided to do that, except
8 for the fact that they had asked for a food-additive
9 regulation for the enzyme. But,
10 basically, the point is that they were not legally
11 compelled to come to us. But that is something the
12 public was not comfortable with.

13 So, as probably most of you know, we have
14 proposed to make the current consultation process
15 mandatory, to require companies to notify us 120
16 days prior to marketing. We would still continue
17 our normal consultation process but the final step
18 of actually submitting the information about their
19 safety assessment to us would become mandatory.

20 We heard some other things, too, from the
21 public meetings. One of the things we heard was
22 that there may be products in the future that will
23 be more complex than we have had up to now. We, of
24 course, are aware that the science is advancing.

25 One of the messages that we got from our

1 earlier 1994 food advisory committees where we
2 looked at Flavr Savr tomato and other products was
3 that the committee members, after hearing about all
4 the data that had been developed on the Flavr Savr
5 tomato said to us, this is very interesting, it was
6 very good exercise for the first product.

7 They thought that FDA and the industry did
8 a very good job in terms of all the scientific
9 tests and the evaluation of those tests. But they
10 also recognized that, in fact, that product did not
11 raise any substantial public-health issues and they
12 actually suggested to FDA that, for products that
13 were similar in nature, that we might want to have
14 a more abbreviated process.

15 That was the genesis of our consultation
16 process because we agreed, based on the types of
17 products we were seeing, that this consultation
18 would be an appropriate level of oversight given
19 the kinds of products we were seeing, always with
20 the recognition that, if a product had different
21 characteristics that raised particular scientific
22 issues, that it should undergo an appropriate level
23 of review.

24 But, from the information we heard at the
25 public meetings, we realized that it is important

1 that we take steps to keep up with the science.
2 The forming of this subcommittee is one of those
3 steps. We have this committee established so that
4 we can bring to this subcommittee questions about
5 the science that we are dealing with at the time.

6 By having the committee established, that
7 gives us an easier mechanism to do that on a more
8 routine basis.

9 A question?

10 DR. ATKINS: Dan Atkins. I have a
11 question. Is 120 days adequate? Maybe in this
12 environment, where there are fewer applications,
13 but what if there are more? Can you keep up with
14 the load if that increases, et cetera?

15 DR. MARYANSKI: Yes. And that is
16 something that we have thought about. Based on our
17 best projections in terms of what we expect
18 development to be, we do think that 120 days is
19 probably going to be an appropriate time frame.

20 This is a proposal. It is open for
21 comments. I should say that we have received
22 something over 100,000 comments. We have now
23 distilled those comments down, so we are actually
24 beginning to review the comments. But that is one
25 of the issues that we will be looking at in terms

1 of moving toward a final rule on this.

2 DR. BUSTA: Frank Busta. Earlier you
3 indicated that any kind of new variety is assessed
4 in the same fashion. If there is a new variety of
5 barley or wheat, that you would run--that any
6 variety, generated in any way, would be evaluated
7 by FDA.

8 DR. MARYANSKI: No. Our '92 policy does
9 cover all new varieties of plants in the sense that
10 we set out what the legal standard is and what we
11 would think the questions we be about safety. What
12 we have said is we want companies to consult with
13 us on the specific use of the new technologies. So
14 we do not have companies coming in to talk to us
15 about varieties that are developed with
16 conventional techniques.

17 What we are saying is they have to meet
18 the same legal standards under the Act in terms of
19 the foods that are placed on the market. But we
20 are only asking companies to come to us who are
21 using the newer techniques. We have had, in fact,
22 once or twice, companies come to us and say, "I
23 haven't used recombinant-DNA techniques but I have
24 a question about a new variety," and we can do the
25 same kind of consultation.

1 DR. BUSTA: This is only for bioengineered
2 foods and not the other?

3 DR. MARYANSKI: The actual consultation
4 process is set up for bioengineered foods. The
5 reason for that is because they all raise a similar
6 set of questions. We wanted to establish this
7 process so that the companies--we would treat
8 everybody the same.

9 Yes?

10 DR. LEHRER: Sam Lehrer. I have a
11 question about the notification in terms of the
12 process, itself. The notification occurs and then
13 what happens after that?

14 DR. MARYANSKI: There are two steps to the
15 process in a broad sense. The first step is the
16 early consultations where we have a scientific
17 dialogue between our scientists and the company
18 scientists in terms of design of tests and so
19 forth. At the point where the company believes
20 that they have done all of the testing that needs
21 to be done to market a safe product, we ask them to
22 submit that information to us, information that
23 explains what they have done, not all of the data
24 but information that is sufficient to give our
25 scientists a sense of what they have actually

1 found.

2 Once we have reviewed that and we are
3 satisfied that we have no further questions, we
4 send them a letter that says essentially that,
5 that, based on what you have told us about this
6 product, the testing that you have done, we have no
7 further questions.

8 As you may have had Dr. Rulis say this
9 morning, our letter also says--we remind them that
10 it is their continuing responsibility to ensure
11 that that product meets the provisions of the law.
12 So, on other words, the burden is always on the
13 developer for a food to ensure that that product is
14 safe and wholesome.

15 Our review gives us the comfort that they
16 have done all the things that we think should be
17 done before that product goes to market. So this
18 is a different kind of process than a food-additive
19 review process.

20 DR. LEHRER: You also have the option of
21 not agreeing?

22 DR. MARYANSKI: Yes; we do not issue that
23 letter until we are satisfied that all the
24 questions have been addressed.

25 Now, this morning you heard about eighty

1 consultations and fifty that have been final. Just
2 to give you a little clarification, some of those
3 are recent submissions that we are just beginning
4 to review. Some of them are very old, products
5 that companies have probably given up on and will
6 never complete for various reasons.

7 DR. BRANDT: Are you through? Or do you
8 have other--

9 DR. MARYANSKI: I have just a couple of
10 slides on our allergenicity approach.

11 DR. BRANDT: Fire away.

12 DR. MARYANSKI: Okay.

13 [Slide.]

14 Now I want to just give you an overview of
15 the approach that we have been using to assess the
16 likelihood that a new protein would be an allergen;
17 in other words, to make sure that we are not
18 introducing any new allergens into foods. I think
19 you have heard that virtually all allergens are
20 proteins. On the other hand, there are thousands
21 of proteins that make up the food supply and, at
22 least as far as we know, only a small percentage of
23 proteins are found to be allergens.

24 In terms of the use of recombinant-DNA
25 techniques, that means transferring genetic

1 material from one source--it can be any source,
2 plant, animal, microorganism--to a food crop. That
3 genetic material often results in the production of
4 a new protein that may even be present in the
5 finished food--not in all cases, but in a number of
6 cases.

7 So the question is will these proteins be
8 allergens. That is really what we are here to talk
9 about over the next couple of days.

10 [Slide.]

11 We have been talking about this for a long
12 time, as you can see from this slide, and we expect
13 to be talking about it for a good bit longer.

14 Just to remind you again, in terms of
15 developing our draft guidance, we see this as the
16 beginning of that process. And so we are looking
17 for your initial thoughts on this and we will be
18 back to talk to you more about this.

19 But, in our 1992 policy statement, we
20 recognized that this was a very important component
21 issue for safety assessment. What we said at that
22 time was we thought about the fact, as Dr. Metcalfe
23 said earlier, there are certain foods that are
24 commonly allergenic such as fish and milk and
25 soybeans and so forth.

1 We thought that, well, if someone removes
2 genetic material from that source, they could
3 remove material that would encode for an allergen.
4 Now, obviously, there are many genes in that plant
5 and there are many genes that will not be an
6 allergen, even in a plant that is known to produce
7 allergic reactions, but we thought that our first
8 approach should be to assume that, in fact, an
9 allergen has been transferred for something that is
10 commonly allergenic unless the scientific
11 information can demonstrate otherwise.

12 This is to make sure that there is not
13 really going to be an allergen that we know would
14 create a serious reaction from something like
15 peanut, for example, transferred into another food
16 crop. Our sense is that no one is going to
17 transfer any genetic material from a crop such as
18 peanut because we know about the seriousness of
19 those reactions.

20 But we knew about genetic material based
21 on the source of the gene in terms of if that
22 source was a material that produces allergic
23 reactions. We knew that was a concern in 1992.
24 The harder question at that time was, well, what
25 about most of the genes we are seeing in

1 bioengineered foods which really don't come from
2 these sources. We didn't have any that come from
3 those sources. They come from bacterial sources or
4 plants that are not food sources.

5 So, at that time, we simply asked for
6 comments. We didn't get very many. But we did do
7 some other steps to make sure that we were
8 addressing this based on the best science that we
9 had at the time. The three agencies convened a
10 scientific conference that was held in Annapolis
11 when we convened a group of food allergists from
12 around the world, actually. We looked at this
13 issue and they gave us some suggestions about how
14 to deal with it.

15 We also discussed this approach with our
16 Food Advisory Committee back in 1994 in terms of
17 establishing our policy and our evaluation of the
18 first products that had gone through the system.

19 [Slide.]

20 So the approach that we are using today
21 was established back in about 1994. That approach
22 involves comparing a new protein with proteins that
23 are known to be food allergens to make sure that a
24 protein that is now introduced into a food crop
25 does not have any of the characteristics that are

1 known for food allergens. That involves, of
2 course, looking at the source of protein to be sure
3 that it doesn't come from a source that is known to
4 produce food-allergy reactions and also looking at
5 its sequence to be sure that it is not similar in
6 its sequence, both in terms of its overall sequence
7 and in terms of what they call epitopes which are
8 the regions that may be binding to IgE and protein,
9 to make sure that there are no known matches to the
10 protein and to look to see if that protein is
11 readily degraded by acid, by digestive conditions
12 and so forth.

13 That, as you have heard, is not a
14 definitive test. But proteins that are readily
15 digestible, for the most part, usually are not food
16 allergens. In the area of allergenicity, as you
17 may have already gotten a sense, there is an
18 exception to everything that one might put forward
19 as a general principle. So you always have to keep
20 that in mind.

21 But the idea here was that, in taking into
22 account a number of different kinds of information,
23 altogether, that that would basically give us more
24 confidence that this protein is not likely to be an
25 allergen.

1 What the experts said to us is that, in
2 terms of these proteins derived from bacteria, we
3 can't say that a protein will never be an allergen.
4 But they didn't expect that most proteins would be
5 and so they felt that this was the best scientific
6 approach that we had at this time.

7 Obviously, if the protein is derived from
8 a source that we know to be allergenic, then there
9 is a different approach and there is a sound
10 scientific approach that can be used using sera
11 from patients that are sensitive to that particular
12 source.

13 [Slide.]

14 In fact, I will start at the bottom with
15 the example. We had a product that was developed
16 and it was a soybean in which a gene from Brazil
17 nut was introduced. It was a gene for the 2SL
18 human protein which is a gene that confers a
19 storage-protein characteristic to make a storage
20 protein in Brazil nut.

21 We know that certain individuals are
22 allergic to Brazil nut. Steve Taylor's group at
23 the University of Nebraska looked at this product
24 that was developed by Pioneer Hybrid and they found
25 that, in fact, the protein in soybean, this Brazil-nut

1 protein in soybean did cross-react and, in
2 fact, listed its skin reactions in individuals who
3 were allergic to Brazil nut. That product was
4 discontinued. It never went to market, never made
5 anyone sick.

6 To date, we have had about 50 products,
7 different varieties of crops, that companies have
8 completed food-safety consultations with us since
9 this approach was put into place. There are about
10 eighteen new proteins in those crops that we have
11 looked at so far.

12 All of these proteins lack any similarity
13 to known allergens. They are also all readily
14 degraded. Remember that FDA deals with the
15 nonpesticidal substances, that we are not looking
16 at the BT proteins. We have always thought we have
17 all the easy things because at least we know of any
18 toxicity to the substances that we are dealing with
19 up front.

20 But, actually, seriously, to date, the
21 proteins that have been engineered in the plants
22 are almost all metabolic enzymes, so they are
23 enzymes involved in the ethylene pathway, for
24 example, or they affect the amino-acid synthesis
25 pathway and, therefore, are used for herbicide

1 tolerance. But they are basically common enzymes
2 in the food, is the point.

3 We have seen a very narrow class of
4 proteins. What we are going to be asking you to
5 think about is that the draft guidance that we
6 prepare will be based on the kinds of proteins that
7 we have seen. There will be other proteins in the
8 future that will raise different issues, but, right
9 now, we want to focus on what we are experiencing
10 and we will deal with the things in the future that
11 raise different issues because we don't know what
12 those are so we don't know how we would deal with
13 those.

14 So this is, I think, a very important
15 point to keep in mind for you to think about.

16 [Slide.]

17 This has been discussed not just here at
18 FDA, by any means. We have been working with
19 international groups. Others have looked at this
20 as well. The industry, through the International
21 Life Sciences Institute and the International Food
22 Biotechnology Council, published a very
23 comprehensive paper on assessment of allergenicity
24 in bioengineered foods in 1996. So there have been
25 a number of activities.

1 More recently, the international community
2 has looked at this issue, and you are going to hear
3 more about this very briefly now, but what has
4 happened in that the experience that has been
5 gained and all of the discussions have really
6 crystallized to a point of at least, now, we
7 believe there is a general consensus on an approach
8 for the kinds of products we are seeing today.
9 That is reflected in what are now the international
10 guidelines in the Codex and, since probably some of
11 you might say, what it the world is Codex, I have a
12 slide to answer that question.

13 [Slide.]

14 The Codex Alimentarius Committee is a body
15 that was established under the U.S. system by the
16 World Health Organism, WHO, and the Food and
17 Agriculture Organism, FAO, in 1962. It was
18 established to guide and promote the elaboration
19 and establishment of definitions and requirements
20 for food and to assist in their harmonization and,
21 in doing so, to facilitate trade.

22 What is important about this is that now,
23 under the GATT agreement and the World Trade
24 Organization being established, the Codex is
25 recognized as the international body for setting

1 standards and guidelines for food safety. So the
2 guidelines that are established under Codex are
3 particularly important.

4 The Codex is made up of about 165 member
5 countries from all around the world. The voting
6 members of Codex are all government
7 representatives. There are also non-government
8 organizations, both industry and public-interest
9 groups, who are observers of the Codex process and
10 participate in the process, but the voting is all
11 done by the member countries.

12 One of the things that I am going to tell
13 is our bottom line, at the moment, for you think
14 about and you may disagree, of course--that is why
15 we have asked you to think about it--but it is our
16 feeling from the experience we have had and the
17 discussions we have had in the international
18 community that what you are going to hear about, as
19 the current guidelines that have been developed
20 internationally are something that we want to
21 consider very seriously in developing our draft
22 guidance.

23 We think that it is very consistent with
24 the approach that we have used to date for the
25 kinds of products that we are seeing. So we think

1 that it deserves serious consideration and we are
2 very happy to have an expert to tell you about that
3 process.

4 DR. BRANDT: Questions?

5 Questions of Clarification

6 DR. GURIAN-SHERMAN: Doug Gurian-Sherman.

7 I have a couple of questions. Why don't I start
8 with two of them. I don't want to keep beating a
9 dead horse, and I don't think it is quite dead yet,
10 on a reasonable-certainty-of-no-harm issue, the
11 reason I bring it up is because I think the level
12 of oversight that you intend or will give these
13 products has some influence on the level of
14 scientific rigor that goes behind it. So I think
15 it is a relevant issue.

16 I think it was Bob Lake mentioned earlier
17 that you want harmonization as much as possible
18 between agencies which I think makes sense. My
19 understanding--maybe I am wrong and you can correct
20 me if I am, EPA, when they are looking at
21 allergenicity, which is a similar issue when you
22 are looking at allergenicity for a given protein,
23 say, cryoprotein, I think the standard is
24 reasonable certainty of no harm.

25 I understand what you are saying in terms

1 of the whole food being "as safe as," but when you
2 are talking about the protein, itself, it you want
3 harmonization, it seems like the standard would be
4 reasonable certainty of no harm for allergenicity
5 or toxicity or whatever of the protein, itself.

6 That is one issue. The other question I
7 have is, on enforcement, and, again, think this is
8 relevant because I think it would have implications
9 for what we would recommend should be done up front
10 in assessing the proteins as opposed to afterwards.
11 My understanding is that the burden of proof would
12 be on FDA.

13 If there was some alleged adverse effect
14 of the genetically engineered food that went on the
15 marketplace, FDA would have to show that there was
16 an adverse effect under the notification process if
17 it was shown to be GRAS as opposed to, just in
18 contrast, if it went through the food-additive
19 process. Then it was be automatically considered
20 adulterated if there was a problem.

21 Maybe you could just address those issues.

22 DR. MARYANSKI: Mr. Lake, you need to come
23 up here. First of all, before I turn the mike over
24 to my boss, I don't believe there will be any
25 difference. We don't anticipate any difference in

1 the safety review of the proteins in terms of
2 allergenicity and we are working very closely with
3 EPA because, basically, they are looking at protein
4 safety for the pesticide products including
5 allergenicity and we are doing the same thing for
6 the nonpesticide proteins.

7 So, in terms of the science that would
8 underpin the decision, we don't see that there will
9 be any difference.

10 MR. LAKE: Let me address your other
11 question because it is important. Again, though,
12 before I do that, let me emphasize the point that
13 Jim just made which is, from the standpoint of
14 science, we are absolutely trying to look at this
15 the same way.

16 The issue you are raising is really a
17 legal issue. I don't represent our chief counsel's
18 office, but let me give a crack at this because I
19 am not only familiar with what we do but have had a
20 lot of interaction with EPA over the years.

21 Going back to the discussion we had
22 earlier, the law has a very rigorous system in
23 place for those things that are defined as food
24 additives. But it also has a major exemption for
25 things that are generally recognized as safe. The

1 prevailing view is that those things that are
2 relatively minor modifications of existing foods
3 are in the GRAS category rather than the food-additive
4 category. We have had lots of discussions
5 with our lawyers about that and I don't want to
6 rehash all of that.

7 But, the things we are talking about, that
8 we have been looking at, all fit within the GRAS
9 box. There is certainly the potential in the
10 future for seeing many things that are in the food-additive
11 box. It is in the food-additive box that
12 the reasonable-certainty-of-no-harm standard
13 applies.

14 So, for things that got into that box,
15 they would be evaluated the same way we would
16 evaluate any other food additive including using
17 the reasonable-certainty-of-no-harm standard.

18 The difference with EPA is sort of as
19 follows. Again, I am oversimplifying something
20 that is actually a lot more complex, but when the
21 pesticide law that EPA administers was amended in
22 1996 by the Food Quality Protection Act, prior to
23 that time, they also had a GRAS exemption for
24 pesticides.

25 Congress chose, in 1996, when amending the

1 pesticide law, to do away with the GRAS exemptions
2 for pesticides. So all of the pesticides that EPA
3 would look at, whether they are chemical or
4 bioengineered, whatever, have to go through the
5 standard that is set forth for pesticides.

6 It actually happens to be in our Act, or
7 the Act that we think of as ours, the Food, Drug
8 and Cosmetic Act, but it is Section 408 of that Act
9 whereas food additives are in 409. So there is a
10 difference in the Food, Drug and Cosmetic Act
11 whereas GRAS standard exists still, as it always
12 has, under 409 for food additives or things that
13 are exempt from that.

14 But, with regard to pesticides, that
15 exemption was done away with and also the Congress
16 chose, at that time, to take the reasonable-certainty-of-no-
17 harm standard which had been in
18 place for food additives for a long, long time and
19 to explicitly apply it to pesticides really for the
20 first time beginning in 1996.

21 So now when EPA evaluates a pesticide,
22 they are using all of the criteria that were added
23 by the Food Quality Protection Act of 1996. In
24 contrast, when we are looking at these things, we
25 are looking at the state of the law as it was in

1 1958.

2 Now, I understand that people can make a
3 policy argument that maybe the food-additive law or
4 some special law ought to be passed by Congress to
5 deal with bioengineered foods as better looked at
6 by FDA. But that is not our issue for this meeting
7 and not a question that we can resolve in any
8 event.

9 So what I would come again to Dr.
10 Maryanski's point. I think the focus that we would
11 like this group to take is on the scientific aspect
12 of this, not on the legal or legislative component
13 of it, and give us the best advice that you can
14 give us in terms of the science.

15 We very much, of course, want to be
16 consistent with our colleagues at EPA on that and,
17 indeed, have a very strong desire to have as much
18 consistency as possible internationally. We will
19 be hearing some more about that, too. Let me just
20 say, around that, too, before we have Dr. Mayers
21 come up, that we very heavily participated in that
22 international effort.

23 Do you have a follow-up question?

24 DR. GURIAN-SHERMAN: Yes. I guess that
25 issue is around kind of harmonization conceptually,

1 but the other question, in terms of enforcement, I
2 think is relevant, again, because it goes to how
3 much emphasis you might be able to put in premarket
4 scrutiny versus postmarket. If it is more
5 difficult to address a potential problem once it is
6 on the market, from a legal standpoint, it has
7 indications, I think, for the scientific issues
8 because you may want to put a higher emphasis on
9 your premarket considerations knowing that you have
10 less of a handle on the postmarket. So that is why
11 I was getting at that.

12 MR. LAKE: I'm sorry. I forgot--

13 DR. GURIAN-SHERMAN: There were two
14 questions.

15 MR. LAKE: I forgot to answer your second
16 question so let me respond to that a little bit.
17 It is certainly true that the burden, basically, if
18 we find something in the marketplace, whether it is
19 bioengineered things or anything else, that is out
20 there that we believe is in violation of the law,
21 the burden is on the Food and Drug Administration
22 to go into court and make that case.

23 By the same token, though, if, again,
24 under the regime as it stands right now, there is
25 nothing that requires a company to come to us and

1 say boo, although we strongly encourage them to do
2 so and, so far, they have always done so and, after
3 a lot of discussion with our lawyers, they agreed
4 we could propose to require in the future.

5 But we would have the same situation if
6 somebody simply went to market without consulting
7 with us, we would have the burden of demonstrating
8 that what they were doing was inappropriate. By
9 the same token, I think it is also true that, if we
10 were to apply a standard that is not clearly
11 recognized by the law and we were challenged, we
12 would have the burden in court to explain to the
13 court why it is, under the law as it stands, that
14 we are requiring this standard.

15 I think the concerns you are raising are
16 important concerns. Again, I would just come back
17 to I think they are really outside the purview of
18 this discussion and are actually probably a lot
19 more complicated than I have indicated. But I
20 think, for purposes of this discussion, we really
21 like your best advice on the science and,
22 particularly, with regard, in this meeting, to the
23 issue of allergenicity. Presumably, we will have
24 other issues in the future.

25 DR. BRANDT: Other questions?

1 DR. KAPUSCINSKI: Anne Kapuscinski. I
2 would just like some clarification from Dr.
3 Maryanski, or if you want to answer. It doesn't
4 matter. I think it was towards the end of your
5 presentation, you said something to the effect that
6 you are looking to this committee to advise you on
7 science issues that are in the guidance document
8 for the current kinds of proteins you have been
9 looking at?

10 I had maybe misinterpreted, in the
11 briefing documents, that you actually looking
12 forward more to the new things that you are know
13 are coming, the dietary supplements, even the fact
14 that some crops that might engineered might produce
15 some kind of pharmaceutical or some kind of health
16 product, they might desire to put parts of it into
17 the food supply.

18 So I would appreciate clarification. Is
19 it just that narrow group of metabolic enzymes you
20 have seen up to now or do you want our input on
21 this other stuff that is waiting in the wings?

22 DR. MARYANSKI: That is a good question.
23 Let me try to clarify that. In terms of actually
24 developing draft guidance for the proteins in
25 bioengineered foods, it is our sense that the

1 guidance that you are going to hear about in terms
2 of the international guidance has been developed
3 mainly with an eye to the kinds of products that we
4 have seen to date.

5 So, in terms of drafting our guidance, we
6 are going to primarily be thinking about that.
7 That is what we want to do first because we expect
8 to see a number of products down the road that will
9 be very similar. So that is the highest priority.

10 Now, we obviously realize that other
11 products are going to be coming in the future, too.
12 So we do have an eye to the future and we,
13 obviously, are interested in your thoughts about
14 that to the extent that you might have some. But I
15 think, in terms of the priority and the focus for
16 helping us get to the next step of producing a
17 draft document that then you can look at again, we
18 would like the emphasis on those substances that
19 were seen at this time that we have seen in the
20 past.

21 Is that helpful?

22 DR. BRANDT: Yes; but that doesn't keep
23 you from looking to the future, is what he is
24 trying to say.

25 DR. MARYANSKI: Right. That is what I am

1 trying to convey to you is that, if you have
2 thoughts about things that you think we need to
3 know about in the future or look at in the future,
4 we welcome those thoughts as well.

5 DR. BRANDT: Other questions?

6 DR. BUCHANAN: Bob Buchanan. The current
7 President of the Deutsche Forschung Gemeinschaft,
8 the DFG, and I were post-docs together in Berkeley
9 not that many years ago and we have kept in touch.
10 He tells me that the German government often
11 consults the FDA with respect to new
12 pharmaceuticals that are emerging and to be
13 marketed.

14 I see now that this cooperation at an
15 international level regarding bioengineered foods
16 but I wondered, is that a new thing or have
17 governments, in the past, consulted the FDA for
18 common problems?

19 DR. MARYANSKI: Yes. I think we don't
20 consult with all governments on a routine basis but
21 we do consult with other governments on specific
22 issues. We do, for example, have dialogue with the
23 European Union at the agency level on food issues
24 generally.

25 DR. BRANDT: But the Codex was put into

1 effect thirty-four, thirty-five years ago. So
2 that has been going on for a long time.

3 DR. MARYANSKI: Yes. Most of our work is
4 done through the Codex in terms of our
5 international work with other governments. That
6 provides the mechanism for us to talk to other
7 governments.

8 DR. BRANDT: I can tell you when I sat on
9 the board of the World Health Organization, the
10 Codex was regularly brought to us, the Codex
11 discussions regularly come to us just for
12 information and sometimes action we had to take to
13 implement them or otherwise. So it has been around
14 for a long time and intermittently effective.

15 DR. GURIAN-SHERMAN: I would like a little
16 further clarification on what you want from us.

17 DR. BRANDT: We are really going to talk
18 about that a lot tomorrow.

19 DR. GURIAN-SHERMAN: I can wait until
20 then, if that is better.

21 DR. MARYANSKI: It is summarized in that
22 paper that you have on charge and questions.

23 DR. BRANDT: The draft that you have in
24 front of you.

25 DR. MARYANSKI: When you get a chance to

1 look at it, which we haven't given you just yet.

2 DR. BRANDT: You just got it today, so you
3 can read it tonight and then we can talk about it.
4 That is one of the reasons why we don't want to
5 talk about.

6 Other questions? Hearing none, we are
7 going to break. According to the official time
8 clock, it is 2:45 p.m. and we reassemble at five
9 after 3:00.

10 [Recess.]

11 DR. BRANDT: We have on the next agenda
12 item where we are going to be talking about the
13 draft Codex and the assessment on possible
14 allergenicity. The document is Tab 9, in front of
15 Tab 9, in your book. The actual section begins on
16 Page 12 of that.

17 Dr. Mayers, we are ready for you.

18 Codex Draft Annex on the Assessment
19 of Possible Allergenicity

20 DR. MAYERS: Thank you, Mr. Chairman.

21 [Slide.]

22 I am Paul Mayers. I work in the Food
23 Directorate in Health Canada. My colleague, Jim
24 Maryanski, commented that I was an expert in the
25 Codex work. I don't know that I would take it that

1 far. I have been involved a lot with the Codex
2 work and so when the kind invitation was made to
3 come down and talk about it, I was more than happy
4 to do that because, obviously, we are going to be
5 very interested in Canada in the output of what you
6 do here because we have done a lot of work
7 together, all through this Codex process. Where
8 you go from here in terms a national strategy is
9 obviously going to be very interesting and relevant
10 to us.

11 [Slide.]

12 Since you have already had the
13 introduction of Codex in general, let me start with
14 the Codex ad hoc Intergovernmental Task Force on
15 Food Derived from Biotechnology because this is the
16 body in Codex which has been charged with the
17 development of guidance pieces around food
18 biotechnology.

19 It was established in 1999 and with a
20 specified time limit to develop standards,
21 guidelines or recommendation for foods derived from
22 biotechnology and was very ably hosted by the
23 government of Japan. As I mentioned, being time
24 limited, they are intended to complete their
25 mandate by next year.

1 [Slide.]

2 As part of facilitating the process which,
3 within that short time period, if you have had any
4 involvement with Codex, one of the things that you
5 will probably have taken note is that Codex tends
6 to work in glacial time. Standard setting in that
7 Codex process within the time-limited period of
8 this task force was going to be a challenge.

9 In order to accommodate that challenge,
10 FAO and WHO, committed to supporting the work of
11 the task force. The mechanism that they used in
12 terms of that support was a series of expert
13 consultations.

14 At the very first session of the task
15 force, the issue of allergenicity was already very
16 much right at the center of the challenge faced by
17 the task force. They put forward a question for
18 consideration by a joint FAO/WHO consultation and
19 that was what scientific approach can be used to
20 assess allergenicity, a fairly broad question and a
21 fairly challenging one.

22 Of course, the expectation was that the
23 outcome of the consultations would contribute to
24 the consideration in the work of the task force.

25 [Slide.]

1 FAO and WHO have certainly been active in
2 this area with expert consultations both before the
3 genesis of this task force and Codex as well as
4 since that time. I have noted here three in
5 particular because, in each of these three
6 consultations in 1996 and 2000 and in 2001,
7 allergenicity formed a part of the discussion.

8 Of course, in the 2001 consultation, it
9 formed the very basis of the consultation and each
10 of these pieces continued to contribute important
11 considerations to the debate that was going on
12 internationally around addressing this particular
13 subject.

14 In 1996, and again considered in the 2000
15 consultation, there was a decision-tree approach
16 that was available for consideration and had been
17 considered by the expert consultation. Within the
18 context of that decision-tree approach, not unlike
19 what you heard in Dr. Maryanski's presentation,
20 considerations related to the source of the
21 introduced protein, impact of the actions on that
22 protein such as digestion and processing, and
23 sequence similarity to known allergens were key
24 considerations.

25 [Slide.]

1 Here you see what that decision tree looks
2 like and you will note that there are two sides to
3 the tree determined by the outset by the nature of
4 the source of that introduced material. So where
5 it is not a known allergenic source, then the
6 physical, chemical characteristics of the protein
7 and its stability to digestion and processing being
8 used to contribute to an identification of the
9 potential for allergenicity and, down the other
10 side, where the source is known to be allergenic, a
11 more direct application of the available tools
12 using solid-phase immunoassay as the mechanism.

13 There was a certain level of confidence
14 with one side of this. The other side continued to
15 generate questions. So, in 2001, the expert
16 consultation which focused very specifically on
17 allergenicity introduced new elements to the
18 approach, elements that responded to the questions
19 but also elements that were taking into account
20 interests, challenges, new developments.

21 So a couple of issues to highlight from
22 their report was that, in addition to the sequence-homology
23 analysis from allergenic and nonallergenic
24 sources being considered, that the issue of
25 targeted serum screening would be added to the

1 specific serum screening as a strategy, the
2 targeted serum screening being added with the
3 intent to identify allergens that might not be
4 caught with the other strategies.

5 The narrowed the physical characteristic
6 focus to resistance to pepsin, quite specifically,
7 and introduced, as an additional consideration, the
8 use of animal models in the strategy.

9 [Slide.]

10 So, we now see, then, a revised decision-tree
11 strategy having been proposed as the result of
12 the 2001 expert consultation. You will note that,
13 while there continues to be the question regarding
14 the source of the gene and its known allergenicity
15 that the two sites interact much more than they did
16 previously through the consideration after sequence
17 homology in both cases of targeted and specific
18 serum screening dependent on where the first
19 question led.

20 [Slide.]

21 This all, then, became fodder for the
22 discussion in Codex. The output of these expert
23 consultations were taken very much into account
24 during the discussion in drafting general
25 principles and a specific guideline document in

1 Codex. The work of the expert consultation on
2 allergenicity specifically was considered very
3 useful, but it was recognized that it also proposed
4 a very significantly different approach.

5 In addition, in the discussion, many
6 delegations expressed a real interest in what was
7 presented by the FAO/WHO expert consultation but
8 questions remained regarding the practicality of
9 certain parts of the strategy proposed in terms of
10 the ability to apply them currently with the level
11 of development of tools such as, for example,
12 animal models.

13 So, to allow for a more detailed
14 consideration of the allergenicity assessment
15 procedure than would be permitted in an open-forum
16 Codex discussion with 65 country delegations and,
17 in addition to that, another 40 or so nongovernment
18 delegations, the task force made the decision to
19 create and an hoc open-ended working group to
20 develop guidance for consideration by the broader
21 task force.

22 [Slide.]

23 So, in consideration of this ad hoc open-ended
24 working group, it was requested to take into
25 account the information that was available

1 including the output of the 2001 expert
2 consultation. The government of Canada was asked
3 to take the lead for the working group. Canada
4 agreed to do that and convened the working group
5 September 10 to 12, 2001 in Vancouver.

6 It was my privilege to chair that working
7 group. You will probably have taken note in the
8 dates of some of the challenges that that group
9 faced, and I must pause and commend those members
10 of the working group because I know that it was a
11 tremendous challenge, one to continue the work in
12 that period, which all delegations agreed to
13 continue, and, two, many of my colleagues ended up
14 with some tourist time in Vancouver that was
15 unplanned, as you might imagine. I know some took
16 some interesting routes to get back to their homes
17 and, for some, it was a lot later than they
18 planned.

19 So the government of Canada very much
20 appreciated the commitment that delegations made to
21 completing the working in such trying times.

22 [Slide.]

23 So, in terms of the work of the working
24 group, we started the proceedings with
25 consideration of a discussion paper that had been

1 prepared by a drafting group. We felt that it was
2 very important to put before the group, in order to
3 progress the work, a paper developed by a smaller
4 group that would raise questions, propose
5 strategies and take into account the range of
6 information that was available at the time.

7 We also benefitted from the presence of
8 the secretary of the FAO/WHO 2001 expert
9 consultation who made a presentation on the work of
10 that expert group because we thought that it was
11 very important, as a starting point, to start from
12 where that group concluded in terms of their
13 recommendations.

14 In organizing the guidance, within the
15 working group, the decision was taken to organize
16 it rather than a single schematic into two parts,
17 an initial assessment that would be the practical
18 solution to consideration of the steps that would
19 likely be taken anyway and then the subsequent
20 detailed considerations based on the output of that
21 initial assessment.

22 There was a very clear recognition that
23 the initial assessment was not intended to be
24 conclusive but that these were the considerations
25 that would be relevant to all expressed proteins.

1 So you see, as I go forward, the group
2 tried not to focus on guidance that might be
3 construed as yes/no questions. There was a
4 concerted decision to move from that style of
5 guidance to a broader style which has its
6 detractors, I can guarantee you, because, as
7 always, if the questions aren't definitive as
8 yes/no, it introduces a level of interpretation
9 that can be challenging, and I think appropriately
10 challenging, because of the nature of the issue
11 being considered.

12 But I can also note that it does raise
13 questions for some.

14 [Slide.]

15 So, as we worked forward, what we wanted
16 to do was introduce, consistent with the rest of
17 the guidelines--and if you have taken the time to
18 look at the totality of the Code guidance, not just
19 the part on allergenicity, you will take note very
20 quickly that none of the guidance provides a simple
21 yes/no answer.

22 In fact, throughout the guidance that the
23 task force was already very advance in elaborating,
24 there was a very strong influence of weight of
25 evidence as the consideration being undertaken.

1 So, in the working group, that contribution, in
2 terms of weight of evidence, influenced the way
3 that the working group concluded and put forward
4 recommendations back to the full task force.

5 In having reported back to the task force,
6 in plenary, the task force was able to undertake a
7 I wouldn't say detailed but an extensive discussion
8 of the proposals of the working group and while
9 certainly made modifications, many, I think
10 significant improvements, the general strategy
11 proposed by the working group was accepted.

12 [Slide.]

13 So, in terms of that strategy, by way of
14 introduction, it focused specifically in IgE-mediated
15 allergenicity. There had been an interest
16 expressed to also consider celiac disease, for
17 example. The working group didn't believe that it
18 had the competence to address that particular
19 challenge in the same way that it would the IgE-mediated and
20 so limited its focus to IgE-mediated
21 allergenicity.

22 The approach, therefore, rather than a
23 decision tree was an integrated stepwise but still
24 case-by-case approach. Case-by-case here doesn't
25 mean that you reinvent the strategy for each

1 product. What it means is that the strategy needs
2 to take into account the nature of the product and
3 be appropriately tailored to address the issues
4 raised by the nature of the product, itself.

5 Of course, in terms of the goal, the
6 endpoint of the assessment is a conclusion as to
7 the likelihood of the protein under consideration
8 being a food allergen.

9 [Slide.]

10 The strategy, as I mentioned, starts with
11 an initial assessment consideration. These are
12 things that you certainly heard in the presentation
13 earlier, the source, the amino-acid sequence
14 homology. I must note here that the working group
15 had significant discussion around the actual
16 process of sequence-homology assessment because
17 there had been significant interest in fixing a
18 number of contiguous amino acids that would be used
19 for the search.

20 The discussion went back and forth between
21 six amino acids and eight. There was a recognition
22 that, at eight amino acids, there were concerns
23 regarding misses that would yield false negatives
24 and, equally at six, there were concerns related to
25 hits that would yield false positives.

1 In typical Codex fashion, after much
2 discussion, the working group decided that, rather
3 than fix a specific number, instead it would
4 recognize that, for a valid search, consideration
5 needed to be given about the appropriate number for
6 the nature of the product under consideration and
7 that the number selected should be based on an
8 appropriate scientific rationale.

9 So, rather than fixing a number in the
10 guidance, it recognized the issues in terms of both
11 false negatives and positives but created
12 flexibility in defending the selection that is made
13 in order to carry out the test.

14 DR. LEHRER: Could I ask a question?

15 DR. MAYERS: Of course.

16 DR. LEHRER: Sam Lehrer. I have a
17 question about appropriate scientific rationale.
18 Could you be a little more specific about that?

19 DR. MAYERS: In terms of the rationale,
20 the expectation would be, and this is where
21 national governments as opposed to Codex will have
22 to make decisions because Codex doesn't make
23 decisions about products. It has provided
24 guidance.

25 National governments have to interpret

1 that guidance. National government will have to
2 apply that reasoning, so let me speak to it from
3 the Canadian perspective, if you will allow. In
4 this case, for us, an appropriate scientific
5 rationale would be a detailed discussion on the
6 selection based on the information available
7 regarding amino-acid-sequence tests where six or
8 eight or twelve, if someone selected to do that,
9 were conducted in terms of rates of false positives
10 and false negatives and the arguments that might be
11 available if we are dealing with a particular
12 category of allergens in terms of issues like
13 epitopes.

14 It is not something that I am going to
15 suggest is cut or dried. I believe that each
16 argument is going to have to be carefully
17 considered. I would hope that we will get to a
18 point where we will have seen sufficient arguments
19 to begin to characterize that particular guidance
20 more specifically but I can tell you right now, we
21 are certainly not ready to do that in Canada in
22 terms of fixing a number.

23 So what we are doing for each product,
24 what we are looking for is not just the results of
25 the homology comparison, but we want some

1 discussion around the validity of that comparison
2 in terms of addressing the issues of false
3 negatives and positives.

4 I know that is not as specific as I would
5 like it I were asking the question but,
6 unfortunately, that is the reality.

7 DR. BRANDT: Go ahead and finish up your
8 presentation. We will come to questions

9 DR. MAYERS: Continuing, then, with that
10 initial assessment portion, the structural
11 properties including issues like susceptibility to
12 enzymatic degradation, heat stability and acid
13 processing.

14 [Slide.]

15 Once we get beyond that initial assessment
16 consideration, then we get into the more specific
17 considerations. For proteins originating from a
18 source known to be allergenic or with sequence
19 homology, then specific serum screening recognized
20 as being a very useful tool.

21 Where those proteins are not coming from
22 an allergenicity source or not exhibiting the
23 homology, then consideration of target serum
24 screening--and you will note the "may" here; that
25 "may" was very important given concerns expressed

1 regarding the validation of targeted serum
2 screening strategies.

3 There was a very clear recognition of the
4 utility of the tool recommended by the 2001 expert
5 consultation, but there was an equal recognition
6 that work needed to take place in order to
7 facilitate the use of this tool by developing more
8 clear strategies and validating them.

9 Recognition in terms of this part of the
10 consideration, that the results from in vitro amino
11 assays may not, in fact, be sufficient. So a
12 negative result where this was warranted, again
13 taking into account the totality of the evidence as
14 opposed to simply one aspect of that evidence may,
15 therefore, prompt additional testing, a positive
16 result being considered an indication of a
17 potential allergen.

18 [Slide.]

19 There were, of course, other
20 considerations that were highlighted in the draft
21 annex; the nature of the product, itself--i.e., the
22 form to be consumed being taken into consideration
23 in determining for the strategy what types of
24 processing would actually be taken into account,
25 so, rather than automatically defaulting to a

1 particular set of processing tests for the protein,
2 taking into account the food product, itself.

3 So, again, when we say case-by-case, we
4 are not talking about making it up as you go.
5 Instead, what we are talking about is structuring
6 the strategy to most effectively deal with the
7 particular product under consideration and the
8 recognition that both the targeted serum screening
9 and the use of animal models have tremendous
10 potential to add value to the assessment but
11 require validation in order to allow regulatory
12 agencies the level of comfort in their application
13 that would be appropriate for regulatory decisions.

14 [Slide.]

15 Also, recognizing that while calling for
16 serum screening is very useful, the availability of
17 sera represents a very real challenge. So the
18 need, in order to facilitate that work, the
19 organization of an international serum bank, for
20 example. Further, even more detailed assessment
21 may be possible once methods related, for example,
22 to examination for T-cell epitopes and structural
23 motifs, which are associated with allergens, are
24 appropriately evolved to applied in regulatory
25 decision making.

1 [Slide.]

2 The task force, having taken into account
3 the report of the working group and, having had its
4 discussion, made some decisions and I have
5 indicated here some of the next steps. It referred
6 the issue of the gluten insensitivities to the
7 Codex Committee on Nutrition and Foods for Special
8 Dietary Uses for their information.

9 It wasn't possible for the task force to
10 go beyond information. That Codex committee will
11 have to make decisions as to whether they are at a
12 stage where they could consider more detailed work
13 in terms of gluten insensitivities, for example.

14 The Annex was advanced to Step 5. In the
15 Codex process--I know we didn't give you Codex 101,
16 but, within Codex, for a standard to be adopted,
17 there is an eight-step process. The Annex was
18 advanced to Step 5 of that Codex procedure and
19 forwarded to the commission with the recommendation
20 that it be adopted at Step 8, which is the final
21 step, with the omission of Steps 6 and 7.

22 So that means, once considered by the
23 commission, in June of next year, then if accepted
24 by the commission, including acceptance of the
25 recommendation to omit Steps 6 and 7, that Annex

1 will then be adopted as part of a Codex standard.

2 The full Codex guideline and the
3 principles have been forwarded, as well, to the
4 commission for consideration at Step 8 of the
5 procedure.

6 [Slide.]

7 Finally, since, having come from Canada, I
8 believe I would be remiss if I didn't give you at
9 least some insight into some of our thinking in
10 regard to some of these pieces because, we, too,
11 have been thinking very hard around the issue of
12 allergenicity and continuing to enhance the
13 addressing of allergenicity in our guidance.

14 We have undertaken a couple of initiatives
15 that I would note. One, in November of last year,
16 we held an international workshop on animal models
17 for the detection of allergenicity and, from that
18 work, we have continued to integrate into the
19 research program in the Food Directorate in Food
20 Canada where I work some research initiatives
21 regarding the issue of models.

22 We are, as well, pursuing some research
23 partnerships with regard to new tools for the
24 assessment of longer-term health effects including
25 toxicology where, in particular, we are focusing on

1 the issue of whole foods and biological markers of
2 relevance in toxicological assessment so as to
3 enhance the toxicological testing element of our
4 assessment strategy.

5 You may have taken note that the Royal
6 Society of Canada, at the request of our
7 department, along with others, had formed an expert
8 panel which provided us with recommendations so we
9 are now in the process of updating our guidelines
10 for the safety of assessment of novel foods. We
11 expect to have a draft in consultation in the fall
12 which will take account of those recommendations as
13 well as the guidance by Codex.

14 We are a bit ahead of the game in that
15 Codex has not formally adopted them but we have
16 been appropriately impressed with the work
17 accomplished in Codex and so we believe that, even
18 without their adoption, there are interesting
19 elements presented in the Codex guidance that we
20 would like to see brought into our strategy earlier
21 rather than later.

22 We are also doing some work on guidance
23 for transgenic animals which, hopefully, we will
24 have open consultation later this year, but that is
25 not particularly relevant to this discussion so I

1 won't take that any further.

2 So, Mr. Chairman, I will be more than
3 happy to try to take questions.

4 DR. BRANDT: Thank you.

5 Let me remind all of you that tomorrow, on
6 Question No. 1, that they are seeking advice has to
7 do with the Codex because, specifically, every
8 national government now has to address it totally
9 independently, as it were, because it is not
10 imposing rule.

11 So Question No. 1 that we will be talking
12 about tomorrow, as listed in your two-page
13 document, will be addressing that specific thing.

14 So let's go to questions.

15 Questions of Clarification

16 DR. GURIAN-SHERMAN: Doug Gurian-Sherman.
17 Two questions. One is, could you clarify a little
18 bit what the steps that the current process is at
19 and are there provisions in Codex to modify a final
20 decision. Do I understand correctly, the task
21 force has recommended to Codex to accept the Annex;
22 is that right? And then what is the procedure for
23 the full Code committee? Can they modify it? Can
24 they just accept or reject? That is the first
25 question.

1 The second question is, going back to the
2 5 and 6 contiguous amino acids, did the FAO--did
3 the task force decide--I want to be clear about
4 this--that, if you set eight amino acids as the
5 limit, that you could miss active epitopes. So
6 then the question becomes how do you justify the
7 false positives? Either the greater false
8 positives for six or the greater false negatives
9 for eight? Is that an accurate assessment of what
10 FAO decided?

11 DR. MAYERS: Let me take the first one and
12 then, if I don't remember well enough, remind me.
13 In terms of the procedure, the commission will have
14 the flexibility to adopt based on the
15 recommendations or to not adopt. That is why they
16 are the commission.

17 They also will have the flexibility to
18 make decisions in between, if you would, in that
19 they might ask for further consideration of
20 specific issues. That will be challenging, given
21 that the commission will be meeting after the
22 mandate of the task force itself is complete. That
23 means that there won't be a body to refer that work
24 to, but that doesn't mean that the commission has
25 to adopt the guidance whether it be principles, the

1 guidelines, or, specifically, the Annex.

2 In terms of the step procedures in Codex,
3 the procedures are there to ensure that there is
4 appropriate input from delegations. So, along that
5 path, certain steps of the procedure involve
6 consultative mechanisms. One consultation
7 mechanism has been engaged and the proposal to
8 eliminate two steps would remove one of those
9 consultative mechanisms. It hasn't removed all of
10 them, but it would remove one.

11 In terms of the other issue, in terms of
12 the working-group discussion around the contiguous
13 amino acids, there was sufficient recognition that,
14 within the working group, we didn't have enough
15 information around the impacts to fix a specific
16 number, nor did we have sufficient time to analyze
17 the issue sufficiently deeply to propose a specific
18 number, that the issues of false positives and
19 false negatives were both relevant.

20 So there wasn't a simple balancing of,
21 well, we might hit it or we might not. It was
22 simply a recognition that fixing a specific number
23 with the current knowledge would be inappropriate
24 at this time and so, therefore, the proposal that,
25 instead, the approach taken for an individual

1 comparison would need to be defended, based on the
2 nature of the comparison, itself, and the product
3 under consideration.

4 DR. BRANDT: Other questions?

5 DR. KAPUSCINSKI: Anne Kapuscinski. You
6 seem to indicate that there is a clear distinction
7 between the weight-of-evidence strategy and the
8 decision-tree strategy. When I reviewed the
9 documents we have about this Codex endeavor, it
10 seemed to me like the two go hand-in-hand. It
11 looks like the decision tree is just a way of kind
12 of visually showing the order in which you deal
13 with the different lines of evidence so that then
14 you do actually consider the whole weight of
15 evidence.

16 So am I missing something?

17 DR. MAYERS: I don't think so. I would
18 share your interpretation. The only challenge with
19 the decision tree wasn't the questions that are
20 posed. It was the fact that it identified yes/no
21 answers. Some of the answer are going to be made--

22 DR. KAPUSCINSKI: Are not flexible; right.

23 DR. MAYERS: So that is really the issue.

24 DR. KAPUSCINSKI: I have one more
25 question. In at least one of the Codex documents,

1 I think it was the joint FAO/WHO expert
2 consultation, there is a lot of talk in there about
3 suggesting further study for postmarket
4 surveillance and monitoring.

5 Since it seems to be couched mostly in the
6 general language of suggestions and rating some
7 issues to be considered, what do you think will
8 happen after the CAC meets in 2003 regarding that
9 particular issue?

10 DR. MAYERS: The issue of postmarket
11 surveillance is dealt with quite specifically in
12 the principles document, in the FAO/WHO expert
13 consultation, being an expert consultation, it
14 provides recommendation while the Codex has the
15 responsibility for the standard setting.

16 So the language in the Codex principles is
17 more specific. It recognizes that postmarket
18 surveillance may be a very valid tool where a
19 specific question is identified and the strategy
20 for postmarket surveillance is designed to respond
21 to that question.

22 What it doesn't do is it doesn't simply
23 propose that postmarket surveillance always be
24 applied for every product.

25 DR. GURIAN-SHERMAN: I have one more

1 question. This is Doug Gurian-Sherman. Back to
2 Anne's question of the decision tree versus weight
3 of evidence. I have heard some definitions of the
4 decision tree that suggest that, of course, I think
5 there is pretty wide recognition that, let's say,
6 with the digestibility assay, if you get stability,
7 it doesn't mean that something is going to be an
8 allergen or vice versa.

9 So that is a maybe answer. But I think,
10 in terms of decision making, some definitions of
11 the decision tree suggest that, if you got a
12 certain answer, that we be a no-go on the product
13 whereas, in weight of evidence, you are considering
14 everything and putting them altogether and saying,
15 well, we got this answer for this and this answer
16 for this. Based on our understanding of all of
17 these together, we make this decision. Is that
18 correct, because that is certainly a difference
19 that I have heard debated and that there is a
20 certain amount of concern about, I think, in the
21 consumer community.

22 DR. MAYERS: I think there are a range of
23 interpretations. That is part of the challenge
24 with trying to simplify a complex assessment
25 strategy in a pictogram. But, a pictogram is very

1 powerful because it gives you insight. Personally,
2 I am a bit torn. I like the simplicity of
3 understanding the totality of what you are trying
4 to do that a pictogram represents.

5 I do get concerned if the interpretation
6 then becomes so rigid that we forget that we are
7 dealing in a scientific endeavor with questions
8 that don't always lend themselves to a simple
9 cause-effect response especially if we are dealing
10 with something like the results of a digestibility
11 assay.

12 DR. BUCHANAN: This is Bob Buchanan again.
13 Assuming an ample international serum bank, is
14 there some way that targeted serum screening can
15 give information as to whether or not a protein to
16 which human populations have not been exposed in
17 their diet, dietarily, can be assessed to be an
18 allergen?

19 DR. MAYERS: That is a great question. I
20 think there are people in the room who are probably
21 way better than I to answer that because that, in
22 itself, is, I think, a very interesting and
23 significant debate. But I certainly hold some hope
24 that targeted serum screening will give some
25 insight. I don't know if it will answer that

1 question but I think it certainly can contribute
2 effectively if there is a good bank of sera against
3 which to challenge a particular protein.

4 But I certainly don't have the expertise
5 to take that particular debate to its fulfillment,
6 I don't believe.

7 DR. ATKINS: Dan Atkins. You mentioned
8 that the stepwise approach was a bit more
9 cumbersome. We talked about six versus eight amino
10 acids. But we are not challenging people anywhere
11 here. Part of the thing that concerns me about
12 that is that, if you take, for example, fruits and
13 vegetables, if your RAST assay or ELISA doesn't
14 incorporate all the allergens, or they are
15 different in fresh products, now you are going to
16 have a negative test, you are going to open this up
17 to everybody, and there is a population that is
18 going to react to that and you are going to miss
19 them in your whole process.

20 So, are food challenges going to be
21 incorporated in here at some point before we
22 release this into the general population or not?

23 DR. MAYERS: When you say "food
24 challenges," I had to respond with a question, but
25 who are we going to challenge?

1 DR. ATKINS: You have a population that
2 you are going to say they are important enough you
3 are going to look at their serum to see if they are
4 allergic to the product, so why wouldn't you
5 challenge them, for example?

6 DR. BRANDT: Remember that that is a point
7 you can really raise with the FDA because each
8 country is going to have to make that decision. It
9 is not going to be an issue that that task force or
10 the Codex or the WHO or the FAO is going to decide.

11 DR. ATKINS: What they did was they
12 dropped out the challenges of individuals in the
13 first study and then they went away from the step-wise
14 approach to the weight-of-evidence approach
15 which means you can say, well, we, as a group, want
16 to discount this data because we don't think it is
17 that important. Would you get the same if you had
18 several groups? Would you get different opinions?
19 How do you defend that to the public. How do you
20 explain that to the public? It is okay this time?
21 It is not okay that time? It is going to make it
22 harder.

23 DR. BRANDT: It is advice, though, that we
24 can give the FDA about further steps.

25 One more question and then we are going to

1 quit.

2 DR. ASTWOOD: Jim Astwood here. I was
3 going to follow up on Dan's question. Just for
4 clarification, in the original '96 and in Year 2000
5 FAO/WHO expert recommendations, the food challenge
6 appeared and was recommended in cases where the
7 source of the gene was from something known to
8 cause allergies.

9 So the debate is around whether that
10 should be in or out. As a practical matter, I am
11 not aware of any product, and Dr. Maryanski could
12 confirm, that the FDA has considered where such a
13 gene has actually be put into a crop and a petition
14 has been made on it. So there is a certainly
15 element of hypothetical consideration there, but it
16 is an important point.

17 DR. BRANDT: Okay. We are going to meet
18 again at 8:30 tomorrow.

19 [Whereupon, at 3:50 p.m., the proceedings
20 were recessed, to resume on Wednesday, August 14,
21 2002 at 8:30 a.m.]