

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE
DERMATOLOGIC AND OPHTHALMIC DRUGS ADVISORY COMMITTEE

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

8:30 a.m.

Tuesday, September 19, 2000

Holiday Inn
2 Montgomery Village Avenue
Gaithersburg, Maryland

ATTENDEES

COMMITTEE MEMBERS:

WILMA BERGFELD, M.D., Acting Chair
Head of Clinical Research
Department of Dermatology
Cleveland Clinic Foundation
9500 Euclid Avenue
Cleveland, Ohio 44195-5001

KIMBERLY TOPPER, Executive Secretary
Advisors & Consultants Staff, HFD-21
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

GLORIA ANDERSON, PH.D., Consumer Representative
Morris Brown College
Atlanta, Georgia 30314-4140

O. FRED MILLER, M.D.
Director, Department of Dermatology
Geisinger Medical Clinic, M.C. 1406
Danville, Pennsylvania 17822

VOTING CONSULTANTS:

ELIZABETH A. ABEL, M.D.
Dermatology
2660 Grant Road, Suite D
Mountain View, California 94040

JENNIFER ANDERSON, PH.D.
CHQOER
Bedford Veterans Administration
Medical Center (152)
200 Spring Road
Bedford, Massachusetts 01730

ROBERT A. BRANCH, M.D., F.R.C.P.
Director, Center for Clinical Pharmacology
University of Pittsburgh Medical Center
100 Lothrop Street, 623 Scaife Hall
Pittsburgh, Pennsylvania 15213-2582

ATTENDEES (Continued)

VOTING CONSULTANTS: (Continued)

JANET CRAGAN, M.D.
Division of Birth Defects & Pediatric Genetics
National Center for Environmental Health
CDC MS F45 4770
Buford Highway NE
Building 101, Room 2158
Atlanta, Georgia 30341-3724

ROSELYN EPPS, M.D.
8630 Fenton Street, Suite 300
Silver Spring, Maryland 20910

MICHAEL GREENE, M.D.
Department of Obstetrics and Gynecology
Massachusetts General Hospital
Founders Room 430
Boston, Massachusetts 02114

ERIC HOLMBOE, M.D.
FACP Yale University School of Medicine
1074 LMP
333 Cedar Street
New Haven, Connecticut 06510

LLOYD E. KING, JR., M.D., PH.D.
Professor of Medicine
Dermatology Division
Vanderbilt University
1301 22nd Avenue North
3900 The Vanderbilt Clinic
Nashville, Tennessee 37232-5227

ARTHUR LEVIN, M.P.H.
Center for Medical Consumers
130 Macougal Street
New York, New York 10012-5030

RICHARD MALONE, M.D.
MCP Hanneman University, School of Medicine
Philadelphia, Pennsylvania 19129

WILLIAM ROSENBERG, M.D.
956 Court Avenue, Room E-332
Memphis, Tennessee 38163

ATTENDEES (Continued)

VOTING CONSULTANTS: (Continued)

MING T. TAN, PH.D.
Associate Member of Biostatistics
Department of Biostatistics
St. Jude Children's Research Hospital
332 North Lauderdale Street
Memphis, Tennessee 38105

ANDREW WINOKUR, M.D., PH.D.
University of Connecticut Health Center
10 Talcott Notch Road
Farmington, Connecticut 06032

GUESTS:

JANE ADAMS, PH.D.
Department of Psychology
100 Morris Boulevard
University of Massachusetts
Boston, Massachusetts 02125

ALAN BYRNE, M.D.
18 Thorn Brook
Maas County
Kildare, Ireland

LAURENCE GREENHILL, M.D.
New York State Psychiatric Institute
1051 Riverside Drive
New York, New York 10032

KENNETH LYONS JONES, M.D.
UCSD Medical Center
200 West Arbor Drive, H8446
San Diego, California 92103-8446

ERIC KODISH, M.D.
RBC 340
11100 Euclid Avenue
Cleveland, Ohio 44106

ED LAMMER, M.D.
747 52nd Street
Oakland, California 94609-1809

ATTENDEES (Continued)

GUESTS: (Continued)

JAMES MILLS, M.D., M.S.
NICND, NIH
6100 Executive Boulevard, Room 7B03
Bethesda, Maryland 20892

CYNTHIA MOORE, M.D., PH.D.
CDC
4770 Buford Highway, F-45
Atlanta, Georgia 30341

FOOD AND DRUG ADMINISTRATION STAFF:

E. DENNIS BASHAW, PHARM.D.
JONCA BULL, M.D.
PETER K. HONIG, M.D., M.P.H.
DIANNE MURPHY, M.D.
KATHRYN O'CONNELL, M.D., PH.D.
NANCY OSTROVE, PH.D.
MARILYN R. PITTS, PHARM.D.
ERICK TURNER, M.D.
JONATHAN K. WILKIN, M.D.
JANET WOODCOCK, M.D.
DIANE K. WYSOWSKI, PH.D.

HOFFMANN-LaROCHE REPRESENTATIVES:

RUSSELL ELLISON, M.D.
DOUGLAS JACOBS, M.D.
JOHN McLANE, PH.D.
ROBERT C. NELSON, PH.D.
DAVID YOUNG, PHARM.D., PH.D.

ALSO PRESENT:

LIAM GRANT
MARGARET H. HAGER, M.D.
RICHARD JOSEPHSON, ESQ.
JAMES PALAZZOLO
KIMBERLY A. SMITH

C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Ms. Kimberly Topper	11
REPRISAL OF RISK MANAGEMENT OVERVIEW by Dr. Jonca Bull	13
MEDICATION GUIDES by Dr. Nancy Ostrove	15
* * *	
AC CUTANE ASSOCIATED PSYCHIATRIC EVENTS	
ROCHE PRESENTATION:	
Introduction - by Dr. Russell Ellison	30
Clinical Definition - by Dr. Douglas Jacobs	32
Pharmacoepidemiological Analysis - by Dr. Robert Nelson	40
Clinical Case Review - by Dr. Douglas Jacobs	59
Additional Data and Conclusions - by Dr. John McLane	63
Risk Management - by Dr. Russell Ellison	74
FDA PRESENTATION:	
Isotretinoin and Depression - by Dr. Alan Byrne	82
Drug-induced Depression - by Dr. Erick Turner	89
Case Review - by Dr. Marilyn Pitts	104
Postmarketing Experience Suicide and Depression - by Dr. Diane Wysowski	115
Biological Plausibility and Risk Management Options - by Dr. Kathryn O'Connell	120
OPEN PUBLIC HEARING PRESENTATIONS:	
by Dr. Margaret Hager	136
by Ms. Kimberly Smith	137
by Mr. James Palazzolo	146
by Mr. Liam Grant	147
by Mr. Richard Josephson	161
QUESTIONS TO THE COMMITTEE by Dr. Jonca Bull	192
COMMITTEE DISCUSSION AND VOTE	194

C O N T E N T S (Continued)

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Ms. Kimberly Topper	239
* * *	
ACCUTANE NEW FORMULATION	
ROCHE PRESENTATION:	
Safety and Efficacy - by Dr. John McLane	241
Pharmacokinetics - by Dr. David Young	248
Risk Management - by Dr. John McLane	255
FDA PERSPECTIVE:	
by Dr. Jonathan Wilkin	258
by Dr. Dennis Bashaw	262
by Dr. Kathryn O'Connell	268
QUESTIONS TO THE COMMITTEE by Dr. Jonca Bull	294
COMMITTEE DISCUSSION AND VOTE	295

P R O C E E D I N G S

(8:30 a.m.)

1
2
3 DR. BERGFELD: Thank you very much, and welcome
4 to the second day of the Accutane advisory committee.
5 Yesterday you know that we met and we dealt with the issues
6 of Accutane and the pregnancy prevention program. Today we
7 are moving on to other subjects regarding Accutane, namely,
8 Accutane associated with psychiatric events for the morning
9 and this afternoon Accutane's new formulation.

10 At this time, because some of the audience was
11 not here yesterday I suspect, I would like to go around
12 again and re-introduce the panel members. I would like to
13 state, first of all, that we have voting and non-voting
14 panel members. Both will participate in the discussions,
15 but only the voting members will address the questions and
16 vote upon them.

17 I guess if we could start again with you, Dr.
18 Dianne Murphy.

19 DR. MURPHY: Dr. Dianne Murphy, Associate
20 Director for Pediatrics at the Center for Drug Evaluation
21 and Research.

22 DR. WILKIN: Jonathan Wilkin, Director of the
23 Division of Dermatologic and Dental Drug Products, CDER.

24 DR. BULL: Dr. Jonca Bull, Deputy Office
25 Director, Office of Drug Evaluation V.

1 DR. O'CONNELL: Kathryn O'Connell, medical
2 reviewer, Division of Dermatologic and Dental Drug
3 Products.

4 DR. WINOKUR: Andy Winokur from the Department
5 of Psychiatry, University of Connecticut Health Center.

6 DR. ROSENBERG: Bill Rosenberg, dermatology at
7 the University of Tennessee College of Medicine.

8 DR. GREENE: Mike Greene, maternal/fetal
9 medicine, Massachusetts General Hospital, Harvard Medical
10 School.

11 DR. BERGFELD: I'm Wilma Bergfeld,
12 dermatologist and dermatopathologist at the Cleveland
13 Clinic.

14 DR. MILLER: I'm Fred Miller, Director of
15 Dermatology, Geisinger Clinic, Pennsylvania.

16 DR. KING: Lloyd King, Jr., dermatology at
17 Vanderbilt University and Nashville VA Medical Center.

18 DR. EPPS: Roselyn Epps, pediatric dermatology,
19 Children's National Medical Center, Washington, D.C.

20 DR. MALONE: Richard Malone, Department of
21 Psychiatry, MCP Hanneman University.

22 DR. BRANCH: Bob Branch, clinical pharmacology,
23 University of Pittsburgh.

24 DR. HOLMBOE: Eric Holmboe, general internal
25 medicine, Yale University.

1 MR. LEVIN: Arthur Levin, Center for Medical
2 Consumers in New York.

3 DR. GLORIA ANDERSON: Gloria Anderson, Callaway
4 Professor of Chemistry, Morris Brown College in Atlanta.

5 DR. JENNIFER ANDERSON: Jennifer Anderson,
6 biostatistician at Boston University Medical Center and
7 Bedford VA in Massachusetts.

8 DR. TAN: Ming Tan, Associate Member of the
9 Department of Biostatistics, St. Jude Children's Research
10 Hospital.

11 DR. JONES: I'm Ken Jones, Department of
12 Pediatrics at the University of California, San Diego.

13 DR. MILLS: I'm Jim Mills, Pediatric
14 Epidemiology Section, the Child Health Institute at NIH.

15 DR. LAMMER: Ed Lammer, medical genetics,
16 Children's Hospital, Oakland.

17 DR. KODISH: Eric Kodish, pediatric ethics,
18 Rainbow Babies' and Children's Hospital in Cleveland, Ohio.

19 DR. BYRNE: I'm Alan Byrne. I'm an adult
20 psychiatrist from Ireland.

21 DR. MOORE: Cynthia Moore, Centers of Disease
22 Control and Prevention, the Birth Defects and Pediatric
23 Genetics Branch.

24 DR. ADAMS: Jane Adams, Department of
25 Psychology, University of Massachusetts, Boston.

1 DR. BERGFELD: Thank you very much. As you can
2 see, we have a very large group to discuss the issues at
3 hand.

4 We're going to move forward then and go on to
5 the meeting statement by the Executive Secretary, Kimberly
6 Topper.

7 MS. TOPPER: The following announcement
8 addresses the issue of conflict of interest with regard to
9 this meeting and is made a part of the record to preclude
10 even the appearance of such at this meeting.

11 Based on the submitted agenda and information
12 provided by the participants, the agency has determined
13 that all reported interests in firms regulated by the
14 Center for Drug Evaluation and Research present no
15 potential for a conflict of interest at this meeting when
16 evaluated against the agenda.

17 With respect to FDA's invited guests, Drs. Jane
18 Adams, Alan Byrne, James Mills, and Edward Lammer have
19 reported interests which we believe should be made public
20 to allow the participants to objectively evaluate their
21 comments.

22 Dr. Adams would like to disclose that in the
23 past she has participated in two research grants to study
24 Accutane. One was funded by Roche and the other was funded
25 by NIH/NICHD.

1 Dr. Byrne would like to disclose that he has
2 published articles on the subject of Roaccutane.

3 Dr. Mills would like to disclose that he is
4 currently collaborating with Roche on an unrelated research
5 project. He has also written an article and attended a
6 seminar which were unrelated to the particular matters at
7 hand, but sponsored by Roche.

8 Dr. Lammer would like to disclose that in the
9 past he has served as principal investigator on phase I and
10 phase II longitudinal studies of infants exposed to
11 isotretinoin in utero. The studies, sponsored by Hoffmann-
12 LaRoche, were designed to document the developmental
13 toxicities of isotretinoin following inadvertent human use
14 during pregnancies in North America.

15 In the event that the discussions involve any
16 other products or firms not already on the agenda for which
17 an FDA participant has a financial interest, the
18 participants are aware of the need to exclude themselves
19 from such involvement, and their exclusion will be noted
20 for the record.

21 With respect to all other participants, we ask
22 in the interest of fairness that they address any current
23 or previous financial involvement with any firm whose
24 products they may wish to comment upon.

25 Thank you.

1 DR. BERGFELD: Thank you.

2 Our next item on the agenda is to have Dr.
3 Jonca Bull present to us the FDA's position, reprisal of
4 risk management overview.

5 DR. BULL: Good morning. Once again, I would
6 like to begin by extending thanks to the advisory committee
7 and everyone here this morning for their presence, for
8 their sharing of their intellect, their time, their talents
9 in helping us better understand and develop options for the
10 management of certain aspects of use of Accutane.

11 Accutane is a highly effective drug for the
12 treatment of cystic nodular acne. Yesterday we discussed
13 its well-characterized risk profile as a teratogen. Today
14 we will be discussing its risk profile for its uncertain
15 risk for psychiatric adverse events. In 1998, the labeling
16 for Accutane was revised to reflect this concern.

17 I would like, as you deliberate this morning,
18 to revisit, as part of your frame of reference, the
19 presentations yesterday by Dr. Victor Raczowski and Dr.
20 Peter Honig, first, Dr. Raczowski's talk on risk
21 management options and Dr. Honig's talk on lessons learned,
22 particularly the issue of labeling changes, the
23 effectiveness of Dear Doctor letters, the concept of
24 labeling fatigue and that labeling and labeling changes do
25 not necessarily equal knowledge, and that knowledge does

1 not necessarily drive behavior.

2 From a risk management standpoint, this morning
3 we will be addressing risk management and the uncertain
4 risk of psychiatric adverse events, specifically depression
5 and suicide. Is more needed to educate providers and
6 patients and their families? Is more study needed to
7 better characterize and to minimize risk and ensure safe
8 use?

9 This afternoon, for the new formulation, is
10 there sufficient information on its dosing profile for safe
11 and effective use, as well as delineating its relationship
12 to the currently marketed formulation?

13 Once again, we welcome this opportunity for
14 discussion as we learn from your experience, your knowledge
15 and perspectives on these issues. We have asked for and
16 again need your help and advice.

17 Now for an overview of day 2. In presentations
18 this morning, our first FDA discussant will be Dr. Nancy
19 Ostrove from the Division of Drug Marketing, Advertising,
20 and Communication, who will address the topic of medication
21 guides.

22 Following the Roche presentation, the FDA
23 presentations will begin with Dr. Alan Byrne, addressing
24 clinical psychiatric case experiences, followed by Dr. Eric
25 Turner who will talk on drug-induced depression. Dr.

1 Marilyn Pitts will present a case review of dechallenge
2 case reports, followed by Dr. Diane Wysowski who will speak
3 on postmarketing experiences and suicide and depression.

4 Finally, Dr. Kathy O'Connell, along with Dr.
5 Jon Wilkin and Dennis Bashaw, will be discussing the new
6 formulation.

7 In closing, I want to acknowledge and recognize
8 the effort and commitment by FDA's scientists in gathering
9 and analyzing information. This involves both our Division
10 of Dermatology, our Division of Neuropharmacology, and the
11 Office of Postmarketing Drug Risk Assessment. They have
12 done a tremendous job in addressing the complex and
13 difficult issues involved in preparing for this meeting.

14 Thank you.

15 DR. BERGFELD: Thank you very much.

16 We're now going on to Dr. Ostrove's
17 presentation on medication guides.

18 DR. OSTROVE: Good morning. As I believe you
19 all heard yesterday, one of the risk management tools that
20 the agency has to facilitate communications with patients
21 about their medicines, when they receive their medicines,
22 is called medication guide. What I'm here to do today is
23 to give you a really brief introduction to medication
24 guides. However, I think it's important that in order to
25 understand this particular tool, it's also critical to

1 understand the universe of information that is made
2 available to patients.

3 So, patients can get information about
4 prescription drugs both before and after a product is
5 prescribed and dispensed. You're all aware of
6 advertisements -- I believe there was a discussion about
7 them yesterday -- which can run the gamut from being
8 completely promotional for a particular drug to being so
9 non-drug specific that we don't even consider them to be
10 drug advertisements, and we don't even have jurisdiction
11 over them.

12 In addition, drug sponsors make available other
13 promotional materials such as patient brochures or patient
14 booklets. These also can be quite varied in content. They
15 are supplied to health care professionals, often for
16 distribution to patients.

17 Now, even though we regulate the content of
18 these materials if they're, in fact, supplied by the
19 manufacturers, we have no authority to regulate whether
20 they are given to patients. So, that's all voluntary.
21 Sponsors simply make them available either directly to the
22 patients or through the patients' health care providers.

23 Then there is information that's supplied to
24 pharmacies by independent groups that are not affiliated
25 with drug sponsors. There's currently a large scale

1 private sector program, in fact, that is driven by specific
2 distribution and information quality goals that's designed
3 to ensure that patients get useful written information
4 about their prescription medicines at the time that they
5 receive their medicines. Now, these are the kinds of
6 things that you generally will see stapled to the bags that
7 people get their prescriptions in. They are computer
8 generated usually at the point of purchase, or at least at
9 the point of dispensing.

10 Now, there's recent research that we've done
11 that indicates these are fairly widely distributed to
12 patients. So, many patients get this kind of information.
13 However, there's also recent research that suggests that
14 the quality of the information varies considerably,
15 especially in the area of risk disclosure.

16 Now, this kind of information is out there.
17 It's not required to be given out, but there is a private
18 sector program that encourages that to be given out.

19 The second type of information that patients
20 receive when they're dispensed certain prescription drugs
21 is mandated by specific regulations for FDA approved
22 patient labeling. These are also known as patient package
23 inserts, or PPIs. Now, the regulations are out there only
24 for certain products, for instance, for oral contraceptives
25 and for estrogen replacement therapy. In this case, the

1 | sponsor drafts the information. The FDA approves the
2 | information. Because the regulations are different,
3 | there's different format involved, there's different
4 | content involved. These, on the other hand, are required
5 | to be distributed to patients.

6 | However, there are questions that remain about
7 | whether in fact that distribution requirement is being
8 | achieved. For instance, some early research that was done
9 | with oral contraceptives and whether they were being
10 | received by patients, indicated that over 90 percent of
11 | patients were getting the information. These are packaged
12 | in unit-dose packaging. I'll explain that in a minute.
13 | Whereas, another study showed that for estrogen replacement
14 | therapy, which is not packaged in unit-of-use, only about
15 | two-fifths of the patients were getting that information.

16 | Now, when I say unit of use, what I'm talking
17 | about basically is where the product comes kind of
18 | automatically packaged in the amount that the patient is
19 | generally prescribed. You'll see many topicals and many
20 | inhaled medications coming in this kind of packaging so
21 | that the pharmacist doesn't have to repackage it. It
22 | doesn't go into little amber bottles. They just slap a
23 | label on it and give it to the patient. This is basically
24 | something that is required in Europe but is not required in
25 | the United States.

1 Now, a second type of FDA approved patient
2 labeling is labeling that is essentially a case where the
3 FDA and the manufacturer agree that patient labeling for
4 the particular product is appropriate. Again, the sponsor
5 drafts it. The FDA approves it. There is absolutely no
6 uniformity in either the format or the content of what goes
7 into the labeling. It really depends on the sponsor and
8 the review division that is reviewing it. Basically it's
9 all over the board. So, there's no consistency, especially
10 in having a uniform format where the patients would be able
11 to find the information specifically that they're looking
12 for.

13 There is also no clear agreement as to whether
14 this information is required to be distributed, unlike
15 where you have a regulation that says, yes, this is
16 required to be distributed. We certainly have anecdotal
17 evidence that indicates that the actual distribution is
18 spotty, again especially when the information is not
19 packaged in unit-of-use packaging along with the product.

20 Now, the third type of information that's the
21 focus here is what are called medication guides.
22 Medication guides came into being relatively recently with
23 a rule that we finalized in 1998 and became effective in
24 June of 1999. This is unlike the products that are covered
25 by specific regulations for patient labeling. This rule

1 was designed to identify products for which patient
2 labeling is critical for safe and effective use. Again,
3 the sponsors draft this information. FDA approves it. It
4 was designed mostly for outpatient products that pose a
5 serious and significant public health concern that the
6 agency believes that the information, as I said, is
7 necessary for safe and effective use.

8 On average, the agency expected that between 5
9 and 10 products annually would need this kind of
10 information.

11 Again, to address the issue of distribution,
12 the rule actually requires that the information be
13 distributed to patients, similar to the oral contraceptive
14 and the estrogen replacement therapy regulations.

15 When would you need a medication guide? The
16 rule basically specifies three circumstances under which a
17 medication guide would be appropriate for a product. One
18 of the circumstances, the first one, would be where
19 basically the information could help prevent serious
20 adverse effects. This is, for instance, where you have
21 warning signs of particular side effects that can be
22 recognized and, if acted upon promptly, can then be averted
23 or the serious consequences can be minimized.

24 For instance, say you have a product that
25 causes constipation that can lead to very serious

1 complications of the constipation. An action can be taken
2 to minimize these complications by, for instance, the
3 patient stopping taking the drug immediately and talking to
4 their doctor. So, this would be one instance where a
5 medication guide would be appropriate.

6 The second type of trigger circumstance would
7 be when the patient needs to know of serious risks that are
8 relative to the benefits of the product that might affect
9 the patient's decision to use the product or to continue to
10 use the product. So, you have a situation here where, for
11 instance, a drug may cause serious outcomes of some sort or
12 even life-threatening outcomes, but the drug itself is used
13 to treat a condition that in itself is not life-
14 threatening. So, the patient needs to know where they fall
15 in terms of determining whether they want to continue to
16 take this product. So, it's kind of along the lines of an
17 informed consent purpose.

18 The third triggering circumstance is where the
19 drug is important to health and patient adherence to
20 directions is extremely critical to its effectiveness. So,
21 for example, the drug doesn't work unless it's taken in a
22 certain way, say, on an empty stomach, and then no further
23 ingestion for another 2 hours, and it's critical to the
24 patient's health, and you don't necessarily even know that
25 it's not working until something drastic happens.

1 So, those are the three circumstances under
2 which a medication guide might be required. In many cases,
3 you end up with a lot of overlap, that you have both 1 and
4 2, for instance.

5 Now, getting down to the requirements. If
6 you've required that a medication guide be distributed for
7 a particular product, what's in that medication guide?
8 Well, the regulation specifies that it must be written in
9 nontechnical, understandable language. So, we're saying it
10 has to be understandable to consumers. It can't be
11 promotional in tone or content. It must be scientifically
12 accurate. It has to be consistent with labeling.

13 Even though it needs to be consistent with
14 labeling, the language does not need to be identical to
15 what's in the professional labeling. In fact, if you think
16 about it, if it was identical to what was in the
17 professional labeling, you'd automatically be going against
18 the fact that it should be written in nontechnical,
19 understandable language for the patients.

20 Also, the information needs to be specific and
21 comprehensive. This is not information that is kind of
22 vague directions for use without explanations because
23 basically if you don't give people a reason for what
24 they're doing, they generally don't do it. A warning is
25 not effective without having the rationale behind it. So,

1 | it needs to be specific and it needs to be comprehensive.

2 | It needs to be at least in 10 point minimum
3 | type because we have concerns about especially older
4 | patients who are taking a lot of drugs who don't
5 | necessarily have the best eyesight, and I have to be able
6 | to attest to that because I'm not even that old, and my
7 | eyesight is definitely failing.

8 | Legible and clearly presented. We're talking
9 | about appropriate use of highlighting techniques. You can
10 | use bolding. You can use italics. You can use
11 | underlining, anything that makes the important information
12 | stand out to the patient.

13 | Basically this is the kind of information that
14 | needs to be in the medication guide, and it's under
15 | headings that are actually specified in the rule. I
16 | haven't given you the headings here because of what I'll
17 | tell you in a couple of minutes.

18 | The first information that the patient gets is
19 | what is the public health concern that created the need for
20 | the medication guide. This is basically in a little
21 | section that says, what's the most important information I
22 | should know? So, if they read nothing else, that is the
23 | information that they get.

24 | They will get also the benefits of treating the
25 | disease and some information about the disease.

1 They will get the information about
2 contraindications and what they should do if any of those
3 apply.

4 What will be included after that is
5 instructions for proper use.

6 Following that is specific instructions, things
7 for instance to avoid while you're taking the drug. For
8 instance, if the drug causes photosensitivity, there will
9 be a very, very clear direction there to avoid being out in
10 the sunlight and the reason for it. Also, if the drug
11 interacts with other products, that will be included. The
12 risks to mothers and fetuses, to children, to older
13 patients would be included in this particular section.

14 Finally, the side effects would be included.
15 Now, not every single side effect from the professional
16 labeling, but the side effects that the patients need to
17 know about will be included.

18 The thing to keep in mind about medication
19 guides is that distribution is required. The manufacturer
20 is responsible for ensuring distribution of medication
21 guides. There are a couple of ways that it can be done.
22 Either they can provide sufficient numbers of medication
23 guides to the dispensers so that every patient will be able
24 to be given one or they can provide dispensers with the
25 means to produce enough. That could be, for instance,

1 through computerization.

2 The distributors are also responsible for
3 passing on medication guides. This is also in the rule.
4 And there needs to be a notation on the container label
5 that there is a medication guide -- this is a notation to
6 the dispenser -- and that this medication guide needs to be
7 given out to the patient.

8 Then finally, as part of the regulation, the
9 authorized dispenser is required to give these out.

10 Now, we do allow some flexibility. We have
11 built in some flexibility to the regulation. For instance,
12 the FDA can exempt a sponsor from any of the requirements
13 of a medication guide that are in the regulation except for
14 two, one being consistency with the labeling and the other
15 being the title, specifically "medication guide."

16 Also, if the prescriber believes there is
17 information in a medication guide that would be deleterious
18 to the patient, then the prescriber can direct the
19 dispenser to withhold the medication guide. However, if
20 the patient says, I would like to have the information on
21 this drug, then the dispenser is required to give it out.
22 So, the patient can override a physician's withholding
23 request.

24 So, in conclusion, medication guides are for
25 products that pose a serious and significant public health

1 concern for which patient labeling, patient information
2 that is approved by FDA is necessary for safe and effective
3 use. They provide a uniform format and content to
4 facilitate patients being able to find the information that
5 they need to use the drug safely and effectively, and they
6 are required to be distributed to patients.

7 Thank you.

8 DR. BERGFELD: Thank you very much. Dr.
9 Ostrove, before you leave, if I could ask a question or so.

10 DR. OSTROVE: Sure.

11 DR. BERGFELD: This has been enacted
12 essentially one year, and what is the FDA's experience with
13 the distribution and the monitoring of the distribution?

14 DR. OSTROVE: We have very little experience at
15 this point.

16 One concern, obviously, is going to be
17 distribution and monitoring. There are a couple of ways to
18 look at that. One way is to put in place a compliance
19 program to go out and see whether this is being
20 distributed. Another way to deal with it, of course, is by
21 ensuring that the drug is packaged in unit-of-use, in which
22 case the medication guide is already in there, and
23 prescribers don't generally take out the information if
24 something is in unit-of-use. They just give it to the
25 patient. So that way you're almost assured that the

1 | patient is going to get it. Almost.

2 | DR. BERGFELD: The second question I have is
3 | how many drugs does this now apply to?

4 | DR. OSTROVE: Currently this applies to two
5 | products.

6 | DR. BERGFELD: And they are?

7 | DR. OSTROVE: One of the products is Lotronex,
8 | alosetron, which is used to treat -- I've forgotten what
9 | it's used to treat.

10 | VOICES: Irritable bowel syndrome.

11 | DR. OSTROVE: Oh, everybody here knows. This
12 | is very good.

13 | (Laughter.)

14 | DR. OSTROVE: It's actually the example I gave
15 | with regard to serious complications of constipation.

16 | The other drug is Ziagen, abacavir, which is
17 | used in HIV treatment and causes a serious hypersensitivity
18 | reaction. If the patient is rechallenged with the product,
19 | takes it again after having the hypersensitivity reaction,
20 | they can die within hours.

21 | DR. BERGFELD: Now, the third question then and
22 | my last question is if there are only two drugs that are
23 | now on your current list to have this medication guideline
24 | apply to it, are there other drugs, foreseeable in the
25 | future, that would have this?

1 DR. OSTROVE: Yes, there are, but I'm not at
2 liberty to say what they are at this point.

3 DR. BERGFELD: But you will be unfolding those
4 over time.

5 DR. OSTROVE: Yes, we will.

6 DR. BERGFELD: Thank you.

7 DR. OSTROVE: Thank you.

8 DR. BERGFELD: Yes, Dr. Branch.

9 DR. BRANCH: What are the implications within a
10 hospital practice of distribution of this information?
11 Because I've not heard of any mechanisms that have been
12 introduced into hospitals to make sure that the patient has
13 their informed consent or the information for this.

14 DR. BERGFELD: Is that just a statement of
15 fact, or are you asking for a request of information?

16 DR. BRANCH: I'm asking. If a patient is an
17 inpatient, is there the same obligation for distribution of
18 information to that patient?

19 DR. OSTROVE: Generally it was envisioned that
20 medication guides would be primarily for outpatient use,
21 but I think that conceptually, yes, they would be under the
22 same obligation to give that information to the patient.
23 The products for which medication guides have been required
24 by the agency at this point have all been outpatient
25 products.

1 DR. BERGFELD: Yes, Dr. Greenhill.

2 DR. GREENHILL: Just a simple question. What
3 is the mechanism for reviewing the applicability of a
4 medication guide to a product? Does it come from the
5 sponsor? Does it come from FDA? Does it come from the
6 public? What is the method or the chain of events that
7 might lead to that being included as part of the review?

8 DR. OSTROVE: The regulation envisions that the
9 FDA would notify the sponsor in writing of its
10 determination that a medication guide is necessary.
11 Certainly the FDA is always open to hearing from the
12 public. There's the whole citizen petition route, and in
13 fact we have gotten citizen petitions on this matter.

14 Does that answer your question or was there
15 more to it? I'm sorry.

16 DR. GREENHILL: Is this a standard part of
17 every review when there's potentially serious adverse
18 events? I don't understand how it might be introduced in
19 the review process.

20 DR. OSTROVE: Okay. That's a very good
21 question actually, that piece of it as well. This is
22 something that all review divisions have been educated
23 about, and they are aware of the mechanism. So, in doing
24 their reviews, at a relatively early stage, they ought to
25 be looking at whether, in fact, the product, its benefits

1 and its risks, is one for which one of the triggering
2 circumstances would apply and discuss that. In fact, they
3 would then bring that up to a higher level within the
4 center, and there is a coordinating committee at this point
5 that looks at whether in fact a medication guide is
6 appropriate. So, this is not the kind of rule that simply
7 will be applied haphazardly.

8 DR. BERGFELD: Seeing no other questions then
9 from the committee, we'll move on to the specifics, the
10 Accutane associated psychiatric events. Roche is going to
11 present. Dr. Russell Ellison is the leader, and he will
12 begin.

13 DR. ELLISON: Thank you, Dr. Bergfeld and
14 members of the advisory committee and FDA. We're pleased
15 to be able to be here to put the psychiatric events
16 associated with Accutane into perspective.

17 In February 1998, the product labeling was
18 changed from a listing of adverse events to a bolded
19 warning, which was based on a review of spontaneous reports
20 of psychiatric events with Accutane. Basically we had a
21 signal which had yet to be confirmed.

22 From February 1998 up to really now, Roche has
23 been very diligent in trying to evaluate and trying to
24 confirm this signal. We had a pharmacoepidemiological
25 analysis of reports conducted by Dr. Robert Nelson, who

1 will present this to you, which is to put the individual
2 reports into the context of science and medicine.

3 We had a clinical review of reports of suicides
4 and related events conducted by Dr. Jacobs because this
5 does need a special clinical rigor in evaluating these
6 cases.

7 We also conducted two retrospective
8 epidemiological cohort studies in two very large databases
9 which are very often used to evaluate safety signals, the
10 Uk General Practice Research Database and the Saskatchewan
11 database.

12 Finally, in parallel with this, we re-reviewed
13 the biological and clinical literature.

14 We believe that the evidence from these
15 investigations does not support a causal association
16 between Accutane and psychiatric events, including suicide.
17 That is, the signal has not been confirmed by these
18 investigations.

19 We also believe that these investigations have
20 revealed and shone a light on the fact that patients with
21 acne, depending on age, gender, and prior history, come
22 from a cohort that may be at high risk for concomitant
23 psychiatric illness. We believe that this has led to the
24 opportunities to improve the overall medical impact of
25 dermatologic practice.

1 After my introduction, Dr. Jacobs will review
2 the clinical context overall for the evaluation of
3 psychiatric events and Accutane. Dr. Nelson will review
4 with us his pharmacoepidemiological evaluation of
5 spontaneous reports. Dr. Jack McLane, our Director of
6 Medical Science and Safety, will review the epidemiological
7 studies and the biological issues associated with this, and
8 I will follow up with a brief discussion of risk management
9 options.

10 Thank you. Dr. Jacobs?

11 DR. JACOBS: Good morning, members of the
12 advisory committee and the FDA. I'm a psychiatrist and
13 suicidologist. I have been studying the problem of suicide
14 since 1972 when my very first patient attempted suicide in
15 a hospital by hanging himself, only to be saved by another
16 patient.

17 My work has included using principles of a
18 psychological autopsy in reviewing over 300 suicides on an
19 intensive basis. I've also developed National Depression
20 Screening Day, which is the first ever national program for
21 screening for mental disorders.

22 I will first present a clinical context for
23 understanding the psychiatric conditions presented in the
24 MedWatch reports, specifically suicide and depression,
25 first in the general population, then in the major Accutane

1 population, ages 15 to 24.

2 I will then discuss the dual use of the term
3 "depression" as an illness versus depression as a symptom,
4 particularly as it applies to medications.

5 Dr. Nelson will then present an epidemiologic
6 analysis.

7 I will then return with a clinical analysis of
8 the suicide reports using principles of a psychological
9 autopsy.

10 In terms of suicide, I'll provide an overview
11 of the clinical and demographic correlates, the clinical
12 features of suicide, suicidal behavior, as it relates to
13 the spontaneous reports, addressing some of the
14 definitional issues, then talk about depression illness
15 versus symptoms, depression's relationship to suicide, and
16 then go into depression/suicide in the Accutane population
17 addressing the epidemiologic risk factors and the
18 diagnostic issues.

19 In understanding suicide, it is important to
20 understand that it is a multifactorial event. No one
21 factor causes suicide. Over 90 percent of persons who
22 commit suicide are reported to have a major psychiatric
23 illness. 70 percent have comorbidity, particularly with
24 substance abuse.

25 The factors that go into suicide include:

1 | severe medical illness; personality traits; access to
2 | weapons; features of hopelessness; the relevance of family
3 | history not just from an environmental standpoint, but also
4 | from a genetic standpoint; life stressors; suicidal
5 | behavior, its relevance, impulsiveness; and neurobiology.

6 | In terms of Accutane, I've examined from a
7 | clinical perspective, psychiatric illness, neurobiology,
8 | impulsiveness, and suicidal behavior.

9 | You see up here in the corner, I have "no
10 | apparent psychopathology." This is particularly important
11 | for understanding the MedWatch reports, specifically in
12 | young people. I will address that further when I talk
13 | about suicide in young people.

14 | This is U.S. data. The incidence of suicide
15 | has remained about the same for the past 10 years. We have
16 | seen a slight drop, but the basic incidence is that there
17 | are about 30,000 suicides per year. 80 percent of suicides
18 | are in males, and it is the third leading cause of death in
19 | young people, representing 20 percent of suicides,
20 | approximately 6,000 per year.

21 | In terms of the clinical features of suicide,
22 | suicide is associated with severe depression. The majority
23 | are not in mental health treatment. 75 percent have seen a
24 | physician in the previous six months. As I mentioned
25 | earlier, no one factor is predictive of suicide. 60

1 percent of persons suicide on the first attempt, and no
2 medication has ever been proven to cause suicide.

3 If we apply these two areas to the profiles of
4 suicides in the Accutane reports, we see that 80 percent of
5 the suicides were in males. The majority were not in
6 mental health treatment. 75 percent had seen a physician
7 in the previous six months, and the suicides that occurred
8 were primarily on the first attempts.

9 In terms of suicidal behavior, suicidal
10 ideation, which again is listed in many of the reports --
11 and there are two primary definitions. There is the non-
12 specific, which includes thoughts of death, and then the
13 specific, which is the more serious and how we look at
14 suicidal ideation. That's not only the thought of death,
15 but it's the thought of death accompanied by an intent to
16 die with a plan.

17 The prevalence of suicidal ideation is about
18 2.6 percent, and that's from NIMH data.

19 Thoughts of death, which occurs approximately
20 in 28 percent of the population, obviously is 10 times the
21 incidence and, in my view, appears a lot in the case
22 reports.

23 Suicide attempts. Much more difficult to
24 study. The basic distinction is self-destructive behavior
25 that is accompanied by suicide intent versus self-

1 destructive behavior that is reported regardless of intent
2 to die. This has particular relevance in the young
3 population. In the general population, there are reported
4 to be approximately 18 to 1 in terms of attempts per
5 completion. In the young population, anywhere from 100
6 attempts to 1 completion. Many more self-destructive
7 behaviors, the intent much more difficult to establish.

8 In trying to put suicidal behavior into some
9 perspective in terms of suicide completers, we believe
10 that there are approximately 5 million people who have
11 serious suicidal ideation. The majority do not go on to
12 attempt suicide, and a very small group, less than .6
13 percent of people who experience suicidal ideation go on to
14 complete suicide.

15 Depression is a prevalent disorder.
16 Approximately 12 percent. Again, these are U.S. figures.
17 Approaching about 20 million people. Depression is under-
18 diagnosed and under-treated. Only 20 percent of persons
19 with a recent episode get treatment; 40 percent lifetime.
20 20 percent of persons with depression appear in general
21 medical practices, 50 percent of whom are undiagnosed.
22 Therefore, in terms of the MedWatch reports, it would not
23 be unusual for a person to be either currently depressed or
24 in the beginning of a depression and appear in a
25 dermatologist's office.

1 There has been a decreased age of onset since
2 World War II, and the male/female ratio is 1 to 2.

3 It is important again, in understanding the
4 MedWatch reports, to understand the distinction between
5 depression as an illness versus depression referring to
6 depressive symptoms, "the blues." When we talk about
7 depression, we're talking about an illness, a syndrome. In
8 the DSM-IV, it is five of nine criteria. There's severe
9 social impairment. The symptoms have to last for two weeks
10 or more. It is an episodic and persistent illness. The
11 symptoms not only have mood, loss of interest, biologic
12 symptoms, including sleep, energy, appetite, but cognitive
13 symptoms, including feelings of worthlessness, drop in
14 self-esteem, and the most serious, thoughts of death and
15 suicide.

16 Whereas, with the blues, which are a normal
17 reaction to life events, the prevalence is twice as much,
18 about 25 percent. The symptoms are singular, usually
19 affecting mood. They're temporary. They rarely produce
20 suicidal thoughts and usually time will heal or a good
21 listener, where the illness of depression requires medical
22 and psychiatric treatment.

23 The issues of medication and depression. I put
24 depression in quotes because again we're dealing with the
25 dual use of the term. The question, are medications that

1 are reported to cause depression, which are approximately
2 100, associated with diagnosed depressive disorders or
3 depressive symptoms?

4 The majority of medications that are reported
5 in the literature come from case reports. When there have
6 been attempts to look at these medications from empirical
7 evidence, the reports are inconclusive. Beta-blockers are
8 the major example. There have been multiple case reports
9 of beta-blockers "causing depression." When one looks at
10 the literature, the literature is inconclusive and, in
11 fact, states that they are not associated with clinical
12 depression. If they're associated with anything, they're
13 associated with depressive symptoms. The clinical
14 significance of depressive symptoms is minimal.

15 Adolescent depression. Dr. Nelson will talk
16 about the prevalence, but to state that adolescent
17 depression is a prevalent disorder, its features make it
18 particularly difficult to diagnose, including increased
19 moodiness, irritability, argumentativeness, increased self-
20 criticism, increased talk of death and dying, and threats
21 of suicide. Normal adolescents often appear moody,
22 frequently argue. The symptoms of depression overlap with
23 traits of normal adolescents. Parents, teachers, and other
24 adults label it troubled teenage behavior.

25 I've bolded this last item because this again

1 is relevant to the MedWatch reports. Adolescents conceal
2 symptoms from parents and caregivers, which makes it
3 particularly difficult in understanding depression and
4 specifically suicide.

5 In terms of the relationship to stressful
6 events, depression can occur in adolescents and in adults
7 50 percent of the time with a stressful event, 50 percent
8 of the time without.

9 Here's a chart that looks at the suicide rate
10 for all persons compared with the suicide rate for persons
11 aged 15 to 24 from 1900 to 1995. I've highlighted 1950 and
12 1980 because since 1950, from 1950 to 1980, the suicide
13 rate has tripled in the young population, ages 15 to 24,
14 from 4 per 100,000 to 12 per 100,000. As of 1995,
15 unfortunately, the suicide rate in young people has
16 exceeded the suicide rate in the general population.

17 In applying the model that I showed earlier to
18 adolescents, there are specific issues. If we look at the
19 age-specific stressors, that is where severe acne comes in
20 in terms of its impact on self-esteem, but other issues in
21 young people are academic problems and the role of a
22 disciplinary crisis and humiliation. But in order to
23 understand suicide in young people, we have to look at
24 exposure to suicide, conduct disorder, access to weapons.

25 Now, again, no apparent psychopathology. We

1 believe that about 5 to 10 percent of young people on the
2 surface, when one hears about a suicide, there is no
3 apparent psychopathology. It is not uncommon to read
4 newspaper stories where the suicide occurred out of the
5 blue.

6 But if one conducts a psychological autopsy and
7 looks at these suicides, we see that there was subsyndromal
8 psychopathology. There was a past history of suicidality.
9 There was a familial psychiatric disorder. There were
10 legal/disciplinary problems, and there was presence of a
11 firearm. The important point here is that one cannot
12 understand these particular suicides unless one conducts a
13 psychological autopsy.

14 I will now turn the podium over to Dr. Nelson
15 who will present an epidemiologic analysis and then I will
16 return with my suicide review.

17 DR. NELSON: Thank you, Dr. Jacobs. Madam
18 Chairman, committee members, Dr. Woodcock, and former FDA
19 colleagues, good morning. I'm pleased to be here to
20 discuss this important issue.

21 Over the 18 years of Accutane marketing, there
22 have been many reports received through spontaneous
23 reporting systems, which describe psychiatric symptoms or
24 disorders in temporal relationship to the administration of
25 Accutane in patients with severe acne. Reviews of these

1 | issues were conducted in the past, and the current labeling
2 | reflects the most recent understanding of the regulators.

3 | Last year I was commissioned by Roche to review
4 | these reports as a pharmacoepidemiologist. My full report
5 | was submitted to the agency earlier in this year, and a
6 | copy of the main body of the report, without its 20
7 | appendixes, is included in the materials that the committee
8 | received as appendix 13. By nature and necessity, this
9 | presentation will skim over most of the analyzed data and
10 | detail contained in the full report.

11 | This is the presentation overview. I'll follow
12 | this format.

13 | The objectives are to determine the nature and
14 | the extent of any relationship between Accutane therapy and
15 | psychiatric morbidity, to describe the types of reported
16 | psychiatric disorders, identify all associated risk
17 | factors, assess the magnitude of those identified risk
18 | factors, and to evaluate causality within a
19 | pharmacoepidemiologic framework.

20 | The overall method of risk definition, when
21 | utilizing observational data from these spontaneous
22 | reporting schemes, is to identify a signal, attempt to
23 | confirm that signal in external databases, then if
24 | confirmed, strive to quantitate that confirmed risk.

25 | Unlike an experimental setting, these three steps require

1 different logic and the use of different data sources for
2 their proper execution.

3 The methodology I used is on this slide. An
4 extensive literature review, of course, was an important
5 component of this review. This is a complex area, as Dr.
6 Jacobs showed you in his multifactorial slide. Over 250
7 citations were used in this report, extensive review of the
8 etiology and epidemiology of psychiatric conditions,
9 including suicidal behavior. And I tried to conceptualize
10 and propose the interrelationships between the various
11 factors in this model.

12 I reviewed the spontaneous reports to evaluate
13 them for category, quality, and content, and to determine
14 the value of spontaneous reports in explaining the proposed
15 relationships that were developed during the literature
16 review.

17 Then I reviewed the epidemiology and conducted
18 some epidemiological analyses to evaluate the relative
19 likelihood of all the risk factors identified and to derive
20 the relevant conclusions.

21 Dr. Jacobs already provided a summary of the
22 literature review that's in the report, so I'll move right
23 on to the spontaneous report review.

24 Spontaneous reporting systems were designed 35
25 years ago to identify new, unusual, serious, and rare

1 reactions. These reports are anecdotal, and when they are
2 from health professionals -- and not all of them are --
3 they are an index of suspicion of clinical observation.

4 In the United States, most of these reports are
5 received first by the manufacturer and placed into a
6 corporate database by something known as the reporter term.
7 That's an extraction of the verbatim language in the
8 reports and matched to a coding dictionary to file it away
9 in a database. Roche uses the coding dictionary of WHO-ART
10 currently. So, what I did in my review is look at all the
11 psychiatric cases within the WHO-ART system organ class,
12 which included every report that Roche had in their
13 database.

14 It's important to understand that these
15 reporter terms are filing terms and not necessarily
16 analytical terms. To understand this concept of the
17 reporter term is key because if the reporter is a physician
18 or a parent, the verbatim language is used. For example,
19 if a physician calls a particular episode "major depressive
20 disorder" that gets coded as depression. If a parent says
21 my son was depressed, that also gets coded as depression.

22 I took the reports in the Roche database and I
23 organized them by the eight functional categories outlined
24 in the DSM-IV. I reviewed each and every report that was
25 received by Roche between 1982 and a data lock point of

1 April 30, 1999, and I reviewed all the reports worldwide,
2 not just those in the United States.

3 Reports of psychiatric events represent
4 approximately 9 percent of all the reports received for
5 Accutane, and the vast majority of these reports were, as
6 you can see here, for mood disorders or symptoms. 53
7 percent fell under the collective DSM-IV umbrella of mood
8 disorders. Now, because I put them under there, doesn't
9 mean that they were all mood disorders. They were
10 descriptions, whether they be symptoms or diagnoses that
11 fit under that general umbrella for categorization
12 purposes.

13 We focused in the review on mood disorders,
14 psychotic disorders, and suicidal behaviors because those
15 are the three entities that are in the current labeling.
16 The details of the terms I excluded are in the report.

17 Now, when you try to assess the relationship
18 between a drug and an adverse event, there are eight
19 reasons that you go through intellectually to sort them
20 out.

21 The first, obviously, is the temporal
22 association.

23 You then look to see if there's a dose-response
24 event occurring in these cases.

25 You look for a dechallenge. That is, if the

1 drug is removed, do the symptoms abate? You look for
2 rechallenge. If the symptoms have abated and you
3 reintroduce the drug, do the symptoms come back? When you
4 have a positive dechallenge and a positive rechallenge in a
5 spontaneous report, that is usually considered your
6 strongest evidence of a causal association.

7 You also look to see if there's a mechanism of
8 action that's known and understood and plausible. Although
9 biological plausibility is not necessary, it is helpful to
10 have one.

11 You look at class effects. Are there other
12 drugs in this pharmacological class that have reports or
13 hopefully better evidence for a causal relationship?

14 Then, of course, you look for alternative
15 explanations. If you have no reasonable alternative
16 explanations and many of the items on this chart, you have
17 a very good case for causality.

18 So, you look at the individual reports. You
19 assess their coded reporter term. You assess the quality
20 of the data, consistency of the data, including all the
21 data elements, including things like onset and offset. You
22 relate the reports to the seven reasons I had on the prior
23 slide. You group like reports and you review them as a
24 case series for content and consistency, and then you make
25 your causal assessments.

1 This is a very important slide to understand.
2 When spontaneous reports are well-documented and for rare
3 adverse reactions that have background rates that are low,
4 spontaneous reports yield their most defensible data.
5 Spontaneous reports are of very diminished value when the
6 outcome has a common background rate. Spontaneous reports
7 can be a very powerful tool. However, they can also be
8 misunderstood and abused as a tool. Spontaneous reporting
9 was never intended and cannot be used as a quantitative
10 tool and has little interpretative value when the natural
11 history of the outcome is common.

12 Again, I reviewed and analyzed and evaluated
13 all the reports, and there are 100-plus pages of detailed
14 analysis in the submission. Having said that, I'm going to
15 go right to the results of what I found.

16 There were 1,247 total reports under the DSM-IV
17 domain of mood disorder. Of those reports, there were 367
18 that had a dechallenge that was positive. What I'm trying
19 to do here is boil down and find the best cases amongst
20 them. Of that 367, I identified 23 cases that had both a
21 positive dechallenge and a positive rechallenge. As I
22 defined before, these are the best cases. 37 of that 367
23 had a diagnosis for a mood disorder subsequent to the
24 exposure. The rest of them did not have a diagnosis, and I
25 used that term very conservatively. Whether a physician

1 reported a diagnosis or whether a treatment was given, I
2 considered that a diagnosis.

3 Most of these cases, especially the 23 here,
4 are very strong, and I'm sure that the clinician that
5 reported them was convinced that these were a cause-and-
6 effect relationship, and when you review them at the
7 individual case level, that's your conclusion.

8 What I want to show here, because subsequent to
9 the report, FDA had given us a list of additional reports
10 that they considered to fall in this category, so we
11 created a master list of the original 23 and the unique
12 ones that FDA had sent. Some of the differences accounted
13 for -- some of them came indirectly to FDA through the
14 MedWatch. They also used a one-year-later data lock point.
15 So, that explains some of them, and the other ones were
16 just a difference in judgment because, again, this is an
17 interpretation of a spontaneous report.

18 I make this slide just again to illustrate the
19 point that while most of them are from health
20 professionals, only 4 out of the 34 cases had a formal
21 diagnosis. The rest were most likely reports of just
22 symptoms.

23 Without going into detail, I'll tell you -- and
24 you'll hear a little bit about it this afternoon -- that
25 these dechallenge and rechallenge cases, both levels, have

1 | tremendous amount of diversity and inconsistency. I could
2 | find no pattern of data within them.

3 | So, what my summary is from the review of the
4 | individual cases is that at the individual case level, a
5 | small number -- and whether that's 23, 34, or 41 -- you'll
6 | hear different numbers this afternoon -- the conclusion is
7 | the same. At the individual case level, a small number of
8 | cases imply a causal association between depressive
9 | symptoms and/or mood disorders and Accutane. This
10 | conclusion is consistent with the reviews you'll hear from
11 | FDA this afternoon.

12 | Psychotic disorder results. I'm going to go
13 | through this a lot briefer. There were 120 total reports
14 | under the DSM-IV domain of psychotic disorder. 20 of those
15 | had a dechallenge. 5 had a positive rechallenge and
16 | dechallenge. 3 of the 20 had a diagnosis. Of the other
17 | reports of lesser quality, there were 9 additional
18 | diagnoses, for a total of 12 diagnosed cases out of the
19 | 120. When I reviewed each of them in a case series, I can
20 | find no consistency on any of the parameters.

21 | So, my conclusion from a review of these
22 | reports is that, again at the individual case level, there
23 | are at least 3 -- at least 3 -- that imply a causal
24 | association between the described psychotic disorder and
25 | Accutane administration.

1 Suicidal behavior reports. Here I've included
2 both suicide attempts and completed suicides. Suicidal
3 ideation is under DSM-IV as a depressive case.

4 There were a total -- and this is worldwide
5 total -- of 168 reports before the data lock point. 104
6 were attempts; 64 were completed suicides.

7 Overall, the suicide reports were poorly
8 documented, and none had anything close to a psychological
9 autopsy performed. I could find no relationship amongst
10 the data in these reports and no dose relationship. The
11 male/female ratio is 5 to 1 for the completed suicide
12 reports. The ratio is quite different for the attempts.

13 In summary, I could find none of these 168
14 reports that imply a direct causality. Let me explain to
15 you a minute what I mean by direct causality. It means
16 that it does not include an intermediate stage of a
17 psychiatric disorder. So, none of them implies a direct
18 causality between suicidal behavior and Accutane
19 administration at the individual case level.

20 Dr. Jacobs will come back in a few minutes to
21 go into those cases in detail, as he said before.

22 What I'm going to do now is go into the
23 epidemiology and the epidemiological analysis. What I need
24 to do is set the stage a little bit over the next few
25 slides. I'm going to focus in on the age group 15 to 24.

1 That's the modal age group. That's where about 70 percent
2 of the Accutane users are, including 85 percent of the
3 males and 55 percent of the females. I'm going to focus in
4 on that group because that's where my comparator data are
5 and I want to have like cohorts for those comparisons
6 because I'm going to assess these cases in light of the
7 natural history of the disease.

8 From the National Comorbidity Study here in the
9 United States, I will take the 1-year prevalence, 12-month
10 prevalence, of major depressive disorder and use that in my
11 analysis. Now, I'm going to be very conservative. From
12 here on in, I'm going to use major depressive disorder.
13 I'm not talking about the symptoms. I'm going to focus
14 down for comparisons on both the case level and the natural
15 history level on major depressive disorder. Very
16 conservative.

17 Again, these data are from the National
18 Comorbidity Study which is considered the best
19 epidemiological study on psychiatric morbidity. These are
20 U.S. data only at this point because that's a U.S. study.

21 Notice the male to female ratio of 1 to 2.

22 I need to set up the slide for you because this
23 is very important to my argument. We take the cohort of
24 Accutane exposed individuals in the United States. Roche
25 has estimated that to be, at the time of this study, 3.2

1 million individuals. I take 70 percent of that to create a
2 cohort of 2.5 million individuals in this age group that
3 have received Accutane over the last 18 years.

4 The specific calculations for all these charts
5 are on page 42 of my submission in appendix 13, if you have
6 any specific questions about how I got these numbers and
7 what calculations I used.

8 If at time 1 you have a 2.5 million person
9 cohort that has not yet received Accutane, what would you
10 expect as far as psychiatric morbidity related to major
11 depressive disorder only at that time? What you would
12 expect, based on the epidemiological evidence, is 152,000
13 individuals with current active major depressive disorder.
14 You would expect an additional 240,000 individuals of that
15 2.5 million cohort to have a history of major depressive
16 disorder but not actively symptomatic at time 1. The vast
17 majority of the individuals have no history and no active
18 disease, 2.1 million.

19 I'm going to take these three sub-cohorts and
20 pass them through a 6-month exposure to Accutane. I know
21 that most of them get it 4 months. We used 6 months to
22 have a good comparator. What happens? Of the 152,000 with
23 current disease, no change. In other words, disease will
24 go the entire 6-month period in about 30,000, 20 percent.
25 You would expect 80 percent of those cases, since

1 depression is a cyclical disease, to abate. But 35 percent
2 of those that have already abated would have reoccurred in
3 that 6-month time period, to give you an additional number
4 of 42,700 at time 2.

5 Of those with a history of disease, but no
6 active disease at time 1, passed them through the 6 months,
7 and you would expect again a 35 percent reoccurrence of
8 disease, to give an incidence of reemergence of symptoms
9 here of 84,000 from this subgroup.

10 Of the 2.1 million that have no history and no
11 active disease, using an incidence rate of 1.2 percent, you
12 would expect a true incidence of 25,290 to have occurred
13 first time within that 6 months. These are amazing
14 numbers.

15 You add these together, and at time 2 you have
16 a prevalence of 182,500 with a total incidence -- new
17 incidence, plus all reoccurrence of disease -- of 152,000
18 cases. That means that during the 6-month period of
19 exposure to Accutane for these 2.5 million individuals,
20 152,000 had a reemergence of major depressive disorder.

21 Now, how does that relate to the cases? If you
22 take the new incidence of 25,000 and contrast it to the
23 newly diagnosed cases in the database -- and again, the 102
24 is going to be very conservative. When in doubt, I put it
25 in -- you get at most 102. When you contrast the total

1 incidence of 152,000 to all the cases in the database that
2 could be considered new incidence or reoccurrence, this is
3 the contrasting figures. These are the cases, the vast
4 majority of them which were not, by any stretch, a
5 diagnosable disease. They were symptoms.

6 Now, take the 152,000 and let's populate a
7 scatter plot where you have the y axis being the quantity
8 of cases, the x axis being time to onset or offset or re-
9 onset or re-offset, and then the z axis, the dose. This is
10 an illustration. This is not data-driven. This is an
11 illustration. Please understand that because we don't have
12 the data on 152,000. But it's an illustration to prove the
13 point that on a dose duration matrix, every one of the
14 possible data points have hundreds, if not thousands, of
15 cases that can explain what you see on clinical observation
16 regarding onset, offset, or rechallenge.

17 So, every onset and offset -- in other words, a
18 positive dechallenge or positive rechallenge seen in the
19 spontaneous reports -- could be accounted for by hundreds
20 of background cases of this cyclical disease. Therefore,
21 given this extreme density, it is difficult to value even
22 the most rigorous individual case report. It is very easy
23 to be misled by coincident individual clinical observation
24 here.

25 In addition to that very high background rate

1 of disease and even higher background rate of symptoms,
2 there are a number of very substantial risk factors that
3 need to be taken into consideration in this population.
4 These data that I'll present now are again U.S. data from
5 the U.S. Substance Abuse and Mental Health Administration's
6 National Household Survey.

7 For this age group -- and we're still talking
8 here U.S. -- that survey estimates that 7.2 percent of
9 these individuals will be heavy alcohol users. That's
10 defined in the survey as 5 or more ounces of alcohol 5 or
11 more times a month, substantial alcohol consumption.
12 That's a cohort of about 180,000 from the 2.5 million.
13 Approximately the same number will be illicit drug users.

14 Assuming extensive comorbidity, combined
15 alcohol and drug abuse, you can estimate that to be about a
16 quarter of a million or about 10 percent of the total
17 exposed cohort of young Accutane patients would be expected
18 to either be a heavy alcohol user or a substance abuser or
19 both.

20 So, you put these alternative risk factors
21 together and you have a quarter of a million alcohol/drug
22 abusers amongst that cohort. Many of these abusers, of
23 course, are comorbid with the 152,000 incident cases of
24 mood disorder. So, you have a total number of persons in
25 this cohort with some form of DSM-IV disorder that could be

1 up to 20 percent or one-half million individuals.

2 Psychotic disorders. I'm going to do this one
3 real quick. You would expect in that cohort that we've
4 been examining a prevalence of about 1 percent, possibly
5 less. A male/female ratio, unlike depression, is 1 to 1.
6 These are basically schizophrenic patients, but given the
7 amount of exposure, you would expect about 25,000 cases,
8 prevalence.

9 In the older group, you have more prevalence.
10 This is a figure with the age and gender adjusted
11 distribution of Accutane users. You would expect an
12 additional 14,000. If you add the covariates of
13 alcohol/drug abuse and age and gender adjust them, you
14 would get an additional 4,000. Assuming some comorbidity,
15 of course, you can estimate that of that 2.5 million person
16 cohort, you would have expected 40,000 prevalent cases of
17 psychotic disorder. We had in the case reports, 120 case
18 reports, 12 of which were diagnosis.

19 Suicidal behavior. My first chart here is from
20 a reference by Beautrais, et al. in 1996. I put it up to
21 show you some empirical data. These are suicide attempts
22 and the relationship of psychiatric disorders to suicide
23 attempts. This is just for females, this part of the
24 chart, under 30, over 30. You'll see odds ratios here
25 ranging from 21 to 58, depending on age of the female for

1 mood disorder. That's interpreted if you have a mood
2 disorder, you are 21 to 58 times more likely to have a
3 suicide attempt than if you did not have a mood disorder.
4 That's an attributable risk of about 80 percent, and it's
5 brought up here to show that -- and then you add the other
6 psychiatric conditions. Suicidal behavior without
7 depression or psychiatric morbidity is rare.

8 Take the cases that were in the database and
9 compare them to their comparable national estimates. There
10 were 64 cases in the database. I take the 38 that were
11 from the United States for this analysis. All I've done
12 here is take the death data from the National Center for
13 Health Statistics, 1997 mortality data, and I age and
14 gender adjusted them and spread them along the bottom part
15 of this chart and called them "expected suicides," and then
16 took the cases in the database, the 38 U.S. cases, and put
17 them across age and gender on the top.

18 If you didn't know the context, you would see
19 38 cases and you would comment that that possibly is an
20 excessive amount. What you see is you expect nearly 400
21 cases in that cohort of individuals. Suicide is rare but
22 it does occur. So, there's no excess in the reports in the
23 database, and you can see the gender and age distribution
24 to be very representative of what would be expected. My
25 conclusion by looking at these case reports and looking at

1 these kinds of data is that it's very likely that these
2 reports are a sample of what would be expected.

3 Conclusions. There are a small number of
4 reported cases that imply causality between depressive
5 symptoms or mood disorders and Accutane administration at
6 the individual case level. There's no question there's a
7 signal.

8 However, an assessment in the context of
9 natural history and alternative risk factors provides
10 strong evidence that the described symptomatology and
11 disorders are much more likely to be associated with
12 factors other than Accutane. So, I failed to confirm that
13 signal.

14 Unfortunately, analysis with these kinds of
15 data do not allow any potential risk factor to be
16 completely ruled out no matter how unlikely it may appear.

17 My conclusions for psychotic disorders. Again,
18 there are a very small number of reported cases that imply
19 causality between the described psychotic disorder and
20 Accutane administration at the individual case level.
21 However, an assessment in the context of natural history
22 and alternative risk factors provides strong evidence that
23 the described symptomatology and the disorders are much
24 more likely to be associated with factors other than
25 Accutane. Unfortunately again, these type of data do not

1 allow any potential risk factor to be completely ruled out
2 no matter how unlikely it will appear.

3 Conclusions for suicide. There are no reports
4 amongst these 168 reviewed that imply a direct causal
5 relationship between the administration of Accutane and
6 suicide or suicidal behavior. An assessment in the context
7 of the natural history and alternative risk factors
8 provides strong supporting evidence -- the evidence is not
9 as strong as in depression -- that the reported cases are
10 much more likely to be due to the factors other than
11 Accutane.

12 Dr. Jacobs will return in a minute to give you
13 a detailed clinical evaluation of these cases because
14 that's where the real strength lies.

15 My overall conclusions. Given no clear
16 biological plausibility, no consistent pattern in the data
17 that I reviewed, complex environment of background
18 symptoms, very high background rates of disease, very high
19 background rates of alternative risk factors, I conclude
20 that there is no evidence in these data to support a causal
21 relationship between Accutane administration and
22 psychiatric disorders.

23 Thank you very much for allowing me the
24 opportunity to place these data into proper perspective.

25 Dr. Jacobs?

1 DR. JACOBS: While I was here, I did want to
2 specifically answer your question you asked yesterday, Dr.
3 Malone, about Accutane and CNS. There's no scientific
4 evidence that Accutane affects the neurotransmitters at
5 all, and Dr. McLane will address the issue of biological
6 plausibility after I'm finished.

7 What I've done in my clinical analysis of the
8 suicide reports is I asked the following questions. Is
9 there any pattern to the suicide reports in relationship to
10 Accutane in terms of gender distribution, in terms of
11 on/off Accutane? What is the significance of the temporal
12 association with "depression"? Does Accutane exacerbate
13 underlying psychopathology and lead to suicide? As you'll
14 hear later on this morning, there were a number of suicide
15 reports which had preexisting psychiatric illness. Does
16 Accutane cause impulsive suicides?

17 I broke the suicides down into various
18 categories in terms of their relationship to Accutane use,
19 the concealment of symptoms, which I mentioned earlier in
20 terms of the youth suicides, the confounding factors, the
21 preexisting psychiatric history, the issue of no apparent
22 psychopathology, and miscellaneous.

23 In terms of the relationship to on/off
24 Accutane, there were 30 cases on Accutane, including 4 that
25 were on over 6 months, 24 cases off, 10 unknown. No

1 evidence of a predominance of on/off factor. In terms of
2 gender -- and these are worldwide. These are not just the
3 U.S. cases -- of the 64, 53 were male, 11 female. The
4 total suicides, as mentioned earlier, are consistent with
5 the known demographics of suicide. In looking at the
6 on/off ratio, again the gender distribution is the same.

7 Further, some case examples of relationship to
8 Accutane. There's a case described of a 22-year-old male,
9 no relevant findings. Committed suicide by firearm. I
10 should mention that these are typical of a lot of the
11 suicide reports. There's very little information. But if
12 we compare this with a 19-year-old male who committed
13 suicide, the same method, had a preexisting history of
14 psychosis, there's evidence of school stressors, and was on
15 Accutane for 6 months. While he's on, there's no evidence
16 of exacerbation of his underlying illness. He commits
17 suicide 9 months later. My analysis, no consistent
18 relationship to Accutane, if you compare these cases.

19 Depression occurring while on Accutane. 17 out
20 of the 64 reports indicate "depression." 10 of the cases
21 committed suicide on Accutane, 7 off, and only 1 case had
22 psychiatric treatment. Again, no consistent relationship
23 with the term "depression."

24 What about concealment of symptoms? And there
25 are a number of cases unfortunately like this. This is a

1 case of a young boy, 14, who was on Accutane for 2 months.
2 No reported psychiatric history. No evidence of depression
3 or suicidal ideation while he was on Accutane. However,
4 there is evidence of preexisting depression and suicidal
5 ideation. This was discovered by a diary after the
6 suicide. Depression with suicidal ideation requires
7 psychiatric treatment. Therefore, the depression and
8 suicidal ideation was concealed from family and physician.

9 Prior psychiatric history related to on/off
10 Accutane. In my view, this is very compelling information
11 about the Accutane story and suicide. What is the impact
12 of Accutane on this at-risk group for exacerbation of
13 underlying illness leading to suicide? There were 21 cases
14 that I could find that had preexisting psychiatric history.
15 9 committed suicide on Accutane, 12 off.

16 What I did is I looked at the cases off of
17 Accutane. One could think about it, in a sense, as a
18 controlled case. These were patients who were at risk.
19 They did commit suicide. Obviously, they were given
20 Accutane. One could not do a study like this.

21 The duration that they were on Accutane varied
22 from 3 months to 18 months. In terms of the time off, it's
23 anywhere from 6 months to 10 years. While these persons,
24 again at risk, were on Accutane, they did not develop
25 symptoms of their underlying illness. Accutane did not

1 precipitate symptoms. The suicide, therefore, was
2 unrelated to Accutane and was clearly related to the
3 underlying psychiatric disorder.

4 A case of no apparent psychopathology. Here's
5 an 18-year-old male, was on Accutane less than a month, no
6 history of depression, mood swings or stressors. He
7 committed suicide by inhaling pellets placed in a canister
8 attached to tubing and a face mask. If one analyzes this,
9 certainly this is risky behavior. The method was
10 suggestive of getting high, and then there's a whole
11 question here about the suicide intent and was this an
12 accidental death.

13 These are some miscellaneous cases. This is a
14 tragic case of a murder/suicide, of a woman who was on
15 Accutane for 8 months and was off it for 4 months. At the
16 end of that 4-month period, she killed herself and her
17 child by drowning. The child had not been exposed to
18 Accutane. There was a prior history of postpartum
19 depression. The Accutane was stopped because of
20 "delirium," hospitalization offered but refused.

21 In analyzing this case, infanticide is
22 consistent with psychotic depression. Postpartum
23 depression occurs in manic-depressive illness. The
24 delirium described was most likely a psychotic episode.
25 The events here were related to a severe underlying

1 psychiatric disorder.

2 In terms of impulsive behavior, here's a case
3 of a 21-year-old male with a psychiatric history. The
4 patient had been in and out of substance abuse
5 rehabilitation treatment. Was on Accutane for 6 months.
6 During that period of time, there was no report of
7 depressive symptoms nor of drug relapse. He committed
8 suicide 1 year off of Accutane.

9 In terms of my analysis, substance abusers are
10 at risk for mood disorders and impulsive behavior.
11 Accutane did not cause relapse of any of these symptoms.
12 Therefore, the suicide was related to the preexisting
13 psychiatric condition and happened a considerable amount of
14 time after the discontinuation of Accutane.

15 In summary, there's no alteration of the gender
16 distribution in the suicide cases. There's no impact of
17 on/off Accutane. There's no significant relationship to
18 concurrent depression. There's no exacerbation of
19 underlying psychiatric disorders. The lack of warning
20 signs seen in many of the cases is consistent with what we
21 know about youth suicide, and there's no evidence of a
22 impulsive factor.

23 Thank you. I will now turn the podium over to
24 Dr. McLane.

25 DR. McLANE: This is where we are in the series

1 of talks. I'm going to present a couple of different types
2 of analyses in order to confirm the signal.

3 When we look at the material that we have, Dr.
4 Jacobs and Dr. Nelson have looked at the post-approval
5 analysis. They've looked at the spontaneous reports and
6 have done this type of analysis. We had also initiated,
7 when we started looking at the signal, a series of
8 epidemiological studies. One of them was to look at the
9 relative risk. Another was to look at the prescribing
10 behavior. I'm going to show, very quickly, the results of
11 this information.

12 We've also gone in and looked at the
13 prospective analysis, using our clinical trial that we were
14 running with a new formulation, to see what type of
15 information we could obtain on patients that were being
16 treated with Accutane at different doses.

17 The first analysis was a retrospective
18 epidemiological analysis. The purpose of it was to
19 determine the relative risk for psychiatric disorders.
20 This was a population based epidemiological study with
21 matched control cohorts. It involved an analysis of the
22 prevalence rates for a variety of psychiatric disorders and
23 suicide and suicide attempts. There were not sufficient
24 numbers in the suicide and suicide attempts in order to do
25 an analysis.

1 It also brings up that there were a number of
2 caveats within this type of study. These have been
3 presented in your briefing document and will be presented
4 later this morning. But it involves the types of codes
5 that you can use for ascertainment of the psychiatric
6 conditions, the actual type of acne the patients had, the
7 history of the patients, and also even the power. These
8 types of studies are at best just supportive.

9 The two different types of databases that we
10 looked at within this are the Saskatchewan Health Database
11 in which we were able to identify, using the definitions
12 within the study, 7,000 Accutane users, and we compared
13 this with antibiotic drug users in which we were able to
14 identify 13,000 patients.

15 The smaller study, which I won't present any
16 results from, was the United Kingdom General Practice
17 Research Database, in which we had only 340 Accutane users
18 and 676 antibiotic users.

19 Let me just show you the end results. When we
20 compare the relative risk for developing of psychiatric
21 conditions compared to non-exposed Accutane patients --
22 these are the Accutane patients that were, by definition,
23 prior to their exposure to Accutane -- you see that the
24 relative risk was 1.

25 When you compare it with patients 3 months

1 after their first prescription for Accutane, you also see
2 that the relative risk was quite low, and basically the
3 relative risk of 1 means that it was exactly the same as
4 your comparison group.

5 When we looked at the antibiotics, you also see
6 that the relative risk was very low. The confidence
7 intervals were wide enough to say that this was near unity.

8 When we looked at the history of the patients
9 that had development of psychiatric conditions, as
10 expected, we saw that the psychiatric history was the only
11 predictive factor for development further of additional
12 psychiatric conditions.

13 Another way to look at the information was to
14 use other epidemiological tools. There's a new tool that's
15 been developed by Dr. Hallas and was published in the
16 Journal of Epidemiology in which patients are evaluated for
17 the prescriptions that they receive before a drug of
18 interest versus prescriptions of antidepressants they
19 receive after the drug of interest. So, we looked at this
20 information. In the publication, this was used to confirm
21 a signal for ACE inhibitors and for calcium channel
22 blockers. In order to look at the signal, what you do is
23 you develop a prescription sequence ratio. You're looking
24 at the symmetry analysis of this prescription. So, in our
25 case, we were looking at the number of patients that were

1 | prescribed Accutane before their antidepressants, and you
2 | develop a ratio with the number of patients that were
3 | prescribed Accutane after the antidepressants. A ratio
4 | near unity indicated no effect.

5 | We also looked at this by relationship to all
6 | antidepressants, amines, the SSRIs, or other ones that were
7 | identified. The database that we looked at was the Synergy
8 | Pharmacy Claims Database. This database covers 30 percent
9 | and registers 30 percent of all prescriptions in the United
10 | States. So, it's a very large database in which we were
11 | able to identify 17,000 Accutane patients within this.
12 | However, for the prescription of having a co-medication for
13 | antidepressants as well as Accutane, we were able to get
14 | this type of ratio. So, for all of the antidepressants, we
15 | had 1,300 versus 1,400 patients before and afterwards, and
16 | from that adjusted rate ratio, then we were able to see
17 | that this was near unity.

18 | If this was non-symmetrical, as it was with the
19 | ACE inhibitors, the adjusted rate ratio for ACE inhibitors
20 | was 1.29. For calcium channel blockers, it was actually
21 | 1.31. So, again, this is information that provides
22 | evidence against an association of Accutane use with
23 | antidepressants.

24 | We looked at this for comparison purposes for
25 | minocycline. Minocycline in this age population is used

1 predominantly for acne. Again, we see the same type of
2 near unity for the antidepressant prescriptions that are
3 prescribed before and after the use of the minocycline.
4 This report will be submitted to the FDA. We just received
5 it in the last few weeks.

6 Another way to evaluate this is to look at the
7 prospective analysis of the signal. In order to do that,
8 we were able to use two tools within our study. The first
9 was a mood assessment questionnaire. This is four
10 questions that we asked at every monthly visit of the
11 patients. If the patient had two positive answers to these
12 four questions -- these questions were developed by Dr.
13 Jacobs and are just typical mood assessment questionnaires
14 on sleeplessness, how they feel, and so on since their last
15 visit. If they had two or more positive answers in there,
16 they were then asked to take the Beck's Depression
17 Inventory at that visit.

18 In addition, the Beck's Depression Inventory
19 was used for every patient at the beginning of the therapy,
20 at baseline, and at the end of therapy, which was at 20
21 weeks in this trial.

22 The Beck's Depression Inventory is a very
23 useful tool in order to measure sensitivity of changes from
24 one category of depression to another. So, it was an
25 appropriate tool to use. It's also been a tool that has

1 also been used to confirm other signals for drugs that have
2 caused antidepressive effects.

3 The change of the scores that we were able to
4 obtain within the trial showed that with the new
5 formulation -- which the patients were exposed just once
6 per day at .4 milligrams. They had 250 times less exposure
7 than the patients being treated with Accutane. So, this
8 also allowed us to evaluate a dose effect in this trial as
9 well.

10 What we see is that the majority of the
11 patients did not change categories. Here we're looking at
12 just the categories which is the Beck Depression Inventory,
13 which is minimal, which is 0 to 13, mild, moderate, or
14 severe. We had no patients that were severe. And we're
15 looking at the change in that category or the change in
16 grade. You can see that the majority had no change in
17 their grade or score. However, there were some patients
18 that had a decrease in their grade, going from moderate to
19 mild or mild to minimum or even moderate to minimal. This
20 would be the change of a grade of 2. We had a few patients
21 that also had a change in grade going from minimal to mild
22 or mild to moderate. There was a very good balance between
23 the two different formulations, as well as the balance
24 between change in grade upwards versus a change in grade
25 downwards. In fact, there was the trend of going

1 downwards.

2 When we looked specifically at the mean scores
3 of the Beck's Depression Inventory, then what we find is
4 that on the baseline levels on both of the arms of the
5 trial, we had 3.5 versus 3.6, and at week 20 at the end of
6 their successful therapy, we had a mean Beck's Depression
7 score of 1.7 and 1.9.

8 It's been known, for example, that pulmonary
9 patients that are being treated with corticosteroids that
10 have been measured with the Beck's Depression Inventory
11 have had an increase in their mean scores. We also know
12 with digoxin that you also have an increased score with the
13 Beck's Depression Inventory. So, this is a tool that can
14 be used. And the case with Accutane is that we do not get
15 a confirmation of the signal.

16 Now, in the briefing document, you have a
17 number of observational reports that are presented in the
18 literature from a number of individuals that have been
19 treated with high vitamin A doses or other retinoids and
20 are trying to draw an association. What we could not do is
21 establish a linkage between the retinol treated patients or
22 the other retinoid treated patients based on their
23 biological plausibility.

24 What you need to be able to do within a
25 plausibility is not only to show that there are systems

1 available, but you have to show that there's functionality
2 of the systems. So, one of the things that we wanted to
3 look at carefully was to evaluate what was the
4 functionality of the retinoid systems within the brain and
5 also what was the evidence that was presented from these
6 patients that had high doses of vitamin A. When you look
7 at these anecdotal reports, then you see that these
8 patients have been taking high doses for extremely long
9 periods of time and are extensively elevated.

10 What we have then is that when we look at these
11 types of information, we know that to be able to detect
12 receptors using messenger RNA, which is the very beginning
13 part of the signaling system, you can find specific
14 dopamine enriched brain regions that do have retinoid
15 receptors within adult animals.

16 However, we also know that these are not
17 necessarily functional because the localization of these
18 proteins differ from the localization by messenger RNA.
19 You can have different detection methods to determine
20 whether a receptor is present in the brain or not. Just
21 having a message doesn't mean that the protein is there,
22 and if the protein is there, you don't necessarily have the
23 functionality of that receptor. If you had functional
24 receptors, you would have different types of behaviors.
25 For example, with the dopamine enriched areas, you would

1 | expect some of the symptomatologies to be tremors or
2 | Parkinson's-like syndrome.

3 | We also know that there are a number of
4 | different receptors that do have retinoid response
5 | elements. That means these are elements that can be
6 | regulated by retinoids. Dopamine receptor genes are one of
7 | the receptors that are pointed out.

8 | Dr. Adams has mentioned that in the embryonic
9 | brain that there are a number of receptors that are
10 | responsible for hind brain. These are, for example, the
11 | Hox genes or the homeobox genes that are responsible for
12 | normal development. Well, the dopamine receptors that have
13 | been detected with active receptors are from cells that
14 | were embryonic or they were cells that were only in culture
15 | which have embryonic-like features. It's most likely that
16 | perhaps the dopamine receptors only respond to the retinoid
17 | when they're in the embryonic stage.

18 | We also know that there's information that
19 | isotretinoin can be found in the brain after injections
20 | into animals. That's not surprising. It's a lipid soluble
21 | molecule, and many different types of lipid soluble
22 | molecules can bypass the blood-brain barrier. It doesn't
23 | imply that there's a functionality of that molecule in the
24 | brain.

25 | So, although some of the component factors are

1 in place, retinoids have not been shown to activate genes
2 to induce behavioral or psychiatric changes.

3 One of the other pieces of evidence that you
4 can add to that is in mice lacking retinoid receptors,
5 there's no major behavioral changes in these animals. They
6 needed to do special studies in order to determine that in
7 these animals the only effect they were able to observe was
8 long-term memory changes. These were identified as long-
9 term potentiation or long-term depressive changes, and
10 these might have been involved during the development of
11 the embryos when these receptors were absent during the
12 embryonic development of the brain.

13 There's also a lack of evidence demonstrating
14 the functionality of the retinoid signaling pathways in the
15 mature central nervous system. There's no evidence in the
16 literature of any of these signaling pathways being
17 present. This was pointed out by Dr. Adams earlier
18 yesterday in her discussion.

19 All of the available evidence then does not
20 confirm a system. The biological plausibility of the
21 signaling pathways with the retinoids has not been
22 established. However, the background disease rate in the
23 population warrants attention to signs and symptoms of the
24 psychosocial disturbances by dermatologists.

25 At this point then, I'll turn this over to Dr.

1 Russell Ellison.

2 DR. ELLISON: I will try to be brief to keep on
3 schedule. Thank you for this opportunity to wrap this up.

4 Just to review, the evidence that you've seen
5 that we've generated to try to put these issues into
6 perspective we do not believe supports a causal association
7 of psychiatric illness with Accutane. The signal generated
8 by the spontaneous reports that we discussed could not be
9 confirmed. Indeed, specific information related to
10 possible risk of events, even in an associated context
11 beyond the known risk factors for disease, is lacking.

12 What we have observed is that patients with
13 severe acne or acne in general come from a cohort which,
14 depending on age, gender, and prior history, may be at high
15 risk for concomitant illness.

16 So, when we're evaluating risk management
17 issues around psychiatric events, where we have a degree of
18 uncertainty around the causal association and we have
19 little information about risk factors, we have to ask what
20 information do we want to convey with what desired actions
21 to be taken by whom and in what circumstances. We believe
22 that to focus prescribers and patients only on the Accutane
23 issues, particularly with respect to the uncertain
24 causality at present, may lead them to miss the very high
25 level and the likelihood that people could have psychiatric

1 disease irrespective of their acne treatment.

2 So, we see risk management in the context of
3 psychiatric disease as a concomitant illness where, for
4 example, with continuing medical education, you would alert
5 the prescriber to this phenomenon with the possibility that
6 he can use the treatment venue as an opportunity for
7 identifying possible problems, that is to say, to enhance
8 the overall medical impact of his practicing in this group
9 of patients. But this would be applicable to all high risk
10 patients and Accutane information would certainly be
11 included so there can be a degree of vigilance.

12 For example, to ask the same questions about
13 labeling. We think there's an opportunity with the
14 professional labeling to certainly include the new Accutane
15 data, to talk about the symptoms so that we're more
16 informative, to talk about discontinuation if we can
17 decide, indeed, what to do, and also to use this
18 opportunity again to alert professionals to the comorbidity
19 in all high risk patients.

20 For patients, we think there's an opportunity
21 to certainly communicate the Accutane information that we
22 know, to have them be alert to symptoms which can be
23 described in laymen's language, to alert them to inform
24 their physician of previous history, to be alert to the
25 possibility of psychiatric illness, irrespective of

1 Accutane treatment as well. Not to do this would be to
2 miss an opportunity to prevent disease and to manage it.

3 I haven't dealt with all of the options put
4 forward in the questions, but I think these are fairly
5 important ones. With respect to informed consent, this is
6 an interesting issue because of the issues of the relative
7 strength of a causal association compared to the other
8 serious adverse events in the label which do not appear in
9 the informed consent. I think more importantly is what
10 would we be informing patients about, which would be
11 relevant for them to be giving their consent to treatment
12 without a strong statement of cause or estimates of risk.

13 Now, let's apply the same parameters to
14 assessment before and during treatment. This would be
15 monitoring in the questions that you have. Simple
16 questionnaires, which can, in fact, be implemented in the
17 waiting room, are available to identify the possibility of
18 psychiatric illness, but simple screening tools cannot
19 confirm or rule out this illness in the hands of a non-
20 psychiatrist. So, for all high risk patients, this could
21 become part of the dermatological assessment as a signal to
22 refer the patient for psychiatric help irrespective of the
23 treatment you're going to give them, but also you would
24 include vigilance about Accutane itself in this regard.

25 The concern that we would have about this,

1 | which would need to be addressed, would be the potential
2 | risk of conflict with the time needed for pregnancy
3 | prevention in women. We had a discussion yesterday about
4 | the time taken to provide the education, in the physician
5 | patient context, for a clear, known risk, with a very, very
6 | important job to do to prevent pregnancy. And adding more
7 | burden to the physician in this regard may, indeed, dilute
8 | this particular issue. This is a very hard call to make,
9 | but hopefully if one was going to do something, the waiting
10 | room questionnaire and the review for high risk patients,
11 | people coming from a cohort with a high background of
12 | disease may be helpful.

13 | Now, finally formal studies. Before I go on to
14 | the details, which I'll keep short -- we can provide more
15 | information in the question period. I think it's first
16 | important to ask what will we do with the answer because
17 | then asks the question of what kind of answer do we need
18 | and what strength of an answer do we need, which then leads
19 | to the issue of what questions do we need to ask and how do
20 | we need to ask them.

21 | With this respective problem, there are the
22 | following interesting difficulties. We have a unique drug,
23 | unique in the sense that for many patients nothing else
24 | will work. This has a lot of implications for the question
25 | we're going to ask and how we're going to ask it. We have

1 a relapsing, remitting illness. Even major depressive
2 disorder is relapsing and remitting. We have soft
3 endpoints to evaluate which are very susceptible to
4 observer bias and placebo effect in patients, and as we've
5 noted, we have a very high background prevalence rate with
6 a low de novo incidence rate. So, this leads to problems.

7 We believe that it's probably almost impossible
8 to definitively rule out or to confirm the signal with
9 further studies. We think it's going to be very, very
10 difficult to define, characterize or quantify the risk. We
11 think it may be possible, to some extent, to decrease
12 uncertainty, but again I'm not entirely sure what we would
13 do with that answer. So, let me go through this.

14 First of all, from the prospective clinical
15 trial question, I'll be brief. Obviously, with a unique
16 drug, we have a problem with randomizing to a control group
17 where only Accutane may be effective in someone who is
18 facing lifelong facial disfigurement.

19 The hypotheses and sample size also become
20 problematic for several reasons. First of all, with a low
21 de novo incidence rate of about 1 percent in a 6-month
22 period, we're looking at very large numbers. We have
23 actually looked at an open design around this as an example
24 of the difficulties, which we can speak to in the question
25 period.

1 Finally, blinding of patients who are taking
2 Accutane when 93 percent of them get Accutane side effects,
3 many of which are easily identifiable as mucocutaneous
4 effects, makes a blinded study almost impossible for inter-
5 observer and patient bias.

6 With respect to open cohort studies, these
7 would seem, on immediate inspection, to be more feasible
8 and perhaps more interesting. The first problem is we will
9 not find a matching cohort with respect to severity of
10 disease because of the uniqueness of the drug, or at least
11 it will be extremely difficult. The severity of acne, as
12 we've heard from dermatologists, clearly relates to having
13 depressive symptoms or disturbances of mood. Management of
14 that disease can change those depressive symptoms or mood.

15 So, it really is going to depend on the
16 specificity of the question you would ask and again the
17 confidence you would need in the answer. Certainly these
18 are going to have to be prospective. I think that is what
19 was intended by the question.

20 I think in terms of time and feasibility and
21 ability to further clarify these issues, perhaps you would
22 imagine that retrospective epidemiological cohort studies
23 would provide our best bet, and they may well do so. The
24 question is going to be to find a good database, an
25 available database that has the right size, specific

1 coding, and population definitions, and the methodology to
2 manage the analysis of that database. The studies we did,
3 even the power was probably only good enough to look at a
4 risk that was two- or threefold the current prevalence.
5 So, I think we would want to know a number that was much
6 lower than that. So, I think the challenge there is going
7 to be to define databases where we can indeed do this.

8 With respect to the last point, which is in
9 vitro and in vivo preclinical studies, I think the issue
10 here is to be careful that we are looking at specific
11 models for specific psychiatric illnesses versus looking at
12 general toxic reactions in the brain which have been
13 observed with many drugs at very high doses that cross the
14 blood-brain barrier because the sort of toxic reaction is
15 not connected to the plausibility of causing major
16 depressive illness or suicide. I think there are perhaps
17 some models available. We have to be very careful with the
18 dose so that we have extrapolatability and very careful
19 about the extrapolatability of the model.

20 So, I think that sort of summarizes where we
21 would go with studying this issue. It is fraught with
22 problems. For the major answer, we believe it's
23 essentially impossible.

24 Finally, our conclusions are again that the
25 evidence does not support a causal association between

1 Accutane and psychiatric illness. The signal that was
2 generated by the spontaneous report reviews which implied
3 causation has not been confirmed by further evidence.
4 Additional studies might somewhat clarify but certainly not
5 definitively resolve these issues.

6 Now, much has been learned about psychiatric
7 disease in acne patients and we believe there's an
8 opportunity to enhance the overall medical impact of the
9 management of all acne patients in a dermatological
10 practice.

11 Thank you for your attention.

12 DR. BERGFELD: Thank you very much.

13 Our agenda shows us that we're going to break
14 now for 15 minutes, after which the FDA will present with
15 their multiple presenters, followed by the discussion of
16 the committee. So, at this time I would like to call a
17 15-minute break and we will reassemble here at 10:35.

18 (Recess.)

19 DR. BERGFELD: Would you please take your
20 seats?

21 I'm going to be changing the agenda slightly.
22 We will have the FDA presentations, followed by the open
23 public hearing. We will then adjourn for lunch at 12:15.
24 We only have a half hour lunch today. So, it's 12:15
25 lunch. 12:55 we will reassemble, at which time we will

1 have committee discussion, answer of the questions posed to
2 us by the FDA and a vote. Then at 1:55 we will move on to
3 Accutane New Formulation. Again, we're changing the
4 format, moving the public hearing up after the FDA
5 presentations.

6 So, at this moment we will go forward with the
7 FDA presentation. It's my understanding that Dr. Alan
8 Byrne will speak to us on isotretinoin and depression.

9 DR. BYRNE: Thank you, Madam Chairman. Good
10 morning.

11 I'm going to talk today about isotretinoin and
12 depression. This basically is the clinical experience I've
13 had in relation to my exposure to this drug. I'll give a
14 little bit of a background now, if I could see the next
15 slide, please.

16 I was working in the University of Alberta in
17 the Psychiatry Department in 1993 and over a period of
18 about 3 months, I was exposed to three cases of depression
19 in young individuals. One of the most important factors I
20 felt in relation to these individuals was they had all
21 recently received isotretinoin therapy for acne, and their
22 depression presentation was atypical.

23 I didn't know a whole lot about isotretinoin at
24 that time, and I was alerted to the fact that it was a
25 vitamin A derivative. This sparked a thought in my mind in

1 relation to a lecture I had in university in pharmacology
2 where we were told about Arctic explorers in the early
3 1900s having developed psychosis following eating polar
4 bear liver, which apparently has very, very high levels of
5 vitamin A in it. So, it sparked my interest and I decided
6 I would do a little bit of background work.

7 In relation to the cases, these there three
8 young individuals. There was no previous history of
9 depression, no family history of depressive illness. In
10 all cases, there had been an abrupt deterioration in mood.
11 The individuals had associated irritability which was very
12 pronounced. They were very aggressive, particularly with
13 family members, and this was out of character and new. As
14 I mentioned, all three had recently used isotretinoin for
15 acne.

16 I'll describe the individual case reports that
17 I described initially first.

18 The first individual was a 28-year-old lady.
19 She complained of low mood and agitation for approximately
20 8 months. She described marked irritability with family
21 members to the extent that this had actually caused a
22 deterioration in her relationship and the breakup of her
23 marriage, but the irritability had predated the marital
24 disharmony. She described poor sleep, poor appetite, and
25 anhedonia, or a total absence of joy in her life. She had

1 had a 4-month period of treatment with Accutane during the
2 year, and the depressive symptomatology had commenced
3 during that period of time.

4 The second case was an 18-year-old male who had
5 presented with having had an abrupt onset of depression
6 whilst receiving isotretinoin therapy. He was aggressive
7 with family members again, and he had had violent thoughts
8 and violent outbursts. He had suicidal preoccupation, and
9 by the time he actually was referred to me, he had actually
10 taken an overdose of tablets, from which he had recovered.
11 There were no obvious precipitants in this young man's
12 history. He had no previous history of any
13 psychopathology. There was no family history of any
14 psychiatric illness. And he had biological symptoms
15 consistent with a depressive illness which were altered
16 sleep and appetite which had been present for some weeks at
17 the time I saw him.

18 The third case was one of the most impressive
19 in terms of my deciding that there might be an association
20 with isotretinoin, and I'll expand on this now. It was a
21 21-year-old girl who had severe, resistant depression. She
22 had had months of agitation and aggression which was
23 evident at home. She described poor appetite, poor sleep
24 and weight loss, and her response to antidepressants from a
25 general practice perspective had been very poor, so she was

1 referred to the university for assessment.

2 She actually had to be admitted to hospital, so
3 severe were her depressive symptoms, and whilst in
4 hospital, she was taking isotretinoin of her own that she
5 had brought into hospital, which we were unaware of, and
6 her symptoms remained unresponsive until we stopped the
7 isotretinoin, took away her supply, continued her
8 antidepressants, and the depression responded. Again, this
9 lady was treated with antidepressants and she remained well
10 on antidepressants when she got off the isotretinoin.

11 So, in relation to my queries and concerns in
12 relation to isotretinoin, I discovered that it has a very
13 extensive list of ADRs. It's a fat soluble vitamin A
14 derivative. The mode of action is unknown. And in making
15 inquiries in the university, the dermatologists on staff
16 were completely unaware of any problems in relation to mood
17 or psychological disturbance in relation to this drug.

18 So, we did a literature review. At that time
19 in 1993, there were almost no articles of note in English.
20 There were several articles in the French literature which
21 questioned the possibility of psychological disturbances in
22 relation to the use of isotretinoin. One of the articles
23 in English that was pertinent I felt was one by Scheinman.
24 He indicated that he seen depression in 1 percent of users
25 of isotretinoin. Out of 700 cases, 7 people had become

1 depressed and had to be withdrawn.

2 There was further a letter by Gatti and Serri
3 who described a case of suicide in an individual who had
4 recently received isotretinoin.

5 And Bravard in France described a case of
6 suicide in a young man receiving isotretinoin, and he urged
7 caution in relation to the use of this agent. That article
8 was in French and had to be translated for me.

9 So, further to the discovery that there was
10 actually a precedent in relation to psychological
11 disturbance in relation to the use of this agent, I
12 published a letter in the Canadian Journal of Psychiatry in
13 1993 alerting my psychiatric colleagues to the fact that
14 there was a possibility that depressive symptomatology
15 might emerge in people receiving Accutane therapy,
16 isotretinoin.

17 I actually left Canada in 1994 voluntarily.

18 (Laughter.)

19 DR. BYRNE: And I returned to work in Ireland
20 where I actually was exposed to further cases of
21 individuals who had depressive symptomatology following use
22 of isotretinoin, and I published a series of cases in the
23 Irish Journal of Psychological Medicine in 1996 along
24 similar lines to the cases I had seen in Canada.

25 Since 1995, by virtue of the publications I

1 have had in this area, I've had an increasing number of
2 contacts from patients with depression who have associated
3 their depressive symptomatology with the use of this agent.
4 Individuals generally tend to describe depressive mood,
5 agitation, not infrequently suicidal ideation, and they
6 have also described behavioral change which can often be
7 very bizarre and very unpredictable. One of the major
8 factors that was a concern to me is that these individuals
9 tend to describe a chronic apathy and dysthymia that
10 extends far beyond the use of the drug and appears to
11 continue over time, often without treatment because it
12 hasn't been recognized or diagnosed by people they go to
13 see.

14 One major concern I had was that obviously if
15 people developed depressive symptomatology, the reality is
16 they're not going to mention this or go with this to a
17 dermatologist per se, and therefore it was important, I
18 felt, that people in the psychiatric arena would have
19 knowledge of the fact that this agent might be associated
20 with depressive symptomatology.

21 As I've said, in relation to my other clinical
22 experience with isotretinoin over the last 5 or 6 years,
23 I've seen more than 20 cases in total. Most of these are
24 young individuals. Most would have had recent use of
25 isotretinoin, but there can be quite a considerable

1 variation, a little bit like postpartum depressive illness
2 which can come on late after the birth of a child and carry
3 on then for some time. I've seen individuals who have
4 described mood change some months after discontinuing
5 isotretinoin and then this mood change has been pervasive
6 and persistent over time.

7 The ratio in the individuals I've seen is
8 approximately, female to male, 2 as to 1 in terms of
9 depressive symptomatology.

10 One observation I have made, which may or may
11 not be of tremendous significance is that this seems to
12 come on in thin, physically fit individuals. The only
13 rationale or the only theory I've had in relation to that
14 is because isotretinoin is lipid soluble and the relative
15 lipid content of the body will be lower vis-a-vis body to
16 brain in fit individuals, as opposed to more obese
17 individuals, and that more of the drug might end up in the
18 brain fat tissue as opposed to in the body fat tissue in
19 the thin or fit individuals.

20 The other observation I've made is that the
21 symptoms can be extremely protracted, and I still have a
22 number of individuals who I am treating with antidepressant
23 medication for protracted periods at the moment.

24 Therefore, in relation to isotretinoin, my
25 clinical observations have been that this agent can

1 | influence mood in certain individuals.

2 | My feeling is that the effects on mood may be
3 | very persistent, and obviously anything that can
4 | precipitate a depressive illness may be life-threatening
5 | because there is a significant risk of suicide with
6 | depressive illness.

7 | My observation as well is that the effects seem
8 | to be most pronounced in thin, athletic individuals who
9 | have a low body fat content, and I feel that this probably
10 | relates to lipid solubility.

11 | Thank you.

12 | DR. BERGFELD: Thank you very much.

13 | We're going to proceed then to the second
14 | presenter which is Dr. Erick Turner, drug-induced
15 | depression.

16 | DR. TURNER: Well, thank you.

17 | The title of my talk is Drug-induced
18 | Depression. The purpose of the talk is to give basically
19 | an overview of depression and drug-induced depression
20 | primarily for the benefit of the non-psychiatrists, of whom
21 | I am certain there are many here today.

22 | So, this will be the first of several FDA
23 | talks. The talk after mine will be a case review by Dr.
24 | Marilyn Pitts, followed by postmarket experience by Diane
25 | Wysowski; biological plausibility and options for risk

1 management by Dr. Kathryn O'Connell.

2 Now, before talking about drug-induced
3 depression, I'm going to back up and talk about what we
4 probably know a lot more about, and that's major depressive
5 disorder. Major depressive disorder is what psychiatrists
6 usually but not always mean when they talk about depression
7 or what lay people probably usually mean when they talk
8 about "clinical depression." There are other types of mood
9 disorders certainly and even other types of depressive
10 disorders, but this probably the one recognized as the most
11 frequent and most serious. So, I will lead in with a
12 discussion of that.

13 I have up there DSM-IV diagnosis, and let me
14 just quickly explain what that refers to. DSM is the
15 Diagnostic and Statistical Manual, Fourth Edition, and this
16 is a manual which the field agrees upon contains the
17 criteria by which we diagnose various types of mental
18 disorders and that facilitates agreement between clinicians
19 so that we all know that we're literally on the same page,
20 as well as various researchers so that again we know we're
21 all studying the same thing. Otherwise, before the DSM
22 series came out, the meaning of the word "depression" was
23 very idiosyncratic.

24 So, the DSM-IV criteria require a duration of
25 at least 2 weeks. The symptoms have to be present most of

1 the day nearly every day, so it has to be a pervasive mood
2 disturbance. And they must be clinically significant.
3 This is more than just a bad hair day, if you will. It has
4 to cause significant distress or impairment in one's social
5 or occupational functioning, so perhaps affecting one's
6 marital or family relationships or even interfering with
7 one's ability to function effectively at work.

8 Now, this is a somewhat busy slide, but I'll
9 walk you through it slowly. First of all, the title
10 "Symptoms of Depression," this is not all depression.
11 Depression again means different things to different
12 people, but here I'm referring to major depressive
13 disorder. This is to be contrasted with drug-induced
14 depression which I'll move into later.

15 I have nine symptoms listed here and I have
16 them broadly categorized into two categories. You won't
17 find this categorization in DSM-IV, but it may be useful as
18 a way of understanding them. First of all, the
19 psychological symptoms and the neurovegetative symptoms.

20 Among the psychological symptoms, we have
21 depressed mood. Loss of interest or pleasure, and by that,
22 a person may experience that as a decreased motivation, a
23 loss of interest in one's work, they don't enjoy their job
24 as much as they used to, for instance. Feelings of
25 worthlessness or guilt, and also suicidality or thoughts of

1 death. Again, these symptoms are not all required but just
2 five of the total number of nine here on the list.

3 Let me come back to the first two symptoms I
4 have listed there, depressed mood and loss of interest or
5 pleasure in things. The reason I have those underlined is
6 because at least one of those symptoms is, in fact,
7 required to make a DSM-IV diagnosis of major depressive
8 disorder. So, in other words, if they have several
9 neurovegetative symptoms and even theoretically
10 suicidality, it's possible not to qualify for an official,
11 if you will, DSM-IV diagnosis.

12 I also want to highlight that I said "or,"
13 depressed mood "or" loss of interest or pleasure. Some
14 people may not express depressed mood. They may not even
15 experience depressed mood when specifically asked about
16 that, but they may have anhedonia, again this loss of
17 interest or pleasure. So, that's perhaps more likely to be
18 missed than someone who spontaneously complains of
19 depression.

20 The neurovegetative symptoms are what we might
21 think of as the more biological symptoms. The depression
22 is evidence of this being a true biological process going
23 on in the body with a life of its own, so to speak, again
24 more than just a bad mood. They include changes in
25 appetite and weight, and that can be in either direction.

1 It can be decreased appetite or increased appetite,
2 likewise with weight. Sleep. You can have insomnia or
3 hypersomnia. The person might be slowed down or agitated,
4 and according to DSM-IV, the person should be visibly
5 slowed down or agitated, not just subjectively so. Energy
6 is often decreased. The person feels tired. They might
7 think that they have anemia, for instance. Concentration
8 might be decreased or they might have difficulty making
9 decisions.

10 One other thing is to highlight again the
11 depressed mood or the anhedonia. Not only is one of those
12 two symptoms required to make the diagnosis of major
13 depressive disorder, but to make a formal DSM-IV diagnosis
14 of substance-induced depression, that is about all that's
15 truly required to make the diagnosis. So, it seems that
16 the threshold is higher with DSM-IV, at least with regard
17 to the symptomatology, to make the diagnosis.

18 The neurovegetative symptoms are conspicuously
19 missing, and perhaps the reason for that is one would have
20 to have sat on the DSM-IV committee, but just to speculate,
21 various medical conditions and medications might cause
22 various neurovegetative symptoms. You can imagine drugs
23 which cause a change in appetite or insomnia by themselves,
24 and that might just confuse the picture. So, I think my
25 speculation is that the committee didn't want to get tied

1 down saying that the neurovegetative symptoms were required
2 to make a formal diagnosis of drug-induced depression.

3 The first two are, of course, symptoms, if you
4 will, of both major depressive disorder and drug-induced
5 depression.

6 The time course of depression -- again, I'm
7 talking about major depressive disorder. The symptoms
8 often develop over days to weeks, and the DSM-IV requires
9 at least 2 weeks of symptoms.

10 If left untreated, the full syndrome can often
11 last for 6 months or more. So, it may be almost as if a
12 switch has been thrown and it may go for a longer time than
13 seems to be warranted by the psychosocial situation.
14 Indeed, the biology seems to have kicked in.

15 Residual symptoms can last months to years.

16 If treated -- and by this, I mean pharmacologic
17 treatment -- a clinical response usually becomes evident in
18 2 to 4 weeks. So, this is the party line. Certainly not
19 everyone responds to antidepressants, but 60 to 70 percent
20 of people will respond to a given antidepressant.

21 Depression is a common disorder, and this point
22 has been made previously by Dr. Nelson, I believe Dr.
23 Jacobs as well. This is problematic, also as mentioned
24 before, because it makes detection of drug-induced
25 depression by spontaneous reporting especially difficult.

1 We're not talking about a very, very rare entity such as
2 Stevens-Johnson syndrome or hepatic necrosis, but something
3 on the other hand that has a lifetime prevalence of about
4 15 percent. The numbers will vary according to one's
5 source and the time frame one is looking at. But anyhow, a
6 fairly common, current prevalence of 3 to 6 percent among
7 adolescents, and a 5 percent neighborhood consistent with
8 overall prevalence.

9 I'd like to point out that these rates are
10 gathered via epidemiological methods using random sampling
11 of the population in which a sample is queried on all
12 symptoms of depression using perhaps, going through
13 formally the DSM-IV symptoms or using some other
14 questionnaire that comprehensively covers various symptoms.
15 If, on the other hand, one waited for spontaneous reports
16 to emerge, undoubtedly the rates would be much lower
17 waiting for patients to go and say, doctor, I believe I may
18 be suffering from major depression.

19 Just a related point, I guess Dr. Nelson I
20 believe mentioned that many of these spontaneous reports
21 frequently don't have a formal diagnosis, and I guess that
22 might be expected if psychiatrists aren't the ones making
23 the diagnosis and they don't have the training to be
24 familiar with the DSM-IV criteria.

25 So, depression is not only common, but it's

1 under-recognized. The symptoms are often not recognized as
2 part of the depressive syndrome especially, for instance,
3 the neurovegetative symptoms that I mentioned. Some people
4 may not recognize concentration, decreased energy in the
5 absence of depressed mood. And again, depressed mood is
6 not strictly required for a diagnosis of major depressive
7 disorder. You can have just have anhedonia.

8 Symptoms are often not obvious and cannot be
9 proven with an x-ray or lab test which may lead to some
10 increased reluctance on the part of the person to come
11 forward and they might dismiss it thinking, well, maybe
12 it's all just in my head. Maybe I'm just not trying hard
13 enough, which is a point which comes below that symptoms
14 often get dismissed, by both the person experiencing the
15 depression, as well as perhaps family members or
16 possibility even health care professionals. Symptoms might
17 be dismissed as an appropriate reaction to stress, evidence
18 that the person is not trying hard enough, or even a
19 conscious attempt to achieve secondary gain.

20 Only about half the persons with major
21 depressive disorder ever receive treatment. Dr. Jacobs had
22 a somewhat lower number, about 40 percent. That's the
23 "ever" category, and the current episode was down around 20
24 percent.

25 Adolescent depression may be especially under-

1 recognized. Again, I apologize for the redundancy, but
2 these are points that keep coming up. Dr. Jacobs was
3 making this point. Adolescents often will present
4 atypically. They seem to be less likely than adults to
5 display the neurovegetative symptoms and more likely than
6 adults to show social withdrawal, irritability, or
7 behavioral problems. And I believe Dr. Jacobs mentioned
8 that adolescents might often conceal symptoms. So, all
9 this adds to the under-recognition, under-reporting of
10 depression and perhaps more so among adolescents.

11 Use of drugs and alcohol may be seen as the
12 reason for behavioral changes. Drugs and alcohol can
13 certainly be a confounding factor. On the other hand,
14 drugs and alcohol might also represent a method of self-
15 medicating one's depression or simply a co-existence or
16 comorbidity of the two diagnoses. Perhaps they have no
17 relation whatsoever. But in any case, if drugs and alcohol
18 are present, they may be identified as the reason the
19 person seems to be exhibiting these other symptoms, and
20 there may not be a systematic probing into the other
21 symptoms in consideration that the person might have
22 serious depression.

23 The signs of depression often are seen as
24 normal mood swings typical for the age group, and there may
25 be a reluctance to label adolescents with a mental illness

1 diagnosis. This is the stigma issue that has been talked
2 about quite a bit in psychiatry over recent years and
3 applies to adults as well as adolescents. Depression and
4 mental illness in general, for that matter.

5 Depression and suicide. Suicide is certainly
6 the ultimate adverse outcome of depression. The Adverse
7 Event Reporting System -- that's what AERS stands for, and
8 that's what we've been talking so far today and we'll
9 continue to talk about the spontaneous reports -- can
10 generate signals, but the system should be considered
11 inadequate for establishing or ruling out a link between
12 Accutane and suicide. Again, it can generate signals and
13 put us on the right path.

14 One reason that this may be the case -- there
15 are several reasons, and I don't want to go into any
16 detail. Although suicide is certainly a tragic outcome of
17 depression, as we'll see on the next slide, which I'm not
18 quite ready for unfortunately, is not nearly so rare as the
19 sorts of disorders that are easily picked up by the adverse
20 event reporting system, again the very rare things. Again,
21 AERS is a voluntary system.

22 15 percent of mood disorders subsequently end
23 in suicide, and 45 to 70 percent of suicides have a mood
24 disorder. So, this is a mood disorder that's broader than
25 major depression and would also include bipolar disorder as

1 well.

2 Outside of mood disorders, the other 30 to 55
3 percent of people completing suicides include diagnoses
4 such as schizophrenia, alcohol dependence, other substance
5 dependence and personality disorders.

6 A bit about the epidemiology. Again, I fear
7 I'm being redundant, to some extent, with Dr. Jacobs' talk.
8 The rate is about 30,000 per year. This seems to be a
9 fairly consistent number, and it's the eighth leading cause
10 of death. These numbers I got from the CDC website, the
11 Centers for Disease Control.

12 For adolescents -- now, the absolute number
13 will vary according to the age range one chooses. If I
14 remember correctly, I chose a range of 11 to 20 for
15 adolescents, which was the definition of adolescents
16 according to a textbook I referred to. I believe others
17 have used a range of 15 to 24, and you'll get higher
18 numbers.

19 But in either case, it doesn't matter the
20 absolute number here, but rather it's the relative number.
21 It's the third leading cause of death among adolescents,
22 and this is after accidents and homicide.

23 There's a gender effect. Women and girls have
24 more attempts, but men and boys have more completed
25 suicides. So, they attempt less often but succeed, if you

1 will, more frequently.

2 Moving a bit more to drug-induced psychiatric
3 disorders, this gets to be a bit murkier for several
4 reasons. Diagnosis is rarely clear-cut. The clinical
5 features can vary with different drugs. I have different
6 mechanisms. Perhaps I should have said different
7 pharmacologic effects. It's possible some day in the year
8 2100 or so, when we truly understand depression, we will
9 understand the mechanism for depression and understand all
10 the various drugs and other causes, if you will, of
11 depression all go through some final common pathway. So,
12 we don't really truly understand the mechanism of
13 depression. We do know some things that seem to be
14 associated with depression.

15 But different drugs will have different
16 pharmacologic effects, and so it can appear very
17 differently and it's hard to come up with a set of criteria
18 and something to tell clinicians what they should be
19 looking for.

20 The clinical features can vary not only with
21 different drugs but also with the same drug. One example
22 of that is corticosteroids which can cause mood changes in
23 either direction, depression or mania. They can cause
24 anxiety symptoms or even psychosis. Overall I would have
25 to say there's a lack of consensus. The evidence is