

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
FOOD AND DRUG ADMINISTRATION

1983 NOV 16 PM 2:06

ORIGINAL

x -----x  
: DERMATOLOGIC DRUGS ADVISORY COMMITTEE :  
: SUBCOMMITTEE ON LINDANE :  
: :  
x -----x

Thursday, October 20, 1983

Conference Room L  
Parklawn Building  
5600 Fishers Lane  
Rockville, Maryland 20857

This transcript has not been edited or corrected, except where relevant for the definition of words not releasable under the Freedom of Information Act. Accordingly, the Food and Drug Administration makes no representation regarding the accuracy of this transcript.

*Baker, James & Burkes Reporting, Inc.*  
202 347-8865

P A R T I C I P A N T S

SUBCOMMITTEE MEMBERS PRESENT:

William Eaglstein                      Subcommittee Chairperson

James Rasmussen, M.D.              Member

FDA REPRESENTATIVES:

David Bostwick  
Edward Tabor, M.D.  
C. Carnot Evans, M.D.

PUBLIC PARTICIPANTS:

Leslie Kenny                              National Pediculosis Association  
Debra Alstschuler                      National Pediculosis Association  
Dr. McIlreath                              Reed and Carnrick

C O N T E N T S

Review and discussion of this prescription labeling and the updated patient package insert for Kwell by the Lindane Subcommittee	4
--	---

P R O C E E D I N G S

(2:00 p.m.)

1 MR. BOSTWICK: Okay.

2 DR. EAGLSTEIN: We have suggestions from Dr. Arundell,  
3 Dr. Allen --

4 MR. BOSTWICK: Oh, Dr. Allen, good. That's great.

5 DR. EAGLSTEIN: -- Dr. Goldner and Dr. Haserick.

6 MR. BOSTWICK: Maybe the best thing to do is  
7 -- I don't know how feasible it is to do this, but maybe the  
8 best thing to do is just to go through their suggestions  
9 and I'll try to make a rough draft of what they suggest  
10 and maybe we can put it in some sort of order.

11 I guess probably what we are going to have to do  
12 with the committee tomorrow is present these alternatives  
13 and, you know, I kind of hope maybe we shake some of them  
14 down so we could have a more cohesive idea of what the sub-  
15 committee thought. But if Dr. Rasmussen isn't here, I don't  
16 know.

17 DR. EAGLSTEIN: Well, I've got them into three  
18 categories of suggestions.

19 MR. BOSTWICK: Okay.

20 DR. EAGLSTEIN: One category are suggestions that  
21 relate to -- well, actually, I've got four -- that relate to  
22 revisions of the label or revision of the label.

23 MR. BOSTWICK: Okay.

1 DR. EAGLSTEIN: And under that, one is "Remove  
2 pediculosis as an indication for the cream and lotion."

3 MR. BOSTWICK: For both the cream and lotion.

4 DR. EAGLSTEIN: I think that was --

5 MR. BOSTWICK: I think we already voted that.

6 DR. EAGLSTEIN: Okay. Two, contradict the use  
7 of the shampoo, cream and lotion for infants, pregnant women  
8 and lactating women.

9 Now, these are suggestions based on the four notes I  
10 received and my thoughts. There was, of course, information  
11 suggesting that maybe this isn't the right move. I am  
12 summarizing --

13 MR. BOSTWICK: Sure, I understand. I don't think we  
14 have to -- I don't think it is possible to make a decision  
15 here because we just don't have the kind of feedback we need,  
16 but at least it would give us a starting point. Do you have  
17 an idea of what you mean by infants? That was another thing  
18 we talked about last time. What constitutes the classification  
19 infants?

20 DR. EAGLSTEIN: I don't know what the people meant  
21 exactly. The new suggested labeling by Reed and Carnrick  
22 suggest that it be restricted in the case of prematures,  
23 premature. In other words, that's the new suggestion.  
24 From what I have received in writing, they would not agree  
25 to the idea that it should be restricted in infants, meaning

1 any young children.

2 MR. BOSTWICK: This is your correspondence?

3 DR. EAGLSTEIN: This is correspondence to the sub-  
4 committee, right.

5 MR. BOSTWICK: Okay, fine. Why don't we just say  
6 for infant, and I guess infants are going to have to be  
7 determined later. And pregnant women. What was the other  
8 category?

9 DR. EAGLSTEIN: Okay. Infants, pregnant women and  
10 lactating women.

11 MR. BOSTWICK: Okay.

12 DR. EAGLSTEIN: So, that is the second of the  
13 suggestions on the revision of the label.

14 The third would be to warn -- to place on the label  
15 a warning against using the shampoo in the bathtub or shower.

16 MR. BOSTWICK: The idea behind that is that they  
17 use it at the sink?

18 DR. EAGLSTEIN: Right. That would be more difficult  
19 for the public, but actually the instructions in the label  
20 are separate for the public and the head.

21 MR. BOSTWICK: Oh, I see.

22 DR. EAGLSTEIN: So, they could be--

23 MR. BOSTWICK: They could really just be using it  
24 for head lice?

25 DR. EAGLSTEIN: Against using the shampoo of the

1 scalp in the tub or a shower.

2 MR. BOSTWICK: Oh, I see.

3 DR. EAGLSTEIN: The idea is to avoid getting it on  
4 the other areas of the skin that don't need treatment, but  
5 do serve as areas for absorption.

6 MR. BOSTWICK: Okay, for head lice.

7 DR. TABOR: Can I ask a question. Do the contra-  
8 indications that you just mentioned for premature infants,  
9 pregnant women, lactating women only apply to the pediculosis  
10 indication?

11 DR. EAGLSTEIN: No.

12 DR. TABOR: For everything?

13 DR. EAGLSTEIN: Everything with Lindane. This is  
14 an extraction of the comments.

15 MR. BOSTWICK: This is sort of what you boiled down  
16 from the suggestions of the committee members?

17 DR. EAGLSTEIN: Right.

18 MR. BOSTWICK: Well, that is really one of the things  
19 I wanted to do anyway. We've already done that, and I guess  
20 were are ahead of the game. That's great.

21 DR. EAGLSTEIN: Four, would be to warn against  
22 unnecessary skin contact. That was the -- by implication in  
23 three, but this would be a general warning on the label some-  
24 where.

25 MR. BOSTWICK: Okay.

1 DR. EAGLSTEIN: And five would be warn against  
2 using after a warm bath or shower.

3 MR. BOSTWICK: The label used to say you should do  
4 that, right?

5 DR. EAGLSTEIN: It used to say you should, and now  
6 the proposed label says -- it says not to -- it says to take  
7 a warm bath and then cool off. But I think it is probably  
8 better to say take a cool bath and then cool off.

9 MR. BOSTWICK: Is that in the dosage and administra-  
10 tion where it says to take a warm bath?

11 DR. EAGLSTEIN: Let me check there.

12 MR. BOSTWICK: Oh, yes. With the scabies, it says,  
13 "If a warm bath to use, allow the skin to dry and cool for  
14 applying the cream."

15 DR. EAGLSTEIN: Right. And I actually had some  
16 suggested alternatives. I had said after a -- if crusted  
17 lesions are present, a cool bath preceding the medication is  
18 helpful.

19 MR. BOSTWICK: Okay.

20 DR. EAGLSTEIN: And then after the bath -- but this  
21 is going beyond the synopsis that I am presenting here.

22 MR. BOSTWICK: Okay. Well, let's see the synopsis  
23 first.

24 DR. EAGLSTEIN: Let's see, where are we. Five, warn  
25 against the bath.



1 MR. BOSTWICK: Right.

2 DR. EAGLSTEIN: Six, warn against using on open  
3 cuts and excoriations, which I think is in the proposed label-  
4 ing except on the shampoo. It probably needs to be put there  
5 as well.

6 And there's Dr. Rasmussen.

7 MR. BOSTWICK: Oh, hello, Dr. Rasmussen. So glad  
8 to have you.

9 DR. EAGLSTEIN: And warn assistants to protect --  
10 and this would be number seven. Warn assistant to protect  
11 themselves by wearing rubber gloves and other protective  
12 clothing.

13 MR. BOSTWICK: Assistants like mothers?

14 DR. EAGLSTEIN: Right.

15 MR. BOSTWICK: Okay.

16 By wearing rubber gloves.

17 DR. EAGLSTEIN: And I guess the general idea that  
18 they would be concerned about getting it on their skin.

19 MR. BOSTWICK: Okay.

20 DR. EAGLSTEIN: And then warn against using the  
21 shampoo cream and lotion prophylactically.

22 MR. BOSTWICK: Okay, right.

23 DR. EAGLSTEIN: I am reading my extraction of the  
24 thoughts sent to me by committee members, and, briefly, I had  
25 put them into four large groups and the first group is

1 revision of the label and we've already mentioned -- you'll  
2 know all these, Jim. Remove pediculosis as an indication  
3 for the cream and lotion and contraindicate the use of  
4 shampoo, cream and lotion for infants, pregnant women and  
5 lactating women. This is what many suggested, but the company  
6 has sent some information suggesting this not be done.

7           The third was to warn against using the shampoo in  
8 the bathtub or shower.

9           Fourth is warn against unnecessary skin contact.

10           Fifth is warn against using after a warm bath or  
11 shower.

12           Sixth is warn against using on open cuts and  
13 excoriations.

14           Seventh, warn assistants to protect themselves by  
15 wearing rubber gloves and other protective clothing.

16           Eight, warn against using the shampoo, cream and  
17 lotion prophylactically.

18           Nine, emphasize the need for combing out nits after  
19 shampooing.

20           MR. BOSTWICK: Right. Excuse me. Dr. Rasmussen,  
21 did you get of this thing that I sent out early last week?  
22 It is a memo from Dr. McIlreath?

23           DR. RASMUSSEN: Uh-huh.

24           MR. BOSTWICK: Okay. The necessity for combing  
25 out.

1 DR. EAGLSTEIN: To emphasize this in the label.  
2 Emphasize the need for combing out the nits after shampooing.

3 Ten would be, the direction should indicate that  
4 one ounce or less should be used for a treatment. I think  
5 this relates especially to the shampoo.

6 MR. BOSTWICK: Yes, I would think so.

7 DR. EAGLSTEIN: And, eleven, the pharmacist should  
8 be instructed not to refill more than once. I don't know if  
9 that is part of the revision of the label, or how that works.

10 MR. BOSTWICK: I don't know either. I would imagine  
11 that a refill is probably up to the physician.

12 Is Dr. McIlreath here?

13 DR. McILREATH: Yes.

14 MR. BOSTWICK: Does the label say anything about  
15 refills?

16 DR. McILREATH: No. I don't know how we handle that.

17 DR. EAGLSTEIN: Is it ordinary for labels to say  
18 anything about --

19 MR. BOSTWICK: No, it is not normal.

20 DR. McILREATH: Regulations.

21 DR. EAGLSTEIN: Well, I somehow stuck it there.

22 That was one of the suggestions that one or more of the  
23 people who were on the committee and did send in suggestions.

24 So, my next big group would be containers.

25 MR. BOSTWICK: Okay, fine.

1 DR. EAGLSTEIN: People suggested the following:  
2 That the unit package dosing should be used for the shampoo.  
3 And it was furthermore suggested that there should only be  
4 one ounce packages.

5 MR. BOSTWICK: Okay. One ounce. That would take  
6 care of -- I don't know how it is used really. How would  
7 you write a prescription. If you only had one ounce unit  
8 dose; then, would you have to have three or four unit doses  
9 in a prescription? I don't know it would work.

10 DR. EAGLSTEIN: I guess so.

11 As it now stands, what are they? There's one that's  
12 a two ounce and --

13 DR. McILREATH: Two ounce.

14 DR. EAGLSTEIN: -- then there's a big one.

15 DR. McILREATH: Sixteen ounce.

16 DR. EAGLSTEIN: Well, that was the suggestion. Now,  
17 it's a two ounce and what else, a 16 ounce.

18 DR. McILREATH: Sixteen ounce.

19 DR. EAGLSTEIN: I think people were quite concerned  
20 in the last meeting, it seemed apparent to me, and I guess  
21 that's the basis for this that by having 16 ounce containers  
22 around, you led to abuse more easily, or misuse?

23 DR. McILREATH: Well, the 16 is just for the  
24 pharmacists, and he wants that because it takes up less  
25 space. It's more economical to buy it that way.

1 MR. BOSTWICK: The consumer never gets hold of the  
2 16 ounce?

3 DR. McILREATH: If they do, I'd be surprised. I  
4 suppose anything is possible.

5 MR. BOSTWICK: It's possible.

6 DR. McILREATH: But it was never meant for that.

7 DR. EAGLSTEIN: Somebody told a story, and it's  
8 a story about going to a nursing home -- to a children's home  
9 and they found a big bottle.

10 Was that you?

11 MS. ALTSCHULER: No.

12 DR. EAGLSTEIN: Somebody did it.

13 MS. ALTSCHULER: When I did it, by the third time,  
14 they asked me how much I would like? I told them as much  
15 as you'll give me.

16 MR. BOSTWICK: Do you have these ladies' names here?

17 THE REPORTER: Yes.

18 DR. EAGLSTEIN: I would say that that's the sort of  
19 context out of which this suggestion comes.

20 DR. McILREATH: We found -- we've done a lot of  
21 studies --

22 MR. BOSTWICK: Maybe you might as well have a seat  
23 here, Mr. McIlreath, you probably are going to be in this a  
24 lot.

25 DR. McILREATH: We have done a lot of studies with

1 new pediculicides and when this suggestion came up in June,  
2 we went back and looked at and we found that with children  
3 listed as having short hair, the amount of material was  
4 slightly less than one ounce. Medium hair was between one  
5 and two ounces. And very long hair, we found that it took  
6 about two ounces, or perhaps a little more.

7 So, it could be that if you went to a one ounce,  
8 you might not have enough for children with long hair, or  
9 anybody with long hair.

10 DR. EAGLSTEIN: Is that the basis for reaching the  
11 two ounce?

12 DR. McILREATH: No, the two ounce as many, many,  
13 many years ago.

14 DR. EAGLSTEIN: Well, that was one of the other  
15 suggestions and actually -- May I ask you, it kind of fits  
16 at this point. You are supposed to put it on dry. What  
17 happens if you put it on wet?

18 DR. McILREATH: If you put it on wet -- the Lindane  
19 is not very soluble in water and if you add it to water  
20 it precipitates out, and it also dilutes it. And we have  
21 evidence, laboratory basis; that is, the dilution goes down  
22 below 1 percent. The pediculicidal activity drops off also.  
23 And when you get down around .3 percent, it drops off  
24 precipitously. So, if you put it on a wet hair, you run the  
25 risk of putting on a sublethal dose to the lice.

1 MR. BOSTWICK: Dr. Eaglstein, do you know Ms. Kenny  
2 and Ms. Altschuler. They are from --

3 DR. EAGLSTEIN: I think so. I met them at the  
4 last meeting.

5 MR. BOSTWICK: -- right. Well, we normally don't  
6 do things this way, but it seems to me to be the most  
7 constructive manner for everybody to sit around from both  
8 sides and sort of hammer out what is going on as far as Lindane,  
9 and I don't want to encourage everybody to get into a free for  
10 all, but if there is something you can help Dr. Eaglstein  
11 with, I wish you would feel free to call on him. We can maybe  
12 get all of the viewpoints out that way.

13 MS. KENNY: We had a suggestion to Mr. McIlreath,  
14 I think it was probably early June, late May about almost a  
15 sliding scale dosage as a possible way to go on this. We  
16 are suggesting a certain amount for short hair, or very young  
17 children with not much hair.

18 DR. EAGLSTEIN: You mean as a label? As an  
19 instruction?

20 MS. KENNY: As instructions. A certain amount for  
21 medium and a certain amount for long.

22 DR. MCILREATH: I had forgotten where that came  
23 back and it was a meeting we had together and it was after  
24 that that we went back and looked at all these case report  
25 forms and found that when people have written in to us since

1 then, we have recommended that when people wanted to know how  
2 much to use. And I think in revised directions for use,  
3 we would certainly consider that.

4 MS. KENNY: Maybe we could just standardize that  
5 on the package if that would be possible.

6 DR. EAGLSTEIN: So, in that case, it would be a  
7 revision of instructions on the label --

8 MS. KENNY: Uh-huh.

9 DR. EAGLSTEIN: -- in the directions.

10 MS. KENNY: Rather on the container or container --

11 DR. McILREATH: Yes. It should be something that  
12 goes to the user.

13 MR. BOSTWICK: Well, why don't I enter that here  
14 under these labeling revisions.

15 DR. EAGLSTEIN: All right.

16 MR. BOSTWICK: This is something we can consider  
17 anyway.

18 DR. EAGLSTEIN: This label that we're discussing  
19 is the package label to the pharmacists and physicians. It  
20 is not a patient label?

21 MR. BOSTWICK: Right.

22 DR. McILREATH: That's right. This label is the  
23 package insert that goes with it by regulation.

24 MS. KENNY: So, it would be a consumer.

25 DR. EAGLSTEIN: No.

DR. McILREATH: What we are talking about now would  
*Baker, James & Burkes Reporting, Inc.*



1 have to be in a direction for use or a patient package insert,  
2 something of that sort.

3 DR. EAGLSTEIN: But this label that we are discussing  
4 right now is the -- it could be the --

5 MR. BOSTWICK: Physician label.

6 DR. EAGLSTEIN: -- it could be either.

7 DR. McILREATH: Yes, that's right.

8 DR. EAGLSTEIN: But a physician/pharmacist package  
9 insert, not a mandatory patient label.

10 DR. McILREATH: There is not a mandatory patient  
11 label. There is directions for use. There are directions for  
12 use that are attached to this, and depending on the size of the  
13 container the pharmacist gets, he has one direction for use  
14 or 16 directions for use.

15 DR. EAGLSTEIN: Okay.

16 DR. McILREATH: That is no guarantee that the patient  
17 gets that.

18 DR. EAGLSTEIN: Right. So, back to continue this.  
19 There was the idea of the unit packaging and furthermore the  
20 one ounce.

21 Second, would be that the container be child proof.

22 DR. McILREATH: We will be submitting -- I told you  
23 we were doing stability studies and we will submit a supplement  
24 to the NDA tomorrow morning on the safety closure.

25 DR. EAGLSTEIN: And, third, the container should

1 have non-removable labels indicating that the contents are  
2 poison. To be kept out of the reach of children, not to be  
3 reused and to be discarded in a safe place.

4 MR. BOSTWICK: Okay. Indicating that it contains  
5 a poison. That it is kept out of the reach of children.

6 MS. KENNY: And also non-removable.

7 MR. BOSTWICK: Okay.

8 DR. EAGLSTEIN: And discarded in a safe place.

9 Fourth, the pharmacist should not place a label  
10 over these warnings.

11 MR. BOSTWICK: How does that work.

12 DR. EAGLSTEIN: It's a little like the one, the  
13 pharmacist shouldn't refill it more than once.

14 MR. BOSTWICK: Oh, okay. Don't blot out the  
15 warning. There's nothing wrong with that one.

16 DR. EAGLSTEIN: I did this in the middle of the  
17 summer --

18 MR. BOSTWICK: Are we through with containers now?

19 DR. EAGLSTEIN: Yes.

20 MR. BOSTWICK: Okay.

21 DR. EAGLSTEIN: And I think actually the other big  
22 category -- I said there were four, but there are really  
23 three. I had developed it as four because I was going, at one  
24 time, to go ahead and make try to implement the suggestions  
25 on the label, which I did do on some of the other information.

1 But the other people suggested the following, and I called it  
2 under additional studies.

3 They asked that the sponsor be encouraged to, one,  
4 gather follow-up information on the people who have had  
5 convulsions. Who were known to have had convulsions, I guess.

6 And that the sponsor sponsor -- that the sponsor  
7 sponsor more sensitive studies of neurotoxicity in humans  
8 then have been performed in the past.

9 I remember Dr. Allen asked you if you had done  
10 EKGs on people, any electroencephalograms. And I think several  
11 of the articles that are quoted widely did point out that  
12 there have not been sensitive studies for possible effects,  
13 neurologic effects short of gross things like seizures or  
14 irritability, I guess.

15 So, that really is my summary of the comments I  
16 received and I guess that is entered in this record.

17 MR. BOSTWICK: Right. Probably what I should do  
18 is I should get a copy of that for Dr. Rasmussen and maybe  
19 we could enter into whether he is in agreement that all these  
20 suggestions are pertinent, or whether he has anything to add.

21 I thought I would go get you a copy of that and  
22 then maybe we could -- do we have --

23 There he is, this is Dr. Evans, who I presume every-  
24 one knows.

25 Dr. McIlreath is filling your space, but you can

1 sit here anyway.

2 I don't know, do you think that is worthwhile?  
3 Do you think we should just enter into what we've got now,  
4 or do you want to go into more specific discussions on the  
5 labeling rather than these general discussions? Or do you  
6 think we would rather now discuss this first list of suggestions  
7 that the committee gave us.

8 DR. EAGLSTEIN: My impression of what the committee  
9 -- how the committee works is that it would be better if we  
10 do this now.

11 MR. BOSTWICK: I think so too. I think it would  
12 be, from my point of view, and I don't know what Dr. Evans  
13 feels or Dr. Tabor, if we could minimize the amount of material  
14 we have to present to the committee. I don't want to throw  
15 anything useful out. But I don't want to generate a big  
16 mass of material and throw it at them at 1:30 tomorrow after-  
17 noon and say, well, here, what are we going to do with all  
18 this. I don't know if any of this is -- if it's possible to  
19 throw any of this down, or maybe it is a better idea to try  
20 to make specific labeling recommendations in general. I  
21 don't have a clue as to what the best -- I'm looking for the  
22 most efficient method of presenting this material to the  
23 committee tomorrow and I don't know in the short run what the  
24 best thing to do is. Whether we should try to get specific  
25 now, or just try and get rid of the more general suggestions.

1           What do you suggest?

2           DR. EAGLSTEIN: I would suggest that we let Dr.  
3 Rasmussen look at these and see which of these he agrees  
4 with, and actually I'd comment as well that I might not agree  
5 altogether in some of these.

6           MR. BOSTWICK: Right. I want to --

7           DR. EAGLSTEIN: And once we have agreed on these  
8 principal -- calling these principals, I think certain  
9 revisions will follow rather naturally and we can do that  
10 today.

11          MR. BOSTWICK: -- that is what I would like to do.

12          Give me a couple of minutes, and I'll get five  
13 copies of this so everybody knows what we are talking about.

14          DR. EAGLSTEIN: I think the most critical one is  
15 this one about the contraindications. Now, the suggestion is  
16 to -- the suggestion I extracted from these comments and from  
17 the minutes was the idea that the label contraindicate the use  
18 of the shampoo cream and lotion for infants, pregnant women  
19 and lactating women. The sponsor agrees that we should  
20 contraindicate these for prematures, but does not agree on  
21 shampoo cream and lotion.

22          So, maybe we could --

23          MR. BOSTWICK: You could tackle that. I'll be right  
24 back.

25          DR. EAGLSTEIN: -- continue and tell us what you

1 think of that.

2 DR. RASMUSSEN: Well, I don't agree with that for  
3 a wide variety of reasons. Do you want me to lay it out, or  
4 how -- I don't know what the format is.

5 DR. EAGLSTEIN: I think you can lay them out.

6 DR. RASMUSSEN: Okay. One, I use Lindane on every-  
7 body with the exception of premature infants which practically  
8 it never occurs because head lice and scabies in children who  
9 are still in the nursery is almost nonexistent. I've certainly  
10 never seen a case of it. I do have a contraindication of  
11 children who have epilepsy. There are a few reports of people  
12 who have had seizures -- who have had baseline seizures and  
13 then had been treated and on several separate occasions have  
14 have had the same type of seizures they had before; so, that  
15 would be a consideration that I would put in there.

16 I use, except for those situations; that is,  
17 premature infants, which never occurs in my practice, or those  
18 who have convulsions from other causes. I use Lindane on  
19 everybody and I have all of my professional life and I have  
20 never seen a significant toxic reaction. I've seen dermatitis  
21 and things like. So, there would be two possible reasons for  
22 considering -- in my opinion, for considering eliminating its  
23 use. One would be because there is a safer alternative, and  
24 two would be because of the inherent toxicity of the drug  
25 itself. And taking the second one first, the inherent toxicity

1 of the drug itself, there is no doubt in my mind that Lindane  
2 can cause convulsions, but it almost always occurs in the  
3 presence of ingestion or misuse of the drug. There are two  
4 examples of patients who have had what sounded like legitimate  
5 convulsions, but if you look at the use experience of the drug  
6 in terms of millions -- literally millions, it's been estimated  
7 that there have been between 20 and 40 million people who have  
8 used Lindane for head lice, pubic lice, scabies, and probably  
9 for diseases that aren't responsive to it on the basis of this  
10 diagnosis like exzema and psoriasis, and things like that.  
11 And out of those, there are probably fewer than four or five  
12 people who had convulsions and have not had abuse or ingestion.  
13 So, I think that's a fairly reasonable safety record.

14 It would be very difficult for any of us to name  
15 another drug that had been used millions and millions and  
16 millions of times and have had fewer side effects.

17 Now, there is no question that convulsion is a very  
18 serious side effect; however, the literature that I have seen  
19 both published and unpublished and follow-up on people who have  
20 had convulsions have not indicated any permanent neurological  
21 sequaleae, but I'll be very candid and admit that there have not  
22 been very satisfactory studies. Nobody has gone back and done  
23 intelligent IQ testing and EEGs, and that type of stuff.

24 To my knowledge, there has only been one death, as  
25 far as I know. I can't say that for sure, but I think that

1 that was after an ingestion. There has never been a reported  
2 fetal abnormality following the use of Lindane for any indication  
3 that somebody was pregnant -- at least to my knowledge there  
4 hasn't been -- nor has there ever been a reported abortion,  
5 spontaneous abortion after the drug has been used. So, I think  
6 you have a very reasonable record of safety, an extremely small  
7 record of toxic abnormalities in spite of very substantial  
8 use.

9           Now, the other side of the coin is, let's take some  
10 -- let's make some restrictions because Lindane -- because there  
11 are safer alternatives, and I would just put the ball back  
12 in the court of people who say that there are safer alternatives  
13 by saying that none of these other agents, of which there are  
14 only three, crotamiton, which is sold as Eurax, pyrethrins,  
15 and piperonyl butoxide, which are sold under brand names  
16 like A-200 and Rid, and probably many others, and malathion,  
17 .5 percent malathion, which is a new addition in the U.S.  
18 anyway, which is sold as Prioderm. Those are the only three  
19 that you can actually buy that are marketed. You can make  
20 concoctions out of sulfur and benzyl benzoate; so, if you just  
21 take those three marketed or maybe five total drugs that have  
22 been used far less extensively, I mean, like 1/20th to 1/50th  
23 or 1/100th the use and there have been certainly side effects  
24 reported with those other agents. For people who think that  
25 pyrethrins are harmless, many of them are marketed in vehicles



1 which contain petroleum distillates; that is, kerosene, for  
2 example or other light oils and those things have some potential  
3 as carcinogenic agents which is the same problem as people  
4 consider are the same group of -- people consider Lindane as  
5 a possible carcinogen. Certainly, they all can be irritating.  
6 Kwell, Lindane -- I mean, pyrethrins containing products,  
7 malathion, and so on and so forth. They can all irritate it,  
8 get placed on mucous membranes. So, it doesn't seem to make  
9 any sense to me to avoid a drug that is used extensively,  
10 has an extremely small toxicity and used as a substitute drug,  
11 which has been less well studied, and also in rare instances  
12 have been associated with toxicity.

13           Also, I should like to add that Lindane is sold over  
14 the counter in a very wide range of very well developed  
15 countries and some not so well developed. There are hard,  
16 good published reports of toxic experiences in those countries,  
17 with the exception of a vew few of them, and just to give you  
18 a couple of names, Australia, it's over the counter, Austria,  
19 Canada, Israel, many countries in Africa, many countries in  
20 South America, it's in Switzerland as an OTC, and I have written  
21 to practically every correspondng FDA unit -- they are not  
22 FDAs, but whatever they call them -- and I haven't received  
23 many replies back, but the ones I have received indicated that  
24 there is a substantial number of patients who have been un-  
25 reported who have had convulsions following the use of Lindane.

1 In fact, there was a letter to the editor in the Journal  
2 of the American Academy of Dermatology by a Canadian by the  
3 name of Jack Poriez (phonetic) who stated that Lindane had  
4 been OTC in Canada for 20-some years and that he was not  
5 aware of a single toxic reaction and he gave some examples  
6 of people who had been using it every day for six months or  
7 a year, or something like that.

8 So, I am not at all impressed that Lindane is a  
9 toxin. And the the final thing, and I'll be quiet here. I  
10 speak all over the country on Lindane and somehow or other  
11 the story had gotten around that I am an Reed and Carnrick  
12 consultant. I would like to state it for the record and  
13 I would be glad to discuss it with anybody personal or  
14 privately, on or off the record, that I am not a Reed and  
15 Carnrick consultant. I have never received a thin dime from  
16 Reed and Carnrick. The only thing that I have ever done in  
17 association with them was to help put out a symposium on  
18 the treatment of scabies which was subsequently in QTUS  
19 (phonetic) and I have a large number of copies of these if  
20 anybody would like to see them and as the price for my doing  
21 this, I insisted that Reed and Carnrick publish a statement  
22 of my independence. I paid my own way down there and I paid  
23 for my own meals. I paid for my own cab and my own lodging  
24 and the the asterisk next to my name says that, "The editors  
25 express their appreciation to the University of Michigan

1 for their funding of Dr. Rasmussen's participation in the  
2 symposium. His participation is unrelated to the sale or  
3 manufacture of any product mentioned in this paper."

4 And it also seen next to my name where the actual article  
5 comes out.

6 At the last meeting we had on this subject, it was  
7 stated and implied that I was a consultant to Reed and Carnrick,  
8 implying that my opinions were not arrived at independently  
9 and were not reasonable and independent. And I can assure  
10 that that is absolutely untrue and I would be glad to discuss  
11 it with anybody on or off the record.

12 DR. EAGLSTEIN: It's the independent part.

13 MS. KENNY: Can I just get back to the thing about  
14 pregnant women for a second. If Reed and Carnrick is willing  
15 to say that there can be danger to premature infants and that  
16 they are willing to restrict the product voluntarily for use  
17 in premature infants, what infant can be considered more  
18 premature than the one who is in utero. And if we understand  
19 that Lindane does penetrate skin and enter the bloodstream;  
20 then we have to also believe that it passes to the fetus.  
21 And it just seems that any product with CNS altering possibilities  
22 is going to be -- have a negative effect on a developing fetus,  
23 particularly one that is in the process of developing a central  
24 nervous system. It just seems baseline common sense that a  
25 product like this, which is a poison, no matter how you cut it,

1 it's a lethal substance to some living forms. It is not going  
2 to have a good effect on the developing fetus, and I just think  
3 it has to be common sense that it is restricted in this way.

4 DR. EAGLSTEIN: So, before that is picked up upon,  
5 you're the record, aren't you, down there. Do you want a copy  
6 of Dr. Rasmussen's spoken statement. Would it be appropriate  
7 with the record?

8 THE REPORTER: No, it does not go with the record.

9 DR. EAGLSTEIN: Okay.

10 So, your point is that the pregnant women is having  
11 some penetration and the material gets to the -- in utero?

12 MS. KENNY: If Lindane enters her bloodstream; then,  
13 it enters that fetus' bloodstream as well.

14 DR. RASMUSSEN: I agree with you. It probably does.

15 MS. KENNY: So, how can you be against restricting  
16 it to pregnant women?

17 DR. EAGLSTEIN: Would you then want to restrict it  
18 for pregnant women?

19 DR. RASMUSSEN: If there were some animal toxicology  
20 that indicated that it had CNS effects, I would be very agree-  
21 able. I'm not categorically stating, and I don't believe that  
22 Lindane is non-toxic. If you can show me some laboratory data  
23 that suggests that it is CNX toxic in developing animals, I  
24 would certainly appreciate seeing that.

25 On the plane down here, I read about a 60 page

1 toxicology report which reviewed the studies that had been done.  
2 None of them --

3 DR. EAGLSTEIN: The way I think I hear it, why would  
4 you then be restricting it to prematures?

5 MS. KENNY: To prematures.

6 DR. RASMUSSEN: Well, the basis for prematurity is  
7 really almost an anecdotal type of situation. It is basically  
8 concerns one single report which was published by Ron Hanson  
9 in the Archives of Dermatology about three or four years ago  
10 in which a premature child with multiple other medical problems,  
11 pneumonia, failure to cry, weight loss developed scabies and  
12 was treated with Urex for one to two days. Did not respond.  
13 Was treated with Lindane one and had what was sort of vaguely  
14 described as a convulsion and the blood levels were much  
15 higher than would be expected in a term infant, the idea being  
16 that it can clearly show that the skin of premature infants  
17 is more permeable than adults although that permeability  
18 comes very close to adult level, if not higher, within about  
19 two to four weeks after birth, the degree of prematurity.  
20 So, that is the basis for that statement as far as I know.

21 DR. EAGLSTEIN: In one of Kligman's paper, it has  
22 been quoted he, I think, alleged that part of the French  
23 experience with those children that had the problem was that  
24 part of their problem was that they all -- they, too, were  
25 prematures and it was the powder on the diaper areas; so, I

1 guess there are more than just -- I'm saying that there are  
2 several of these experiences with the premature, right?

3 DR. McILREATH: Yes. I think what Dr. Rasmussen  
4 has said is true. In the first few weeks after they are born,  
5 premature infants do not have the dermal protection that normal  
6 children do. And there were a couple of other people, Kligman  
7 for one, that says that in a normal term infant, the skin  
8 barrier is the best it is ever going to be in life, and we  
9 would agree that a theoretical basis for caution is probably  
10 worthwhile. But I would also agree that it is very, very rare  
11 that it would be used on a premature infant.

12 DR. EAGLSTEIN: I think what we are focusing on here  
13 in the pregnant woman who is passing via blood to the premature  
14 infant.

15 DR. McILREATH: If you look at all of the animal  
16 studies that have been, there is really no good evidence that  
17 it is a fetotoxic and there is now, I learned today, a new  
18 study, three generation reproduction study that was reviewed  
19 by EPA with no evidence of any reproductive toxicity. There's  
20 no good evidence that says it is reproductive. We've never  
21 seen any. The amount that would be absorbed is going to be  
22 quite small.

23 DR. EAGLSTEIN: Reproductive meaning birth defects?

24 DR. McILREATH: Birth defects mainly.

25 DR. EAGLSTEIN: Is that what you had in mind. I mean  
seizures may not --

1 MS. KENNY: Seizures may not follow. And gross birth  
2 defects may not be evident either, but there may in fact be  
3 damage that's rather refined in nature and shows up later.  
4 I just don't think with studies or no studies. I mean, if we  
5 talk about pregnant women not taking aspirin, perhaps not  
6 drinking coffee, if these things are all affecting; then, it  
7 it is really hard to be able to justify letting a toxic  
8 substance enter. I know you are going to come back and say  
9 it is not a toxic substance --

10 DR. RASMUSSEN: No, no, I agree --

11 MS. KENNY: -- entering the bloodstream of the fetus.

12 DR. RASMUSSEN: -- I agree with your position. I  
13 think that you -- if you take the idea that no -- absolutely  
14 no drug other than food and water are things that a pregnant  
15 lady should take, I would agree with that. The problem is if  
16 you put that label on Lindane, then you can bring up the same  
17 problem about every other drug that you use for scabies.  
18 What would you do for a pregnant lady with scabies? What do  
19 you give them? Because anything is going to be absorbed. Any  
20 thing that you put on that is an effective scabicide is going  
21 to be absorbed. And we don't have any good data for any of  
22 these products so then you are left with the dilemma of what is  
23 there to do? And I don't know the answer to that.

24 MS. ALTSCHULER: But you don't justify using it for  
25 lice because of scabies. I mean, you may want to relabel

1 it specifically for scabies, but what seems to be happening  
2 to me here is that we are back to the original meeting that  
3 took place back in June of just a general discussion of the  
4 evils, or the non-evils of Lindane when in fact, we felt that  
5 the meeting ended last time with an understanding that when  
6 you discuss reported patients and used properly, and all that,  
7 that at least our testimony and the textbooks available to  
8 physicians and the consumer books made it quite clear that  
9 there was no way for people to get accurate information.  
10 So rather than to justify necessarily what should or should  
11 not be something that -- well, what I am trying to say is  
12 that we've got to give the people that use this product every  
13 benefit to make an informed decision. So, maybe it is true  
14 that you won't be able to document with reported cases X  
15 number of children born deformed, or whatever, as a result  
16 of having used Lindane, but certainly a pregnant woman should  
17 have an opportunity to know not to abuse it and how to use  
18 it properly.

19 DR. RASMUSSEN: Well, I agree 100 percent.

20 MS. ALTSCHULER: But getting back to that then, you  
21 probably wouldn't be as opposed to giving her that by having  
22 it on the labeling?

23 DR. RASMUSSEN: I think that your point should be  
24 applied to all things that are given to pregnant women if that  
25 is the way that you want to look at it. When I practice



1 dermatology, when I see women, I tell them, you know, this is  
2 a dilemma. That nobody really has a hard or fast answer. No-  
3 body has done a long-term study with lots of adults and looked  
4 very sophisticatedly for Lindane or for any other.

5 MS. ALTSCHULER: But this is a unique kind of a problem  
6 because we're talking about in the case of lice, we're talking  
7 about epidemic numbers. We're talking about people responding  
8 in a panic. We are talking about physicians unknowingly giving  
9 out erroneous information and they are estimating 12 to 14  
10 million Americans this year, last year had lice. Then it opens  
11 up the great possibility, knowing what we know already, for  
12 lots of abuse.

13 DR. EAGLSTEIN: Are you trying to say that Lindane  
14 should be contraindicated for pregnant women if they have head  
15 lice?

16 MS. KENNY: Yes.

17 MS. ALTSCHULER: I'm saying -- he's talking about  
18 scabies, you can't justify leaving it on carte blanche for  
19 everyone because it is the only thing that he feels may be  
20 will treat scabies. If there are alternatives for lice; then,  
21 perhaps it should be.

22 MS. KENNY: In other words, you did talk about  
23 separating creams and lotions and shampoos?

24 DR. EAGLSTEIN: Right. I understand. I think she  
25 is refining this point two --

1 MS. KENNY: Right.

2 DR. EAGLSTEIN: -- and saying, well, what about a  
3 proposal that would contraindicate the use of Lindane in the  
4 pregnant woman --

5 MS. ALTSCHULER: Since there do seem to be --

6 DR. EAGLSTEIN: -- if she's suffering in treating lice  
7 as compared to scabies.

8 DR. McILREATH: And in the case of scabietic  
9 person, you are putting material on the entire body. We know  
10 blood levels of this. In adults, they are very low. In  
11 children, they go up to around 30. In the case -- the same  
12 measurements have been made following the shampoo under  
13 slightly exaggerated conditions and we find that it is less  
14 than a tenth of the amount that this scabietic person absorbs  
15 -- is absorbed in the case of somebody exposed to the shampoo  
16 under exaggerated conditions. We feel that that by itself  
17 is reduced, the absorption.

18 MS. KENNY: I think one of the problems, certainly,  
19 with lice infestation is that because of some of the exaggerated  
20 claims of all the pediculicides, I mean, there is a definite  
21 cycle of self-reinfestation that happens with lice. And if  
22 the person who is treated once with the Lindane shampoo is  
23 going to end up being treated again if not a week later  
24 then -- it almost never happens that you don't have to re-  
25 treat and retreat a few times and may end up that the dose that  
the fetus gets and that the pregnant woman gets is greater

1 than the one time dose.

2 DR. EAGLSTEIN: Right. But what I think is being  
3 said is that the shampoo, if done properly, and that's one of  
4 the issues --

5 MS. KENNY: It's a big one.

6 DR. EAGLSTEIN: -- which isn't the sponsor's -- within  
7 the sponsor's ability to control perfectly.

8 MS. KENNY: Right.

9 DR. EAGLSTEIN: But anyway, even if it is used three  
10 or four times, but if it is used properly each time, it is  
11 one-tenth of what a treatment for scabies is.

12 MS. ALTSCHULER: We have just put out information  
13 to the population around our area to give parents a better  
14 shot at using Lindane properly and we wrote it up to state  
15 that -- we separated it out and said, "Lindane containing  
16 shampoos are not merely medicated shampoos, they are prescription  
17 pesticides and should be used carefully, but, more importantly,  
18 they should not be confused with Lindane creams and lotions  
19 which are used to control scabies." And by doing that, hopefully,  
20 we already get rid of the one major abuse, which is the mother  
21 who picks up the shampoo with the directions for the lotion  
22 and we hear about that time and time again. And also doesn't  
23 allow it to be used.

24 DR. EAGLSTEIN: Haven't you avoided the problem?

25 MS. ALTSCHULER: By alerting the parents, as well as

1 the pharmacists that shampoos, the shampoos alone are for the  
2 lice and that the creams and lotions are for the scabies and  
3 that they can't hand out directions for lotions to patients  
4 that they give shampoo to.

5 DR. EAGLSTEIN: Okay. So, that's within the area  
6 that we have generally agreed to.

7 MR. BOSTWICK: Right.

8 DR. EAGLSTEIN: The shampoo won't -- the cream and  
9 lotion won't be used for the lice.

10 MS. ALTSCHULER: All right. So, I got off on the  
11 thing for a moment, sorry.

12 DR. EAGLSTEIN: In the pregnant women, we're not so  
13 far apart. I think, anyway, there is a general agreement  
14 on the premature and, I gather, the epilepsy seems to be  
15 acceptable.

16 MR. BOSTWICK: I missed that part. The premature  
17 infants --

18 DR. EAGLSTEIN: And those who have had a seizure.

19 MR. BOSTWICK: -- all right. Okay.

20 DR. McILREATH: And those prone to seizures.

21 MR. BOSTWICK: Seizure disorders, okay.

22 DR. EAGLSTEIN: So, we were on the pregnant woman and  
23 saying that here child is premature and shouldn't be exposed.  
24 And the answer was, well, she's going to use something.

25 DR. EVANS: And this was in pediculosis, you're

1 talking about?

2 MS. KENNY: No. I think Dr. Rasmussen was talking  
3 about the scabies indication which is more limiting as far  
4 as alternative treatments.

5 DR. RASMUSSEN: Either one, it wouldn't make any  
6 difference.

7 MS. KENNY: But the factors in the case of pediculosis  
8 there are less -- probably are things that we could agree would  
9 be less toxic as alternative treatment.

10 DR. EVANS: We did not agree that it might be prudent  
11 in the pregnant females even in pediculosis in might be prudent  
12 to use alternatives even though we may not know quite as much  
13 about it. The OTC products that we have on the market seem  
14 to be reasonably safe and it doesn't seem like a big thing --  
15 I can't see where this is any large part of the market and it  
16 seems as though it may be just prudent to include that as one  
17 of those that shouldn't use it.

18 DR. McILREATH: But how do you know who is pregnant?

19 MS. KENNY: I think the people know.

20 DR. EVANS: They buy a pregnancy test. People who  
21 are obviously pregnant.

22 MS. KENNY: Obviously, there are a few weeks before  
23 you know that you are pregnant.

24 DR. McILREATH: It wouldn't be the responsibility --

25 MS. KENNY: It's not your responsibility. It just

1 says those who are pregnant and know it, should proceed this  
2 way if they wish to.

3 DR. EVANS: I think while we realize there's not  
4 a lot of information on any of the other products and we know  
5 what we know about Lindane, it seems prudent that even in  
6 pediculosis, it might be worthwhile to have that as one of  
7 the contraindications for its use during this period because  
8 I think that there is a consensus that it is effective and  
9 should be used later in the game and I think with these kind  
10 of small concessions, I think maybe we can make some progress  
11 for pediculosis and then see where we need to go for scabies.

12 DR. EVANS: Without trying to be on the side of the  
13 devil and against motherhood, there's nothing in the literature  
14 on 40 years approximately of usage that says that it has ever  
15 been a problem. Scientifically, the amount that is absorbed  
16 is miniscule. You can't quantitate it to a prematurely born  
17 because the fetus is not getting it through the skin as much  
18 as it is through circulation.

19 MS. KENNY: But my guess is that when you talk about  
20 a premature's thing -- I mean, you're not talking about the  
21 skin so much as to what penetrates the skin and enters the  
22 bloodstream and therefore the body's tissues. I mean, if  
23 it enters right directly through the bloodstream via the  
24 mother's bloodstream, I mean, you're just to the same place.  
25 You've just excluded the skin passage.

1 DR. McILREATH: Except that what it is getting from  
2 the mother is far less than it would get if you applied it to  
3 the skin.

4 MS. ALTSCHULER: I would probably agree with you  
5 if used as directed, but that goes back to the other point.  
6 We are assuming that nobody is using --

7 DR. McILREATH: Well, you can't guarantee that.  
8 You know, I can tell you the number of convulsions or the  
9 number of deaths with aspirin or with many over the counter  
10 drugs and my only is that we not -- we do this on the basis  
11 of logic rather than emotion.

12 MS. ALTSCHULER: Well, it is factual that people don't  
13 know how to use this; so, we have to assume that they are  
14 going to abuse it.

15 DR. EAGLSTEIN: I think we are getting to the abuse  
16 by the proposals for changing the label and presumably the  
17 idea is going to be put forth that there be an insert to the  
18 patient, although I don't know --

19 DR. RASMUSSEN: If you put that on the label, as a  
20 physician, what choice does that leave me, because obviously  
21 you've said that I shouldn't use that drug and yet -- so that  
22 means that I have to use something else. Now, I feel very  
23 comfortable with Lindane. I have read piles of epidemiology,  
24 toxicology and have extensive clinical experience with it.  
25 Where is my next step going to be. If you say I can't use

1 this, you leave me with three other choices about which  
2 probably 1/10th to 1/50th is known. So, how do I manage that  
3 dilemma. What do I do with a pregnant woman who comes to me  
4 who says, you know, I don't want to use this drug because I  
5 just read this. So, now what do I do?

6 MS. ALTSCHULER: Well, I would suggest, first of  
7 all, simply combing with an adequate combing tool. And, second  
8 to that, in the case of a real problem, I would suggest a  
9 pyrethrin product.

10 DR. RASMUSSEN: But suppose with combing, the  
11 pyrethrins don't work?

12 MS. ALTSCHULER: There is not any product on the  
13 market that we know of right now that works 100 percent  
14 anyway because none of them are totally ovicidal. So, you've  
15 got to figure without combing, you are going to have to re-  
16 treat no matter what. Bit even mentioning the reinfestation  
17 from the environment.

18 DR. RASMUSSEN: But suppose the pregnant lady then  
19 says, my husband happens to be toxicologist --

20 (Laughter.)

21 DR. RASMUSSEN: -- just a moment now. Pyrethrins are  
22 in petroleum distillates --

23 MS. KENNY: I think only one of them is.

24 DR. RASMUSSEN: -- no, they both are. If you read  
25 the label. One of them says kerosene and the other one says  
petroleum distillates.



1 MS. ALTSCHULER: Okay, go ahead.

2 DR. RASMUSSEN: Now, petroleum distillates doesn't  
3 mean gasoline. It is something refined. One of them is  
4 very similar to mineral oil. Now, both of those agents are  
5 either known or very highly suspected carcinogens. Both of  
6 them are known to be toxic if taken in excessive amounts.  
7 Where do you get safety from something like that?

8 MS. ALTSCHULER: I'm not sure I understand your question.

9 DR. RASMUSSEN: In other words, Rid and A-200 are  
10 -- as a constituent intervehicle they have petroleum distillates.  
11 One of them specifically says kerosene, the other one says  
12 petroleum distillates. And when you actually check, it is  
13 a very light oil very similar to a machine oil, or mineral  
14 oil, or something like that.

15 Now, both of those agents -- I don't have the  
16 reference, but I'm sure I can dig it up -- I've dug it up  
17 for kerosene -- has been suspected or at least in animal  
18 models shown far more carcinogenicity than any of these other  
19 stuff that we've got in Lindane. What do you do in that  
20 situation?

21 MS. KENNY: I think that kerosene vehicle is being  
22 discontinued by that company for starters. That product  
23 wouldn't necessarily be my recommendation anyway. I believe  
24 Dr. McIlreath's company makes another over the counter product  
25 which is based in a shampoo base as opposed to any of these

1 others. So, I mean, it's not that the alternatives aren't  
2 available. There are alternatives available. I think the  
3 other product that his company makes is a fine and safe product.

4 MS. ALTSCHULER: But in answer to your question, the  
5 -- it's the lesser of the evils. We view all the pediculicides  
6 as pesticides. I mean, we would choose not to use any of them  
7 on ourselves or our children; so, you have a certain educated  
8 shot at using the least potentially toxic.

9 DR. RASMUSSEN: Where do you get the basis for saying  
10 that that's the least potentially toxic?

11 MS. ALTSCHULER: Just from the literature. When you  
12 look at the contraindications and the side effects in the studies,  
13 it just appears that the only major contraindications right  
14 now available for pyrethrins is a potential allergy to ragweed,  
15 or something like that, or, of course, putting it in, you know,  
16 a child's eyes or ingesting it are the same thing for any  
17 kind of a chemical substance. But the contraindications and  
18 the studies and the letters and the documentations for abusive  
19 use of Lindane are there, you know.

20 DR. RASMUSSEN: Show me a case where somebody has  
21 had an abortion?

22 MS. KENNY: We obviously can't --

23 MS. ALTSCHULER: No. I hope that it never does.

24 DR. RASMUSSEN: You said that your documents  
25 supported your position.

1 MS. ALTSCHULER: I have them.

2 DR. RASMUSSEN: You have letters of abortions?

3 MS. ALTSCHULER: No, no, no. I didn't know we were  
4 back to abortions.

5 DR. RASMUSSEN: We were just talking about safety  
6 and pregnancy.

7 MS. ALTSCHULER: Okay.

8 DR. RASMUSSEN: I am not aware of any. I've written  
9 the FDA. They sent me nothing. Reed and Carnrick have sent  
10 me nothing. There's nothing published in the medical literature,  
11 and yet we're considering taking this drug and --

12 MS. ALTSCHULER: But if we went out into the public  
13 right now and asked for public health official numbers on  
14 life infestation, we would probably come back with numbers  
15 that would say we have no problem when, in fact, we have a  
16 terrible problem.

17 DR. RASMUSSEN: Well, I agree we have lice. We have  
18 it all over the place.

19 DR. EAGLSTEIN: One thing. There is this article  
20 by, I guess, Ginsburg and in one of his articles he ends up  
21 something when -- I think it's pregnant -- use sulfur.

22 DR. McILREATH: That was his first article.

23 DR. EAGLSTEIN: And in this article, Pharmacology  
24 and Therapeutics in '83, there's an interesting statement.  
25 "Lastly, it seems likely, but not proven that percutaneous

1 absorption of drug following application of shampoo is not  
2 noted to children. Because of this and the putative teratogenic  
3 effects of the drug, it seems prudent that GBH in any form  
4 should be used with caution during pregnancy."

5 I never understood using cautious like if you put it  
6 on lightly.

7 (Laughtly.)

8 DR. EAGLSTEIN: What does putative teratogenic  
9 effects mean?

10 DR. McILREATH: I don't know. He wrote that completely  
11 on his own with no help or suggestions from us.

12 MS. KENNY: It just seems hard to talk to any  
13 toxicologist -- almost any toxicologist that we know of, or  
14 have met who doesn't say that the GBH is --

15 DR. EAGLSTEIN: Putative means generally accepted.

16 DR. TABOR: No, it doesn't.

17 DR. EAGLSTEIN: I think it does.

18 DR. McILREATH: It means that there's a possibility  
19 of.

20 DR. TABOR: It means alleged.

21 DR. EAGLSTEIN: But not necessarily --

22 DR. TABOR: Perhaps stronger than alleged.

23 DR. McILREATH: Just one brief comment on the use  
24 of the pyrethrins. Malathion was considered -- or protamiton  
25 was considered as a safe alternative and now on the basis

1 of the amount that's been used, there has been a case of  
2 convulsions with protamiton. Now, the incidence of that is  
3 the same or perhaps slightly greater than the incidence with  
4 Lindane.

5 It only took one convulsion compare to the amount of  
6 uses of that product.

7 MS. KENNY: I know that this committee and other  
8 people who are physicians and scientists are, you know, strongly  
9 rely on reports in the medical literature, but I think that  
10 for every one that's reported, which are few obviously,  
11 hundreds of incidents happen and are not documented and are  
12 not reported, and based on the reports that we get from  
13 physicians, including Ron Hanson at the Health Science Center  
14 in Arizona, you know, he has written to us of several things  
15 that have happened in his case loads since the one report that  
16 he made on the premature. But I think that hundreds of  
17 incidents happen are not documentable or not reported, don't  
18 come back to -- don't get to Reed and Carnrick for validation  
19 and, you know, these things happen. And they may not reach  
20 the literature, but it doesn't mean that they don't happen.

21 DR. McILREATH: We are not saying that what we have  
22 constitutes everything, but the same applies to any other  
23 drug.

24 MS. KENNY: That's right.

25 DR. EAGLSTEIN: But it seems to me that you would

1 really prefer that Lindane not be around.

2 MS. ALTSCHULER: For use in pediculosis, right.

3 MS. KENNY: We can't say that about scabies. We  
4 are not prepared to say that about scabies.

5 MS. KENNY: The fact is, Dr. Eaglstein, we didn't  
6 really feel that way in June, but we feel that way now.

7 MS. ALTSCHULER: After the EPA banned it for the use  
8 on dogs, we had to say that.

9 DR. McILREATH: But they didn't ban it.

10 DR. EAGLSTEIN: What I'm saying is, the people, I  
11 think, who constitute this committee would probably agree  
12 with you that it is important to give people who use this  
13 proper information so that they can make judgments. But then  
14 if you take it away, you've made the judgment that they shouldn't  
15 have it. You've contraindicated it for use in --

16 MS. ALTSCHULER: Okay. I see what you're seeing.

17 DR. EAGLSTEIN: -- you don't give them the chance to  
18 make that choice. Now, you have made the choice. It's not  
19 form them to make. And to do that, I suspect the committee  
20 would want the sort of documents we're talking about.

21 MS. ALTSCHULER: Right.

22 DR. EAGLSTEIN: That is the way they are trained  
23 and that's the technique that is employed.

24 MS. ALTSCHULER: Unfortunately, we can't come up with  
25 those kind of documents and we wrote -- once, again, we wrote

1 up our piece, we took the step of referring pregnant women  
2 back to their obstetricians and let the obstetricians have  
3 the responsibility of helping them make their decision, but  
4 at least let the obstetricians have an educated shot at given  
5 them proper information. Right now, we have obstetricians  
6 in our area who tell mothers to shampoo on Monday and then  
7 on Tuesday and then --

8 DR. RASMUSSEN: That's a real problem because I read  
9 the Lindane literature extensively, and, to my knowledge,  
10 there is nothing, absolutely zero in that OB literature.

11 MS. ALTSCHULER: Well, obstetricians usually have  
12 a general across the board philosophy about women coming in  
13 to contact with any potential toxic anything. Of course,  
14 breathing the air these days probably doesn't --

15 DR. RASMUSSEN: But what I mean was that they don't  
16 really know -- if they are not kept up to date on developments  
17 and proper information, I see the same thing that you do,  
18 people -- obstetricians, you call them up some crazy drug  
19 that they've got their patient that I happen to know is a  
20 problem and they never heard of it.

21 MS. ALTSCHULER: But, see, we are talking about a  
22 public health epidemic right now, which when people say to  
23 us, how can we get so excited and upset and everything about  
24 lice when we have kidney disease, and this and that, and  
25 everything else. I mean, you know, it's apples and oranges.

1 You can't take everything and lump it into this right now  
2 because lice are an epidemic and they are also -- there's no  
3 protection in the environment against getting them again  
4 and again and again. And if it were a one-shot deal where  
5 the patient was cured and that was the end of it, it would  
6 be fine, but if you've got a mother with preschool children  
7 and who is pregnant, probably pregnant again and again and  
8 will probably have lice in her home six, eight times a year.  
9 So, it is a unique situation. It can't be lumped up, lumped  
10 in, whatever.

11 DR. EAGLSTEIN: Well, you have gotten rid -- if you  
12 want ot think of it that way -- the cream and the lotion are  
13 no longer indicated -- are not presumably going to be indicated  
14 for the lice.

15 DR. RASMUSSEN: Would it be reasonable to say that  
16 the safety or whatever, toxicity, or something has never been  
17 proven to be safe and put that in big -- great big letters  
18 so that people should strongly consider the possible potentials  
19 for whatever that this is used.

20 I could buy something like that because I am very  
21 concerned about it. I don't want to give people the impression  
22 that I just pass it out. I give people all kind of information  
23 on it and I tell them that nobody knows the answer. What is  
24 the right choice.

25 DR. EAGLSTEIN: So, you are saying for the shampoo



1 for the pregnant woman?

2 DR. RASMUSSEN: I think that would be a very reason-  
3 able statement to say and you could flash it or put it in as  
4 big as letters that you wanted it. That it's not -- certainly  
5 it is not shown to be effective -- I mean safe.

6 MR. BOSTWICK: It says -- it's got big letters.  
7 "Should be used with caution especially around infants,  
8 children and pregnant women."

9 MS. KENNY: Physicians get that, consumers do not.

10 MR. BOSTWICK: But nonetheless, the physician label  
11 now has a fairly emphatic warning concerning caution. It  
12 doesn't say not to use it, but caution.

13 DR. RASMUSSEN: But, see, caution is just what Bill  
14 said, what does that mean? Does it mean a thin coat, leave  
15 it on, or dab it on with gloves on.

16 MR. BOSTWICK: I agree.

17 DR. RASMUSSEN: It is a very legalistic type of term,  
18 but in medicine it doesn't mean any. It means your heart beats  
19 faster when you put it on.

20 (Laughter.)

21 MS. KENNY: Right. And the point is that it also  
22 doesn't reach the consumer now. People who are calling their  
23 pediatricians to get this information, how many pediatricians  
24 -- I can think of none of the hundreds of women we talk to --  
25 whoever say to the women, are you pregnant when you put this

1 on your kid or use on yourself when you're pregnant.

2 I mean, that just is not a question that they routinely ask  
3 and are not educated to ask.

4 DR. RASMUSSEN: It probably is not limited to  
5 pediatricians.

6 MS. KENNY: Well, usually they are the ones -- I would  
7 say in pediculosis, they are the ones who are writing the  
8 prescriptions with regard to pediculosis and not for scabies,  
9 more dermatologists perhaps. But pediatricans do not ask  
10 this question of the applicer, the mother. They don't. And so  
11 the consumer has to know it at her --

12 DR. RASMUSSEN: Well, I would feel quite comfortable  
13 with something like that being given --

14 MS. KENNY: On the box?

15 DR. EAGLSTEIN: What I am trying to say is I think  
16 everybody would agree with you, and certainly I would, that  
17 education is our biggest problem.

18 MS. KENNY: Uh-huh.

19 DR. EAGLSTEIN: Now, that means education, let them  
20 make a choice based on reasonable information and this is a  
21 question of are you going to let them choose to use it if they  
22 are pregnant. I mean, you don't want to let them make that  
23 choice and Dr. Rasmussen and others will say, well, they are  
24 going to use something.

25 MS. KENNY: Well, you know, of course you can only

1 tell them. You can't police what people do, but if it says  
2 on the box, you know, this product might be dangerous to  
3 pregnant women, nursing women, I guess you can only hope that  
4 it has the effect that --

5 MS. ALTSCHULER: If he is asking for a commitment  
6 from us in terms of what -- I mean, our position right now  
7 has evolved over the summer in the course of our experience  
8 through the summer that we see no necessity to ever use  
9 Lindane for the treatment of lice period. So, we would have  
10 to say yes that we would choose to have it contraindicated for  
11 pregnant women. However, we would be far more grateful to have  
12 something there than as has been in the past, which is nothing.

13 DR. EAGLSTEIN: Well, it is there now.

14 MR. BOSTWICK: It is not contraindicated.

15 MS. ALTSCHULER: It says caution, but it doesn't  
16 necessarily give why and yet at the same time you've got up  
17 at the top of that the same thing applies to the fish and a  
18 mouse. You've got to kind of look at the whole picture and  
19 finish the form before you can --

20 DR. EAGLSTEIN: Okay.

21 MR. BOSTWICK: Be that as it may, the committee has  
22 already made it's opinion known that they feel it should be  
23 available as a shampoo for pediculosis. And I don't think  
24 we're going to back up and start on that again.

25 MS. ALTSCHULER: Right.

1 MR. BOSTWICK: The question we have now is what we  
2 should do -- Dr. Eaglstein, and Mr. Rasmussen get to vote  
3 on this, or maybe they can't agree, but the question is:  
4 what shall we do about pregnant women and the use of Lindane  
5 in pediculosis especially.

6 As far as scabies goes, I don't know. I gather that  
7 is not as big a question.

8 DR. EVANS: I think we ought to decide them  
9 separately.

10 MR. BOSTWICK: Right.

11 DR. EVANS: The dialogue, I think, we are kind of  
12 mixing up the patient package insert with the indication for  
13 scabies and pediculosis --

14 MS. ALTSCHULER: It is not a simple matter.

15 DR. EVANS: -- no, no, but I think we ought to  
16 consider them one at a time. And it would seem as though  
17 we could start it off with pediculosis and the physicians'  
18 label should reasonably state for this indication. The  
19 committee already said that it should be used -- that only  
20 the shampoo should have this as an indication and the cream  
21 and ointment -- lotion should not. So, that's a head start.  
22 Now, should the physician package insert, even for the shampoo,  
23 has certain contraindications or precautions. Now, if so,  
24 what should they be? Should there be warnings and precautions  
25 as there are to some degree now which address pregnancy and

1 premature infants and seizures?

2 MR. BOSTWICK: The draft label has a contraindication,  
3 the current draft label has a contraindication for premature  
4 infants and I am presuming that they would be greatly  
5 disturbed by the patients prone to seizure disorder.

6 DR. RASMUSSEN: I was just going to say when you  
7 use the term not be used for premature infants, you have the  
8 probability of confusing that with someone who was premature  
9 six years ago.

10 MR. BOSTWICK: Right.

11 DR. McILREATH: I think we said premature neonates.

12 MR. BOSTWICK: Oh, neonates, okay.

13 DR. RASMUSSEN: But a consumer isn't going to know  
14 what a neonate is.

15 MS. KENNY: Is the committee not going to address  
16 consumer package insert, or they are?

17 MR. BOSTWICK: Oh, yes, we will, but first we need  
18 to --

19 MS. KENNY: I didn't realize it was going to be one  
20 or the other, or both.

21 MR. BOSTWICK: I guess our problem is what do we  
22 do about contraindicating this product in pregnant women.  
23 Where do we have statement to the effect that pregnant women  
24 should use other devices or drugs or methods first.

25 DR. RASMUSSEN: If you do that, you imply that some-  
thing else is safer.

1 MR. BOSTWICK: Right. That's exactly right.

2 DR. RASMUSSEN: And that is a tough thing to  
3 substantiate. It is even a difficult thing for me to feel.  
4 I only know that I feel comfortable with Lindane.

5 MR. BOSTWICK: I guess what we need to know is, do  
6 you and Dr. Eaglstein feel comfortable with recommending any-  
7 thing concerning this? Or do you just want to to throw it out  
8 to the committee and let them chew on it? I don't know.  
9 Concerning specific women specifically. It looks to me  
10 that all of us set a recommendation, but the big problem is  
11 women, pregnant women and lactating women for whatever reason.  
12 I would agree with Dr. Evans, it doesn't seem like a big part  
13 of the market, but there may be some other reason for  
14 psychologically not stating that point.

15 Is there anything that you and Dr. Eaglstein could  
16 agree on concerning pregnant women and Lindane? Do each of  
17 you have a feeling it should be?

18 DR. EAGLSTEIN: I think I could agree that they can  
19 use it as a shampoo.

20 MR. BOSTWICK: Use it as a shampoo.

21 DR. RASMUSSEN: With some sort of a warning that  
22 it shouldn't be repeated within a certain time period or  
23 a certain number of times per pregnancy or something so that  
24 you just don't have people doing what you suggested is re-  
25 treating it. There's no question that head lice just keep

1 ping-ponging around and they'll come back.

2 MS. KENNY: Can we talk about nursing infants for  
3 just a second. I mean, if we talk about ingestion --

4 MR. BOSTWICK: I want to do that, but first I want  
5 to be sure we're talking about pregnant women. We would allow  
6 it to be used with pregnant women, but we would want something  
7 in the labeling concerning reuse, correct?

8 MS. ALTSCHULER: Yes.

9 DR. RASMUSSEN: Yes.

10 MS. ALTSCHULER: But would you also agree that that  
11 would be included in the general instructions. I mean, nobody  
12 should reuse it or abuse it. It wouldn't necessarily have to  
13 be listed specifically for pregnant women, but for everyone.

14 DR. RASMUSSEN: I would agree with that, but I think  
15 if you are really concerned about pregnant or lactating women,  
16 that you ought to hit it again.

17 MS. ALTSCHULER: Say it again.

18 DR. RASMUSSEN: Or box it, or black letter or or  
19 stamp it someplace.

20 MS. ALTSCHULER: Okay.

21 MR. BOSTWICK: Now --

22 MS. KENNY: Well, what I was going to say is that  
23 ingestion of Lindane has seemed to be a problem and has been  
24 the factor that produced the most seizure problems. Then,  
25 even if it is a small amount, I mean, even if you have a small

1 amount of Lindane in the breast milk, which just about every-  
2 thing gets into breast milk, if you have a small amount of  
3 Lindane in the breast milk and you have a very tiny person  
4 ingesting that small amount, it still seems like its a negative  
5 for that tiny person. I just would like to say lactating women  
6 included in there.

7 DR. RASMUSSEN: But you are having a double dilution  
8 factor. You put a certain quantity on to a person, a half  
9 a cup of milk in a gallon of water and then take a half a cup  
10 out of that mixture and pour it into a pint of water, and you  
11 still have a further dilution factor.

12 DR. EAGLSTEIN: What are the -- I am very uninformed  
13 about this -- can't, in most case, lactating women -- can't  
14 their children be fed formula for a while while they are treat-  
15 ing?

16 DR. RASMUSSEN: They can use a breast pump.

17 MS. ALTSCHULER: They could be. There will probably  
18 be a lot of women that that would further complicate and  
19 emotionalize the issue if they were put in that situation,  
20 but I think pregnant --

21 DR. TABOR: That is a very common procedure though  
22 in women who are on medication for short periods of time.

23 MS. ALTSCHULER: -- but lactating women should at  
24 least be informed that --

25 DR. EAGLSTEIN: I'm saying, that you could say not to



1 do it if you are lactating. If you are lactating, you should  
2 do something to feed your child another way during treatment  
3 with Lindane.

4 MS. KENNY: Well, I'd be happy enough if it said that  
5 because that --

6 MS. ALTSCHULER: Yes, right.

7 MS. KENNY: -- I think that would prevent the person  
8 from using it.

9 MS. ALTSCHULER: That would drive the point home.

10 DR. McILREATH: Our position on that, we have in our  
11 proposed labeling had said, lay it out to indicate that there  
12 have been many reports in people not exposed to Kwell products  
13 that have found Lindane in human milk as high as 113 ppb.  
14 The amount that they would get, we calculated under extreme  
15 circumstances is less than a tenth of a percent of what they'd  
16 get had you treated the child itself with Lindane. So, we  
17 feel that the amount of Lindane they are getting from the  
18 mother's milk is really going to be insignificant because we  
19 figure is the other is safe on the child directly, it is not  
20 going to get even a tenth of that, or less than a tenth of  
21 that, would not be effective. But we also suggest if someone  
22 is concerned that they use an alternate method of feeding for  
23 about three days.

24 MR. BOSTWICK: That is something that you would have  
25 to have in a patient labeling. The woman would have to have

1 that information available if she were to make that choice.

2 DR. McILREATH: I agree. I have no problems with  
3 that.

4 DR. RASMUSSEN: That would in de facto almost  
5 accomplish what you are trying to do because most women wouldn't  
6 be willing to put up with that since their kids wouldn't like  
7 the change in taste and they don't like the change in temperature.

8 MS. KENNY: Exactly. I think a woman who is nursing  
9 would rather treat herself different than stop nursing.

10 MS. ALTSCHULER: Right. If she understands that  
11 product gets into the milk. A lot of mothers just assume it  
12 is called a shampoo, it's a benign nothing, you know, fluffy  
13 Prell type product. If they finally understand that they  
14 are dealing with a pesticide that is going to pass into their  
15 milk; then, they will probably make an educated healthy choice  
16 to choose an alternative.

17 MR. BOSTWICK: Okay. Let me see if I've read this  
18 right. I think we've pruned the contraindications to  
19 premature neonates and those prone to seizure disorders and  
20 that pregnant women and lactating women are going to go under  
21 warnings rather than contraindications. Does that seem --  
22 I mean, as far as what the subcommittee will recommend to  
23 the full committee? Doesn't that seem where we're headed?

24 DR. RASMUSSEN: I'm comfortable with that.

25 DR. EAGLSTEIN: Or exempting lactating women.

1 MR. BOSTWICK: So, are you contraindicating it for --

2 DR. EAGLSTEIN: In other words, this is not like  
3 the other case where something else is going to be done.  
4 The woman can really avoid giving the child Lindane.

5 MR. BOSTWICK: We could contraindicate in nursing.  
6 Those who are actively nursing.

7 DR. EAGLSTEIN: Nursing mothers, yes.

8 DR. RASMUSSEN: Well, if the nursing mother, however,  
9 had scabies, most people would try and treat the kid anyway,  
10 maybe not with Lindane, but with something because the usual  
11 philosophy is that everybody in the family living situation  
12 is treated whether they are symptomatic or not, at least,  
13 that's one that I certainly use.

14 DR. EAGLSTEIN: I think they generally spread it to  
15 everybody.

16 DR. RASMUSSEN: Yes. That is my specific suggestion  
17 is that if one family member -- I tell them to treat everybody  
18 in that living situation.

19 MS. KENNY: This is a six week asymptomatic and  
20 incubation type?

21 DR. RASMUSSEN: Yes.

22 DR. RASMUSSEN: It really wouldn't make any difference.  
23 I mean, if you did that then you would have to extend your  
24 limitations even further because finally the kid who is getting  
25 the breast milk would be extremely small compared to the

1 quantity that he would get if that medicine was actually  
2 placed on the kid's skin. So, there's the dilemma with that  
3 problem.

4 DR. McILREATH: But our calculations were based on  
5 total body exposure. So, if you decrease that by -- we're  
6 talking about the shampoo, you've diluted it another tenfold.

7 DR. RASMUSSEN: For practical purposes, I think most  
8 women would probably quit breast feeding about five or six  
9 months. Is that -- I'm not a pediatrician.

10 MS. ALTSCHULER: No.

11 MS. KENNY: I think that varies quite a lot  
12 in different parts of the country and economic status --

13 DR. RASMUSSEN: But as an average, it's about that,  
14 isn't it?

15 MS. KENNY: -- I don't know. I couldn't say.

16 MR. BOSTWICK: I think some women breast feed up to  
17 a year.

18 MS. KENNY: Or try two sometimes.

19 DR. EAGLSTEIN: What do you think is going to happen  
20 with a woman who is breast feeding and has scabies. Do you  
21 think she would stop breast feeding and treat herself and  
22 not treat the child?

23 MS. ALTSCHULER: She should just be given the  
24 information to do with as she pleases. Give her the best  
25 information you have.

DR. RASMUSSEN: If you decided to treat both the  
*Baker, James & Burkes Reporting, Inc.*

1 mother and the little kid; then, you could theoretically  
2 point out that the kid might be getting an extra added dose  
3 from breast milk. In other words, you are going to put some  
4 on and you are also going to nurse. So, in that situation,  
5 you might consider using another agent for that segment of  
6 the population only, at least from my point of view, because  
7 it would dipping twice into the same bucket.

8 MS. ALTSCHULER: At least if it is on the labeling  
9 for the physician and the pharmacist, they are going to, I  
10 would hope, raise the issue with the patient when they describe  
11 it and hopefully choose an alternative at that point.

12 DR. EAGLSTEIN: But that is in proposed literature?

13 DR. McILREATH: Yes. Alternative method.

14 MR. BOSTWICK: What is this? What are we talking  
15 about?

16 DR. EAGLSTEIN: That the physician and pharmacist  
17 who read this are informed of the possibility of using  
18 alternative methods or that they are aware of the fact that  
19 the mother's milk --

20 MR. BOSTWICK: Oh, I see. I find the information  
21 concerning the mother's milk under pregnancy and nursing  
22 mothers. And if that is satisfactory to everyone, what I  
23 was wondering was whether we should have a separate statement  
24 concerning lactating women in the warning section or are we  
25 satisfied with the information that's in here?

1           The information concerning this and others is quite  
2 well laid out under the nursing mothers subsection on page 3.  
3 My question is: given the fact that we -- I take it that we  
4 are no longer going to recommend that it be contraindicated  
5 in nursing mothers. Is there any reason to think that there  
6 should be something special in the warning section concerning  
7 nursing mothers, or are you satisfied with the labeling the  
8 way it now -- the draft of the way it now reads.

9           MS. ALTSCHULER: Could you read that?

10          MR. BOSTWICK: Under nursing mothers, not any  
11 warnings or contraindications, but under a group of things  
12 called precautions.

13          MS. ALTSCHULER: Thank you. I've got it now.

14          MR. BOSTWICK: Okay. It says, "Lindane is secreted  
15 in human milk and low concentrations"-- we've had quite a  
16 useful discussion -- "levels of Lindane found in human milk  
17 ranging from zero to 113 ppb.

18           Is there any reason for any of that information to  
19 be in the warning section or do both of you gentlemen that  
20 there is sufficient information concerning nursing mothers?

21          DR. McILREATH: In the package insert?

22          MR. BOSTWICK: We are only talking about the physician  
23 label now.

24          DR. McILREATH: Okay.

25          MR. BOSTWICK: I don't have any feeling one way or

1 the other. I just want to know whether Dr. Eaglstein got  
2 from the other members of the committee the feeling that  
3 we might want to contraindicate in lactating mothers.  
4 I think we decided that that isn't necessary.

5 Do you want to move it down once to a warning, or  
6 are you just leave it the way it is proposed now?

7 MS. ALTSCHULER: This sure dilutes the whole message.

8 DR. McILREATH: Regulations say that that's where  
9 it goes.

10 MR. BOSTWICK: It has to be there. That has to be  
11 part of the label. The question is, whether something else  
12 needs to be put in the warning section.

13 DR. EAGLSTEIN: Do you mean dilutes that there is  
14 putting it here dilutes it, or there's too much --

15 MS. ALTSCHULER: There is too much information there.  
16 I mean, by the time you're done, you sort of think, well --

17 DR. McILREATH: You have to think in terms of the  
18 physician.

19 MS. ALTSCHULER: -- I am. I am. I am.

20 DR. EAGLSTEIN: I think her point is well taken.  
21 It starts out as though it is going to tell you everything  
22 is great, and then it really does say everything is great,  
23 but it says if you are still not happy, you can use another  
24 method. It would probably be more likely to get the message  
25 across if the last sentence was the first sentence. Or the

1 last idea that an alternative method could be used was right  
2 up front.

3 MS. ALTSCHULER: He would go ahead --

4 DR. McILREATH: The only reason to put it there is  
5 why you would consider an alternative method because it does  
6 get into the milk. That's why we put --

7 DR. EAGLSTEIN: Put it second?

8 DR. McILREATH: -- yes.

9 DR. EAGLSTEIN: But I do think that doctors --

10 MS. ALTSCHULER: They're not going to miss it.

11 DR. EAGLSTEIN: -- they start getting numb after a  
12 while.

13 (Laughter.)

14 DR. TABOR: There would be very little lost if you  
15 went straight from the first sentence to the last sentence.

16 MS. ALTSCHULER: Right.

17 DR. EAGLSTEIN: That would undilute it.

18 MS. ALTSCHULER: And then they can read between the  
19 lines.

20 DR. EAGLSTEIN: Do you want to put something in the  
21 warning, say, see pregnant women or see nursing mothers?

22 MR. BOSTWICK: That's only a formality. I just want  
23 to know whether we are happy with that information where it  
24 is, or do you want to have something else about it in there?  
25 If you want to leave it where it is, that's fine with me.



1 DR. EAGLSTEIN: Is that all right with you there?

2 MS. ALTSCHULER: Yes.

3 DR. EAGLSTEIN: As a separate section, but not in  
4 the warnings?

5 MS. ALTSCHULER: Yes.

6 MR. BOSTWICK: Okay, fine. And we would include some  
7 sort of statement in the warnings concerning pregnant women  
8 as specifically -- concerning the danger of reuse. Is that  
9 the sense of what I got about our discussion?

10 DR. EAGLSTEIN: We have kind of been stuck on this  
11 one issue, which I think is the major area.

12 MR. BOSTWICK: And I think we may have about gotten  
13 it. We may have actually beaten it to death here. I don't  
14 know for sure.

15 DR. EVANS: We ought to go and take a position on it  
16 and then let the committee know tomorrow that we have a  
17 difference of opinion.

18 MR. BOSTWICK: Right.

19 MS. ALTSCHULER: I have one question. I haven't known  
20 when to bring it up because I didn't want to change the subject  
21 again, but included in the pregnant women, has there been  
22 mention made of protecting their hands when doing applications?

23 MR. BOSTWICK: There may be later, but I don't think  
24 that would fit into warnings. We're going to include something  
25 about pregnant women in the warning section. That might come

1 under precautions or something later, but I don't think we  
2 would include that information in the warnings.

3 MS. ALTSCHULER: Okay.

4 DR. EAGLSTEIN: We are just adopting these as sort  
5 of principles, right?

6 MR. BOSTWICK: Yes. We don't have to get reared down.  
7 We just want to know when we go to the committee tomorrow,  
8 should we say, look, we'd like to have something in the warning  
9 section concerning pregnant women.

10 Is that the sense of what we're doing here?

11 DR. McILREATH: Say that again?

12 MR. BOSTWICK: Well, what I want to know is: when we  
13 talk to the committee tomorrow, we have a warning -- a  
14 proposed section for the physician labeling, which does not  
15 now say anything specifically concerning reuse in pregnant  
16 women. What it says is, "Shampoo should be used according to  
17 recommended dosage, especially on infants, children and pregnant  
18 women."

19 Okay, the infants, children. Now, do we want to  
20 say anything in there about reuse, or are we satisfied with  
21 the way this warning section starts out?

22 Because I thought -- maybe I made this up in my head  
23 but I thought we had said something earlier about warning  
24 against reuse in pregnant women.

25 DR. EAGLSTEIN: I think that's been --

MS. ALTSCHULER: That was in general.

*Baker, Hames & Burkes Reporting, Inc.*

202 347-8865

1 DR. EAGLSTEIN: -- just in general?

2 MS. ALTSCHULER: Right.

3 DR. EAGLSTEIN: Okay.

4 MR. BOSTWICK: Okay. Pass that.

5 DR. EAGLSTEIN: So, now, I think we've agreed that  
6 we are going to contraindicate in the premature at the  
7 epileptic?

8 MR. BOSTWICK: Right. And that's the only thing  
9 we're going to be adding in the contraindication section.  
10 And one of them we won't even add because it's -- at least  
11 in the motion, there's already a statement in there concerning  
12 premature neonates.

13 DR. EAGLSTEIN: So, in the abstraction that I  
14 presented, actually we had decided against what was recommended.

15 MR. BOSTWICK: Well, as far as pregnant women and  
16 lactating women, we have decided that we are not going to  
17 recommend they be contraindicated.

18 DR. EAGLSTEIN: But we are going to for prematures,  
19 and we're not going to for infants?

20 MR. BOSTWICK: Right. In the warning section  
21 as it is proposed by Reed and Carnrick, "Kwell cream should  
22 be used according to recommended dosage (see directions for  
23 use) especially on infants, children and pregnant women."

24 We don't have any substantive recommendations to make  
25 concerning that?

MS. KENNY: Except that they don't offer any  
*Baker, James & Burkes Reporting, Inc.*

1 recommended dose.

2 DR. EAGLSTEIN: I think that when it comes to the  
3 specific wording that the committee sent -- these four people  
4 who sent in their thoughts did want people who read this to  
5 be warned against certain things.

6 MR. BOSTWICK: Right.

7 DR. EAGLSTEIN: You're saying that wouldn't go in  
8 the warning section?

9 MR. BOSTWICK: No. All I'm saying is that the warning  
10 section, as we read it now, the draft warning section, do we  
11 have any problems with that? You and Dr. Rasmussen, are you  
12 -- do you want to make any recommendations to the committee  
13 concerning the way the draft warning section is now written?

14 DR. EAGLSTEIN: I would. And I would start out with  
15 the words "Warning: -- may occur because of skin penetration."

16 MR. BOSTWICK: Okay. I think that's good, but it does  
17 not deal specifically with pregnant women, and as far as  
18 pregnant women go, we are going to leave it roughly the way  
19 it is. And we may add some additional warnings.

20 I am relatively satisfied with that. What I want to  
21 do is get your summary typed up again. And number two, would  
22 just be contraindicate the use of the shampoo cream and lotion  
23 for premature infants and those prone to seizures.

24 DR. EAGLSTEIN: Why was this in the proposed label?  
25 It didn't seem that you gave a --

1 DR. McILREATH: Which?

2 DR. EAGLSTEIN: -- studies indicate that potential  
3 toxic effects applied Lindane are greater in the young."  
4 It seems like --

5 MS. KENNY: It's contradictory --

6 DR. EAGLSTEIN: -- they don't want to agree with that.

7 DR. McILREATH: Well, that's been there, and I think  
8 that that's a statement that you can say about every single  
9 drug available, in my opinion, and I think the opinion of  
10 toxicologists.

11 DR. EVANS: That's right. That's been in there for  
12 a number of years. And at that time there was a feeling that  
13 maybe up to a year to so there was a difference in absorption  
14 and toxicity.

15 DR. EAGLSTEIN: There is still that information on  
16 the brain of the young people concentrating Lindane better  
17 than --

18 DR. McILREATH: Well, we don't know. There is  
19 a study that show high concentration to the brain, but we don't  
20 know whether that is normal or not. And there are many studies  
21 that show unlike other chlorinated hydrocarbons, it is not  
22 stored there for long periods of time. It does in and goes  
23 right back out. Several studies of lifetime feeding, we find  
24 that two weeks after stopping feeding, we can assay the fat  
25 and you don't find any Lindane.

1 DR. EAGLSTEIN: All right. Shall we go over the  
2 rest of these?

3 MR. BOSTWICK: I think the rest of these are going  
4 to be a lot easier. I hope so.

5 You seem very upset.

6 MS. KENNY: I am. I think it's just being left as  
7 it is. It is certainly not strengthened in any way as far  
8 as pregnant and lactating women are concerned. I mean, I'm  
9 just disappointed.

10 DR. EVANS: Let me ask you. If these proposed  
11 recommendations for Lindane which are used for safety. Now,  
12 these are for which label and for what indication?

13 MR. BOSTWICK: That's for the physician label and  
14 for, in general, I think that the shampoo, cream and lotion,  
15 all three.

16 And it is true that basically the Read and Carnrick  
17 under these set of recommendations is unchanged -- basically  
18 unchanged for pregnant and lactating women. It will stay the  
19 way it now is.

20 DR. EAGLSTEIN: It would stay the way the proposed  
21 label is?

22 MR. BOSTWICK: Right, the proposed label is, yes.

23 DR. EAGLSTEIN: Which had this large section on the  
24 nursing mother?

25 MR. BOSTWICK: Right.

1           Now, the shampoo label presently does not contain  
2 those two contraindications for premature infants and those  
3 with seizure disorders and that presumably is something we  
4 are going to recommend that the Kwell shampoo label include  
5 those contraindications.

6           But we haven't changed the language for the thrust  
7 of the pregnant women and the lactating women.

8           DR. McILREATH: Except that that is not in there now.

9           MR. BOSTWICK: Right. And it would be.

10          DR. McILREATH: It would be put in.

11          MR. BOSTWICK: And these recommendations are prone  
12 to discussion too. I mean, obviously somebody from the  
13 committee felt strongly enough about them to write Dr.  
14 Eaglstein about them.

15          DR. EAGLSTEIN: I think that was the sense of the  
16 committee last time.

17          MR. BOSTWICK: Right. Well, I know this. I know  
18 that there was disquiet about infants, what constituted at  
19 infant as far as use. And it was generally agreed that  
20 premature infants were candidates for contraindication, but how  
21 young an infant should be or how old an infant should be before  
22 it should be used best. That's a tough question.

23          MS. ALTSCHULER: Excuse me. Other than scabies,  
24 what justification is there to use any of these products  
25 on infants considering that very few of them have that much

1 hair anyway? I mean, why when there are effective combing tools  
2 would one still compel to douse a child with a pesticide?  
3 Any child? I mean, I'm just --

4 DR. RASMUSSEN: You mean other diseases?

5 MS. ALTSCHULER: -- no, no, no, pediculosis.

6 MS. KENNY: Just because they have been doing it for  
7 years doesn't make it right.

8 DR. McILREATH: Well, the justification is the same  
9 as it has been. There is a safety record now established.

10 DR. RASMUSSEN: Well, I think in terms of actual  
11 medical indications, it would be extremely uncommon for  
12 somebody under 1 or 2 to get head lice. I certainly have  
13 never seen it. It would depend on the country that you're in.  
14 In certain parts of the world, particularly third world nations  
15 it is endemic, it is sort of like gonorrhoea, syphilis, or  
16 infantago, or something that practically everybody has, but  
17 in the United States that would not be true. And I think it  
18 would be almost a moot point. I mean, in hardly any situation  
19 other than in the sense of having a little kid, say, a six  
20 year old or a seven year old in a family who has head lice,  
21 my suggestion is that everybody is treated. I just do it  
22 prophylactically because --

23 MS. ALTSCHULER: Oh, well, he said it.

24 DR. RASMUSSEN: -- no, no, no.

25 DR. McILREATH: I don't think he means prophylactically.



1 MS. KENNY: Because he said the word.

2 MS. ALTSCHULER: That's all right. Some man in my  
3 son's school got up and said that he was Joel's somebody's  
4 mother.

5 DR. RASMUSSEN: Well, I did not say that I was his  
6 mother, but I'm shooting myself in the foot. I really mean  
7 it in the sense of prophylaxis in the sense that the child  
8 could be potentially, but not visibly infected, you could use  
9 the term treated, but not obviously infected.

10 MS. ALTSCHULER: Well, that was the exact point I  
11 wanted to bring out was that the literature in terms of  
12 school blurbs that go out and everything else seem to have one  
13 thing in common and they have that old notion that if one  
14 person has it, treat everybody; so, the regardless just gets  
15 it.

16 DR. RASMUSSEN: I would still agree with that in the  
17 school setting. If you had 15 or 10 percent of the class  
18 involved, I think one of the best ways to break that cycle is  
19 to treat them. I wouldn't propose treating everybody with  
20 Lindane, but I'm saying that you probably want to treat them  
21 with something.

22 MS. KENNY: But the question is: do you want to  
23 then treat their 8-month old sibling all prophalactically?

24 DR. RASMUSSEN: It's a tough issue. I don't know.

25 MS. ALTSCHULER: No way.

1 MS. KENNY: We have to say that you don't. I spoke  
2 to two women this week who did treat seven and eight month  
3 old infants respectively, and I have to say to them, please  
4 don't do that again. I mean, with little kids like that,  
5 you can deal with them by hand.

6 MR. BOSTWICK: Okay. I don't know if that is some-  
7 thing we can resolve here. I would like to try and get  
8 through the rest of recommendations.

9 The third one is a warning against using the shampoo  
10 in the bathtub or the shower.

11 MS. KENNY: You mean, the implication meaning  
12 confine the area -- to the area of need.

13 MR. BOSTWICK: Does anybody see any problem with that?

14 DR. EAGLSTEIN: Where does that go? Would that go  
15 under warning?

16 MR. BOSTWICK: That wouldn't go under warning. That  
17 would go under directions for use.

18 MS. ALTSCHULER: Just for the record so that it would  
19 be understood. CDC has unfortunately put out a blurb that is  
20 used nationwide that that's the first instruction and a great  
21 majority --

22 DR. RASMUSSEN: In the tub?

23 MS. ALTSCHULER: Place your child in the tub or  
24 shower stall and what happens is mothers fill the tub in all  
25 of this; so, I mean, that's more background.

1 DR. RASMUSSEN: It may have been more concerned about  
2 a place that you can rinse down after you finish shampooing.

3 MS. ALTSCHULER: Right. I mean, I don't think they  
4 thought about --

5 DR. RASMUSSEN: Because I have never heard anybody  
6 advocate taking a bath or a shower for head lice.

7 MS. KENNY: They are treated inside the bath tub,  
8 but what they do is they --

9 DR. RASMUSSEN: So, they can rinse the place down and  
10 clean it off. Isn't that what they are trying to do there?

11 MS. KENNY: They are not leaning over the tub; so,  
12 that just their head gets shampooed. They sit them in the  
13 tub; they fill the tub, and they bathe them in shampoo,  
14 but with Lindane.

15 DR. EAGLSTEIN: Okay. So, it is warning against using  
16 it in the shower.

17 MR. BOSTWICK: Well, that goes under direction for  
18 use. I suppose the rest of these would too down to about  
19 five or six, unnecessary skin contact. Warn against using  
20 after a warm bath or shower --

21 DR. RASMUSSEN: Or any bath or shower. It doesn't  
22 have to be warn.

23 MS. ALTSCHULER: Right.

24 MR. BOSTWICK: In any event, these next two anyway,  
25 skin contact and warning about the shower and probably warning

1 of the assistance. I don't know about open cuts and  
2 excoriations. Let's skip that. But 4,5 and 7 probably would  
3 go into the directions for use. Excuse me, 3,4, 5 and 7.

4 Does anybody have any difficulty with those?

5 (No response.)

6 MR. BOSTWICK: If we say to the committee that we  
7 feel these should be added.

8 DR. RASMUSSEN: Do we have any idea if rubber gloves  
9 actually prevent the absorption of that stuff?

10 MR. BOSTWICK: No, I have no idea.

11 DR. RASMUSSEN: Because many hydrocarbons are  
12 solvents, in a sense, hydrophobic like plastic --

13 MS. KENNY: That's why they don't package it in  
14 plastic, I assume.

15 DR. McILREATH: It's the solvent.

16 MR. BOSTWICK: Okay, six is the warning, do not  
17 use on open cuts and excoriations. I'm not sure if that is  
18 properly --

19 DR. RASMUSSEN: That's going to be a tough one.  
20 Everybody with head lice scratches.

21 MS. KENNY: You could talk about bad excoriations;  
22 for instance, the person who has, you know, it seems like a  
23 more severe cut or a really severe dermatitis, exzema, really  
24 open, weepy exzema type, that type of excoriation.

25 DR. McILREATH: You have to have it severe over the

1 entire scalp because the amount that would get involved in one  
2 cut, it would be impossible to measure the amount that could  
3 be in contact and be absorbed.

4 MS. KENNY: I guess we are just always going to dis-  
5 agree with you on that.

6 DR. McILREATH: Somebody talks about not letting  
7 your child suck their thumb, but, again, the amount of Lindane  
8 that you could get off that thumb is so small that you'd never  
9 know that you got anything. So, I think that unless it was  
10 a really wide open sores at which time, they would probably  
11 be treating the open sores and not worry about the lice  
12 until sometime later.

13 DR. EAGLSTEIN: Wasn't that already in the --

14 MR. BOSTWICK: Open cuts?

15 MS. ALTSCHULER: No.

16 DR. EAGLSTEIN: I had thought it was, but anyway I  
17 also thought maybe we should use the word massively.

18 MS. KENNY: Massively excoriated.

19 DR. RASMUSSEN: Again, that's kind of a nebulous  
20 term, Bill.

21 DR. EAGLSTEIN: But it gives some idea of the  
22 magnitude. It means not just a scratch or two, but it is  
23 really consequential.

24 DR. McILREATH: But if it were that serious, don't  
25 you think that they would go to a physican and the physician

1 would start treating that as the primary indication?

2 DR. RASMUSSEN: Well, they have to go to a  
3 physician anyway, because Lindane is an RX.

4 MS. KENNY: They don't go though. They call.  
5 Just because it's 90 percent over the phone, the physician  
6 doesn't see this.

7 MR. BOSTWICK: Well, what do we do with this?

8 MS. ALTSCHULER: I wouldn't be opposed to dropping  
9 excoriations only because unfortunately, by definition, often  
10 times a child with lice is excoriated.

11 DR. EAGLSTEIN: Who you say a cut --

12 MS. ALTSCHULER: I'd rather say an open wound, but  
13 open cut, anything that would indicate to look for something  
14 beyond --

15 DR. EAGLSTEIN: I thought we just agreed on massive.  
16 You don't like that?

17 MS. ALTSCHULER: Oh, we did. I'm sorry. I thought  
18 that someboey pooh-poohed that one. It's all right with me.

19 MR. BOSTWICK: So, how are we going to state it  
20 against using it on an excoriated scalp?

21 DR. EAGLSTEIN: Open cuts or massive excoriations.

22 MS. ALTSCHULER: Okay.

23 MR. BOSTWICK: Open cuts or massive, okay.

24 DR. McILREATH: That sounds funny.

25 MR. BOSTWICK: Yes, it does. It sounds a little odd,  
doesn't it?

1 DR. EVANS: Try extensive rather than massive.

2 MS. ALTSCHULER: Extensive, okay.

3 DR. EAGLSTEIN: It is just funny sounding and we're  
4 not used to it.

5 MR. BOSTWICK: I don't think that that necessarily  
6 has to go in the warning section. It probably needs to go  
7 somewhere in precautions.

8 DR. RASMUSSEN: How about awesome, Carnot, that's a  
9 word you use?

10 (Laughter.)

11 MS. KENNY: That's what your children use to describe  
12 the flavor of gum.

13 MR. BOSTWICK: Or radical. Okay. Well, I think we  
14 can find a place for that, for that particular extensive  
15 recommendation.

16 What about using the shampoo, cream or lotion  
17 prophylactically? Where would be a suitable place to put that  
18 on the label? I don't think that is properly a warning.

19 DR. RASMUSSEN: If you mean it as a device to prevent  
20 infection, I agree with you. It shouldn' be prophylactically.  
21 If you mean using it in the non-visibly infected family members,  
22 I would disagree with its use. I use the term that she caught  
23 me on, "prophylactically," probably a little bit inappropriately,  
24 but I would give it to all of the children or other adults,  
25 or other people living in the family who had a single individual

1 with head lice or scabies.

2 MS. KENNY: There are, however, hundreds of individuals  
3 who say, oh, there's lice in my kid's class; I'm going to put  
4 the stuff on them and then that should protect them. I mean  
5 a lot of people feel that.

6 DR. McILREATH: I would think that we would be more  
7 than happy to add that to directions for use or a patient  
8 package insert to advise that this will not protect against  
9 you getting them and should not be used that way.

10 MR. BOSTWICK: All right. Why don't we suggest this,  
11 we put this in the directions for use along with obviously  
12 combing out the nits.

13 DR. RASMUSSEN: What you could say in there is that  
14 this shouldn't be used prophylactically because it has no  
15 residual effects. I mean, that type of thing. You can't put  
16 it on and expect it to stay there for a week and kill every-  
17 thing that happens to be coming by. I mean, that's the  
18 sense, isn't it, that you are trying to get?

19 MS. KENNY: Yes.

20 DR. RASMUSSEN: It's not like spraying something  
21 around the house so that the ants won't come back.

22 DR. McILREATH: We had some information recently  
23 that suggested that it does do that.

24 DR. RASMUSSEN: Hush up.

25 DR. EAGLSTEIN: Why wouldn't it go under warning



1 actually?

2 MR. BOSTWICK: Well, that's what I don't know. I  
3 don't know how drastic -- how quickly do you want the  
4 physician to have this information? That's the question.

5 DR. RASMUSSEN: The warning gets sent out --

6 MR. BOSTWICK: No. But the warning is theoretically  
7 the first thing in the insert and the physician is going to  
8 wear out. Maybe he'll read that warning before he gets --

9 DR. RASMUSSEN: I think you have far stronger  
10 messages that you want to sock to people.

11 MR. BOSTWICK: -- I would think so, too.

12 DR. RASMUSSEN: If you put too many things in the  
13 warning, it just loses its impact.

14 MS. ALTSCHULER: That is not a important message to  
15 the consumer when you do that one.

16 MR. BOSTWICK: Well, all right. Well, let's leave  
17 it in directions for use.

18 MS. ALTSCHULER: The physician shouldn't write a  
19 prescription prophylactically anyway.

20 MR. BOSTWICK: All right. Emphasizing the need  
21 for combing out the nits. I think that is already in the  
22 physician --

23 DR. EAGLSTEIN: It says you can/may do.

24 MS. KENNY: It says they may be removed.

25 MS. ALTSCHULER: What page?

MR. BOSTWICK: Page 4.

1 MS. KENNY: It says by fine tooth combing or  
2 tweezers. It says they may be removed, but my guess is it  
3 should say, they should be removed.

4 MR. BOSTWICK: Should be, all right.

5 MS. ALTSCHULER: And there has to be an explanation  
6 why on that one.

7 DR. EVANS: This is physicians.

8 MR. BOSTWICK: Yes.

9 MS. ALTSCHULER: Forgive us. But they honestly do  
10 not understand that the Kwell -- they believe the Kwell does  
11 the whole job and it doesn't and, for that reason, they don't  
12 encourage people to remove nits.

13 DR. RASMUSSEN: I would have thought they would just  
14 to get them out just to remove the stigma. That is one of  
15 criteria for going back to school.

16 MS. KENNY: There are certainly people who believe  
17 that you definitely should.

18 MS. ALTSCHULER: The only thing that makes them  
19 cooperate on nit removal is when they finally understand that  
20 Lindane is not 100 percent ovicidal when used safely.

21 DR. RASMUSSEN: Nor is any pediculicide, not just  
22 Lindane.

23 MS. ALTSCHULER: Contrary to all the old literature,  
24 they have to understand that if you don't remove the nits  
25 that are still alive, they are going to have to use Lindane

1 again, or whatever product it is they prescribed. So, just  
2 to say removing nits, leaves it wide open for all the old bad  
3 information that is out there.

4 DR. RASMUSSEN: What would you propose?

5 MS. ALTSCHULER: I would propose to explain why.  
6 That, you know, nit removal is part of total treatment.

7 DR. RASMUSSEN: Give me a sentence?

8 MS. KENNY: I think you could just add to prevent  
9 self-reinfestation.

10 MS. ALTSCHULER: No. No, it has to be stronger than  
11 that. The nits must be removed -- the nit removal must be  
12 included as part of treatment to remove those nits not killed  
13 by Lindane still viable -- well, I can't give you a sentence.

14 DR. EVANS: To prevent self-reinfestation.

15 DR. RASMUSSEN: Yes, that sounds good.

16 MS. KENNY: Everybody here is concerned that the  
17 doctors are going to get tired before they read all this stuff.

18 MS. ALTSCHULER: Well, hopefully, when they read it  
19 once, they won't have to read it again. This is just re-  
20 educating them basically to old information.

21 MR. BOSTWICK: To prevent reinfestation.

22 DR. EVANS: That's self-reinfestation.

23 MR. BOSTWICK: Self-reinfestation.

24 DR. EAGLSTEIN: You can say, when hair is dry,  
25 remove any or many nits or nit shells.

1 DR. RASMUSSEN: You want to say a procedure to  
2 do with what, because if you say a fine tooth comb, what most  
3 people have at home is a fine tooth comb --

4 MS. KENNY: A cradle cap comb.

5 DR. RASMUSSEN: -- it is totally ineffective.

6 MS. ALTSCHULER: You have to say, a combing tool  
7 manufactured for this purpose.

8 DR. RASMUSSEN: Or just say a nit comb, or something  
9 like that.

10 MS. ALTSCHULER: There are combs sold on the market  
11 that are cradle cap and they are passed off as fine tooth.  
12 that is exactly right.

13 MR. BOSTWICK: Okay. It should say something about  
14 a device to be used.

15 DR. TABOR: Can I make a comment about the prophylactic  
16 statement?

17 MR. BOSTWICK: Yes.

18 DR. TABOR: I think Dr. Rasmussen's comments are  
19 -- illustrate, I think, a certain ambiguity in the term  
20 "prophylactically." I think a lot of physicians will use  
21 prophylactic -- the term "prophylactically" the same way he  
22 used it and it might be that some more explicit wording would  
23 make it clearer.

24 DR. McILREATH: Yes, I would agree.

25 DR. RASMUSSEN: It really isn't proper. Isn't it

1 prophylaxis?

2 DR. TABOR: Yes.

3 DR. RASMUSSEN: It is sort of treating subclinical  
4 infections, although you could theoretically -- if you had  
5 strep throat in the family and if you gave everybody penicillin  
6 for ten days while the patient was being treated, that truly  
7 would be prophylactic use, or could be.

8 MS. ALTSCHULER: Right.

9 In our wording, we changed it to, "Shampooing with  
10 these products will not prevent lice infestation," to get  
11 rid of the ambiguity of prophylactic.

12 DR. RASMUSSEN: Or you could say shampooing will not  
13 prevent subsequent lice infestation, something like that.

14 MS. ALTSCHULER: As well, but it certainly won't  
15 prevent the first one.

16 DR. MCILREATH: Protect against future.

17 MS. ALTSCHULER: Right.

18 MR. BOSTWICK: All right. To warn against using  
19 the shampoo, cream or lotion as a device to prevent future  
20 lice infestation.

21 Then we've got left, the directions should indicate  
22 -- now, here's one. I don't know about this -- should indicate  
23 that one ounce or less should be used for treatment. Is  
24 that feasible?

25 MS. ALTSCHULER: No.

1 MS. KENNY: No.

2 MR. BOSTWICK: Is there any way to make that work  
3 out?

4 DR. McILREATH: Well, I think we will propose based  
5 on, you know, experience that we have what -- perhaps give  
6 you with short hair, use this amount. With medium length hair,  
7 with long hair, it may require up to.

8 DR. EAGLSTEIN: You are going to go with the sliding  
9 scale?

10 DR. McILREATH: I think so.

11 MS. ALTSCHULER: Great.

12 DR. EAGLSTEIN: So, that will be specific, not  
13 quantitative?

14 MS. ALTSCHULER: Right.

15 DR. McILREATH: That's right, yes. Give approximately,  
16 or less than.

17 DR. EAGLSTEIN: Which I think you feel is the best  
18 way?

19 MS. ALTSCHULER: Right, yes.

20 MR. BOSTWICK: A specific dosage, what, according  
21 to hair length, is that they way we're working --

22 MS. ALTSCHULER: Yes.

23 MR. BOSTWICK: -- according to hair length should be  
24 used.

25 I don't know about the last one. I don't know how  
we are going to make that work.

1 MS. ALTSCHULER: That's a toughy too.

2 I would like to raise the point that perhaps -- and I  
3 don't know if it is applicable to be put here, but there  
4 should be some way that the physician should be alerted or  
5 keep some handle on how many times he is writing that stuff.  
6 I mean, I got four prescriptions in two months and he didn't  
7 know the difference.

8 MR. BOSTWICK: I guess we've got to leave the poor  
9 doctor with some kind of responsibility. I don't think Food  
10 and Drug is going to enter into this process of telling a  
11 physician of how often he can prescribe.

12 DR. RASMUSSEN: But you can certainly with the little  
13 FDA bulletin that comes out, you could certainly make a news  
14 note or whatever you want to call that to remind people.

15 MR. BOSTWICK: Public education or physician education.

16 MS. ALTSCHULER: That would be wonderful

17 DR. RASMUSSEN: And you could actually -- it is sort  
18 of an aside here, a little late in the day, but it probably  
19 wouldn't be too bad an idea for the advertisement for this  
20 product to be more open and direct about those types of things  
21 because what you usually see is a great big giant picture of  
22 a mite that covers three-quarters of the page and then a  
23 little fine print down at the bottom to the new stuff that's  
24 coming up --

25 MS. ALTSCHULER: I thought you were going to say.

1 nitty-gritty.

2 DR. RASMUSSEN: -- and I think those things would be  
3 very pertinent because I think what happens is that a lot of  
4 things that we are going to discuss today, unfortunately get  
5 stuck in the third page, fifth paragraph of small dark print  
6 and quite honestly, you ask 99 percent of 100 doctors about  
7 any drug that they commonly use, or this one, they had never  
8 read the PDR. Their professors taught them how to use it.  
9 Their medical students or their residents may have quizzed  
10 them a little bit about it, but I make a point of quizzing  
11 my residents. I have never found none who has read a PDR  
12 on any drug other than just a brief little segment to look  
13 up the dose maybe. They can't remember the dosage, or they  
14 want to look up, does it make your kidneys fall out or some-  
15 thing; so, they'll read -- look for kidneys.

16 So, you have to find a little more effective way  
17 of getting your information out to people. You just can't  
18 presume that somebody is going to be conscientious and say,  
19 oh, it's time for me to read about Lindane. I'll pick up a  
20 PDR and read about Lindane.

21 DR. EVANS: That is the reason we've had these alerts  
22 to go out before.

23 DR. RASMUSSEN: I think that is a good place to  
24 put some of these rather than putting the stuff in some place  
25 like the Federal Register where absolutely nobody is going to



1 see it. Nobody who treats scabies and head lice is going to  
2 see it.

3 MR. BOSTWICK: I think these -- I'll say two things.  
4 First, I think these are good viable suggestions that we can  
5 get into shape to present to the committee tomorrow is what  
6 the subcommittee would like to see happen, and satisfy that  
7 everybody, at least as far the labeling goes got their quarter  
8 in on the deal.

9 Now, the other thing I would suggest about this is  
10 that it seems to be the basis for a patient package insert,  
11 not necessarily written in this style, of course, but, I think  
12 a lot of the points that your group was trying to bringing out.

13 There is one thing you should know is that the  
14 Food and Drug cannot mandate Reed and Carnrick to print a  
15 patient package insert. It's voluntary on Reed and Carnrick's  
16 part. And so if the committee does say, look, we think it  
17 needs a patient package insert, you should say these thing,  
18 Reed and Carnrick has to take that home and think about it  
19 and do basically what they want to about it.

20 On the other hand, I presume they are going to take  
21 the suggestions seriously.

22 DR. McILREATH: I certainly --

23 MR. BOSTWICK: I'm sorry, Dr. McIlreath.

24 Well, I don't know how -- how much more deeply we  
25 want to get into the question of a patient package insert. I

1 don't know how much time we have and I don't know how useful  
2 it is, because we don't really have a basis to go on right  
3 now.

4 DR. EVANS: What about the patient package insert  
5 that you folks put together?

6 MS. KENNY: It's here on the table if you want to  
7 take a look at it. This is now being used in the drug chain  
8 of 52 stores throughout New England and it is just basically  
9 being stuck in with all Lindane prescriptions and it is on  
10 the shelves, literature shelves in their pharmacies as well.

11 MS. ALTSCHULER: Well, we try to tell people when they  
12 call on our hot line that their physician has recommended  
13 that they use Lindane products, we don't pass second judgment  
14 on that other than to say, please before you do, pick up one  
15 of our safety guidelines so that you can use it.

16 MS. KENNY: Which is basically the points that we  
17 have been trying to make today.

18 MR. BOSTWICK: If this is agreeable to Dr. Eaglstein  
19 and Dr. Rasmussen, I could make some copies of this too and  
20 we could show it to the committee and say, look, here's --

21 MS. KENNY: We have enough of those for every committee  
22 member.

23 MR. BOSTWICK: Oh, do you, good.

24 And we can see here is the kind of thing that it is  
25 being proposed --

1 MS. KENNY: Although, I would sort of see it smaller,  
2 a more compact form with no pictures. The drug chain insisted  
3 on pictures. It's monosyllable.

4 MR. BOSTWICK: Do you have a script version of this?

5 MS. ALTSCHULER: No, not right now. This is all we've  
6 got for you.

7 MR. BOSTWICK: Okay. Well, we can certainly hand  
8 this around and let the folks take a look at it.

9 DR. EVANS: I think this gives us a start.

10 MR. BOSTWICK: Gives us a start. And, as I say,  
11 we -- all we can do is say to Reed and Carnrick, well, look,  
12 here are some suggestions that we think would help and hope-  
13 fully they would adopt at least some or them or most of them,  
14 depending on how feasible they are.

15 And we still have the container business to go  
16 through. I would like to get some sort of a feel about -- well,  
17 I think number four is a loss. I don't think the pharmacist  
18 should place a label over the warnings.

19 The other three, unit packaging dosing, and I think  
20 is a tough issue for probably Reed and Carnrick. And it is  
21 for me in that I don't know exactly how often the physician  
22 -- how often is a patient treated commonly for one case of  
23 head lice?

24 DR. RASMUSSEN: How often is he treated?

25 MR. BOSTWICK: Is an individual person treated, or --

1 DR. RASMUSSEN: They treat everybody in the family?  
2 MR. BOSTWICK: Okay. One person, how often is the  
3 individual treated excluding the family. Just one time with  
4 Lindane?  
5 DR. RASMUSSEN: Yes, that's all I do.  
6 MS. ALTSCHULER: In a lifetime?  
7 MR. BOSTWICK: No, I'm just talking about one case.  
8 For an episode. If you had one patient who had head lice  
9 and you gave them one ounce of the stuff --  
10 MS. KENNY: We've already talked about the sliding  
11 scale thing which would negate that.  
12 MR. BOSTWICK: But in most cases, you want to treat  
13 most members of the family, or all members of the family?  
14 DR. RASMUSSEN: I do, yes.  
15 MR. BOSTWICK: How feasible is unit package dosing  
16 under these conditions, I don't know. Is it something that  
17 we want to recommend to the committee, or is it something  
18 that if we do recommend it, it would never get used?  
19 DR. McILREATH: The only thing I can say about unit  
20 dose packaging across the board, companies have tried with  
21 a lot of drugs and the pharmacists just won't buy it. They  
22 don't want it. When I was at Searle, we tried it with Flagyl,  
23 we tried it with other things with unit dosage, and you're  
24 sitting there with a warehouse full of unit doses. The  
25 pharmacist is not interested in that. He wants a bottle

1 of vials and tablets that occupies a little space.

2 DR. RASMUSSEN: Is that's what is done with the 16  
3 ounce one, they dispense from that?

4 DR. McILREATH: Yes, they dispense from that.

5 DR. TABOR: The reason for wanting unit packaging  
6 if maybe twofold. One is to prevent misuse and one is to  
7 prevent ingestion perhaps and the revised labeling and  
8 and warnings should take care of the misuse and the child  
9 proof packaging is going to take care of the ingestion as much  
10 as many other toxic medications.

11 MS. KENNY: Well, I will see that one thing that can  
12 be very helpful in this instance is a cap on the bottom  
13 that holds one ounce, because sometimes it is very hard when  
14 you are juggling all your kids over the sink and you are  
15 trying to do this kind of a treatment thing to find something  
16 in the kitchen that holds an ounce.

17 DR. RASMUSSEN: The other way you can do that is to  
18 have the bottle marked.

19 MS. KENNY: Something like that.

20 DR. RASMUSSEN: So you can put that down to look to  
21 see where your line is.

22 MR. BOSTWICK: Well, is it agreeable to scrub the  
23 unit dose packaging as a concept?

24 MS. ALTSCHULER: As long as everything else goes  
25 through.

DR. EAGLSTEIN: Aren't the, for example, topical  
*Baker, James & Burkes Reporting, Inc.*

1 steroids, aren't they, be definition, a unit dose?

2 DR. McILREATH: No, they are in tubes that you would  
3 use many times. It's not --

4 DR. EAGLSTEIN: I meant there's a unit. It's not a  
5 unit, that's a dose.

6 DR. McILREATH: There is a unit, it is not a dose.

7 DR. EAGLSTEIN: The patient doesn't start out with  
8 a barrel of the stuff and then dispense it?

9 DR. McILREATH: No, but they started out with a  
10 two ounce tube.

11 DR. RASMUSSEN: That is not true, because our  
12 pharmacy buys Trisimalone (phonetic) in its brand name in  
13 five pound tubs and spoons it into one pound tubs, half pound  
14 tubs. The only thing we don't do is stuff it in tubes.

15 DR. EAGLSTEIN: But not if you get the tubes from  
16 the manufacturer.

17 DR. McILREATH: It is a tube from the manufacturer.  
18 Unless you get a sample, the sample would be a unit dose.

19 MS. ALTSCHULER: You know, I've always sort of been  
20 uncomfortable with unit dosage even though we may have brought  
21 it up at some point in time and that is because I have sort of  
22 a gut feeling that it is going to lend itself more to abuse  
23 because mothers are not going to have -- fathers are not to  
24 have as much of a handle of how much they are using and they  
25 will tend to hoard it when they get it. Instead, you know, I

1 have a bottle at home, it's this big (indicating) and until  
2 I learn not to use it, I could, you know, have some sort of an  
3 idea of how much I've gone through. But if I had dose  
4 packaging, they would have been gone and I wouldn't have  
5 realized it. And knowing the way neighbors share Kwell, and  
6 panic when it hits their house and they don't want to call  
7 their doctor in the middle of the night, I just somehow have  
8 visions of somebody collecting them, and it's just a gut  
9 feeling, non-scientific, everything else, but unit dosage,  
10 with the understanding that everything else is going to be  
11 included, I agree with Dr. --

12 MR. BOSTWICK: Well, these are recommendations  
13 and the committee is free to do what they will with them.  
14 We will type these up and present them to the committee.

15 Okay. The container should be child proof and I  
16 think Reed Carnrick is making is taking some steps toward  
17 that, isn't that correct?

18 DR. McILREATH: Yes.

19 MR. BOSTWICK: Non-removable labels indicating that  
20 the contents are poison. Kept out of the reach of children,  
21 not reused and discarded in a safe place.

22 DR. RASMUSSEN: I had problems with poison.

23 MR. BOSTWICK: It is a poison.

24 MS. KENNY: It kills living forms.

25 MR. BOSTWICK: The question is --

1 DR. McILREATH: So does everything else.

2 MS. KENNY: But I mean it is being manufactured for  
3 the purpose of killing.

4 DR. McILREATH: Antibiotics is manufactured for the  
5 purpose of killing bacteria viruses.

6 DR. RASMUSSEN: Can be poison if it is misued or  
7 ingested.

8 MR. BOSTWICK: Yes.

9 This is not like a can of that Lindane.

10 DR. McILREATH: How many children die of aspirin  
11 every year as compared to the number of children that die  
12 from Lindane?

13 DR. RASMUSSEN: But I don't think that there's a hear-  
14 ing that the product can cause toxicity, which is what people  
15 -- they're not going to understand toxicity as much as poison.  
16 You tell them that if you drink it or misuse it, --

17 DR. McILREATH: I don't have any problems with that.

18 DR. RASMUSSEN: -- you are going to get deathly ill.  
19 That's a pretty good warning. I mean that's not bad. It  
20 certainly would go a long way to prevent abuse.

21 MS. KENNY: I would want to see that same label  
22 Clorox.

23 MR. BOSTWICK: Okay. How should we word it.  
24 Products can be poisonous --

25 DR. McILREATH: If they are misused.

DR. RASMUSSEN: Ingested or misused.

MR. BOSTWICK: *Baker, James & Burkes Reporting, Inc.*  
-202 okay-8865  
34



1 MR. BOSTWICK: Okay.

2 DR. RASMUSSEN: I like it.

3 MR. BOSTWICK: Oh, good, I'm glad.

4 DR. McILREATH: We have a little problem with the  
5 containers having that molded into the container itself.

6 MR. BOSTWICK: Rather than a paste on label?

7 DR. McILREATH: Yes, because we buy those and we'd have  
8 to have special molds made. Right now we use that bottle  
9 for several different products.

10 DR. RASMUSSEN: Can't you get a label that won't come  
11 off?

12 DR. McILREATH: Oh, we could get -- I don't have a  
13 problem putting on a label, I have a problem in buying a  
14 bottle with that is embossed on the bottle.

15 MR. BOSTWICK: Why does it have to be embossed?

16 DR. McILREATH: Well, this suggestion says a non-  
17 removable --

18 MR. BOSTWICK: Non-removable label.

19 DR. RASMUSSEN: They are talking about the type that  
20 if you pull it on one end, it is only glued on one end. It's  
21 a can of fruit label is what they are talking about.

22 MR. BOSTWICK: Well, something that doesn't off  
23 easily.

24 MS. KENNY: Easily. It's glued on.

25 DR. RASMUSSEN: There are labels which are glued  
like a produce label can. It's glued on one side. You cut

1 that little thing and it comes right off. Pharmacists  
2 commonly use that to peel off labels. But you could put them  
3 glued all the way around and then you would have actually have  
4 to sit there and scrape --

5 MR. BOSTWICK: That is what we are talking about,  
6 right?

7 DR. RASMUSSEN: -- well, something that you just can't  
8 flip off and stick another label on.

9 MR. BOSTWICK: How about labels that are not easily  
10 removable?

11 MS. ALTSCHULER: ~~Didn't~~ you say that you were looking into  
12 different container than the glass?

13 DR. McILREATH: Yes, we are.

14 MS. ALTSCHULER: Oh, you are, okay.

15 DR. TABOR: I have a little bit of a problem with  
16 the word poisonous. Is there a standard labeling used on  
17 other products harmful or fatal if swallowed?"

18 DR. McILREATH: According to -- yes, that's true.  
19 And according to the definitions of OSHA or EPA, it's not a  
20 poison.

21 DR. TABOR: Why not say harmful or fatal if swallowed  
22 or misused? Or ingested is the big word. It's a short word  
23 that's not commonly used.

24 MR. BOSTWICK: Does --

25 DR. McILREATH: I like that better.

1 MR. BOSTWICK: I thought you would.

2 DR. TABOR: Something like harmful or fatal if  
3 swallowed or misused.

4 MS. KENNY: Misused is too vague --

5 MS. ALTSCHULER: How about substituting pesticide  
6 for poison? That's certainly accurate.

7 MR. BOSTWICK: Well, you can't really say -- you  
8 can say it consitutes as far as pesticides. I don't know how  
9 useful that is compared to saying that it can't be harmful  
10 or --

11 MS. ALTSCHULER: If it is emphasized and can be?

12 (Laughter.)

13 DR. TABOR: I mean, I personally don't think  
14 pesticide is is an appropriate word either.

15 MS. ALTSCHULER: What would you call it then?

16 MS. KENNY: Well, the point is that most consumers  
17 that it's a shampoo. That it is not unlike Selsun Blue or  
18 Head and Shoulders.

19 DR. TABOR: But if you say may be harmful or fatal.  
20 I mean, I don't know what the rest of the label should read,  
21 but if you say may be harmful or fatal, they're not going to  
22 consider it like, you know, Johnson's Baby Shampoo.

23 DR. RASMUSSEN: Well, you can say, it can be harmful  
24 or fatal if swallowed or applied too frequently.

25 MS. ALTSCHULER: Or abused.

1 DR. EAGLSTEIN: Wouldn't that be true of other  
2 shampoos?

3 MR. BOSTWICK: Sure.

4 DR. EAGLSTEIN: I think the point is that it is an  
5 uphill fight with Lindane, because people were predisposed  
6 to think of things that you put on your skin and it is not  
7 very dangerous.

8 DR. RASMUSSEN: I don't think any other shampoos are  
9 going to be harmful if you swallow them.

10 DR. EAGLSTEIN: No.

11 MR. BOSTWICK: They are harmful here, but if you put  
12 in fatal, I think you are making a point that people will pay  
13 attention to.

14 DR. RASMUSSEN: But certainly, you don't see that type  
15 of stuff on Head and Shoulders and Selsun Blue, tar shampoos,  
16 things like that.

17 MR. BOSTWICK: Can be harmful or fatal if swallowed.  
18 Now, the patient -- does that cover that container section,  
19 too?

20 MS. ALTSCHULER: That's fine. I love it.

21 MR. BOSTWICK: Patient package insert will be more  
22 or less similar by using the sample here and I think it is  
23 just as good as anything we're liable to generate here for  
24 the time being.

25 Then, initial studies -- well, this is something we're