

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

DERMATOLOGIC AND OPHTHALMIC DRUGS
ADVISORY COMMITTEE

NDA 21-414, Vitrase (ovine hyaluronidase
for Intravitreal Injection) by ISTA Pharmaceuticals
for the Treatment of Vitreous Hemorrhage

Monday, March 17, 2003

8:00 a.m.

Holiday Inn Gaithersburg
Goshen Room
Two Montgomery Village Avenue
Gaithersburg, Maryland

PARTICIPANTS

Donald Fong, M.D., M.P.H., Acting Chair
Kimberly Littleton Topper, M.S., Executive
Secretary

DERMATOLOGIC AND OPHTHALMIC DRUGS ADVISORY
COMMITTEE
MEMBERS

Ming T. Tan, Ph.D.
Paula L. Knudson, Consumer Representative

CENTER FOR DRUG EVALUATION AND RESEARCH
VOTING CONSULTANTS

Emily Ying Chew, M.D.
Jennifer A. Dunbar, M.D.
Stephen Feman, M.D.
Donald Fong, M.D., M.P.H.
William G. Gates, M.D.
William B. Phillips II, M.D.
Scott Steidl, M.D., D.M.A.

CENTER FOR DRUG EVALUATION AND RESEARCH
NON-VOTING CONSULTANTS

Eileen W. Ringel, M.D.
Jimmy Douglas Schmidt, M.D.

CENTER FOR DEVICES AND RADIOLOGICAL HEALTH
VOTING CONSULTANTS

Jeremiah Brown, Jr., M.D.
Jose Pulido, M.D.
C. Pat Wilkinson, M.D.

FDA

Jonca Bull, M.D.
Wiley A. Chambers, M.D.
Jennifer D. Harris, M.D.
Lee Simon, M.D.

C O N T E N T S

Call to Order and Opening Remarks: Donald Fong, M.D.	4
Conflict of Interest Statement: Kimberly Littleton Topper, M.S.	5
Introduction: Wiley A. Chambers, M.D.	7
Sponsor Presentation: ISTA Pharmaceuticals	
Introduction: Vicente Anido, Ph.D.	10
Clinical Background: John W. Chandler, M.D.	13
Study Design and Efficacy: Lisa R. Grillone, Ph.D.	20
Safety: John W. Chandler, M.D.	41
Investigators' Perspective: Baruch D. Kuppermann, M.D. Edgar Thomas, M.D.	51
Impact on Clinical Practice: Kirk Packo, M.D.	57
Conclusions: Lisa R. Grillone, M.D.	61
Questions from the Committee	63
FDA Presentation: Jennifer D. Harris, M.D.	99
Questions from the Committee	117
Committee Discussion	157
Open Public Hearing	167
Committee Discussion	167
Questions and Vote	207

1 P R O C E E D I N G S

2 Call to Order and Opening Remarks

3 DR. FONG: Good morning. I am Donald
4 Fong. I am the Chair of the Subcommittee on
5 Ophthalmic Drugs. This morning, we are going to
6 talk about a vitreous product from ISTA
7 Pharmaceuticals. What I would like to do is start
8 it off by introducing everybody on the committee.
9 Maybe we can start on my left-hand side, start with
10 our dermatologist, Dr. Schmidt.

11 DR. SCHMIDT: I am Jimmy Schmidt from
12 Houston, Texas.

13 DR. RINGEL: Eileen Ringel from Maine.

14 DR. TAN: Ming Tan, University of Maryland
15 School of Medicine, Professor of Biostatistics.

16 DR. PHILLIPS: Bill Phillips from the
17 Washington, D.C. area.

18 DR. WILKINSON: Pat Wilkinson from
19 Baltimore.

20 DR. PULIDO: Jose Pulido from Chicago.

21 DR. GATES: William Gates, Nashville,
22 Tennessee.

23 DR. FONG: Donald Fong. I represent
24 Kaiser Permanent, Southern California.

25 DR. FEMAN: I am Steve Feman from St.

1 Louis University in St. Louis.

2 DR. DUNBAR: I'm Jennifer Dunbar from Loma
3 Linda University in California.

4 DR. STEIDL: I'm Scott Steidl from the
5 University of Maryland in Baltimore.

6 MS. KNUDSON: I'm Paula Knudson from
7 Houston, Texas.

8 DR. HARRIS: Jennifer Harris, FDA.

9 DR. CHAMBERS: Wiley Chambers, Deputy
10 Division Director for the Division of
11 Antiinflammatory, Analgesic and Ophthalmologic Drug
12 Products.

13 DR. SIMON: Lee Simon, Division Director
14 for Analgesic, Antiinflammatory and Ophthalmologic
15 Drug Products.

16 DR. BULL: Good morning. Jonca Bull,
17 Office of Drug Evaluation IV, Director.

18 DR. FONG: I think we want to go back to
19 Dr. Brown.

20 DR. BROWN: Jeremiah Brown from San
21 Antonio, Texas, University of Texas Health
22 Sciences.

23 DR. FONG: Kimberly, would you mind
24 reading the Conflict of Interest Statement?

25 Conflict of Interest Statement

1 MS. TOPPER: The following announcement
2 addresses the conflict of interest with regard to
3 this meeting and is made a part of the record to
4 preclude even the appearance of such at this
5 meeting. Based on the submitted agenda for the
6 meeting and all financial interests reported by the
7 committee participants, it has been determined that
8 all interests in firms regulated by the Center for
9 Drug Evaluation and Research which have been
10 reported by the participants present no potential
11 for an appearance of a conflict of interest at this
12 meeting.

13 In the event that discussions involve any
14 other products or firms not already on the agenda
15 for which an FDA participant has a financial
16 interest, the participants are aware of the need to
17 exclude themselves from such involvement and their
18 exclusion will be noted for the record.

19 With respect to all other participants, we
20 ask, in the interest of fairness, that they address
21 any current or previous financial involvement with
22 any firms whose products they may wish to comment
23 upon.

24 Thank you.

25 DR. FONG: I also want to point out that

1 Dr. Chew from the NIH will be here later one. She
2 is stuck in traffic.

3 Dr. Chambers:

4 Introduction

5 DR. CHAMBERS: Thank you. I would like to
6 take this opportunity to welcome all of the
7 committee members and the sponsor of the New Drug
8 Application that we are discussing and the audience
9 for what is a not particularly frequent advisory
10 committee based on the fact that we have tried to
11 be as selective as possible to try and respect
12 everybody's time and bring to the committee just
13 those issues where we have new indications, new
14 classes of products, something relatively new to
15 the area of ophthalmology.

16 That is the case for this morning, or for
17 today. In this particular case, we have not
18 previously had applicants submit applications for
19 issues involving bleeding within the eye or
20 basically the treatment of any kind of vitreous
21 hemorrhage, whether that is for just the treatment,
22 whether that is for particular aspects of the
23 indication, but any particular--this is a new
24 indication for us.

25 The molecule that we are talking about is

1 relatively old. There is a slight wrinkle, in this
2 particular case, in that the source is not from
3 bovine. It is from ovine. But the molecule is
4 otherwise relatively well-known to members of this
5 committee.

6 Its use is new, and that is what we are
7 going to primarily focus on. We will be talking
8 about the clinical aspects of the New Drugs
9 Application, not necessarily all of the pharm-tox
10 or chemistry aspects, and, consequently, we will be
11 asking for opinions on benefit-to-risk and
12 particular aspects of this study design, the trials
13 that were done, the results, the clinical utility.

14 We do not expect, whether we get yes, no
15 or indifferent from the committee today, to have
16 that be the final decision on whether the
17 application is approved or not approved. There are
18 many other aspects that go into a New Drug
19 Application such as the chemistry and
20 manufacturing, such as some of the pharm-tox
21 information, other parts that we will not be
22 discussing today.

23 What we are keying on is the area we
24 believe you have expertise to help us with and that
25 is in the area of the clinical results and the

1 clinical utility. We thank you for helping us with
2 that expertise.

3 We are as interested in the discussion
4 that goes on and comments that you have as any
5 particular votes. There will be a number of votes
6 and particular questions being asked to you, but we
7 are just as interested in the discussion that goes
8 along with all this and there are minutes being
9 taken as well as a transcript being prepared which
10 is why we ask everybody to try and use the
11 microphones and speak as clearly as possible and
12 identify yourself if you haven't already been
13 introduced as you first come on to help the
14 transcriptionist with the recordings.

15 At any point along, if there are any
16 questions, please feel free to ask either the
17 Chairman, Dr. Fong, or myself or anybody else on
18 the FDA staff and we will try and help out and try
19 and make things run as smoothly as possible.

20 Again, thank you for your time. I will
21 turn it back over to Dr. Fong.

22 DR. FONG: Thank you, Wiley.

23 Next up is the presentation from the
24 sponsor.

25 Sponsor Presentation

1 Introduction

2 DR. ANIDO: Good morning.

3 [Slide.]

4 My name is Vince Anido. I am the
5 President and CEO of ISTA Pharmaceuticals. It is
6 our pleasure to be here today. My role is
7 relatively simple and that is to basically share
8 with you our agenda for the next sixty minutes or
9 so.

10 Before I do that, I would like to first of
11 all take the opportunity to thank Dr. Bull, Dr.
12 Simon, Dr. Chambers, Dr. Harris and the members of
13 the FDA team for all the support and help that they
14 have provided getting us to this point, and also
15 Dr. Fong and the Advisory members for their time
16 that we will be spending on this application today.

17 [Slide.]

18 ISTA is a specialty pharmaceutical company
19 with a focus on ophthalmology.

20 [Slide.]

21 We believe, and we will be showing you
22 data today that support the approval for the
23 treatment of vitreous hemorrhage for our product
24 Vitrase. It is for the treatment of vitreous
25 hemorrhage not only to improve visual acuity but

1 also to facilitate the physician's ability to
2 diagnose the underlying disease.

3 This will be the first pharmaceutical
4 product approved in this particular category.

5 [Slide.]

6 Vitrase is a single injection
7 intravitreal, highly purified ovine hyaluronidase.
8 We have been working on this for roughly about ten
9 years and, in that time frame, we have actually
10 dosed up to 1,500 patients in about thirteen
11 countries.

12 [Slide.]

13 The development history of the product
14 pretty much spans the history of our company. We
15 were founded in 1992 and that is when we started
16 working on hyaluronidase for ophthalmology
17 applications. One of the significant events for us
18 was the fast-track designation that we received in
19 1998 which then allowed us to submit sections of
20 the NDA throughout the Year 2002. That was the
21 preclinical, clinical and the CMC sections.
22 Obviously, that is what ha gotten us here today.

23 [Slide.]

24 The presentation for us will as follows:
25 the clinical and the disease background will be

1 provided by Dr. Jack Chandler. Jack has been a
2 consultant to ISTA for the last two years. Prior
3 to that, he was the Past Chair and Professor of
4 Ophthalmology at both the University of Illinois
5 and the University of Wisconsin.

6 Dr. Lisa Grillone, who is our Vice
7 President of Clinical Research and Medical Affairs,
8 will then go through the data from the Phase II
9 studies and show you the efficacy of the drug. Dr.
10 Chandler will then come back and talk about the
11 safety of the product.

12 After that, we will go through and have
13 Dr. Barry Kupperman, who is Associate Professor at
14 the University of California at Irvine in the
15 Ophthalmology Department, and Dr. Gary Thomas, a
16 vitreoretinal specialist in one of the largest
17 practices in Los Angeles, will talk about the
18 investigators' perspective. They were the lead
19 investigators for our product in the U.S.

20 After that, Dr. Kirk Packo will talk about
21 the impact of our drug on ophthalmology practice in
22 general. Kirk is Associate Professor of
23 Ophthalmology at Rush Presbyterian. He is the
24 Immediate Past President of the American Society
25 of Retina Specialists. After that, Dr. Gillone

1 will wrap up on conclusions.

2 [Slide.]

3 For the Q&A Section, in addition to the
4 various presenters that we have, we have two other
5 gentlemen, Dr. Ray Buck who is a statistical
6 consultant for us from Cato Research, is available
7 to answer questions as is a member of our DSMB, our
8 Data Safety Monitoring Board, Dr. Brooks McCuen who
9 is with Duke University.

10 [Slide.]

11 From the company available to answer
12 questions will be, in addition to Dr. Grillone and
13 myself, Mr. Marv Garrett who is the Vice President
14 of Regulatory Affairs, Quality and Assurance; Bill
15 Craig, who is a V.P. of Research and Product
16 Development; and Kirk McMullin, who is Vice
17 President of Operations.

18 [Slide.]

19 Now I will turn it over to Dr. Chandler.

20 Clinical Background

21 DR. CHANDLER: Ladies and gentlemen, good
22 morning and Happy St. Patrick's Day. For past two
23 years, I have been serving as a consultant for ISTA
24 Pharmaceuticals as a member of their Clinician
25 Advisory Board serving and working on the

1 preparation of this NDA, especially the safety
2 sections, and was the Chairman of the Efficacy
3 Evaluation Committee in the past several months.

4 [Slide.]

5 Spontaneous vitreous hemorrhages that are
6 severe, based on one study, a prospective study,
7 appear to occur in about 7 per 100,000 population
8 on an annual basis. For the United States, this
9 translates to approximately 20,000 more patients
10 with vitreous hemorrhages entering the pool each
11 year.

12 [Slide.]

13 The common causes, most of which lead to
14 unilateral but not always vitreous hemorrhage,
15 include proliferative diabetic retinopathy,
16 posterior vitreous detachments with or without a
17 tear or detachment, trauma, branch or central
18 retinal vein occlusion, retinal macroaneurysm,
19 age-related macular degeneration and subarachnoid
20 hemorrhage.

21 [Slide.]

22 63 percent of the bilateral cases are
23 related to proliferative diabetic retinopathy.
24 Except for trauma and subarachnoid hemorrhages
25 where the retina is usually normal, there is

1 usually preexisting retinal pathology in the other
2 etiologies.

3 [Slide.]

4 In terms of mechanisms of vitreous
5 hemorrhage, tear can happen in normal blood
6 vessels, vessels from neovascularization or
7 disease. As well, they can occur from other sites
8 such as the choroid.

9 [Slide.]

10 The sequelae include decreases in visual
11 acuity for the patient. For the ophthalmologist,
12 it obstructs visualization of the posterior pole,
13 prevents therapy of sight-threatening pathology
14 such as retinal and choroidal neovascularization
15 and causes, in an experimental model in nonhuman
16 primates, at least, the blood in a large hemorrhage
17 directly seems to cause retinal pathologic changes
18 and electroretinographic abnormalities.

19 [Slide.]

20 Natural-history studies give us an
21 opportunity to look at the consequences of vitreous
22 hemorrhages. I will show you three that are very
23 illustrative of this.

24 [Slide.]

25 A study of 85 untreated large

1 vitreous-hemorrhaged eyes in the United States
2 showed that 70 percent, at three to ten years of
3 follow up, had no better than 5/200 vision.

4 [Slide.]

5 In Spain, a similar study looking at
6 untreated massive vitreous hemorrhages showed that,
7 compared to baseline, 26 percent had improved at
8 three months but 74 percent were worse or
9 unchanged. At two years, with no treatment, half
10 of the patients were worse than hand motion and
11 only 21 percent were better than hand motion.

12 [Slide.]

13 The DVRS study, the diabetic retinopathy
14 vitrectomy, which was an NEI collaborative trial,
15 had an arm that dealt with severe vitreous
16 hemorrhage that included 312 eyes, as you are all
17 well aware. Half were randomized to immediate
18 treatment after the detection and randomization was
19 taking place for hemorrhages that were roughly at
20 least six months in duration. Visual acuity was
21 similar to what we have in our Phase III studies.

22 [Slide.]

23 The delayed arm is what I am talking about
24 that gives us a look at a natural history where
25 vitrectomy was delayed for one year. In that

1 group, 22 percent had the hemorrhage clear
2 sufficiently that they didn't need the vitrectomy
3 at one year. 11 percent had vitrectomies to deal
4 with traction, retinal detachments. 5 percent,
5 during that one year, became inoperable for such
6 things as complicated retinal detachments and
7 neovascular glaucoma.

8 [Slide.]

9 So we have currently, in our armamentarium
10 as ophthalmologists, natural history, watchful
11 waiting, whatever you want to talk about, where
12 there is poor clearance, an inability to diagnose
13 and treat the condition. While it is untreatable,
14 there is progression of the underlying pathology
15 and, for a vast majority of these patients, there
16 is a poor visual outcome, not just short term but
17 long term, as we saw in the first study.

18 Currently, there is no pharmaceutical
19 treatment for vitreous hemorrhage. That is the
20 role we are talking about today for Vitrase.

21 [Slide.]

22 On the other hand, and you are all very
23 aware of it, is vitrectomy, a major ocular
24 procedure. Some eyes and patients are a poor risk.
25 It is costly. There can be serious complications.

1 In the DRVS, which was quite a while ago, now, they
2 were as high as 30 to 40 percent. Given today's
3 modern techniques and instrumentation, obviously,
4 that rate is much lower but it is not eliminated.
5 There are still serious complications with
6 vitrectomy.

7 [Slide.]

8 In looking at and conceiving of where
9 Vitrase would play a role, the following goals were
10 set, that it would be safe, that it would speed the
11 hemorrhage clearance, help restore visual function,
12 allow early therapy of the underlying pathology
13 when it was there, and it would not preclude future
14 vitrectomy and that it would be an office procedure
15 that was widely available.

16 [Slide.]

17 For the patient, this means, if Vitrase
18 meets these goals, that there will be earlier
19 diagnosis and treatment, earlier return of visual
20 function for those with unilateral hemorrhage, and
21 you will hear, on the videotape, a wonderful
22 discussion of this by a patient, an improvement in
23 their visual function. It is self evident, I
24 think, for the patients with bilateral hemorrhages
25 that this would be a marked help to them.

1 [Slide.]

2 As has been mentioned, Vitrase is highly
3 purified ovine testicular hyaluronidase. It is
4 prepared, preservative free, and it is then made up
5 with sterile sodium chloride, the same sodium
6 chloride that was in our saline placebo treatment
7 arm. This is not the same, obviously, as a natural
8 history, no treatment option, as people have now.

9 [Slide.]

10 Pharmacokinetics have been looked at in
11 animal studies following intravitreal injection.
12 The half-life in plasma is 49 hours. The highest
13 concentrations are achieved in vitreous, retina and
14 sclera. The half-life in ocular tissues is
15 somewhere between 60 and 112 hours, roughly two to
16 four days.

17 [Slide.]

18 In terms of the mechanisms of
19 hyaluronidase, we know that it cleaves glycosidic
20 bonds of hyaluron to form low-molecular-weight
21 hyaluron. You can speculate down the rest of the
22 way, although not fully proven, that it leads to
23 collapse and liquification of the vitreous. It
24 facilitates diffusion of molecules including
25 proinflammatory chemotactic factors, that it

1 promotes the ingress of phagocytic cells and the
2 egress of red blood cells and red-blood-cell
3 breakdown products in proteins.

4 [Slide.]

5 In a schematic fashion here, and with
6 actual clinical photos here, you can see what we
7 conceive of as happening with treatment with
8 Vitrase. To look at the clinical photos, this was
9 taken the day of and just before, just prior to,
10 Vitrase injection. This is eight days following
11 it, and three weeks.

12 What you see is a large--typical of the
13 hemorrhages that we had at the onset covering
14 critical areas of the posterior pole and breaking
15 up of the hemorrhage and egress of red blood cells
16 actually happening in some patients quite rapidly,
17 others slower. But this is what the concept of
18 what Vitrase does following an intravitreal
19 injection.

20 [Slide.]

21 Next, we are going to turn to talking
22 about the Phase III trials, study design and
23 efficacy results by Dr. Lisa Grillone.

24 Study Design and Efficacy

25 DR. GRILLONE: Thank you, Dr. Chandler,

1 and top of the morning to everybody here this
2 morning. Today, I am going to provide for you
3 efficacy results for Vitrase for the treatment of
4 vitreous hemorrhage.

5 [Slide.]

6 Two Phase III studies were conducted,
7 controlled clinical trials, double-masked,
8 placebo-controlled in 131 sites in which patients
9 were contributed to the intent-to-treat population.
10 Overall, across twelve countries, we accumulated
11 1,306 patients.

12 You will see here that there were four
13 doses, at least in one of the studies, in the North
14 American study. These doses included 7.5, 55, 75
15 IU Vitrase compared to saline.

16 [Slide.]

17 In the Ex North American study, the 7.5
18 International Unit dose group was not included.
19 For the Ex North American study, 556 patients from
20 a total of many centers in nine countries
21 contributed to the intent-to-treat population.

22 [Slide.]

23 In the North American study, conducted in
24 the United States, Canada and Mexico, 750 patients
25 contributed to the saline-controlled

1 intent-to-treat population study. I will also
2 point out to you here, on the right-hand side, you
3 see some numbers. This is the watchful waiting
4 control study that was the initial protocol begun
5 several years ago. This study, once it had
6 accumulated 71 patients in which the control arm
7 was a watchful waiting, no treatment, arm. This
8 study was discontinued and the saline control study
9 was reinitiated to enroll the 750 patients.

10 [Slide.]

11 Presentation today will focus on study
12 design, efficacy measures, which I will define for
13 you. Patient demographics and characteristics
14 will be presented and three-month efficacy results
15 will be presented as well. When Dr. Chandler comes
16 back and presents safety data, that will be all of
17 the safety included in the NDA submission for all
18 of the patients at that time.

19 [Slide.]

20 Vitrase efficacy. Today, I am going to
21 show you results from two controlled clinical
22 trials that will demonstrate and confirm that we
23 see clinical efficacy in four efficacy measures
24 early. The first efficacy measure will be
25 reduction in hemorrhage density; second,

1 improvement in best-corrected visual acuity; third,
2 an outcome by investigator which is the surrogate
3 success endpoint without the documentation by the
4 investigation and, of course, the primary efficacy
5 endpoint which was surrogate success.

6 You will see results in two separate
7 trials, the arrows here indicating statistical
8 significance, that we reached statistical
9 significance early, at Month 1, and that that
10 efficacy was confirmed in three of the four
11 parameters through Month 2 and, in some cases, even
12 as far out as Month 3.

13 [Slide.]

14 Let's begin with the eligibility criteria.
15 Patients for this trial had to come in to the study
16 with a hemorrhage for at least one month, but I
17 will show you, in a minute, that actually many of
18 these patients had hemorrhages that were much older
19 than one month. As well, the hemorrhage had to be
20 severe at study entry. Severity would be a Grade 3
21 or a 4 that would obscure visualization of the
22 fundus, and I will define that better for you in a
23 minute. Of course, the best-corrected visual acuity
24 would worse than 20/200 in the study eye.

25 [Slide.]

1 Hemorrhage severity of Grade 3 or 4 in all
2 twelve clock hours was defined for a Grade 3, for
3 example, as a red reflex that would be visible
4 without central retinal-vein detail posterior to
5 the equator.

6 [Slide.]

7 Let me give you an example. This would be
8 a nonqualifying hemorrhage because, while we see
9 blood in the vitreous, you can see retinal detail
10 posterior to the equator. I am not sure that you
11 can see it here and there is a little bit of detail
12 that you can see there.

13 [Slide.]

14 This would be a Grade 3 qualifying
15 hemorrhage even with headlight in the fog visible.
16 This, of course, is a Grade 4 qualifying
17 hemorrhage.

18 [Slide.]

19 Exclusion criteria. Primarily, if the
20 patient had a retinal detachment, ocular trauma,
21 vitrectomy and especially if there was no light
22 perception in either eye.

23 [Slide.]

24 For your information, this study was
25 studied by a data safety monitoring board chaired

1 by Dr. Tom Fleming. Members of the board included
2 Rick Ferris, Brooks McCuen and Alan Byrd. They
3 conducted four interim analyses at which they
4 evaluated both safety and efficacy. At all four
5 interim analyses, they recommended continuation of
6 the study.

7 [Slide.]

8 Patients were randomly assigned to receive
9 a single intravitreal injection, a 50-microliter
10 injection, in one eye. Three or four treatment
11 groups, as I mentioned previously and as a
12 reminder, the Ex North American study did not have
13 a 7.5 IU dose group. The two doses in common to
14 the two trials, then, were 55 and 75 versus the
15 saline control.

16 [Slide.]

17 Efficacy measures. Reduction in
18 vitreous-hemorrhage density. Certainly this is
19 important to the physician because it demonstrates
20 that there is clearance of the hemorrhage
21 sufficiently to evaluate the underlying retinal
22 pathology. Improvement in best-corrected visual
23 acuity, which I will define shortly, an outcome
24 determined by the investigator, simply the
25 clearance of the hemorrhage with diagnosis and

1 treatment if required. This is the same as the
2 surrogate success endpoint which was the original
3 primary efficacy endpoint except that there is no
4 requirement in the outcome here for documentation
5 by the physician whereas the requirement, and this
6 is an important distinction, in the surrogate
7 success evaluation, there was a requirement for the
8 investigator to document clearly that the laser
9 treatment had been completed.

10 [Slide.]

11 So, while the surrogate success primary
12 endpoint was success on or prior to Month 3, and
13 that success being a clearance in hemorrhage
14 sufficiently to diagnose, see the underlying
15 retinal pathology and complete the appropriate
16 laser treatment.

17 There had to be documentation that the
18 laser treatment was completed or, for example, if
19 no treatment was required and a fundus photograph
20 had to be taken, there was a requirement that that
21 fundus photograph had to be adequate. So, if you
22 had a patient and the fundus photograph was missing
23 or not clear, that patient would be a treatment
24 failure in the surrogate success but a treatment
25 success in the outcome by investigator, an

1 important distinction.

2 [Slide.]

3 There are several major shortcomings with
4 the surrogate success endpoint. While it was our
5 primary endpoint, in point of fact, there were
6 several things that had to be taken into
7 consideration. Data from the Phase IIB clinical
8 trials suggested that efficacy would be met--that
9 is clearance of the hemorrhage sufficient to
10 diagnose and treat--by Day 56.

11 When these Phase III studies were planned,
12 the sponsor thought that it would be better to
13 extend that Day 56 time point to 90 days in order
14 to secure that documentation from the investigator.
15 In point of fact, you will see in a minute that we
16 had efficacy much earlier rather than later.

17 [Slide.]

18 Again, outcome by investigator simply does
19 not require documentation in the case report form
20 that the treatment was completed.

21 [Slide.]

22 Reduction in hemorrhage density required
23 that the appropriate number of clock hours, six if
24 the patient had proliferative diabetic retinopathy,
25 had a clearing to a Grade 0 or 1, and, for patients

1 who had branch retinal-vein occlusion, that
2 clearing was appropriate in three clock hours, thus
3 allowed the appropriate territory for laser
4 photocoagulation.

5 [Slide.]

6 What does that look like? On the left
7 here, a Grade 0, where you can see complete
8 clearance, and, on the right, while you have some
9 blood in the lower portion here, clearly there is
10 sufficient area for the physician to do laser
11 photocoagulation.

12 [Slide.]

13 We required this. Again, as I say, a
14 Grade 0 or 1 and at least six clock hours, or 0 or
15 1 in three clock hours for those patients with
16 branch retinal-vein occlusion.

17 [Slide.]

18 Certainly, the most important and
19 principal efficacy endpoint is improvement in BCVA.
20 While the surrogate success endpoint was not an
21 endpoint that was accepted by the agency because we
22 could not provide a complete validation for this
23 protocol, the improvement in best-corrected visual
24 acuity is an efficacy endpoint that is accepted.
25 Here, we looked at a minimum of at least a

1 three-line improvement or 0.3 logMAR units where
2 each letter is 0.02 logMAR units.

3 For those patients who were not able to
4 read on chart, for those patients who had off-chart
5 visual acuity--in other words, those patients who
6 might have light perception or hand motion at study
7 entry--and you will see, in a minute, that there
8 were quite a number of those--we took a very
9 conservative approach because there was no
10 protocol-defined method for illumination and target
11 distance and so forth.

12 So, because of that, we took the
13 conservative approach that light perception to hand
14 motion would count as a one-line improvement and
15 hand motion to count fingers would be a second line
16 and then count fingers to reading any letter on the
17 chart would be the third line of improvement.

18 [Slide.]

19 Improvement in best-corrected visual
20 acuity, of course, answers an important clinical
21 question; is there a meaningful improvement in the
22 patient's vision resulting from the hemorrhage
23 density clearance. Reduction of hemorrhage density
24 clearance of 0 or 1 clock hours--sorry.

25 [Slide.]

1 Roadmap. I will present all of the data
2 in the following order. Study sequence will be Ex
3 North American, then the North American study and
4 then the two studies integrated.

5 [Slide.]

6 Let's begin with the patient demographics.
7 You will see that there is no apparent difference
8 between the Ex North American, North American and
9 the Integrated dataset for the two doses in common,
10 55 and 75, for gender or age. Ethnicity for
11 Caucasian, black or Asian while, in the category
12 "other," in the North American study, 40 percent of
13 the patients checked this category.

14 That represents primarily the 33 percent
15 of the patients in the North American study that
16 were Hispanic from the Mexican sites and several
17 other sites with a high Hispanic population.

18 [Slide.]

19 Etiology of the baseline vitreous
20 hemorrhage. First of all, more than 70 percent of
21 the patients had proliferative diabetic
22 retinopathy. 15 to 20 percent of the patients had
23 central retinal-vein occlusion or branch
24 retinal-vein occlusion or exudative macular
25 degeneration, and the remainder in the other categories.

1 [Slide.]

2 I mentioned earlier, when I mentioned that
3 vitreous hemorrhage duration had to be at least one
4 month, that, in fact, the duration was closer to
5 four months. You can see by the standard deviation
6 that the range was quite high. Not only did the
7 majority of patients have a hemorrhage duration
8 longer than a mean of at least four months, but
9 several, many, had a hemorrhage duration of much
10 longer than that period of time.

11 [Slide.]

12 Baseline diabetic status. While 24
13 percent of the patients were nondiabetic, and I
14 remind you that these studies were not stratified
15 by diabetic status, more than 75 percent of the
16 patients were diabetic at study entry. This is
17 important because we found some interesting results
18 in this subgroup, in the diabetic subgroup,
19 population.

20 [Slide.]

21 Now, the off-chart visual acuity. More
22 than 90 percent of the patients, overall, could not
23 read one letter on chart; that is, more than 90
24 percent of the patients could only have vision of
25 light perception, hand motion or count fingers at

1 study entry, an important point to keep in mind
2 when we look at the changes in best-corrected
3 visual acuity.

4 [Slide.]

5 Let's begin with the study data. Again,
6 the original primary efficacy endpoint, surrogate
7 success on or prior to Month 3.

8 [Slide.]

9 I would like to point out two things at
10 this point before--I will begin the graph in a
11 minute, but in your package, you have tables after
12 every one of the graphs that simply describe the
13 numbers and the absolute p-values. I will not
14 present the tables, in the interest of time, today.
15 I will present all the results graphically.

16 Furthermore, Dr. Chandler, when he
17 presents safety data, some of the numbers that you
18 have are just percentages and I think, in one of
19 your earlier packets, you also have the n's go
20 appropriately with that package. I believe you can
21 see which slides we have hit it in the interest of
22 time.

23 Let's look at the graph for a minute. I
24 will just set this up for you. On the X axis, we
25 have time. These will be cumulative data at Month

1 1, Month 2 and Month 3. On the Y axis is the
2 proportion of patients achieving the efficacy
3 measure in question at the moment. Saline will
4 always be a blue line. 55 will be a yellow and 75
5 will be a red line.

6 One asterisk will mean statistical
7 significance to less than 0.05 and two asterisks
8 will mean significance to less than 0.005.

9 So if we begin with the Ex North American
10 study, the saline group, as you see here, and the
11 55 IU group seen here. I will point out here that
12 we see, again, while we did not reach statistical
13 significance at the Month 3 time point, in fact, in
14 this study, we reached the significance early at
15 Month 1. Whenever you see letters enlarged in the
16 asterisk, that denotes that there is statistical
17 significance compared to saline.

18 Here is the 75 IU dose group which, while
19 it did not meet statistical significance,
20 certainly, by three months, was in the same trend
21 as the 55 IU dose group.

22 [Slide.]

23 For the North American study, this is
24 saline. Now, we have a 7.5 IU dose group in this
25 and we see, again, at the low dose group,

1 significance early at Month 1, the 55 IU dose group
2 and the 75 IU dose group, both of these doses
3 reaching significance at Month 1 and Month 2.

4 So, while the significance was not reached
5 at the Month 3 time point, we have nearly 40
6 percent of the patients, nevertheless, who did.
7 But the important point here is not that we missed
8 at three months. It is not that we got it later.
9 Contrary, we actually reached this endpoint earlier
10 than we anticipated.

11 [Slide.]

12 If we look at the dataset pooled for
13 saline, and 55, which is in common, we see
14 significance at all three time points. At 75, we
15 see significance at Month 1.

16 [Slide.]

17 Now to the outcome by investigator.
18 Again, the only difference here, compared to the
19 surrogate success, is that this did not require the
20 documentation. So that if the photograph was
21 missing but the investigator said that there was
22 treatment success and that they had taken the
23 photograph, we counted this patient as a success.

24 [Slide.]

25 If we look at the Ex North American study

1 for saline, for the 55 IU dose group, clearly the
2 investigators thought that there was success at
3 Month 1, Month 2 and Month 3. For the 75 IU dose
4 group, while we didn't reach treatment success
5 statistical difference, we see the same trend as in
6 the 55 IU dose group.

7 [Slide.]

8 In the North American study, for the same
9 outcome, saline, 7.5, having a treatment effect
10 reaching statistical significance again at all
11 three months at 55 and 75. So, in all three dose
12 groups in this case, we reached statistical
13 significance for the outcome determined by
14 investigator.

15 [Slide.]

16 In the Integrated dataset for saline and
17 the two dose groups in common, 55 and 75, you can
18 see that there is an early treatment effect.
19 Again, the investigators clearly believe there was
20 a treatment effect and that treatment effect was
21 evident, 40 percent of the patients reaching
22 statistical significance out to about the
23 three-month time point.

24 [Slide.]

25 Now lets turn our attention to the

1 reduction in vitreous-hemorrhage density. This is
2 the outcome that is important to the physician
3 because it tells us how much of the hemorrhage has
4 cleared and if sufficient amount has cleared for
5 the underlying retinal pathology to be treated.

6 [Slide.]

7 In the Ex North American study for saline,
8 for 55 and for 75 IU dose groups, we see a
9 treatment effect for the 55 IU dose group early
10 persisting through three months where we see a
11 statistically significant difference in nearly
12 40 percent of the patients reaching this outcome.

13 [Slide.]

14 In the North American study, similarly for
15 saline, first, then, 7.5, 55 and 75 IU dose groups.
16 For the two low-dose groups, we see this treatment
17 effect again early, at Month 1, through to Month 2,
18 for the high-dose group, 43 percent of the
19 patients, approximately, having a statistically
20 significant difference in treatment effect out at
21 three months.

22 [Slide.]

23 When we integrate the two studies for this
24 outcome, we see, for 55, there is a statistically
25 significant impact compared to saline both early

1 and for the longer time points and, as well, for
2 the 75 IU dose group. Where we see that treatment
3 effect, we see the reduction in hemorrhage density
4 to nearly 40 percent of the patients by the three
5 month time point.

6 [Slide.]

7 Now lets move to the improvement in
8 best-corrected visual acuity. We consider this the
9 principal efficacy measure. This gives us
10 information about well the patient could see in the
11 condition of a reduction in vitreous-hemorrhage
12 density. While I will show you a minimum of a
13 three-line improvement, I will tell you also that
14 we have several analyses where we look at not only
15 15 letters improvement, how many of the patients
16 could read 15 letters on chart and, as well, how
17 many of the patients had 20/200 or better vision in
18 eyes, keep in mind, that had no visual acuity
19 except for light perception, hand motion or count
20 fingers at study entry.

21 [Slide.]

22 So the three-line improvement, minimally,
23 in the Ex North American study for saline, now for
24 the 55 IU dose group, beginning with about 33
25 percent of the patients who had at least a

1 three-line improvement, reaching nearly 50 percent
2 of the patients, 46 percent of the patients, at the
3 three-month time point who had an improvement
4 statistically significant difference and at least a
5 three-line improvement by three months and the 75
6 IU dose group in the Ex North American study, where
7 we consider these two numbers, while 42 percent is
8 not statistical difference from 32 percent in the
9 saline group, we consider these two values to be
10 quite similar.

11 [Slide.]

12 In the North American study, for saline,
13 for 7.5, for 55 and for 75, in all three dose
14 groups, we see at least a three-line improvement
15 early, one month after a single intravitreal
16 injection, and this effect persisted through to two
17 months for the two high-dose groups.

18 [Slide.]

19 When we look at the Integrated dataset as
20 well, for saline, for 55 and now for 75, again, in
21 both dose groups in the Integrated dataset, this
22 treatment effect appears early. It is the minimal
23 improvement in BCVA that we evaluated. Nearly 50
24 percent of the patients, 45 percent of the
25 patients, maintained that treatment effect through

1 to Month 3.

2 [Slide.]

3 We did a confirmatory analysis for this
4 improvement in best-corrected visual acuity. While
5 you recall that, when I began, I said that if
6 patients went from count fingers to reading just
7 one letter on chart, we would count that as a
8 one-line improvement.

9 We also did something called read letters
10 as is, in which case we took the number of letters
11 read correctly on that first line plus the distance
12 at which the letters were read and we counted that.
13 We calculated a logMAR unit score and called that
14 read letters as is. So it is an absolute score.

15 In this case, for the integrated dataset
16 shown here, you can see that there is a highly
17 statistically significant difference for both doses
18 at all three time points for those patients with
19 read letters scored as is.

20 [Slide.]

21 What have I shown you in the Ex North
22 American study? First, that there is an early
23 response to Vitrase in the treatment of vitreous
24 hemorrhage. Whether we measure it by a reduction
25 in vitreous-hemorrhage density, statistically

1 significant at Month 1, improvement in
2 best-corrected visual acuity, the same, a
3 statistically significant difference at Month 1.
4 Outcome by investigator and surrogate success, all
5 statistically significant at Month 1.

6 At the 55 unit dose as well, this outcome,
7 this statistically significant difference persisted
8 through Month 2 and Month 3 for three of the four
9 outcomes, the only difference in the surrogate
10 being that there wasn't adequate documentation by
11 the investigator in the case report form.

12 We confirmed these results in the North
13 American study where, similarly, we see, for all
14 four efficacy measures, an early treatment effect,
15 earlier than we anticipated, Month 1. This
16 treatment effect persisted for all four categories
17 of efficacy through Month 2 and for a few of the
18 categories, reduction in vitreous-hemorrhage
19 density and the outcome by investigator even out to
20 Month 3.

21 [Slide.]

22 When we look at the integrated dataset as
23 well, we can see that there is confirmatory
24 evidence here, when we pool the datasets, that, in
25 all four categories, we see a statistically

1 significant difference not only early but one that
2 persists and continues through three months of
3 evaluation following such a single intravitreal
4 injection of Vitrase.

5 Thank you.

6 [Slide.]

7 I would like to turn the microphone over
8 to Dr. Chandler for the safety assessment.

9 Safety

10 DR. CHANDLER: The safety of Vitrase was
11 determined on the basis of analyses of
12 ophthalmologic examinations that were
13 protocol-determined and recorded in the case report
14 form at each visit plus adverse-event reports.

15 By prior agreement with the agency,
16 systemic examinations and laboratory studies were
17 not conducted. All of the adverse events, both
18 systemic and ophthalmic or ocular, were coded using
19 Medra. All coding was done without knowledge of
20 treatment assignment.

21 [Slide.]

22 The safety population consisted of all
23 patients who received a single intravitreal
24 injection in the Phase III studies. In Ex North
25 America, that included 551 patients and in, North

1 America, it included 740 patients from the saline
2 controlled study.

3 [Slide.]

4 Then we had an early study which had a
5 watchful-waiting arm in which 17 or 18 patients
6 were in each of the four arms. I am reporting the
7 safety data on the 53 patients from that small
8 study that received an intravitreal injection.

9 So the total study population is 1,344
10 eyes that were injected. In none of those eyes was
11 there injection-related endophthalmitis.

12 [Slide.]

13 This just gives you, in an integrated
14 fashion, the follow-up that we used. Dr. Grillone
15 earlier indicated that, at the time that this cut
16 of data was done, all patients had reached at least
17 the three-month visit point. This occurred at the
18 end of September of 2001.

19 The mean days of follow up is quite
20 similar. You will notice that the Vitrase 7.5,
21 that smaller dose, had a longer, about 50 day
22 longer, follow up as a mean in terms of patients
23 that had at least six-months data. It was
24 70 percent to 75 percent. In terms of those, at
25 that point, that had twelve-month follow up or

1 longer, it was 45 to 50 percent. In terms of the
2 two higher doses, 372 patients had been looked at
3 for at least one year.

4 [Slide.]

5 The deaths did occur in this population,
6 as expected. You will recall that three-quarters
7 of these patients had proliferative diabetic
8 retinopathy and that the mean age at enrollment was
9 62 years. The primary causes of death were related
10 to hypertension, cardiovascular disease and, in
11 some cases, renal failure.

12 I will speak to this in just a moment, but
13 here are the deaths across studies and integrated.
14 Remember that this arm, I told you, had eighteen
15 patients and there have been up to the time of the
16 data analysis, six deaths. We have looked at all
17 deaths in detail. They all appear to be related to
18 their systemic underlying disease. There were none
19 that appear in any way related to Vitrase or any
20 other events related to the study.

21 [Slide.]

22 Looking at eyes, remember that eyes had to
23 have at least light perception and no better than
24 5/200 vision at the time of entry. This gives you
25 the picture of eyes that have progressed to no

1 light perception during that follow-up period I
2 just described to you. It is not statistically
3 significant for either the Ex North American study
4 or the North American study. When you integrate,
5 you can see, again keeping in mind, that this was a
6 very small study relative to everything else, that
7 these eyes tended to do quite well.

8 Again, the 7.5 Vitrase group was in North
9 America. Again, this is an 18-patient arm here
10 that gives you, I believe, based on the small
11 population, a spurious result.

12 [Slide.]

13 In terms of systemic adverse events, in
14 the Ex North American study, none of them achieved
15 a 10 percent or greater incidence in the combined
16 Vitrase groups that were studied.

17 In North America, there were four;
18 infections and infestations, nervous-system
19 disorders, cardiac disorders and gastrointestinal
20 disorders. Again, you will see that there was not
21 a statistically significant difference between the
22 saline control patients and those that received
23 Vitrase.

24 [Slide.]

25 In terms of discontinuation due to serious

1 adverse events, they were all over the map and
2 don't appear to give us any hints about something
3 regarding Vitrase that leads to serious adverse
4 events that require discontinuation. We will look
5 at that in a different way in a moment.

6 [Slide.]

7 If you look at ocular serious adverse
8 events that achieved a 5 percent or greater
9 incidence.

10 [Slide.]

11 In the integrated study, you find four;
12 recurrent vitreous, or rebleed of a vitreous
13 hemorrhage, retinal detachment, rubiosis and
14 increased intraocular pressure.

15 [Slide.]

16 You will see that, for vitreous hemorrhage
17 that has recurred or rebled, there is a higher
18 incidence in the Vitrase-treated groups. It is not
19 statistically significant and it perhaps relates to
20 the fact that there was more efficacy in this group
21 and clearing of hemorrhage so it was very apparent
22 when there was a rebleed. The retinal-detachment
23 rates are very similar. Rubiosis, as you can see,
24 is very similar across groups. Increased
25 intraocular pressure; again, this smaller group

1 with longer follow up had slightly more in it.

2 Most of the times, these were one or two
3 readings that were above 23 millimeters of mercury
4 and returned to normal. The only real big one was
5 one patient who had preexisting primary open-angle
6 glaucoma that was removed from the study because of
7 the problems a few weeks after the injection.

8 [Slide.]

9 Adverse events. If you look at the Ex
10 North American study, events that achieved a 10
11 percent or greater incidence and were statistically
12 significant between saline and the Vitrase groups,
13 it came down to a very few that did this. I want
14 to point out, though, that, in this study, whether
15 you were in the saline control group or the 55 or
16 75 treatment groups, there were frequent reports of
17 ocular events.

18 [Slide.]

19 I think we can safely and reasonably agree
20 that, with iritis, hyperemia and pain were typical
21 travelers. You see that as you look at these over
22 time. These eyes with iritis, and we will talk
23 about this more, were not really hot eyes. The
24 pain was not incapacitating. The hyperemia was
25 often localized more around the injection site.

1 These tended to occur early.

2 [Slide.]

3 Here is the North American, same
4 parameters; greater than 10 percent incidence and a
5 statistical significance between the saline group
6 and the Vitrase-treated eyes. In North America,
7 events were reported more frequently for patients.
8 Between 91 and 99 percent of the patients had at
9 least one reported ocular adverse event.

10 [Slide.]

11 I think you would agree, again, that this
12 group traveled together. Let's talk about the
13 iritis for just a moment. The iritis tended to
14 curve very early after the injection. It tended to
15 not persist. It was easily managed, in many cases,
16 with no treatment at all or with topical
17 medications including corticosteroids, cycloplegics
18 and, in a few cases, nonsteroidal antiinflammatory
19 drugs.

20 Two down here stand out; visual acuity
21 that was reduced, 26 percent in the saline control
22 and in the mid-30s to upper 30s for the
23 Vitrase-treated groups. Again, remember that these
24 people had more clearing so there is a good chance
25 that the reduction in V.A. which had to occur after

1 they had improved so you could see the reduction
2 again, because, remember, 90 percent of these were
3 off-chart at the start.

4 The vitreous-hemorrhage rebleed follows in
5 that same vein, a little bit higher in those who
6 received Vitrase.

7 [Slide.]

8 What can we say? I will give you some
9 more details in a moment to support this. Vitrase
10 administration is associated with inflammation.
11 Iritis was frequent and was dose-dependent but it
12 was not, for the most part, severe. We will show
13 you some of that data in a moment. It was
14 frequently self-limited, meaning no treatment was
15 needed or managed with topical medications. It was
16 also seen, as you saw, in the saline control group.

17 It was not a cause of many serious adverse
18 events and there is literature evidence to support
19 that inflammation, in fact, may help clear vitreous
20 hemorrhage. Certainly, when you look at some of
21 our trends of clearance or reduction in
22 vitreous-hemorrhage density, and in eyes that had
23 also iritis, the iritis, in almost all cases,
24 occurred on or before the onset of
25 vitreous-hemorrhage density reduction.

1 [Slide.]

2 Let me show you some North America data.
3 Remember, there were more reports there. Here are
4 the adverse events that you saw. Here is a
5 breakdown of these adverse events by mild, moderate
6 and severe. You can see there is, again, a
7 dose-dependent increase in those that were referred
8 to as severe but most of them, the vast majority of
9 them, are in the mild to moderate.

10 Importantly, they were not looked at as
11 causes of serious adverse events. Remember, I
12 didn't show you any eyes that were discontinued
13 from the study because of iritis. In terms of
14 resolution, a vast majority, roughly three-fourths
15 of them, were cleared within 30 days with or
16 without topical treatment, and the rest of them
17 followed soon after.

18 [Slide.]

19 Hypopyon, as you have seen in your
20 briefing document, did occur. It was in only the
21 Vitrase groups. Again, these eyes tended to not be
22 hot. These eyes were eyes that were pretty easy to
23 distinguish from an infectious endophthalmitis. It
24 occurred most frequently in the 7.5 International
25 Unit group. Again, as you would expect, with none

1 here, this was a highly statistically significant
2 relationship.

3 Treatment of these, again, was managed by
4 topical medications in the same way with prompt
5 resolution as was the iritis.

6 [Slide.]

7 The last part of this, from looking at the
8 North American data, is to look a little more in
9 detail at the issue of adverse events of retinal
10 detachment, 6 percent in the saline control group
11 and up to 12 percent in the Vitrase-treated eyes.
12 We looked at this carefully. If you do such things
13 as look at retinal detachments over the first three
14 months of study, among the groups, they are low and
15 tend to be equal between saline and the others.

16 This includes retinal detachments of all
17 types including traction retinal detachments. In
18 fact, traction retinal detachments were a large
19 portion of these. I have more data to back this up
20 if you want to get into it further later.

21 [Slide.]

22 In conclusion, iritis accounted for a
23 majority of adverse-event reports and the
24 concomitant findings of iritis such as injection,
25 hyperemia, were the other most common associated

1 adverse events. They tended to be self-limited or
2 treated with topical medications with easy
3 resolution. Iritis was not a common cause of a
4 serious adverse event and did not lead to removal
5 of eyes from the study or discontinuation.

6 Similarly, with hypopyon, it was
7 infrequent and medically treatable. The
8 no-light-perception eyes was unrelated to Vitrase
9 treatment.

10 [Slide.]

11 Retinal-detachment rates prior to
12 vitrectomy are low. They don't appear to be
13 Vitrase-related. The statistics back that up.
14 Significant SAEs tend to occur after ninety days.
15 Remember, this is a drug with a half life in the
16 eye of up to four days.

17 In summary, the safety profile of Vitrase
18 supports human intravitreal administration of the
19 drug for the treatment of vitreous hemorrhage.

20 [Slide.]

21 Next, I would like to call, in rotation,
22 two of our lead clinical investigators for their
23 perspective; first, Dr. Barry Kupperman.

24 Investigators' Perspective

25 DR. KUPPERMAN: Hello. My name is Barry

1 Kupperman. I am a paid consultant of ISTA and was
2 one of the two lead national investigators, along
3 with Gary Thomas, for the Phase III trials for
4 Vitrase. I have no equity interest in the company.

5 [Slide.]

6 Like most retina specialists,
7 approximately 50 percent of my patients have
8 diabetic retinopathy and these patients are at
9 greatest risk for vitreous hemorrhages. In
10 evaluating patients with vitreous hemorrhage, I
11 assess how much blood is in the eye, whether I can
12 see into the eye well enough to diagnose and treat
13 the underlying pathology and the impact on the
14 patient's vision.

15 The strength of the efficacy data from
16 Vitrase presented today is that there were
17 significant improvements in all of these endpoints.
18 A patient with severe vitreous hemorrhage is likely
19 to have to come in monthly for three months with
20 ultrasounds and clinical examinations, and a
21 vitrectomy follows some 80 to 90 percent of the
22 time.

23 Of greatest concern, as we are waiting for
24 possible vitreous-hemorrhage clearance, is that the
25 underlying pathology goes untreated, so nothing is

1 stopping progression. Throughout this entire time,
2 the patient's vision and quality of life is
3 impaired.

4 Additionally, our most important
5 diagnostic tool, the B-scan ultrasound, frequently
6 does not reveal the true extent of underlying
7 pathology so both the patient and the physician are
8 often literally in the dark.

9 I am primarily concerned about missing
10 macular pathologies like shallow macular-traction
11 detachments or diffuse macular edema where delays
12 in treatment can lead to poor visual outcomes.
13 This watchful waiting period of three months
14 followed by the vitrectomy and post-op recovery of
15 vision can result in a four- to five-month span
16 where patients are functionally limited.

17 The most striking benefit of vitrase
18 therapy is that it led to early significant
19 improvement in visual acuity. As you will hear,
20 return of useful vision, even one month earlier, is
21 of great importance to the patient.

22 [Slide.]

23 I would like to play a tape for you of a
24 patient who developed a vitreous hemorrhage in one
25 eye, was enrolled by me in the Vitrase North

1 American study Phase III study and, as it turned
2 out, was treated with Vitrase at the 55 IU dose.

3 Even though he has useful vision in his
4 other eye, losing vision in one of his eyes from
5 vitreous hemorrhage had a significant effect on his
6 overall visual function.

7 [The tape was played for the committee.]

8 DR. KUPPERMAN: Dr. Thomas, the other lead
9 investigator.

10 DR. THOMAS: Good morning. My name is
11 Gary Thomas, or Edgar Thomas, as you saw on the
12 list. My parents judged to call me something else.
13 I was one of the two lead investigators for the
14 Phase III trial for Vitrase with Dr. Kupperman. I
15 obviously am a paid consultant for ISTA but I have
16 no equity interest in the company.

17 I am a private-practice retina surgeon in
18 the largest retina practice in Los Angeles. What
19 you have seen in this patient video is a very
20 typical scene of many of our patients. There is
21 currently only one true option for nonresolving
22 vitreous hemorrhage and that is vitrectomy surgery.

23 However, before we subject a patient to a
24 vitrectomy, we usually wait about three months
25 which is what we consider the standard of care.

1 Why do we wait so long? Because vitrectomy, while
2 certainly effective, is the most invasive surgery
3 done in the field of ophthalmology and carries
4 significant risk of complications including
5 blindness, infection, retinal detachment, glaucoma
6 and cataract.

7 [Slide.]

8 This previtrectomy period that we call
9 watchful waiting is a complicated period for the
10 patient and for the doctor. As you have heard from
11 the patient in the video, he underwent this period
12 for a period of three to four months. In that
13 period of time, he sat home, unable to drive, work,
14 go to the grocery store or anything by himself
15 although, obviously, there were some things that he
16 did try.

17 His experiences with severe vitreous
18 hemorrhage are not uncommon. In fact, in my
19 opinion, this is closer to the rule than to the
20 exception. In this watchful-waiting period of
21 time, there is nothing that I can do positively for
22 the patient other than hope that the vitreous
23 hemorrhage clears and that the underlying cause of
24 the hemorrhage does not progressively worsen.

25 The watchful-waiting paradigm for managing

1 vitreous hemorrhage has been used for over twenty
2 years and, despite improvements in vitrectomy
3 instrumentation and outcomes, it is still the
4 standard of care. Therefore, we get the same
5 results in watchful waiting for twenty years as we
6 do now.

7 [Slide.]

8 As a practicing physician, I feel that we
9 need an option besides watchful waiting because
10 watchful waiting is simply not a treatment.
11 Rather, it is the absence of treatment which delays
12 patients from returning to normal life and keeps
13 the physicians from doing what they are trying to
14 do, namely treating pathology and healing patients.

15 In my opinion, based on this data, Vitrase
16 provides, for the first time, a viable option to
17 watchful waiting for the treatment of vitreous
18 hemorrhage and, while not perfect, would certainly
19 be a welcome addition to our armamentarium.

20 Thank you.

21 [Slide.]

22 DR. CHANDLER: Next is Dr. Kirk Packo to
23 give another view of the impact of Vitrase on
24 ophthalmology practice.

25 Impact on Clinical Practice

1 DR. PACKO: My name is Kirk Packo. I am a
2 vitreoretinal surgeon in Chicago. I am not a paid
3 consultant to ISTA Pharmaceuticals nor do I have
4 any equity interest in the company. I am being
5 reimbursed for my expenses and time here today.

6 I was a local investigator as one of the
7 131 centers in the trial and, as mentioned, I am
8 the Immediate Past President of the American
9 Society of Retina Specialists, formerly the
10 Vitreous Society, which is the largest organization
11 of retinal specialists in the world with over 1700
12 members. This group makes up the vast majority of
13 the army of physicians that deal with vitreous
14 hemorrhage on a day-to-day basis here in the United
15 States and my role is to speak as a foot soldier,
16 of sorts, to that army.

17 Vitreous hemorrhage in the office of a
18 retinal specialist is a common problem and, as an
19 alternative treatment to surgery, we simply have no
20 other treatment. But we have a need for one.
21 Currently, in clinical practice, we approach the
22 problem of vitreous hemorrhage with a two-armed
23 algorithm. On one arm, we observe the patient and
24 this choice carries with it both patient and
25 surgeon paranoia on missing macular pathology with

1 instructions to go home, sleep with your head
2 elevated and tough it out.

3 It carries with it considerable
4 frustration as we have seen because the patients
5 wants the debilitating loss of vision cleared.

6 The second arm is to move to vitrectomy
7 and this carries with it the significant chance of
8 ocular morbidity, particularly cataract formation,
9 as well as the significant issue of cost, both
10 financial and emotional cost. To be able to add a
11 third arm to our algorithm I think would, indeed,
12 be valuable.

13 Drs. Thomas and Kupperman have explained
14 this watchful-waiting period as a window of about a
15 three-to-four-month range, and I agree with that.
16 But many times, however, surgeons need to
17 individualize this period to a shorter window of
18 time. A patient's visual and occupational needs,
19 for example, may mandate a vitrectomy even within
20 two to four weeks, particularly if that patient is
21 monocular.

22 Over the past decade, I do believe that
23 surgeons have been moving towards shorter waiting
24 periods fostered by, perhaps, better surgical
25 equipment and techniques. Indeed, even the DRVS

1 showed that performing a vitrectomy at one month
2 may have some value in Type 1 juvenile diabetics.

3 I first became aware of the data on the
4 Vitrase trial about a month ago. What impressed me
5 is that Vitrase does work, not all the time, but it
6 does work. When it does work, it does work quickly
7 within that first one to two months. Adding a
8 potential treatment alternative to surgery, to me,
9 as a clinician, that important one to two months, I
10 think, is very helpful.

11 The problem of potential disease
12 progression during a waiting period cannot be
13 overstated. The hemorrhagic vitreous-detachment
14 patient, for example, a nondiabetic with a
15 suspected tear, was often not even entered into the
16 Vitrase trial, but this represents a particularly
17 worrisome patient.

18 These patients often go to the operating
19 room very early, within the first month, again out
20 of paranoia or fear of missing a detachment or, at
21 worse, will come back with a detachment. It seems
22 to me a tragedy to see patients end up like this
23 with potential visual loss if we did, indeed, have
24 a pharmacologic way to clear their hemorrhage more
25 quickly.

1 DR. GRILLONE: Thank you.

2 [Slide.]

3 Ladies and gentlemen, we believe, today,
4 we have presented to you data in two confirmatory
5 clinical trials that a single intravitreal
6 injection of 55 International Units of Vitrase
7 provides the following benefits. First, and of
8 primary importance, is the three-line improvement
9 minimally in best-corrected visual acuity.

10 Second, a significant reduction in
11 vitreous-hemorrhage density that allows the
12 physicians that you have heard before you today and
13 yourselves as well to be able to see and diagnose
14 the underlying pathology, to be able to treat that
15 underlying pathology and to help your patients.

16 [Slide.]

17 What evidence have we provided to this
18 end? First, you have seen that that early impact
19 on improvement in best-corrected visual acuity is
20 seen as early as Month 1. Again, you have heard the
21 importance of that to the physicians. As well, you
22 have seen that that improvement in best-corrected
23 visual acuity is maintained through three months.

24 As well, you have seen that the reduction
25 in vitreous-hemorrhage density is also seen early,

1 again at a time point important to you and other
2 physicians, as early as one month and significant
3 through to three months.

4 [Slide.]

5 What are the risk-benefit assessments that
6 you have seen today? While a single intravitreal
7 injection of a 55 IU dose of Vitrase would provide
8 the first pharmaceutical treatment with an early
9 reduction in hemorrhage density and an early
10 improvement in best-corrected visual acuity, you
11 have seen that there is a low risk of adverse
12 events for these patients treated with Vitrase.

13 With the exception of iritis, which you
14 have also seen to be easily manageable and
15 treatable, in some cases with topical medications
16 if treatment, in fact, is necessary, we believe
17 that we have provided you evidence that the
18 benefits of Vitrase for the treatment of vitreous
19 hemorrhage certainly outweigh the risks.

20 [Slide.]

21 At the beginning of Dr. Chandler's
22 presentation, he presented you with a list of goals
23 for a potential new pharmaceutical therapy. We
24 also believe that we provided evidence to you today
25 that Vitrase is safe with a low risk to treated

1 eyes, that it speeds the hemorrhage clearance and
2 restores visual function and allows therapy of the
3 underlying pathology, but it does not preclude use
4 of a vitrectomy later on.

5 [Slide.]

6 Today, more than 30 years since Dr.
7 Malcomer conducted the first vitrectomy in humans,
8 the only alternative to vitrectomy is no treatment.
9 You have heard about the problems with no
10 treatment.

11 We ask that you carefully consider today
12 for approval Vitrase for the treatment of vitreous
13 hemorrhage as a new pharmaceutical therapy to
14 provide an alternative to no treatment whatsoever
15 for these patients to improve their visual acuity
16 and to allow yourselves the opportunity to better
17 and earlier diagnose and treat the underlying
18 pathology that causes that vitreous hemorrhage.

19 Thank you.

20 DR. FONG: Thank you, Dr. Grillone.

21 Questions from the Committee

22 DR. FONG: At this point, we usually take
23 questions to the sponsor for clarification,
24 questions to clarify their presentation. We
25 usually wait for discussion of the drug until the

1 FDA has finished their presentation. At this
2 point, are there any questions, clarifying
3 questions, for the sponsor? Dr. Chew

4 DR. CHEW: I had a question regarding the
5 design of the study. I didn't see it in
6 eligibility. I want to know what proportion of
7 patients, since the majority of these cases are
8 diabetics, what proportion had laser
9 photocoagulation prior to their eligibility and was
10 this balanced across all treatment groups.

11 DR. GRILLONE: Dr. Chew, are you asking in
12 general how many patients had laser
13 photocoagulation

14 DR. CHEW: In your integrated group. In
15 general, sure.

16 DR. GRILLONE: First, I will say that, of
17 course, only the proportion who went in with PDR,
18 which was about 70 percent of the patients, would
19 even be eligible for laser photocoagulation. If
20 you give us just a minute, we will call up the
21 appropriate slide for that.

22 DR. FONG: Dr. Grillone, while we are
23 waiting, do you want to take the next question?

24 DR. GRILLONE: I can take another question
25 while we are looking for that.

1 DR. FONG: Pat?

2 DR. WILKINSON: The drug seems to act
3 relatively quickly and we have heard about--

4 DR. FONG: Can you introduce yourself
5 before you--

6 DR. WILKINSON: Yes; I am Pat Wilkinson
7 from Baltimore. The drug appears to act relatively
8 quickly. We have heard about these
9 watchful-waiting periods. I think the average
10 entry into the program was roughly after two months
11 of waiting. I wonder if the data were stratified
12 to look at relatively fresh hemorrhage compared to,
13 let's say, hemorrhage of two or three months
14 duration.

15 In the application, there is a comment
16 that the outcomes might have been even better if
17 they had looked at more acute hemorrhages. So the
18 question is, were the data stratified in terms of
19 duration of hemorrhage prior to injection?

20 DR. GRILLONE: The data were not
21 prestratified in terms of duration of hemorrhage at
22 study entry. When patients entered the study, the
23 hemorrhage was already, on average, four months
24 old. So, by the time the hemorrhage cleared, it
25 was, in some cases, nearly six months old.

1 To post stratify by hemorrhage duration
2 meant that there was a significant cutting, of
3 course post-stratification, and the numbers were
4 too small, then, to draw any real conclusions
5 because some of these patients--because there was
6 no limit on the duration of hemorrhage, the
7 longevity of that hemorrhage, when you did a
8 post-stratification cut, you had many patients who
9 had hemorrhages that were many more days older than
10 90 days. So the numbers got to be too small to
11 draw any conclusions.

12 I have the laser-therapy slide that could
13 be ready for Dr. Chew.

14 [Slide.]

15 What we looked at here, first of all, in
16 the subset of patients that had PDR at study entry
17 across the board for the integrated dataset with
18 laser therapy conducted at Month 1, Month 2 and at
19 Month 3. These are not cumulative. These are
20 "at."

21 You can see that, as early as Month 1,
22 because there is substantial clearing not in the
23 saline group but, rather, in the doses, there is a
24 higher percentage of patients who actually were
25 able to get laser therapy when they were treated

1 with Vitrase and, as well, it is the same for the
2 Month-2 time point.

3 DR. CHEW: I think I saw that in your
4 datapack. I was looking for data prior to your
5 entry into study, whether you collected that
6 information.

7 DR. GRILLONE: Oh; I'm sorry.

8 DR. CHEW: Whether people who had
9 proliferative disease had already been diagnosed
10 and had treatment prior to coming in. Obviously,
11 those of us who treat know that that happens. Even
12 in the middle of lasering, you get patients with
13 severe hemorrhage that come in.

14 So I want to know just a balance, whether
15 there were prior laser, just in case there was a
16 difference in your groups more than anything else.

17 DR. GRILLONE: I'm sorry. I understand.
18 No; we did not that data collected. That was not
19 built into the design of the study.

20 DR. FONG: Dr. Feman and then Dr.
21 Phillips.

22 DR. FEMAN: I have two questions, somewhat
23 of a surprise. Should there be any more
24 information about visual function? Visual acuity
25 is only one type of visual function. In most other

1 studies, one is concerned about other aspects of
2 visual function like peripheral visual fields and
3 things like that.

4 Yet, I didn't see anything in the packet
5 that was supplied to us that addressed that
6 question.

7 DR. GRILLONE: The studies were not
8 designed and did not include any other examination
9 of any other visual functions.

10 DR. FEMAN: Then I had a second question.
11 In the Study 1 protocol, Vit-02, whatever its, the
12 dosage was somewhat unexpected. There were three
13 dosing regimens and, in it, one dose was ten times
14 the concentration of the other. But the third was
15 not halfway between the two. No information was
16 given as to why that choice was made as to why not
17 just stratifying it in half-way doses, or is that a
18 company secret of some sort?

19 DR. GRILLONE: No. I am glad to share
20 that with you today. When the Phase III studies
21 were designed, the only available data was data
22 from two Phase II studies that were conducted.
23 Those Phase II studies were conducted without a
24 saline control and with the following doses; 7.5,
25 which you saw in the 02 study, the North American

1 study, as well; another dose, 37.5; and the third
2 dose, 75.

3 The information from the Phase II trials
4 on the 37.5 suggested that there was efficacy with
5 37.5. However, the information on the 75 IU dose
6 group in the Phase II trials also suggested that
7 there might be a safety issue in the amount of
8 inflammation and hypopyon at the 75 IU dose group.

9 It was, actually, at the suggestion of Dr.
10 Chambers, and we thank him for that, that we
11 approached the 55 IU dose group to try to dose
12 below the safety issues in the 75 IU dose group and
13 yet achieve efficacy. That is why these two are
14 the only studies done with the 55 IU dose group.

15 DR. FONG: Thank you.

16 Dr. Dunbar and then Dr. Steidl and Dr.
17 Phillips, and then Dr. Tan. Dr. Dunbar?

18 DR. DUNBAR: You mentioned that the
19 illumination was not controlled when visual acuity
20 was checked. I wondered if there was a consistent
21 protocol throughout all the study centers for
22 checking visual acuity since, initially, the study
23 was designed with a surrogate endpoint that was
24 different from visual acuity.

25 DR. GRILLONE: I think, perhaps, I will

1 let Dr. Chandler answer that and speak to the
2 best-corrected visual-acuity measurements.

3 DR. CHANDLER: The reference--it was
4 probably said fast. There was not strict criteria
5 and information for off-chart in terms of
6 illumination. The on-chart was done like the
7 diabetic retinopathy vitrectomy study and other
8 diabetic retinopathy studies. That was controlled.

9 DR. FONG: Dr. Steidl?

10 DR. STEIDL: I think I have two questions.
11 First of all, the surrogate success, and correct me
12 if I am wrong, it is stated here that it was the
13 primary efficacy endpoint. I was just curious,
14 reading through these three bulletpoints, the first
15 one laser treatment of the underlying condition
16 completed. That makes sense.

17 "The visualization of the retina revealed
18 that surgery was required." Maybe you could
19 explain a little bit more about that because a lot
20 of times, you don't need to see the retina to know
21 that surgery is required. You can see a large
22 detachment on a B-scan or, given the previous
23 pathology, you know that there is a partial
24 traction detachment there and maybe some
25 proliferative disease going away and then the

1 hemorrhage happens.

2 How do you distinguish between that and
3 the case where it is obvious that they need
4 surgery?

5 DR. GRILLONE: I think, as you heard from
6 one of the physicians, at least, the B-scans don't
7 always provide the opportunity to completely
8 diagnose a traction retinal detachment, for
9 example. In some cases, once there was clearance
10 of that hemorrhage following Vitrase, then they
11 could see the underlying pathology and know, for
12 example, that they might have to do a scleral
13 buckle for tractional retinal detachment.

14 I can have also one of the physicians
15 further elucidate that answer if you wish.

16 DR. STEIDL: If someone had a break and an
17 obvious detachment on B-scan, you wouldn't have to
18 wait. If someone had an obvious large traction
19 detachment, not a subtle one, you would, again,
20 probably not wait. If you knew that the person had
21 maybe regressing proliferative disease just prior
22 to the hemorrhage and you had seen the patient, you
23 probably wouldn't wait then either. So I am just
24 curious what this pertains to.

25 DR. GRILLONE: If you could see the

1 detachments prior to study entry, they wouldn't be
2 eligible. If you couldn't, however, because there
3 was too much blood in the eye, then they would be
4 eligible. But you now would wait for the clearance
5 to be able to see that.

6 I will have Dr. Kupperman come up and
7 further expand on that answer.

8 DR. KUPPERMAN: Lisa is correct, in that
9 sense. Again, anybody who had clear pathology
10 prior to enrollment on ultrasound was excluded from
11 the trial in terms of retinal detachment. That
12 subset of patients was simply those that we did not
13 diagnose any pathology based on the ultrasound but
14 diagnosed significant pathology on clearance of
15 vitreous hemorrhage and, once that hemorrhage was
16 then directly visualized with indirect
17 ophthalmoscopy, et cetera, and it was determined
18 that, in fact, what was needed was not panorama
19 photocoagulation but, in fact, a vitrectomy, then
20 the endpoint was then achieved on the date that
21 vitrectomy was done; that is, the outcome is on the
22 day that we saw that clear enough to diagnose that
23 a vitrectomy was necessary. The surrogate success
24 was the date the vitrectomy was done. It was for
25 that subset of patients whose pathology was

1 diagnosed purely on clearing a vitreous hemorrhage
2 that was undetermined by ultrasound.

3 DR. STEIDL: So it was group where it was
4 ambiguous as to whether they needed it and, then,
5 upon clearing it, became clearer.

6 DR. KUPPERMAN: Generally, it was either
7 ambiguous or it was not at all noted on ultrasound.
8 Surprisingly, it was not noted on ultrasound.
9 Then, once direct visualization allowed a
10 determination, the pathology required a vitrectomy
11 or other surgical procedure.

12 DR. STEIDL: Maybe you could just stay
13 there for one second. The second part is a lot of
14 times someone has a horseshoe tear and you are
15 following them and you don't, until you really see
16 almost 360, you are not sure that there is no
17 break.

18 For the third bullet point, you accepted
19 180 degrees of clearing. Could you explain what
20 that means, the 180 degrees? Is it just a little
21 bit at the vitreous base or--

22 DR. KUPPERMAN: Right. Again, the
23 requirement was a clearance of at least 180
24 degrees. That suggested that there was a
25 significant amount of clearance with the hope that

1 we could diagnose and spot some underlying
2 pathology such that we felt comfortable that there
3 was no need for a surgical intervention.

4 We, of course, were monitoring carefully.
5 As 180 degrees was visible, we could determine if
6 there were superior retinal detachments and breaks
7 although, potentially, the inferior vitreous base
8 might be partially obscured.

9 DR. STEIDL: I have one more quick
10 question.

11 DR. FONG: Why don't we let some of the
12 other members ask a question and we will come back
13 to you.

14 DR. STEIDL: Okay.

15 DR. FONG: Dr. Phillips?

16 DR. PHILLIPS: I note from your exclusion
17 criteria that patients with prior vitrectomy were
18 not included. But if patients with other
19 intraocular surgery, especially cataract surgery,
20 were included, what were the percentages and were
21 they equally stratified between the treatment and
22 control groups as patients that are pseudophakic or
23 aphakic may clear more quickly than a phakic
24 patient?

25 DR. GRILLONE: Generally, all those

1 patients were evenly distributed across the
2 treatment groups. If I understand your question,
3 you are asking if the patients who were aphakic,
4 pseudophakic or aphakic cleared similarly whether
5 they were aphakic at study entry, et cetera?

6 DR. PHILLIPS: Correct. If they were
7 equally stratified between the saline or the
8 watchful-waiting group versus your two or
9 three-treatment protocols depending on the study.

10 DR. GRILLONE: We will be able to call a
11 slide up for you. In the meantime, I can take a
12 second question while they are finding the slide.

13 DR. FONG: Dr. Tan. Then I have a
14 question, and then back to Drs. Steidl and Pulido.

15 Dr. Tan?

16 DR. TAN: I have two questions, actually.
17 The first one is on efficacy. What is exactly the
18 primary endpoint that you defined. It seems, in
19 the briefing document, it is a little bit different
20 from what was presented.

21 DR. GRILLONE: The primary efficacy
22 endpoint in the study protocol was a surrogate
23 success on or prior to Month 3. However, we
24 believe that the principal-efficacy and
25 primary-efficacy endpoint is really the improvement

1 in best-corrected visual acuity.

2 DR. TAN: Thank you. I have another
3 clarification on the side effect. Is there data
4 about--you say there are 30.9 percent of patients
5 who have returned with vitreous hemorrhage for
6 rebleed. Are there more detailed. Are there more
7 detailed data regarding how severe, how this
8 compared with the patients the first time, when
9 they are first enrolled in the study?

10 DR. GRILLONE: Would you mind restating
11 that question a bit?

12 DR. TAN: There are 30.9 percent of
13 patients who have rebleed occurred when Vitrase was
14 used. In the saline group, there are 21.5 percent.
15 I just wonder what--you say rebleed. How about the
16 severity? Do we have more detailed data about the
17 severity of this?

18 DR. GRILLONE: Yes. I can show you that,
19 for example, by severity, recurrent vitreous
20 hemorrhage, with the slide up.

21 [Slide.]

22 This is the 02 trial, for example. There
23 is no statistically significant difference for
24 mild, moderate or severe rebleeds. You can see,
25 for the mild ones, they are all running about

1 evenly across the four treatment groups and, while
2 there is a certain proportion of moderate
3 hemorrhages, similarly there is no statistical
4 difference. Certainly, for the severe, there is no
5 statistically significant difference with a p-value
6 of 0.26 across the four treatment groups. This is
7 in the 02, or North American study.

8 DR. TAN: Thank you.

9 DR. GRILLONE: I can switch, also, to the
10 cataract slide as well. I think I am going to let
11 Dr. Chandler address your question, Dr. Phillips,
12 about the cataract incidence.

13 [Slide.]

14 DR. CHANDLER: On this slide, this shows
15 you the screening status, lens status, as they came
16 in. Almost no eyes--in fact, no eyes--were
17 aphakic. They were pretty evenly distributed in
18 those that happened to be--this happens to be in
19 reduction of hemorrhage density which is a slide we
20 have, but I can tell you, from looking at the whole
21 database, it is almost identical. There are a few
22 aphakic patients, very few, and they are evenly
23 distributed. The rest are distributed just about
24 this way between pseudophakia and phakia.

25 The denominator is up here of the whole

1 database.

2 DR. BROWN: So why don't those numbers add
3 up to 100 percent?

4 DR. CHANDLER: There is missing baseline
5 data on some people, so you lose that in there.
6 This was data that was captured in ways that are
7 not ideal for collecting complete data.

8 DR. FONG: Does that answer your question,
9 Dr. Phillips?

10 DR. PHILLIPS: Yes.

11 DR. FONG: I would like to ask a question.
12 Then back to Dr. Steidl, Dr. Pulido and Dr. Brown.

13 My question has to do with the
14 presentation from Gary and Barry about the
15 patients. I think they bring up a very important
16 point that patients want to function better. This
17 is also following what Dr. Feman said. I am
18 curious to know whether you have done an analysis
19 to look at the percentage of patients who have had
20 improvement of vision of better than 20/40 because
21 that would be a meaningful value, because that
22 would be a vision that would allow patients to
23 drive.

24 Also, to answer that other question, also
25 they had talked about the need for prevention of

1 vitrectomy. I don't see, on any presentation,
2 about the distribution of vitrectomy, whether
3 vitrectomy was actually saved by the use of
4 Vitrase. So two questions, actually; percentage of
5 patients that had 20/40 vision or better and
6 whether vitrectomy was reduced by the injection of
7 Vitrase.

8 DR. GRILLONE: We did not do an analysis
9 of patients who had 20/40 or better. There were
10 several reasons for that. Then I will show you the
11 analyses we do have.

12 First, of course, because 90 percent of
13 the patients went in with off-chart visual acuity,
14 there were so many that were severely unable to
15 have any vision. Second, there, of course, was a
16 proportion of patients in whom an improvement of
17 best-corrected visual acuity would not be
18 achievable because, perhaps, they had macular
19 degeneration or other reasons for not being able to
20 improve.

21 Nevertheless, we did do an analysis for
22 the proportion of patients who achieved 20/200 or
23 better which would get them out of the legally
24 blind category in the study eye. I would like to
25 show you that data because I think that it is an

1 important piece of information.

2 [Slide.]

3 This is the integrated dataset for those
4 patients who had at least 20/200 or better, 1.2
5 logMAR units. You can see that, compared to
6 saline, there is a highly statistically significant
7 difference early, at Month 1, for these patients
8 with more than 20 percent of the patients having
9 gone from virtually no vision, off-chart vision,
10 count fingers or hand motion or light perception,
11 to having 20/200 or better.

12 Again, while the 7.5 dose doesn't reach
13 statistical significance, certainly the 55 and
14 75, now at 30 percent by Month 2 and, at Month 3,
15 36 percent and 35 percent, again reaching
16 statistical significance for 20/200 or better.

17 DR. FONG: Before we move off of this, I
18 just wanted to ask whether it is possible to look
19 not just at the integrated dataset but whether you
20 could sort of present the data from both the U.S.
21 and the non-U.S., that we would have a feel for
22 what the actual numbers show.

23 DR. GRILLONE: Certainly. We can do that.
24 In the meantime, I will address the vitrectomy and
25 we will be able to show you some data on that just

1 to tell you that the studies were not designed to
2 look at a reduction in incidence of vitrectomy so
3 that there was no predesign for that.

4 Nevertheless, I will show you some data
5 that will give us some information.

6 [Slide.]

7 This will be the same analysis, 20/200 or
8 better, in the North American study again
9 confirming what I showed you for the integrated
10 dataset, that, for the three dose groups, where you
11 have only 11 percent in saline, you have more than
12 20 percent statistically significant early at Month
13 1, 30 percent and more at Month 2 for the two-dose
14 groups and, as well, while not statistically
15 significant, still nearly 36 percent of the
16 patients in the 55 IU dose group now have better
17 than 20/200 vision and, in the 75 IU dose group, 38
18 percent of the patients reaching statistical
19 significance in the North American study.

20 In a moment, we will put up the Ex North
21 American study as well.

22 [Slide.]

23 In the Ex North American study, the time
24 point for 55 only at Month 2 reaches statistical
25 significance but, again, the percentages are very

1 similar. We have more than 30 percent of the
2 patients at Month 2 and 37 percent of the patients
3 at Month 3. So the same proportion of patients are
4 achieving better than 20/200 vision, or equal to
5 20/200 vision.

6 DR. FONG: Thank you.

7 I think Dr. Steidl has a question. Before
8 he asks, can I also ask whether you could, when you
9 present the results to us, tell us whether these
10 p-values have been corrected for multiplicity, for
11 multiple looks for each of these results?

12 Dr. Steidl?

13 DR. STEIDL: Maybe we are all kind of
14 interested in the same thing because, like Dr.
15 Fong, this is a similar question. Perhaps if I
16 knew how many achieved 20/40, this would answer it,
17 but, in trying to determine whether I would use
18 this on a patient, particularly since there is the
19 risk of hypopyon and other things, I would like to
20 know that, if I gave the Vitrase and it was
21 successful, what the magnitude of the effect would
22 be.

23 I don't know if you have evaluated that in
24 this subgroup analysis or something, but if you
25 gave Vitrase and they were successful, would it be

1 much more than, say, three lines, on average,
2 compared to the control group when it was actually
3 an improvement?

4 DR. GRILLONE: I think it would be by the
5 data that I have just presented to you for the
6 better than 20/200 because the three-line
7 improvement meant that patients only got to about
8 1.6 LOGmar units. So the 20/200, 1.0, really
9 represents about a six-line improvement in
10 best-corrected visual acuity.

11 I think that, together with the data
12 presented for the reduction in vitreous-hemorrhage
13 density which also accounts for the fact that some
14 patients are not going to be able to improve that
15 much in visual acuity. It also tells you that
16 there is a sort converging data, if you will, to
17 confirm that the improvement that we see and the
18 reduction in vitreous-hemorrhage density are both a
19 benefit.

20 DR. FONG: Thank you, Dr. Steidl. We have
21 two more questions, Dr. Pulido and Dr. Brown. I
22 was just going to suggest that perhaps we leave the
23 discussions about the interpretation of the results
24 until after the FDA makes their presentation. So
25 these should be just questions about the

1 clarifications of the presentation.

2 Dr. Pulido?

3 DR. PULIDO: Jose Pulido. I have a
4 question. I didn't see any evidence in here of
5 which patients were using Coumadin and did you
6 stratify for the use of Coumadin. These are,
7 obviously, very sick patients. There is a high
8 mortality rate. Was there any difference between
9 the use of Coumadin between the patients with
10 saline and not using saline?

11 DR. GRILLONE: We did not evaluate that in
12 any way.

13 DR. PULIDO: The other question that I had
14 is in regards to safety. The question is do you
15 feel comfortable integrating the data? It appears
16 that the study that had 40 percent Hispanics,
17 Vit-02-08961X, had a much higher incidence of
18 adverse events. Their retinal-detachment rates
19 were much higher. They had a higher incidence of
20 iritis and hypopyon as well.

21 Is it fair to maybe say that maybe people
22 with more pigment, people of color, might have a
23 higher inflammatory event with this drug than
24 people that are not of color? Did you look at
25 African-Americans to see if they also had a higher

1 rate of adverse events?

2 DR. GRILLONE: The design of the protocol
3 did not collect the proportion of patients except
4 in that category "other" and if the physician wrote
5 in Hispanic or African American. So there wasn't
6 an absolute check box for that category. There was
7 the black category, but we didn't stratify in any
8 analyses. In order to answer your hypothetical
9 question about iris color, I will let Dr. Chandler
10 come to the microphone.

11 DR. CHANDLER: The comment you raised,
12 obviously, had peaked my interest many times while
13 looking at the safety data. The 7.3, without a
14 companion piece from Ex North America, gives you
15 the biggest proportion undiluted when you look at
16 the integrated data of patients of Hispanic
17 background.

18 I think one of the interpretations that is
19 reasonable is exactly that. The other is that a
20 lot--remember how many of these iritis events were
21 mild and against a brown iris, sometimes, it is
22 hard to tell red blood cells that have leached
23 forward from breaking up of a hemorrhage from white
24 blood cells.

25 There are a number of things in my mind

1 that may account for that in terms of looking at
2 just overall, from a clinical evaluation. Cells
3 flare. They look quite similar across the board.
4 So maybe there is more reporting. I don't know.
5 Remember, they had a longer follow up by about
6 fifty days in that group that show up with 7.5.

7 In terms of severity, going up with dose,
8 actually, the 7.5, I think, only had one or two
9 hypopyon patients that got higher. Those we have
10 looked at in detail. They were not limited to
11 people in the "other" category or Hispanic. They
12 were represented, but it wasn't an exclusive event.

13 DR. FONG: Does that answer your question,
14 Dr. Pulido?

15 DR. PULIDO: We can take this up later.

16 DR. FONG: Dr. Brown?

17 DR. BROWN: I also had a question
18 concerning the safety analysis. In the
19 retinal-detachment rate, because these patients
20 were followed for approximately a year and all of
21 the incidences of these events were accumulated,
22 some of those patients would have had interventions
23 during that year. Others would not. Do we have a
24 feeling for what the rate was in patients who did
25 have vitrectomy versus did not have vitrectomy?

1 Were they traction detachments? Any more
2 information?

3 DR. CHANDLER: We have two slides that
4 will illustrate and highlight that for you.

5 [Slide.]

6 Here is a breakdown across treatment arms
7 of saline in the three doses for the type of
8 detachment. You get down, importantly,
9 rhegmatogenous was very low, traction, relatively
10 speaking, when it was recorded. Now, these are
11 pieces of information that are picked up in various
12 ways off the case-report form. There were a few,
13 as you can see, three that were listed as a
14 combination traction rhegmatogenous detachment.

15 Again, keep in mind that there was more
16 clearing for these people to have a chance to have
17 these detected.

18 If I can back up a little bit to a
19 comment, those that didn't clear on visits were
20 scheduled and had B-scan ultrasounds so that they
21 could be taken out and have their vitrectomy and
22 whatever else they needed if required.

23 What you saw were those that cleared and
24 you could reach the determination they needed
25 treatment as an efficacy endpoint.

1 If I can have the vitrectomy slide.

2 [Slide.]

3 Here are, at the top, again, with
4 integrated dataset, the retinal detachments. Here
5 were those that had a retinal detachment and
6 vitrectomy, those that had a retinal detachment
7 after vitrectomy. You can see it way down. And
8 retinal detachment prior to vitrectomy, very low
9 among the groups.

10 So there is more, in general, or at least
11 half that had their vitrectomy and then their
12 retinal detachment. If you look at these in terms
13 of time, most of the retinal detachments occurred
14 after the Month 3 date. They were greatly delayed.

15 [Slide.]

16 Let me show you this graphically with bar
17 graphs. For all groups, you can see that it stays
18 under 2 percent and very low relatively, except for
19 this early clearing group that got a chance to have
20 detection in that early time period for 55. Then
21 it balances out.

22 But there doesn't appear to be an early
23 relationship in these first two or three months
24 directly to Vitrase except the clearing issue that
25 they can see it.

1 DR. FONG: Does that answer your question,
2 Dr. Brown?

3 DR. BROWN: Yes; it does. But the one
4 thing which would be helpful and maybe we could get
5 this for after lunch or something, but, since the
6 retinal detachment was different in the two
7 studies, and this was integrated dataset, I would
8 love to see that same data but just in the two
9 different studies. In fact, that would be very
10 nice to see.

11 DR. CHANDLER: Okay. We will do it.

12 DR. FONG: Paula, do you have a question?

13 MS. KNUDSON: Yes. Paula Knudson. I am
14 not a physician so I may ask a very naive question.
15 Forgive me. I was struck that the mean age of
16 inclusion of subjects was 62 years. Yet, diabetic
17 retinopathy, as I understand it, occurs in Type 1
18 diabetes who, I presume, are much younger. Was
19 there an exclusion for younger people? What
20 produced this 62 as a mean age?

21 DR. GRILLONE: There was no exclusion
22 except that minimum age was 18 years of age. But I
23 assume that you meant greater than 18 years of age.
24 Nevertheless, the proportion of patients with
25 diabetes are appearing in an older population.

1 I would like to have Dr. Packo address the
2 incidence of diabetic retinopathy in all these
3 patients.

4 DR. PACKO: I do think that is an
5 excellent question and it is one that I am anxious
6 to see this drug available for. As a clinician,
7 when confronted with a juvenile diabetic with a
8 dense vitreous hemorrhage, I was very reluctant to
9 enroll that patient in this trial because I did not
10 want to obligate them to an observational period of
11 time after the injection of whatever. I was much
12 more likely to move very quickly to vitreous
13 surgery in that population.

14 That is why I was stressing so much that,
15 if there is a benefit in being able to clear enough
16 so that I can see what is happening within that
17 first one to two months, that is very valuable in
18 the juvenile population which, as you know, is an
19 important diabetic population in this country.

20 DR. FONG: I have a question, then Dr.
21 Chew and then Dr. Tan. My question has to do with
22 the persistence and stability of visual-acuity
23 gain. I think what Barry and Gary had said is that
24 it is very important for patients to have useful
25 vision that lasts.

1 So my question is--well, actually, you
2 know what? Maybe I will defer that to the
3 afternoon since that is not a clarification, but I
4 will ask it now and then maybe I will re-ask it
5 later. The question is, if you have improvement
6 at one month and it doesn't persist, how does one
7 justify that as being efficacy. You don't have to
8 answer now because it is not clarification.

9 Let me go with Dr. Chew. You had a
10 question?

11 DR. CHEW: I don't have a question.

12 DR. FONG: Okay. Dr. Tan?

13 DR. TAN: I have a clarification question.

14 Do you have data on--the baseline data, you have
15 presented an improvement. Do you have the raw
16 baseline data, summary statistics, for the BCVA and
17 the hemorrhage density?

18 DR. GRILLONE: We do. If I can call up
19 one of the slides from the presentation, which are
20 summary data, that shows that 90 percent of the
21 patients, and we can look at this across--

22 [Slide.]

23 This is the off-chart. 95 percent of the
24 patients had no ability to read any letters on
25 chart in the Ex North American study. 87 percent,

1 or nearly 90 percent, in the North American study
2 had no vision that was on-chart at the time of
3 study entry. For the baseline reduction in
4 vitreous-hemorrhage density--

5 [Slide.]

6 In the presentation and with the slide up,
7 we can see that the duration across the treatment
8 groups, while the minimum entry criteria was
9 hemorrhage duration for one month, in fact, in both
10 studies, the hemorrhage duration in each of the
11 studies was more than four months at the time of
12 entry into the study.

13 DR. FONG: Does that answer your question,
14 Dr. Tan?

15 DR. GRILLONE: We may have a breakdown if
16 you want some further detail on this.

17 DR. TAN: Yes; that is enough.

18 DR. FONG: We have a question from Dr.
19 Dunbar and then Dr. Brown.

20 DR. DUNBAR: You clarified for Dr. Tan
21 that the surrogate endpoint was the primary
22 efficacy endpoint. I wondered if this
23 best-corrected visual acuity as an endpoint, was
24 that designed as a secondary endpoint or was there
25 any prospective plan to validate the surrogate

1 endpoint at the beginning of the study, or was
2 best-corrected visual acuity looked at
3 retrospectively?

4 DR. GRILLONE: Let me clarify in this way.
5 The surrogate endpoint, as the original primary
6 efficacy endpoint, did require a validation
7 protocol in order for the FDA to accept that
8 surrogate endpoint.

9 In the absence of adequate BCVA
10 methodology from the Phase IIB trials, we were
11 unable to complete a validation protocol that would
12 be adequate, based on the information we had from
13 the Phase IIB trials in order to design an endpoint
14 looking at improvement in best-corrected visual
15 acuity. The information we had at the time
16 suggested that we would need more than 1,000
17 patients per treatment group to have best-corrected
18 visual acuity as a primary endpoint.

19 So, in the protocol, it was viewed as a
20 principal endpoint, a secondary endpoint, but,
21 nevertheless, the principal efficacy endpoint. It
22 was clear that we had--without the historical
23 information, we were able to see a statistically
24 significant improvement in best-corrected visual
25 acuity in the Phase III trials.

1 DR. FONG: Dr. Brown?

2 DR. BROWN: My question follows on that
3 same issue. With the best-corrected visual acuity
4 data, say a patient had an intervention in that
5 first one or two months. How was the visual-acuity
6 data handled? For that date when the decision was
7 made, their visual acuity was recorded for that
8 date and then no more? Or how was that handled?

9 DR. GRILLONE: That is also a good
10 question. For the analyses of improvement of
11 best-corrected visual acuity, we basically
12 censored, although these were on Kaplan-Meier
13 analyses. But we did censor at the time of
14 vitrectomy or rebleed the best-corrected visual
15 acuity. So we took the best-corrected visual
16 acuity prior to a vitrectomy so as not to have the
17 influence, if you will, positive if it were a
18 vitrectomy or negative if it were a recurrent
19 vitreous hemorrhage on the BCVA so that we could
20 look at just the improvement as it relates to
21 Vitrase.

22 DR. FONG: I have one more question and
23 maybe we will take a break after my question if
24 there are no other questions. Are there any more
25 questions?

1 Let me ask my question and then we will
2 take a break. My question has to do with the
3 integrated approach. Needless to say, there are
4 two studies. One is North America and one is
5 outside North America. There appear to be
6 differences in the distribution of Type 1 diabetes
7 and ethnicity and possibly in the vitrectomy rates.
8 I am just wondering whether you had an analysis to
9 show that these are not substantive differences and
10 that it is okay to combine them.

11 DR. GRILLONE: Actually, Dr. Fong, we do
12 have an analysis to show that it is okay to combine
13 these two. I would like to call one of the
14 statisticians up to answer that question. Before I
15 do that, I would like to, however, point out that
16 the two studies were similarly designed. There
17 were the same entry criteria, the same
18 qualifications for patients. They were conducted
19 exactly the same. The success criteria were all
20 the same and we believe that the 55 and the 75 IU
21 dose groups showed similar responses individually
22 in the two studies and that it is permissible to
23 pool the datasets.

24 But it is important to know that they were
25 done and conducted identically and according to GCP

1 guidelines.

2 If I may, then I will ask Mark Knowles to
3 come to the microphone to answer that question
4 about the poolability of the two studies.

5 DR. KNOWLES: If I understood your
6 question, you were asking about the poolability
7 relative to the safety result; is that correct?

8 DR. FONG: Efficacy.

9 DR. KNOWLES: Oh; to the efficacy results?

10 DR. FONG: Yes.

11 DR. KNOWLES: We did do some analyses
12 looking at that. Clearly, there are some
13 differences between the studies and the absolute
14 level of the response rates in the two studies.
15 What we did is we looked at the response rate of
16 the Vitrase groups versus saline and compared that
17 between the two studies. I would like to show you
18 the results of those analyses.

19 DR. FONG: Dr. Pulido said he wanted to
20 hear the discussion on safety as well.

21 DR. KNOWLES: Okay.

22 [Slide.]

23 This slide is for the 55 versus saline
24 group. We are comparing the dose effect of 55
25 versus saline and we are comparing that in the two

1 studies. These p-values are from a so-called
2 Breslow-Day test. We have done it for each of the
3 four efficacy measurements and each of the three
4 time points. So these p-value are all
5 nonsignificant, saying there is no statistical
6 evidence of a difference between the two studies on
7 the efficacy endpoints.

8 DR. FONG: Maybe Dr. Tan may want to
9 comment. It seems like, to sort of determine
10 whether the two groups can be compared, you want to
11 sort of look at baseline differences. Are there
12 significant baseline differences and how might they
13 affect the poolability?

14 DR. KNOWLES: We did not see any
15 significant baseline differences between the two
16 studies, I mean in terms of efficacy results.

17 DR. FONG: Maybe I am not asking the
18 question properly. Before you can look at
19 efficacy, shouldn't you--maybe Dr. Tan, like I
20 said, should comment. Shouldn't one look at, to
21 see whether the two studies are similar enough to
22 be grouped together to look at an integrated
23 summary of efficacy?

24 DR. KNOWLES: Right. As far as I am
25 aware, the only major difference between the two

1 studies was the racial difference at baseline.

2 DR. FONG: Dr. Tan?

3 DR. TAN: What is the rationale you want
4 to combine these two? Don't you already have--this
5 is two individual, independent, randomized trials.

6 DR. GRILLONE: Exactly. We are just
7 providing you the data both as individual
8 studies--we believe that each of those studies
9 replicates evidence of efficacy from Vitrase but we
10 also, to have a larger dataset, showed data
11 integrated and just wanted to show for you that we
12 have confirmed that it is statistically reasonable
13 to combine the two studies.

14 But we do believe, and I should make that
15 perfectly clear, that we have two independent
16 controlled clinical trials in which the results are
17 duplicated.

18 DR. TAN: Could you remind us, when was
19 this, the time line, for the first and the second?

20 DR. GRILLONE: The first study began a
21 little bit earlier than the second study, about in
22 1998. The second study started a bit later. But
23 there were fewer patients in the Ex North American
24 study, only 556, because we don't have one dose
25 group in that study. So then they both ran over

1 basically the same period of time, both of them
2 ending in--enrollment ending in June, 2001.

3 DR. TAN: Okay. Thank you.

4 DR. GRILLONE: So they basically
5 overlapped except for the very beginning part
6 because the North American trial started a little
7 bit earlier than the Ex North American study. It
8 was a little easier for us to get trials initiated.

9 DR. FONG: It is time for our break, so
10 why don't we maybe discuss this further later on.
11 We are going to take a twenty-minute break. I
12 wanted to remind each of the members not to discuss
13 the substance of the committee meeting today and to
14 hold all discussions on line so that the transcript
15 can be taken.

16 So we will reconvene at 10:20.

17 [Break.]

18 DR. FONG: We are going to reconvene our
19 discussion on Vitrase sponsored by ISTA
20 Pharmaceuticals. Dr. Harris is going to make the
21 presentation on behalf of the FDA.

22 FDA Presentation

23 DR. HARRIS: Good morning.

24 [Slide.]

25 I am Jennifer Harris. I was the primary

1 reviewer for the NDA, for the Vitrase NDA. I am
2 not going to repeat a lot of the information that
3 the company has already given but what I would like
4 to do is give you a flavor for how we looked at the
5 data and how we came to our conclusions about the
6 efficacy of the product.

7 [Slide.]

8 The first thing I want to clear up is how
9 these endpoints were chosen, specifically if they
10 are valid in this instance. I am going to talk
11 about why it is important to correct for p-values
12 because we were looking at so many different
13 endpoints and how that affects the data.

14 I am going to go on and talk about the
15 efficacy results for the two Phase III trials, talk
16 a little bit about safety and then on to the
17 conclusion.

18 [Slide.]

19 First, there were three efficacy endpoints
20 that were submitted in the NDA package. The first
21 one was a proposed composite which was suggested by
22 the sponsor. The second was clearance of vitreous
23 hemorrhage and the third was best-corrected visual
24 acuity.

25 [Slide.]

1 Now, the proposed composite was actually
2 not just one endpoint, as you have seen. It was a
3 combination of three different endpoints. But,
4 basically, success was determined if the patients
5 cleared sufficiently to facilitate the diagnosis of
6 the underlying retinal pathology and to provide
7 treatment, if necessary.

8 [Slide.]

9 The three components that made up this
10 endpoint were, one, if laser treatment was
11 completed; two, if you were able to visualize the
12 retina and reveal that surgery was needed and that
13 you did complete that surgery; and, three, if you
14 were able to visualize the macula at a minimum of
15 180 degrees of the vitreous base.

16 [Slide.]

17 Now I want to talk about how we viewed
18 these endpoints and if we felt as though they were
19 valid or not. If we look at laser treatment
20 completed, one of the problems we had with this was
21 that it is really ill-defined. It is variable
22 among ophthalmologists.

23 For example, with patients with PDR, what
24 is really a completed laser? Is it 1000 spots? Is
25 it 1500 spots? Was it really defined by that point

1 at which that patient stabilized? Even if we could
2 come to a consensus on a completed laser, there are
3 still many causes of vitreous hemorrhage that would
4 not be amenable to laser treatment

5 [Slide.]

6 The second subcategory in this composite
7 was visualization of the retina. We believe that
8 this is potentially a clinically meaningful
9 endpoint if it allows for earlier diagnosis of
10 pathology and if the timing of the diagnosis
11 actually translates into better patient outcomes
12 or, in other words, if the patient at which it is
13 diagnosed, if we have already missed that window of
14 opportunity, it would make a difference to the
15 patients.

16 One problem with this is that now this
17 patient is exposed to two invasive procedures. Not
18 only do they get the intravitreal Vitrase injection
19 but they will have to undergo vitrectomy.

20 [Slide.]

21 When we look at the third subcomponent in
22 the proposed composite, which was visualization of
23 the macula, again we believe that this could be
24 potentially clinically meaningful, the reason being
25 that one of the criteria is that the macula is

1 clear. If the macula is clear, the patient should
2 be able to see better and that is a clinically
3 meaningful outcome.

4 But what about the other 180 degrees. If
5 you remember, this endpoint was based on only
6 seeing 180 degrees of the vitreous base. While we
7 agree that, if you were able to see 180 degrees and
8 you saw a pathology that reasonably led to the
9 vitreous hemorrhage, that that is probably the
10 underlying cause.

11 But it does not negate the fact that there
12 could still be sight-threatening pathology in the
13 other 180 degrees.

14 [Slide.]

15 So, if we look at the composite, overall,
16 it is potentially useful as a surrogate endpoint if
17 it can be validated as clinically meaningful. The
18 interpretation may be difficult based on
19 variability--i.e., the style of practice from
20 ophthalmologist to ophthalmologist. But you have
21 to also remember that the underlying pathology in
22 this situation may be missed and that patients may
23 be exposed to two invasive procedures.

24 [Slide.]

25 So why do we call this a surrogate

1 endpoint. It is a surrogate endpoint because
2 surrogate endpoints, by definition, do not directly
3 measure how a patient feels, functions or survives,
4 and it is used as a substitute for a clinically
5 meaningful endpoint.

6 For instance, the FDA has used surrogate
7 endpoints in the past in things like CD4 count for
8 AIDS, cholesterol level for MI and those types of
9 situations. But they have to be validated. They
10 have to be validated through adequate and
11 well-controlled trials to show that the
12 intervention on the surrogate actually translates
13 into a desired clinical outcome.

14 [Slide.]

15 This proposed composite was not validated.
16 A validation plan was not completed by ISTA and,
17 therefore, this endpoint was not accepted by the
18 agency as a primary efficacy endpoint.

19 [Slide.]

20 Now let's move on to vitreous hemorrhage.
21 The sponsor has already told you what the grading
22 scale was; Grade 0 is a view of the retina and
23 easily treatable to a dense hemorrhage of Grade 4
24 where there was no red reflex.

25 [Slide.]

1 In order to be defined as a success, in
2 terms of the vitreous-hemorrhage density, that was
3 very specific and the criteria only addressed those
4 patients that had diabetes or vein occlusions. For
5 PDR, you had to have at least six clock hours with
6 a density of 0 or 1. For vein occlusions, you had
7 to have at least three clock hours with a density
8 grade of 0 or 1.

9 [Slide.]

10 We are not sure what this means
11 clinically. Also, the other problem is that it
12 will impact the trial design and impacts how we
13 actually review the results, number one, because it
14 only addressed the vitreous hemorrhage associated
15 with PDR or vein occlusions and it doesn't address
16 any of the vitreous hemorrhages that are related to
17 retinal tears, detachments or traumas.

18 So, not only for this trial but in future
19 trials, we would only be able to use this endpoint
20 for patients who had diabetes or vein occlusions.

21 [Slide.]

22 So now we move on to improvement in
23 best-corrected visual acuity which is the agency's
24 acceptable and primary efficacy endpoint. It is
25 defined as the doubling of the visual angle. An

1 example of this is a three-step change on the ETDRS
2 scale or going from 20/80 to 20/40, for example, on
3 a vision chart.

4 [Slide.]

5 This is a universally accepted endpoint.
6 I think most ophthalmologists in the room, if they
7 had a patient who doubled their visual angle, they
8 would accept that as being clinically meaningful
9 and it is the gold standard for clinical trials.

10 [Slide.]

11 So now I want to go over the data. But
12 before we can go over all the data in the Phase III
13 trials, we have to get an idea of what we are
14 looking at and how we are going to evaluate it and
15 how we are going to decide what is actually
16 statistically significant and what isn't.

17 First of all, we have to realize that we
18 are looking at a conglomeration of multiple
19 different endpoints. First, we have three doses.
20 In the Vit-02 study, all three doses were analyzed,
21 7.5, 55 and 75 units of Vitrase. There were three
22 possible endpoints; the proposed composite,
23 clearance of vitreous hemorrhage and best-corrected
24 visual acuity. Then there were three different
25 time points; Month 1, Month 2, Month 3.

1 So, as we look at the data, how do we
2 decide exactly what p-value will we look at to
3 decide if any of those results were actually
4 significant. The reason why we have to make some
5 corrections is because the more endpoints you have,
6 the more chance you have to win and it increases
7 our probability of approving a drug that may not
8 work;

9 [Slide.]

10 You have seen this grid before. This is
11 the grid that will be used to look at all of the
12 results for the Phase III trials. What p-value
13 would we have to see in each one of these blocks
14 for us to believe that the result is real and for
15 us not to be deceived into believing that something
16 is significant when it really isn't.

17 The first correction we have to make is to
18 go from 0.05 to 0.0459. Why is that? That is
19 because the sponsor took a look at this data on
20 four different occasions during the clinical trial.
21 So, the most conservative way is to say, well, we
22 could have gone any of nine different ways. We
23 have three endpoints, three doses, three time
24 points. So, at the very least, we should be
25 looking at a p-value of 0.0051 before we believe

1 that anything in here is significant.

2 Or we can take the liberal approach, which
3 we did, and we will say, we will just look at each
4 endpoint individually. We will assume that the
5 sponsor really only thought one dose will work, the
6 55 units. And then we will say, if it works in any
7 of these time points, then we will take that.

8 So, at the very minimum, as we go through
9 the data, we should be looking at a p-value of
10 0.0153 so that we will not be fooled into believing
11 that anything that has happened is not significant.

12 [Slide.]

13 So let's go on to the data that was
14 submitted in the NDA for the Phase III trials.
15 This is the graph of the cumulative percentage of
16 patients achieving a three-line improvement in
17 best-corrected visual acuity for the Vit-02 study,
18 or the North American study, as you all have seen;
19 saline, 7.5 units, 55 and 75 units of Vitrase,
20 Month 1, Month 2, Month 3.

21 What do we see? If you look at the 7.5
22 units of Vitrase, there does appear to be some
23 efficacy early on, but this efficacy is no longer
24 present for Month 2 or Month 3. If we look at the
25 55 units of Vitrase, it doesn't seem to be doing

1 much early on Month 1. It does appear to have some
2 efficacy at Month 2 and this peters out again at
3 Month 3.

4 If we look at the 75 units of Vitrase, it
5 looks like there is something going on early on,
6 which is encouraging, but, by Month 3, the results
7 are not significant.

8 So this is what we saw in the Vit-02
9 study. Since we always need replication to make
10 sure that what we are seeing is valid, we looked at
11 the Vit-03 study which is the Ex North American
12 study or the international study.

13 [Slide.]

14 Remember, this does not have the 7.5 units
15 of Vitrase. This only looks at the 55 and 75. So
16 what did we see? Whereas before, in the Vit-02
17 study, it looked as though Vitrase was doing
18 something early on, we see that it doesn't show any
19 statistical significance in this trial.

20 Then if we look at the 55 units of
21 Vitrase, again here at Month 2, it looks promising
22 at Month 3. So, between the Vit-02 and Vit-03
23 study, the only results that replicated themselves
24 was the best-corrected visual acuity at two months
25 for the 55 units of Vitrase.

1 [Slide.]

2 So to put a clinical perspective on this,
3 we said, okay, there does seem to be some
4 difference at Month 2 but what does that mean for a
5 patient? There is a statistical difference but are
6 the patients really seeing it. So we looked at a
7 distribution of the best-corrected visual acuity
8 versus the percentage of patients.

9 What we found is that, while there were
10 statistical differences, there are still
11 approximately half of the patients at Month 2 that
12 have nonfunctional vision. What I mean by
13 nonfunctional, I mean count fingers or worse
14 vision. Only about 8 percent of so that have
15 greater than or equal to 20/50, or what we were
16 referring to earlier as the ability to be able to
17 function and drive.

18 [Slide.]

19 So we looked at Vit-03 to see if that
20 followed. Again, we see in the Vit-03 trials, that
21 the results were similar, still at Month 2, there
22 were still about 50 percent of patients who had
23 nonfunctional vision. This is important to us
24 because we knew that those patients would still
25 have to go on to some additional procedure. Most

1 physicians would not leave them there.

2 [Slide.]

3 So we went on to our second endpoint,
4 which was the cumulative percentage and reduction
5 of vitreous-hemorrhage density. We look at the
6 Vit-02 study, again, the results are sporadic but,
7 in 7.5 IU units of Vitrase, it shows some efficacy
8 early, peters out in Month 2 and Month 3.

9 For the 55 units, the only efficacy we see
10 is at two months. For the 75 units of Vitrase, we
11 see some efficacy early, which we were encouraged
12 by, and that went away the Month 3. So, again, we
13 looked at the Vit-03 study to see if it replicated.

14 [Slide.]

15 What we saw here was similar to what we
16 saw in best-corrected visual acuity. The 75 units
17 of Vitrase now shows no efficacy at Month 1, Month
18 2 and Month 3 and the only result that replicates
19 itself in both of the trials is the 55 units of
20 Vitrase at Month 2.

21 [Slide.]

22 So then we went on to the sponsor's
23 primary efficacy endpoint which is this proposed
24 composite. Remember, this was the composite which
25 told you whether you had enough clearing to be able

1 to treat the underlying condition. What we saw in
2 the 55 units of Vitrase and the 75 units of
3 Vitrase, there was efficacy early on.

4 This went away by Month 3 but it was
5 consistent in the first two months.

6 [Slide.]

7 So we wanted to look at Vit-03 just to
8 make sure that it replicated itself and this was a
9 real event. When we looked at the Vit-03 study, we
10 found that there was no efficacy seen for either
11 the 55 units of Vitrase or the 75 units of Vitrase
12 at Month 1, Month 2 or Month 3.

13 [Slide.]

14 Since we had so many results and they
15 seemed so sporadic to us and we really couldn't get
16 a handle on what was going on and how do you really
17 evaluate this data, we looked at a couple of other
18 things to see if this could give us insight into
19 what the problems could have been or what we would
20 need to design future trials.

21 What we found is, despite the fact that
22 these patients were enrolled in a trial for a drug
23 that was being evaluated to treat vitreous
24 hemorrhage, there were still approximately a
25 quarter of the patients in each treatment group who

1 underwent a vitrectomy. So these were patients who
2 the physicians felt as though they were doing bad
3 enough that they would need a vitrectomy within the
4 first three months.

5 Then that just brought in the question,
6 and it is up for discussion, does that mean that
7 when we look at our efficacy results, are we really
8 seeing the patients who actually did better? Are
9 these the worst patients, these 35 or 30 percent of
10 patients--are these your bad players and they have
11 been taken out of the efficacy analysis.

12 [Slide.]

13 Then we looked at the Vit-03 study and we
14 found, again, that, while there is only about 10 to
15 15 percent who actually underwent vitrectomy in the
16 first three months, it is a significant amount and
17 it may be explained by the fact that this was Ex
18 North American. So, does that bring into play the
19 fact that the style of practice in America is
20 different from that outside of the country.

21 [Slide.]

22 Then we looked at the amount of patients
23 that were discontinued in the first three months
24 for the Vit-02 study and we found that, within the
25 first three months, there were approximately 10

1 percent, 10 to 13 percent or so, patients who were
2 discontinued for reasons other than getting a
3 vitrectomy.

4 [Slide.]

5 In the Vit-03 study, there were about 5 to
6 8 percent that were discontinued. So, in essence,
7 when we look at these two, when we look at amount
8 of patients who had a vitrectomy within the first
9 three months, the amount of patients that were
10 discontinued in the first three months, what we
11 realized is that we have lost about 25 to
12 30 percent of the patients in the efficacy
13 analysis.

14 So what does this mean for these results,
15 not even these results, but in future trials, if we
16 really need to run these trials to find out if the
17 drug will work or not. What do we do with the fact
18 that we are going to lose 30 percent of the
19 patients in the first three months?

20 [Slide.]

21 Another thing that was curious to us was
22 the fact that we had such a high death rate. In
23 ophthalmology trials, we aren't used to people
24 dying, or used to death rates in the 0.01 percent
25 range.

1 When we saw that in the Vit-02 study and
2 Vit-03 study, that there was a death rate of 5
3 percent in each study, it was of concern to us.
4 But then, more and more, we looked at the data and
5 we realized that, based on the population, they
6 were an older population, they had bad, bad
7 diabetes and the major causes of death were
8 cardiovascular, like MI, embolus and stroke.

9 So we feel as though this was pretty
10 consistent with the population and had nothing to
11 do with Vitrase.

12 [Slide.]

13 Just a little bit about safety. The
14 sponsor has given you data on the safety. We agree
15 that they are similar events seen in all treatment
16 groups and most of the events that are seen, we
17 believe, are related to intraocular injection and
18 not to the drug, itself.

19 [Slide.]

20 However, there are two that we do believe
21 are drug related and that is the fact that there is
22 an increased risk of dose-dependent sterile
23 hypopyon and there is an increased risk of
24 dose-dependent iritis. One thing that we were happy
25 to see is that all of the sterile hypopyon appeared

1 to clear with topical steroids and cycloplegics.

2 [Slide.]

3 So, the conclusion that we came up with
4 from the study for the efficacy was that the only
5 efficacy that we saw for Vitrase was the fact that
6 there was an improvement in best-corrected visual
7 acuity at two months and there was an improvement
8 in the clearance of vitreous hemorrhage at two
9 months. But this efficacy was no longer present at
10 three months.

11 Just to put a clinical spin on it again,
12 while statistically it looked better at two months,
13 50 percent of those patients still have
14 nonfunctional vision.

15 [Slide.]

16 Based on all that has been presented to
17 you today, we would like the advisory committee to
18 take all that information and to answer these
19 questions for us.

20 Has sufficient evidence been submitted to
21 support the efficacy of Vitrase for the treatment
22 of vitreous hemorrhage? If not, what additional
23 studies are needed to establish the efficacy of
24 this product? Are additional analyses of the
25 current data needed to understand the efficacy or

1 safety of Vitrase for the treatment of vitreous
2 hemorrhage? Should the potential interaction,
3 positive and/or negative, of Vitrase with current
4 treatments for vitreous hemorrhage be evaluated?
5 Are there adverse experiences that are of
6 particular concern for this product? Is there a
7 concern about the death rate observed in these
8 studies? Do the benefits of using Vitrase outweigh
9 the risks in the treatment of vitreous hemorrhage?

10 Thank you.

11 DR. FONG: Thank you, Dr. Harris.

12 Questions from the Committee

13 DR. FONG: Before you go, do you want to
14 take questions from the committee?

15 DR. HARRIS: Yes.

16 DR. FONG: Dr. Pulido?

17 DR. PULIDO: Dr. Harris, that was a
18 wonderful presentation. A question that I had
19 asked the sponsors, I would like to ask you. Do
20 you think, looking at the results of the 02 study
21 in comparison to the 03 study, that there is a
22 difference in adverse events in people of color as
23 opposed to people not of color since 40 percent of
24 the patients enrolled in the 02 were Hispanics and
25 80-plus percent of the patients in 03 were

1 Caucasians and there appears to be a higher
2 incidence of retinal detachments and worse hypopyon
3 formation?

4 DR. HARRIS: That is something that we
5 looked at. We did not feel as though it raised a
6 flag for us. Actually, I did not realize that that
7 40 percent of patients who were "other" were
8 actually Hispanic. So I will go back and look at
9 that data again to make sure.

10 DR. FONG: Dr. Brown?

11 DR. BROWN: You presented the data that
12 they have in their packet regarding the cumulative
13 incidence of vitrectomy over that first three
14 months. So it looked like there was about a 5
15 percent reduction in patients needing vitrectomy in
16 the Vitrase group, 55 units at three months. My
17 question, was that statistically significant, that
18 difference?

19 DR. FONG: Dr. Brown, what page are you
20 referring to?

21 DR. BROWN: This is Page 26 in handout,
22 the FDA handout.

23 DR. HARRIS: Can you repeat your question?

24 DR. BROWN: Yes. If you look at Table 15
25 on Page 26, it is the last line in that table, so

1 that the saline control had 24.9 percent vitrectomy
2 versus the 55 International Units having 20.1
3 percent, and was that statistically significant,
4 that difference?

5 DR. HARRIS: No; that wasn't. It is still
6 of a concern to us that so many patients underwent
7 vitrectomy within the first three months.

8 DR. CHAMBERS: This is Wiley Chambers.
9 They are not statistically significant. We are not
10 really sure what to make of it. Potentially, you
11 can make the argument that there is 5 percent that
12 is benefitting in this particular case. That would
13 be good.

14 DR. WILKINSON: But you can spin it both
15 ways. I don't know if--

16 DR. FONG: That is Dr. Wilkinson.

17 DR. WILKINSON: Yes; Pat Wilkinson.

18 Sorry. You could say that the increased
19 visualization of the fundus made the decision to
20 proceed easier. So I think the vitrectomy outcome
21 can be very problematic. It can good or it can be
22 bad.

23 DR. CHAMBERS: This is Wiley Chambers. We
24 absolutely agree. The point we were trying to
25 raise along there, not just for this but

1 potentially for future studies, is that people are
2 unwilling to wait for a particular point of time,
3 is there any hope of ever seeing potential benefit
4 from a pharmacological agent because people don't
5 want to wait X number of months even though they
6 knew the trial was designed, they knew they were
7 supposed to be waiting that period of time, and
8 that was the stated outcome, three months. People
9 obviously felt it was in their patient's best
10 interest not to wait that period of time. Do we
11 have a chance of being able to study other agents
12 if that is the typical practice pattern.

13 We only know that the push to do
14 vitrectomies earlier is happening more and more as
15 our vitrectomy procedures are getting better and
16 better.

17 DR. FONG: More questions for the FDA,
18 clarification questions? Emily

19 DR. CHEW: Emily Chew. I wanted to ask
20 this question earlier because I thought maybe it
21 would be best to ask the FDA because I wasn't
22 certain how this was done, and that was the
23 definition of a three-line improvement. The
24 majority of these patients who came in had rather
25 poor vision. They were off the chart, counting

1 finger, light perception, hand movement.

2 So it wasn't clear to me how did, say, a
3 hand movement or LPI or counting finger--how would
4 they achieve three-line improvement. Is that a
5 whole line on the chart at one meter or was there
6 gradation? They did give them one line for each of
7 the, I think, jump from one place to another.

8 So, in order to be three-line improved,
9 you had to be coming from LP to be on the chart for
10 more than a line? And then what do you do with
11 counting fingers? Is that two lines? That wasn't
12 certain to me and I want to have that clarification
13 because, in our clinical trials in the past, that
14 is a really difficult area, dealing with poor
15 vision. I don't know what the answer is. I think
16 there needs to be a better way of, perhaps,
17 quantitating these patients.

18 Clearly, this is a trial that needed that
19 sort of validation of such a scale which I don't
20 have a handle on. I know, in our trials, what we
21 do is we say zero is their visual acuity and it
22 sort of puts them at a disadvantage because they
23 all have to be at zero and they have to go onto the
24 chart for fifteen letters to say they have a
25 three-line gain. So this is difficult and

1 problematic.

2 I just want to hear, and I thought maybe
3 the FDA, whether you actually looked at their
4 charts or how did they actually come up with a
5 scale for that?

6 DR. CHAMBERS: This is Wiley Chambers. We
7 also agree, we don't think there is any one good
8 method to use. The sponsor probably has a couple
9 of slides on exactly how that was done, if you want
10 to pull that up. We can also talk about it. There
11 is not a disagreement between what the sponsor used
12 and the way the agency did.

13 There are actually a couple of different
14 minor corrections, or possible different ways to go
15 and do that. That does not change the results when
16 you look at it, either of those two ways, as far a
17 counting.

18 Either I can answer it or--Dr. Grillone,
19 do you want to answer it?

20 DR. GRILLONE: I can answer it, Dr.
21 Chambers.

22 DR. CHAMBERS: Okay.

23 DR. GRILLONE: First let me say, before we
24 put the slide up, that, just to confirm, there was
25 difficulty for us in that because of the vision

1 that the patients had. We did, just to confirm in
2 the primary analysis that I showed for improvement
3 in best-corrected visual acuity, if a patient
4 started out with light perception and went then to
5 hand motion, that would be one line. Then that
6 same patient went to count fingers, that would be
7 the second line.

8 Then if that patient went to reading any
9 letters on-chart, that would be the third line of
10 improvement. That would be, then, your minimum of
11 at least three lines. For that third line, then,
12 reading any letters, we just assumed it was 1.6
13 logMAR units.

14 In the read letters as is, if the patient
15 read a few letters, we would do the calculation to
16 determine the actual logMAR unit for reading those
17 letters on-chart.

18 Now, if I can call up the slide.

19 [Slide.]

20 I think you can see that light perception,
21 on the left-hand side beginning with light
22 perception and, on the right-hand sides, both the
23 logMAR and what we used in the Vitrase study might
24 be. This logMAR, for example, for hand motion at 2
25 feet, if you knew that that was the distance, and

1 keep in mind that that was not standardized and
2 defined in the protocol, but if it were, it would
3 be three units.

4 At count fingers, at the same distance, it
5 would be two and then 2800, 1.7, 2400, 1.3, and so
6 on. In our study, because we didn't standardize,
7 for the first three vision categories, light
8 perception had an arbitrary logMAR unit of 2, hand
9 motion, 1.9, count fingers, 1.8 and then 2800 was
10 1.7 and so on so that moving from light perception
11 to reading any letters, then, would be at one, two,
12 three lines of improvement in vision.

13 DR. CHAMBERS: This is Wiley Chambers.
14 This we think is a more conservative method to go
15 and use and we are willing to accept it.

16 DR. PULIDO: Jose Pulido. Just a question
17 for Dr. Harris, and that is, considering that the
18 Vitrase caused definite inflammation in comparison
19 to the saline, do you feel comfortable that the
20 investigators checking vision were well masked as
21 to what the patient was taking? Do you think it
22 might have any effects on these things like light
23 perception versus hand motions vision?

24 DR. HARRIS: No; I don't think it had an
25 impact. I think they remain masked and I don't

1 think that they would have been able to tell which
2 dose of Vitrase the patients were on.

3 DR. FONG: Dr. Tan?

4 DR. TAN: This is Ming Tan. I just want
5 to ask Dr. Harris, in the original protocol of this
6 trial, they specifically say they are going to
7 compare, they are going to compare three time
8 points, one month, two months and three months?

9 DR. HARRIS: The original proposed primary
10 efficacy endpoint was that composite endpoint at
11 Month 1, 2 or 3.

12 DR. TAN: How about other endpoints? Did
13 they say that the other variables or other
14 endpoints were considered secondary?

15 DR. HARRIS: Yes; other endpoints were
16 secondary. That was what was proposed by the
17 sponsor but, because of all the issues that we had
18 with that proposed endpoint, as we discussed, we
19 never accepted that because the only way that we
20 could have is if it had been validated. And that
21 was not done.

22 DR. TAN: Do they plan to do three
23 analyses for the secondary endpoints at one month,
24 two months, three months, as well?

25 DR. HARRIS: Yes; they were going to look

1 at all three time points.

2 DR. TAN: That was in the original
3 protocol?

4 DR. HARRIS: Yes.

5 DR. FONG: Donald Fong. I have a
6 question. Going back to the discussion about the
7 defining, giving logMAR scores to the hand motions,
8 light perception and count fingers, I guess I want
9 to hear a little bit more discussion about why you
10 guys thought it was okay to accept that
11 designation. I guess my question is twofold. One
12 is it is very subjective in that area and, two, it
13 is hard to ascribe a value, a functional value, in
14 that low area.

15 Somebody going from light perception to
16 even 20/800 or even seeing a couple of letters on
17 there, or a single letter, seems to me a very, very
18 small functional gain. So I just wanted to hear
19 the thoughts about why you thought it was
20 conservative to accept that.

21 DR. CHAMBERS: This is Wiley Chambers.
22 The feeling was that, from a comparative
23 perspective, going from not being able to see at
24 all, no light perception to light perception, to go
25 count fingers, to go to hand motion, each of those

1 steps, we have no good way of ascertaining how much
2 change that is in function, how much value that is
3 compared to a visual-acuity chart.

4 It has been postulated by other groups
5 that they are more on whole-unit log units change.
6 We have not seen other people propose lesser
7 amounts. So, since it was the least amount that we
8 have seen proposed by different groups, that is
9 what we were taking. That is why I am saying it is
10 the most conservative.

11 We certainly would be interested in
12 opinions of what the proper value to assign to
13 those values are. If there are people that can
14 help us in the future assign values to those, we
15 are all ears.

16 DR. FONG: Donald Fong. Just a follow up.
17 I think the 5/200 sort of cutoff used in the DRS
18 and the ETDRS was thought by the investigators, at
19 that point, and correct me if I am wrong. It is a
20 little bit before my time, so I would like to hear
21 from the more senior investigators what the
22 thinking was. But my sort of secondary
23 recollection of that was that was thought to be
24 sort of the last useful amount of vision, so
25 anything less than that, it seems like wouldn't

1 have any functional value.

2 But I am curious to hear what the other
3 committee members think.

4 DR. FEMAN: I can address part of that
5 since I was involved in one of those studies even
6 though I was probably the youngest man in the room
7 at the time. I am Steve Feman from St. Louis
8 University. The original reason for using 5/200
9 was that if a person's visual was less than 5/200,
10 they needed assistance in ambulation. It changed
11 their functional ability to ambulate around a room
12 or need a seeing-eye dog. That is what that was
13 used as a cutoff at that time.

14 DR. FONG: Donald Fong, again. Then Dr.
15 Dunbar. In follow up to that, do you think vision
16 less than that has any value--not value, functional
17 implications?

18 DR. FEMAN: Vision less than, he obviously
19 still has vision but not as functional as you would
20 like it to be. I just don't know how to
21 extrapolate that into a numerical value like the
22 logMAR which is just what Dr. Wiley Chambers was
23 talking about earlier.

24 DR. FONG: Dr. Dunbar?

25 DR. DUNBAR: I have a question about

1 safety. I notice one of the patients was
2 discontinued because of elevated intraocular
3 pressure and there was, perhaps, a slightly higher
4 problem with elevated intraocular pressure. I
5 wondered if there was any subgroup analysis of
6 patients with a diagnosis of glaucoma or if those
7 patients were excluded and if there is any reason
8 to place warnings for these patients on the label.

9 DR. HARRIS: We didn't do a subgroup
10 analysis of patients with glaucoma. There weren't
11 that many that I can remember with glaucoma. We
12 could surely go back and look at that again.

13 DR. FONG: If there are no other questions
14 for the FDA, why don't we open it up to an open
15 discussion--oh; one more question. Dr. Feman?

16 DR. FEMAN: I have one more question and
17 it may be a different approach to this. But we
18 talked about death rate, the observed death rate,
19 and we talked about discontinued patient and
20 lost-to-follow-up and serious adverse events. Has
21 anyone thought to combine that data or is that not
22 a statistical valid method, if one looked at a
23 combination of lost-to-follow-up, serious adverse
24 events and death rates and compared that in the
25 different aspects of the trial, as a combined

1 number?

2 DR. CHAMBERS: This is Wiley Chambers. I
3 think the amounts are relatively similar in each of
4 the groups. All it does is drop your total number
5 of observed patients. That is why it was being
6 raised an issue because it makes the ultimate
7 database for which we are making decisions as far
8 as vitreous-hemorrhage visual acuity on that much
9 less.

10 But we didn't see real differences between
11 groups. It is just a matter of it brings the
12 overall total number down that we are making all
13 decisions on.

14 DR. FONG: How about we open it up to
15 discussion to both the FDA and the sponsor at this
16 point, questions to both. Maybe I will start off
17 by asking a follow-up question with the visual
18 acuity. What if we were to sort to take the
19 conservative approach and assign zero to any vision
20 that was less than one-two-hundredth, no letters
21 read on the eye chart?

22 What does that do to the analysis of the
23 three-step gain? Dr. Grillone or the FDA, has that
24 been done, looked at?

25 DR. GRILLONE: Dr. Fong, I would like to

1 call up a slide that evaluates improvement in
2 best-corrected visual acuity to 1.0 logMAR units
3 which, I believe, will answer your question because
4 this would show, of the patients--and if we could
5 have the slide on.

6 [Slide.]

7 Of the patients who came into the study
8 not having any vision, not being to read letters
9 on-chart at the study entry. So we look here at
10 the top line. Those patients with light
11 perception, hand motion of count fingers, certainly
12 the majority of patients across the study groups
13 for the integrated dataset now, at Month 1--I'm
14 sorry; LOGmar score of 1.4--we can see that there
15 is a statistically significant difference, highly
16 statistically significant, especially for 55 and 75
17 IU doses at Month 1, Month 2 and Month 3.

18 So this is the equivalence, said another
19 way, of being able to read 15 letters on-chart
20 which some have asked us about.

21 DR. FONG: Can I ask, again, the
22 presentation, sort of the process of, it is hard
23 for me to sort of see it integrated. So I am
24 wondering whether you have that broken into the
25 U.S. and non-U.S. and then the follow-up question

1 is, of these results corrected for multiplicity
2 and, secondly, is that just a subgroup? Do you
3 have it included for everybody?

4 DR. GRILLONE: Let me first show you,
5 because you asked me about 02 and 03--so let me
6 show you the North American study and Ex North
7 American study for 1.4 Again, with the subgroup,
8 however, the majority of patients, nearly 90
9 percent in the North American study who had
10 off-chart vision at entry. Again, the p-values are
11 quite statistically significant to the 0.001 and,
12 in the 75 IU dose group, in fact, less than 0.001.

13 For the Month 2 time point, at 55, 0.002.
14 And for the Month 5 at 75, also 0.001. So we
15 believe these to be highly statistically
16 significant although we don't have the adjustments
17 done. In particular, you can see that p-values are
18 quite robust, if you will.

19 [Slide.]

20 If we show, then, the Ex North American
21 trial, which we have up on the screen now, again,
22 as was presented by the FDA, similarly,
23 confirmation that by Month 2, we see a
24 statistically significant difference.

25 While that difference is not apparent out

1 to Month 3, you can see that the trend for
2 improvement in the 55 and 75 IU group is there.
3 Approximately 40 percent of the patients are now
4 reading on-chart. While the difference is not
5 significant compared to saline, of course saline
6 would not be a treatment option in the clinic. So
7 the same proportion of patients are able to read 15
8 letters on-chart out at three months, that being 40
9 percent of the patients reading fifteen letters
10 on-chart.

11 DR. FONG: Other questions? Dr. Phillips?

12 DR. PHILLIPS: Bill Phillips. I was
13 wondering, since approximately a quarter percent of
14 the patients in the treatment group went on
15 eventually to vitrectomy within the three months.
16 Do you have a slide for the various indications for
17 the vitrectomy within that time frame?

18 DR. GRILLONE: We don't have a slide for
19 the various indications within that time frame. I
20 can tell you that very few patients had hemorrhage
21 clearance that then meant that there was a
22 diagnosis of retinal detachment. However, that
23 wasn't the only subgroup.

24 Furthermore, I would like to add, and if I
25 could put up the slide for vitrectomy by three

1 months, because we have been talking about the
2 change, and I think Dr. Chambers and Dr. Harris
3 mentioned, that in the saline group, 20 percent of
4 the patients had a vitrectomy while, in the 55 IU
5 dose group, 15 percent.

6 Yes; that is a 5 percent difference but I
7 think another way that we can look at that is that
8 that is really a 20 percent relative decrease in
9 the proportion of patients who had a vitrectomy.
10 So, for those patients who may be at risk, for
11 those patients who may not be a good candidate for
12 vitrectomy, that certainly is a benefit to them.

13 This can compare to the relative increase
14 in the proportion of patients who actually achieve
15 a decrease in hemorrhage and an improvement in
16 best-corrected visual acuity. Those relative
17 increases fluctuate approximately between 50
18 upwards to 80 percent.

19 DR. PHILLIPS: I guess one specific
20 question, then, would be, since we are dealing with
21 ischemic population, either the vein occlusions or
22 the diabetics, were there patients that developed
23 rubiosis during the study period that had to, then,
24 go on to vitrectomy and laser to prevent or
25 decrease the risk of neovascular glaucoma?

1 DR. GRILLONE: I think I will ask Dr.
2 Chandler to speak to the patients, especially with
3 neovascular glaucoma and rubiosis.

4 DR. CHANDLER: I will have the data for
5 you in just a moment. The brief answer is that
6 there is no difference across the--this is the
7 easiest to see. Let's show this one.

8 [Slide.]

9 Here is North America. You can see
10 rubiosis is the third category, actually less than
11 the higher doses of Vitrase. But I don't think
12 that these are statistically meaningful across the
13 groups.

14 In terms of increased ocular pressure, and
15 these are measurements that reported as SAEs, and
16 similar we see it with AEs, they were felt by the
17 investigator to be important.

18 There was an earlier question about
19 glaucoma. There were a few patients with glaucoma.
20 The highest pressure that led to a serious adverse
21 event was a patient with a pressure of 60
22 millimeters of mercury some fourteen days after
23 injection in the low-dose group.

24 It was preexisting, primarily open-angle
25 glaucoma, and was subsequently managed without

1 difficulty.

2 DR. FONG: Dr. Pulido?

3 DR. PULIDO: I have a question, but,
4 before the question, could you leave that slide up?

5 DR. CHANDLER: Sure.

6 DR. PULIDO: Those weren't the numbers
7 that were in Table 16. I am trying to get to Table
8 16 quickly here.

9 DR. CHANDLER: This is serious, Dr.
10 Pulido. I think that the table you are looking at
11 is adverse events.

12 DR. PULIDO: Right. But retinal
13 detachment I would still consider serious and the
14 numbers are different between Table 16 and these
15 numbers up here.

16 DR. CHANDLER: One of the things I want to
17 point out to you, if you are looking at tables with
18 integrated safety data in your briefing document--

19 DR. PULIDO: This is not integrated.

20 DR. CHANDLER: Okay; the individual. We
21 are fine, then.

22 DR. PULIDO: In this, saline was 5.8
23 percent and let's go to the 55 units. It was 10.3
24 percent. This was number percent of patients with
25 ocular adverse events reported by greater than 2

1 percent of patients in any treatment group.
2 Retinal detachment seems to be serious. Is there a
3 discrepancy?

4 DR. CHANDLER: The difference is the
5 opinion of the investigator at the time of whether
6 this was a serious adverse event in their opinion
7 or just an adverse event. Most of the traction
8 retinal detachments were not given a serious
9 adverse-event designation.

10 DR. FONG: Dr. Gates.

11 DR. GATES: I have one question while we
12 are on serious adverse effects. On average, how
13 long did the hypopyons last? How were they
14 managed?

15 DR. GRILLONE: We will have a slide up for
16 that and Dr. Chandler will address that.

17 DR. CHANDLER: While the slides are being
18 called up, most of the hypopyon occurred two- to
19 three-days after the injection. A vast majority of
20 them were considered resolve, given a resolution
21 date by the physician, within fourteen to
22 twenty-one days.

23 Please put this up. This will be fine.

24 [Slide.]

25 So, again, these all showed up in that

1 very first period of time of follow up. These
2 eyes, by the way, were not red and angry. These
3 eyes were not particularly uncomfortable. They had
4 some discomfort but they were not like a raging
5 infectious adenophthalmitis kind of problem. They
6 were treated typically with corticosteroids and
7 cycloplegics and resolved.

8 By Month 3, there was just very little
9 inflammatory response recorded, not in adverse
10 events but even in cells in flare in the clinical
11 ophthalmologic examinations. So these things were
12 not lingering. They were time-specific, happened,
13 resolved rapidly.

14 DR. FONG: This is Dr. Fong. Does that
15 answer your question?

16 DR. GATES: Yes.

17 DR. FONG: Dr. Pulido and then Dr. Dunbar.

18 DR. PULIDO: This is directed towards Dr.
19 Chandler. Considering that I think you were on the
20 paper with Howard Tessler and Depak Edward about
21 inflammation in pigmented eyes being more than
22 inflammation in non-pigmented eyes. Again, I am
23 still going back to this concern of mine. Did you
24 do any experimental studies in rabbits to see if
25 there is more inflammation in pigmented rabbit eyes

1 using Vitrase than in non-pigmented rabbit eyes?

2 DR. CHANDLER: The first part, thank you
3 for the attribution but, unfortunately, I wasn't on
4 the paper. I was Depak's advisor. We have done
5 the studies on the model to look at inflammation
6 using Dr. Beldid's pigmented iris all the way
7 across. To the best of my knowledge, and we can
8 check with the preclinical people, I don't think we
9 have a comparison between a non-pigmented and
10 pigmented eye. Everything is a pigmented. Sorry I
11 can't elucidate that for you right now.

12 DR. FONG: Dr. Dunbar?

13 DR. DUNBAR: Jennifer Dunbar. I wondered
14 about the safety of this drug in aphakic patients.
15 We don't have any information at all and I think
16 that there may be some theoretical considerations
17 that there may be increased inflammation or
18 increased pressure problems in these patients with
19 this drug.

20 DR. FONG: Can I just follow up? What
21 kind of theoretical--

22 DR. DUNBAR: The sponsor mentioned in
23 their written package that they sent to us that the
24 enzyme causes very small molecular-weight proteins.
25 I wondered if these could just diffuse forward and

1 cause problems with trabecular meshwork. They
2 mentioned that they suspected even that these
3 small-molecular-weight proteins were causing
4 inflammation that may be what is helping to
5 decrease the vitreous hemorrhage and if these could
6 diffuse forward that there could be more
7 anterior-segment inflammation.

8 DR. GRILLONE: Dr. Chandler?

9 DR. CHANDLER: I share your hypothetical
10 concern. As you can see, we did not enroll very
11 many patients, like none, virtually, that were
12 aphakic. So we simply can't answer that question.
13 In theory, that is very possible.

14 DR. DUNBAR: If the drug was approved, do
15 you think that there would be mention in the
16 labeling that these are not known?

17 DR. FONG: Maybe we can talk about the
18 labeling later on. Dr. Tan and then Dr. Chambers
19 and then Dr. Phillips.

20 DR. TAN: I just want to follow up on the
21 data discrepancy on the retinal detachment
22 incidence. I think the answer that Dr. Chandler
23 gave probably is not--causes some concern to me.
24 Since the data for the analysis must have a freeze
25 to the data at a certain time point, you cannot--so

1 the analysis, your report, should be based on one
2 dataset that is fixed based on a certain time
3 point.

4 So you cannot be different because of a
5 physician's evaluation or opinion. So it has to be
6 the same dataset.

7 DR. FONG: Can I follow up Dr. Tan's
8 question? I guess there is a discrepancy between
9 the numbers of retinal detachment that has been
10 reported by the FDA and by the sponsor. I guess
11 the answer that Dr. Chandler gave before was that
12 some of these detachments were tractional in nature
13 and were thought not to be an adverse event; is
14 that correct?

15 DR. GRILLONE: Serious adverse events.

16 DR. FONG: Serious adverse events; is that
17 correct?

18 DR. GRILLONE: That's correct. I would
19 like to, at this point, distinguish there. When we
20 are calling them serious, we are speaking to the
21 issue of the regulatory definition of a serious
22 adverse event.

23 DR. FONG: Dr. Chambers?

24 DR. CHAMBERS: This is Wiley Chambers.
25 Let me first address the adverse-event thing. We

1 typically do not look at whether the investigators
2 label is serious or not. We look at whether we
3 think particular events are serious by the nature
4 of the event.

5 We would consider all retinal detachments
6 as being serious for our proposes and we would
7 never distinguish as far as what was considered
8 serious and not serious. We would just look at
9 them all and look at the particular events.

10 But, to go back to an earlier point made
11 by Dr. Pulido, let me just assure him that we would
12 go through--it is routine for us to collect iris
13 color as a surrogate of some pigmentation going on
14 within the eye. We will go back and take a look at
15 adverse events based on iris color to see if there
16 is any differentiation in any of the trial.

17 We have not done that yet. We will go
18 back and do that.

19 DR. GRILLONE: Dr. Fong, may I add, I
20 believe a point of clarification that will help
21 answer Dr. Pulido's question. I have consulted
22 with my team and we believe that the table that you
23 are referring to in that actually includes retinal
24 detachments from both eyes; that is, retinal
25 detachments that have occurred--and, frankly, all

1 of the adverse events that are reflected in both
2 eyes.

3 There is another table provided in the NDA
4 that reflects, and it is the data that Dr. Chandler
5 has presented throughout. We only speak of the
6 adverse events, the ocular adverse events, that
7 occurred in the study eye.

8 DR. FONG: Donald Fong. I have a general
9 question, maybe too general to answer, but I would
10 like to hear how you interpret it. This is my
11 question from before which is if you can't show
12 that there is a significant difference in those
13 patients reaching vision of 20/40 or better and you
14 can't show a difference in reduction of vitrectomy
15 rate, what are we offering the patient? The third
16 part of it, the gain that you demonstrate in the
17 first month does not persist. So what does the
18 company say this product is offering the patients?

19 DR. GRILLONE: I would like to begin the
20 answer to that question, or questions, and then,
21 perhaps, call one of the physicians up to give
22 their viewpoint. The company feels that we are
23 offering to the patients the ability from a
24 dependency on, perhaps, family members or other
25 caretakers to the level that they can't see, for

1 example, the syringe gradations to give themselves
2 injections to be able to be functional in their own
3 homes, at the very least, because we did see a good
4 proportion of patients that was statistically
5 significant in both studies compared to saline that
6 could now read 20/200 or better.

7 That is quite a benefit for those patients
8 who, up until that point, were completely dependent
9 on others just for their daily activities and, to
10 some degree, for their own survival because they
11 couldn't give themselves insulin injections.

12 So we believe that is what we are offering
13 to the patients if this drug were approved. I
14 would like to have Dr. Packo add to that based on
15 his practice.

16 DR. PACKO: I would like take your second
17 comment first, and that is the relationship to
18 vitrectomy. If you look at the data particularly
19 at one year, the incidence of vitrectomy across the
20 board was very, very similar. So it is clear that
21 Vitrase does not lower the need for vitrectomy in
22 this population.

23 I think, as a clinician, the obvious
24 interpretation of that is that Vitrase does not
25 ameliorate diabetes and diabetic retinopathy. The

1 indication being sought here is this is not a
2 treatment for diabetic retinopathy. What this drug
3 appears to do, certainly and two months and with
4 the dose suggested, is that it does clear the
5 vitreous of hemorrhage enough to look and see what
6 diabetic retinopathy is doing.

7 The vitreoretinal interface changes. The
8 bonds that are creating traction on the retinal
9 surface are not, in any way, being altered by
10 Vitrase. So it basically does what it is being
11 stated to do. It is clearing vitreous hemorrhage.
12 The clinician looks in and still has a need to
13 potentially perform vitrectomy and perhaps may even
14 be doing it earlier on because we are able to
15 diagnose these traction detachments where they
16 were, perhaps, invisible on a subtle B-scan.

17 The issue on 20/40 vision is also
18 interesting but, again, one has to address the
19 population that makes up the majority here, and
20 that is the diabetic. If you look at the DRVS,
21 which was a similar study and it was a group of
22 dense vitreous hemorrhage being randomly assigned
23 to observation versus vitrectomy, there was a
24 population of patients, particularly out at three
25 years, when this was a three-year study, that about

1 25 percent of those patients did achieve 20/40
2 vision or better.

3 This is a three-month study, not a
4 three-year study. Still, in the DRVS, even at six
5 months, there was about a 20 percent group that
6 achieved 20/40 vision at six months. But, again,
7 that is in vitrectomy. Vitrectomy clearance of
8 vitreous hemorrhage is certainly much more complete
9 than Vitrase clearance, at least in the short-term.

10 So I think that the study was not designed
11 nor is being suggested to really stratify out the
12 20/40 visions. There is data that is being
13 presented here of 20/200 vision which, I think,
14 does appear to have some statistical significance.

15 DR. FONG: My follow up; so there is
16 agreement that this does not reduce the vitrectomy
17 rate. Is that something that is agreed upon by the
18 company?

19 DR. GRILLONE: There is a trend towards
20 reduction in the vitrectomy rate, especially when
21 you look at the 20 percent that occurs in the
22 saline group versus 15 percent. It is just that
23 the study wasn't designed to demonstrate, to a
24 statistically significant degree--it wasn't powered
25 to show that difference. We are simply saying that

1 there are various factors here that would determine
2 whether or not getting a vitrectomy--would
3 determine the outcome in terms of vitrectomy.

4 In some cases, that would be a good thing
5 for those patients to get vitrectomy earlier
6 because the physician could see the pathology.
7 Because it wasn't stratified or designed that way,
8 it is impossible to say it is a bad thing,
9 necessarily.

10 DR. FONG: Dr. Steidl?

11 DR. STEIDL: I don't care who responds to
12 this, if anyone wants to, but it is curiosity. I
13 am on Page 24 of this handout, the watchful
14 waiting. So it is just an n of 18. It really
15 seems to have quite a different effect compared to
16 the saline control. I am just wondering--it is
17 hard to infer anything from that, but are we
18 underestimating the effect of Vitrase? Can you
19 extrapolate that at any level?

20 DR. HARRIS: Actually, we didn't put much
21 credence in the watchful-waiting part of the trial
22 because there just weren't enough patients to get
23 any information from it.

24 DR. STEIDL: The reason I bring it up is
25 because, in the real-life situation, you either

1 observe or you treat. Observation is actually what
2 is significant. We are not going to inject saline
3 into people.

4 DR. HARRIS: Right. But the only way that
5 we would be able to do that is with higher numbers
6 or looking at historical data.

7 DR. FONG: Dr. Chambers, I think--well, go
8 ahead.

9 DR. CHAMBERS: Just responding, back to
10 this. There are two ways to look at it. One,
11 there are all the different problems with watchful
12 waiting and the bias that is involved which is the
13 other reason why we think there is difficulty in
14 making interpretations there besides the numbers.
15 The other is that, if we are trying to evaluate
16 what Vitrase does, per se, that it is having to be
17 injected and having to go in and whether there is
18 any mechanical disturbances or any mechanical
19 changes that happen with doing any kind of
20 intravitreal injection, even if it is with saline,
21 the only way to see that is to compare against the
22 saline group.

23 I would absolutely agree, it is not the
24 same as watchful waiting, but it is a better
25 evaluation of what Vitrase, per se, is doing as a

1 pharmacologic agent.

2 DR. FONG: Dr. Phillips?

3 DR. PHILLIPS: Bill Phillips. Not that
4 the study was directly designed to look at this,
5 but, for the patients that did undergo vitrectomy,
6 the treating physician, where they masked as to
7 knowing whether or not that patient had had saline
8 injection or Vitrase prior and, if not, did the
9 Vitrase seem to, in any way, enhance or ease the
10 Vitrase surgery?

11 DR. GRILLONE: The answer to your first
12 question, were they masked, yes; they were masked
13 and most of them actually continued to be masked to
14 all of the treatment assignments for their
15 patients.

16 In answer to your second question, because
17 it wasn't designed into the protocol, there was
18 nothing particularly to say whether or not--there
19 was no data collected on ease of doing a
20 vitrectomy, if you will, or not.

21 Dr. Phillips, we could, based on some
22 Phase II trial data for the two principal
23 investigators that are here, if you wish--that was
24 an unmasked trial, however, so I don't know if you
25 want to hear on their anecdotal experience with

1 ease of doing vitrectomy or not, but that was an
2 unmasked, noncontrolled trial.

3 Yes? It was masked for dose. It was not
4 a controlled trial; sorry.

5 DR. THOMAS: Gary Thomas. We were masked
6 to the dose in the Phase II trial. So we knew the
7 patients either go 7.5, 37.5 or 75. I have been a
8 vitreoretinal surgeon for twenty-two years now.
9 Those patients that ultimately came to vitrectomy,
10 I think Barry and probably Kirk can shadow, these
11 were really different eyes from the standpoint of
12 vitreous. There was no, I think, difference in the
13 vitreoretinal attachments to fibrovascular tissue
14 but, certainly, the vitreous, itself, was almost
15 nonexistent. These were very, very
16 quick vitrectomies. Barry used the term, jokingly,
17 that we just slurped it out. It just really came
18 out very quickly. But I don't think we saw a
19 change in the vitreoretinal attachments. I think
20 that is probably why we were doing the vitrectomy.
21 It did not release those surface-traction
22 components which created recurrent hemorrhage.

23 DR. FONG: Dr. Brown, did you have a
24 question?

25 DR. BROWN: Yes. Jeremiah Brown.

1 Regarding the hypothesis that increased
2 inflammation may play a role in the efficacy of the
3 drug, did you do any subgroup analysis to see, in
4 your iritis population versus the ones that didn't
5 have iritis, did they have more rapid clearance or
6 difference in the rates?

7 DR. GRILLONE: We did, as a matter of
8 fact, look at a subgroup analysis of patients who
9 had iritis and what proportion of those patients
10 had a reduction in hemorrhage density since we
11 believe that is the direct relationship.

12 Before I call Dr. Chandler to the
13 microphone, though, I would like to point out that
14 this does not implicate a cause-and-effect
15 relationship. It simply gives for you a bit of
16 information about the relationship between iritis
17 and reduction in hemorrhage density.

18 Dr. Chandler?

19 DR. CHANDLER: If we could have the slide
20 up.

21 [Slide.]

22 What I am showing you here is iritis
23 related to the reduction in hemorrhage density
24 which, I think, gets at the question you are
25 asking. In the patients with iritis, here are the

1 numbers. Here are the numbers that had reduction
2 in vitreous-hemorrhage density, again by treatment
3 groups. If you look, you will see that, in all
4 cases, there is a close relationship to having
5 iritis on or prior to the date that reduction in
6 vitreous-hemorrhage density was recorded.

7 What isn't apparent to you here, but
8 almost all of the iritis had its onset within the
9 first few days after injection. These are, then,
10 at time points after that. So they all, whether it
11 was those that had iritis in the saline control or
12 the treatment controls, had their iritis acutely
13 and early in relationship to when they received
14 their intravitreal injection and then here is what
15 happened.

16 So, if they had reduction and there was a
17 very close correlation with having iritis on or
18 prior to the day where reduction in
19 vitreous-hemorrhage density occurred, or was
20 recorded.

21 DR. FONG: If you look at that the other
22 way around, the ones who didn't get iritis, were
23 the numbers lower?

24 DR. CHANDLER: No.

25 [Slide.]

1 I will show you the other way around, sort
2 of back into what you are saying. Here are, now,
3 the patients with reduction in vitreous-hemorrhage
4 density. You can look at what proportion of those,
5 then, by dose group, had iritis and which
6 proportion of those had iritis on or before--had
7 the reduction on or before the date of onset of the
8 AE called iritis--on or after; I'm sorry.

9 DR. FONG: Does that answer your question,
10 Dr. Brown?

11 DR. BROWN: Yes.

12 DR. FONG: I have a question and then Dr.
13 Chambers. My question to Dr. Chambers and to the
14 sponsor is, if we are talking about sort of a
15 temporary gain in vision or ability to see the
16 retina, isn't it more helpful to look at the data
17 in time and place, like a Kaplan-Meier sort of read
18 on this. Is it worth cutting this down further?

19 DR. CHAMBERS: This is Wiley Chambers.
20 Clearly, you could do, to a set parameter, a Kaplan
21 Meier and look at time to a particular event that
22 you thought was useful vision. I think you are
23 then left with a question, okay, how much time is
24 clinically significant. Is it reduction in a day?
25 Is it reduction in a week? Is it reduction in a

1 month? I am not sure that that necessarily is as
2 helpful in this case because, in most cases, you
3 are not seeing it earlier at Month 1. You were
4 seeing it, basically, at Month 2.

5 The reoccurring finding they keep seeing
6 is a bettering in Month 2 in the 55 group. I think
7 the question, then, remains is that good, bad or
8 indifferent.

9 DR. GRILLONE: Dr. Fong, may I add to
10 that, if Dr. Chambers is finished. Two things.
11 First of all, while we are not seeing a
12 statistically significant difference at Month 3, we
13 are still seeing a high proportion of patients in
14 the Vitrase-treated groups who do have a three-line
15 improvement. I think it is important in the
16 context that, as we have mentioned before, that
17 patients are not likely to get treated with saline.
18 That is not something we would do.

19 So the fact remains, nevertheless, that 40
20 to 45 percent of the patients treated with Vitrase,
21 even at three months, do have a three-line
22 improvement.

23 The second thing is, with regard to doing
24 a Kaplan-Meier, if I may call up the statisticians,
25 because we did think about this. But there is a

1 statistical reason better described by the
2 statisticians of why it is not appropriate to do a
3 Kaplan-Meier in this case.

4 DR. BUCK: Raymond Buck, Cato Research.
5 Given the way the that data were collected, there
6 are actually collected at very discrete time
7 points. So a time-to-event analysis, which was
8 more a live-table analysis, could be done rather
9 than a straight Kaplan-Meier and we do have that if
10 you wanted to see it.

11 DR. FONG: If you have it, let's look at
12 it. This is Donald Fong.

13 DR. BUCK: This doesn't have the
14 appearance of the usual Kaplan-Meier curve, but I
15 think it shows you the survival distribution.

16 [Slide.]

17 Again, the blue line is saline, green,
18 7.5. Again, we are plotting the probability of
19 survival rather than starting at 1 and coming down.
20 We are from 0 and going up.

21 DR. FONG: Did you test this?

22 DR. BUCK: There were formal tests on
23 improvement, time to BCVA improvement. I am not
24 recalling the p-values exactly. I am looking at my
25 colleagues now to see if they can provide you with

1 that answer.

2 DR. FONG: This is just a side
3 administrative note, since we are ahead of
4 schedule. I am wondering how the group thinks
5 about taking an earlier lunch and reconvening
6 earlier. Dr. Chambers?

7 DR. CHAMBERS: This is Wiley Chambers. I
8 think that was potentially the plan. We have an
9 open public forum, meeting that it needs to more or
10 less stay fairly close to being on time. So I
11 think our expectation would be to go ahead and take
12 an early lunch and then come back for both the open
13 public forum as well as, then, the rest of the
14 discussion.

15 I commend the Chair for staying ahead of
16 schedule.

17 DR. FONG: I commend the sponsor and the
18 FDA. Is there any opposition to taking an earlier
19 lunch? Can we reconvene maybe at 12:45? An hour
20 and fifteen for lunch; is that okay? Let me
21 remind, again, the committee staff not to discuss
22 the substance of this committee meeting outside of
23 the transcript that is in process here.

24 [Whereupon, at 11:30 a.m., the proceedings
25 were recessed to be resumed at 12:45 p.m.]

1 This is the North American study showing,
2 in the same format that you saw with the integrated
3 study, total RDs. These are throughout the total
4 follow up. These are not through Month 3 or
5 anything. They are the total that had a retinal
6 detachment and vitrectomy recorded.

7 These are the portion, or the whole
8 numbers, whatever you want of retinal detachment
9 after the vitrectomy as opposed to before. I think
10 you can see the numbers. It is roughly 50 percent,
11 in each group, had their retinal detachment after a
12 vitrectomy as opposed to before.

13 DR. FONG: Dr. Pulido?

14 DR. PULIDO: Jose Pulido. Just a point of
15 clarification. Again, before, when we were talking
16 about Table 16, you told us this was ocular adverse
17 events that were--and this is North America. But
18 those numbers are exactly the numbers in Table 16.
19 Yet, you were telling me that Table 16 was either
20 eye. Is this the affected eye and are the numbers,
21 then, in Table 16 of the affected eye?

22 DR. CHANDLER: I'm sorry; I don't remember
23 what Table 16 is in your thing, but if it says that
24 it is--

25 DR. PULIDO: It is the exact same numbers

1 and yet you told me before it wasn't, it was either
2 eye.

3 DR. CHANDLER: In the integrated reports
4 of safety in here, it is both eyes. In the
5 individual studies in your document, these are
6 study eye.

7 DR. PULIDO: This is--

8 DR. CHANDLER: Study eye.

9 DR. PULIDO: So then there were eighteen
10 retinal detachments in the Vitrase-treated group
11 with 55 International Units in the North America
12 group in the study eye; correct?

13 DR. CHANDLER: Correct.

14 DR. PULIDO: That is 10.3 percent versus
15 5.8 percent for the saline-treated group.

16 DR. CHANDLER: That is correct.

17 DR. PULIDO: So, is that statistically
18 significant?

19 DR. CHANDLER: No; it is not.

20 DR. PULIDO: Okay.

21 DR. CHANDLER: Let's show this slide, just
22 to give you another look at things.

23 [Slide.]

24 Here was time-to-diagnosis of the retinal
25 detachments. Again, this is North America showing

1 you retinal detachments in the study eye. The main
2 point here is that you see very few retinal
3 detachments reported as adverse events in the first
4 month following an intravitreal injection. You
5 see that it progressively increases and, as you get
6 to greater than three months is where you see the
7 bulk of them for each group. So they tend to be
8 late.

9 Would you like to see the same data for Ex
10 North America?

11 [Slide.]

12 Here is Ex North America, giving you the
13 same picture. Those that were detected in 30 days
14 or less, 31 to 60, again 90 and greater than 90.
15 Again, you will see that the majority of them tend
16 to show up in this greater than 90. Here you see a
17 good example of something cleared, in all
18 likelihood, enough to make the diagnosis and then
19 report the adverse event of a retinal detachment
20 because there was clearing.

21 DR. BROWN: That is really helpful data.
22 I think we should get that on paper, the last few
23 slides that you have shown. That is very helpful.

24 The other part of it was traction versus
25 rhegmatogenous and you showed us this morning the

1 integrated data. Do you have that separated?

2 DR. CHANDLER: Bear with me just a moment
3 please.

4 DR. FONG: Dr. Steidl has a question.

5 DR. STEIDL: Can I just ask a question?
6 You said what of those had cleared? I didn't
7 follow that.

8 DR. CHANDLER: I said, in the
9 Vitrase-treated group, there tended to be clearing,
10 as you have seen, more frequently, often uncovering
11 a detachment. So you got a chance to see it
12 earlier.

13 DR. STEIDL: What percent would you say?

14 DR. CHANDLER: Well, clearing in the first
15 month went in the range of 25 to--roughly 25
16 percent of them cleared.

17 DR. STEIDL: Of the ones that ended up
18 with detachments cleared enough to see the
19 detachment?

20 DR. CHANDLER: Yes.

21 Let's bring up this next slide.

22 [Slide.]

23 This is Vit-02, as you have been hearing.
24 That is North America. Here is the breakdown by
25 traction, retinal detachments in this group. You

1 can see that it was in this proportion, more
2 traction retinal detachments, probably again a
3 relationship to more clearing, so you had a better
4 chance to see it.

5 Here was rhegmatogenous, unspecified,
6 since in the case reports, some people did not
7 record whether it was rhegmatogenous or traction or
8 a combination.

9 DR. BROWN: That is helpful. So, then, in
10 the interpretation of it and from your standpoint,
11 you are saying that probably it helps us see it
12 better. The other possibility is that the
13 increased inflammation creates more traction as a
14 possibility. Have you thought about that or has
15 that been looked at in animal models or anything
16 like that?

17 DR. CHANDLER: We have not looked at an
18 animal model. Certainly, we have thought about it.
19 The way the numbers come over time, if it was
20 looking at traction, we don't see a separation of
21 this tendency in time between saline and Vitrase.
22 I would think you would start to see those separate
23 as you get out to three months, and we don't see
24 that.

25 DR. FONG: Dr. Feman and then Dr. Steidl.

1 DR. CHANDLER: I didn't know if he wanted
2 to also see separately the Ex North America or not.
3 If you don't mind, Dr. Fong.

4 [Slide.]

5 Here is the 03 again, same setup. This is
6 Ex North America traction. Here you see that it is
7 pretty much straight across. Only one reported
8 rhegmatogenous, and you can see a majority of them
9 ended up unspecified. There was poor recording of
10 whether it was traction, rhegmatogenous,
11 combination or unspecified. But, again, I think
12 you see this leaning toward that allow these were
13 traction retinal detachments.

14 DR. PULIDO: Just a point of clarification
15 on this. If your hypothesis is correct that it
16 just helps you see it better, then you would have
17 had, basically--in the two groups, it would have
18 had the same numbers.

19 DR. CHANDLER: We didn't have the same
20 amount of clearing, Dr. Pulido, in the two studies
21 at the same periods of time.

22 DR. FONG: Dr. Feman?

23 DR. FEMAN: My concern is about the
24 retinal toxicity of this agent that you are
25 injecting. For example, if a person has had a

1 previous vitrectomy and, therefore, he does not
2 have, or she does not have, the vitreous that she
3 was born with and this agent was injected into the
4 vitreous cavity, what do we know about retinal
5 responses to this agent, to an eye that no longer
6 has vitreous?

7 DR. GRILLONE: We don't have any data with
8 regard to eyes that don't have any vitreous.

9 DR. FEMAN: There are no animal studies of
10 any kind that would address this?

11 DR. GRILLONE: Nothing in animals where we
12 have removed the vitreous and then looked at
13 retinal toxicity. I would like to have Dr. Brooks
14 McCuen who is a member of our DSMB.

15 DR. McCUEN: There really would be no
16 indication for ever using Vitrase in a
17 post-vitrectomy eye because there was no rationale
18 for how it would work. The vitreous was already
19 liquified and there is nothing to break up so there
20 would be absolutely no use for using Vitrase in
21 that situation.

22 DR. FEMAN: I know that and you know that,
23 but I don't know if every doctor in the United
24 States would know that when this is commercially
25 available.

1 DR. FONG: It is hard to solve that
2 problem.

3 Dr. Steidl?

4 DR. STEIDL: Just a protocol question.
5 You are saying that three-quarters of the eye, at
6 the time of detachment, would not have clearing.
7 How are those categorized? Were those continued
8 failures then, because that would not meet your
9 primary efficacy endpoint.

10 DR. GRILLONE: Certainly, if they didn't
11 have a reduction in hemorrhage density, then they
12 wouldn't be counted as having a success. But I am
13 not quite sure that that is what Dr. Chandler was
14 exactly referring to. I think he was just talking
15 about, in a subset of patients, the relationship
16 between retinal detachment and clearing.

17 DR. STEIDL: I am just trying to
18 understand how you are defining this second bullet
19 point of the efficacy endpoints. "Visualization of
20 the retina revealed that the surgery was required."
21 So, in that case, the surgery was required but, if
22 you couldn't visualize the retina, then that would
23 not be--

24 DR. GRILLONE: Correct. In that case, if
25 you couldn't visualize the retina and if there was

1 no documentation that surgery was completed, then
2 that would be a treatment failure for that patient.
3 This is where the distinction in the surrogate
4 endpoint compared to the outcome by investigator is
5 important because, to be a success in the surrogate
6 endpoint, for that particular one, for example, we
7 would have had to have had documentation on a
8 case-report form that that surgery was completed
9 within the window.

10 On the other hand, if the physician simply
11 checked Box No. 2 and said, "Yes; the hemorrhage
12 has cleared. I will do surgery," but either the
13 surgery didn't get completed within the window or
14 there was some failure to document that the surgery
15 was done, in the outcome by investigator, that
16 would be a treatment success. So those two
17 endpoints are really one and the same. It is just
18 one not requiring the absolute documentation. But
19 the outcome by investigator, it is important to
20 keep in mind, is really the same as a
21 surrogate-endpoint success.

22 Have I made that clear to the panel?

23 DR. FONG: At this point, let's take a
24 break in the general discussion and open the floor
25 up for comments from the public.

1 Open Public Hearing

2 DR. FONG: Are there any speakers from the
3 public who would like to make a comment to the
4 committee? I don't see any. Does anybody see any?
5 If not, let's resume our discussion.

6 Committee Discussion

7 DR. FONG: Maybe I will start with a
8 question for Dr. Tan. The FDA has presented to us
9 issues with multiplicity and the choice of p-value.
10 Do you agree with that, that there are very few
11 endpoints that are statistically significant?

12 DR. TAN: Yes. I think I do. That is why
13 I asked what was in the original protocol because
14 the primary endpoint now were the secondary
15 endpoints in the original protocol. When you make
16 inference based on your efficacy on a secondary
17 endpoint, you should really adjust it for the
18 multiple comparisons. So the cutoff should be
19 adjusted.

20 DR. FONG: Donald Fong. You agree with
21 the adjustment proposed by the FDA?

22 DR. TAN: Yes.

23 DR. FONG: Maybe I will just start off the
24 discussion also again talking about the surrogate
25 endpoint that has been proposed by the company.

1 How do people feel about this endpoint that is
2 proposed, laser treatment or visualization of the
3 retina revealed the surgery was required or
4 visualization of the macula with a 180 degrees of
5 vitreous base.

6 Observations about that? Emily

7 DR. CHEW: I think that is a difficult one
8 to validate. Unless it is validated, I think it is
9 hard to use as an endpoint. Obviously, there are
10 many issues involved that we, as clinicians every
11 day, see. As a clinical trial, I think that is a
12 difficult one. It is not standardized. It is not
13 validated. People have different bars as to what
14 they want to do surgery. I think that is a
15 difficult one to actually use.

16 The only hard endpoint we really have is
17 really visual acuity at this point. What I would
18 like to see, then, perhaps in that composite might
19 have been some patient function aspect that might
20 have been incorporated in there more than what it
21 has got at this point. This is all, really, what
22 the physician decides based on this and I think
23 function has to come in there more.

24 I think that is where I would put this.

25 DR. FONG: Dr. Pulido?

1 DR. PULIDO: Jose Pulido. I agree with
2 Emily but, on the other hand, as one can see from
3 the patients this was used in, this wasn't used in
4 your Type 1 diabetics. This was used in those
5 patients that were probably so sick that they
6 couldn't even undergo a vitrectomy in a lot of
7 cases. There is a huge mortality rate in the group
8 of patients that were picked.

9 So, thinking about whether I would use
10 this medication or not, I think it would be
11 reasonable in those patients that are so ill that I
12 wouldn't want to take them to vitrectomy, I would
13 want to try something, an alterative treatment.

14 Although it is a bad endpoint, I think it
15 is not an unreasonable endpoint to consider from a
16 clinical point of view.

17 DR. FONG: Dr. Wilkinson?

18 DR. WILKINSON: I would agree. These
19 patients are incredibly difficult to manage. It is
20 no surprise that this is not a perfect statistical
21 analysis with clean outcomes. I don't know. I
22 hate to be a statistical nihilist, but, with all
23 due respect to these patients, I think the critical
24 issue here is that the view in is more important
25 than the view out.

1 No matter what the p-values are, it is
2 pretty clear that, from 50 percent to 100 percent
3 more patients experience clearing with an injection
4 than with saline, with an injection of the drug
5 than with saline. So something is happening.

6 The two things that are happening is we
7 are getting some inflammation and we are getting
8 some clearing of the vitreous gel. Don, you
9 mentioned what do we offer the patient. The key to
10 managing these patients is to control the
11 retinopathy. As Dr. Harris noted, the amount of
12 laser burns that a person needs to control
13 retinopathy varies tremendously from many hundred
14 to many thousand.

15 But the key to managing these patients is
16 to be able to see what is going on, what is the
17 status of the vitreoretinal interface. I think if
18 a sufficient number of people seem to be having
19 something happen that can enhance their outcome
20 that this type of surrogate analysis, it is not
21 clean. It is not--Emily stated it very, very well,
22 but I think it is clinically meaningful, as Jose
23 just said.

24 DR. FONG: Anybody else? Observations?
25 Dr. Tan?

1 DR. TAN: Statistically, it comes forward
2 here that the analysis is just saying if this is
3 going to be used in a larger patient population,
4 that is why I want to consider the Type 1 error.

5 DR. FONG: Tell me that again?

6 DR. TAN: That is the point of condidering
7 the Type 1 error is that once the product is going
8 to be used in a larger patient population, that is
9 why we want to consider the Type 1 error here.

10 DR. FONG: Maybe I can just sort of
11 continue this ongoing discussion. It sounds like
12 people like the proposed composite even though it
13 hasn't been validated. The next issue is has the
14 company showed that that has been effective, that
15 it actually does do any clearing. What does Dr.
16 Tan think of this? What do you think of the
17 efficacy based on the proposed outcomes?

18 DR. TAN: This is--the company--

19 DR. FONG: Why don't I come back to you.
20 Why don't you take a look. I would like to get
21 your read on it. Other observations from committee
22 members, general observations? Dr. Steidl?

23 DR. STEIDL: Maybe I am saying the obvious
24 but just with comment to the composite index, where
25 I am having difficulty with the visualization of

1 the retina as one of the endpoints is that these
2 conditions are all a little different. If you
3 suspected a peripheral break, you might need to see
4 360. That is not really included in here. Where,
5 if you are suspecting vein occlusion, you may only
6 need to see a small part of the eye and may only
7 need to treat an area.

8 So the potential, again--some things could
9 be managed by B-scan that could develop where you
10 couldn't see it. So it is kind of hard for me to
11 understand how you lump all these different
12 conditions together with this endpoint. At the
13 same time, I think it is valuable in concept.

14 DR. FONG: This is Donald Fong, again. I
15 sort of agree with what you have said and I agree
16 with what Pat and Jose have said, and that is it is
17 important--it would be helpful to be able to see
18 the retina to see if there is any pathology. But
19 that is one step away from the preservation of
20 vision or improvement of vision.

21 What may be missing, and what Scott
22 pointed out, is sort of the connection between
23 identifying these things at one month and the
24 ultimate visual prognosis. For example, if you
25 diagnosed a detachment that is already there, the

1 macula that has been off and so forth, it may not
2 make a difference that you have picked this up at
3 two months versus three months.

4 So that connection may not be present. I
5 am wondering if people agree with that. Thoughts
6 on that, the connection between diagnosing things
7 earlier and the ultimate visual outcome. Dr.
8 Phillips?

9 DR. PHILLIPS: Bill Phillips. I think
10 some of the things, we don't really have sort of a
11 standardized protocol in this study for the B-scan,
12 but a lot of the "traction retinal detachments," it
13 is not broken down into whether it is macular or
14 extramacular.

15 Certainly, if it is a nasal extramacular
16 detachment, you could watch longer than you would
17 want to watch a macular detachment whenever you
18 find it. The other thing I was a little concerned
19 about, just as Scott and other people have pointed
20 out, to say that there is clearing to see 180
21 degrees, you could miss something that way.
22 Also saying that you are getting clearing enough to
23 do "laser treatment."

24 Depending on how much ischemia there is,
25 you may put in some laser but not really stop the

1 process. If you are not stopping the ongoing
2 process, you are not really treating the patient.
3 I think what Dr. Feman was saying earlier, too,
4 vitreoretinal specialists would probably use this
5 in a different way than a non vitrial-retinal
6 specialist and that might also lead to some sort of
7 prolonged observation letting things progress
8 further than they might otherwise need.

9 DR. FONG: This is Donald Fong, again.
10 Dr. Ringel?

11 DR. RINGEL: I am not an ophthalmologist.
12 I am a dermatologist. This question may be
13 ophthalmologically naive but I am going to go with
14 it anyway. I was wondering if there has been any
15 stratification for duration of the vitreous
16 hemorrhage. The reason I am asking is that, as I
17 have listened to the committee, it seems that one
18 would want to use this agent as early on as
19 possible, perhaps at least during the first three
20 months whereas, in the study population, most
21 patients that had had their vitreous hemorrhage for
22 more than three months, and it seems as if the
23 study population is not the same as the population
24 it is going to be used on.

25 I would like to know if there is any

1 stratification done specifically looking at safety
2 issues.

3 DR. FONG: Lisa?

4 DR. GRILLONE: I will answer the last part
5 of your question first, Dr. Ringel. We did not
6 look at duration of hemorrhage as stratification
7 for safety issues. For the first part, in terms of
8 efficacy, because the study was not designed with a
9 maximum limit on the duration of hemorrhage, it was
10 not possible to stratify well the duration of
11 hemorrhage at entry.

12 What I mean by that is if we look at the
13 minimum, we have 30 days. If we look at the mean,
14 we have about 120 days. Nevertheless, there was a
15 fair proportion of patients who actually had a
16 hemorrhage duration greater than 90 days and some
17 for quite into the hundreds of days. So, given
18 that broad spectrum in this clinical trial, it is
19 not possible to, then, subset duration and get
20 meaningful information from that.

21 DR. BULL: Jonca Bull. On this same
22 point, the data provided by the sponsor has a
23 duration of baseline vitreous hemorrhage with a
24 mean, on the integrated analysis, of 120 days,
25 about four months, for duration. I was just

1 wondering how does that data address the question
2 raised by Dr. Ringel?

3 DR. GRILLONE: It has a mean of 120 days
4 with a standard deviation of 110, so you could see
5 the broad range around that. It addresses it,
6 basically, by confirming that, with such a broad
7 range--if there were a tighter range around that
8 four-month period, then you would know that you can
9 get a tight subgroup analysis.

10 But you really can't get a very tight
11 subgroup analysis because the range goes from 30
12 days, in some patients, through beyond 120 days and
13 beyond 230 days, based on the standard deviation,
14 and greater. So there is such a broad range, the
15 subset analysis would be uninterpretable.

16 DR. BULL: I would like to point out, this
17 raises, I think, some significant challenges for us
18 from a regulatory perspective because, in terms of
19 trying to write a label, you have to base it on the
20 data. Given that you have patients that have
21 fairly--I guess, if you go with your mean, four
22 months into a hemorrhage, this does not address
23 whether or not you could articulate in a label any
24 indication for patients, as I think someone had
25 mentioned, who were early in the course, because

1 you don't have data to substantiate its use.

2 DR. GRILLONE: We didn't design the study
3 to look at that small subset. Yes; we all agree on
4 that but perhaps one of the physicians could
5 address their feeling of how they would treat
6 patients now based on the data that we have put
7 before you if they had a patient with an earlier
8 hemorrhage. What would the opportunity be for them
9 there? Dr. Packo will address this.

10 DR. BULL: Excuse me. That would be
11 speculative. I don't think it is helpful for us to
12 go that route.

13 DR. GRILLONE: Okay.

14 DR. BULL: Thank you.

15 DR. FONG: This is Donald Fong, again.
16 Let me come back to Dr. Tan about sort of the
17 evaluation of the composite outcomes. What is your
18 thinking on Vit-02 and Vit-03?

19 DR. TAN: I think the composite score
20 outcome is not really validated. I think, then,
21 the question is can we dissect that and extract
22 useful information, as you cannot take the p-value
23 given here based on the composite score entirely.
24 If you say some of the component of it is
25 clinically meaningful, then we should analyze that

1 component.

2 DR. FONG: I am not sure I understand
3 that. Can you rephrase it?

4 DR. TAN: I think the composite, the
5 outcome is not--I think the correlation or how this
6 is correlated with clinical outcome hasn't been
7 validated. That is the issue, original issue. So,
8 in the analysis of Vit-02 and Vit-03, the Vit-02 is
9 significant but Vit-03 is not significant. So it
10 is really hard to conclude, make an inference out
11 of this, for me.

12 DR. FONG: So we have one study, Vit-02,
13 that shows some positive findings at Month 2 and
14 not replicated in the third month. How do people
15 feel about that? Dr. Wilkinson? Dr. Pulido? Dr.
16 Feman? Members of the group?

17 DR. WILKINSON: As I have already said, I
18 think that there is no way to make this an optimal
19 statistical study with clean outcomes. I think the
20 big picture for me is that something does happen
21 when these eyes are injected and it is clear to me
22 that a patient has a significant chance of having
23 some clearing of the media as well as some iritis
24 if they are injected. I think that that would help
25 me manage these patients.

1 There are differences in each study. We
2 can analyze these subsets until the cows come home.
3 I am always guilty of looking at big pictures and
4 not small pictures, but the bottom line is--and
5 this is the reason the first question I asked was
6 to stratify them on the basis of when the
7 hemorrhage occurred because one of the sponsor's
8 consultants, Dr. Packo, mentioned that, for him,
9 the first step, if you can't see an individual's
10 fundus and they have a big hemorrhage, the question
11 is how active is this retinopathy. What is going
12 on? What do I need to do? That is the patient
13 that is going to get this injection and I think the
14 chances of clearing are better with the injection
15 than with no injection.

16 It is unfortunate that more people don't
17 clear.

18 DR. FONG: This is Donald Fong again. I
19 just wanted to clarify, make sure I understand your
20 perspective in this. What do you base the
21 assertion that there is clearing on, because it is
22 not replicated in this studies.

23 DR. WILKINSON: It is my impression, just
24 looking at these data, that in each and every
25 instance, there is a 50 to 100 percent difference

1 at each time period on the multiplicity of the
2 outcomes that have been looked at, not always as
3 statistically significant with one subset as
4 another, but it is pretty apparent to me.

5 Again, this is big picture, not small
6 picture, that this drug is doing something. It is
7 doing something important. It is not doing it
8 optimally. I wish the number were 75 percent
9 instead of 35 percent, but it seems to me that it
10 is pretty clear something is happening.

11 DR. FONG: Pat, let me ask this question.
12 Sometimes, it is hard to tell, when you look at 100
13 different sorts of looks at something, and sort of
14 get a gut feeling for whether something is
15 statistically significant or not, or whether it is
16 real or not.

17 So, when you look at a bunch of things,
18 sometimes it is hard to tell what is real and what
19 is occurring just from chance. One of the purposes
20 of statistical testing is to give you sort of a
21 valuation of whether the findings that you are
22 seeing is due to chance.

23 So, if the testing doesn't confirm it,
24 then I am just wondering how one would sort of make
25 the assertion that there is something happening, I

1 guess is what I am trying to get a feel for.

2 DR. WILKINSON: Well, thank you. I don't
3 know much about statistics, but I know that that is
4 what they are for. Again, there are subsets in
5 which the data--Dr. Harris' nice presentation
6 pointed out, the data do appear to clearly support
7 the fact that something is happening.

8 There are other subsets in which the data
9 are not statistically significant at a very, very
10 high level. Again, I feel there is clear evidence
11 here that something good is happening. These are
12 eyes that have been loaded with blood for months.
13 When we start talking about vision, we haven't
14 talked about macular pathology in Type II
15 diabetics.

16 This is an exceptionally complicated deal.
17 We can fine-tune it forever, but I cannot escape my
18 conclusion that something is happening in a
19 disappointing minority of patients, but, still,
20 something is happening that is not happening in the
21 control cases.

22 DR. FONG: Dr. Harris, would you like to
23 respond? Can I just follow up with one sort of
24 observation? I think what Dr. Harris has shown is
25 that there have been three outcomes. We looked at

1 it with three different doses and you looked at it
2 at three different times. If you look at things a
3 lot of times, you are likely to find a difference
4 just on chance alone.

5 So I guess my question is how would one
6 differentiate chance alone if you don't use
7 statistics. I don't want to belabor the point.
8 Maybe Dr. Tan can sort of shed some light on this.

9 DR. TAN: That is the point. That is why
10 you would use statistics. That is what the whole
11 clinical trial is all about. You don't want to
12 base it on, obviously, a subset of patients that
13 the drug is working. I actually agree that Vitrase
14 is doing something very good. But it is just not
15 so clear. In a way, you say the control group
16 doesn't do any--in a way, I think, to me, from
17 the--I think the control group, the saline group,
18 is doing something. They have the same success
19 rate, but it just came one month later.

20 They have exactly the same, or almost
21 exactly the same, success rate as the Vitrase but
22 it comes one month later.

23 DR. FONG: So your point is that the
24 control group came to that same outcome one month
25 later

1 DR. TAN: Right. That is consistent for
2 the amount on the table for efficacy that has been
3 presented.

4 DR. FONG: Dr. Pulido?

5 DR. PULIDO: I think what we are all
6 wrestling with is the fact that it is not a
7 penicillin. It doesn't have a 100 percent success
8 rate. The success rate is 30 percent versus 20
9 percent for the control. The statistical
10 significance, especially after taking Bonferroni
11 calculations, or whatever, becomes very, very
12 questionable and very marginal.

13 But it still appears every time to show a
14 little bit of effect. Again, I harken back to--I
15 am concerned about the Feman factor which is the
16 use of this by people that are not knowledgeable,
17 by non-retinal specialists. Maybe in the labeling,
18 we can make sure that there are some instructions
19 on when to use it.

20 But, for us that see these patients that
21 are on Coumadin or have tremendous medical problems
22 that can't be taken to surgery, it gives us at
23 least a chance to maybe help these people.

24 DR. FONG: Dr. Brown?

25 DR. BROWN: I just want to add that. If

1 you look at the different ways, and you sort of put
2 the question to us as to say finding out at two
3 months versus three months, when is that really
4 going to help you clinically. There are certain
5 situations where we know, from the diabetic
6 retinopathy study, old data, but, in certain
7 situations, we certainly know that earlier
8 treatment is better, in certain clinical
9 situations.

10 The second issue is the functional
11 standpoint and just how does this patient get along
12 in their own life. If we can increase it from
13 being two out of ten to maybe three or
14 three-and-a-half out of ten who can actually get
15 back to functioning, well, that is a good thing.

16 Then the next part of it is at what risk
17 am I putting the others in that group of ten to get
18 that one or two? From my view, the iritis and
19 hypopyon seem to be easily managed without
20 significant sequelae, no increased risk of
21 neovascular glaucoma and these issues. The one
22 thing that I was interested about was the
23 retinal-detachment rate which appears to be higher.
24 Whether or not we actually are inducing traction or
25 is it that we are seeing it earlier. I don't think

1 we know the answer to that.

2 But, on the whole, I think that, to get
3 that added benefit for those extra people in that
4 group of ten, I think that the risks are
5 reasonable.

6 DR. FONG: The sponsor?

7 DR. CRAIG: I am Dr. Craig. I am in
8 charge of preclinical work. I wanted to address
9 the Feman factor if I could, for just a second.
10 Dr. Feman, you had a concern you stated about
11 retinal damage. I didn't have a chance to jump up
12 and talk about a primate study that we have done.
13 You were addressing it specifically, I think, for
14 where the vitreous had been removed and then the
15 product injected.

16 We haven't done that but we have injected
17 the product into primate eyes without hemorrhage,
18 twelve animals per arm and doses, in one arm, two
19 to three times higher than the 55 IU dose and the
20 other was four to six times higher. While we did
21 see iritis, it did resolve itself as it has in the
22 patients in the clinical trial.

23 There were some effects on the retina due
24 to the inflammation but there were no permanent
25 toxicological effects on the retina.

1 DR. FONG: Just to be the devil's advocate
2 here, Dr. Brown--this is Donald Fong--I think there
3 are two issues that this drug might be useful for.
4 One is potential visual benefit to the patient
5 leading to improvement in visual function and the
6 other is improvement in the ability to diagnose.

7 I am just sort of thinking aloud. I would
8 like to hear what the other members think. It is
9 hard for me to ascribe a benefit that doesn't last.
10 So I am just going to that point first. One could
11 argue that there really isn't a visual benefit
12 because it doesn't last. I want to throw it out
13 and see what people think about that.

14 DR. WILKINSON: Don, with all due respect,
15 I--

16 DR. FONG: Dr. Wilkinson?

17 DR. WILKINSON: Yes; Pat Wilkinson, once
18 again forgetting to state his name. I wouldn't
19 expect this necessarily to improve vision. That is
20 certainly not a realistic endpoint. These patients
21 have vitreoretinal pathology. They all need--the
22 vast majority need some kind of treatment. Unless
23 you spontaneously avulsed the vitreoretinal
24 adhesion with some movement of the vitreous gel,
25 you still have a traction upon abnormal blood

1 vessels.

2 There is no way that you can expect
3 chronic permanent improvement in vision. This is,
4 to my way of thinking, simply a management tool to
5 allow you to differentiate a person who needs
6 Treatment A from Treatment B from perhaps
7 occasional observation.

8 But it is a treatment tool and certainly
9 not a cure or a means of improving vision directly.
10 It is a means of improving vision indirectly by
11 eliminating blindness.

12 DR. FONG: I guess my question is, to
13 follow up on that, is it a helpful tool. If the
14 patients need a vitrectomy anyway, what have you
15 gained by doing this injection. Now, this
16 injection is not horrible. The risk of
17 ophthalmitis, risk of retinal detachment is not
18 huge, but it does cause a lot of pain.

19 60, 70 percent of the patients complained
20 of pain, even with the saline injection. So this
21 is something that patients may not tolerate. Now,
22 we don't really have data on that right now. A lot
23 of patients withdrew. 10 percent withdrew. So it
24 is not completely a harmless diagnostic tool. It
25 is an invasive procedure and if it is only allowing

1 you to diagnose something one month earlier, is
2 that helpful?

3 DR. WILKINSON: Pat Wilkinson. I think it
4 is exceptionally helpful. The one month is
5 critical. But let me go back to my original
6 statement. The critical issue here is being able
7 to figure out where you are. We know from all of
8 the ETDRS, DRVS and DRS trials that certain people
9 don't necessarily need more laser or a vitrectomy.
10 They can even be allowed to, again, become blind
11 from the hemorrhage, assuming their other eye is
12 okay. But there are others with very, very active,
13 relatively new retinopathy that we know will
14 progress like crazy, and certainly over a month.

15 These people can be identified and then
16 managed appropriately. So I think a transient peak
17 is exceptionally valuable and probably the main
18 reason to give this injection. I don't want to
19 sound condescending but I think we all, sooner or
20 later, need to ask ourselves a question, what if I
21 had a proliferative diabetic retinopathy and I
22 wasn't even at high risk but I had a massive
23 hemorrhage. Maybe I was pagged for a couple of
24 days. I'm not clearing at all. Would I want this
25 drug injected? I can assure you that, based on

1 these data, they are not sensational but I am
2 impressed that I would want the injection.

3 DR. FONG: Comments? Dr. Pulido?

4 DR. PULIDO: I am not sure that,
5 necessarily, I would, especially being an Hispanic.
6 The data is still questionable as far as I am
7 concerned with that. But I think, again, going
8 back to the group that I envision this was used in,
9 if 25 percent die within the first year, and you
10 give them one more month of vision, it is back to
11 the time of the AIDS patients with CMV retinitis
12 where we could keep their vision for a month, two
13 months, three months with gancyclovir. Their
14 quality of life might be a little bit better. So,
15 because of that, I think it is reasonable.

16 DR. FONG: Paula?

17 MS. KNUDSON: I would like to ask a
18 question. Diabetic patients would be at risk for
19 the vitreous hemorrhage all the time. So you have
20 had one in one eye. You have now taken care of
21 that. You have had the injection and then the
22 vitrectomy. What happens if the other eye becomes
23 affected. Is this drug something that you could
24 use again in the other eye? There is no
25 contraindication to using it twice?

1 DR. WILKINSON: No, but let me clarify.
2 Pat Wilkinson. The major problem in dealing with
3 this epidemic in our country is getting these
4 individuals into the office. Someone shows up with
5 a vitreous hemorrhage in one eye and they receive
6 this injection. We hope they won't need a
7 vitrectomy. We hope they will need laser and that
8 is why this surrogate analysis was reasonable,
9 although not clean.

10 Once they are in the office, then we know,
11 through these previous collaborative trials, who is
12 at risk and so we hopefully can treat them
13 appropriately long before they would even have any
14 risk whatsoever of a hemorrhage.

15 DR. FONG: Dr. Tan and then Dr. Pulido and
16 Dr. Steidl.

17 DR. TAN: I just want to--I think Dr.
18 Wilkinson said you would actually expect to see
19 improvement of vision, but the study, the trial,
20 was actually to show exactly that. So if that
21 argument stands, I am having a very difficult time
22 to interpret the data.

23 DR. FONG: Can you elaborate what you are
24 saying?

25 DR. TAN: I think if Vitrase is really

1 expected to just enhance your diagnosis, or make a
2 better diagnosis instead of increasing the acuity,
3 as the sponsor has presented it, the most study
4 should be just on how to increase the diagnosis,
5 the utility of this drug.

6 DR. FONG: Can you summarize for me?

7 DR. TAN: I think the study was designed
8 to show improvement, you know, acuity; right?

9 DR. FONG: Yes.

10 DR. TAN: So now what I am hearing is we
11 are not expecting this drug to show any improvement
12 of acuity here. So what do we expect this drug to
13 do, then? We are back to the earlier question what
14 benefit does Vitrase bring to the patient. It is
15 not clearly defined to me.

16 DR. FONG: I think what Dr. Wilkinson has
17 said is that what this drug allows the clinician to
18 do is a temporary look at the retina and the
19 pathology and the disease in the retina and then
20 this will help guide as treatment. The question
21 is--there are two questions. From your standpoint,
22 I guess, Dr. Tan, maybe is does the data support
23 that.

24 DR. TAN: Therefore, it is how successful
25 this will guide you. That would be the information

1 we want.

2 DR. FONG: Let me go down the list. It
3 was Dr. Tan, Dr. Pulido. Dr. Pulido?

4 DR. PULIDO: I think Ms. Knudson's was
5 actually an excellent question. At first thought,
6 you would say, well, treating the second eye
7 shouldn't have any effect. But, on the other hand,
8 this is an inflammatogenic protein and it is a
9 foreign protein. So the question is whether you
10 inject in the second eye, you would increase the
11 amount of inflammation. We don't know the answer
12 to that. I think it is an excellent question.

13 DR. FONG: Dr. Steidl?

14 DR. STEIDL: This is Scott Steidl. I
15 think we all would love to have this thing work and
16 be efficacious and be something that we could offer
17 patients. Perhaps each of us would choose to have
18 it done in our own eye. Just from the data, and I
19 am not a statistician, it is not quite clear to me
20 what it is saying.

21 I think that these are very complicated,
22 though. Some patients you suspect, wow, I really
23 missed giving that laser treatment and now I can't
24 see. Then there are other ones, you look at the
25 fellow eye or you know the history and you really

1 suspect maybe there is a little patch of peripheral
2 neovascularization and it bled, but they can
3 probably be followed.

4 So I think it is kind of hard to
5 generalize. So it seems to me that we are saying
6 that the people that might benefit early, and it
7 may be a different group. Maybe it is not a group
8 of medical necessity but people who just need
9 quicker visual resolution. They may benefit, but
10 the problem is that it affects a small percentage.

11 So if I am getting a consent, I would be
12 saying, maybe 10 percent chance, but you could have
13 eye pain, retinal detachment, inflammation. One
14 thing maybe someone could address, some of the
15 physicians that have used this, but, from my point
16 of view, hypopyon is infectious until proven
17 otherwise. I am nursing someone with a sterile
18 hypopyon right at the moment. My blood pressure
19 went up a bit when I saw it. I bit the bullet and
20 I didn't inject.

21 But I think that this is not to be
22 minimized. I think that, in a lot of the
23 literature, it is described as, oh; it is just a
24 hypopyon and we will manage it. I would be curious
25 to see what people might say about your

1 constitution, seeing that many patients with it.

2 DR. KUPPERMAN: To address the two parts
3 of that question, first the issue of eye pain that
4 has been raised a couple of times. Eye pain was
5 recorded in the context of a clinical trial but,
6 having injected this many times, it is the standard
7 eye pain that is present from--if you were to ask
8 any patient after any intravitreal injection,
9 whether that was gancyclovir or foscarnate in AIDS
10 patients, triamcinolone in the other patients, et
11 cetera.

12 So this is not an exaggerated response,
13 sir, to an intravitreal injection. It is the
14 standard when you ask a patient--we don't ever
15 bother asking them outside of the clinical trial do
16 they have pain. So it is a small amount of pain.
17 I want to put it in that context.

18 In terms of the hypopyon issue or the
19 inflammation issue and how you react to that
20 compared to an endophthalmitis or how do you stop
21 yourself from reacting to it, because we are all
22 trained to think about a hypopyon the day or two
23 after you give an injection as endophthalmitis
24 until proven otherwise.

25 Two issues. One is that it doesn't look

1 like the classic endophthalmitis. It is not a hot,
2 inflamed eye. It is not massive injections. It is
3 not that extraordinary pain. It doesn't have the
4 other clinical features that go along with
5 endophthalmitis.

6 Secondly, by our experience, again, not
7 one case out of all the 1500 patients that have
8 been injected have we seen even one case of
9 injection-related endophthalmitis. There was, I
10 think, one case that was two months after a
11 vitrectomy, six months after an injection,
12 something like that.

13 DR. STEIDL: Are you approaching these
14 patients differently than one that you suspect is
15 infectious?

16 DR. KUPPERMAN: Yes.

17 DR. STEIDL: Fewer visits and that sort of
18 thing?

19 DR. KUPPERMAN: Oh, yes, because it is a
20 consequence injection, we think that--again, we
21 know that there is iritis. Again, my patient
22 population, Jose, to address some of your concerns,
23 again, in the limited population has been largely
24 Hispanic and I have not seen any untoward severity
25 of inflammation in terms of manageable amounts of

1 iritis after this injection. I am sensitive to
2 that patient population, being a family from
3 Brazil, as well, my own family, and have been
4 sensitive to that. I have not seen an untoward
5 amount of inflammation in those eyes.

6 So, again, it is a retraining of your mind
7 set because one does want to react to that as if it
8 is an endophthalmitis but, given this known
9 inflammatory consequence, it is a retraining that
10 will need to be done.

11 DR. STEIDL: I guess, just quickly, the
12 corollary of that is if we downplay that, who
13 knows. Maybe Vitrase is protective against
14 endophthalmitis. I don't know. But if we take a
15 more cavalier approach to it--it is kind of hard to
16 say that you can put down your guard. I don't
17 know. Then you might--

18 DR. KUPPERMAN: I continue to have an
19 index of suspicion but, again, without that beefy
20 looking conj and some of the other factors that go
21 along with it, there tends to be just redness at
22 the injection site, a quiet otherwise looking
23 conjunctiva and episcleral vessels, et cetera, and
24 inflammation inside the eye and/or a layered
25 hypopyon. It is a different picture than one would

1 normally be concerned about with a classic
2 endophthalmitis.

3 DR. FONG: The sponsor?

4 DR. CRAIG: Bill Craig, again. I wanted
5 to address Ms. Knudson's question and the response
6 to it. It is along the same lines. In that monkey
7 study that I mentioned, we did have an arm that
8 received a second injection of the product. As I
9 mentioned, the first injection caused the type of
10 iritis that we expected to see. Once that had
11 resolved to a great degree, we did a second
12 injection. We saw almost exactly the same
13 reaction. It was certainly no worse.

14 In fact, I was just looking over the data
15 the other night. The hypopyon was reported in the
16 first injection but, interestingly, there was no
17 report of hypopyon in the second injection and it
18 resolved as quickly as it did on the first
19 injection.

20 DR. FONG: Dr. Feman?

21 DR. FEMAN: I am Steve Feman. I would
22 like to expand on what I think Dr. Tan was trying
23 to get at earlier and correct me, Dr. Tan, if I
24 have misinterpreted what you have said. The
25 impression is that, if the drug does not improve

1 visual acuity which is what we all seem to be
2 talking about. But its major effect is that it
3 works to allow the physician to see the retina
4 better. Is there any data in the packet that we
5 have received that proves that? Is the data
6 designed to show that? Is any part of the study
7 designed to show that it allows the physician to
8 see the retina better?

9 It may be here and it is just that I may
10 not have seen it.

11 DR. TAN: I don't see it either.

12 DR. FONG: Dr. Wilkinson? Do you have any
13 follow up, any thoughts on what Dr. Feman said?

14 DR. WILKINSON: Pat Wilkinson. I don't
15 have any thoughts but I can reiterate what I have
16 said. I think the surrogate analysis, the fact
17 that--I was just trying to grab Dr. Harris' data to
18 see if I could find anything there. Perhaps you
19 would be the best person to answer, Dr. Harris.

20 It just seems to me that there was 50 to
21 100 percent greater chance that the patients could
22 be managed in some fashion if they receive the
23 drug.

24 DR. FONG: Dr. Harris?

25 DR. HARRIS: I think you are asking about

1 the proposed composite, that endpoint that says,
2 can we see it and, from a physician's standpoint,
3 are they able to see it and treat patients better.
4 We need to look at both trials independently
5 because we need validation from both trials in
6 order to make a decision.

7 We can't base all of our experience on one
8 trial. That could just happen by chance. So we
9 look to see if it has been replicated. That is why
10 I presented these two charts. We looked at Vit-02
11 to see what it actually showed. I agree that, in
12 Vit-02, it shows that there does seem to be some
13 efficacy for the 55 units of Vitrase in the first
14 two months.

15 But when we look at the Vit-03 trial to
16 see if anything replicates, it is a failed study.
17 It doesn't show that anything replicates. So we
18 base our decision on which trial? Do we base it on
19 Vit-02 and say that we see something or do we base
20 it on Vit-03 and say that there is nothing?

21 DR. FONG: Dr. Phillips?

22 DR. PHILLIPS: Bill Phillips. I just had
23 sort of a general comment, too, from the actual
24 practice standpoint. Initially, in their NDA, they
25 were stating that vitreous hemorrhages are

1 typically observed for six months. That was true
2 in the past. Then some of the physicians that were
3 speaking on behalf of the sponsors would say, well,
4 we will typically watch a vitreous hemorrhage for
5 three months.

6 That is certainly possible and could fall
7 within standard of care. Standard of care
8 certainly varies from region to region. In the
9 Washington, D.C. area, when you are looking at the
10 majority of the patients that were enrolled in this
11 study, all being 20/200 and worse and most either
12 count fingers, hand motion or light perception,
13 they would not end up waiting three months for a
14 vitrectomy.

15 So, if we are looking at the efficacy in
16 the 55 International Unit group being at two
17 months, we are getting a view. Very few of the
18 patients in this area even would wait two months
19 with that dense of a hemorrhage. So that is one
20 thing. We are sort of comparing it. I understand,
21 within the context of the study, we have to compare
22 it either to watchful observation or saline.

23 But there is also another alternative
24 which is the vitrectomy that, in the real world,
25 has to be discussed with any patients except for

1 those that medically could not undergo a vitrectomy
2 which may end up being the best indication for this
3 drug. I think it would be very useful then.

4 The other thing is just looking at the
5 endpoint in the composite of it is clear enough
6 that we can now see the retina to make a
7 determination of treatment. If that treatment is
8 just laser and it stays clear long enough that we
9 can do that, that's great. But the data also shows
10 that, in many cases, that determination was there
11 is a retinal detachment which ended up needing the
12 vitrectomy anyway. So you have now gone through
13 two procedures, the injection and then the
14 subsequent surgery.

15 I think we have to take all those points
16 into account in looking at the efficacy overall.

17 DR. FONG: Dr. Feman?

18 DR. FEMAN: I just wanted to speak to what
19 Dr. Phillips had been addressing. The reason why
20 the three-month, four-month interval has come to
21 practice is that, in the original derivation of the
22 diabetic retinopathy vitrectomy study, it was found
23 that at approximately four months, you can start
24 measuring electroretinographic abnormalities by
25 doing bright-flash ERGs, that there was a retinal

1 toxicity from the iron in the hemoglobin being
2 present in the eye so long.

3 That is why the time intervals have gone
4 from six months down to three to four months. But
5 I think that is where the standard is now. I don't
6 think there are very many practitioners anywhere in
7 the United States that would wait more than three
8 months before doing a vitrectomy because of the
9 danger of having retinal toxicity, of having the
10 blood in the eye that long, which leads us back to
11 this study that we are examining right now, when
12 many of the eyes that were enrolled in the study
13 had the blood present for 121 days, as I recall
14 from an earlier slide.

15 Correct me if I am wrong, but I thought
16 that they said that the average entrance patient,
17 the mean of the entrance patients, had blood in
18 their eye for 121 days which is longer than the
19 standard in most parts of the United States.

20 DR. FONG: So what is the corollary? Is
21 there a corollary to that, Dr. Feman?

22 DR. FEMAN: Again, I just see the value of
23 this medication other than as a chance of delaying
24 a vitrectomy that the patient might need. Again, I
25 have this great concern about toxicity, not

1 necessarily from the drug, perhaps, but from the
2 blood having been present in the eye so long.

3 DR. FONG: At some point, we will need to
4 take a vote on the questions. But it sounds like
5 there is some more discussion. Dr. Wilkinson?

6 DR. WILKINSON: I wanted to speak even
7 though I don't disagree--Pat Wilkinson, by the
8 way--with Dr. Feman's premise that, in fact, those
9 of us that are old enough to have been around when
10 vitreous surgery began, our best cases, we operated
11 on even before the lie-pipe was invented, had had
12 an eye full of blood for twenty years. This
13 toxicity may be visible on an ERG but many of these
14 patients did beautifully.

15 If their macula worked well, they had an
16 absolutely phenomenal outcome. So I don't think
17 blood toxicity is a critical issue. I would agree
18 with Dr. Phillips. I think very few people will
19 allow observation for three months.

20 But, again, if the patient--the critical
21 thing is the activity of that retinopathy. If the
22 patient has had scatter, this is something Emily
23 wanted to get into, how many eyes had already had
24 scatter. If a patient has had scatter, they are at
25 no risk for tremendous proliferation and they are

1 into just some mild traction, they can be watched
2 indefinitely if their other eye is okay.

3 If they have very, very active retinopathy
4 that has never been treated, then they critically
5 need treatment immediately. So the concept of a
6 preoperative management tool is, to me, the most
7 appealing.

8 DR. FONG: Dr. Chew?

9 DR. CHEW: I just have a comment, I think,
10 more than anything else. As a clinical trialist,
11 there are a lot of shortcomings of the study.
12 There is no question. I think we are having
13 trouble trying to decide one way or the other. We
14 don't have a good endpoint. There isn't a good
15 endpoint here. It is a difficult situation. These
16 are tough patients.

17 As a clinical trialist, I don't like the
18 trial because I think there are many things that we
19 would have liked more information on. But it is
20 what it is. The patients, themselves--I think we
21 are going to come down to deciding as clinicians
22 more than as biostatisticians or clinicians who do
23 clinical trials because we don't have that
24 information in front of us that really allows us to
25 make that information really in an informed way.

1 I think a lot of it is going to boil down
2 to what would you do as a clinician and how would
3 you feel as a patient if you were in the situation.
4 Then it becomes a balance of how much harm are you
5 doing to these patients. How bad is this hypopyon?

6 If you look at it, 55 IU, I think hypopyon
7 was, in the first trial--was it about 1 percent?
8 Is that right? So it is not like it is an
9 outrageous amount. We are not talking about a huge
10 amount of complication. So I think a lot of this
11 has to be really balanced on how we are going
12 to--we are looking for Dr. Tan and others to give
13 us guidance. I think that is why FDA has us here
14 because, if they knew they had a good statistical
15 method, they would have it approved by now. They
16 would have it approved and all finished.

17 So I think a lot of it has to be
18 discussed, the balance of the two, in terms of our
19 practice.

20 DR. FONG: Dr. Dunbar?

21 DR. DUNBAR: An issue I would like to
22 bring up is that this is a novel therapy and the
23 decisions about this therapy will serve as a
24 precedent for other therapies in the future.
25 Because the clinical situation is so grave for

1 these patients, it is very tempting to us to lower
2 the bar. However, we may be lowering the bar for
3 many years to come and thus discourage even better
4 therapies that may be just around the corner.

5 DR. FONG: Dr. Steidl?

6 DR. STEIDL: Just a quick question for the
7 company. Was there quality-of-life data obtained?

8 DR. GRILLONE: No; there was not. It was
9 not designed to look at quality of life.

10 DR. FONG: Dr. Tan?

11 DR. TAN: I just want to put the
12 assessment of adverse events into the perspective.
13 Of course, the trial wasn't designed to show any
14 difference in terms of toxicity. Of course, not.
15 For the original--it is 4.2 versus 6.9. Those are
16 not significant. The difference is something we
17 should focus on. There is about a 3 percent
18 difference. Of course, they are not going to be
19 significant.

20 Most of the time, they won't be
21 significant because that would require a lot of
22 patients to show a significant result. That would
23 be after the drug is approved and in the
24 postmarketing scenario. They will see more
25 patients. Then you become significant.

1 So I just want to put this assessment of
2 the adverse event into perspective. The magnitude
3 is what we should look at.

4 DR. FONG: Why don't we take a look at the
5 questions. Are there any objections to looking at
6 the questions?

7 Questions and Vote

8 DR. FONG: What I would like to do is I
9 would like to read the question and go around to
10 each of you and have you comment on the answer, or
11 answer the question.

12 Kimberly just told me that we need to look
13 at the questions that are attached to the agenda,
14 not the book, the page following the agenda.

15 The first question is--maybe we will just
16 start on one side of the room. I don't want to
17 start with the statistician. Maybe we will start
18 this way. Well, we will start with the
19 statistician.

20 The first question is has sufficient
21 evidence been submitted to support the efficacy of
22 Vitrase for the treatment of vitreous hemorrhage.
23 Dr. Tan?

24 DR. TAN: No; I don't think so. It is a
25 yes or no question, so I will just say no.

1 DR. FONG: Any comments to follow that?

2 DR. TAN: First of all, the
3 efficacy--there is a conflict in the result in one
4 trial--there are two major pivotal trials. One is
5 significant and the other one is not. Also, in
6 terms of what is efficacy. Efficacy is not really
7 well-defined.

8 DR. FONG: Thank you, Dr. Tan.

9 Dr. Phillips?

10 DR. PHILLIPS: Bill Phillips. I would
11 also say no. The three-month data lost the visual
12 benefit, I believe, for the 55 International Units.
13 Even just as far as the treatment outcomes, I would
14 need to see that stratified more to really be sure
15 that that was providing a treatment benefit over
16 the watchful waiting of the saline group.

17 DR. FONG: Dr. Chew?

18 DR. CHEW: Emily Chew. Given the
19 endpoints that were stated, I don't think we have
20 that evidence for efficacy. One thing I would like
21 to see, it is sort of on the next question, what
22 additional studies are needed to establish, it
23 would be nice if I were able to see the data that
24 looked from--

25 DR. FONG: We will come to number two in a

1 second. Let's just do number one.

2 DR. CHEW: Okay.

3 DR. FONG: Do you have any follow up to
4 number one?

5 DR. CHEW: No. I think it is the issue of
6 the endpoint which we can't really have a good
7 handle on in this particular study. I think, for
8 me, vision would be a very important aspect of this
9 given the composite was more difficult, although I
10 know it is a different clinical question we are
11 asking there.

12 DR. FONG: Dr. Wilkinson?

13 DR. WILKINSON: Pat Wilkinson. I would
14 vote yes. The endpoints are not optimal. The
15 study is not optimal. The data are not optimal,
16 but there is a very, very clear trend in most of
17 the subgroup analyses that there is a genuine
18 change in the vitreous gel following the injection.
19 Let me also comment, I don't disagree with Dr.
20 Dunbar, but I think we can all learn from studies.
21 We can all insist on better studies next time, but
22 to state that we are lowering the bar by
23 considering acceptance of this application seems to
24 me to not necessarily be the question.

25 DR. FONG: Dr. Pulido?

1 DR. PULIDO: Jose Pulido. I would say it
2 is minimally effective and there does appear to be
3 a slight improvement in vision, improvement in
4 peripheral visualization. I guess, with respect to
5 what Dr. Dunbar said, I would hope that the next
6 drug would have a better effect overall.

7 DR. FONG: Is that an acceptable answer to
8 FDA? No? We want a yes or a no.

9 DR. PULIDO: Minimally, yes.

10 DR. FONG: Dr. Brown?

11 DR. BROWN: Jeremiah Brown. I would say,
12 in the application to improve visual acuity at two
13 months that, yes, it was shown in both Vit-02 and
14 03 a statistically significant benefit. And then,
15 in terms of resolution of vitreous-hemorrhage
16 density, in both Vit-02 and Vit-03, showing a
17 statistically significant benefit at two months.
18 So, in that limited application, that is the
19 benefit that I see. That is the efficacy.

20 DR. FONG: What is your answer?

21 DR. BROWN: Yes.

22 DR. FONG: Dr. Gates?

23 DR. GATES: I am certainly torn between
24 both camps here. I believe that efficacy in the
25 population as a whole, and scientifically, wearing

1 my scientific hat, I would say no. Taking care of
2 the individual, I think there are individual
3 patients out there that would certainly benefit.
4 But I think I have to vote, as far as for the
5 population as a whole, and say no. The data
6 doesn't show.

7 DR. FONG: Donald Fong. I wanted to
8 commend the sponsor on tackling a tremendously
9 difficult problem, being the first in the field to
10 investigate the treatment for vitreous hemorrhage,
11 tremendously difficult endpoints that are hard to
12 come by. I think it is a very important area to
13 study.

14 However, I am concerned about the
15 surrogate endpoint. What I am concerned about is
16 the connection between the endpoint and what we are
17 ultimately interested in which is vision. I don't
18 see that connection being presented and being
19 supported. So I have concerns about the endpoint
20 and I also have concerns about replicability.

21 It seems like each study shows positive
22 findings in different endpoints. So that suggests
23 to me that chance might be playing a role here. So
24 I would answer no.

25 Dr. Feman?

1 DR. FEMAN: I vote no, also. I believe
2 that the problem is that the endpoints that we are
3 looking at are not endpoints that were accepted by
4 the FDA as was initially proposed. Therefore, if
5 we are looking at just the evidence that was
6 submitted in terms of what we are expecting to see,
7 the answer is no.

8 DR. FONG: Dr. Dunbar?

9 DR. DUNBAR: I also vote no. I share Dr.
10 Brown's observations that, at two months, visual
11 acuity and density of hemorrhage did show
12 statistical significance and it was replicated
13 between both trials. But, again, as others have
14 observed, there were so many endpoints. These were
15 not the primary endpoints as the study was
16 initially designed.

17 DR. FONG: Dr. Steidl?

18 DR. STEIDL: I guess the way the question
19 is worded, I would vote no, too, given my concerns
20 about the surrogate endpoint, like Dr. Fong's. The
21 marginal benefit of three-line vision and
22 hemorrhage at two and then not being carried
23 through to three months, and then it not being
24 shown with the primary endpoint.

25 MS. KNUDSON: Paula Knudson. I am

1 persuaded to say yes on the basis that if it does
2 provide some patients with earlier and better
3 management, I think it is worth having.

4 DR. FONG: I would like to move on to
5 Question No. 2, if there are no objections.

6 Kimberly wanted me to report the vote, which I
7 have, which is four for yes and eight for no to
8 Question No. 1.

9 Question No. 2 is, "If not, then what
10 additional studies are needed to establish the
11 efficacy of this product?" To answer this very
12 basic question, I am going to start with Paula.

13 MS. KNUDSON: I am insufficiently well
14 versed to know what kind of studies should be
15 designed.

16 DR. FONG: Dr. Steidl?

17 DR. STEIDL: In addition to a lot of
18 things I would like to know including how it might
19 just stack up to vitrectomy surgery. I think the
20 one thing that I would like to know more about is
21 just plain quality of life. In reading this, as
22 was clarified, the pain might not be what it seems
23 when you are reading this. A lot of these things
24 might shake out a little bit differently if you
25 were really asking patients detailed questions

1 about what their experiment was and the value of
2 it.

3 DR. FONG: Is that enough clarification
4 for you? Okay.

5 Dr. Dunbar?

6 DR. DUNBAR: I would be interested to know
7 if there is some subgroup of these patients, if all
8 the of the diabetics were sorted out, if patients
9 with earlier hemorrhages were sorted out in these
10 kinds of situations, if there is a subgroup this is
11 especially useful for, perhaps even just
12 redesigning the study based on the information
13 here, the density reduction at two months and the
14 visual acuity improvement at two months, may be
15 enough to help the company to achieve approval.

16 DR. FONG: Dr. Feman?

17 DR. FEMAN: I am sort of surprised that
18 the company did not pursue the surrogate endpoints
19 to an acceptable level for FDA approval. Somewhere
20 in the packet that we received, they had initially
21 suggested some surrogate endpoints and then it was
22 not completed. I think that is what needed to be
23 done is to prove that the surrogate endpoints that
24 seem to have some value to them are still
25 worthwhile. I think they need to look at these

1 surrogate endpoints and make them an acceptable
2 endpoint.

3 DR. FONG: Donald Fong. I go back to what
4 I have said earlier. When I do anything to
5 patients, I want to know that I am doing something
6 that is helpful to them. What I like to see is
7 evidence to support that this actually is doing
8 something.

9 I think I would like to see that they are
10 getting some useful vision back or that it is
11 preventing a vitrectomy and, if they are getting
12 vision back, that it is persistent. So I would
13 like to see sort of an analysis of useful vision,
14 vitrectomy rates and maybe the time involved, a
15 Kaplan-Meier analysis.

16 I agree with what Dr. Steidl said and that
17 is that too often--I often do this myself--forget
18 the patient's perspective. I would really like to
19 see how the patient feels about this, whether their
20 quality of life improves, where they are able to
21 recognize people better, they are more able to
22 ambulate better, something along those lines to
23 support its use.

24 Dr. Gates?

25 DR. GATES: I would also concur and like

1 to see some data with quality of life.

2 DR. FONG: Dr. Brown?

3 DR. BROWN: Jeremiah Brown. I would also
4 like to see quality-of-life data and also
5 validation of the surrogate endpoints. I think
6 that these are very useful. If we could show that
7 earlier treatment, earlier visualization actually
8 made a difference in the outcome for the patient,
9 then that would make me feel even more positive
10 about it.

11 DR. FONG: Dr. Pulido?

12 DR. PULIDO: I had voted yes, so, because
13 of that, I will forego answering Question No. 2.

14 DR. FONG: Dr. Wilkinson?

15 DR. WILKINSON: Pat Wilkinson. I would
16 like to defer my response to Question 3 except to
17 point out that I think these endpoints were poorly
18 stated. To look at this drug as a drug to improve
19 vision, or to improve quality of life just because
20 it is stuck in there, is not particularly relevant.
21 I don't think that, theoretically, you really would
22 expect that without some kind of additional therapy
23 in most cases.

24 DR. FONG: Dr. Chew

25 DR. CHEW: I don't have much more to add,

1 other than the quality of life. I think it is very
2 important from the patient's point of view.

3 DR. FONG: Dr. Phillips?

4 DR. PHILLIPS: I had coming at the end of
5 the line. I have to echo everything everyone said
6 about the quality of life, since Vitrase is not
7 really designed to treat the underlying condition
8 but just treat the vitreous hemorrhage. So we need
9 to know how much is that treatment improving their
10 quality of life versus a gold standard for treating
11 vitreous hemorrhage such as a vitrectomy.

12 DR. FONG: Dr. Tan?

13 DR. TAN: I would like the company to
14 revisit what are the really expected benefits for
15 this product. If the product is really based on
16 the mechanism or the size, the product really leads
17 physicians to better diagnosis, then build the
18 endpoint on that.

19 DR. FONG: Any more observations about
20 Question 2? Dr. Wilkinson?

21 DR. WILKINSON: Pat Wilkinson. Don, I
22 would like to make one additional comment regarding
23 quality of life. It is critical. We all believe
24 in it, but Paul Lee, of Duke, has done extensive
25 analyses and interviews with patients. There are

1 certainly many patients that have been optimally
2 treated with heavy scatter photocoagulation who
3 have had blindness prevented--no doubt about
4 it--who are exceptionally unhappy. So I would
5 recommend that they are very careful on how they
6 structure these quality-of-life interviews because
7 not every patient appreciates all you have done for
8 them as opposed to to them, Don--that you have done
9 for them.

10 DR. FONG: As a retina specialist, I echo
11 that. Let's move on to Question No. 3. "Are
12 additional analyses of the current data needed to
13 understand the efficacy or safety of Vitrase for
14 the treatment of vitreous hemorrhage?"

15 Dr. Tan, would you mind if I started with
16 you, again?

17 DR. TAN: Okay. If they can get some--I
18 don't know if those data are available. It seems,
19 for the final outcome, whether they would need a
20 better outcome from the patients with vitrectomy
21 due to maybe an earlier diagnosis of the possible
22 clearing of the blood. So this type analysis, a
23 time-to-event analysis, would be useful.

24 DR. FONG: Dr. Phillips?

25 DR. PHILLIPS: I guess one thing we were

1 looking for, or looking at, would be if there was
2 maybe a little bit better visual-acuity
3 qualification early on, sort of admitting we can't
4 do a logMAR for the 28,000, as he indicates,
5 maximum number of patients. I would like to see
6 that, but I think that would be very difficult.

7 DR. FONG: Actually, I was reminded that
8 Question No. 3 is a yes/no answer. So I have to go
9 back to Dr. Tan and ask him, are additional
10 analyses of the current data needed to understand
11 the efficacy or safety of Vitrase for the treatment
12 of vitreous hemorrhage? Yes or no?

13 DR. TAN: That would be yes.

14 DR. FONG: Dr. Phillips?

15 DR. PHILLIPS: I will vote yes.

16 DR. FONG: Dr. Chew?

17 DR. CHEW: I would say yes. I would like
18 to go down the same line that Bill Phillips is
19 going down. I would like to see analyses looking
20 at that very severe n and, instead of giving them
21 one line for each jump is to consider them all to
22 be at zero and see what happens, what proportion
23 would actually gain fifteen letters if we start off
24 with that. I can't tell from the data here,
25 although, at one month and two months and three

1 months, and see whether there is some improvement
2 from that.

3 DR. FONG: Dr. Wilkinson?

4 DR. WILKINSON: Pat Wilkinson. I
5 initially responded yes, so I am responding yes
6 again, as illogical as that may sound. I kind of
7 agree with what Dr. Dunbar brought up and that is,
8 if you can, perhaps, restratify these cases and
9 particularly look at the relatively fresh
10 hemorrhages and somehow identify what the doctor
11 was able to do for that patient, or to not do, and
12 comparing the control and the treatment arms.

13 DR. FONG: Dr. Pulido?

14 DR. PULIDO: Jose Pulido. Yes, there are
15 other data that would be worthwhile looking at.
16 The Bull-Dunbar effect, stratifying in terms of how
17 long the hemorrhage has been there, determining
18 whether there are differences and number of
19 patients on Coumadin in one group versus Coumadin
20 in the other group. Mentioned time and again, are
21 differences in race and it might be worthwhile, if
22 there are any second eyes that have been treated,
23 to see if there is any inflammatogenic effect in
24 the second eyes.

25 DR. FONG: Dr. Brown?

1 DR. BROWN: I would also say yes. The
2 visual-acuity issue is probably the most important
3 one from what I saw. I was looking at some data
4 that I had during the break. Jerry Fishman, who
5 does a lot of work on patients with low vision due
6 to hereditary retinal diseases, has a scale that he
7 has used for assessing LOGmar in patients who
8 cannot read 20/400, even down to count fingers.

9 I just noticed that the numbers that he
10 chooses are not as beneficial--well, they are quite
11 a bit lower than what was used for this study. So
12 1.84, I think it was, count fingers, he uses a much
13 lower number at 2, or a greater number; poorer
14 vision, in other words.

15 So, looking at the assessment of the
16 LOGmar and if that were changed how that would
17 affect the results. That is another thing that I
18 would like to look at. Then the third was the
19 stratifying based on the time of the vitreous
20 hemorrhage.

21 DR. FONG: Dr. Gates?

22 DR. GATES: I would also like to see that
23 stratification and I would say yes.

24 DR. FONG: I would answer yes, also. What
25 I really want to do is really understand what is

1 happening. I agree with Jose and Jeremiah and Pat,
2 what they said, that something is happening here.
3 I really want to figure out what is happening,
4 these patients that we are getting some transient
5 clearing. What is being done? Does that actually
6 lead to a treatment that affects the final visual
7 acuity.

8 So I need more sort of connection between
9 final visual acuity and the proposed outcome.
10 Also, I agree with Dr. Dunbar that it would be
11 helpful to know whether this treatment might be
12 effective in certain groups.

13 Dr. Feman?

14 DR. FEMAN: I agree with you all. I
15 agree, yes, that additional analyses of the current
16 data are needed to understand the efficacy of
17 Vitrase. A lot of the data is currently available,
18 it seems, and we just have not looked at it. But I
19 think we also need more data, but to answer the
20 specific question, yes.

21 DR. FONG: Dr. Dunbar?

22 DR. DUNBAR: A minor point, in addition to
23 the stratification, that I touched upon earlier. I
24 would be interested to see a subgroup analysis of
25 patients with previously diagnosed glaucoma for

1 safety issues.

2 DR. FONG: Dr. Steidl?

3 DR. STEIDL: I guess yes, in answer to the
4 question, simply because I think further analysis
5 might convince someone such as myself to change
6 their opinion about the efficacy. But I agree
7 about the early versus late treatment. That would
8 be very interesting and of value in terms of
9 functional vision.

10 Another thing I am sort of curious about
11 is maybe a little bit more detail than just three
12 steps, who had it, who didn't, for those who had
13 improvement, what percentage in the treated group
14 had five steps, six steps, seven steps, versus the
15 control. It might be interesting to know that, if
16 you did get an effect, the effect in the treated
17 group would be bigger. It would be curious.

18 DR. FONG: Paula?

19 MS. KNUDSON: Yes. I would like to see
20 the data mined for who are these patients who
21 actually had the benefit. Is there a difference in
22 age? Is there a difference in race? I would just
23 like to know more about those people and see
24 whether we could do something along those lines,
25 maybe structure the drug specifically for a type of

1 patient, specific type of patient.

2 DR. FONG: There is unanimity that there
3 needs to be additional analysis. That is the
4 answer to Question No. 3. Let's go ahead and move
5 on to Question No. 4, if there are no objections.
6 "Should the potential interaction, positive or
7 negative, of Vitrase with current treatments for
8 Vitrase hemorrhage be evaluated?"

9 I know it is unfair, but Ms. Knudson?

10 MS. KNUDSON: Of course, I would have to
11 say yes. I think it would be extremely important
12 to know.

13 DR. FONG: Dr. Steidl?

14 DR. STEIDL: I agree yes, but it is hard
15 for me to formulate a response at this time. If it
16 were possible to just take equal groups and compare
17 them to vitrectomy, it would be interesting for me.
18 But I am not sure that that is appropriate. I am
19 not sure what the right study is, but I think it
20 would be--if it could be properly thought out, the
21 answer is yes.

22 DR. FONG: Dr. Dunbar?

23 DR. DUNBAR: Actually, no. I think that
24 the company described in various different ways the
25 relationship between vitrectomy and the drug.

1 DR. FONG: Dr. Feman?

2 DR. FEMAN: I would vote yes. I think
3 that the drug might be very effective in
4 preplanning doing a vitrectomy. In other words, if
5 what we are seeing is the correct interpretation,
6 and I am not sure if that is, this would clear up
7 the eye in such a manner that one could, perhaps,
8 plan a vitrectomy although one does that when you
9 are doing a vitrectomy. So I don't know how the
10 drug would offer any benefit. But I still would
11 vote yes from that perspective.

12 DR. FONG: I will answer yes. I think
13 that the interaction between vitrectomy and use of
14 this product needs to be investigated further.
15 This is sort of what I said earlier, that it is
16 hard for me to tell what Vitrase is doing if we are
17 going to do a vitrectomy very soon after diagnosis
18 anyway.

19 Dr. Gates?

20 DR. GATES: I would also say yes.

21 DR. FONG: Dr. Brown?

22 DR. BROWN: Jeremiah Brown. Yes.

23 DR. FONG: Dr. Pulido?

24 DR. PULIDO: I would say yes, only for
25 negative, would it--just looking at the present

1 data and not having to do another study for the
2 company, does the use of Vitrase in any way cause a
3 deleterious effect following vitrectomy to vision?
4 I don't think any further study has to be done just
5 looking at the present data.

6 DR. FONG: Dr. Wilkinson?

7 DR. WILKINSON: Pat Wilkinson. I would
8 agree with what Dr. Pulido just said. There really
9 is no other treatment for this and the only
10 question might be how it alters the performance of
11 a vitrectomy. A vitrectomy is simply designed to
12 create a liquid-filled cavity also eliminating the
13 cortical vitreous. This drug does the first half
14 of that. Since there is no other treatment but
15 vitrectomy, what Jose said is something I agree
16 with.

17 DR. FONG: Dr. Chew

18 DR. CHEW: I would agree with that also.

19 DR. FONG: Dr. Phillips?

20 DR. PHILLIPS: I would also say no. They
21 essentially already have that data in that if the
22 Vitrase works and your view is clear enough to
23 either see, nothing needs to be done, they need or
24 laser or a combination of laser and vitrectomy.
25 You are already going on to those endpoints so I

1 don't think anything additional needs to be done
2 for that. So the answer is no.

3 DR. FONG: Dr. Tan?

4 DR. TAN: My answer is that I agree
5 exactly with Dr. Pulido, they don't need a
6 concurrent comparative study because they don't
7 really know the endpoint should be there. I think,
8 in addition, what would be interesting or useful
9 for us is some type of maybe a historical
10 comparison versus a concurrent study.

11 DR. FONG: That is a yes or a no?

12 DR. TAN: Technically, a yes.

13 DR. FONG: Let me go back to Dr. Phillips.
14 I think we didn't write it down. Was yours a yes
15 or a no?

16 DR. PHILLIPS: No.

17 DR. BROWN: May I amplify just to give a
18 reason? The one thing that I noticed in the data
19 was that trend toward fewer vitrectomies in the
20 Vitrase group. It would be very interesting to
21 know what were the indications for those
22 vitrectomies. If this is going to be a real thing,
23 that perhaps we reduce the need for vitrectomy by 5
24 percent, why is that and just to see what were the
25 indications.

1 DR. FONG: So the tally for the answer to
2 Question 4 is two no, ten yes. So, if there are no
3 objections, I would like to proceed to Question No.
4 5.

5 DR. CHAMBERS: Dr. Fong?

6 DR. FONG: Yes? Dr. Chambers?

7 DR. CHAMBERS: I would come back a little
8 bit to the question we just asked and ask whether
9 there is a feeling within the committee that
10 something like the following scenario, which I want
11 to propose, would be useful looking at. It has
12 been discussed that there is a possibility that
13 Vitrase would more liquify the vitreous making
14 vitrectomy easier, faster--easier in some fashion,
15 which was not collected in the present study
16 although, obviously, vitrectomies were done.

17 Is there a feeling, if you were to go back
18 and look at vitrectomy time, surgical time, would
19 that be reflective of an easier surgical case?

20 DR. FONG: Do you want a discussion or do
21 you want a vote?

22 DR. CHAMBERS: I want to know whether
23 there is a general feeling that that would be a
24 useful parameter to look at.

25 DR. FONG: Dr. Pulido?

1 DR. PULIDO: I don't think so because the
2 underlying pathology that caused the hemorrhages is
3 as important or more important than the amount of
4 time it takes to get that hemorrhage out of there.
5 So there would be so much data that would have to
6 be looked at retrospectively that it would be very
7 difficult to do. Again, my concern more is is
8 there a negative effect, not if there is a positive
9 effect.

10 DR. WILKINSON: Pat Wilkinson. I would
11 agree with the answer no for this trial. I would
12 think, based on what the sponsor's consultants
13 said, we would expect removal of blood to be
14 somewhat faster but the guts of a vitrectomy
15 operation in a diabetic patient are the interface
16 between the cortical vitreous and the retina.

17 It is extensive. You have got a very,
18 very difficult case. If it is simply an insertion
19 on the optic nerve, it is very, very simple. So
20 the essence of the operation and the difficulty of
21 the operation and the length of the operation are
22 going to be much more related to the underlying
23 vitreoretinal pathology than to simply removing the
24 blood.

25 DR. FONG: Dr. Feman?

1 DR. FEMAN: I will take the other tack,
2 although Pat is a friend of mine. I disagree with
3 the concept in that I think that there may be
4 something special to offer patients with this in
5 that, if you can reduce the time in the operating
6 room as a hypothetical case, imagine a patient with
7 heart failure and renal failure that you want to
8 just operate on as little as possible because of
9 their danger to them of the anesthesia, whether
10 local or any other type of anesthesia.

11 This would, perhaps, shorten your
12 operating time by a significant amount. Would that
13 be a benefit that this agent would offer?

14 DR. WILKINSON: Pat Wilkinson, again. I
15 agree. There is no doubt that it would shorten the
16 operating-room time, but you are talking about,
17 perhaps, one minute versus seven minutes. When the
18 dissection and delamination at the vitreoretinal
19 interface can take an incredibly much larger amount
20 of time.

21 So the variable of time for this surgery
22 in this indication, I think, would be a difficult
23 study to set up and should probably be limited to
24 very specific indications for the vitrectomy.

25 DR. FONG: Thank you. Let's move on to

1 Question 5. "Are there adverse experiences that
2 are of particular concern for this product?" We
3 will start with Ms. Knudson.

4 MS. KNUDSON: Paula Knudson. I was struck
5 by the amount of pain that people reported. I am
6 unclear and would like more clarification. Does
7 every kind of injection into the eye produce this
8 kind and amount of pain, because I don't know that.

9 DR. FONG: That is an interesting
10 question. I guess before we answer that, let's
11 have a discussion about that issue. Maybe Wiley
12 and Jennifer can give us maybe some baseline on
13 what you guys think of it. How does it compare,
14 let's say, to Vitravene or gancyclovir injections?

15 DR. CHAMBERS: Wiley Chambers. Actually,
16 I don't think I am probably the best one to be
17 answering it in this particular case since I am
18 reading the papers from the sponsor. You have
19 people who have actually been in the room with the
20 patients that have received it. I would suggest
21 they are better ones to ask.

22 DR. FONG: Maybe, Barry, you gave us some
23 data before. Maybe you can sort of tell us again
24 and compare that against gancyclovir injections and
25 so forth.

1 DR. KUPPERMAN: I may, in fact, have the
2 most experience here with giving injections because
3 of my history of treating AIDS patients with
4 gancyclovir. I saw and I did have a fair number
5 of patients that we have treated with the Vitrase.
6 I saw difference between the responses to the two
7 types of injections. That includes also having
8 done a significant number of triamcinolone
9 injections and other sorts of injections as well
10 for endophthalmitis, et cetera. Endophthalmitis,
11 of course, is typically more associated with pain
12 because of the more inflamed eye, but this is very
13 similar to the sort of site-injection pain
14 associated with either a gancyclovir, foscarnate
15 injection for an AIDS patient or with a
16 triamcinolone injection for a patient with diabetic
17 macular edema.

18 DR. FONG: Barry, do you remember, sort
19 of, the number or the percentage of pain that was
20 reported for those studies? Do you happen to
21 remember that?

22 DR. KUPPERMAN: No. Again, this is simply
23 a matter of asking--the patient's complaint and the
24 comment about the pain and the irritation that
25 followed. It was typically similar across all

1 these types of injections. We do injections on a
2 regular basis, and there was nothing that separated
3 the subset of patients that received Vitrase
4 injections from the patients who received all the
5 other types of injections I have been involved
6 with.

7 MS. KNUDSON: I was just curious whether
8 it was the injection, itself, or whether it was the
9 drug, itself, that was inducing the pain.

10 DR. KUPPERMAN: There was no evidence that
11 it was the drug, itself. It was the site injection
12 from the needle stick.

13 DR. FONG: Dr. Steidl?

14 DR. STEIDL: My answer is no.

15 DR. FONG: Dr. Dunbar?

16 DR. DUNBAR: My answer is yes, I am
17 concerned about the iritis.

18 DR. FONG: Dr. Feman?

19 DR. FEMAN: My answer is no.

20 DR. FONG: I am the sort of a person who
21 likes more information. So, do I have particular
22 concern? My answer would be yes, just until I had
23 more information to understand exactly what is
24 going on with those retinal detachments. Is it
25 related to the injection or not, just sort of more

1 analysis along those lines.

2 Also, I am concerned about the issue of
3 pigment and inflammation that Dr. Pulido raised
4 especially if one is concerned about, or one is
5 interested in, injecting in both eyes. Certainly,
6 we would not want to insight a severe inflammation
7 response with injection into the second eye.

8 Dr. Gates?

9 DR. GATES: I am interested in the
10 mechanism of action of the hypopyons although I
11 feel like the company has a good handle on what is
12 going on and how to handle and how to follow these
13 folks. I think that is something you are going to
14 see.

15 DR. FONG: No concern? Okay.

16 Dr. Brown?

17 DR. BROWN: Jeremiah Brown. My answer is
18 yes. My basic issue is the saline rate of retinal
19 detachment, 5.8 percent, 55 International units of
20 Vitrase rate was 10.3 percent. If, in fact, it is
21 that the view is clearing, another thing that could
22 be done is to go back and look at those records and
23 look at the patients who never had--who did not
24 have a detachment early but maybe still had
25 vitreous hemorrhage so we couldn't see that.

1 How about when that hemorrhage eventually
2 cleared? Do those rates start coming up to match
3 each other? That would be one way to look at it.

4 DR. FONG: Dr. Pulido?

5 DR. PULIDO: Yes, for the concerns already
6 raised.

7 DR. FONG: Dr. Wilkinson?

8 DR. WILKINSON: Pat Wilkinson. A mild yes
9 for the issues that you and Jose brought up.

10 DR. FONG: Dr. Chew

11 DR. CHEW: Yes, just for the retinal
12 detachments in particular.

13 DR. CHEW: Dr. Phillips?

14 DR. PHILLIPS: No.

15 DR. FONG: Dr. Tan?

16 DR. TAN: Yes. I feel that the rate for
17 retinal detachment is too high for me.

18 DR. FONG: So the tally for Question No. 5
19 is eight yes and four no.

20 With no objections, I would like to
21 proceed to Question 6. "Is there a concern about
22 the death rate observed in these studies?" Dr.
23 Tan?

24 DR. TAN: No. It seems the death rates
25 are comparable to the patient population.

1 DR. FONG: Dr. Phillips?

2 DR. PHILLIPS: No, for the same reason.

3 DR. FONG: Dr. Chew

4 DR. CHEW: No. We know that patients with
5 proliferative disease have a high rate of
6 mortality. I just hope that the next studies that
7 they take this into account for their power-size
8 calculation because it is significant.

9 DR. FONG: Dr. Wilkinson?

10 DR. WILKINSON: No.

11 DR. FONG: Dr. Pulido?

12 DR. PULIDO: No.

13 DR. FONG: Dr. Brown?

14 DR. BROWN: No.

15 DR. FONG: Dr. Gates?

16 DR. GATES: No.

17 DR. FONG: Donald Fong. No.

18 Dr. Feman?

19 DR. FEMAN: No.

20 DR. FONG: Dr. Dunbar?

21 DR. DUNBAR: No.

22 DR. FONG: Dr. Steidl?

23 DR. STEIDL: No.

24 DR. FONG: Ms. Knudson?

25 MS. KNUDSON: No.

1 DR. FONG: So it is unanimous no to
2 Question No. 6. I would like to go to Question No.
3 7. "Do the benefits of using Vitrase outweigh the
4 risks in the treatment of vitreous hemorrhage?" I
5 forgot which side I started on. Paula?

6 MS. KNUDSON: Paula Knudson. I think yes,
7 not the most positive yes, but yes.

8 DR. FONG: Dr. Steidl?

9 DR. STEIDL: In view of everything said so
10 far, this is a difficult question to answer. I
11 think I might give a mild yes. We acknowledge the
12 difficulty with statistical significance but both
13 the benefits and the risks are small, so I guess
14 yes, with a few qualifications.

15 DR. PULIDO: Can I ask a point of
16 clarification? The first question was, has
17 sufficient evidence been submitted to support the
18 efficacy. So if people have voted no for efficacy,
19 can they vote yes for benefits?

20 DR. FONG: I think, to be consistent, I
21 will defer to Wiley and Jennifer and see what their
22 experience was. It seems like, if you are going to
23 say that there is no evidence submitted,
24 insufficient evidence. It is hard to be consistent
25 and say that there now is a benefit. Scott? Dr.

1 Chambers?

2 DR. CHAMBERS: We bring products before
3 the committee because we have not made final
4 decisions. We write questions because we don't
5 know what the vote is going to be ahead of time.
6 So we try and provide the different contingencies.
7 The assumption was that this question is more
8 relevant if the first question comes out a majority
9 of people thinking there is sufficient efficacy and
10 then there is a question of efficacy versus risk.

11 If there is not felt to be sufficient
12 efficacy initially, then we think it was unlikely
13 that you would come up with a vote that said that
14 the benefits outweigh the risks in the last
15 question, but we were trying to cover the various
16 potential contingencies not knowing how a vote
17 would come out ahead of time.

18 DR. FONG: Would you like us to poll the
19 yes--no? I hear a no from Dr. Bull.

20 DR. BULL: Jonca Bull. I guess the
21 committee will have to weigh whether or not there
22 may be some internal contradiction as Wiley has
23 articulated relative to the questions trying to
24 anticipate the contingencies, as he stated. If
25 there is a consensus that the vote on the first

1 question, on efficacy, it sort of puts you in
2 somewhat of a dilemma here to comment on benefits
3 and risk when the comments we received before don't
4 go in the direction of having established
5 sufficient efficacy. So I will defer to you all as
6 to whether or not you want to pursue responding or
7 to just overall provide some comments.

8 We are hearing rather tepid responses from
9 the folks who have responded already.

10 DR. FONG: Wiley, would you accept just an
11 overall discussion instead of a yes/no answer to
12 this?

13 DR. CHAMBERS: Yes.

14 DR. FONG: Dr. Pulido?

15 DR. PULIDO: Since I brought this question
16 up, I would like, then--if people are saying yes
17 for this last one, I would like them to reconsider,
18 then, their vote for the first one.

19 DR. STEIDL: Let me say something to that.
20 Maybe with more clarification of what we are
21 answering, it would be easier. I take the first
22 question to be something quite specific relative to
23 statistical proof and the last one a letter of the
24 law versus spirit of the law. Is there a time when
25 you would use it where you are thinking maybe you

1 don't have an alternative, is this a dangerous
2 drug.

3 I am still not completely clear what the
4 question is asking but, if it is a broader
5 question, I could say very mildly yes, but
6 depending upon how we are approaching it.

7 DR. FONG: I think there are two issues.
8 Wiley said you don't need to vote yes or no and
9 second is that, if you have specific comments, I
10 think you definitely want to report it so that the
11 company and the FDA knows what the issues are.

12 DR. CHAMBERS: This is Wiley Chambers.
13 The assumption was that this question would only
14 come up if we decided or if the committee had
15 decided there were sufficient benefits and
16 sufficient efficacy established in what was
17 Question 1. We then asked other questions to get a
18 fuller discussion to try and get additional aspects
19 as far as the analysis.

20 Remember, the agency will take back all
21 this information and make a determination whether
22 we think the drug should be on the market or not.
23 That is not the question that we are asking, per
24 se. What we are asking for are are there clear
25 benefits that outweigh the risks for the particular

1 drug in this particular case based on the
2 information you have seen.

3 We understand and will take in all the
4 various comments and we understand the issues of
5 the individual patients and the other factors that
6 go in with providing treatment. But we are looking
7 at the overall benefits versus the risks based on
8 the data that you have seen presented.

9 DR. STEIDL: I will say no. I think it is
10 an issue--

11 DR. FONG: You don't need to say yes or
12 no, just so you know.

13 DR. STEIDL: All right. I think what this
14 brings up are some interesting issues. First of
15 all, the person who might not be able to have a
16 vitrectomy and a number of other scenarios where,
17 if you had something available where someone was
18 scared of surgery, would you consider using it if
19 you didn't think that the risks were too high.

20 Again, maybe just as an adjunct to the
21 greater question if you are trying to look to other
22 areas for insights, I think that maybe at face
23 value, this should be linked to Question 1. But I
24 am taking it as a broader question. I think there
25 might be situations where you want to consider

1 using it I guess is all I can say, if it were
2 available.

3 DR. FONG: So, to summarize, I think you
4 would say yes you could imagine a situation if it
5 can be shown to be effective.

6 DR. STEIDL: Right.

7 DR. FONG: Dr. Dunbar?

8 DR. DUNBAR: I appreciate Dr. Steidl for
9 clarifying this because the way he expressed it is
10 the way that I feel. This also gets back to the
11 stratification issue. I am very hopeful that we
12 will be able to determine a subgroup of patients
13 that this is helpful for, but in light that I voted
14 no for the efficacy, I would have to vote no the
15 way things stand at this point in time.

16 DR. FONG: Dr. Feman?

17 DR. FEMAN: To read the question the way
18 it is phrased, the risks in the treatment of
19 vitreous hemorrhage using this is not really much
20 different than the risk of injecting saline except
21 for the hypopyon and the other things that we find
22 are easy to treat.

23 The benefits potentially could outweigh
24 the risk of injecting saline but just barely. So I
25 think, to answer this question the exact way it is

1 phrased, I would say yes even though I voted no on
2 the first portion.

3 DR. FONG: I agree with what has been said
4 so far which is that the risks are relatively low.
5 However, I am not convinced of the benefit so, if
6 you have a no on the numerator, let's say, or one
7 portion of the equation, then the whole equation
8 would have to be no.

9 Dr. Gates?

10 DR. GATES: I would concur. I am also
11 optimistic that there is a subgroup of patients,
12 perhaps a very, very sick group of patients or a
13 fearful group of patients that can benefit from
14 this in the data that I have seen so far.

15 DR. FONG: Dr. Brown?

16 DR. BROWN: Yes.

17 DR. FONG: Dr. Pulido?

18 DR. PULIDO: Yes, minimally.

19 DR. FONG: Dr. Wilkinson?

20 DR. WILKINSON: Yes.

21 DR. FONG: Dr. Chew

22 DR. CHEW: I would also say yes. I think
23 that my answer is similar, I think, to what Scott
24 was saying earlier that statistically looking at it
25 in general, it was difficult to give an efficacy.

1 But I can imagine some clinical situation where I
2 think it would be very useful and there are some
3 patients who may benefit from this.

4 I am not that a separate analysis can be
5 done in this case. It is such small numbers to
6 begin with that it is always dangerous to go on
7 subgroups, but I am sure there are probably some
8 patients who really are benefitting from this.

9 DR. FONG: Dr. Phillips?

10 DR. PHILLIPS: To be consistent with how I
11 voted on No. 1, I am going to say no. But I do
12 think that a specific subgroup, either medically
13 unable to go through a vitrectomy or just literally
14 refuse to go through surgery may benefit. But,
15 looking at the overall group of patients with
16 vitreous hemorrhage, I will say no.

17 DR. FONG: Dr. Tan?

18 DR. TAN: I understand we don't have to
19 vote here, but my answer is no, not as presented.

20 DR. FONG: We have a split vote, six for
21 yes and six for no. Is there anything else that
22 the FDA or the sponsor would like--

23 DR. CHAMBERS: Nothing from the FDA's
24 perspective except to thank you very much for your
25 time and efforts.

1 DR. KUPPERMAN: I don't mean to interrupt,
2 but I got seven/five. I'm sorry. I guess I would
3 like to sort of clarify as I was running through my
4 math. I didn't get whether Scott ended up being a
5 yes or a no because that would have made it six/six
6 versus seven/five. I don't know if that matters or
7 not, but when I was doing the tally, I had
8 seven/five. That is the only reason I want to
9 clarify.

10 MS. TOPPER: According to the records,
11 Scott did change his mind to no; is that correct?

12 DR. FONG: Scott, what was your vote?

13 DR. STEIDL: I am a little confused about
14 the question, personally. But I did say
15 similarly--my feeling is similar to what Stephen
16 Feman stated that, although I said no to the first,
17 I am thinking about the last question in a broader
18 sense. So I guess you could put me as a yes. I
19 could clarify that in detail, if you want, but--I
20 think that there probably are subsets and patients
21 where I would consider it so I kind of feel that I
22 would have to say yes to this even though it may
23 seem contradictory.

24 DR. FONG: Scott, when was the last time
25 you stopped beating your wife? This concludes the

1 subcommittee meeting of the Ophthalmic Drug
2 Advisory Committee of the FDA. The final vote was
3 seven yes, five no looking at Vitrase sponsored by
4 ISTA Pharmaceuticals. Thank you.

5 [Whereupon, at 2:45 p.m., the meeting was
6 adjourned.]

7 - - -