

ajh

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ANTI-INFECTIVE DRUGS ADVISORY
COMMITTEE (AIDAC) MEETING

Wednesday, March 5, 2003

9:00 a.m.

Marriott Washingtonian Center
Grand Ballroom
975 Washington Boulevard
Gaithersburg, Maryland

MILLER REPORTING COMPANY, INC.
735 8th Street, S.E.
Washington, D.C. 20003-2802
(202) 546-6666

PARTICIPANTS

James E. Leggett, Jr., M.D., Acting Chair
Tara P. Turner, Pharm.D., Executive Secretary

MEMBERS

David M. Bell, M.D.
Alan S. Cross, M.D.
Mary P. Glode, M.D.
Celia J. Maxwell, M.D.
Jan E. Patterson, M.D.
Ellen Wald, M.D.

ACTING INDUSTRY REPRESENTATIVE (NON-VOTING)

Kenneth R. Brown, M.D.

CONSULTANTS

John S. Bradley, M.D.
James H. Jorgensen, Ph.D.
Donald M. Poretz, M.D.
Michael Proschan, Ph.D.
L. Barth Reller, M.D.
Keith A. Rodvold, Pharm.D.
Maria H. Sjogren, M.D.

GUEST SPEAKER (NON-VOTING)

Francis Tally, M.D.

FDA

Renata Albrecht, M.D.
Edward Cox, M.D., M.P.H.
Mark Goldberger, M.D., M.P.H.
John Powers, M.D.
Janice Soreth, M.D.

C O N T E N T S

	<u>PAGE</u>
Call to Order: James E. Leggett, Jr., M.D.	4
Introduction of Committee	4
Conflict of Interest Statement: Tara P. Turner, Pharm.D.	6
Opening Comments: Mark Goldberger, M.D., M.P.H.	9
Linkages of Resistance Determinants in Bacteria: James H. Jorgensen, Ph.D.	17
Questions from Committee	41
Industry Perspective on List of Pathogens: Francis P. Tally, M.D.	51
Questions from Committee	69
List of Pathogens of Public Health Importance: John H. Powers, M.D.	76
Questions from Committee	116
Open Public Hearing: Dr. Jerome Schentag Dr. Richard Gesser	175 179
How Clinicians Use data for Clinical Decision Making: John Bradley, M.D.	182
Relating Clinical Data from One Disease to Another: Edward Cox, M.D., M.P.H.	195
Committee Discussion	211
Summary	291

1 P R O C E E D I N G S

2 **Call to Order**

3 DR. LEGGETT: Good morning. I would like
4 to welcome everyone for today's March 5th's meeting
5 of the Anti-Infective Drugs Advisory Committee.

6 A little housekeeping since it is now 9
7 o'clock, committee members, you have a little green
8 menu in front of you. That menu needs to be filled
9 out and passed to Tara, so we can get it in by 9:30
10 if you want to have lunch. Lunch will be served
11 next-door in Salon D today, but we will need your
12 menus.

13 Can we begin the day by having everyone
14 introduce themselves. I guess I will start down at
15 that corner.

16 **Introduction of Committee**

17 DR. GOLDBERGER: Mark Goldberger from the
18 Office of Drug Evaluation IV, FDA.

19 DR. COX: Ed Cox, Deputy Director, Office
20 of Drug Evaluation IV, FDA.

21 DR. SORETH: Good morning. I am Janice
22 Soreth. I am the Division Director for
23 Anti-Infectives.

24 DR. ALBRECHT: Hello. I am Renata
25 Albrecht, Director of Division of Special Pathogen

1 and Immunologic Drug Products.

2 DR. PORETZ: I am Don Poretz in private
3 practice of infectious disease in Fairfax,
4 Virginia.

5 DR. PATTERSON: Jan Patterson, Medicine-
6 Infectious Diseases, University of Texas Health
7 Science Center, San Antonio.

8 DR. RODVOLD: Keith Rodvold, University of
9 Illinois at Chicago.

10 DR. TURNER: Tara Turner, Executive
11 Secretary for the committee.

12 DR. LEGGETT: Jim Leggett, Infectious
13 Diseases, Oregon Health Sciences University and
14 Providence Portland Medical Center.

15 DR. WALD: Ellen Wald, Pediatric
16 Infectious Diseases, University of Pittsburgh
17 School of Medicine.

18 DR. GLODE: Mimi Glode, Pediatric
19 Infectious Disease, Children's Hospital, University
20 of Colorado.

21 DR. BRADLEY: John Bradley, Pediatric
22 Infectious Diseases, Children's Hospital, San
23 Diego.

24 DR. RELER: Barth Reller, Infectious
25 Diseases, Director of Clinical Microbiology, Duke

1 University.

2 DR. CROSS: Alan Cross, Infectious
3 Diseases, Center for Vaccine Development at the
4 University of Maryland.

5 DR. BELL: David Bell, National Center for
6 Infectious Diseases at the CDC in Atlanta.

7 DR. JORGENSEN: Good morning. I am Jim
8 Jorgensen from the University of Texas Health
9 Science Center.

10 DR. PROSCHAN: I am Mike Proschan from the
11 National Heart, Lung, and Blood Institute.

12 DR. BROWN: Ken Brown, Infectious Disease,
13 University of Pennsylvania, representing industry.

14 DR. LEGGETT: Welcome, everyone.

15 Now, Dr. Turner, could you read the
16 conflict of interest statement, please.

17 **Conflict of Interest Statement**

18 DR. TURNER: The following announcement
19 addresses the issue of conflict of interest with
20 respect to this meeting and is made a part of the
21 record to preclude even the appearance of such at
22 this meeting.

23 The topics of today's meeting are issues
24 of broad applicability. Unlike issues before a
25 committee in which a particular product is

1 discussed, issues of broader applicability involve
2 many industrial sponsors and academic institutions.

3 All special Government employees and
4 Federal participants have been screened for their
5 financial interests as they may apply to the
6 general topics at hand. The following participants
7 have reported no current financial interests with
8 regards to pharmaceutical companies: Drs. Mary
9 Glode, David Bell, and Michael Proschan.

10 Dr. Donald Poretz has reported a financial
11 interest in a pharmaceutical company covered under
12 CFR 2640.202(b) de minimus exemption.

13 The following participants have reported
14 interests in pharmaceutical companies and the Food
15 and Drug Administration has granted general matters
16 waivers to the following SGEs which permits them to
17 participate in today's discussions: Drs. James
18 Leggett, Ellen Wald, Alan Cross, Celia Maxwell, Jan
19 Patterson, John Bradley, Donald Poretz, L. Barth
20 Reller, Judith O'Fallon, James Jorgensen, and Keith
21 Rodvold.

22 A copy of the waiver statements may be
23 obtained by submitting a written request to the
24 Agency's Freedom of Information Office, Room 12A-30
25 of the Parklawn Building.

1 Because general topics impact so many
2 institutions, it is not prudent to recite all
3 potential conflicts of interest as they apply to
4 each member and consultant.

5 FDA acknowledges that there may be
6 potential conflicts of interest, but because of the
7 general nature of the discussion before the
8 committee, these potential conflicts are mitigated.

9 With respect to FDA's invited speakers,
10 there are reported interests which we believe
11 should be made public to allow the participants to
12 objectively evaluate their comments. Dr. Francis
13 Tally is Chief, Scientific Officer, at Cubist
14 Pharmaceuticals. Dr. Tally also owns stock in
15 Cubist.

16 In addition, we would like to disclose
17 that Dr. Kenneth Brown is participating in this
18 meeting as an acting industry representative,
19 acting on behalf of regulated industry. Dr. Brown
20 owns stock in Merck and in his rollover retirement
21 account he owns shares in Pfizer, Genentech, and
22 Johnson & Johnson, as of December 31, 2002. Dr.
23 Brown also serves as a consultant to Wyeth and
24 Merck and works one to four days per month.

25 In the event that the discussions involve

1 any other products or firms not already on the
2 agenda for which FDA participants have a financial
3 interest, the participants involvement and their
4 exclusion will be noted for the record.

5 With respect to all other participants, we
6 ask in the interest of fairness that they address
7 any current or previous financial involvement with
8 any firm whose product they may wish to comment
9 upon.

10 Thank you.

11 DR. LEGGETT: Thank you.

12 Dr. Goldberger, could you please provide
13 us with some opening comments.

14 **Opening Comments**

15 DR. GOLDBERGER: Yes. I would like to
16 welcome everybody to the second day of this
17 advisory committee. Yesterday, we had a very
18 interesting discussion focused around a product.
19 Today, we are going to continue what has been an
20 ongoing effort stretching over a few years at least
21 and actually more intensively over the last year or
22 so to look at issues related to the development of
23 antimicrobial drugs including antimicrobial drugs
24 for resistant indications.

25 We have had a major two-day advisory

1 committee in early February of 2002, another
2 meeting in the summer, a meeting with IDSA, PhRMA,
3 and ourselves this past fall, and now today's
4 meeting to talk about a variety of issues that we
5 hope will lead to encouraging the development of
6 new antimicrobial drugs and to also thinking about
7 ways that we can really facilitate that approach,
8 encourage companies by perhaps reducing the overall
9 amount of resources that are necessary, but at the
10 same time, at the end of the day, get information
11 that is at least is of high quality, if not higher
12 quality, than what we have been accustomed to in
13 the past.

14 Today's meeting is going to focus on two
15 issues that have come up as part of some of these
16 meetings. One issue could be summarized briefly by
17 referring to it as the list, that is, the list of
18 microbial organisms, and today really we are
19 concentrating on bacterial organisms that are of
20 public health interest for which we should really
21 be encouraging the development of drugs.

22 Again, the organisms on this list
23 generally tend, in fact, to be those that have
24 demonstrated some level of resistance to therapies
25 that have been commonly used to, for instance,

1 treat.

2 We have had some discussions about this.
3 Industry has expressed a great interest in having a
4 little more in the way of something defined as to
5 what organisms we believe are important, so that
6 they can look carefully to decide whether or not
7 these things I think represent appropriate
8 opportunities for them.

9 We are certainly in agreement that
10 providing guidance to industry about these things
11 is useful. On the other hand, a list per se,
12 simply making up a lot of organisms does require
13 one to then be thinking about updating it, you
14 know, on a regular basis, and one of the things we
15 want to talk about today is how one sort of decides
16 the kind of things that ought to be on some list,
17 what are the parameters that are appropriate, so
18 that the list can be dynamic and yet not overly
19 burdensome in terms of thinking about what ought to
20 be on it, and more to the point, if we can define
21 some parameters, then that gives industry a little
22 more flexibility.

23 Should a new issue come up, industry will
24 not be in the position of looking to see is this on
25 the list, is this not on the list. They will be

1 able to use parameters that have been discussed and
2 defined to make an argument about why such
3 organisms are appropriate targets for antimicrobial
4 drug development.

5 So, that is going to occupy discussion I
6 think most of the morning, and we have several
7 presenters who will be talking about this issue.
8 We hope to have a fair amount of committee
9 discussion.

10 In the afternoon, we are going to talk
11 about another topic that we hope will lead to more
12 expedited drug development, and that is sort of
13 looking at the overall package that many companies
14 submit as part of, you know, development of a new
15 antimicrobial.

16 As most of you are probably aware, it is
17 uncommon although not by any stretch unheard of for
18 a new antibacterial drug to come in for a single
19 indication. Generally speaking, drugs come in for
20 a variety of indications.

21 To give you a good example,
22 fluoroquinolones is one example, as well as some
23 macrolides, often will come in for a variety of
24 respiratory indications, in part because from a
25 purely business point of view, it makes much more

1 sense to have this package when you are trying to
2 get drugs on formulary, so when you can promote
3 them of having related indications, and in some
4 cases, the breadth of indications will be even
5 broader.

6 There will be respiratory indications,
7 sometimes intra-abdominal indications, skin,
8 complicated skin, et cetera, so the packages can be
9 fairly large.

10 In general, multiple studies have been
11 submitted for each of these indications. There
12 are--and we will be talking about this, this
13 afternoon--clear exceptions to the idea that you
14 need multiple studies for each indication.

15 One of the things we really want to talk
16 about is can we advance the model as to how
17 indications could support one another to a point
18 where it will facilitate overall development by
19 perhaps reducing the size of a development program,
20 and at the same time will provide a rational
21 approach both to the general issues of development
22 over a broad range of indications and to the
23 related and very important issue that companies
24 have addressed with us in meetings on a one-on-one
25 basis, et cetera, I think has come up at open

1 meetings like this, as well as, we want to get an
2 indication for a resistant organism.

3 Sometimes it is difficult to acquire
4 adequate numbers of those organisms from a study or
5 studies in a single body site, what is the
6 latitude, how much pooling across body sites can be
7 done. As you can see, that is related to the
8 overall issue of how indications support one
9 another.

10 We think this is an important issue. We
11 think from a practical point of view, and I go back
12 to the years that I have spent in practice, that
13 clinicians are prepared to make inferences as to
14 how a drug is likely to perform based on how it
15 performs in other settings, and I certainly think
16 that a drug that one feels more comfortable--this
17 is my own personal opinion--about how a drug will
18 perform in a seriously ill patient if there is
19 already data suggesting that in other serious
20 illnesses or infections, the drug has performed
21 well.

22 I think that at times, although as a
23 clinician we are comfortable doing that, from a
24 regulatory point of view, we don't clearly have
25 that laid out as to how that might occur, and I

1 think that that is an area that is worth talking
2 about in some detail, talking about the parameters
3 that might help us in deciding what indications
4 could support one another, so that we can put out
5 some guidance that will be helpful to industry in
6 thinking about what a package might look like.

7 So, in any case, we are going to have
8 some discussion about this in the afternoon with
9 the hope of again facilitating antimicrobial drug
10 development, as well as development for resistant
11 indications, and we hope that at the end of day,
12 that we will have enough ideas here that will
13 assist us in writing some guidance that will be
14 helpful to industry.

15 There has been a great desire, not
16 surprisingly, and this goes across many areas in
17 FDA and far outside anti-infectives, for industry
18 to get some type of guidance as to how to proceed
19 since they are much more comfortable, they can sit
20 and look at what is required or suggested as
21 opposed to having to depend on individual
22 interactions, et cetera, although there is always
23 an issue about getting guidances done because of
24 the amount of people you have available to sit and
25 write them.

1 Here, we actually have a somewhat
2 different issue, which is not always quite as
3 common. I actually believe one of the obstacles to
4 writing some of the guidances, particularly what I
5 was speaking about for the afternoon, how
6 indications support one another, is that there are
7 unresolved scientific questions about how far one
8 can really go.

9 The one thing you learn about, you know,
10 when you are writing a guidance or when you are
11 writing a letter to a company, et cetera, if you
12 are not really clear what it is you can do, what it
13 is you are trying to say, what you actually write
14 will turn out to be, you know, really kind of
15 semi-disastrous or at least not useful.

16 So, before we embark on trying to get some
17 sort of draft guidance out for comment, we would
18 like to see how far we can get in resolving some of
19 the underlying issues, so that everybody at least
20 understands those issues, and then it is simply a
21 matter of taking that and trying to put it into
22 some clear English.

23 That in and of itself is no small
24 achievement, but at least you understand what it is
25 you think you trying to say, so that's what our

1 goals are for today. Again, we don't expect this
2 to be the end of this process.

3 We expect to continue to have meetings
4 like this, hopefully, another meeting, as well,
5 with IDSA, PhRMA, et cetera, just to continue
6 talking about these issues and to work through the
7 variety of scientific issues that we think we need
8 to do, but we are hopeful at the end of today, we
9 will be a little closer to being able to provide
10 the advice we would like to.

11 Thank you.

12 DR. LEGGETT: Thank you.

13 John, could I ask you to introduce
14 yourself.

15 DR. POWERS: John Powers, Lead Medical
16 Officer for Antimicrobial Drug Development in 04.

17 DR. LEGGETT: Thank you.

18 The first speaker of the day will be Jim
19 Jorgensen who is going to talk to us about linkages
20 of resistance determinants in bacteria.

21 **Linkages of Resistance Determinants in Bacteria**

22 **James H. Jorgensen, Ph.D.**

23 DR. JORGENSEN: Good morning, everyone.

24 I seem to have failed the first test and
25 that is how to run the laptop computer up here.

1 [Slide.]

2 What I would like to speak with you about
3 this morning is about antibiotic resistance and the
4 era that we find ourselves in, in emerging
5 resistance among a number of very common
6 hospital-acquired and also now community-acquired
7 bacterial pathogens.

8 I think everybody recognizes that we are
9 in this very unusual era that none of us have ever
10 seen or lived through before, and that is the era
11 of emerging or evolving antibiotic resistance.

12 As you can see on the upper part of my
13 slide, some would argue that this is really the era
14 of emerging acronyms as we find new names for all
15 of these different resistant organisms.

16 I think what is clear as we talk about
17 VRE, VISA, and VRSA is that these organisms are of
18 clinical significance and are becoming more
19 frequent, and we have relatively few therapeutic
20 options today.

21 Certainly, these organisms compromise the
22 utility of some of our most important compounds,
23 such as the extended spectrum cephalosporins, the
24 macrolides, as well as the fluoroquinolones.

25 Certainly, the obvious need that will be

1 discussed today is exactly which organisms are of
2 greatest importance and where do we need help in
3 developing new compounds.

4 Now, what I have been asked to talk about
5 specifically is this concept that I would call
6 "associated resistance." That is some of the
7 resistance mechanisms possessed by these organisms
8 affect multiple members of the same class or family
9 or subclass.

10 That is, for example, beta-lactam
11 resistance in staph affects not only the
12 semisynthetic penicillins, but also the
13 cephalosporins and the carbapenems. But the second
14 thing is those resistance mechanisms that may be
15 genetically linked, that may be on the same plasmid
16 or on the same transposon and are therefore
17 transferred in mass from one strain to another.

18 The latter part of that is the fact that
19 there are some frequently associated resistance
20 mechanisms that are not co-transferred in the sense
21 of being truly linked in the same cassette, but
22 simply are very frequently found in the same clones
23 or same derivatives of clones.

24 [Slide.]

25 I think everybody has seen these data and

1 I wanted to start here because it illustrates our
2 point that in the era of the '90s, we went from
3 almost no VRE in the United States or essentially
4 case reports of VRE to, by the end of the '90s,
5 about 1 out of 4 enterococcal isolates were
6 vancomycin resistant. I could have used other
7 examples, but I thought VRE would be a very good
8 place to begin.

9 [Slide.]

10 VRE also illustrates the problem, that in
11 the United States, almost all of our VREs occur in
12 *Enterococcus faecium*. Inherent in most strains of
13 *Enterococcus faecium* is also penicillin,
14 ampicillin, and, for that matter, carbapenem
15 resistance.

16 Now, these are not genetically linked
17 events, but they are present in the background of
18 that species. Many of these isolates also produce
19 inactivating enzymes that affect multiple
20 aminoglycosides, so most of these have high-level
21 aminoglycoside resistance.

22 Despite the fact that we do have some
23 newer antibiotics that have proven very useful in
24 therapy of VRE infections, we already have
25 experienced resistance developing during therapy

1 with some of the newer agents including linezolid
2 and quinupristin-dalfopristin. So, it also
3 illustrates the point of not putting all of our
4 eggs in one basket, I believe.

5 [Slide.]

6 Now, this is a partial list and a lot of
7 these organisms are where I want to delve a little
8 bit deeper in the next few minutes.

9 For example, the last organism,
10 *Enterococcus faecium*, as I mentioned, most of the
11 time, probably 90 percent of isolates produce a
12 low-affinity, penicillin-binding protein that
13 provides resistance, not only to penicillin, but to
14 other relevant beta-lactams.

15 I am going to spend a few minutes talking
16 about methicillin-resistant staph and then I think
17 there are some new things that are worthy of
18 consideration, but methicillin-resistant staph or
19 resistant to multiple members of that same major
20 class, the beta-lactams.

21 Then, I think extended spectrum
22 beta-lactamases are a significant problem, and I
23 believe they will become more frequent in the near
24 future, and these enzymes have hydrolytic activity
25 against all of the current penicillins, true

1 cephalosporins, and also aztreonam.

2 Then, I will say a few words about
3 resistance in Strep pneumoniae including emerging
4 fluoroquinolone resistance.

5 [Slide.]

6 To begin with, MRSA, I think there are
7 some new things here. MRSA have been around for a
8 long time, and I think it is noteworthy that within
9 about a year or so of the introduction of
10 methicillin for clinical use, the first strain was
11 recognized in the United Kingdom that was resistant
12 to methicillin.

13 In the 1960s, there were some hospital
14 outbreaks in Europe and the UK and certainly in the
15 1970s in this country. From the 1970s until today,
16 I think you are all aware MRSA have become a major
17 problem of health care institutions.

18 Now, one point I would like to make at
19 this point is that these conventional MRSA or
20 healthcare-associated MRSA strains have been
21 multidrug resistant. Here, I mean in addition to
22 other beta-lactams, other drug classes.

23 [Slide.]

24 However, what is new and I believe rapidly
25 emerging is community-acquired MRSA and that

1 probably most of us first heard about this in
2 Detroit among the injection drug users in that city
3 in 1980 and '81, in which MRSA was quite prevalent
4 among that population.

5 But then in the early '90s,
6 community-acquired MRSA was described in Western
7 Australia and also in New Zealand, and what was
8 different about these strains and what should have
9 raised our awareness was that these were not
10 multidrug resistant strains. For the most part,
11 they were resistant only to penicillin and
12 oxacillin.

13 Then, the CDC reported four children in
14 the '90s in the upper Midwest who had very serious
15 community-acquired MRSA infections, and once again,
16 these were not conventional hospital-acquired MRSA
17 isolates.

18 The CDC has also done a great deal of work
19 along with several state health departments to
20 characterize community onset MRSA in Native
21 American populations in Alaska, Minnesota, and also
22 the State of Washington.

23 Also, I think during this period, MRSA has
24 become a very frequent cause of skin infections in
25 incarcerated individuals, both in penitentiaries

1 and in jails. Then, in the San Francisco Bay area
2 of California, workers there have described that
3 among the homeless populations, skin infections due
4 to these community-acquired strains of MRSA have
5 become quite frequent.

6 [Slide.]

7 What this means I guess is we need a new
8 definition of MRSA or a new subdefinition and what
9 the CDC is currently using is healthcare-associated
10 MRSA, and that means to many of us the
11 hospital-acquired strains that we are most familiar
12 with.

13 These are patients who have recently been
14 in the hospital where they acquired their strain or
15 perhaps they have been in a rehab center or they
16 have undergone or continue to undergo hemodialysis,
17 or perhaps it has been communicated to them
18 directly by a home or other healthcare worker.

19 However, we need some new definitions for
20 these community strains, and I have used the term
21 "community-acquired" now a few times. CDC prefers
22 the term "community-onset" meaning that the
23 infection originates in the community, and it may
24 have been through some conventional risk factor,
25 such as recent antibiotic use or perhaps a

1 hospitalization, not recently, but in the distant
2 past, and prolonged colonization, but there is
3 still a number of patients who do not have any of
4 the conventional risk factors, illustrating that
5 this organism now does appear to be a true
6 community-acquired pathogen.

7 [Slide.]

8 Now, there are several differences between
9 the healthcare-associated and community-onset
10 isolates, and they include the fact that I have
11 already stated, the healthcare-associated strains
12 usually are resistant to multiple drug classes.

13 Usually, this includes macrolides and
14 lincosamides, usually aminoglycosides and also
15 fluoroquinolones. In contrast, the
16 community-acquired strains usually are only
17 resistant to penicillin and oxacillin although some
18 strains now are resistant also to macrolides and
19 some to fluoroquinolones, but this is not
20 predictable, this is not in most cases the majority
21 of strains.

22 As I will show you a second, they contain
23 a different version of the mec element, a much
24 smaller element and much more easily transmitted
25 among the community-acquired isolates.

1 These strains in the hospital usually do
2 not have this toxin called Panton-Valentine
3 leukocidin, whereas, the community-acquired strains
4 usually produce this. At least the currently
5 feeling is that PVL explains why these strains are
6 so prone to cause skin or subcutaneous infections
7 and also severe necrotizing pneumonia.

8 Also, these strains often produce as many,
9 well, I should say as many as 19 different toxins
10 or superantigens including staphylococcal,
11 enterotoxins, possibly toxic shock toxin I.

12 [Slide.]

13 So, these strains phenotypically look
14 different and they have, as I said, a different
15 staphylococcal chromosomal cassette of SCCmec
16 variety. Now, there are four types and, in fact,
17 Type IV is now being subdivided.

18 What I want to show you, and I use this
19 slide very reluctantly, is that the Type I is now
20 considered an archaic version, and that is, it is
21 not found in most current MRSA isolates.

22 Type II and Type III, which are found in
23 the healthcare-associated isolates, are really very
24 large and often carry with them transposons that
25 code for other antibiotic resistance including

1 macrolides, lincosamides, and also
2 aminoglycoside-modifying enzymes.

3 So, that SCCmec Type II or III, I think
4 helps explain the MDR phenotype of the
5 healthcare-associated strains. What is different
6 is the Type IV is a much smaller piece of DNA, in
7 fact, some would argue small enough to fit in the
8 head of a phage and perhaps be transmitted through
9 transduction.

10 This Type IV mec cassette does not include
11 any of those transposons for multidrug resistance,
12 so it appears that that is a transferable element
13 that is now finding its way into very fit
14 community-acquired clones of Staph aureus and
15 contains only the essential information for
16 methicillin or oxacillin resistance.

17 [Slide.]

18 Now, one of the things that is sometimes
19 challenged is this concept that we should view MRSA
20 as resistant to all beta-lactams, and I am aware
21 that there are some beta-lactams under development
22 that have high affinity for this PBP-2A or altered
23 special penicillin-binding protein of
24 methicillin-resistant staphylococci.

25 However, I decided to go back to the

1 origin, and that is, one of the first studies that
2 helped to illustrate that beta-lactams, even if
3 they appear active in vitro, do not provide
4 adequate therapy in vivo.

5 This was a paper by Jacques Acar in Paris,
6 published in Antimicrobial Agents in Chemotherapy
7 in the early '70s. Illustrated in that early
8 experience with MRSA in France, physicians did try
9 to use cephalosporins to treat patients who were
10 bacteremic or who had endocarditis, and when used
11 alone, and these were cephalothin or cephaloridine,
12 both agents that I think have among the best
13 activities against staphylococci of all of the
14 cephalosporins, what you find is that very few
15 patients were cleared of their bacteremia using a
16 cephalosporin alone, and if you added to it an
17 aminoglycoside, you did somewhat better, but it was
18 not really very successful therapy.

19 Recall that these strains did have
20 aminoglycoside resistance determinants. When you
21 looked at endocarditis, even though the number of
22 patients was very small, these patients'
23 bacteremias could not be cleared using either a
24 cephalosporin or an aminoglycoside alone.

25 Now, certainly there are more modern data

1 than this, but I thought it might be useful to go
2 back to the beginning just for a moment.

3 [Slide.]

4 Now, other resistance mechanisms that are
5 commonly found or co-transferred in these
6 healthcare-associated strains, as I have alluded
7 to, include the macrolide and lincosamide
8 determinants. They may be ermA or more frequently
9 ermC, and they may be either constitutively
10 produced or they may be inducible.

11 This TN554, which is commonly found in the
12 SCCmec Types II or III, codes for this kind of
13 resistance, and is co-transferred with methicillin
14 resistance.

15 Aminoglycoside-inactivating enzymes can be
16 produced by these organisms including this
17 important so-called bifunctional enzyme which has
18 both an acetylating and phosphorylating end or
19 activity, and is the same enzyme found in many
20 enterococci that have high-level aminoglycoside
21 resistance.

22 Then, most of these healthcare-associated
23 strains today are resistant to fluoroquinolones
24 either because of gyrase A mutations or because
25 they have an active efflux pump that removes the

1 drugs from the cells.

2 Then, they may have the ribosomal
3 protection mechanism of tetM for tetracycline
4 resistance or they may have an efflux pump that
5 removes most members of that class.

6 [Slide.]

7 The other problem, however, that I think
8 is more urgent and of greater concern is the fact
9 that in this background of MRSA, we have seen
10 either diminished susceptibility to vancomycin in
11 the form of VISA or vancomycin-intermediate Staph
12 aureus, in which about 8 times as much vancomycin
13 is required to inhibit these strains as a normal
14 strain, or recently, in 2002, in the U.S., we have
15 seen the first true VRSA isolates.

16 Both of those isolates contain the vanA
17 gene sequences from Enterococcus, and in the first
18 case, the patient in Michigan, it was fairly clear
19 that that was transferred from vancomycin-resistant
20 Enterococcus faecalis, not faecium.

21 [Slide.]

22 Now, let me shift gears and talk for a
23 moment about gram-negatives and about extended
24 spectrum beta-lactamases. Most of the ESBLs that
25 we are familiar with in North America are

1 derivatives from either the TEM-1 or SHV-1 enzymes.

2 These are the beta-lactamases ordinarily
3 found in E. coli and Klebsiella that generally just
4 code for ampicillin resistance, but when mutations
5 occur, they may then hydrolyze at least at high
6 inoculum all of the currently available
7 penicillins, cephalosporins, and aztreonam.

8 As you can see on this slide, as of last
9 Friday, there were a huge number of different TEM
10 and SHV enzymes that have a different molecular
11 structure or a different spectrum of activity. In
12 fact, some of these strains appear susceptible to
13 some cephalosporins, but resistant to others.

14 [Slide.]

15 Now, the molecular basis for this are
16 point mutations that probably occur spontaneously
17 in the genes that encode either TEM-1 or SHV-1, and
18 even a 1 or 2 amino acid sequence change can take a
19 strain from being very susceptible to a drug like
20 ceftazidime to being highly resistant, so these are
21 fairly subtle point mutations that occur every day.

22 [Slide.]

23 Some of these enzymes provide very obvious
24 resistance to a compound like ceftazidime as in the
25 case of TEM-10, while retaining very low MICs to

1 cefotaxime, so the argument has been, well, this is
2 a potential difference between these compounds that
3 perhaps could be taken advantage of.

4 [Slide.]

5 However, at very high inoculum, that is,
6 if you increase the number of cells, the amount of
7 enzyme present, you can see even the latest
8 generation cephalosporins are hydrolyzed by these
9 enzymes.

10 On the other hand, the structure of the
11 carbapenems tends to resist hydrolysis by the ESBL
12 and they tend to remain susceptible to that class.

13 [Slide.]

14 Now, again, clinical significance is very
15 important. David Paterson from Pittsburgh, I think
16 has done some of the most important work to
17 illustrate the clinical significance of these
18 strains, and in a 2001 publication, he reported a
19 multi-country, multi-continent study looking at
20 Kleb pneumoniae bacteremias, and about 18 percent
21 or so of these organisms were found to produce
22 ESBLs.

23 Nine of those were treated with a
24 cephalosporin that, by conventional testing and
25 conventional breakpoints, were either intermediate

1 or susceptible to a cephalosporin, however, among
2 those 9, 3 patients died and 5 required additional
3 therapy.

4 Overall, there were 32 patients that were
5 treated with a cephalosporin that we would, based
6 on testing of that drug by itself, consider either
7 susceptible or intermediate to a particular drug.
8 Among those that were classified as intermediate,
9 all 4 failed therapy and 15 of 28 of the strains
10 considered susceptible, meaning they had fairly low
11 MICs, also failed therapy.

12 Among those were 5 patients treated with
13 cefepime, to illustrate the last point, and 4 of
14 those also failed.

15 [Slide.]

16 Now, ESBL-producing strains carry their
17 gene for beta-lactamase production on a plasmid,
18 and that plasmid can be easily shared among
19 different isolates of the same species or between
20 species.

21 Located on the same plasmid in most of the
22 ESBL are genes that also code for trimethoprim
23 sulfa and for gentamicin resistance, so here is an
24 example of co-transfer of genes that affect more
25 than one class of drug.

1 Unrelated to that is the fact that many
2 and I would guess maybe 40 or 50 percent of
3 isolates also were fluoroquinolone-resistant, but
4 that is not a plasmid-mediated event in these
5 strains and it is not co-transferred.

6 [Slide.]

7 Then, there are many other gram-negative
8 rods, too many to mention in my limited time, but I
9 just want to make a brief pitch for the importance
10 of *Pseudomonas aeruginosa* and the fact that
11 *Pseudomonas* isolates may have a number of different
12 beta-lactamases including the ability to
13 hyperproduce the ampC or Bush group 1
14 beta-lactamase to code for resistance to a variety
15 of beta-lactams, but they may also have
16 plasmid-mediated enzymes, such as PSE-1, -3, or -4,
17 and also the less common ESBLs, such as the OXA
18 group of enzymes that are not yet very common in
19 this country, but are in some other parts of the
20 world.

21 Many of these strains produce enzymes that
22 chemically inactivate aminoglycosides or they
23 may have outer membrane protein changes which
24 essentially close the door to penetration by the
25 aminoglycoside group of drugs.

1 Fluoroquinolone resistance is now
2 relatively common among Pseudomonas isolates often
3 due to mutations in the gyrA gene.

4 Very interesting I think is this class of
5 efflux pumps, often the Mex B, D, or F pumps that
6 can be found in Pseudomonas, that every effectively
7 remove fluoroquinolones and cephalosporins from
8 these strains before they can have any activity.

9 [Slide.]

10 Now, I will say a few words about
11 pneumococci to wrap up my remarks. First of all,
12 everyone is aware of penicillin resistance in
13 pneumococci. The point of this slide, the upper
14 portion at least, is that there are several
15 different penicillin-binding proteins that can be
16 modified through self-transformation, that is,
17 taking in DNA from another pneumococcal strain or
18 even from a viridan strep that might be an
19 oropharyngeal colonizer.

20 Pneumococci can then build so-called
21 mosaic genes that code for penicillin-binding
22 proteins of lower affinity.

23 For high-level penicillin resistance,
24 there may need to be as many as 3 of these
25 penicillin-binding proteins modified, but for

1 cephalosporin resistance, that is, extended
2 spectrum cephalosporins, it is really only
3 necessary to have 2 of these PBPs altered.

4 So, there are now some strains that are
5 more resistant to cephalosporins than to
6 penicillin.

7 [Slide.]

8 Now, looking at CDC surveillance data from
9 the active bacterial core surveillance program
10 published by Cindy Whitney in 2000, I would like to
11 simply illustrate the point that
12 penicillin-susceptible pneumococci, in this column,
13 are rarely resistant to other drug classes, that
14 is, they rarely have genes that would affect the
15 macrolides, tetracycline, or the fluoroquinolones.

16 As you move to strains that have
17 diminished susceptibility to penicillin, you see it
18 is more frequent that those isolates may carry
19 genes for other drug classes, and as you move to
20 the penicillin-resistant Strep pneumos, it is quite
21 common to see macrolide resistance, trimethoprim
22 sulfa resistance, and indeed there is a
23 statistically significant association between
24 penicillin and fluoroquinolone resistance in these
25 strains.

1 Now, that is not because these genes are
2 all co-transferred, but rather these are clones of
3 pneumococci that have become repositories, if you
4 will, for many different resistance genes, and the
5 fittest of these clones have now circulated
6 throughout the world.

7 [Slide.]

8 So, macrolide resistance in the United
9 States is most often coded by a gene called mefA or
10 mefE, which is an efflux pump. A smaller number, a
11 small percentage of strains have the erm gene,
12 which codes for clindamycin, as well as macrolide
13 resistance.

14 What is interesting to me is it is the
15 reverse in Europe. The erm strains are much more
16 common than the efflux strains.

17 Many of these strains also have tetM or an
18 efflux pump, and many of the strains now,
19 particularly the pen-resistant ones, have altered
20 enzymes needed in the folate pathway that affect
21 trimethoprim or sulfa or both to code for trimeth
22 sulfa resistance.

23 [Slide.]

24 Quinolone resistance in pneumococci has
25 become a major concern. The study published in

1 2002 by Davies and colleagues looked at strains
2 that had borderline susceptibility to levofloxacin,
3 but found that about 4.5 percent of these strains
4 actually contain a first-step mutation of the parC
5 locus that would code for higher MICs to drugs like
6 ciprofloxacin, but not so much so for levo.

7 The second step or double mutants, on the
8 other hand, become quite obviously resistant to the
9 currently used fluoroquinolones, and those are
10 estimated between 0.2 and 0.5 percent of strains in
11 the U.S., so a very small percentage as of today.

12 However, when those mutations occur, as we
13 have showed in this earlier study, those mutations,
14 particularly those that involve both the parC and
15 gyrA loci, also are the same targets used by the
16 later, more potent fluoroquinolones and raise the
17 MICs of those compounds, as well.

18 So, the concern here is that despite the
19 greater potency of the newer fluoroquinolones, they
20 still affect the same drug targets.

21 [Slide.]

22 Now, I think the real concern and where we
23 ought to look is the data that have emanated from
24 Hong Kong and have been published by Ho and
25 colleagues, in which they showed in 1995, a very

1 small percentage of their pneumococci were
2 fluoroquinolone resistant, essentially, the same as
3 we currently see in the United States.

4 However, a few years later, that
5 percentage had increased and then by 2000, it was
6 more than 13 percent of all of their isolates, and
7 if they looked specifically at the more resistant
8 clones, that is, the penicillin-resistant strains,
9 it was more than one-fourth of those.

10 Now, what is unique here is that this is a
11 single clone or, if you will, single strain of
12 pneumococcus that has been shared throughout
13 patients in Hong Kong, so it is not dissemination
14 of genes in the sense of transmissible elements,
15 but rather a single, very fit clone that originated
16 originally from Spain and is a serotype 23F clone,
17 has now become very common in that area of the
18 world.

19 So, the concern I think is could we see
20 this sort of thing in North America.

21 [Slide.]

22 Now, my final slide is maybe food for
23 thought more than firm data. That is, Elaine
24 Tuomanen and colleagues in Memphis have illustrated
25 a few strains, one at least associated with a

1 meningitis treatment failure in a 10-month-old that
2 they describe as being tolerant to the
3 bacteriocidal effect of vancomycin and also the
4 bacteriocidal effect of beta-lactam antibiotics.

5 This child had recurrent meningitis after
6 a full course of both cefotaxime and vanc therapy.
7 They have been working to identify a particular
8 gene associated with this defect, but this is a
9 totally different aspect of a failure of the
10 autolytic system of this strain or these strains
11 which is triggered by both the beta-lactams and
12 vancomycin.

13 So, this is I think a point of concern,
14 but it as yet a fully clarified area.

15 So, those are my feelings on this and I
16 guess I would say the reasons for this are that
17 there are mechanisms that we recognize that affect
18 closely related compounds, such as the beta-lactams
19 with MRSA.

20 Also, there are mechanisms, some of which
21 I have described, that are co-transferred, that are
22 genetically tied together and go with one gene into
23 a different strain.

24 Lastly, as I attempted to illustrate with
25 pneumococci, there may be multidrug resistant

1 strains due to the fact that there are clones that
2 over time have collected these resistance genes and
3 maintain them for fitness in an environment of
4 antibiotic use.

5 So, with that, I think I will conclude and
6 I appreciate your attention.

7 Mr. Chairman, do we have questions?

8 **Questions from Committee**

9 DR. LEGGETT: Yes. Why don't we open it
10 up for questions.

11 I have a quick one, I may have missed it.
12 The vanco tolerance, was it pneumococci?

13 DR. JORGENSEN: Yes.

14 DR. LEGGETT: Jan.

15 DR. PATTERSON: Jim, would you like to
16 comment on the linkage of resistance in
17 Acinetobacter, multidrug-resistant Acinetobacter?

18 DR. JORGENSEN: Acinetobacters certainly
19 can be multidrug resistant including penicillin,
20 cephalosporins, and can acquire resistance to
21 carbapenems, and I think that has been the concern,
22 is that some of those strains, because of
23 resistance to other classes, have been treated with
24 carbapenems only to later become carbapenem
25 resistant.

1 DR. LEGGETT: Don.

2 DR. PORETZ: In our hospital, the tertiary
3 care facility, MRSA continues, we still continue to
4 be active with the sulfa trimethoprim, 80 percent
5 of MRSA sensitive to sulfa trimethoprim,
6 doxycycline, minacycline still very, very active
7 yet. Those particular drugs are completely
8 worthless against E. coli, Strep pyogenes.

9 Is it just because those drugs have not
10 been used that often in the hospital in the past or
11 why do we continue to have 80 percent sensitivity
12 with sulfa and semisynthetic tetracyclines?

13 DR. JORGENSEN: I think that's a good
14 question. The vancomycin intermediate and
15 vancomycin-resistant Staph aureus strains have all
16 been susceptible to trimeth sulfa and would seem
17 even to be perhaps the drug of choice for those
18 strains in terms of a good susceptibility profile.

19 Many MRSA's are susceptible to minacycline
20 and perhaps the reason for that is minacycline is
21 not so well pumped by the tetracycline efflux pump
22 that many of those strains have.

23 I think you are right about the potential
24 utility of those agents against those strains, but
25 not against the gram-negatives, and I am not sure I

1 can explain why except that organisms like E. coli
2 and other gram-negatives are part of our normal GI
3 flora and are exposed every day to any antibiotic
4 we would take for any reason and perhaps that is a
5 partial explanation.

6 DR. LEGGETT: John.

7 DR. BRADLEY: I think the complicated
8 nature of resistance, the multiple mechanisms of
9 resistance, the ability of organisms to develop new
10 resistances highlight something that we have been
11 talking about on a number of occasions, about the
12 difficulty in assigning a drug approval for an
13 organism resistant to one particular drug, and that
14 as your presentation really predicts for the
15 future, that the situation is going to get far more
16 complicated than simple and not only will we have
17 to deal with Strep pneumo that is resistant to
18 penicillin, cefuroxime, clarithromycin, et cetera,
19 but we are going to have to deal with pseudomonads
20 that have multiple drug resistances, acinetobacter,
21 and are we going to be needing to deal with drug
22 approvals for drugs that are active against each
23 and every one of those antibiotics that are
24 resistant on your list, requiring that the sponsor
25 produce treatment successes for each of those sets

1 of resistances.

2 So, you have done a beautiful job of
3 painting the future for us.

4 DR. JORGENSEN: I wish it were more
5 optimistic.

6 DR. LEGGETT: Barth.

7 DR. RELLER: Jim, your presentation raises
8 many questions that I have. I will restrict it, if
9 I might, to two.

10 First, you mentioned the development
11 unequivocally of resistance while on therapy with
12 linezolid, quinupristin-dalfopristin where if one
13 did PFGE, it is clearly the same organism.

14 Of these mechanisms of resistance, and
15 time wouldn't permit all of them, which ones are
16 recognized, of the more common ones, to develop on
17 therapy and which ones has that not been observed?
18 For example, my understanding is with penicillinase
19 with Staph aureus, going way back, that on
20 long-term observations that the development or
21 acquisition of that plasmid in vivo doesn't occur.

22 But what about these other mechanisms, and
23 the importance of it is where one might be on
24 secure ground with a susceptible organism at the
25 initiation of therapy, but see it change right

1 under your eyes.

2 DR. JORGENSEN: Enterobacter.

3 DR. RELLER: Well, Enterobacter, the
4 D-repression with this is enough, but are any of
5 the others, is there a pitfall, is it actually even
6 more complicated than what you say having to do
7 with you think you are okay, but then the ground
8 shifts even in the course of therapy of an
9 individual patient?

10 DR. JORGENSEN: I think you are right with
11 penicillin-resistant or I should say
12 penicillin-susceptible Staphylococci. In order to
13 become penicillin-resistant, your organism would
14 have to go out and find the plasmid and the
15 beta-lactamase gene somewhere else, so it is not
16 likely to change during therapy.

17 In the case of the Bush Group I or ampC
18 beta-lactamase, you have an enzyme present in
19 virtually every isolate of Enterobacter,
20 Citrobacter freundii, Serratia marcescens, et
21 cetera, that is just sitting there waiting to have
22 a mutation in its repressor sequences to a very
23 high level of resistance. So, that can occur in
24 maybe a couple of days during therapy.

25 VRSA represents acquisition of a gene

1 group again from outside, so it is not likely to
2 happen commonly. It had to go and find that gene
3 in the right environment of those two patients.

4 VISA, on the other hand, represents
5 acclimatization to the presence of vancomycin over
6 a long period of time, this thickened cell wall
7 that is developed and been described in these
8 strains, which seems to be an adaptation to the
9 pounding away of that strain by vancomycin over a
10 period.

11 So, I think in many cases, these are genes
12 that are acquired, transferred, et cetera, or may
13 be kept by a strain when spontaneous mutations
14 occur, such as in the case of ESBL, that those
15 spontaneous mutations do not have value in an
16 environment that is not saturated with antibiotics,
17 so we see those strains mostly in intensive care
18 unit patients where there is value to maintaining
19 those mutations for production of a very high
20 potency beta-lactamase.

21 DR. RELLER: The second question that is
22 related is with the different cassettes with
23 *Staphylococcus aureus* MRSA, hospital-associated
24 community-associated, is there a difference in the
25 common detection mechanisms used in laboratories,

1 salt screen plate, latex agglutination, PCR for
2 mecA, are there differences in the ability to
3 detect accurately methicillin resistance among
4 these strains?

5 The analogy is with the resistance and the
6 use of cephalosporins, in other words, are there
7 pitfalls in detection that are related to the
8 different cassettes?

9 DR. JORGENSEN: Well, first of all, all of
10 the variants of MRSA contain the mecA gene whether
11 there is a big piece of DNA that goes along with it
12 or a small piece, so genetic tests, such as PCR,
13 that detect the presence of the mecA gene would
14 pick all of those up very effectively.

15 All of those code for PBP-2a, so tests
16 that would detect the protein product of mecA also
17 would be positive with all of those.

18 I think some of the hospital-acquired
19 strains are more likely to have the heterogeneous
20 expression of oxacillin resistance that is more
21 difficult to detect by phenotypic tests, such as
22 distifusion or MIC.

23 The detection, however, is somewhat
24 compromised I think in the community-acquired
25 strains because microbiologists have been trained

1 to look for multidrug resistance as a secondary key
2 that a strain might be an MRSA. Even some of our
3 instrument systems have been programmed with expert
4 systems to look for resistance to aminoglycosides,
5 tetracycline, et cetera, as a marker for MRSA.

6 So, I guess I worry a little bit that the
7 community-acquired strains might be
8 underappreciated because they don't have that
9 additional red flag that I'm an MRSA.

10 DR. LEGGETT: Celia.

11 DR. MAXWELL: Excellent summary, Dr.
12 Jorgensen.

13 I have two questions on your next to the
14 last slide with the 10-month-old and the
15 meningitis. Was it Strep pneumo, the organism?

16 DR. JORGENSEN: Yes.

17 DR. MAXWELL: An earlier slide, looking at
18 the differences between healthcare-associated and
19 community-associated MRSA, was the outcome in those
20 patients that were treated or what was the outcome?

21 DR. JORGENSEN: Well, in the
22 community-associated or community-onset isolates,
23 many of these patients had skin or subcutaneous
24 infections, boils especially. Many of these were
25 severe enough that they didn't improve without

1 surgical drainage. As long as they were drained
2 surgically, the limited data, and the data are not
3 extensive, seemed to suggest it didn't really make
4 a lot of difference which antibiotic was used.

5 On the other hand, if you used an
6 effective antibiotic without surgical drainage,
7 they didn't do all that well. There are some
8 groups, such as some of the physicians who manage
9 jail and prison settings, that favor use of either
10 trimeth sulfa or doxycycline or clindamycin to
11 treat those strains, but the limited data suggest
12 they make very bad subcutaneous infections that may
13 require surgical drainage.

14 DR. LEGGETT: Dr. Jorgensen, could you
15 comment upon the growing data about cross-linkage
16 between detergents and antibiotic resistance?

17 DR. JORGENSEN: Well, I can tell you that
18 in two of the mec cassettes, there are genes for
19 resistance to heavy metals like mercury and things
20 of that sort, and may also have to do with iodine
21 and other disinfectants, but that is all I could
22 comment on.

23 DR. LEGGETT: John.

24 DR. BRADLEY: Just a quick extra piece of
25 information on that 10-month-old with Pneumococcal

1 meningitis because it created quite a stir in the
2 pediatric community, and Dr. Tuomanen has done
3 beautiful molecular diagnostic dissection of the
4 resistance mechanisms. This is an
5 autolysin-resistant, a deficient organism.

6 Normally, with pneumococcus, once you hit
7 it with an antibiotic and cripple it, it kills
8 itself. Well, this organism won't kill itself and
9 there is no antibiotic, not vancomycin, not
10 beta-lactams, not fluoroquinolones, nothing that
11 will kill this organism. It can prevent it from
12 growing, so antibiotics are static, but you need
13 more than a static agent in the central nervous
14 system.

15 So, the implication isn't just for
16 vancomycin resistance, but it's for resistance
17 across all antibiotic classes for this organism,
18 and we are just thankful that it hasn't seemed to
19 have spread outside of Memphis or continued to
20 increase in its prevalence there. Is that correct?

21 DR. JORGENSEN: Yes.

22 DR. LEGGETT: Thank you, Dr. Jorgensen.

23 The next speaker will be Frank Tally, who
24 is going to give us an industry perspective on a
25 list of pathogens.

1 From the first speech, it looks like the
2 list of pathogens is all our common pathogens is
3 all I can say.

4 **Industry Perspective on List of Pathogens**

5 **Francis P. Tally, M.D.**

6 DR. TALLY: Mark Goldberger set the stage
7 for today's meeting with the two major themes of
8 what is the list and do we need, can one particular
9 study in one system support studies in another
10 system, but I think in the documentation that was
11 sent out via the Internet, there was also a third
12 issue I saw in there, is the problem of decreasing
13 research in the area of developing new
14 antimicrobial agents, and I would like to kind of
15 wind that into the discussion today.

16 [Slide.]

17 Why develop drug for resistant pathogens?
18 Well, as you have heard, resistant pathogens kill
19 people, and I will delve into some of that data.
20 It was nicely discussed by Dick Wenzel at the
21 November workshop meeting that we have talked
22 about.

23 For a pharmaceutical company or a biotech
24 company, one has to justify the expenditure of a
25 large amount of money to develop a drug for a

1 particular area, so that drug should have specific
2 advantages which allow the drug to penetrate into
3 the marketplace and return the investment that the
4 company has made.

5 An alternative, which I spoke about at
6 sessions a couple years ago, if we are not going to
7 do that, then, one should possibly even think about
8 developing another institute at the National
9 Institutes of Health to actually look at drug
10 discovery for some of these, what we would call
11 orphan pathogens, and do the basic work to come up
12 with targets and particular lead molecules, and
13 then turn it over to industry to go off with the
14 development.

15 That is something I think we possibly
16 should consider down the pike.

17 The problem in industry right now is that
18 anti-infectives are competing with CNS drugs,
19 cardiovascular drugs, and GI drugs that people have
20 to take for the rest of your life, so there are
21 huge markets and huge sales, and the anti-infective
22 drugs are being prioritized down, and not going
23 into development pipelines.

24 Most companies need a potential market of
25 \$500 million to bring a drug forward into

1 development.

2 That shifted the burden actually out to
3 the biotech industry and there are a lot of
4 companies out there trying to develop antimicrobial
5 agents, but I can tell you the cost of developing
6 is a problem in raising funds, and if you have been
7 in this particular area or even had any stock
8 whatsoever, you will understand what I am saying
9 because at times, like three years ago, it was easy
10 to raise funds. It is nearly impossible to raise
11 funds this year even to start-up companies with
12 very good ideas, that two or three years ago you
13 could start.

14 So, we are in what I would call almost a
15 nuclear winter of funding for biotech companies at
16 this point in time, and you are going to see a
17 number of those companies go under.

18 So, I think what is happening in the
19 November workshop and with what Mark said will
20 happen in the future, I think is absolutely
21 imperative, that is, regulatory bodies, academia,
22 and the pharmaceutical industry have got to get
23 together to streamline this process, so we can
24 develop life-saving drugs coming now.

25 What you need is microbiological

1 superiority, you have to be active against the
2 resistant organisms, and you would like to have a
3 drug that is not going to develop resistance very
4 fast. You would also develop something that had a
5 distinct pharmacological advantage, and finally,
6 something that had a safety advantage.

7 [Slide.]

8 We have a well worked out paradigm. There
9 are some scientific holes in it, but we do have the
10 paradigm that you work first in the test tube to
11 see if the bug is active, how it works, what its
12 mechanism of action is, is it a cidal drug, is
13 there low induction resistance, and is it active
14 against both susceptible and resistant pathogens
15 because you can't determine a priori whether the
16 patient has a resistant or a susceptible pathogen.

17 The next step is efficacy and appropriate
18 animal models, and this is hotly debated, with the
19 key pathogens, both the resistant and susceptible
20 pathogens, and also bringing in the elements of
21 pharmacodynamics in developing what levels of drugs
22 that you need. I know Bill Craig and George
23 Drusano have discussed that at many of these
24 meetings.

25 The pharmacokinetic requirements can be

1 worked out ahead of time also. You need an I.V.
2 drug for serious infections. Many times you can
3 switch over to an oral drug if you have it once the
4 infection comes under control.

5 We need to know if the drug penetrates
6 into the site of infection. John was talking about
7 that strain in the central nervous system. You
8 need to be able to penetrate into the central
9 nervous system.

10 You need to be able to penetrate into the
11 alveolars to get aspiration pneumonia or aerosol
12 pneumonia. These are topics I think will be
13 discussed this afternoon.

14 Finally, there is the risk-benefit
15 analysis with the safety database.

16 [Slide.]

17 I borrowed a slide that Ed Cox showed at
18 the meeting on the 19th of November on how you get
19 on the list, and I know this is going to be gone
20 into in detail a little later by John Powers, but I
21 think there are two or three themes here, is there
22 sufficient prevalence, because if there isn't
23 sufficient prevalence, you are not going to be able
24 to study it.

25 Two, is the organism virulent, does it

1 really have the public health importance that we
2 are talking about.

3 Then, you go down to look at other
4 sufficient therapeutic alternatives to really
5 justify going forward.

6 So, what I would like to do for the rest
7 of the talk, is kind of set some of the themes on
8 how you go forward.

9 [Slide.]

10 First, the list. This is what David Ross
11 presented a couple of years ago in a briefing
12 document. This list is notable in that some of the
13 newer resistant bugs, the Acinetobacters don't
14 appear here, and I think this is a class that
15 probably should be added to these lists.

16 [Slide.]

17 We do have very potent pathogens here.
18 When you look at the community-acquired, Jim just
19 went over a number of them. We have some other
20 areas outside the gram-positives and the
21 salmonellas and N. gonorrhoea areas, so this is a
22 list that has to go forward.

23 We have talked about the
24 vancomycin-resistant Staph aureus and the looming
25 problem that may be coming.

1 [Slide.]

2 Jim has gone into the multidrug
3 resistance. This is a study we actually had the
4 Focus people do for us, to look at the incidence of
5 multidrug resistance in common and gram-positive
6 pathogens, and you can see it is significant when
7 you sample 50 different centers around the United
8 States, so it is a major problem coming and it's a
9 problem that is changing over time, so a system has
10 to be put in place to be able to track this in
11 order to identify the problem bugs.

12 We see the case reports as we are hearing
13 about some new resistances, but people have to pay
14 attention now to make sure they don't become a
15 dominant pathogen.

16 [Slide.]

17 I would like to use Staph aureus as a
18 model on how you would get onto the list, and you
19 go back to some work, Chip Chambers published this
20 actually in Emerging Infectious Disease in 1999.

21 This is what happened with
22 penicillinase-producing Staph aureus. It appeared
23 almost after penicillin appeared. It became a real
24 problem in the '50s in hospitals. When I was in
25 training in the early '60s, penicillin resistance

1 was not a problem out in the community. Yes, you
2 would see it occasionally, but you can see very
3 rapidly over the next two decades it became a major
4 problem, and now the penicillin resistance is out
5 there.

6 [Slide.]

7 We saw the emergence of MRSA. It was low.
8 Jim reviewed the history of it. It is up to almost
9 50 percent now in many hospitals, and this actually
10 drove the use of vancomycin. You can see the
11 tonnage of vancomycin used as the incidence of
12 methicillin-resistant Staph aureus came about. So,
13 it does have an impact on the way the physicians
14 treat patients.

15 [Slide.]

16 In the community now, are we seeing again
17 what was seen with penicillin resistance? We have
18 high levels of methicillin resistance in hospitals
19 in nosocomial infections. We are starting to see
20 it, and by word of mouth, we are hearing from
21 almost every city in the United States that a
22 significant percentage of patients coming into
23 emergency rooms now have MRSA, so I think this is
24 one area that has to be monitored very closely, and
25 it has been seen in many different countries.

1 These organisms are fully virulent,
2 actually, they are probably a little virulent than
3 some of the hospital strains, and they have caused
4 fatal infections in children. It varies as high as
5 21 percent in Finland, and in some of the localized
6 communities, Indian American communities, there was
7 actually an incidence of 55 percent in the
8 children.

9 As Jim pointed out, these
10 community-acquired strains are much different and
11 they are not the multidrug-resistant strains, but
12 they have something else that is much scarier.
13 There was a recent study presented at ICAAC with 32
14 community-acquired MRSA isolates, of those 32
15 isolates, 31 were producing the superantigens
16 Enterotoxin B and C which causes toxic shock.

17 So, these are organisms that have high
18 virulence factors that we may be seeing as a major
19 problem coming forward.

20 [Slide.]

21 Finally, the VRSA that Jim has already
22 talked about, the two strains, one from Detroit,
23 the other one from Hershey, Pennsylvania. It
24 turned out the Hershey patient also had a
25 vancomycin-resistant enterococci in the wound, but

1 the strain was lost, so you really couldn't tell
2 whether or not that's where the vanA gene came in
3 the Staph aureus in Hershey, Pennsylvania, and the
4 organisms are not related, which is another scary
5 factor.

6 So, I think this is what is starting to
7 dictate is that we are going to need some new
8 classes of drugs to drive on for some of these
9 resistances because, as Jim pointed out, they are
10 resistant to a lot of different compounds.

11 [Slide.]

12 We just heard a little bit about the
13 development of resistance, and this is a slide Bob
14 Moellering showed at a meeting I was at, and he
15 looked at the rate of resistance to vancomycin
16 versus linezolid. It took a long time for vanco
17 resistance to come about.

18 That is probably because it wasn't used
19 much, because we had many other anti-staphylococcal
20 drug in the '70s and '80s, but when that tonnage
21 went up to treat MRSA, the bugs had to do
22 something, and they did an architectural, an
23 engineering feat of putting eight genes together to
24 overcome vancomycin resistance, but once that
25 genetic bridge was built, it can be traded around,

1 but it took 30 years for resistance to develop to
2 vanco, but with linezolid, it developed actually
3 while the clinical studies were being done.

4 [Slide.]

5 There was a paper in CID in January of
6 this year of the compassionate use where they had
7 19 cases emerge while on therapy, so it is a
8 problem, and this comes back to the question about
9 the U.S., and those are point mutations giving the
10 resistance to linezolid in the ribosome, so they
11 can emerge during therapy.

12 [Slide.]

13 But, unfortunately, it has also emerged in
14 Staph aureus, and I am aware of three different
15 isolates now of Staph aureus. Well, what is the
16 problem with the Staph aureus?

17 We just heard maybe if you just drain it,
18 it's okay, but if you look at bacteremia, probably
19 one of the worse infections you can get with Staph
20 aureus, and you look at the mortality, it is in the
21 second set of bars, it's 30 percent. This is bugs
22 that they kill people in a high percentage.

23 Indeed, the mortality rate with MRSA is
24 even higher than with MSSA, but it is not only true
25 for Staph aureus, but coag-negative staph,

1 enterococci, and Candida also when it is in
2 bacteremia, the mortality is high. This is studies
3 coming out of Dick Wenzel's group published in
4 1999. So, these are organisms that cause a lot of
5 mortality.

6 [Slide.]

7 What about the pre-antibiotic era? This
8 is a paper by Skinner & Keefer back in 1941. Staph
9 aureus bacteremia had an 82 percent mortality.
10 This is a real killer organism, and as the patient
11 population got older, as you can see on the graph,
12 the mortality was 100 percent. So, with our aging
13 population and Staph aureus, this is a major
14 problem.

15 [Slide.]

16 How about if inadequate therapy is given?
17 Another slide that Dick Wenzel presented on data
18 from Ibrahim in Chest in 2000. If you look at
19 patients with intensive care unit bloodstream
20 infections, and the numbers of patients are fairly
21 large here, if you get inadequate therapy, the
22 mortality is great, it doubles more than 50
23 percent.

24 If you look at the patients, the organisms
25 that were causing this mortality with inappropriate

1 therapy, it was two main pathogens, Staph aureus
2 and Pseudomonas aeruginosa. So, given the
3 appropriate therapy early on, it empirically,
4 really changes the outcome overall.

5 So, I think these are the type that have
6 to be put together for different pathogens to get
7 them onto the list.

8 Dick has also written a prospective
9 article in JID in '99, looking at the impact of
10 therapy and attributed mortality, and as he says in
11 that article, the resistance genes just add to that
12 mortality, so if you have a drug that treats the
13 resistant organisms, you can bring the mortality
14 back down.

15 [Slide.]

16 Well, what are some of the problems? We
17 talked about this a lot at the February meeting and
18 at the meeting in November. I am just using some
19 of my old slides there, but one of the problems
20 that we have right in the development of drugs is
21 there is very limited drugs in the pipeline.

22 The promise that genomic sequencing in
23 combinatorial chemistry was going to cure it has
24 failed to date. We still think that those new
25 targets will yield some compounds in the future,

1 but I think it is going to be another 5 to 10 years
2 before you start seeing those compounds come down
3 the pike, and we need substantial funding to
4 continue that.

5 [Slide.]

6 I am not going to go into the detailed
7 drugs on the next two slides. You have those that
8 you can look at. There are two approved drugs here,
9 and then there are five drugs being evaluated for
10 gram-positive infections listed. They are in
11 different phases of development.

12 [Slide.]

13 Following up on the ICAAC, and from data
14 in the literature, there is another group of drugs.
15 These are all analogs of beta-lactams with
16 activity against *Staphylococcus aureus*. What it
17 is, is these compounds were engineered to bind to
18 PBP-2a.

19 They do it much better than most other
20 cephalosporins and carbapenems, and there are a
21 number of them now going into development both in
22 the United States and in Japan. That is the
23 cephalosporins. The carbapenems have not made it
24 to development yet, there is major problems in
25 synthesis of those compounds and whether or not

1 they are going to be brought forward, and I
2 included these just for informational purposes.

3 What is missing from the list? There is
4 no drugs for gram-negatives, and gram-negatives are
5 a looming problem in the hospital, and there is
6 nothing that I see in the pipeline that is really
7 going to add to the armamentarium, and that's why
8 we need to encourage the development of drugs in
9 this particular area.

10 [Slide.]

11 So, what about development of drugs for
12 resistant pathogens? You need to promote
13 development and appropriate use of them and the
14 appropriate labeling. If you get restricted
15 labeling, it is okay for an MRSA, but for a more
16 focused product like a VRE, it is going to really
17 negatively impact people developing drugs for that
18 particular area.

19 But basically, what you come down to is
20 with enough safety database if there are safety
21 issues, but there is activity against resistant
22 pathogens. That will actually control the use of
23 drugs, and I.V. drugs only are going to be
24 controlled in hospital or in home I.V. use anyway.

25 [Slide.]

1 I.V. drugs are a problem to develop. You
2 need serious infections because patients have to be
3 in the hospital. Selection of comparative agents,
4 I don't think is that much of a problem because
5 there is a lot of drugs out there which are
6 considered the drugs of choice.

7 One should select the best agent, though,
8 and I think this is a part of the monitoring by the
9 FDA and Human Studies Committees to ensure that the
10 best therapy is given as a comparative agent.

11 I think the criteria for oral switch are
12 being developed with different partners.

13 [Slide.]

14 With serious infections, there was a lot
15 of talk about using surrogate markers with clearing
16 of the cerebral spinal fluid in meningitis,
17 clearing the blood with pathogens, but, of course,
18 you need clinical outcome also, but the importance
19 of clearing pathogens out of the CSF has been
20 brought up before.

21 Another question on what is the number of
22 pathogens that you need, and I think we are going
23 to be discussing that this afternoon, and the
24 requirement for two well-controlled studies, this
25 is one of the major topics of this conference, but

1 there is another initiative that has been initiated
2 at the FDA, which I think industry should use more,
3 and that is use the target package insert
4 initiative to really increase the communication and
5 to clear up exactly what has to be done, and
6 increasing the use of that particular initiative
7 may actually help in the development of drugs.

8 [Slide.]

9 Finally, how do we incentivize drug
10 development? This is really more a biotech field
11 than a Big Pharma field. For expanded access, there
12 is the possibility of charging fees just to cover
13 your expenses or to augment what you are raising on
14 the marketplace.

15 There was a lot of talk about patent term
16 extension at the November 19th meeting, and this is
17 the initiative talked about by Mark Goldberger,
18 about extending and giving a wildcard patent
19 extension, that for developing drugs for niche
20 products, you would then put the patent extension
21 onto another product, and that drew a tremendous
22 amount of enthusiasm from the Pharmaceutical
23 Manufacturers Association.

24 How about funded consortiums? I think
25 that is a model, also cancer and AIDS has already

1 had it, and we should move forward.

2 One of the other areas that was brought up
3 by one of my colleagues at Cubist is possibly the
4 development of a loan system or government
5 guaranteed loans. This would facilitate biotech
6 companies being able to access different types of
7 funds than just the stock market, and being able to
8 develop funds.

9 There are small business loans, but most
10 biotech companies are too big to really get into
11 that particular area. That particular thing could
12 be modified also.

13 You then repay the loans based upon once
14 you have commercialized the product.

15 [Slide.]

16 The final incentives are tax credits or
17 deductions. Right now it is only valuable for
18 profitable companies, and there are things to
19 extend tax losses to carry them forward, so you do
20 become profitable, you can apply them.

21 But the biggest thing, they actually have
22 this in Canada and some countries in Europe, you
23 have a transferable tax loss. A nonprofitable
24 biotech company can transfer that to another
25 company that is profitable and some mechanism of

1 raising funds.

2 These are just some of the ideas that have
3 to be developed in the future, and many of these
4 ideas cannot be worked on by this committee, but
5 really have to be worked on by Congress to pass
6 some laws to get into this area for funding.

7 We do have drugs coming down the pike to
8 treat some of the pathogens. There are areas of
9 problems particularly with gram-negative where we
10 need more research, and I think having the clear
11 guidelines of how to develop these drugs and then
12 encouraging companies to get into this area will
13 help us in the future because of the emergence of
14 these resistant pathogens.

15 Thank you.

16 DR. LEGGETT: Thank you.

17 I will open for questions at this point.

18 Don.

19 **Questions from Committee**

20 DR. PORETZ: Frank, it is common for
21 certain organisms to use combinations of drugs,
22 like Pseudomonas, people have been using double
23 agents for a long period of time, tuberculosis we
24 always do, and in the antiviral world with HIV
25 disease we do.

1 Should we be more commonly using
2 combinations of drugs to hopefully prevent the
3 emergence of resistance for other organisms than
4 the classic ones that we have used?

5 DR. TALLY: I think that is something that
6 is going to come, and you point to Pseudomonas for
7 combination therapy, and studies that were done a
8 long time ago at UCLA for gram-negative bacteremia
9 combinations seem to work better also in the
10 neutropenic patient.

11 So, yes, it is a point in the future where
12 combination drugs will probably be employed. It is
13 something that would come in a Phase IV type of
14 procedure, because in registering a drug, you need
15 to show that the drug, one, works, and, two, that
16 it is safe in an adequate number of patients, and
17 it is very hard to do that when you are doing
18 combination studies initially.

19 So, you need to do the first steps to show
20 that you have a drug that is safe and effective in
21 treatment, and then for the resistant ones in the
22 sicker patients, in Phase IV, you could do the
23 combination therapy, so I think you are going to
24 see a lot more of that.

25 DR. LEGGETT: Alan.

1 DR. CROSS: At our hospital, because of
2 our resistance problem, we have been, in fact,
3 using more colistin than I have ever seen used
4 since I started training. In our last talks,
5 someone asked about the status or feasibility of
6 detergent type antibiotics, and I was just
7 wondering given the resistance mechanisms we heard
8 about in the last talk, are there any resistance
9 mechanisms we know about for detergent drugs,
10 number one, and, number two, these drugs have been
11 developed a long time ago and since then we have
12 acquired increased skill in understanding the
13 structure-function relationships, and are there any
14 efforts or do you think there is any utility in
15 perhaps going back to a drug like colistin, making
16 some modifications, and at least perhaps mining
17 that area?

18 DR. TALLY: That is an area that I
19 actually mined a while ago particularly to try and
20 change the molecule, the colistin molecule of
21 polymyxa B, to take off the part of the molecule
22 that was binding LPS to see if I could use it in
23 septic shock.

24 There has been a lot of work on polymyxins
25 and colistin to try and come up with better

1 molecules, and I haven't seen any data that they
2 have been able to do it.

3 The inherent problem with those drugs, as
4 you know, is the nephrotoxicity and that they
5 really stay in the vascular space and don't
6 penetrate much. I think there are other efforts to
7 look at those molecules.

8 Now, the detergent-like drugs, you get to
9 a point where you can't give them because they are
10 indiscriminate on all membranes, so it is a fine
11 line. I know there are two or three efforts out
12 there now that people are looking at those types of
13 molecules.

14 DR. CROSS: Do we know anything about the
15 resistance mechanisms that may develop with those
16 type drugs?

17 DR. TALLY: No, not that I am aware of.
18 Barth, do you know?

19 DR. RELER: No.

20 DR. BELL: Frank, that was an excellent
21 talk as usual. You have to some extent bridged the
22 topics to be addressed in the morning session and
23 the afternoon session, and I wonder if you could
24 help me right now, in response to my question
25 addressing the morning session, this concept of

1 criteria for pathogens or I might call it
2 drug-resistant pathogens of public health
3 importance.

4 What is the relative importance of the two
5 topics this morning and this afternoon to the
6 industry? In other words, I can well understand
7 the afternoon's importance because it impacts on
8 the way you would do studies and the materials you
9 would have to submit for approval.

10 How useful is it to you to have a list of
11 criteria for drug-resistant pathogens of public
12 health importance stamped by some government
13 agency, is that not something you could figure out
14 anyway or you and your investors could surmise
15 anyway, do you really need some sort of criteria
16 like that or is it really only as it might relate
17 to this afternoon's discussion that that is of
18 interest of you?

19 DR. TALLY: I think I hear what you are
20 saying, David. It is important for us. One, it is
21 important for the discovery scientist to know what
22 organisms we will be working on. That is at one
23 level, that are going to result in a compound that
24 would have a commercial potential.

25 The second part of the question is your

1 ability to raise money. It is easier to raise
2 money from very skeptical investors, and they are
3 all very skeptical, it is easier to convince them
4 if there is some type of broad criteria that you
5 can then fit your compound into or your organisms
6 you are working into to increase the likelihood
7 that you can get funding. So, it is important to
8 the industry.

9 Now, for Big Pharma, it is important for
10 the discovery scientists in Big Pharma to convince
11 their upper management that they can develop a drug
12 in this particular area, so I think it is important
13 in both areas.

14 DR. LEGGETT: Frank, you made mention of
15 you first have to prove your drug alone works. In
16 that regard, could you mention some of the efflux
17 pump attempts in terms of looking at inhibitors?

18 DR. TALLY: There has been a huge effort
19 on trying to develop pump inhibitors. It is an
20 area I personally have kept my research out of
21 because when you look at the genomes of many of the
22 bugs, the versatility of their pumps is such that
23 when you turn one off, another one turns on, and so
24 it has been very difficult.

25 You can show for select strains that you

1 can inhibit the pump and restore the activity of
2 ampicillin or one of the quinolones or fluconazole
3 back to what it was in fungi, but as soon as you
4 start going out and do a survey, another pump turns
5 on at about 10^{-7} , 10^{-8} , and you are right back to
6 where you were with another pump pumping in
7 molecule.

8 I was into this area also when I worked at
9 Lederle with the pumps for pumping tetracycline.
10 Again, there is a tremendous genetic ability of the
11 organisms to manipulate these pumps to handle all
12 the toxins because that is the way they make their
13 living.

14 So, there have been very good pump
15 inhibitors, but none of them has reached the stage
16 of commercial development that I am aware of at
17 this point.

18 DR. LEGGETT: Thank you.

19 If there is no further questions, we will
20 take a break here and reconvene at 10:45.

21 [Break.]

22 DR. LEGGETT: The next speaker will be
23 John Powers, who is going to talk to us about a
24 list of pathogens of public health importance.

25 **List of Pathogens of Public Health Importance**

1 **John H. Powers, M.D.**

2 DR. POWERS: Thanks, Dr. Leggett.

3 This is a continuation of our discussion
4 that we started yesterday when we talked about
5 labeling for multidrug-resistant pathogens.

6 [Slide.]

7 What I would like to show you today is
8 some background on the requests that we have had
9 from folks in the industry to list resistant
10 pathogens of public health importance and why we
11 even want to engage in this endeavor.

12 The second thing I would like to go over
13 is to elaborate on the criteria for listing
14 pathogens of public health importance, and you saw
15 that on one of the Dr. Tally's slides this morning,
16 and then try to go into some information that we at
17 the agency have been trying to obtain on looking at
18 those criteria and how to obtain that data on
19 looking at those things for each of the pathogens,
20 and then finally, some future plans for populating
21 that list.

22 [Slide.]

23 As I said, today is a continuation of
24 previous discussions on development of drugs for
25 pathogens resistant to antimicrobials. There were

1 several Advisory Committee meetings on this topic
2 in the late 1990s, but most recently, there was a
3 meeting of this committee about a year ago, in
4 February of 2002, and then a workshop in November
5 of last year co-sponsored by the Infectious Disease
6 Society of America, the pharmaceutical industry,
7 and the FDA.

8 It is very clear from these meetings that
9 one of the main topics is that increasing in vitro
10 resistance among many of these pathogens is
11 becoming a public health problem. In some cases
12 already, this in vitro resistance is translating
13 into clinical failures, and even if we aren't
14 seeing clinical failure at this point, it may
15 signal a decrease in the future usefulness of that
16 drug or drug class.

17 [Slide.]

18 In November, at the workshop, there were
19 discussions on the shifting of resources within the
20 pharmaceutical industry to the treatment of more
21 chronic diseases, something Dr. Tally already
22 brought up again today, and there was a recent Wall
23 Street Journal article, and somebody sent this to
24 me, and unfortunately, it didn't have the date at
25 the top of the clipping, so I can't tell you which

1 issue it was, but it listed the top 10 selling
2 antimicrobials in the United States.

3 I just sort of condensed them all together
4 and looked at the drug classes. There was not a
5 single antimicrobial on that list of top 10 selling
6 drugs, and on those were antidepressants,
7 anti-ulcer medications, cholesterol-lowering drugs,
8 and two drugs for anemia, but none of them were
9 antimicrobials.

10 So, as Dr. Tally elucidated for us this
11 morning, antimicrobials are not the moneymakers for
12 the pharmaceutical industry. So, why even put
13 together this kind of a list?

14 [Slide.]

15 Well, at last year's meeting of this
16 Advisory Committee, representatives of the
17 pharmaceutical industry requested that the FDA
18 develop a list of pathogens for which drug
19 development was deemed of public health importance.
20 Again, this same issue was brought up in November
21 of 2002 by both representatives of the Infectious
22 Disease Society of America and the pharmaceutical
23 industry.

24 At that point, we discussed, well, what
25 would the criteria be for developing such a list,

1 and we felt it was important to come up with the
2 criteria before we just started putting names of
3 pathogens on a long list, the reason being that
4 obviously, as Dr. Tally brought up this morning,
5 this list would probably change over time,
6 therefore, it would be nice to have some uniform
7 criteria, and one of the other things Dr. Tally
8 brought up is when a company wants to go develop a
9 drug, they want to be able to sort of plug this in
10 for their particular drug and the particular
11 organism that they are looking at.

12 We could take an example of this. For
13 instance, in the 1950s, in hospital-acquired
14 infections, penicillinase-producing *Staphylococcus*
15 *aureus* was a scourge at that point.

16 One could argue that there are plenty of
17 drugs available now to treat just
18 penicillinase-producing *Staphylococcus aureus*, and
19 one would not put just that drug on a list at this
20 time. However, methicillin-resistant
21 *Staphylococcus aureus* is a different story.

22 So, you can see that some of these
23 pathogens will change over time as to what would be
24 considered of public health importance.

25 [Slide.]

1 How would we use such a list? Well, there
2 is a couple of points that are important to bring
3 up, and that is to get on this kind of a list, a
4 pathogen would not need to fulfill every one of the
5 criteria to be on this list. We are just using
6 this as a kind of template.

7 The other issues that we need to discuss
8 would be the drug sponsors would still need
9 clinical data on treatment of resistant pathogens,
10 as we discussed yesterday in our discussion on
11 multidrug-resistant *Streptococcus pneumoniae*, and
12 the reason we feel this is important is that there
13 may be differences in patient characteristics of
14 those who harbor resistant organisms versus
15 susceptible organisms, and we feel it is still
16 important to actually see clinical information on
17 the treatment of those patients.

18 One of the other reasons that this may be
19 important to have a list for the pharmaceutical
20 industry, that came up in November, was the idea
21 that perhaps these drugs could be given priority
22 review.

23 Now, it is almost impossible to designate
24 priority review upfront in the development process
25 because as Dr. Goldberger brought up in November,

1 whether a drug gets priority review or not depends
2 upon the results of the clinical trials, but at
3 least it would be designated that perhaps the drug
4 might get designated as a priority review.

5 For instance, just recently--now, this is
6 public knowledge--daptomycin has been designated
7 for priority review, as well.

8 Also, drugs may still be approved, but not
9 garner a resistance claim until there is sufficient
10 clinical data, and this is addressed as another
11 important point. The issue here is the drug will
12 be on the market and available for clinicians to
13 use in their patients, but until there is a
14 sufficient clinical database, they don't
15 necessarily need to have a resistance claim.

16 The example of this is levofloxacin, which
17 was approved in late 1996, but didn't garner a
18 claim for penicillin-resistant Streptococcus
19 pneumoniae until 1999, when there was sufficient
20 clinical data to support its efficacy in the
21 treatment of those organisms.

22 The other important point here is that
23 this is a list for prioritization, and Dr. Leggett
24 already pointed out in our discussions so far, we
25 have essentially talked about every bug you could

1 possibly think of, but since this is for
2 prioritization, what we are trying to look at is
3 what do we consider most important.

4 So, because an organism isn't on the list
5 doesn't mean it is not important, but we are trying
6 to prioritize these things.

7 [Slide.]

8 So, what we did, there were seven initial
9 criteria that you saw on Dr. Tally's list, and we
10 condensed them down to six because it appeared to
11 us that two of them essentially were the same
12 thing, and I will go through these.

13 The first is that the organism is of
14 sufficient prevalence in the population with the
15 disease under study, and I will talk about these in
16 more detail through the talk.

17 The second is that the organism causes
18 severe or serious disease. We changed this from
19 virulence because we didn't want to get into the
20 issue of virulence factors as much as that those
21 virulence factors actually translate into high
22 morbidity and mortality for patients.

23 The third is that the drug to which the
24 organism is resistant is commonly used in the
25 disease under study.

1 The fourth is that there is limited
2 available therapies due to multidrug resistance,
3 and we had separated these out into two separate
4 criteria before, but then we figured, well, if it's
5 multidrug resistant, that is why there is limited
6 available therapies, and we condensed that into one
7 criteria.

8 Finally, a drug is used to control spread
9 of the disease in the population, and I will give
10 some examples of that, and then lastly, that there
11 is a clinical correlation of in vitro resistance
12 with poor clinical outcomes.

13 [Slide.]

14 So, let's go through each one of these,
15 and I will try to show you some of the information
16 we have tried to put together for some organisms to
17 put on this list.

18 The first is that the organism is of
19 sufficient prevalence in the population with the
20 disease under study. The first point here is that
21 this speaks to the current burden of the public
22 health problem. If an organism is really, really
23 uncommon, not to say that it is still not
24 important, but what is its relative importance
25 compared to some to some of the other organisms

1 which may be more common for which we might desire
2 drug development.

3 Dr. Tally also brought this up this
4 morning. If an organism is very, very uncommon,
5 then, it is almost impossible to get clinical
6 information and to study it, as well.

7 As we said, less prevalent organisms may
8 still be important or they may become more
9 prevalent over time, and again this list should be
10 a dynamic thing where we will update this as time
11 goes on.

12 Also, this brings up an initial point here
13 about linking the disease under study and the
14 organism, and most resistance labeling claims are
15 related to efficacy in a particular disease, so one
16 could argue that perhaps an organism is important
17 in treating hospital-acquired pneumonia, but that
18 same organism may not be as big a deal when
19 treating an uncomplicated urinary tract infection.

20 Also, this provides the most helpful
21 information to clinicians to show where the drug
22 actually works in which particular disease, and we
23 will talk some more about this issue of difficult
24 diseases supporting each other this afternoon.

25 [Slide.]

1 So, let's try to look at some information
2 on how common are some of these organisms. I am
3 going to show this again for some of the committee
4 members that weren't here yesterday.

5 The FDA has tried to obtain surveillance
6 data in several ways, and one of the things we have
7 done is to obtain this information from Focus
8 Technologies through a contract that we issued last
9 year.

10 We got this contract for the purposes of
11 identifying and tracking resistant organisms of
12 public health importance really for the purposes of
13 drug development. The Surveillance Network of
14 Focus Technologies includes 317 U.S. laboratories,
15 and this information is updated continuously. I
16 will you some information today as Dr. Jorgensen
17 showed, something about penicillin-resistant Strep
18 pneumo and 14 percent of those organisms being
19 multidrug resistant. That number is a lot higher
20 now than when that was published in 2000.

21 This includes community, government, and
22 university laboratories, and hospitals that range
23 from bed size of below 99 to over 500 beds.

24 [Slide.]

25 This Surveillance Network also includes

1 greater than 65 million antimicrobial
2 susceptibility testing results for various bug-drug
3 combinations. It is not an active surveillance
4 network, and it is based on cultures which
5 clinicians order.

6 We looked at this in several ways. One
7 way we could look at this is per isolate, one way
8 we could look at it is per patient, and when we did
9 it, essentially, the results come out the same.
10 So, all the information that you will see today is
11 on a per patient basis, and we also looked at only
12 one isolate per patient.

13 When we looked at that isolate, we looked
14 at it from a first isolate per patient, last
15 isolate per patient, and it came out to be the same
16 in most of the cases, which brings up I guess an
17 important point that Dr. Reller raised this
18 morning, about development of resistance on
19 therapy, but for the vast majority of what we
20 looked at, the first isolate and the last isolate,
21 the susceptibilities were not different.

22 This database includes over 500 microbial
23 taxa and greater than 100 individual drugs, and
24 covers almost 3 million patients who are both
25 inpatients and outpatients, which gives us access

1 to an estimated 2.6 percent of all isolates tested
2 per year in the United States, and some of the
3 other surveillance data is about less than 1
4 percent.

5 [Slide.]

6 Here is some of the information that we
7 tried to get to address this idea of how common is
8 an organism in the population. As you can see,
9 interestingly, of these greater than 500 taxa that
10 are in this database, only 27 of those taxa account
11 for 95 percent of the clinically encountered
12 bacterial species.

13 So, if you look at this, as Dr. Tally
14 pointed out, Staph aureus seems to be a fairly
15 important organism here, 16.1 percent of Staph
16 aureus make up this 95 percent, and the interesting
17 thing is that the inpatient-outpatient split is
18 starting to get closer and closer, 9 percent of
19 these are inpatients, 6.5 are outpatients.

20 Although we discussed Streptococcus
21 pneumoniae at great length, you can see that
22 Streptococcus pneumoniae only account for 1.3
23 percent of this, with 0.7 percent being inpatients
24 and 0.6 percent outpatients.

25 There is an obvious bias in this, and that

1 is that the kinds of diseases in which
2 *Streptococcus pneumoniae* is most common, things
3 like sinusitis, are also the kinds of diseases
4 where clinicians may not choose to culture
5 patients, so again there are some limitations in
6 this data.

7 [Slide.]

8 I apologize for this being very hard to
9 read, but it is awful hard to squeeze 27 taxa onto
10 one slide and to try to show you some of the
11 quantitative information, as well.

12 What we have here are 27 taxa listed from
13 most common to less common on this list, and here
14 is a point that Dr. Tally brought up this morning.
15 If we look at the overall burden of disease, the
16 Enterobacteriaceae account for almost half of it,
17 and yet we see very little drug development for
18 these gram-negative organisms.

19 When we split them up by the top 10
20 Enterobacteriaceae, we have *E. coli* leading the
21 list, *Klebsiella pneumoniae*, *Proteus mirabilis*,
22 *Enterobacter cloacae*, *Serratia marcescens*,
23 *Enterobacter aerogenes*, *Citrobacter freundii*,
24 *Klebsiella oxytoca*, *Citrobacter*, *Morganella* on
25 here.

1 Then, we get down to some of the
2 gram-positives with Staph aureus accounting for
3 16.4 percent, and as Dr. Tally pointed out in that
4 Chest article, it was Staph aureus and Pseudomonas
5 that were the ones that had the excess mortality.

6 The organisms that come up next are
7 coagulase-negative Staphylococci, Pseudomonas
8 aeruginosa, and Enterococcus faecalis.
9 Enterococcus faecium again, although we have talked
10 a lot about vancomycin-resistant E. faecium, is 0.9
11 percent down here. Again, this isn't saying that
12 these organisms aren't important, we are just
13 trying to put this on a relative scale compared to
14 some of the other things that we are seeing.

15 So, the organisms for which we are seeing
16 drug development, like Enterococcus faecium and
17 Staph aureus really the question is how do they fit
18 in compared to these gram-negatives where we are
19 not seeing a whole lot of drug development.

20 Some of the other things we see down here,
21 Acinetobacter, stenotrophomonas, and then we get to
22 Streptococcus pneumoniae, viridan strep, group A
23 and B streptococci, Haemophilus influenzae, and
24 anaerobes at the bottom.

25 [Slide.]

1 There are some limitations to this data,
2 as I said, as it is limited to what clinicians
3 actually order tests for. One can make a case that
4 penicillinase-producing and quinolone-resistant
5 *Neisseria gonorrhoea* is an organism of public health
6 importance, and yet when we went to look for this,
7 over this five-year span, we could only find 1,500
8 isolates of *Neisseria gonorrhoea* in this database.

9 Again, I am not saying that this is
10 unimportant, it just shows that unfortunately, that
11 we can't obtain much information and there are
12 other mechanisms and I believe the CDC has an
13 active surveillance for looking for resistance in
14 *Neisseria gonorrhoea*.

15 [Slide.]

16 So, one of the other things that we can do
17 with this database is to try to track the
18 proportions of infections over time to try to see
19 which are increasing, as well. So, what we have
20 here is the percent of all patients with
21 bacteremias, and this goes from 1998 to 2002.

22 You can see that there is a slight
23 increase in all *Staph aureus* and plateaus out from
24 1999 to 2002, but what you can see, at least from
25 the data that we have obtained, is that the number

1 of methicillin-resistant Staph aureus bacteremias
2 is going up, and there has been a slight decline in
3 methicillin-susceptible Staph aureus bacteremias,
4 so again this is just one way of trying to look at
5 the burden of disease.

6 [Slide.]

7 One of the other things that bears
8 discussing here is something that we talked about
9 yesterday, about trying to put into the label
10 information on helping clinicians to make treatment
11 decisions.

12 One of the things that still clinicians
13 will need to look is what their individual patterns
14 of susceptibility are in their particular
15 institution or their particular community because
16 when you look at the spread of
17 methicillin-resistant Staph aureus across 111
18 institutions in this database, it is enormous, so
19 if you practice over here on the far left, you have
20 a less than 10 percent incidence of
21 methicillin-resistant Staph aureus and perhaps you
22 don't need to worry about that when you are making
23 treatment decisions.

24 If you practice over here, you have got a
25 big problem. You need to consider Staph aureus

1 probably every time you see a person who is
2 infected with a gram-positive.

3 So, looking at this information on
4 drug-resistant pathogens isn't going to obviate
5 clinicians still needing to know what the
6 resistance pattern is in their own community.

7 [Slide.]

8 So, let's move on to the second criteria.
9 Do the organisms cause serious and severe disease?
10 This is really information that we can just garner
11 from the clinical literature and what we know about
12 the natural history of disease caused by these
13 pathogens.

14 Again, resistance claims are usually
15 linked to the disease under study. For instance,
16 we have up to date granted penicillin-resistant
17 *Streptococcus pneumoniae* indications for
18 community-acquired pneumonia, but not acute
19 bacterial sinusitis or acute exacerbations of
20 chronic bronchitis.

21 It is also important about the range of
22 organisms that cause various disease. If one were
23 to grant an indication that said for all resistant
24 *Streptococcus pneumoniae* infections, that may not
25 be very informative to clinicians, and it also

1 doesn't impact on things like Strep pneumo causes
2 respiratory tract infections, but it's an uncommon
3 cause of something like urinary tract infections.

4 Again, these diseases range from fatal to
5 self-resolving diseases, and it may be that the
6 impact of resistance is most likely to be important
7 and relevant to public health in the diseases which
8 are not as likely to resolve spontaneously.

9 This gets to an issue of making public
10 health decisions versus decisions in individual
11 patients, so when we are approving a drug, we are
12 looking at is this drug going to be used in
13 millions and millions of people to treat that
14 particular infection. That doesn't mean we are
15 telling a clinician that if they see a patient that
16 has been treated over and over again and has failed
17 numerous antibiotics that they can't make a
18 treatment decision based on what they are seeing in
19 front of them.

20 [Slide.]

21 So, when we look at some of the stuff of
22 splitting it up by source, this database gives us
23 the ability to actually try to look at where are
24 these organisms most commonly occurring. In this
25 graph, what you will see is splitting up the data

1 on Staph aureus infections by source.

2 In here, we will see the yellow bars are
3 all Staph aureus, the pink bars are
4 methicillin-susceptible, and the orange bars are
5 methicillin-resistant Staph aureus. What you can
6 see is that from all sources, that we still see
7 that MSSA outnumber MRSA, but you can see that
8 there is big differences across these.

9 When you look at bloodstream infections,
10 they are pretty much getting equal to each other.
11 Upper respiratory tract infections, it seems that
12 methicillin-susceptible outnumber
13 methicillin-resistant, and in UTI, actually,
14 surprisingly, methicillin-resistant actually
15 outnumber methicillin-susceptible although the
16 overall numbers are quite small.

17 [Slide.]

18 So, the third criteria is that the drug to
19 which the organism is resistant is commonly used in
20 the disease under study, and this really speaks to
21 the clinical relevance of drug resistance. Again,
22 I gave this example yesterday.

23 One could argue that trimethoprim sulfa
24 resistance is a problem when one goes to treat an
25 uncomplicated urinary tract infection because that

1 drug is very commonly used. On the other hand, if
2 someone gave you the information that this young
3 woman had an E. coli resistant to Streptomycin,
4 causing her UTI, that information is not very
5 clinically relevant since people don't use that
6 drug to treat uncomplicated urinary tract
7 infections.

8 We are attempting to gather information on
9 drug usage for various diseases from a number of
10 sources, and actually it is quite difficult to
11 split this up when you try to look at what
12 clinicians are using for a particular disease.

13 There are a number of databases, like the
14 IMS database, which look at overall drug usage, but
15 it is a lot more difficult when you want to piece
16 it down to what people are actually using it for.
17 So, we are trying to look at the IMS database,
18 medical literature, and we are also trying to
19 contract with some other folks to actually obtain
20 sources of information from their practices about
21 what folks are using for various diseases, and this
22 is because variations in medical practice and
23 resistance patterns in various geographic areas and
24 patient populations may differ.

25 It is interesting, when I was listening to

1 the discussion yesterday, I think one of the
2 committee members said I don't think cefuroxime
3 should even be on here, it is not a problem, and
4 the person sitting next to me said gee, that is the
5 drug of choice we use at our hospital for
6 community-acquired pneumonia. So, I think there is
7 differences across the practices that may impact on
8 this, as well.

9 [Slide.]

10 The fourth issue is limited available
11 therapies due to multidrug resistance. This is
12 what we have tried to get a lot of information on.
13 So, we have tried to use surveillance data to
14 examine the relationships of cross resistance
15 within a given bacterial taxa.

16 I showed you this data yesterday for
17 *Streptococcus pneumoniae*, that the way we are
18 trying to look at this is if an organism is
19 resistant to one drug class, is it resistant to the
20 other and vice versa, looking at it in both
21 directions.

22 We also plan to do similar analyses for
23 other organisms, as well for fungi, and what I am
24 going to show you is some preliminary analyses
25 today that we have done for some of these organisms

1 that we are by no means complete yet.

2 We assume that organisms which are
3 resistant to multiple drugs are more likely to have
4 fewer available drugs for treatment, as well, which
5 would seem to place them higher on the list.

6 [Slide.]

7 So, like I did yesterday, I want to show
8 you a blank graph, so that we will have an idea of
9 what we are looking at. What we have done is we
10 have placed on the X axis the number of agents to
11 which the isolates are resistant.

12 On the Y axis is the number of agents to
13 which the isolates were susceptible, so if you are
14 right here, it means that these particular isolates
15 that you will see, and we put a number of dots
16 across this here, each dot representing one isolate
17 usually, and so if an organism falls right here, it
18 means that it is resistant to nothing and
19 susceptible to six different drugs.

20 If an organism falls right here, it means
21 it is resistant to one drug and susceptible to five
22 drugs, and then you will see these dots that kind
23 of trail off down here. What that means is that an
24 organism that falls right here is susceptible to
25 four drugs, resistant to one, and intermediate to

1 one, just so you understand what you are looking at
2 when we do this, and then we will trail down here
3 to the point when you get to see these dots down
4 here, these particular isolates are resistant to
5 seven different antimicrobials and susceptible to
6 nothing.

7 So, obviously, what we are really
8 interested in is the organisms that are falling
9 down on this end. If we see cross-resistance in
10 these organisms, what we will then look for is
11 clustering of organisms here and clustering of
12 organisms somewhere down here, as well.

13 [Slide.]

14 Let me give you two examples of an
15 organism that appears to be multidrug resistant
16 with linked cross-resistance pattern and one that
17 does not.

18 Here, we did the same analyses in almost
19 8,000 isolates of *Acinetobacter baumannii*. The
20 antimicrobials tested across these seven things
21 here are gentamicin, ceftazidime, imipenem,
22 ciprofloxacin, cefepime, ampicillin-sulbactam, and
23 piperacillin. So, that is the seven isolates that
24 run across both of these isolates.

25 What you can see is that the organisms

1 either cluster right here where there are the
2 darkest dots, and that means that the organism is
3 either susceptible to all seven drugs and resistant
4 to none, but right here is where we see the other
5 clustering of the organisms.

6 So, if you have a resistant Acinetobacter,
7 it is most likely to be resistant to five or six
8 other drugs and susceptible to only one or two at
9 that particular point. So, this way, we are
10 looking at it in both directions of not just
11 starting out with, say, gentamicin resistance and
12 seeing how many are resistant to gentamicin, we are
13 looking at it in two directions.

14 [Slide.]

15 Let's look at an organism that doesn't fit
16 this pattern. This is Streptococcus pyogenes group
17 A beta-hemolytic streptococci. Here, we looked at
18 penicillin, vancomycin, erythromycin, clindamycin,
19 ceftriaxone, and levofloxacin.

20 What you see here is that multidrug
21 resistance is not a problem with group A strep.
22 So, you will see that these organisms are, for the
23 most part, susceptible to all six of these
24 antimicrobials, and there is very few of them that
25 are resistant. Again, this trailing down here is a

1 few of them will end up being intermediate, as
2 well, but when you look out here, there is almost
3 none that are multidrug resistant or very few when
4 we get out to this point.

5 So, if we are looking at this criteria at
6 least of multidrug resistant, Acinetobacter is
7 clearly an issue here, but group A strep is not,
8 and that there are a number of other drugs that may
9 be effective.

10 [Slide.]

11 Then, we can actually take this
12 information and do more detailed analysis on the
13 resistance patterns by taking these particular
14 cells and actually looking at the seven different
15 drugs and trying to see whether they are resistant
16 or not.

17 If you just take this group right here
18 where we are talking about organisms that are
19 resistant to six different drugs and only
20 susceptible to one, that is across this line here.
21 The beauty of this is you can actually look as we
22 increase, you can see when you start to lose
23 particular drugs. I will show you this for
24 Streptococcus pneumoniae where it is a little
25 easier because there are not so many drugs across

1 the bottom here.

2 But what you can see is that once you get
3 to this point with an Acinetobacter, that you are
4 talking about 98 percent resistance to
5 aminoglycosides, 91 percent to ceftazidime, 99
6 percent to quinolones, 97 percent to cefepime, 86
7 percent to ampicillin-sulbactam, and 99 percent to
8 piperacillin, and all you are left with is
9 imipenem, and even there, a third of the organisms
10 are resistant.

11 So, this is the kind of information we are
12 trying to look at to say would an organism go on
13 such a list of public health importance because of
14 the lack of available therapies here.

15 If you did the same thing with group A
16 streptococci, you would see that 100 percent are
17 still susceptible to penicillin, and a few of them
18 are macrolide resistant, but that most of them are
19 still susceptible to all those other drug classes.

20 Let's do the same kind of analyses with
21 Streptococcus pneumoniae, and I just want to show
22 you this to complete the thought that we did
23 yesterday because I didn't show you these when we
24 were talking about multidrug resistance, but the
25 question came up yesterday of, well, most of these

1 organisms probably aren't resistant to two or three
2 things.

3 Well, at this point, it looks like they
4 are, and you can see that if we split this up, and
5 we split this up just because if you overlay these
6 two graphs on top of each other, you can't see
7 anything, so we split them up into
8 penicillin-susceptible isolates on the left and
9 penicillin-resistant on the right.

10 If you susceptible to penicillin, most of
11 these organisms still cluster right here, meaning
12 they are susceptible to erythromycin, third
13 generation cephalosporins, clindamycin,
14 levofloxacin, and trimethoprim- sulfamethoxazole.

15 On the other hand, if you start out with
16 the penicillin-resistant isolate, you can see most
17 of these organisms cluster out here meaning they
18 are resistant to at least two other drugs in
19 addition to penicillin, so it is not that if you
20 are resistant to penicillin, well, some of them are
21 macrolide resistant and some of them are just
22 resistant to trimethoprim-sulfa, they are resistant
23 to at least three things.

24 [Slide.]

25 So, again, we can do the same kind of

1 information where we take this and look down at
2 this, and actually, we did it right here just to
3 show the extreme case of what's out here, but if we
4 took this right here, you can do this for any of
5 the lines, so if you look at the two drug
6 resistance line, you can see that 94 percent are
7 resistant to erythromycin, 95 percent to
8 trimethoprim-sulfa.

9 Again, if you look down this list, you can
10 see when you start to lose things, and you can see
11 that the first drug to go is trimethoprim-sulfa,
12 the second class to go looks like macrolides, and
13 then the third class to go looks like clindamycin
14 here, but yet we maintain susceptibility of third
15 generation cephalosporins until we get way out
16 here, and then you see that even there is 100
17 percent resistance to this, as well.

18 The reason I am showing you this is that
19 what we would like to do is get your input today on
20 what kind of organisms we should run through this
21 kind of analysis to try to look at.

22 We plan on doing this for the 27 different
23 taxa that I showed you in the beginning, but are
24 there some other organisms that the committee would
25 consider important to try to do this analyses, as

1 well.

2 [Slide.]

3 The fifth criteria was that the drug is
4 used to control the spread of the disease in the
5 population, and this is important for things like
6 sexually transmitted diseases like gonorrhoea and
7 tuberculosis where we don't have good vaccines
8 available, and really the means of limiting that
9 spread of the organism in the population is the
10 drug therapy itself as opposed to, say, things like
11 vaccines.

12 [Slide.]

13 The last criteria is perhaps the trickiest
14 one, and that is trying to draw a clinical
15 correlation between in vitro resistance with poor
16 clinical outcomes, and this really raises the
17 question of is resistance in the test tube
18 clinically relevant.

19 The reason why we also feel this is
20 important is there are recent examples where in
21 vitro resistance does not correlate with poor
22 outcomes in the majority of cases, and there are
23 other methodological issues when we expand this
24 beyond just bacteria, such as things with like
25 tuberculosis where we know that clinical outcomes

1 don't correlate with some of the in vitro testing
2 for some of the anti-TB drugs.

3 But, for instance, we know that some of
4 the data we are seeing now on penicillin resistance
5 in *Streptococcus pneumoniae* at least in
6 community-acquired pneumonia shows that until we
7 get up to MICs of at least four for *Streptococcus*
8 *pneumoniae* against penicillin, that there doesn't
9 appear to be an impact.

10 Again, this is the issue of the disease in
11 question because for meningitis, there appears to
12 be that this may be more of an issue than for
13 community-acquired pneumonia.

14 On the other hand, there is also some
15 information in macrolide resistance in
16 *Streptococcus pyogenes* pharyngitis that perhaps
17 that doesn't make a whole lot of difference either.

18 So, the clinical impact of resistance may
19 be more important, as I said before, and more
20 apparent in more serious diseases which are less
21 likely to resolve spontaneously.

22 [Slide.]

23 It is difficult to get information on
24 clinical treatment outcomes. First of all, as Dr.
25 Tally said, the organism must be prevalent enough

1 to even study and it takes time to accumulate that
2 data.

3 The Pallares study that was published in
4 the New England Journal of Medicine was 10 years'
5 worth of data from Spain. Also, some drugs are not
6 used to treat a severe disease where the difference
7 between susceptible and resistant isolates are more
8 likely to occur, and Dr. Tally showed that slide
9 about the attributable mortality between these
10 things.

11 So, for instance, in hospital-acquired
12 pneumonia, it may be more apparent that
13 susceptible, and the data that Dr. Tally showed
14 from the Ibrahim article in Chest is about
15 hospital-acquired pneumonia where you this big
16 difference between susceptible and resistant
17 isolates.

18 On the flip side of that, though, the cure
19 rate for hospital-acquired pneumonia is about 50
20 percent, so the overall cure rate is going to be
21 lower although the difference between susceptible
22 and resistant isolates may be bigger.

23 On the other hand, suppose you look at a
24 study of community-acquired pneumonia in PORT Class
25 1 patients, who are the least severely ill

1 patients. The mortality in those people is about
2 0.1 percent. How are going to be able to show a
3 difference between susceptible and resistant
4 isolates when a number of the--now, obviously, that
5 is treated patients, so we are not saying that
6 everybody would get better if they didn't get
7 treated--but it is difficult to show a difference
8 in that.

9 We can extend this to even other diseases
10 like acute bacterial sinusitis where the
11 spontaneous cure rate is higher, it is a lot more
12 difficult to show this.

13 The other issue is where is your drug
14 used, and we had this discussion back in January
15 about macrolide- resistant Streptococcus
16 pneumoniae. Again, macrolides are usually used in
17 these people for things like community-acquired
18 pneumonia in the outpatient setting who are likely
19 to do well anyway.

20 What is the impact of macrolide resistance
21 on that disease, it may be very difficult to tell.
22 The flip side of that is that macrolides are very
23 rarely used as sole therapy in the treatment of
24 someone with severe community-acquired pneumonia.
25 They are usually part of a combination regimen, so

1 again it becomes very difficult to determine what
2 the impact of macrolide resistance is in that
3 organism.

4 [Slide.]

5 The other issue is when we see increasing
6 case reports, can we really call this mounting
7 clinical evidence, and there is a couple of issues
8 that make that difficult to evaluate.

9 The first is that there is a publication
10 bias, people are less like to publish the fact that
11 they put the person on a macrolide and they got
12 better.

13 The second thing is the natural history of
14 the disease, such as community-acquired pneumonia,
15 where severe disease carries a mortality of
16 approximately 30 percent regardless of therapy.
17 So, if you see somebody who has severe
18 community-acquired pneumonia and they were given a
19 macrolide and they didn't do well and they had a
20 resistant organism, is it because they had the
21 resistant organism or is it because they were going
22 to die anyway from their underlying disease?

23 The third thing is there are some data
24 showing no effect of antimicrobial therapy on
25 mortality in the first five days of bacteremic

1 pneumococcal pneumonia, and this is data that Dr.
2 Astrian did at Penn back in the 1960s, and there is
3 no reason to believe that that would be different.
4 In fact, that is the reason why the Feikin article
5 in the American Journal of Public Health excluded
6 patients in the first four days of treatment
7 because they wanted to take this into account, as
8 well.

9 The problem with all these case reports is
10 they lack comparative data showing a higher rate of
11 failure in resistant isolates versus susceptible
12 isolates. So, for instance, when we looked at the
13 data for tolithromycin, we showed at that advisory
14 committee that three of the five patients who
15 received clarithromycin, who had
16 macrolide-resistant organisms, one of whom was
17 bacteremic, got better.

18 So, when we look at this in a comparative
19 way, the question is can we show that these
20 resistant isolates have a worse outcome, and this
21 data, like I said, is very hard to obtain.

22 [Slide.]

23 Some people have done it, though, and I
24 would like to show you some examples. This
25 committee is pretty familiar with the discussions

1 about Streptococcus pneumoniae, so I wanted to use
2 a different example here of group A streptococcal
3 pharyngitis.

4 This was a study that was done in Italy in
5 a four-month span in 1997. In Italy, their
6 macrolide resistance is actually quite high, it is
7 almost about 50 percent of group A strep are
8 resistant to macrolides.

9 So, they did throat swabs prior to
10 treatment at the end of therapy in these children
11 all under the age of 14, and they looked at both
12 the clinical resolution and the bacteriologic
13 eradication rate in these children.

14 Out of those 3,000 kids who got cultured,
15 1,048 or about a third of them had a positive test
16 for group A beta-hemolytic streptococci. 934 of
17 them were tested for susceptibility, and all of
18 those kids got looked at for clinical cure.

19 Only 668 out of the 934 came back for
20 follow-up and that were able to be assessed for
21 bacteriologic cure by a second culture. The
22 macrolide resistance in their isolates at baseline
23 was 46.3 percent of the isolates, and one of the
24 phenomenon I find very interesting is that
25 penicillin resistant was zero percent.

1 So, I constantly ask myself this question
2 - penicillin is used all the time for various
3 infections, why hasn't this bug become resistant to
4 penicillin, and I think that is a very interesting,
5 if unanswered question.

6 [Slide.]

7 What they showed was that the
8 macrolide-susceptible organisms, which comprised 57
9 percent of them, the bacteriologic cure rate which
10 was done at the end of treatment, at 10 days, was
11 about 80 percent of those people. Even though 42
12 percent of the organisms were macrolide-resistant,
13 almost 60 percent of them had a bacteriologic cure
14 anyway. So, there is a big discrepancy, 20 percent
15 more kids who had a resistant isolate still
16 eradicated the organism from their throat.

17 Now, this goes to two different points
18 here. Is this because this is pharyngitis, which
19 is a self-limited, self-resolving disease in a lot
20 of people anyway, or does it say that we are
21 defining these breakpoints in some wrong way and
22 that the drug is still having efficacy?

23 If you look at the penicillin-susceptible
24 isolates, 100 percent of these were susceptible,
25 and yet the penicillin only eradicated the organism

1 in 84 percent of the cases, so it shows that even
2 in all susceptible isolates, that the drug isn't
3 completely effective all the time, and there is a
4 whole body of literature on this, too, that some
5 people argue that perhaps other organisms in the
6 mouth secrete beta-lactamases which inactivate
7 penicillin when you are trying to treat group A
8 strep, et cetera.

9 When they looked at the clinical cure
10 rates, it was low no matter which way you sliced
11 it, and, in fact, the failure rate with all these
12 drugs was less than 2 percent at day 3 to 5 no
13 matter which drugs you looked at, and they looked
14 at penicillins, cephalosporins, and macrolides in
15 this disease.

16 [Slide.]

17 So, what are some of the organisms that we
18 have previously granted resistance claims for that
19 would seemingly be easy to put on this list? Well,
20 we have talked at length today about
21 methicillin-resistant Staph aureus,
22 vancomycin-resistant Enterococcus faecium.

23 In the past, we have granted claims for
24 penicillinase-producing staphylococci, but one
25 could argue that at this point in time, that

1 doesn't really represent an organism of public
2 health importance, and it is probably subsumed
3 under MRSA anyway.

4 We have granted indications in the past
5 for beta-lactamase-producing Haemophilus influenza
6 and Maraxella, and most recently, for
7 penicillin-resistant Streptococcus pneumoniae, and
8 we had the discussions yesterday of should we now,
9 knowing what we know, be calling this
10 multidrug-resistant Streptococcus pneumoniae.

11 Just to reiterate some of the things we
12 brought up yesterday, some of the committee
13 members talked about why don't we just turn back
14 the clock and remove this and just say
15 community-acquired pneumonia due to susceptible
16 pathogens and forget about putting these resistance
17 things in there.

18 Two of the points I brought up yesterday I
19 think we need reiterating today. The one is not
20 everybody who reads this label is an infectious
21 disease specialist, so we want to convey this
22 information to clinicians, and what do we want to
23 convey, because somebody else said yesterday, well,
24 the label shouldn't be an educational tool.

25 It says in the Code of Federal Regulations

1 that the label is actually supposed to show how the
2 drug is supposed to be used for its intended use.
3 The intended use is not for a bacteria, it is for a
4 disease, and those diseases for the most part are
5 treated empirically especially when we talk about
6 Streptococcus pneumoniae, so what we are writing
7 this label for is not so just infectious disease
8 physicians know how to use it, but so how general
9 practitioners and family practitioners and other
10 people also are aware of this cross resistance
11 pattern between these organisms and what they
12 should be doing when they are going to treat people
13 especially in an empiric setting.

14 [Slide.]

15 So, our future plans then will be to try
16 to look at some of this information we have
17 gathered, examine the epidemiology of organisms and
18 causing these various diseases, obtain data on drug
19 usage for some of these various indications to see
20 what folks are actually using for these, look at
21 the cross-resistance patterns in various organisms,
22 and as I said, we would like some guidance from the
23 committee of--we will show that slide again of the
24 27 different taxa and are there things you think
25 should be excluded from that, that aren't

1 important, are there things you think should be
2 added to that list.

3 Finally, to try to obtain some data on
4 clinical correlations with clinical outcomes and
5 resistance wherever possible.

6 [Slide.]

7 So, what we would like to do is based on
8 today's discussions, to at some point in the
9 future, and today we are not expecting to come up
10 with a list coming out of this meeting, what we are
11 trying to do is to have the committee comment on
12 these six criteria for us that would make up such a
13 list and see if there is anything that we should
14 add or subtract to that list, and then try to go
15 back and populate the list based on those criteria.

16 This afternoon's discussions are actually
17 going to talk about some other aspects of drug
18 development for resistant pathogens, which actually
19 dovetail into this. Then, we talked about yesterday
20 this idea of multidrug-resistant organism claims,
21 which I hope we can expound on again today, and
22 finally, all of this in the end what we are trying
23 to do is use it to formulate a guidance for drug
24 development for resistant pathogens.

25 DR. LEGGETT: Thank you very much.

1 It is now a little bit later, but we still
2 have at least an hour or so of discussion. So, go
3 ahead. We have some questions first. Alan.

4 **Questions from Committee**

5 DR. CROSS: John, that was a very elegant
6 presentation of the multi-resistance. I wonder if
7 you actually wrote down any of those organisms, for
8 example, the Acinetobacter, by site of isolation,
9 and, if so, is there any difference in terms of the
10 likelihood of resistance at a specific site for a
11 specific organism.

12 DR. POWERS: We are going to try to do
13 that. For some of the organisms, we are going to
14 try to split it up by inpatient and outpatient
15 basis. We are going to try to actually look at it
16 by bed size of the hospitals. We are going to try
17 to look at it by geographic area, by census tract
18 within the United States to see if it varies across
19 the country.

20 So, we have got all of these planned
21 analyses, and I was just trying to sort of give you
22 the tip of the iceberg today to see what it looks
23 like.

24 DR. CROSS: Certainly, in terms of the
25 organism by site, it may dictate the

1 pharmacokinetics of the desirable drug that you
2 want.

3 DR. POWERS: Right, and the other thing is
4 that when I put up some of those sites, like CNS,
5 central nervous system includes shunts, cerebral
6 spinal fluid, so I just sort of gave you the
7 broadest brush approach today because some of those
8 things may be more important than others.

9 You may complete ignore a
10 coagulase-negative staph coming out of CSF, but not
11 out of a shunt.

12 DR. LEGGETT: David.

13 DR. BELL: The FDA, I believe is to be
14 commended for its continuing efforts to facilitate
15 the process of new antimicrobial drug development.

16 I think I can understand the potential
17 usefulness of developing a list of criteria for
18 drug-resistant pathogens of public health
19 importance. I have some comments, that I am going
20 to defer until later, the most important of which
21 is I think that the currently proposed criteria
22 need to be amended to include trend information.

23 I, however, have serious reservations
24 about the Federal Government actually developing a
25 specific list of pathogens stamped with FDA or

1 Public Health Service approval, and I wonder if it
2 might be acceptable just to list criteria that then
3 could be evaluated as the drugs or brought forth.

4 Let me outline my reservations about the
5 specific list. One of them is that, of course, the
6 list is going to change over time or should change
7 over time. Who would develop the list and how
8 would it be changed in a timely manner?

9 What would be the impact on the industry
10 and on efforts at new drug development if the list
11 changes over time particularly if a pathogen were
12 to come off the list because let's just say there
13 were some wonderful new drugs developed or a new
14 vaccine that eliminated transmission to zero, or
15 something like that?

16 Would pathogens ever come off the list or
17 would the list basically only grow and become so
18 long as to become meaningless?

19 My biggest concern, however, is what about
20 the pathogens not on the list? One issue is could
21 work on these pathogens not yield insights to help
22 contribute to pathogens that are on the list. But
23 my biggest concern about pathogens not on the list
24 is that the fact that they are not on the list
25 might compromise essential control measures to deal

1 with drug resistance that, as we all know, require
2 approaches in addition to new drug development.

3 Let me give you an example. Currently,
4 the FDA Center for Veterinary Medicine is engaged
5 in a legal proceeding to try to withdraw approval
6 for fluoroquinolone use in poultry. We fortunately
7 don't have much fluoroquinolone-resistant
8 salmonella in this country, unlike other parts of
9 the world, but we have considerable
10 fluoroquinolone-resistant Campylobacter that is
11 linked to fluoroquinolone use in poultry, and it is
12 the Campylobacter that is serving as the basis for
13 the FDA's legal proceeding to withdraw the
14 fluoroquinolones.

15 Now, the FDA's legal proceeding is being
16 fought tooth and nail by industry, tooth and nail,
17 and my question is suppose Campylobacter didn't
18 turn up on this list of priority pathogens for
19 public health importance. I think it is virtually
20 certain that the industry would use that in
21 contesting efforts to withdrawn fluoroquinolones
22 from poultry, and they would say, I think it
23 likely, this is burdensome regulation, see, it is
24 not even an important pathogen, it is not even, et
25 cetera, et cetera, and I think has to be

1 considered.

2 There are other approaches to drug
3 resistance in addition to new drug development, and
4 what would be the implications of a pathogen not
5 being on the list?

6 So, I wonder, in closing, if it's possible
7 to develop criteria, perhaps even with some
8 examples, but stopping short of actually enshrining
9 some sort of specific list.

10 Thanks.

11 DR. LEGGETT: Ellen.

12 DR. WALD: Just to make two comments. One
13 is that although antimicrobials may not be on the
14 list of drugs that you generated for overall,
15 certainly as you look at hospital formularies, they
16 are usually right there on top. Now, I suspect
17 that the hospital-based dollars is relatively small
18 compared to all dollars, but nonetheless, I think
19 important.

20 Secondly, I would just like us to not
21 exaggerate the nonsignificance of drug resistance
22 because I think that in reality, it is probably
23 almost all significant and that the fact that some
24 infections do okay on drugs to which they are
25 reported to be resistant, really reflects the

1 relevance of the breakpoints for the particular
2 sites of infection, and that, you know, when you
3 get to central nervous system disease or you get to
4 an empyema or you get to middle ear disease where
5 you have a significant stepdown of antibiotic
6 concentration from blood to site of infection,
7 then, in fact, you see very clearly that these
8 drug-resistant organisms are important and
9 pathogenic.

10 DR. LEGGETT: Barth.

11 DR. RELER: I like the criteria that John
12 condensed for the developing list and I wonder a
13 couple of things, whether the caveats that Dr. Bell
14 has articulated could not be encompassed by a
15 wording "including but not limited to" on this
16 list, and with specific examples.

17 One could argue, for example, for
18 Campylobacter, that it is important to clearly
19 certain antimicrobials curtail resistance, issues
20 of day care, public health interest in containing
21 infection.

22 But in addition, I wonder, John, on the
23 scattergrams that you did, and given the utility of
24 the TSN database as being one of the better,
25 perhaps the best currently available, that

1 monitors, is a good sampling because of the
2 diversity of hospitals involved, so that one
3 doesn't get biases of the high concentration of
4 resistant organisms in tertiary care hospital, but
5 doesn't ignore their importance because of the past
6 trends of what appears first in these centers
7 eventually wends its way to communities. It is
8 just a matter of how quickly.

9 And the complexity of dissecting out all
10 of those shadows and dots is to develop subset
11 data, and I am thinking about Item No. 2 on the
12 list, organisms that cause serious and severe
13 disease, of using the bacteremia isolates in that
14 database as a first cut for rank ordering of
15 pathogens with multidrug resistance.

16 The utility of that I think is more than
17 what is immediately obvious. In complicated
18 urinary tract infections, resistance may not be
19 important or uncomplicated or not as important, but
20 if one has bacteremia, I mean by definition, one
21 has upper tract disease and a complicated
22 infection.

23 So, you pick up those pathogens that in
24 different sites may be important, and you also deal
25 with the issue of an organism means different

1 things in different places. Similarly, most
2 coagulase-negative staphylococci that are isolated
3 and in the database, many are rubbish, whereas, the
4 ones out of blood, particularly if one follows the
5 newer guidelines in hospital-acquired infections,
6 catheter-associated infections where there is a
7 reproducible isolate of coagulase-negative, given
8 how frequent they are, even though 80 percent may
9 be contaminants, the 20 percent that aren't can
10 cause serious disease including the occasional
11 community-acquired endocarditis with
12 coagulase-negative staphylococci, so that you have
13 a natural selector, if you will, that everyone
14 would accept as serious and severe disease.

15 There is also some additional regulatory
16 support for that approach in that some of the
17 surrogates, with the emergence of resistance, for
18 example, in VRE, one of the things that was
19 important in the consideration when
20 quinupristin-dalfopristin came before the committee
21 was the use of cessation of bacteremia, and I think
22 of Dr. Jorgensen's portrayal of the persistence of
23 bacteremia from the Acar publication with third
24 generation cephalosporins and methicillin-resistant
25 staphylococci of the early data of where despite

1 aberrant or inappropriate testing, that now doesn't
2 happen in any good laboratory, one could have been
3 misled by in vitro susceptibility, but clinical
4 failure.

5 So, I think mining the data that gives a
6 cross-section of the country would be perhaps much
7 more valuable than all of those numbers and all of
8 those points that may obscure the central issue of
9 these, or by definition important organisms
10 associated with serious disease.

11 You can actually cover, not only one, but
12 some components of more than one of the six points
13 in doing that.

14 DR. LEGGETT: John, what do we do about
15 the not prevalent pathogens that we can't study
16 that are of immense public health interest? For
17 instance, anthrax, or viruses.

18 DR. POWERS: Some of the things we have
19 done with anthrax, there has also been a recent
20 animal rule where we are trying to get information
21 in things that cannot be studied at all, to try to
22 get that information.

23 The original anthrax approval was based on
24 a study done in Rhesus monkeys, that looked at the
25 efficacy of ciprofloxacin, doxycycline, or

1 penicillin versus placebo.

2 DR. LEGGETT: Yes, Mark.

3 DR. GOLDBERGER: I think that brings up,
4 you know, sort of the broader issue, which is
5 related to some of the things we are going to also
6 talk about this afternoon, but in terms of thinking
7 about how to study organisms that are hard to
8 study.

9 An example that came to mind, I was just
10 looking, you know, at the Acinetobacter data, to
11 actually do a study to really determine if a new
12 antimicrobial worked against the Acinetobacter
13 would be a major undertaking.

14 So, the question comes up how does one go
15 about making inferences, what are the other
16 components of information you can use to get a
17 feeling of whether a new antimicrobial is going to
18 perform.

19 As a starting point, obviously, that
20 includes looking at in vitro data, perhaps
21 commonality of resistance mechanisms, the use of
22 animal data, the study of the drug in perhaps
23 serious indications analogous to where you would
24 find the pathogen you are concerned about with
25 other serious gram-negative organisms including

1 those that have similar resistance mechanisms, but
2 it may be worth, at some point, whether we do it
3 now or in part in the afternoon or at a subsequent
4 meeting, talking about this concept of how one
5 draws inferences from a variety of types of data to
6 allow one to be reasonably comfortable that even if
7 the number of actual isolates is not that high of
8 the organism in question, the totality of the data
9 that you have collected makes it reasonable to
10 presume that this drug is likely to perform.

11 I think that that is an important issue
12 because even beyond the Acinetobacter, I mean we
13 have had concerns about resistant gram-negatives in
14 a variety of settings, to ask companies to come up
15 with enough of each of the types of gram-negatives
16 to get that clearly put in the label is no small
17 undertaking, and the question is at what point,
18 when you have looked at serious infections due to a
19 couple of, say, major gram-negatives, say, a
20 klebsiella, an anaerobacter, a pseudomonas, and you
21 have shown the drug performs well, do you begin to
22 get enough confidence to be able to say you will
23 label this for, say, this type of a disease, due to
24 gram-negative organisms more broadly.

25 But I think at some point we are going to

1 need to have a type of discussion about how one
2 draws inferences because there will be many
3 examples of these hard-to-study, very resistant
4 organisms that we need to collect useful
5 information in some organized fashion about.

6 DR. LEGGETT: John, one follow-up
7 question, can I play devil's advocate for a second.
8 Since we first saw penicillin resistance and sulfa
9 resistance, hasn't all drug discovery been driven
10 by resistance? In other words, criteria No. 4,
11 isn't that self-evident?

12 That sort of gets a little bit back to
13 David's question, but in a different way.

14 DR. POWERS: My answer would be sort of in
15 that we are actually in the process now of
16 working--one of the things that came out of that
17 November workshop was this idea that some of this
18 has to be changes in the law.

19 The IDSA is actually trying to go to
20 Congress to actually lobby to do some of these
21 changes, and we are working in cooperation with
22 them to try to look at what are companies actually
23 submitting to us, not by drug name, but just sort
24 of broad categories.

25 What we are seeing, and this has not been

1 completed yet, though, is that the number of new
2 molecular entities is actually quite small, yet,
3 the number of changes in formulations, such as
4 extended releases or increasing the dosage of a
5 particular drug, is what we are seeing a lot of.

6 So, when you say isn't all drug
7 development driven by resistance, partly, yet, it
8 is changing your dosage formulation from the tid
9 drug to a q.day drug, is that driven by resistance
10 or something else?

11 DR. LEGGETT: Money.

12 Alan.

13 DR. CROSS: I was just going to
14 re-emphasize Dr. Goldberger's point that we are not
15 simply talking about organisms like Acinetobacter
16 that are hard to study. Over the last 15 years, we
17 have been involved in the preparation of
18 hyperimmune globulins and vaccines for things like
19 Pseudomonas and Klebsiella, which were high on
20 John's list, and it is a major undertaking to find
21 enough centers that have enough of this disease to
22 actually do a study.

23 So, it is not just the Acinetobacters and
24 stenotrophomonas, that is of concern, and perhaps
25 looking at common resistance mechanisms and being

1 able to perhaps pool that data may be of some use.

2 DR. LEGGETT: Celia.

3 DR. MAXWELL: Yes. As I was looking at
4 the six criteria for developing the list, I was a
5 little bit concerned that I didn't know where I
6 would fit an organism like falciparum malaria.
7 That is not prevalent in this country, but
8 certainly it is deadly, it is prevalent worldwide
9 in areas of the world, and we have an increasing
10 risk of, let's say, sending troops or something
11 like that, that are going to have an immediate
12 exposure.

13 Where would we fit something like that?

14 DR. POWERS: I don't think we put the
15 moniker in this country on the end of prevalent, so
16 certainly you could argue that might be the
17 prevalence of the disease in study. Falciparum
18 malaria within the disease malaria is very common
19 and very prevalent. I don't think in any way we
20 meant to say just in the United States.

21 DR. LEGGETT: Jan.

22 DR. PATTERSON: I think the idea of a list
23 is helpful. I think that would be helpful to
24 industry to have some specifics, and it could be
25 reviewed periodically to keep it up to date.

1 I have a little bit of reservation about
2 prioritizing just the bloodstream isolates because,
3 for instance, a lot of the catheter-related
4 infections, taking the catheter out is the major
5 therapeutic maneuver particularly for things like
6 coag-negative staph, and for an infection, say,
7 like Pseudomonas pneumonia, I mean a lot of times
8 that is a lot more severe infection, but you don't
9 have a bacteremia from it.

10 One way we have kind of gotten around, you
11 know, giving us an out for just certain organisms
12 on a list per se, for instance, at our hospitals,
13 for the use of contact precautions, we say it's for
14 multidrug-resistant and epidemiologically
15 significant organisms, so that kind of gives us an
16 out.

17 Like, for instance, Clostridium difficile,
18 which we don't really think of as multidrug
19 resistant, it epidemiologically significant and has
20 some of the same implications, and maybe some of
21 the bioterrorism organisms could fit in the same
22 way.

23 I don't know if Salmonella and
24 Campylobacter were on your list, but I think that
25 probably fluoroquinolone resistant, foodborne

1 pathogens like that should be included.

2 DR. LEGGETT: Did you want to say
3 something, John?

4 DR. POWERS: I just wanted to answer Jan's
5 question. That's the kind of thing we are actually
6 looking for, because when you realize most people
7 don't culture when they have foodborne disease, so
8 that is not going to show up on our list, but
9 clearly you can make a case that that should be on
10 there, as well.

11 There is a list of bioterrorism things,
12 and sort of get this idea of making a list and the
13 Federal Government making a list, the Federal
14 Government has made a list. This is a list for
15 bioterrorism-related agents. So, it is not as if
16 we are doing something that is completely out of
17 the realm of possibility here.

18 DR. LEGGETT: Mike.

19 DR. PROSCHAN: I am taking a risk here
20 because I am just a country statistician. I am
21 from Heart, Lung, and Blood, but I am trying to
22 understand why the drug resistance doesn't
23 correspond to poor outcomes necessarily. Actually,
24 I don't even know the exact definition of drug
25 resistance, but I am assuming that that is entirely

1 in vitro. Is that right, the definition?

2 DR. LEGGETT: Yes.

3 DR. PROSCHAN: So, is it possible that the
4 body, you know, is able to handle a certain amount
5 of infection, so that even if the drug kills half
6 the bacteria instead of all of it, now your own
7 body is able to fight the rest. Is that a
8 possible?

9 DR. LEGGETT: That has been the major
10 problem of trying to compare antibiotics to other
11 drugs, because there is three parts of the equation
12 instead of just two, so, it is not drug and us,
13 it's drug, us, and bug.

14 David.

15 DR. BELL: I had a few comments on Table
16 1, the criteria that I just wanted to mention. The
17 title, I would suggest that the title encompass the
18 concept of drug resistance as opposed to just
19 saying criteria for pathogens of public health
20 importance, because, you know, there is influenza
21 and there is anthrax, there is all kinds of things,
22 and this is really about drug resistance, something
23 in the title to that effect.

24 Point No. 1, I wonder if it should be "or"
25 in the disease under study rather than "and."

1 Point No. 4, a few alternatives to treat
2 the pathogen, I wonder if there should be some
3 concept of ease of treatment, oral therapy, empiric
4 therapy--

5 DR. LEGGETT: Dave, could I interrupt a
6 second?

7 DR. BELL: Yes.

8 DR. LEGGETT: Are there any more questions
9 for John's talk before we jump over, because, John,
10 were you going to lead the discussion? No? Okay.
11 So, we can jump on over. Finish what you were
12 going to say, and then we have this page here of
13 Points of Discussion.

14 DR. BELL: Okay.

15 DR. LEGGETT: It's not that I want to shut
16 you up.

17 DR. BELL: The agenda kind of looked like
18 it all went together, and I apologize, I didn't see
19 that.

20 DR. LEGGETT: I know. That's a trouble we
21 are all having.

22 DR. BELL: Do you want me to just--

23 DR. LEGGETT: Go ahead, jump in.

24 DR. BELL: Well, I will just finish. I
25 mean ease of treatment, oral, empiric. No. 5,

1 there is no vaccine for that pathogen. I would
2 suggest delete that parenthetical phrase because
3 even when there is a vaccine, there is still going
4 to be people getting sick and they are going to
5 need to be treated, and the vaccine won't be
6 offered for everybody or efficacious for everybody.

7 But the most important thing, something
8 about trend information. We have run into this
9 situation a lot where criteria for regulatory
10 action, preventive action, whatever, tend to focus
11 on rates rather than the trend.

12 Thanks. Sorry.

13 DR. LEGGETT: No, no, if you look at the
14 bottom the page, that is exactly where we want to
15 start, so that was fine. I just thought John was
16 going to lead.

17 DR. POWERS: The slide I showed about the
18 Staph aureus bacteremias that we were intending to
19 look at trend information over time, as well, but
20 we could certainly add that in, be a part of
21 criteria No. 1.

22 DR. LEGGETT: Go ahead, Jim.

23 DR. JORGENSEN: I would like to suggest
24 adding to these criteria. So far, we have talked
25 about resistant organisms and the need for new

1 agents, but I am also concerned about infections in
2 which very effective agents may no longer be
3 available or may cease to be available.

4 Specifically, I am thinking about
5 gonorrhoea in which the four recommended agents
6 currently, two fluoroquinolones in which resistance
7 is common in some parts of the world and becoming
8 more so in this country, and where the only other
9 oral agent is no longer going to be available, so
10 it may leave only one injectable drug that is
11 predictably active against gonorrhoea.

12 DR. LEGGETT: You mean by the
13 pharmaceutical agents.

14 DR. JORGENSEN: Yes.

15 DR. LEGGETT: John.

16 DR. BRADLEY: Just a concept that
17 unfortunately adds to the problem, not solving it,
18 and it's in response in part to Dr. Frank Tally's
19 presentation earlier this morning.

20 It takes several years once you identify a
21 problem to actually bring a drug to the clinicians,
22 so that they can use it. So, in putting together
23 these criteria, and I think you have done a really
24 nice job, I suggest that we cast a wide net because
25 you don't know which of these resistances 10 years

1 down the road is going to be giving us lots of
2 problems, and if you restrict your criteria, then,
3 someone will say 10 years from now, gee, you were
4 shortsighted and only look at the most prevalent
5 likely pathogens.

6 As our ability to determine molecular
7 mechanisms of resistance improves, it complicates
8 things further. When I was in my fellowship, there
9 is resistant *Pseudomonas* to ceftazidime and now it
10 can be beta-lactamase, PORN [ph] deficiency, efflux
11 pumps, and God knows what else is going to come up,
12 and now we know these mex pumps can pump out not
13 only beta-lactams but fluoroquinolones and probably
14 a lot of other agents.

15 So, it becomes more difficult predicting
16 which of these mechanisms of resistance is actually
17 going to be a problem, and the time to development
18 of agents is huge.

19 Secondly, and in addressing one of the
20 points for discussion regarding not having enough
21 patients with a particular organism, I think with
22 the animal models that have been developed, that
23 you were involved with, with Dr. Craig, with
24 neutropenic mouse, Rhesus monkey models where you
25 can actually model drug exposure for a pathogen in

1 a particular issue and get an idea of the Cmax to
2 MIC or AUC to MIC that is required in the animal
3 model.

4 I believe a lot of that information can be
5 extracted into the clinical situation, so you don't
6 need nearly as many patients to prove that a drug
7 at a certain dose will work if you have laid all
8 your groundwork with the animal model and then just
9 a very few patients to confirm that the animal
10 model is predictive will net you more information
11 on fewer patients for these very resistant
12 organisms which may be very rare.

13 DR. LEGGETT: John.

14 DR. POWERS: Could I ask you a question
15 about animal models because, Jim, you asked this
16 now, and, John, you brought it up a second time.

17 I want to refer to something we saw
18 yesterday. One of the thing Mark was talking about
19 was sort of building this body of information to
20 show that the drug may be effective for a resistant
21 organism.

22 What we saw yesterday was a drug which
23 claimed to be effective for quinolone-resistant
24 organism, and Pete Dionne, our microbiologist,
25 showed an animal study where even though the dose

1 was doubled, that it still did not eradicate the
2 organism from the mouse's lungs.

3 I was interested to hear, then, as we went
4 around and talked, several of the committee members
5 said, well, this is a drug effective against
6 quinolone-resistant organisms, so it gets to be the
7 point of how does one interpret that animal data
8 when you see it and extrapolate that to what might
9 happen in people.

10 DR. LEGGETT: That is the point of
11 contention that one of the speakers at the open
12 session is probably going to address.

13 Mimi.

14 DR. GLODE: I have two comments. I just
15 wanted to reinforce what Dr. Reller brought up and
16 then comment on Dr. Patterson's comment on that. I
17 do believe that some patients--and also referring
18 to Dr. George McCracken at an earlier meeting--some
19 patients are more informative than others, so
20 bacteremic isolates, CNS isolates, and therapy for
21 those patients is more informative to me than many
22 sputum cultures with the resistant organism.

23 But then I certainly took your point that
24 perhaps more in adults than pediatric patients,
25 catheters are removed when there is a

1 catheter-associated bacteremia. In most of our
2 oncology patients, the first issue, if one is not
3 desperately ill, is to treat through.

4 So, the catheters are left in place,
5 repeat cultures are obtained, and antibiotics are
6 provided, and this is a real challenge at the
7 neutropenic host, et cetera, but that would be the
8 standard in our hospital for pediatric oncology
9 patients, so one has the opportunity then to say
10 can this drug eradicate this organism in this
11 setting, which is a significant challenge. One
12 could then argue that that is a pretty informative
13 situation.

14 My second comment goes back to the Wall
15 Street Journal and anti-ulcer medications, but I
16 just have to say this. I haven't read the labeling
17 for any of these anti-ulcer medications, but with
18 regard to physician education and perhaps patient
19 education if you can get your hands on the PDR, I
20 mean I hope they all say that the patient should be
21 evaluated for the infectious organism that causes
22 ulcers and then treat it appropriately with the
23 antibiotics to eradicate it and be cured.

24 DR. LEGGETT: They are all getting them
25 anyway for their viral upper respiratory tract

1 infections.

2 Jan.

3 DR. PATTERSON: Well, I just wanted to
4 clarify. I was thinking more about short-term
5 catheters and ICU patients. We also treat through
6 in adults for the long-term catheters.

7 DR. LEGGETT: Barth.

8 DR. RELLER: Fusing the amplification of
9 this information about the catheters, to me, a
10 critical issue here is separating out the ones
11 that, by definitions that have come up at the FDA
12 having to do with what documentation would be
13 required for studies and indication for
14 catheter-associated bacteremia, because if one had
15 an agent that actually was effective in clearing
16 the bacteremia with the catheter in place by
17 whatever mechanism, the new agent, given the
18 frequency and the increasing importance of this
19 organism and the increasing importance of these
20 catheters as lifelines for the kinds of patients
21 that are growing exponentially in healthcare in
22 this country, and with home I.V. therapy, et
23 cetera, a really rigorous definition of
24 catheter-associated bacteremia and something that
25 would work with or without, and clearly the

1 discussions of guidelines for that include whether
2 or not the catheter is removed would be very
3 helpful.

4 I didn't mean in any way to imply that
5 only bacteremia would be a way to get at this, but
6 rather that it would be perhaps the most efficient
7 first cut at what everyone would accept as
8 important, plus recognition that in, for example,
9 CNS infections, a very high proportion of those
10 patients, if they were done, would have concurrent
11 bacteremia, perhaps a higher association than with
12 any other entity apart from infective endocarditis
13 in terms of the proportion who would have a
14 positive blood culture to deal with, *Listeria* or,
15 in the old days, *Haemophilus influenza* type B or
16 the pneumococcus.

17 DR. LEGGETT: What I would like to do is
18 talk a little bit more these criteria until people
19 have discussed it under 10 minutes, and then go on
20 for 10 minutes and talk about sort of the second
21 point about the 27 taxa and the other sort of
22 analyses before 12:30.

23 Before we go off the criteria portion of
24 this, I would like to ask, where do you fit in,
25 say, in drug development, something that alters

1 things, so, for instance, we know P-glycoprotein,
2 that we never even thought much about in terms of
3 HIV is now probably more important than what we
4 used to think of as the cytochrome p450 in terms of
5 making drugs ineffective.

6 Where do you tie in, and then sort of the
7 whole efflux pumps in bugs are sort of equivalent
8 to the MDR in cancer sort of chemotherapy, and I am
9 sure, I can envisage a new drug development not at
10 a particular pathogen, but at something that would
11 enable the drug to work much better in the body.

12 Where is that subsumed in this or is that
13 just sort of in a parallel universe in terms of
14 deciding that something is important, because
15 theoretically, I could think of a process by which
16 a drug that inhibits P-glycoprotein would be of
17 immense importance for a bunch of drugs.

18 DR. POWERS: I think what you are getting
19 at is the bigger issue that we always talk about,
20 and that is what we are really trying to treat here
21 is a disease. It just so happens that in
22 anti-infectives, that that disease is associated
23 with an infecting pathogen.

24 Since a lot of these diseases are treated
25 empirically, we usually ask that that drug show

1 efficacy against the most common organisms that are
2 likely to be encountered.

3 So, for instance, if you were developing a
4 drug for meningitis and it had absolutely no
5 activity against the pneumococcus, but was a great
6 Neisseria drug, what do you do with that, because,
7 you know, the people are going to apply it
8 empirically.

9 I guess if somebody came in with a drug
10 like that, that had some kind of effects, it would
11 depend how the drug works, but I would assume it
12 would have to have some effect on the bacteria, or
13 if it doesn't, they would have to show that leaving
14 the bacteria alone still somehow cures the disease.

15 DR. LEGGETT: Ellen.

16 DR. WALD: I just wanted to make one
17 comment about group A strep and which I think is an
18 organism in which looking at antibiotic resistance
19 is particularly difficult especially, currently,
20 you know, in part, because it is definitely a
21 self-limited disease, so from the clinical
22 perspective, you might never notice that there was
23 antibiotic resistance, we are in an era now where
24 almost no one is collecting isolates certainly from
25 patients with pharyngitis because they are doing so

1 many rapid diagnostic tests.

2 Again, even the availability of organisms
3 and testing them, you know, has diminished. So, I
4 think that we might not notice that as a problem
5 unless there was an increase either in invasive
6 disease or acute rheumatic fever, so that might be
7 something that we need to keep our eye on even
8 though it might be harder to do and harder to
9 interpret what's happening clinically.

10 DR. POWERS: I think what that gets at,
11 though, what I was trying to draw there in that,
12 was the link between resistance and a self-limiting
13 disease, and how it is to show that resistance has
14 an impact.

15 Again, getting back to this issue we
16 talked about yesterday of the drug label actually
17 trying to convey some important information to
18 clinicians, I guess you could sort of use the other
19 upper respiratory tract issues that we have, are
20 the diseases like acute bacterial sinusitis and
21 acute exacerbations of chronic bronchitis where we
22 are dealing with again a self-resolving disease,
23 and yet drug sponsors have asked us several times
24 for resistance labeling claims for those diseases,
25 but pharyngitis is the example of where there is

1 data available to show that, gee, perhaps the
2 resistance doesn't impact on that disease.

3 That is not to say that for something like
4 community-acquired pneumonia that it would.

5 DR. LEGGETT: Ken.

6 DR. BROWN: I have no idea what the topic
7 under discussion is right now, so I thought I would
8 raise a couple of points of my own.

9 I would like to focus on something Frank
10 Tally said because I think it goes far beyond the
11 scope of most of our comments, and that is, that
12 several things which have occurred and the state of
13 things as they are, there is little to no hope that
14 the drug companies are going to be able to develop
15 adequate answers to these problems.

16 I think if you look at the fact that most
17 of the available antibiotics, and I think all of
18 the antituberculous drugs were discovered by or
19 before 1975, and since that time we have had almost
20 no new classes of compounds discovered.

21 That is frightening if you knew the
22 numbers, and I wish we would give them to the
23 statisticians, the numbers of soil samples that
24 have been screened in the last 45 or 50 years by
25 the pharmaceutical companies.

1 I think it is fair to say that there is
2 essentially no chance that the pharmaceutical
3 industry by itself can come up with the answer to
4 this problem. I wish I could say Frank were the
5 first person to suggest this kind of a consortium,
6 but actually, the president of the IDSA, in 1978 or
7 so, in his closing remarks, suggested that the very
8 formation of such an institute which would be
9 responsible for the discovery and development of
10 new anti-infectives.

11 I think all this is complicated by
12 something you just mentioned, Jim, which a lot of
13 us haven't come to fully appreciate, and that is
14 the role of P-glycoprotein, and some of us don't
15 even know what it is, and MDR, and the interesting
16 problem that some of us want the protection of
17 P-glycoprotein to keep drugs like ivermectin out of
18 the CNS, and others of us who treat cancer want to
19 get rid of P-glycoprotein, so we can get the drugs
20 into the CNS.

21 When you then combine that with the
22 multiple drug that the patients who are being
23 treated for HIV and have an effect or are affected
24 by p450 or have an effect on P-glycoprotein, this
25 geometric increase in the need for knowledge is

1 horrendous, and I think that to say that we are
2 going to have a productive result from this small
3 part of the discussion is a little bit scary,
4 especially when, as Mark points out, we can't
5 expect to really get even a list today and the slow
6 rate at which we function as organizations
7 contributes to this, and I don't know that there is
8 an adequate way to get around the speed of our
9 ability to do things, but I don't think it is going
10 to be within the purview of the industry to do
11 this, period.

12 DR. LEGGETT: Barth. Didn't you have your
13 hand raised? You are too depressed after that.

14 [Laughter.]

15 DR. RELLER: I was trying to digest it.
16 Just a follow-up to Dr. Wald's comment, and I
17 realize why virulence was taken out of this and
18 embodied in the serious, and how one could minimize
19 the importance possibly in those places where it is
20 a self-limited disease, but if one had to pick
21 among the common bacteria, one that intrinsically
22 is virulent, I would choose a group A streptococcus
23 because of how quickly and how devastating it can
24 be in certain clinical pictures.

25 If we were to have resistance in group A

1 streptococcus, we would have a real pathogen on our
2 hands, and I think that one should be on the list
3 because of what Dr. Bradley mentioned earlier of
4 this timeline of how long it takes. I think it is
5 second to none in its intrinsic virulence.

6 DR. LEGGETT: I would like to expound a
7 little on that if I can, bringing it back to the
8 point of trying to get back to the Campylobacter
9 issue and my sort of pet peeve is Neisseria
10 gonorrhoea.

11 I think if you are dealing with a pathogen
12 that only has a human reservoir, that has immense
13 potential pathogens we want to be preventive and
14 that might have to be either another criteria or
15 folded into the ones that are in that list, to talk
16 about what if type things.

17 We have sort of done it with Staph aureus
18 because we now we are all nervous about being in
19 the pre-antibiotic era again, but what happens, the
20 same thing could be applied to group A strep or to
21 the Neisseria or bring those things into this
22 discussion.

23 Alan.

24 DR. CROSS: I wanted to ask the FDA or
25 perhaps John, what types of contacts do you have

1 with other organizations, not just here, but around
2 the world, the globalization issue. Part of that
3 is we have talked about not having enough
4 glue-based strep, but in the military, they have
5 really some serious outbreaks every few years even
6 recently.

7 I don't know if there is any sharing of
8 those isolates with the nonmilitary organizations.
9 Similarly, we heard about the resistant pneumococci
10 in Hong Kong. Is there any type of surveillance
11 program for bacteria that we have for influenza in
12 terms of sampling around the world and trying to
13 find out what's on the horizon, perhaps getting
14 access to those organisms?

15 Then, there is the other issue in terms of
16 trends, what David talked about, is that MRSA
17 actually started in Europe and was there for a
18 number of years before it occurred here. It was
19 rather benign there when it first started, and then
20 once we had MRSA here, it was a more significant
21 clinical problem.

22 My understanding is it is less of a
23 problem in Europe now. So, the point is that there
24 are some global trends associated with these
25 organisms that may be instructive in terms of how

1 we deal with things.

2 Is there any actual sharing of either data
3 or specimens, for example, comparing your data
4 under contract with perhaps what is going on
5 elsewhere?

6 DR. POWERS: We are also part of an
7 interagency task force on drug resistance that had
8 a meeting prior to ICAAC last October or September,
9 and where this issue was discussed--David, you set
10 that up--about trying to get--and there is a whole
11 section on surveillance, in fact, David is probably
12 better equipped to answer this than me because he
13 was the chair of that section.

14 But we are trying to get that kind of
15 information. Focus Technologies tells me that we
16 have the ability to get some information from
17 outside the United States, as well, although we
18 haven't tapped into that as yet to try to see, but
19 that is one thing we could do would be to try to
20 compare.

21 DR. LEGGETT: To follow up on Alan's
22 question, is this sort of a project that is also
23 undergoing discussion in Europe and abroad, sort of
24 like along with the harmonization sort of
25 globalization and that sort of thing, or is this

1 just solely a U.S. initiative?

2 DR. POWERS: David Bell is probably better
3 to answer this because we were talking about all of
4 this stuff.

5 DR. BELL: Is what in particular under
6 discussion?

7 DR. LEGGETT: This project to try to come
8 up with a list, in other words, the fact the United
9 States prioritizes it or somehow allows industry to
10 do what they want.

11 DR. BELL: You know, this is a
12 particularly opportune discussion because I am
13 actually about to go for a three-month detail to
14 WHO to help them identify ways to implement their
15 global strategic plan on containment of
16 antimicrobial resistance.

17 Of course, surveillance is a major issue.
18 There are a lot of major obstacles to good
19 surveillance. We have had discussions both a CDC
20 and I know elsewhere, for example, the EU, their
21 surveillance system.

22 They phrase it in terms of marker
23 pathogens, and we have looked at this concept also
24 to try and get away from this idea that some are
25 more important than others for the reasons I

1 mentioned, but just marker pathogens, and it would
2 be Staph aureus and pneumococci.

3 The EU has a very nice sentinel
4 surveillance system in their countries, and they
5 have I guess it's pneumococci, VRE, I believe it's
6 Staph aureus, and I think they just added E. coli,
7 something like that.

8 Again, they are not trying to say these
9 are the targets for drug development, they are
10 trying to harmonize surveillance efforts in
11 different countries in their jurisdiction and use
12 these as, quote "important," unquote, marker
13 pathogens, and I suspect we will see more of that
14 around the world, but this is actually a very
15 interesting discussion to me because WHO is kind of
16 looking for what to do next.

17 DR. LEGGETT: Ken, it sounds as if you are
18 pretty pessimistic even if there was this
19 production of a list and there were incentives, as
20 Frank talked about, in terms of providing money or
21 those sort of things, you are basically saying even
22 if there were much more incentives to come up with
23 drugs with new mechanisms rather than me-too's or
24 extensions of patents by, you know, increasing the
25 milligram dosage, you are pretty pessimistic that

1 that is even possible, is that the gist of what you
2 were saying?

3 DR. BROWN: If you try to count the number
4 of rational drugs which have been put together,
5 trimethoprim sulfa, that makes one. That was
6 really based on permesamine [ph] and sulfa, which
7 preceded it. That is actually the only one I can
8 think of--

9 DR. CROSS: Influenza drugs.

10 DR. BROWN: And then if I look at the
11 number of isolates which people look at, it is not
12 that people have stopped looking at isolates, but
13 25 years ago, in a screen of 3,000 soil samples a
14 month, 99.9 percent of the compounds which were
15 isolated were already known.

16 So, what I am saying is the discovery of
17 perhaps ivermectin from a soil sample next to a
18 sludge sewer in a Japanese golf course grew
19 Streptomyces, but we haven't had a lot of
20 additional new compounds since that class.

21 So, all I am saying is statistically, we
22 need to do several things better, and I don't think
23 that just depending on companies that have to try
24 to make money to keep themselves in business is
25 going to be an adequate situation knowing what we

1 know and the increasing demands of the scientific
2 community, which are appropriate, and the
3 additional information.

4 When I think about the use of the
5 macrocyclic lactones in combination of HIV drugs in
6 Africa, where the CYP450 is not the same for people
7 who live in Ethiopia and the southern tip of the
8 Arabian peninsula versus the rest of the world.

9 It seems to me the complexity is
10 frightening, and we need an institution bigger than
11 any pharmaceutical group that I know of to
12 participate and probably to lead it.

13 DR. LEGGETT: John.

14 DR. BRADLEY: I think the pessimism about
15 industry not developing new drugs is certainly in
16 part based on the fact that the financial
17 incentives, the disincentives to develop a drug and
18 lose money are huge, and there are both
19 pharmaceutical company funded and NIH funded
20 studies in looking at mechanism of resistance, so
21 on the one hand, we are moving forward quickly in
22 developing information on why the drugs are
23 resistant, but the other side of the coin, moving
24 forward quickly in developing drugs to meet the
25 resistance has been the problem.

1 I think there are several ways that were
2 brought up in November, brought up again today on
3 how to get rid of the financial disincentive. I am
4 sure that PhRMA has incredible resources to be able
5 to approach these problems if given the right
6 incentives, so I don't share the pessimism of Dr.
7 Brown that it can't be done, I just think that the
8 equation of how progress is put together needs to
9 change.

10 In addition, there as we get more involved
11 in mechanisms of resistance, perhaps on this list
12 somewhere, knowing that many of these mechanisms of
13 resistance cross between organisms by cassettes or
14 plasmids, that as a mechanism of resistance of
15 public importance goes on this particular list,
16 like efflux pumps, that that can be a target for
17 facilitating pharmaceutical industry development of
18 drugs, as well.

19 DR. LEGGETT: Barth.

20 DR. RELLER: Dr. Brown, the pessimism, I
21 wonder, as a provocative question, the problem that
22 the home runs of the past, what is found in sewage,
23 that the problem is a repetition of a failed or
24 nonproductive model as opposed to an entirely
25 different approach, in other words, the success of

1 the past may be an inhibitor for the future.

2 In other areas, non-antimicrobials, I mean
3 the advances have come by an understanding of
4 receptors and blockers, et cetera, so that maybe
5 the approach is not looking in sewage or natural
6 compounds, but rather an investment, and it may
7 require, as Dr. Tally pointed out, a leap forward
8 in terms of an institute that looks at basic
9 science, that these cassettes, for examples, that
10 Dr. Jorgensen, to actually understand the
11 components, what turns them on and off, et cetera,
12 and that the model for new drugs would be at that
13 level as opposed to finding the needle in the
14 immense haystack that has been part of the past
15 discovery approach.

16 What do you think?

17 DR. LEGGETT: And then can we then come
18 from a firmament and then go right to the very
19 concrete before we go to lunch.

20 DR. BROWN: I think it is important for me
21 to reflect that I didn't believe that diesels would
22 replace steam engines with which I grew up. I was
23 working in Ethiopia at the time of the smallpox
24 eradication program, and I didn't think it would
25 work.

1 So, while I had great hopes for the new
2 information we have about genetics, I have to look
3 realistically and say we thought we would have
4 great advances in sickle cell disease from what we
5 have known about the genetics of that disease or
6 those 29 changes, and not a whole lot has come out
7 of that, so I don't have as great hope for the
8 wonders that we were going to get from the
9 knowledge of the human genome yet, and it may take
10 a while and I may be hopefully shown to be very
11 wrong.

12 The final example I would give, we were
13 told maybe seven or eight years ago, boy, once we
14 get combinatorial chemistry going, it is just going
15 to revolutionize things and we will have so many
16 new things that you don't know what to do with
17 them.

18 Of course, there is always a bottleneck
19 after that, so I hope that I am wrong, however, I
20 would love to see better ideas, and I think Frank's
21 is a great one, that we need to pull together and
22 get our heads together, and I agree with Barth that
23 we should probably stop looking at just sewage
24 sludge.

25 DR. LEGGETT: David.

1 DR. BELL: I have one other question. It
2 might still be in the firmament, but recently,
3 there have become enormous amounts of new resources
4 available for issues related to the bioterrorism
5 agents, diagnosis, treatment, and so on, I mean
6 really enormous, and I am wondering if somebody
7 from the pharmaceutical industry might comment on
8 how they see, if they do, attention to the
9 bioterrorism agents, which are microorganisms,
10 after all, how some of that research might be
11 leveraged into antimicrobial drugs for more common
12 pathogens, I think the mechanisms have to be, you
13 know, if we are talking basic research in drug
14 development.

15 DR. LEGGETT: Do you want to say
16 something, Frank?

17 DR. TALLY: There are a couple of points.
18 It is in the firmament, this could go on for four
19 or five hours talking about it. What we have to do
20 is think out of the box. What Ken is saying is the
21 old methods have wringed all the water out, and
22 that is you still wring the thing, you are just not
23 going to get any more, so you have to think of a
24 new way to do it, and I think that is what we have
25 to do with the genetic information we have, and the

1 next round is the financing, and it is look not
2 just for drugs, but for vaccines for stimulating
3 the immune system, and that type of thought has to
4 start going in.

5 For the bioterrorism, people are working
6 on that area, and there is now being grants coming
7 into companies to try and look at new targets, and
8 you can use anthrax as one of the ways to do that,
9 a lot of common genes between anthrax and other
10 gram-positives, the same as with gram-negatives.

11 DR. LEGGETT: What I took away from your
12 talk was one of the basic things is that the
13 science of antimicrobial drug discovery has to go
14 back in a sense and be validated by the NIH, which
15 kept telling us for years, oh, there is already a
16 way to do that, we are not going to fund it, so in
17 terms of getting back to the government and
18 pharmaceutical agencies.

19 DR. PORETZ: Could I just ask one quick
20 question about the surveillance network? Your
21 contract to Focus Technologies, what was it, 317
22 labs, are those all in-hospital labs?

23 DR. POWERS: They are in-hospital labs,
24 but they are hospital labs that also function as
25 central labs for communities, as well.

1 DR. PORETZ: So, you get outpatient
2 cultures in addition?

3 DR. POWERS: Yes.

4 DR. PORETZ: And you have been doing that
5 for a period of time? Is that recent or what?

6 DR. POWERS: Is our contract recent or has
7 Focus been doing this for a while?

8 DR. PORETZ: I mean that information, who
9 is getting the information, is it just going to the
10 FDA or is it being disseminated to anyone else?

11 DR. POWERS: Well, if you want to go pay
12 Focus to get it, I guess you can get it for anyone
13 else.

14 DR. PORETZ: No, but you get it.

15 DR. POWERS: Yes, the information that we
16 get from them, we contracted from them to obtain.

17 DR. PORETZ: And what do you do with that
18 information, just keep it internally?

19 DR. POWERS: One of the things we are
20 doing here is trying to use it to make this list.
21 The other thing is once we get your input, we
22 actually plan on publishing some of this
23 information in cooperation with them, as well.

24 DR. GOLDBERGER: But it is important, just
25 to mention, this is a contract that became

1 effective only this past October, so some of the
2 data you have seen is data we have only just
3 started to get within the last month or two.

4 DR. LEGGETT: Speaking to that point, has
5 anybody been able to come up with other bugs they
6 would like to have the sort of analyses we were
7 shown done with in, for instance, group A strep was
8 mentioned, and I see that is on the list of
9 beta-hemolytic strep.

10 DR. POWERS: I heard Salmonella and
11 Campylobacter as two other organisms.

12 DR. LEGGETT: Salmonella and
13 Campylobacter, which I did not see there.

14 DR. POWERS: No, they are not on there.
15 Neisseria gonorrhoea is not on there, which was one
16 of the questions, I wanted to see if people thought
17 that that was important to put on there.

18 DR. LEGGETT: Go ahead, John.

19 DR. BRADLEY: That's a pretty long list.
20 Did you want to prioritize them the way the
21 government did with bioterrorism agents, like A, B,
22 C?

23 DR. POWERS: If you would like to. I mean
24 one of things I didn't want to come across is
25 saying I didn't think group A strep was important,

1 that wasn't what I was trying to say. One of the
2 points I tried to make about the list is what do we
3 see in the pipeline for development for E. coli,
4 which is way at the top of the list? Almost
5 nothing.

6 So, I guess the idea would be
7 prioritization. I look at group A strep and I
8 think, gee, that's a really severe disease, but
9 then I look at the cross-resistance pattern and I
10 see six other drugs to which that organism is
11 susceptible including penicillin and clindamycin,
12 which are the recommended drugs for severe group A
13 strep necrotizing fasciitis. So, not to minimize
14 its importance, but how does that compare to a
15 Pseudomonas that's resistant to seven drugs, and I
16 guess, John, that's your question about
17 prioritization.

18 DR. LEGGETT: The logical first step in
19 going through that is to take your criteria
20 number--whichever one is that there is few options
21 available and go that way, so you work your way
22 back from zero drugs to one drug, to two drugs.

23 DR. POWERS: I guess one of the things we
24 might address then, rather than putting the bugs in
25 first, is go back to the criteria and say which of

1 those criteria should we rank in such a way as to
2 be more important.

3 DR. LEGGETT: Go ahead.

4 DR. GESSER: Richard Gesser from Merck
5 Research.

6 I would like to suggest along the lines
7 that John is thinking, ESBL, Klebsiella, E. Coli,
8 to start the conversation perhaps.

9 DR. LEGGETT: Good.

10 Anybody have any other suggestions? Go
11 ahead.

12 DR. PORETZ: I couldn't see that list very
13 well. We Mycobacteria and tuberculosis on that
14 list?

15 DR. LEGGETT: No, I think this is just
16 typical bacteria.

17 DR. POWERS: That is actually a good
18 point. This is all typical bacteria. We didn't
19 try to branch out yet into those other things.
20 Like I said, we are going to probably do this kind
21 of analysis for fungi and other things, but this
22 was our first pass. As Mark said, we just got a
23 lot of this information.

24 DR. LEGGETT: Go ahead, Jan.

25 DR. PATTERSON: I guess with regard to

1 prioritizing the criteria, I might see No. 4 as one
2 of the higher priority criteria, limited available
3 therapies due to multidrug resistance, and that is
4 kind of what has driven a lot of our concerns in
5 recent years.

6 DR. LEGGETT: I think in terms of
7 prioritizing the list, where you could get your
8 most bang for your buck is similar to the ESBL
9 thing, where you could take care of both klebs and
10 E. coli sort of at the same time, you know, sort of
11 a common resistance mechanism, and then go from
12 there.

13 I think, in general, another of the
14 reasons to have this is I think it is going to give
15 us lots of information about the cross-reaction of
16 resistance mechanisms that we don't appreciate. We
17 may think we know them in the abstract, but we
18 don't really see how interwoven they are.

19 Go ahead, John.

20 DR. BRADLEY: I think the fact that Jan
21 pointed out that multidrug resistance is a priority
22 amplifies the fact that if it's multidrug
23 resistant, there are likely multiple mechanisms of
24 drug resistance including PORN changes, ESBLs,
25 other beta-lactamases, efflux pumps, the whole nine

1 yards.

2 DR. LEGGETT: Alan.

3 DR. CROSS: Just to re-emphasize the point
4 that is made, we have had a whole series of, quote
5 "new" antibiotics based on combining inhibitors of
6 a resistance mechanism with existing drug, so
7 perhaps something aimed at at least a few
8 identified mechanisms mixed with the existing good
9 agents we have, would also start a new class of
10 drugs.

11 DR. BRADLEY: How do you exactly want us
12 to do this right now?

13 DR. LEGGETT: I don't know. The first I
14 saw what we were supposed to be doing--

15 DR. BRADLEY: Is someone supposed to be
16 putting a list up here?

17 DR. LEGGETT: I don't know that this
18 morning we want to come up necessarily with the
19 dominant list unless you guys tell us, I mean I
20 didn't think that was the purpose.

21 DR. POWERS: I think the things we would
22 like to know are - we have six criteria up there,
23 Dave Bell, you commented on some of the things we
24 should add into this or subtract out, and that is
25 the kind of comments we were looking for, are there

1 some changes that we should make to this criteria,
2 and then one of the clear things I am hearing is
3 No. 4 should be No. 1.

4 Is there any other way that we should
5 prioritize those? What I thought I heard was it
6 sounds like No. 2 ought to stay No. 2 from what I
7 heard from Drs. Wald and Reller about group A
8 strep. Any other ranking of those things, should
9 we change that?

10 DR. LEGGETT: No. 1 should be No. 6.

11 DR. PATTERSON: I would probably put the
12 clinical correlation, I would probably put that
13 higher up, like 3 or 4.

14 DR. BRADLEY: I think we should assume for
15 purposes of the discussion that if it's resistant
16 in vitro, that you will have a poor clinical
17 outcome. In terms of linking the two, I think
18 that's a completely different discussion how
19 closely they are linked, but I think as we
20 prioritize, to make it simpler, if it's in vitro
21 resistance, in making the list, we should assume
22 that you can't treat them with standard doses of
23 drugs in the clinical arena, and then this
24 afternoon talk about that other issue perhaps.
25 I would take it off the list.

1 DR. LEGGETT: I think I sort of would,
2 too, because whether it's not the case now, it may
3 be. I am not sure how that really helps us cull
4 out things that we are not going to look for even
5 though you have got some of the examples.

6 DR. POWERS: Maybe I should clarify a
7 little bit, and this has to do with the discussion
8 we had yesterday. When we come down to it, what
9 end up doing with this is putting a bug-drug
10 combination in the label, and that ends up being
11 for a specific disease.

12 The reason why No. 6 is really there is
13 this idea about suppose somebody comes in with a
14 new drug, say, for instance, for
15 macrolide-resistant group A strep, and they say,
16 look, we are great for pharyngitis, does that
17 really help the public health?

18 So, I guess what is missing from this list
19 or I didn't make clear enough was the idea that
20 this resistance claim that we are going to the
21 label is an organism linked to a specific disease,
22 much like we were talking about yesterday,
23 multidrug-resistant *Streptococcus pneumoniae* for
24 community-acquired pneumonia.

25 So, that is why No. 6 is there, to try to

1 lean it towards the diseases where resistance is
2 more likely to be apparent instead of telling a
3 drug company to spend all their money studying
4 pharyngitis.

5 DR. LEGGETT: Okay. Barth.

6 DR. RELER: I would be interested in Dr.
7 Jorgensen's comments on Item No. 6, but thinking
8 about that, one could consider 6 an NCCLS issue and
9 indeed the committee is constantly trying to make
10 sure that the detection of resistance is clinically
11 important.

12 I think as an excellent example, Dr.
13 Bell's comment earlier about resistance to
14 fluoroquinolones among Salmonella is not that big
15 of an issue here in the United States. Actually, I
16 wonder about that, by what criteria. Most of the
17 resistance, if not all of the resistance to
18 fluoroquinolones, which is a major problem in
19 typhoid fever, at least as drugs are currently used
20 in some parts of the world, and as reviewed by Dr.
21 Perry in his New England Journal review a couple of
22 weeks ago, and under discussion and a working group
23 in NCCLS is that the organisms look susceptible,
24 but relative to yesterday's discussion, when there
25 is a single mutation, they are nalidixic acid

1 resistant, and the discussion of whether the
2 criteria are appropriate or even the breakpoint
3 criteria for Enterobacteriaceae with ESBLs, if we
4 had the breakpoint criteria that the Europeans
5 have, whether or not they are ESBLs in the
6 phenotypic strict sense, organisms would look
7 resistant based on dropping the MICs that
8 constitute susceptibility.

9 The prevalence of single mutations in
10 typhae strains in the United States, many of which
11 are acquired abroad, about 80 percent, but also in
12 foodborne salmonella, the single mutations that are
13 nalidixic acid resistant as presented at the IDSA
14 this autumn, are actually substantial.

15 What does that mean clinically? Well,
16 perhaps the most important thing it means is that
17 you have got one hit, and when you get that second
18 hit, they are probably not going to work, and it's
19 easier to get maybe the second hit if you have
20 already got the first hit.

21 So, I think it is an event waiting to
22 happen, and that may be where you can get the
23 mileage on this whole business about the quinolones
24 in poultry and the feeds is that first hit although
25 it is silent by NCCLS criteria currently, and maybe

1 silent clinically with appropriate duration and
2 dosage of fluoroquinolone in the therapy of typhoid
3 fever.

4 It is a failure waiting to occur with an
5 additional hit. So, I think the main importance of
6 No. 6 in my mind is reinforcing the importance of
7 keeping the clinical laboratory on which all
8 surveillance is based, be it bioterrorism or
9 hospital infection control practices, or therapy of
10 the individual patients, or the database on which
11 the targets for future drug development are
12 prioritized, to keep the scientific integrity
13 including being linked, not only with phenotypic
14 characterization, but as Dr. Jorgensen so
15 eloquently presented, keeping that matched with the
16 basic science underlying the mechanism of
17 resistance is a fusion that is critical to maintain
18 and to recognize that in some infections with some
19 organisms, you can get clinical success because of
20 the nature of the disease itself despite resistant
21 other organisms, but in some infections like
22 meningitis, you get autolysis-deficient
23 pneumococcus.

24 We want to make sure that the in vitro
25 recognition of that keeps in sync with the clinical

1 reality of it. So, I think actually this is a very
2 important issue to keep the clinical and laboratory
3 things, getting the same answer, so to speak.

4 Jim, what do you think?

5 DR. JORGENSEN: Well, I think while it is
6 very complicated to do so, it is important or we
7 are finding it more important to index the
8 interpretive breakpoints with the site of infection
9 or the type of infection.

10 Clearly, that is the case with penicillin
11 and the cephalosporins with pneumococcus. But I
12 think there are other resistance mechanisms that we
13 have debated. For example, it has been debated
14 whether macrolide efflux-resistant Strep pneumos
15 are really significant in community-acquired
16 pneumonia.

17 The argument has been made that those
18 drugs achieve very high levels in the epithelial
19 lining fluid. The MICs for those strains are not
20 unreasonably high, they are maybe in the range of 4
21 to 32.

22 So, the argument has been made that this
23 is an in vitro phenomenon, it is not significant.
24 I would cite to the contrary, the four patients
25 that were reported from the University of North

1 Carolina, who were treated as outpatients for
2 community-acquired pneumonia with oral macrolides
3 and who came back to the hospital bacteremic and
4 had failed that therapy, and all four of those had
5 efflux-resistant strains.

6 Moreover, the CDC has had an ongoing study
7 of persistent positive blood cultures in patients
8 who have bacteremic pneumococcal pneumonia, and if
9 you look at the agents they are treated with, most
10 of them are macrolides, most of them have efflux
11 mechanism.

12 So, I think one thing that is clear is not
13 everybody that has a strain we would define as
14 resistant is going to die or is even going to fail
15 in a dramatic sense, but there will be a percentage
16 of patients who do not do well, and I think that
17 percentage is worth paying attention to.

18 DR. LEGGETT: Alan.

19 DR. CROSS: I think in Item 6, it is
20 important, but there has to be a huge caveat there,
21 and I would like to talk on behalf of the host.
22 The point has been made about informative patients,
23 and I think that is really critical, and I would
24 like to just remind everyone that we were unable to
25 show that antibiotics, that appropriate antibiotics

1 were effective in gram-negative bacteremia, which
2 ought to be fairly straightforward, until the
3 McCabe-Jackson criteria tossed out the
4 uninformative patients who were destined to die
5 anyway.

6 So, what happens is how we define the
7 informative patient in Item 6 is really critical.
8 It has to be done in a very careful way if Item 6
9 will have any futility at all.

10 DR. LEGGETT: Do you think focusing a
11 study on neutropenic sepsis, getting back to the
12 positive blood cultures, that is the only two
13 things you have got, is the bug and the drug.

14 DR. CROSS: Well, I mean when Dr.
15 McCracken was here last time talking about
16 meningitis, I think that also is an idea situation.
17 We have the experience which we discussed yesterday
18 of levofloxacin in bacteremia with
19 penicillin-resistant Strep pneumo. We had 15 cases
20 of that. So, those were highly informative, good
21 patients, and it does not have to be a huge study,
22 but it is a lot easier to evaluate.

23 This becomes particularly relevant for the
24 ESBLs because the patients that tend to have
25 serious infections with those organisms are very

1 complicated patients, many of whom, even under the
2 best of antimicrobial care, will not have a good
3 clinical outcome, and those have to be really
4 separated out carefully.

5 DR. LEGGETT: We can continue to talk over
6 lunch, but those comments take us perfectly into
7 the discussion we are going to have this afternoon
8 about one study versus the other and the quality of
9 the data.

10 Since we are running a little behind time,
11 why don't we just break for lunch, and we are
12 scheduled to be back here at 1:30 for the open
13 public hearing. At 2 o'clock, John Bradley is
14 going to talk to us about how clinicians use data
15 for clinical decisionmaking.

16 [Whereupon, at 12:45 p.m., the proceedings
17 were recessed, to be resumed at 1:30 p.m.]

A F T E R N O O N P R O C E E D I N G S

[1:40 p.m.]

Open Public Hearing

DR. LEGGETT: The first speaker is Jerry Schentag. I hope you can introduce yourself and give us your two cents worth.

DR. SCHENTAG: I will introduce myself. There may be someone in this committee I haven't spoke in front of yet.

Jerry Schentag from the University of Buffalo. I have working relationships with most of the pharmaceutical companies in the area of PK/PD and I will declare that upfront. If I missed anybody, you know where to find me.

But I think I would like to just make one or two small points, some of which is to answer things that are already talked about this morning and a couple of questions that have been asked that I think I have some information to help with.

Then, in another more central point, which perhaps I will state first, and that is, that with AUIC or any other index of pharmacokinetics and pharmacodynamics, we have had a pretty good run here working with clinical correlations and also explaining I think one of the most important things

1 which we have talked about today, which is
2 bacterial killing rate and also bacterial
3 resistance.

4 The point I want to make about that is
5 that it is the same number that describes the
6 threshold of killing and the prediction pretty
7 reliably of resistance. So, whatever you think of
8 the absolute value, whether you agree with me that
9 it should be pretty much 100 for everything or
10 whether you think it should be different by
11 different drug class doesn't matter in this
12 statement.

13 The point is, it is always a predictor of
14 resistance if you set your drug dose against an MIC
15 of an organism right where you see the threshold at
16 the beginning of your good effect.

17 Now, why is that important? Well, it
18 answers the most fundamental question of all, which
19 is that PK/PD actually predicts the effect of the
20 drug on the organism. It may not have much to do
21 in some clinical scenarios with what happens to the
22 patient, but it has a lot to do with the organism,
23 so that is the territory that we wish to stay in,
24 and your pathogen list can actually be resorted
25 against the drug classes and predict which ones are

1 going to develop resistance based on their current
2 therapies, because this is selection pressure you
3 are talking about.

4 So, for instance, John earlier asked why
5 group A strep is not a problem for pharyngitis
6 while the macrolides do have a problem. It is very
7 simple. You are always over 1,000 for your AUC
8 even with the lowest dose of Pen-V K against strep
9 group A.

10 With the macrolides, you are never much
11 above 20 or 30, numbers which we normally associate
12 with resistance or at least a prediction of it
13 fairly soon. Vancomycin, which Frank talked about,
14 he talked about it in the context of why it took so
15 long it develop resistance.

16 Well, there are actually two scenarios of
17 vancomycin resistance that are worth talking about.
18 One is, of course, VREF, which happened first, and
19 then MRSA. Well, with staphylococcus, vanco always
20 had values of 4- to 500 because the MICs were down
21 around 0.5 or lower, and the blood levels were
22 always high enough on the AUC side, so that 0.5
23 into 250 or so would give you 400 to 500.

24 Now, why did E. faecium go first? Very
25 simple. Sensitive E. faecium run around with MICs

1 of 4. Well, if you divide that into 250, that is
2 approximately 62, it drops below 100, and quite a
3 few years ago already, we did a small analysis of
4 our patient population and sure enough, all the E.
5 faeciums that start out 4 and sensitive were
6 selected to develop resistance by vancomycin
7 treatment.

8 Then, if you do that in a hospital
9 population with just about any drug, you should
10 see the same thing, so it's predictable.

11 This is perhaps a bit more pertinent, and
12 this is my last point today, because yesterday, we
13 went after the question of the quinolones finally
14 targeting Strep pneumo with a high number. That's
15 the first time we have actually formally targeted a
16 PK/PD value around 250 or higher for the
17 pneumococcus.

18 Up until now, we have been working on a
19 situation where the dosing gives us 40 most of the
20 time against Streptococcus pneumonia, so I mean we
21 will see whether that is soon enough to help, but
22 my view is, is that all of this is predictable, and
23 the pathogen list ought to be set with some thought
24 in mind for the drug and the dose and how that
25 interacts with the MIC of the organism population.

1 So, you sort your organism population in
2 such a say that you see the easy-to-kill bacteria
3 where you are over 1,000 like the quinolones
4 against Haemophilus, for instance, and the
5 hard-to-kill ones, like Streptococcus pneumonia and
6 pseudomonas, and then is you set your dose in the
7 range where you are always low or just at the
8 threshold for animal models that suggest bacteria
9 static activity, which is, what, 30 years for most
10 quinolones against gram-negatives and
11 gram-positives, your resistance can be predicted
12 from there.

13 I mean technology is available I think to
14 make these decisions from the perspective of both
15 pharmacokinetics and pharmacodynamics integrated.

16 That is what I have to say.

17 DR. LEGGETT: Thank you very much.

18 Richard Gesser is here, who I believe was
19 part of the PhRMA task force with the November
20 meeting.

21 DR. GESSER: Thanks very much. Jim, I
22 guess invited me to speak. I am not speaking for
23 the PhRMA group per se, but I was part of the PhRMA
24 group at the meeting in November, and IDSA, as
25 well, participated in that meeting.

1 First, I just want to echo some of the
2 points that Frank Tally made. I think the points
3 that he made were really pertinent to Big Pharma,
4 as well as to Little Pharma, and the main issues,
5 what we face.

6 I am in the Division of Antibacterial
7 Clinical Research at Merck Research Lab, and we are
8 competing for resources within the company as Frank
9 competes for resources in the outside world. Those
10 resources are all used. They are used for one
11 purpose or another as the company decides.

12 I just want to focus on the purpose of the
13 meetings today, and the meeting in November, I
14 think it was Dave Cachetto [ph] from the PhRMA
15 group who brought up the issue of the list, and
16 there was some debate back and forth of the value
17 of a list and people weren't prepared to make a
18 list, and the list was brought up really in the
19 context of just sort of general guidance,
20 acknowledging that we are competing for resources,
21 that drug development takes a long time, and what
22 we were asking for as pharmaceutical research group
23 was more guidance and clarity earlier on as to what
24 was considered important in the field of bacterial
25 resistance.

1 I think that the members of the group, I
2 think IDSA supported this, as well, but I don't
3 want to speak for them here, but a lot of people
4 felt that a targeted list of pathogens
5 acknowledging all the caveats associated with that
6 list, particularly the concept of trends over time,
7 the limitations of the list, the meaning of the
8 list of people outside the purview of this group,
9 all things considered, that type of a list and the
10 guidance around that list, and what could be
11 achieved with that list, I think part of the
12 discussions this afternoon, how you would, for lack
13 of a better term, streamline or use information,
14 such as Dr. Schentag mentioned, PK/PD information,
15 in vitro testing information, to go after uncommon
16 pathogens, and again a focused list that was never
17 presumed to be comprehensive entirely and always
18 was presumed to be a working document, something to
19 reflect the current environment was perceived as
20 something that was very important in allowing us to
21 devise development resources, to use those
22 development resources, and to really campaign for
23 resources either within our company or outside of
24 our company if the clear importance of developing
25 new drugs for these pathogens was stated, I think

1 it would go a long way to moving this along.

2 One last point. At that meeting, it was
3 expressed with some concern that less resources
4 were being able to apply in this area, and so that
5 we were facing situations of increasing bacterial
6 resistance and concern in an environment where it
7 takes a long time to develop new products, and
8 resources, at least new resources weren't easily
9 being relegated to that area of development.

10 DR. LEGGETT: Thank you very much.

11 Is there anyone else who would like to
12 take advantage of the open portion?

13 [No response.]

14 DR. LEGGETT: Thank you.

15 I think we will move on and have John
16 Bradley address us on how clinicians use data for
17 clinical decisionmaking.

18 **How Clinicians Use Data for Clinical**
19 **Decision Making - John Bradley, M.D.**

20 DR. BRADLEY: Thanks very much, Jim.

21 I received a call from Dr. Powers earlier
22 this week that there was another clinician who was
23 supposed to be giving this lecture about how
24 clinicians use data for clinical decision making,
25 and since I was one of the clinicians on the

1 and since I was one of the clinicians on the
2 committee, he decided to ask me if I could perhaps
3 put together my thoughts on clinical decision
4 making.

5 It is certainly nothing unique that I do,
6 and any clinician on this committee could certainly
7 give exactly the same talk, but the purpose of what
8 I am trying to do is to demonstrate publicly all
9 the sources of information that we use in actually
10 taking care of patients, and how we take all of
11 this data and use the data in order to cure the
12 patients, which is, of course, our most important
13 goal.

14 [Slide.]

15 We certainly use clinical information
16 about the patient being treated, what are the
17 characteristics of the patient, what are the
18 characteristics of the infection that we are
19 treating. We get organism information from the
20 cultures including identification and
21 susceptibility data, so we depend on our hospitals'
22 microbiology lab giving us an NCCLS guidelines
23 approved ID and susceptibility piece of
24 information, so that we can select from those
25 antibiotics to which the organism is susceptible

1 which ones to choose for the patient.

2 Obviously, the list of antibiotics that
3 are tested by the micro lab happen to be those that
4 are FDA-approved and available to us. We can
5 certainly go to some research labs and get
6 unapproved investigational antibiotics tested
7 against the organism, but the vast majority of what
8 we do has to do with FDA-approved therapies.

9 We take into account information on
10 pharmacokinetics and pharmacodynamics now, as Dr.
11 Schentag had mentioned, the toxicity
12 characteristics of these FDA-approved agents which
13 are active in vitro.

14 [Slide.]

15 So, we do clearly use the information that
16 the FDA reviews and publishes in the package insert
17 and on their web site. They certainly look at data
18 on safety and efficacy, but they have approvals
19 only for the particular indications that are
20 submitted by the sponsor, and they have gone on
21 record as saying that if there is an indication for
22 which they have not been given data, that they are
23 not saying yes or no, they just haven't been given
24 data on which to make a recommendation.

25 So, it is unlikely that we will get new

1 indications for ampicillin because it is unlikely
2 that a group will put all the financial resources
3 requires into a sort of package labeling submission
4 to go to the FDA and have them actually review it
5 and approve ampicillin for something, and this
6 certainly goes for virtually any other drug that is
7 generic.

8 We also use the medical literature for the
9 preferred antibiotic therapy, and certainly when
10 the FDA approves a drug, they approve it with all
11 the information they have, the best information at
12 the time of the approval, but then a year or two or
13 three later, unless there is more information that
14 comes back to them, they don't keep annually
15 updating all of the package inserts for every drug
16 that they have approved.

17 That is something that we find from the
18 medical literature. We have guidelines that
19 clinical societies put together, like the IDSA,
20 which is very involved in trying to tell physicians
21 which is the preferred therapy for which particular
22 infections and organisms.

23 In pediatrics, the American Academy of
24 Pediatric's Red Book Committee, the Infectious
25 disease Committee comes out with recommendations on

1 preferred therapies. There is the Sanford Guide,
2 which is put together by a number of very prominent
3 infectious disease clinicians who are internists,
4 published clinical trials, some of which are
5 excellent, some of which are not so good, some of
6 which are downright misleading, but we are taught
7 to evaluate these clinical trials in the
8 literature and take the information from these
9 trials that is valuable and extrapolate it to each
10 individual patient, each individual infection that
11 we are treating.

12 [Slide.]

13 Back to the patient. This situation was
14 raised a number of times earlier today. The immune
15 competence of the patient is very important in
16 whether that patient can clear the infection. The
17 extremes of age, the newborn and the elderly don't
18 have the same immunologic capabilities as people in
19 the middle.

20 The are comorbidities, associated
21 illnesses, sickle cell disease was raised earlier
22 in childhood, chronic bronchitis from the smokers
23 in adult life, diabetes, there is a whole host of
24 comorbidities which impact the progression of the
25 infection and the ability of the host to clear this

1 infection. These are just a few of the things. In
2 the time allotted, there is no way we can go into
3 all of them.

4 Of course, we like to have an examination
5 of the patient. That sometimes gives you clues on
6 to where the infection is and what other problems
7 that you may have facing you. We look at the
8 laboratory information from the patient which
9 includes organ dysfunction information, which
10 impact antibiotic toxicity decisions.

11 So, if I have two antibiotics that are
12 equally effective, one has renal toxicity, and I
13 have a patient who has got pre-existing renal
14 toxicity, I am not going to want to use that, I
15 will want to use the one with less renal toxicity.
16 Then, of course, we use imaging studies, as well.

17 [Slide.]

18 Now, trying to put together how we take
19 all of this information to make the decision, I
20 have tried to put together this Ven diagram, which
21 includes circles from the FDA, the NCCLS, the CDC,
22 and the IDSA, and other clinical organizations.

23 The FDA is certainly expert at evaluating
24 the safety and efficacy of submitted data. That is
25 their job. They tell me where the drug will work

1 and where it will not work, where to be cautious in
2 the group of patients for which data have been
3 submitted to them. They also caution me on where
4 to look out for safety considerations, and I take
5 their advice very seriously.

6 The NCCLS looks at the organism
7 identification, that is their job, and
8 interpretations of susceptibility, and they use
9 those interpretations based on both in vitro
10 testing and, of three years ago, pharmacodynamic
11 considerations.

12 Now, the FDA is also historically involved
13 in looking at breakpoints and what is susceptible
14 in vitro, and there are FDA microbiologists who are
15 certainly present at the NCCLS meetings, and it is
16 an open forum for discussion, but the NCCLS puts
17 together the guidelines which virtually every
18 hospital in the U.S. and many in the world use in
19 order to determine what is susceptible and what is
20 not.

21 Things can change. The fact that third
22 generation cephalosporins are now considered a bit
23 more active against pen-resistant pneumococci.
24 Beta-lactam-resistant pneumococci is one example of
25 that. Their guidelines keep getting updated, so if

1 there is new information on resistance that impacts
2 my being able to use a drug, it shows up in their
3 documents.

4 The CDC is involved in epidemiologic
5 evaluation of pathogens, particularly resistant
6 ones, ones which are of public health concern, and
7 I know the FDA and the CDC have some
8 interconnection. There is probably a dotted line
9 that goes between these two, but the CDC certainly
10 feeds information on organisms to the NCCLS and
11 feeds information on epidemiology to the IDSA and
12 other clinical organizations.

13 So, everyone is involved in this decision
14 making process, no one can do it by themselves.
15 The IDSA and the other clinical organizations that
16 I mentioned are responsible for recommendations for
17 clinicians for actually treating patients for all
18 infections with all antibiotics, so if there is an
19 organism that the FDA has approved for a certain
20 drug and a certain indication, then, if there is
21 another infection that that organism causes, and a
22 clinician wants to know if there is any data to
23 treat this other infection, then, they will go to
24 these societies to get some advice on extrapolating
25 information from what the FDA has, again hopefully

1 based on clinical studies which give us good,
2 useful, evidence-based information.

3 [Slide.]

4 Just to give you a couple of examples
5 briefly, if there is a 12-year-old with leukemia
6 and neutropenia, who has x-ray defined pneumonia,
7 and grows a *Pseudomonas aeruginosa* that is
8 ceftazidime resistant, but meropenem and
9 ciprofloxacin susceptible from the bronch wash, we
10 are supposed to decide what is the appropriate
11 therapy for this particular child.

12 So, based on the susceptibility data, I
13 will treat with meropenem based on the safety and
14 efficacy data of meropenem in pediatric meningitis.
15 So, I take the data from just as serious an
16 infection, although perhaps a more immune competent
17 host, and extrapolate with a high dose of drug,
18 tissue penetration, killing of organisms that I
19 will hopefully get a success using this drug to
20 treat pneumonia in an immune-compromised host.

21 So, again, the FDA hasn't approved of
22 meropenem for *Pseudomonas pneumonia* in neutropenic
23 children, I am sure, but that doesn't stop me from
24 using the drug in that scenario.

25 Now, if it's meropenem resistant, then, I

1 would go to ciprofloxacin, and there are clearly
2 even less data in pediatric populations on
3 quinolone therapy of Pseudomonas pneumonia, but I
4 also use data that is published in the adult
5 literature to help guide me on efficacy in these
6 certain populations, but in kids perhaps I have to
7 worry more about safety rather than efficacy for
8 fluoroquinolones, so all of these things are going
9 around all at the same time, and hopefully I come
10 up with a reasonable recommendation for therapy.

11 [Slide.]

12 Another example, and this is something
13 that we talked about earlier with respect to
14 serious infections versus non-serious infections.
15 Dr. Reller brought up meningitis, Dr. Glode brought
16 up meningitis where if you don't treat it with an
17 effective antibiotic, you don't cure the infection,
18 in contrast to otitis media where there is a fairly
19 high spontaneous resolution rate even without
20 antibiotic treatment.

21 This is a real case which occurred in the
22 pre-Haemophilus type B vaccine era, an 18-month-old
23 with periorbital cellulitis and bacteremia, who was
24 being treated with ceftriaxone, and I am asked by
25 the resident why I don't use I.V. trimethoprim

1 sulfa, which was available at the time, because he
2 frequently used the drug PO for treatment of H. flu
3 and otitis, and the susceptibilities of type B H.
4 flu and non-typeable H. flu are very, very similar.

5 Because no published series existed on
6 bacteremic infections with H. flu treated with I.V.
7 trimethoprim sulfa, I felt very uncomfortable
8 extrapolating from otitis efficacy to sepsis and
9 cellulitis efficacy, so I would not use otitis data
10 to convince me that I can treat bacteremic disease,
11 whereas, I would probably go the other direction if
12 there were previous data on I.V. therapy of
13 Haemophilus in bacteremia and cellulitis, would I
14 feel comfortable using that drug in otitis,
15 probably more comfortable, but I would also like to
16 see data in otitis.

17 [Slide.]

18 So, when can you extrapolate efficacy? If
19 you can successfully treat a difficult infection,
20 you should be able to treat a simple infection.

21 [Slide.]

22 Certainly some infections are harder to
23 treat based on penetration of antibiotic to the
24 site of infection, intra-abdominal abscesses is one
25 case, meningitis is another, versus infections in

1 which there is excellent penetration like urinary
2 tract infections, you have huge concentrations of
3 antibiotic in the urine, or pneumonia where you
4 have got excellent blood flow to the lung.

5 The seriousness of the infection and
6 spontaneous resolution of the infection, as I just
7 mentioned, meningitis, or a pneumonia Fine Class 5
8 versus acute exacerbation of chronic bronchitis or
9 acute otitis media where there is controversy in
10 the clinical community as to how important
11 treatment is in the first place. And then
12 comorbidities, I have already mentioned, healthy
13 young adults versus neutropenia states or old age
14 or neonates in which you need to ask the antibiotic
15 to do more in curing the infection.

16 [Slide.]

17 When can you extrapolate safety? Well, If
18 I have a tough infection in a patient who is not
19 responding, and the in vitro susceptibilities are
20 sort of borderline, I will push the dose, and most
21 of my colleagues would, as well.

22 We watch for toxicity certainly because
23 there may not be as much data in the literature on
24 toxicity at a higher dose, but in pediatrics, where
25 we have the luxury of having meningitis studies

1 where almost double the dose has been used for a
2 number of infections, and we have plenty of safety
3 data, I feel comfortable then increasing the dose
4 in other non-CNS difficult-to-treat infections
5 feeling that the safety data for meningitis can be
6 extrapolated to the safety data in a bad pneumonia
7 empyema or a bad pyelonephritis with a perinephric
8 abscess.

9 So, that's one situation again where I can
10 extrapolate safety from a severe serious infection
11 to treating less severe infections.

12 [Slide.]

13 So, to summarize, we use published data
14 from the FDA and clinical trials on safety and
15 efficacy for infections caused by a certain
16 pathogen, considering the host and location of the
17 infection, the antibiotic toxicities, and the in
18 vitro susceptibilities, as well as the risk of
19 failure, to extrapolate efficacy in using an
20 antibiotic which has not been previously studied
21 for the type of infection or the patient population
22 that we are treating. I should have broken that
23 sentence up into two or three, I apologize, but all
24 the stuff is in there.

25 So, that is basically a nutshell of

1 clinical approach that I take.

2 DR. LEGGETT: Thank you, John.

3 Moving right along, Ed, could you please
4 tell us about relating clinical data from one
5 disease state to another.

6 **Relating Clinical Data from One Disease**

7 **State to Another**

8 **Edward Cox, M.D.**

9 DR. COX: Good afternoon. It is a
10 pleasure to follow Dr. Bradley. A lot of the
11 principles that he has been discussing will be
12 parallel with some of the items that I will be
13 discussing as I discuss data from studies in one
14 indication supporting studies in a different
15 indication.

16 [Slide.]

17 Just to start out, and I know a number of
18 the folks that have been present at these meetings,
19 but there have been a number of FDA meetings on
20 resistance, both meetings discussing the general
21 topic of drug development for
22 antimicrobial-resistant pathogens, and then also we
23 have had discussions with regards to resistant
24 pathogens in the setting of product-specific
25 meetings that have occurred over the last couple of

1 years.

2 [Slide.]

3 The topic that I am talking about today
4 stems from one of these meetings, the February 20th
5 meeting, where we discussed drug development for
6 resistant pathogens. One of the suggestions that
7 came out of that meeting was to consider the degree
8 to which a study performed in one indication could
9 be used to support safety and efficacy in another
10 indication, so that multiple studies would not be
11 required within a multi-indication new drug
12 application.

13 [Slide.]

14 Just to mention the Public Health Service
15 Action Plan and some of the items in there with
16 regards to product development. This overall
17 approach of streamlining the regulatory process and
18 identifying ways to promote the development of
19 antimicrobial-resistant drug products is consistent
20 with some of the action items that are within the
21 PHS Action Plan.

22 [Slide.]

23 I also turn and just give a brief excerpt
24 from our labeling regulations as to what guidance
25 or what information or requirements, I should

1 actually say that our regulations provide us with
2 regards to the types of data that we need in order
3 an indication in the label.

4 The regulations say that, "All indications
5 shall be supported by substantial evidence of
6 effectiveness based on adequate and well-controlled
7 studies," and then goes on to define these studies.

8 [Slide.]

9 You will notice that is adequate and
10 well-controlled studies in the plural form, and I
11 think the word choice here in part reflects some of
12 the considerations with regards to clinical trials,
13 the reproducibility of observations that are made
14 in clinical trials, there are inherent
15 variabilities that can occur in clinical trials.
16 There is the potential for bias both recognized and
17 unrecognized that may occur in clinical trials.
18 Chance findings can also lead to results in
19 clinical studies.

20 So, by performing more than one clinical
21 study, essentially looking for reproducibility, you
22 may be able to, with a greater degree of certainty,
23 determine what it is that you are see in the
24 clinical studies that you are conducting.

25 [Slide.]

1 Today, we have been talking mostly about
2 bacterial infections, and we also recognize the
3 importance of resistance in non-bacterial
4 infections, but we will, in fact, focus on some of
5 the indications here for bacterial infections.

6 You will notice there is essentially a
7 number of different indications that I put up here.
8 I won't go through the abbreviations, but there is
9 a wide variety of indications that one can study.

10 In looking across these indications, you
11 will notice that some are more related to each
12 other than others, as are the microbes that cause
13 these infections.

14 [Slide.]

15 I think really what we hope to do here
16 today--and Dr. Bradley has helped us tremendously I
17 think in already elucidating some of the criterion
18 and principles that he uses in his practice--is
19 really to explore the science behind the practice
20 of considering data that comes from outside of a
21 specific target indication within a
22 multi-indication NDA.

23 We have heard from Dr. Bradley some of the
24 principles and practices that he uses, and that
25 serves as a very good starting point for

1 understanding how we might approach this problem,
2 but we also have to recognize, too, that as we move
3 from the individual patient to a broader public
4 health decision, one that would have regulatory
5 impact, there is certainly a higher degree of rigor
6 that one would be inclined to use as opposed to
7 what one would use with a single individual
8 patient.

9 The issue of using data from related
10 indications is not something that is brand new. It
11 is actually something that is recognized and has
12 been in some of the prior guidances and draft
13 guidance documents with regards to developing
14 antimicrobial agents.

15 Our goal here is if we can clearly
16 describe the rationale for the use of the evidence
17 from studies in other indications, that raises the
18 question can we develop criteria as to how such
19 information may be used to support clinical studies
20 in other indications for the purpose of drug
21 development.

22 [Slide.]

23 Just to review some of the guidance
24 documents that have provided some information with
25 regards to the issue of using data from other

1 indications. The 1992 Points to Consider guidance
2 document discussed circumstances within a
3 multi-indication NDA where one trial within an
4 indication, that is part of an overall drug
5 development program that includes multiple
6 indications, might be used.

7 It describes relationships between
8 uncomplicated UTI and complicated UTI, acute
9 prostatitis relying upon complicated UTI,
10 uncomplicated intra-abdominal infections, such as
11 mild diverticulitis, relying upon data from a
12 complicated intra-abdominal infection study, and
13 then also an intra-relatedness between complicated
14 intra-abdominal infections and also GYN infections.

15 [Slide.]

16 Around the same time, the IDSA/FDA
17 guidelines that came out in 1992 and published in
18 CID, have some further comment on this issue that I
19 found quite informative, so I will just briefly
20 mention that here.

21 That is, the IDSA/FDA guidelines state
22 that whenever possible, there should be more than
23 one comparative randomized study for a proposed
24 indication. They do go on to note that, however,
25 in certain circumstances, a single, well-controlled

1 study may suffice.

2 The single trial may be sufficient for
3 additional indications when a new agent has been
4 shown to be effective in more than one trial for a
5 major indication existing within the same anatomic
6 location or organ system and caused by similar
7 microorganisms.

8 So, I think these are, in part, some of
9 the principles that John has been talking about and
10 that also are part of the criteria that I will get
11 to.

12 They do also provide some examples that
13 are informative as to their thought processes back
14 then. They talk about CAP trials and if you have a
15 CAP trial that shows efficacy for Strep pneumo and
16 H. flu, then, in that circumstance, perhaps a
17 single trial for otitis media, bronchitis, or
18 sinusitis would be a reasonable approach.

19 Then, they go on to provide sort of a
20 contrasting example where they talk about
21 uncomplicated urinary tract infections being cause
22 by E. coli and noting that this would not really
23 provide much assurances to the drug's efficacy and
24 the treatment of bacteremia caused by E. coli.

25 [Slide.]

1 The draft guidances 1998 describe some
2 relationships between complicated UTI and
3 prostatitis are similar to what we have seen
4 before. They also talk about concordant
5 microbiology data being derived from CAP or HAP
6 studies being able to support AECB, and also note a
7 relationship between CAP and HAP.

8 [Slide.]

9 This list just mentions a couple of NDAs
10 where, in fact, these principles are relying upon
11 data from one indication to support another, and
12 this is not meant to be an exhaustive list.
13 Certainly, a more extensive search could probably
14 turn up more examples, but Sporanox injection, oral
15 solution was improve for empiric antifungal therapy
16 for febrile neutropenia based upon one trial and
17 supportive data from treatment trials of fungal
18 infections including treatment of aspergillosis and
19 also esophageal candidiasis.

20 Other examples include the studies for
21 prevention indications for Pneumocystis carinii
22 pneumonia and Mycobacterium avium, which were
23 supported by data from treatment studies of illness
24 caused by these same pathogens.

25 [Slide.]

1 Just to get people thinking about this a
2 little bit, the rhetorical questions of the
3 relationship between CAP and HAP, same tissue site
4 or same anatomic location, and then a contrasting
5 example of uncomplicated urinary tract infection
6 support CAP, and then complicated skin supporting
7 HAP, and these are really just meant to be
8 provocative examples and really not to ask the
9 question of yes or no, but more to say why are
10 people saying yes, why are people saying no, what
11 is going through people's minds that is leading
12 them to say that either one can support or one
13 cannot.

14 [Slide.]

15 I think the factors that people are
16 probably considering are the things that John has
17 mentioned and also that we have here on this slide
18 with microbial etiologies, tissue penetration,
19 severity of disease, and host in which the
20 infection occurs.

21 [Slide.]

22 So, this really leads us to the proposed
23 criteria for when data from one indication might be
24 able to support another indication within a
25 multi-indication NDA. I will read through this

1 because they are the subject of what we would like
2 folks to discuss, and we will talk about more with
3 regards to the questions.

4 1. The natural history of the disease
5 under study--and that is the first criteria--what
6 is the spontaneous resolution rate and what is the
7 morbidity/mortality without treatment?

8 So, this issue gets to the degree to which
9 you can understand the treatment effect within a
10 particular indication.

11 No. 2. Factors other than the
12 antimicrobial which may affect outcome in a given
13 indication, for example, in complicated
14 intra-abdominal infection, part of the therapy
15 would be the surgical debridement, and another
16 example, ABECB, where there therapy is not only the
17 antimicrobial agent, but also can be
18 corticosteroids, can be beta agonists, and other
19 interventions that may influence the outcomes.

20 No. 3. The characteristics of the study
21 drug. Here, for example, we are talking about the
22 pharmacokinetics of the drug, does it reach the
23 site of the infection, what are the levels within
24 those tissues, and are there any other effects that
25 need to be considered, such as the pH at the site

1 of action of the antimicrobial agent.

2 [Slide.]

3 No. 4. Then, other criteria that may
4 influence the data that can be inferred from a
5 particular indication is whether the infection is a
6 monomicrobial or a polymicrobial infection. An
7 example here would be enterococci in the setting of
8 a polymicrobial intra-abdominal infection where
9 surgical attention would usually be needed, and
10 then also antimicrobial therapy directed at the
11 spectrum of microbials infecting, and not
12 necessarily including enterococci would probably
13 affect effective therapy.

14 No. 5. Similar sites of infection, for
15 example, the lung where both community-acquired
16 pneumonia and hospital-acquired pneumonia would
17 occur, so another consideration as whether one can
18 use data from one indication to support another.

19 No. 6. As Dr. Bradley has already
20 mentioned, too, the host effects. Certainly, there
21 are host differences as we move from one indication
22 to another. For example, the patient who gets
23 community-acquired pneumonia may have different
24 host factors than those patients who get
25 hospital-acquired pneumonia.

1 No. 7. Then, importantly, the seventh
2 criteria, the similarity in spectrum of organisms
3 causing disease, and while there may be some
4 overlap with the organisms causing
5 community-acquired pneumonia and hospital-acquired
6 pneumonia, there are significant differences as one
7 moves from CAP and HAP and gets more to
8 gram-negative pathogens and also more Staph aureus.

9 So, these are the factors that we have
10 come up with, that we are proposing, that we would
11 like the committee to discuss with regards to using
12 these criteria to determine when it might be
13 appropriate to use data from one indication to
14 support another indication.

15 [Slide.]

16 Some of the other considerations, and Dr.
17 Bradley has also mentioned these, is almost sort of
18 a directionality of support, can a severe disease
19 support a less severe disease? How about vice
20 versa?

21 Some examples here would be an I.V. CAP
22 study in relationship to I.V. HAP, and then to
23 contrast that oral CAP versus I.V. HAP.

24 Other considerations might be the
25 similarity of dose, duration and the formulation.

1 If one study uses a different dosing regimen, how
2 does that help us in inferring efficacy with
3 regards to another indication.

4 And then an underlying question here, too,
5 is also if data from one indication is to be used
6 to support another indication, what is the weight
7 of evidence that that supporting data can provide.

8 [Slide.]

9 Some practical issues that I think deserve
10 mention are if there is a greater dependence on a
11 single study in a subject indication, reliance upon
12 other supporting data, with regards to that single
13 study, it is important that that be a high-quality
14 study, have a rigorous study design, and that it be
15 well performed and have very well done clinical and
16 microbiologic endpoints since there is a greater
17 reliance upon that data from a single study within
18 the overall multi-indication NDA.

19 Some other practical considerations are
20 that within a highly interdependent program, such a
21 program may have less resiliency if unexpected
22 findings are encountered within the program. That
23 can be either in a supporting clinical study, for
24 instance, if efficacy is not demonstrated in the
25 supporting study or that would certainly create

1 some difficult questions that would need to be
2 answered about the overall indication.

3 Then, it is also important that the more
4 streamlined approach also still provide sufficient
5 quantity of data to adequately characterize safety,
6 and then we really sort of already mentioned this,
7 and that is, in situations where there is a more
8 streamlined program, if an unexpected safety
9 finding does come up, it may be more difficult to
10 address that within the more limited clinical
11 program.

12 Then, I have got as the last bullet, other
13 issues here just because as we discuss this, there
14 may be other things that become apparent in the
15 discussions today for other practical issues that
16 need to be considered within a multi-indication NDA
17 that is planning to use a single study within a
18 particular indication.

19 [Slide.]

20 Then, just to put out a hypothetical
21 example of a dependent development program for a
22 drug that was being developed for more serious
23 infections, just a hypothetical example of two
24 studies in community-acquired pneumonia, one study
25 in hospital-acquired pneumonia, and one study in

1 complicated skin and skin structure infections
2 along with supportive data.

3 Important to remember is that this data
4 would provide both the efficacy data and then
5 should also be able to provide the necessary safety
6 data for the drug development program.

7 [Slide.]

8 Because there are numerous indications, as
9 we showed on an earlier slide, I put this up really
10 just to get people thinking about this question,
11 and have sort of put down some of the thoughts so
12 far.

13 This is not meant to limit the discussions
14 and all, but maybe just sort of focus the
15 discussions initially by providing some indications
16 where there appears to be a relationship by organ
17 system, and you will notice that some of the arrows
18 are one directional and others are bidirectional,
19 and then also other relationships that might be
20 used in relating indications, and these are a
21 little more based on the microbiology than they are
22 the anatomic location.

23 [Slide.]

24 What I will do just to give folks an
25 impression of where we are headed to is just to run

1 through the questions and then I will sit down and
2 take questions if folks have questions, and then we
3 can move from there on into the discussion.

4 [Slide.]

5 So, the first question and just so people
6 know where we are headed is to please discuss the
7 concept of data from studies in one indication
8 supporting studies in a different indication.

9 It would be helpful to have a conceptual
10 discussion about this use of data from one study
11 supporting studies in a different indication within
12 a multi-indication NDA. It would also be helpful
13 in your discussions if you could please also
14 discuss the proposed criteria that are intended to
15 identify factors which should be evaluated when
16 considering the evidence from studies in one
17 indication supporting studies in a different
18 indication, and from the list of factors, are there
19 factors that should be added, modified, or removed.

20 Question 2. Please discuss which
21 indications may provide supportive evidence for a
22 single clinical study in another indication.

23 Question 3. Please discuss whether data
24 for a more serious indication can support safety
25 and efficacy in a less serious indication, and are

1 there situations where the converse could be
2 considered, that is, a less serious disease
3 supporting a more serious disease.

4 As we get to the questions, we can put up
5 some other slides just to remind people what those
6 criteria were, but at this point, I will take my
7 seat and be happy to take questions.

8 DR. LEGGETT: Are there any questions for
9 Dr. Cox?

10 [No response.]

11 DR. LEGGETT: Obviously, an entirely lucid
12 presentation. Let's hope our discussion can come
13 someplace close.

14 **Committee Discussion**

15 It is now about 2:30, so we have a couple
16 hours at least to come to these proposed criteria
17 and discuss this.

18 So, why don't we just jump off with Point
19 No. 1, and I would like to hear some people's ideas
20 about the concept of using data from one indication
21 to support studies in a different indication.

22 I think that the points that were brought
23 up in the final page, looking at the relating
24 indications on that final page that Ed talked
25 about. Why don't we use those as sort of specific

1 example to try to flesh out what we like, don't
2 like, or other thoughts we have.

3 Since a lot of yesterday's meeting was
4 devoted to community-acquired pneumonia, why don't
5 we start with can we use community-acquired
6 pneumonia data into the hospital, or can we go the
7 other way around?

8 Go ahead, Barth.

9 DR. RELLER: To help get the discussion
10 started, there was a reason that we have
11 community-acquired pneumonia and hospital-acquired
12 pneumonia. Earlier, it was lower respiratory tract
13 infections and upper respiratory tract infections,
14 and I think the reason for the delineation and the
15 arduous discussion of the past, that to lump
16 everything in lower respiratory tract infections
17 did not give sufficient delineation about severity
18 of disease, about differences in pathogens and that
19 one might take easy ones and inappropriately
20 extrapolate to the more difficult ones led to these
21 basic splits.

22 I think the natural spectrum of organisms
23 in community-acquired and hospital-acquired
24 infections, and the added complications in many of
25 the hospital-acquired infections also being

1 associated with ventilatory assistance, makes these
2 sufficiently disparate that there is very little
3 that one can extrapolate in one direction or the
4 other, not because if you had a hospital-acquired
5 pneumococcus, it wouldn't act like both of them
6 bacteremic with a community-acquired pneumococcal
7 pneumonia, but just that the frequency with which
8 that happens is insufficient to put much effort
9 into the extrapolations with these two entities.

10 There was a reason why they were split
11 into this, and not lumped into lower respiratory
12 tract infection.

13 DR. LEGGETT: Michael.

14 DR. PROSCHAN: But what you are talking
15 about is not--I mean you are talking about just
16 requiring one study rather than two, and then using
17 information from other similar diseases, right?
18 You are not talking about relying entirely on the
19 other diseases, but on not requiring quite as
20 strong evidence for the particular one.

21 DR. LEGGETT: That was my understanding,
22 that it would not be two community-acquired
23 pneumonias studies, but one required
24 community-acquired pneumonia, but then if you
25 wanted to get a hospital-acquired pneumonia, what

1 would you have to do, what kind of data could be
2 transferred or could it be transferred at all.

3 I don't think we are talking so much of
4 safety at this point although there is some degree
5 of that. I think at least right now we should
6 focus on the efficacy part of it. What sort of
7 that sort of data can we transfer?

8 Ellen.

9 DR. WALD: Well, it seemed like in general
10 that you could feel comfortable going from the more
11 complicated infections to the less complicated, so
12 if you are talking about urinary tract infection or
13 soft tissue skin infections, then, you established
14 efficacy in the more complicated, that you could
15 feel I think assured that the uncomplicated would
16 do as well.

17 DR. LEGGETT: By that, do you mean that
18 if, for instance, taking this hospital-acquired
19 pneumonia that is more complicated, the patient is
20 more complicated, and it's Staph aureus, could you
21 the extrapolate to pneumococcus in the community?

22 DR. WALD: I would make my remarks
23 confined to the two things I suggested, that is
24 specifically soft tissue and skin, as well as
25 urinary tract infection.

1 DR. LEGGETT: Go ahead, John.

2 DR. BRADLEY: In look at getting a good
3 study for both CAP and HAP, Dr. Powers and I talked
4 about this a little bit yesterday. In setting up a
5 clinical study for, say, community-acquired
6 pneumonia, there are certain criteria that we have
7 in order to enroll a patient in the study, and we
8 are looking for a certain percentage of bacteremic
9 pneumonias, and certainly if there is a very
10 motivated investigator to get sick bacteremic
11 consolidated pneumonias and the number of
12 enrollments is actually fairly small, to target
13 that group.

14 If, on the other hand, the investigators
15 are just there to enroll every child with
16 abnormalities on chest film, knowing, as Dr. Wald
17 had said yesterday, the viral pneumonias are far
18 more common, then the number of children enrolled
19 in that CAP study with viral disease, not true
20 bacterial disease, will be excessive and the
21 quality of the study won't be sufficient for us to
22 feel good, so if there is a community-acquired
23 pneumonia study where 10 percent of the children,
24 20 percent have bacteremic pneumonias, I will feel
25 really good that that high quality study in

1 community-acquired pneumonia with one study in
2 hospital-acquired pneumonia would make me
3 comfortable with not doing a second
4 community-acquired pneumonia study.

5 My point in all of that is to say it's not
6 just how many studies you do, but it is the quality
7 of the study.

8 DR. LEGGETT: I have a caveat to that. I
9 agree that the harder your target, the small the N
10 you need, but on the other hand, if you are only
11 going to use a single study, the more comprehensive
12 your analysis and correct your analysis has to be
13 as was allowed with the animal data.

14 It is not the animal model, it's how your
15 good your analysis is of that animal model. For
16 instance, while we were talking about numbers of 15
17 or 25, that is good to know that for that
18 particular bug, say, pneumococcus, that we can
19 sterilize 25 out of 25 bacteremic sick hospital
20 patients, but unless you have got some data that
21 will extrapolate those 25 cases to 5,000 people
22 that you have done Monte Carlo simulation on, so
23 that you know the kinetics are going to be the same
24 in the 70-year-old liver failure, ICU patient as my
25 18-year-old pneumococcal bacteremia, I am not going

1 to buy that. In other words, we can't extrapolate
2 too far.

3 Mike.

4 DR. PROSCHAN: Part of this business about
5 two studies is really sort of artificial. I mean
6 if you took one huge study and then you just broke
7 it into two and said, oh, here, here are two
8 studies, I mean that shouldn't be regarded as any
9 stronger evidence than the one study.

10 It doesn't make sense from a statistical
11 point of view to just break it into two. So, part
12 of the reason it's more convincing to add two
13 studies is that they were done in perhaps slightly
14 different patient groups and maybe there are other
15 factors that were somewhat different. Otherwise,
16 it wouldn't make sense to require two.

17 DR. LEGGETT: What would you say about the
18 N in each of those two studies? If it's one big
19 study, should it have the same N as the two smaller
20 studies or, quote, "two separate studies?"

21 DR. PROSCHAN: Well, what I was saying is
22 like suppose you had one study and you used the
23 number of people such that if you cut it in half,
24 each one of those would have high power, 90 percent
25 power, say.

1 Then, in that situation, it is certainly
2 not more convincing to break the study into two and
3 run two separate tests and say yes, look what
4 happened than it is to just put all the data
5 together and compute the test statistic on the full
6 data. I mean it just wouldn't make sense to do it
7 any other way.

8 That is what I am saying, that one of the
9 reasons why it is a good idea to require two
10 different studies is because they are usually in
11 different patients or, you know, somewhat different
12 anyway, and there are other things that are
13 slightly different. That makes it more convincing.

14 DR. LEGGETT: What is you required a
15 priori that you had to have a certain percentage of
16 folks that would give you those two populations,
17 could you then have one study, you have to analyze
18 it knowing that your population was heterogeneous.
19 Does that make it stronger or weaker?

20 DR. PROSCHAN: I am sorry, if you did
21 what?

22 DR. LEGGETT: You said you have two
23 studies, you have got one of old folks in the
24 nursing home and one of adolescents on the street.
25 If you put both of those into one study and

1 analyzed them as one group, is that stronger or
2 weaker?

3 DR. PROSCHAN: No, that's weaker. I mean
4 in that situation, to me, that situation is
5 different because I want to know separately whether
6 it is working in both groups. You put them all
7 together, you could increase the variance to such
8 an extent that you may not see anything.

9 DR. LEGGETT: And if we use one study in a
10 hospitalized pneumonia with a larger study in
11 community, and then try to go across it, aren't we
12 doing the same thing?

13 DR. PROSCHAN: If you go across?

14 DR. LEGGETT: If you try to use your data
15 from your community-acquired pneumonia to then tell
16 you something about hospital-acquired pneumonia,
17 isn't that doing the same thing?

18 DR. PROSCHAN: What I would do, to me it
19 seems like a reasonable approach is you already
20 have some results on community-acquired pneumonia,
21 and I would still require a study in
22 hospital-acquired pneumonia, but then if I had that
23 study that was positive, then, I would also try and
24 use the information from the community-acquired, as
25 well.

1 So, I think you still, you know, you have
2 got to have a study that shows it in the particular
3 one that you are interested in, but perhaps not
4 two. I mean you could borrow the evidence from the
5 other one to help corroborate the results.

6 DR. LEGGETT: Dave, you look like you want
7 to say something.

8 DR. BELL: I just wanted to add that I am
9 not in disagreement with the general tone of this,
10 but I think there is value in two studies that goes
11 beyond just different population groups studied. I
12 mean the investigators are different, the
13 institutions are different, geographic region of
14 the country, of the world is different. It adds a
15 certain robustness in terms of whether there might
16 be biases.

17 DR. LEGGETT: You knew that was a
18 surrogate for all variability.

19 DR. BELL: Okay.

20 DR. PROSCHAN: I agree with that. I just
21 was struck, one time I reviewed something where
22 they said yes, we have done these two different
23 protocols and, you know, they were done exactly
24 alike, they had the same investigators, and to me,
25 they did what I said you probably shouldn't do, you

1 know, they basically just cut one study in two, and
2 that is not more convincing. But I agree with you
3 that all those things are important, the fact that,
4 you know, there other differences, as well.

5 DR. LEGGETT: Alan, you looked like you
6 wanted to say something.

7 DR. CROSS: We saw yesterday, I think that
8 there were four control studies presented off of
9 the same indication, and one of the four was
10 markedly at odds with the other, so I do think what
11 everyone else has been saying.

12 First of all, that no studies are done
13 exactly alike and that there is a greater
14 confidence when we see that there is at least more
15 than one study going in the same direction, and
16 also in terms of comparability, I agree with Barth
17 that it is very hard to extrapolate
18 hospital-acquired to community-acquired because of
19 the obvious multiple differences between the two
20 syndromes.

21 DR. LEGGETT: Could you elaborate on what
22 kind of differences you are talking about,
23 differences in the host, not just different
24 pathogens?

25 DR. CROSS: First of all, the organisms

1 and comorbidities, and therefore perhaps how the
2 drug has to be delivered.

3 DR. LEGGETT: Orally rather than I.V., you
4 mean.

5 DR. CROSS: Yes.

6 DR. LEGGETT: In terms of the analogy that
7 Ellen made about going from complicated to
8 uncomplicated, wouldn't you think that that same
9 analogy could be from complicated to hospitalized
10 sick patients to folks with less, quote,
11 "complicated"?

12 DR. CROSS: If they have the same
13 organisms, but I don't think what we are talking
14 about are two completely different organisms, but,
15 in general, if one were to have a more severe
16 hospital-acquired pneumonia and extrapolated to
17 perhaps lesser severity, that is obviously
18 acceptable.

19 DR. LEGGETT: Go ahead, John.

20 DR. POWERS: Alan, let me ask you for a
21 more specific example here, because clearly, there
22 are different pathogens between community- and
23 hospital-acquired pneumonia, but suppose the drug
24 sponsor came in with, say, a carbapenem type drug,
25 which looked like it had, in the test tube,

1 activity against Pseudomonas, Acinetobacter, and
2 Strep pneumo, H. flu, Moraxella, and the common
3 ones, and they did first a community-acquired
4 pneumonia trial, and they got, unlike what we saw
5 yesterday, a whole lot of Fine Class V people in
6 there, severely ill people.

7 This is probably assuming this is going to
8 be I.V. drug. Then, you get, as John pointed out,
9 a high-quality, hospital-acquired pneumonia trial,
10 one of them, and assuming it works, because that's
11 the other issue, what we saw yesterday. If your
12 one trial was that one gemifloxacin trial that
13 didn't work, you have got a problem.

14 But suppose that that trial actually shows
15 it works, are you convinced by that one
16 hospital-acquired pneumonia trial, based on what
17 you saw in a community-acquired pneumonia trial?

18 DR. CROSS: Well, I think a real wildcard
19 is certainly in hospital-acquired pneumonia, one of
20 the variables you don't have in community onset is
21 the appliance, the endotracheal tube, and the
22 situation is, first of all, that it is much more
23 difficult to clear organisms in the presence of a
24 trach tube.

25 But the other aspect of it is that, as I

1 see it, there is a lot of disagreement in terms of
2 just defining the bacteriology of
3 ventilator-associated pneumonia, for example, is
4 Staph epi truly a pathogen, and if so, do we have
5 to evaluate a drug efficacy there, which you would
6 never do for community onset.

7 DR. POWERS: I guess what we are
8 getting--maybe if I can make it clear--we are not
9 saying that you do no trials for hospital-acquired
10 pneumonia, that is not even on the table, so let me
11 phrase the question another way.

12 Somebody does a CAP trial for this
13 carbapenem type drug or let's say they do two of
14 them, like Ed used in his example, and then they
15 have one hospital-acquired pneumonia trial, and it
16 works, and the drugs works, and it is a well done
17 trial.

18 What is the second hospital-acquired
19 pneumonia trial going to tell you?

20 DR. CROSS: You mean aside from the
21 reproducibility of that.

22 DR. POWERS: Exactly, because that is the
23 question we are asking. So, you have got two CAP
24 trials with a drug and it works, and now you have
25 got one hospital-acquired pneumonia trial, and what

1 we are asking is assuming all those things, that is
2 a well-done trial, and that the end results show
3 the drug is actually effective and safe, what does
4 the second trial in that indication actually add to
5 that?

6 Now, there are a lot of ifs in there, that
7 is what I am saying, and, of course, the risk
8 there, too, if your one HAP trial and the drug
9 fails, you have got a problem.

10 DR. CROSS: If what you are saying is on
11 the community onset one, that you have sufficient
12 number Klebsiellas, Pseudomonas, et cetera, then, I
13 might not have as much difficulty, but it seems
14 that most of the drugs which we have evaluated for
15 CAP are really looking at the atypicals plus Strep
16 pneumonia, so I think it depends how much emphasis
17 you wish to place on, let's say, gram-negatives, in
18 that situation.

19 DR. POWERS: So, I guess what I am
20 hearing, then, to sort of summarize that, would be
21 that perhaps in a single hospital-acquired
22 pneumonia trial, you would still need an adequate
23 number of organisms more commonly seen in HAP than
24 community-acquired pneumonia to give you some
25 confidence of what was going on.

1 So, that would go to sort of the size of
2 the HAP trial and again the quality of the data
3 that you are getting.

4 DR. CROSS: Well, again, it might be size,
5 but I think also what we have been discussing is,
6 is there an intrinsic value to having a study done
7 under one protocol by certain investigators
8 reproduced at least a second time, and I should say
9 it does not have to be a huge trial if the patients
10 included are, as we say, informative.

11 DR. LEGGETT: Keith.

12 DR. RODVOLD: Actually, your comment in
13 the beginning is what I actually observe out in the
14 field, is that most of the pharmaceutical
15 companies, no matter what kind of compound it is,
16 go two CAPs and come to one HAP, and despite the
17 compound, it is probably better for HAP than it is
18 for CAP, because when they get through and get
19 their numbers for safety, as well as build up a
20 database off the CAP and then make the flip to HAP,
21 and kind of come through smaller.

22 When you look at those pathogens, and I
23 think the message came up pretty strong this
24 morning, and I agree, that there is nothing in the
25 pipeline for gram-negatives, and really nothing,

1 that if it was in the pipeline, has really been
2 developed for serious gram-negative infection
3 indications.

4 If it has got enough gram-positive
5 coverage, which they almost slip in on the compound
6 today, so they can get into the community
7 indications first, that is where they go, and here
8 is no incentive for them to kind of come the other
9 way. .

10 My point is that I think you need to
11 think, I agree with everything, that the diseases
12 are different, the patients are different, that
13 there is no doubt that the bugs are different, but
14 if you really want to be serious about getting
15 people to develop drugs and gram-negatives, and get
16 nosocomial type infections on board, you have got
17 to do something to make them come that way, because
18 they are not coming that way, and they are not
19 going to come that way at the price of drug
20 development and delays they could face.

21 So, that is where you are going to have to
22 get the caveat or carrots out there to get them to
23 come and to hopefully develop drugs that give us
24 that, because I don't think there is any incentive
25 for them to do it, and that's just what I am

1 constantly seeing, and I think the example you put
2 is one that is out there already, a big carbapenem,
3 but it doesn't have nosocomial, and they are
4 teasing now with how to get it in CAP guidelines.
5 I am like going really.

6 DR. COX: It sounds like what I am hearing
7 is that people are thinking really in terms of the
8 criteria and essence, but it seems that efficacy
9 within the lungs is not enough, there is
10 reservations about using that information derived
11 from a CAP study with regards to HAP, and it sounds
12 like the point there is actually, really to the
13 microbiology and the host factors.

14 So, those are a couple of the criteria
15 that we have there, but it sounds like there is
16 some reservation with regards to that use of
17 supporting information from, say, two CAP studies
18 to a HAP study.

19 Do I characterize that correctly?

20 DR. LEGGETT: Especially the lack of
21 bacteriologic data that seem to be coming of CAP
22 studies.

23 John.

24 DR. BRADLEY: I am still supportive of one
25 CAP and one HAP, and there is an example that I can

1 give you right now. We are in Phase III trials of
2 ertapenem with CAP, and ertapenem is a very potent
3 antibiotic, and I don't know if I am going to use
4 ertapenem for CAP for the routine, run-of-the-mill
5 well child that comes into the hospital with
6 pneumonia, assuming the drug is approved.

7 So, I don't want to do two CAP studies
8 with ertapenem when I see the value of the drug
9 being one for hospital infections and outpatient
10 infections. So, I don't you to ask the company to
11 do extra studies in an area where, at least in
12 pediatrics, it may not have its strength.

13 So, a CAP study, which is one, well-done,
14 well-powered with high-quality study, where the HAP
15 study, I think will help me.

16 Now, the gram-negatives that we are
17 talking about, that cause HAP, if there are other
18 supportive data in treatment of those
19 gram-negatives in other tissues, such as
20 complicated urinary tract infections, I will feel
21 better about using the drug for those
22 gram-negatives when they appear in the lung for
23 HAP, especially if I have CAP data to show that I
24 have got good drug penetration, and we are actually
25 doing a complicated UTI study, as well, with the

1 same drug.

2 So, I use drugs, the studies, to help
3 complement my confidence in using the drug for
4 pathogens in the lung when they come from another
5 tissue site.

6 DR. LEGGETT: Mark.

7 DR. GOLDBERGER: Just to follow up what
8 Drs. Rodvold and Bradley said. I think we would
9 agree that in the perfect world, we would want, for
10 each indication, multiple studies. I think
11 everybody would feel most comfortable about that.

12 But we live in a world, of course, that
13 has various constraints. One of the constraints is
14 that it takes a certain amount of resources in
15 order to perform all these studies. So, the
16 question really we are sort of asking is, in part,
17 in order to encourage and facilitate the
18 development of new antimicrobials, you know,
19 recognizing the fact that companies have to make
20 decisions about how to apply their resources, what
21 are the things that we can do to sort of expedite
22 the development program, and the big clinical
23 trials are ultimately fairly expensive to perform.

24 So, we are sort of asking this question,
25 not in the perfect world, but also in the

1 constrained world in which we live, to try to
2 understand what things might conceivably be
3 reasonable.

4 We acknowledge, of course, this issue that
5 was raised, that the microbiology in HAP is
6 different than the microbiology in CAP, and how
7 much overlap there is depends on a lot of factors,
8 but clearly it is different as well as the patients
9 themselves.

10 Now, we have, for instance, the
11 opportunity in a multi-indication development
12 program to also, for instance, explore complicated
13 intra-abdominal infection, which gives us the
14 opportunity to look at fairly sick patients over a
15 wide variety of ages, who will have significant
16 gram-negative infections.

17 Now, arguably, surgical intervention is a
18 component there, and that is an issue, as well. We
19 also have the opportunity to look at complicated
20 skin including diabetic infections, again where
21 there are issues of significant gram-negatives.

22 Now, are these perfect surrogates, for
23 instance, what goes on in the lung? No, it depends
24 in part on how much information you have acquired
25 about comparative tissue levels as part of your

1 development plan, but we are faced with dealing at
2 one level with a somewhat constrained environment,
3 and the question is what can we do with that
4 environment recognizing that this is less than what
5 we would normally do perhaps in the perfect world,
6 and in those circumstances, what is our level of
7 comfort and what is the things we really want to
8 sort of look at and think about in order to make
9 reasonable accommodations.

10 DR. LEGGETT: Mike.

11 DR. PROSCHAN: We have been making it kind
12 of simple here by considering only HAP and CAP, but
13 there might be several related bugs, and the
14 question is should you take into account
15 information on the other ones. I think definitely,
16 you would have to say yes.

17 I mean if you found one clinical trial
18 that showed efficacy for HAP, but all the other
19 things that you think ought to be similar, the drug
20 doesn't work for, then, you would probably want to
21 see another study. On the other hand, if it is
22 consistent, all four are showing the same thing,
23 then, that might be a situation where you would be
24 happy with just the one.

25 DR. LEGGETT: Again, I come back to my

1 point of how good the study is. My view of studies
2 of complicated skin and soft tissue infections
3 close up is it looks pretty bad. You can swab
4 somebody's open foot ulcer and its complicated skin
5 and soft tissue, but that is not at all what I
6 would worry about if I was treating somebody with
7 nosocomial pneumonia who had the same pathogen in
8 their lung.

9 Then, the question I get to is can we
10 redefine the criteria of how many people or what
11 kind of person you have got, and how much has to be
12 bacteriologic hard data in your CAP trial.

13 DR. POWERS: Let me switch out of
14 indications where this might even be more relevant,
15 because this committee has discussed this only a
16 few months ago.

17 If you take a look at one of the things we
18 have on the bottom there, of sinusitis compared to
19 otitis media, now, those are two infections where
20 the organisms are almost identical for those
21 things, but we could make the case of what kind of
22 quality data do we see for those kind of
23 indications.

24 As you are saying, Jim, when one is going
25 to use those to support the other, does the kind of

1 clinical-only trials that we saw in the past, with
2 no microbiology, or a microbiology trial with no
3 clinical information along with it that is open and
4 non-controlled, what does the committee think about
5 that if we are then going to use that to relate one
6 disease to the other?

7 DR. LEGGETT: I don't like it.

8 DR. RELLER: No numbers can make a lousy
9 study, a good one. I have no problems whatever
10 extrapolating from otitis media to sinusitis and
11 vice versa if we have got sinus taps and
12 tympanocenteses with microbiology and eradication
13 of the organism.

14 Coming back to CAP and HAP, it is not that
15 they could never be extrapolated one to the other.
16 It is just that the probability of having
17 comparable organisms is so small, and even if one
18 had a drug that was active against Enterobacter in
19 HAP, and it was active against the Pneumococcus in
20 CAP, I am not willing to extrapolate drug X's data
21 for the Pneumococcus to the Enterobacter in HAP
22 even though they are both susceptible organisms to
23 this putative compound that you mention.

24 When we come to two studies/one study, I
25 am actually much more interested in the numbers

1 that I know that they have the entity, the
2 bacteremic pneumococcal pneumonias in CAP, the ones
3 that had an expectorated sputum where the organism
4 was seen on Gram stain, and it was grew and it was
5 devoid of epithelial studies, the HAP studies that
6 have quantitative cultures obtained by endoscopy
7 and bronchial brush.

8 I mean those where you have got the best
9 possible chance to be sure of what you have got,
10 and then those, of course, accompanied by
11 bacteremia, to me, mean a lot more, and these
12 clinical studies that we have had in the past with
13 otitis and sinusitis, they don't tell us very much,
14 and they certainly don't tell us very much with the
15 kinds of organisms that Dr. Jorgensen described
16 earlier.

17 DR. LEGGETT: Keith.

18 DR. RODVOLD: I agree with Barth in the
19 type of patients, and I think the agency themself
20 has used this as an example. It's the levofloxacin
21 data, when that came through to us. I was on the
22 committee, Barth was there, and what was convincing
23 was the story, the whole story. I mean they had a
24 reasonable number of patients, the quality of the
25 patients, and what they had recovered, but they

1 also had the kinetics, the dynamics, the in vitro
2 models with it, and the story was very consistent
3 to get into a smaller group of numbers of quality
4 people, and it rolled along.

5 What I would throw on top of that these
6 days would be what Jim brought up, was doing some
7 simulations on top of that information to kind of
8 project out of the worse scenarios, the people that
9 have a very fast clearance to a slow clearance, to
10 someone with a high MICs to low MICs, to again give
11 people comfortability levels, just like what they
12 have been doing at NCCLS more recently of 80
13 percent of the time, you are going to hit the
14 target even if it's someone that is a poor
15 eliminator or a fast eliminator.

16 I think that building that whole story
17 around one good study that has good quality
18 patients that are really sick, that have the real
19 pathogens, is more convincing than two trials that
20 have kind of some numbers, and I think that is
21 still the best example you have to share with
22 people.

23 DR. LEGGETT: Mimi.

24 DR. GLODE: I just wanted to comment on
25 the issue of trying to establish safety and

1 efficacy in the same study, so sort of torn between
2 we want to enroll a lot of people, so we have some
3 safety data, but then really, often inadvertently,
4 if you will, contaminating the population and
5 sacrificing quality of the study.

6 So, particularly, I know it is very hard
7 for everybody, whether in pediatrics or internal
8 medicine, but community-acquired pneumonia, trying
9 to figure out who has got a viral respiratory
10 disease that is going to get better no matter what
11 you give them, who has got mycoplasma that is going
12 to get better no matter what you give them, and who
13 actually has bacterial pneumonia that presumably
14 will definitely get better faster and needs an
15 antibiotic, you know, means the best microbiology,
16 it means pneumococcal urinary antigen, as Barth
17 mentioned yesterday, potentially, I mean
18 quantitative CRPs, I think there are better ways to
19 narrow that population and then study that
20 population, because if you inadvertently
21 contaminate them, you create this effect of making
22 the drug look fabulous in this population, and that
23 is not the critical information you really want.

24 So, as long as you are inadvertently
25 contaminating them, it is elevating the efficacy of

1 the drug inappropriately and misleading everybody.

2 DR. LEGGETT: Ellen.

3 DR. WALD: I think the infections in which
4 you would have the greatest ability to extrapolate
5 one to the other are the ones in which the
6 microbiology is the same, and so, you know, acute
7 sinusitis and acute otitis media are identical for
8 all intents and purposes.

9 Some have made the observation that the
10 middle ear is a paranasal sinus, and I think there
11 is truth to that when you think about the
12 eustachian tube as a sinus ostea.

13 I think a question that you could ask from
14 there is how similar is that bacteriology to the
15 microbiology of acute exacerbations of chronic
16 bronchitis, and then at least in children, for the
17 bacteriology of a community-acquired pneumonia,
18 which, of course, is something we don't exactly
19 know what the bacteriology of community-acquired
20 pneumonia is in children short of the pneumococcus,
21 because that is the only thing that we grow from
22 blood cultures in pleural effusions, although it
23 may not be the only cause of bacterial pneumonia.

24 So, I think where you have similar
25 microbiology, you have the greatest ability to

1 extrapolate, and I think, though, it puts a
2 tremendous burden on the quality of the first study
3 that you do for any of those for it to be really
4 high quality, and to have as much microbiology as
5 possible.

6 DR. POWERS: Could I ask a follow-up
7 question about that, because you named three
8 respiratory diseases and two of them are a little
9 different, and that for otitis media and sinusitis,
10 they are both normally sterile body sites where we
11 can get that microbiology by tympanocentesis or
12 sinus puncture.

13 On the other hand, acute exacerbations of
14 chronic bronchitis is a disease where, if you
15 culture those people when they are not having
16 exacerbations, you are going to find those bacteria
17 there, as well.

18 So, does the certainty of diagnosis of
19 what that microbiology means also play into part of
20 this?

21 DR. WALD: Well, I think if we are willing
22 to suspend certainty for a moment, since we really
23 talked yesterday and I think everybody was sincere
24 about the need to do an antibiotic versus placebo
25 study, but if for the moment, we accept that it is

1 a real entity that is caused by bacteria at least
2 in some proportion of the cases, then, microbiology
3 is really very similar to the others. Maybe there
4 is a little shift in proportion of the organisms,
5 but they are really pretty much the same organisms.

6 DR. LEGGETT: Would you be willing,
7 assuming that acute exacerbation of chronic
8 bronchitis or bronchitis, assuming antibiotics
9 help, would you be willing to go from
10 community-acquired pneumonia to that indication
11 with the one study?

12 DR. WALD: Yes, I would, because again, I
13 think going from the more complicated to the less
14 complicated is a direction that has an ease
15 associated with it. So, in a more stringently,
16 better defined infection, i.e., CAP, a drug proves
17 to be effective, then, I think that one could
18 comfortably conclude that in a lesser infection,
19 acute exacerbations of bronchitis, that it would
20 perform equally well.

21 Again, if we have bacteriology that
22 includes non-typeable Haemophilus and Streptococcus
23 pneumoniae as being probably the major players in
24 both of those infections.

25 DR. POWERS: Let me extend that a little

1 bit, asking about the directionality question. So,
2 if you had otitis or sinusitis, that might be
3 supportive of acute bacterial exacerbations of
4 chronic bronchitis.

5 I am assuming that these trials are doing
6 at different times because a lot of what we see is
7 they are done simultaneously and we can use them to
8 support each other, but suppose the ABECB trials
9 gets done first, how supportive do you think that
10 is in the other direction of, say, sinusitis or
11 otitis media?

12 DR. LEGGETT: None.

13 DR. POWERS: Because that is the stuff we
14 are dealing with is, you know, is there a
15 directionality to this, and the CAP one is clearer,
16 better, ABECB is a little different.

17 DR. LEGGETT: Jan.

18 DR. PATTERSON: I was going to say I agree
19 with Ellen, and that I think you can go from CAP to
20 ABECB, but not the other way around, and also from
21 acute bacterial sinusitis to acute otitis. In
22 adults, I have a little reservation about going
23 from otitis media to acute bacterial sinusitis
24 because I think adults have staph sinusitis
25 sometimes, but if you had an acute otitis media

1 study and you knew that the compound had good
2 staphylococcal activity, then, I might go for that.

3 That kind of gets to the point of, you
4 know, with some of these resistant organisms, they
5 have had sort of pathogen-directed indications like
6 VRE, bacteremia, and that kind of thing, and I
7 think if you had an antibiotic that was successful
8 in treating bacteremia, that it would most probably
9 be successful in treating UTI and lesser sorts of
10 things, but I don't know how much you want to go
11 for pathogen directed indications.

12 DR. LEGGETT: People are trying to get
13 multiple indications. If they are only trying to
14 get one, is it still two studies and supporting
15 data?

16 DR. POWERS: Yes.

17 DR. LEGGETT: I just wanted to make that
18 clear.

19 Could the two studies, one is controlled,
20 and could the other be one of these enriched
21 pneumococcal antigen, or do they have to be
22 controlled, blinded, the variability problem?

23 DR. POWERS: That goes to the
24 reproducibility of the information. Whether one
25 could then do two studies and then some other kind

1 of open-label trial trying to accrue more resistant
2 pathogens might be one way to go.

3 DR. LEGGETT: Barth.

4 DR. RELLER: One example of this question
5 about one study/two studies, if you had a good
6 efficacy demonstrated for the pneumococcus
7 *Moraxella catarrhalis*, I mean the respiratory
8 pathogens with the community-acquired pneumonia
9 study, and one had a single acute exacerbations of
10 chronic bronchitis that was placebo-controlled, I
11 don't think anybody would have any trouble
12 extrapolating.

13 I mean that would be one nice example of a
14 single study would be all you would need if it was
15 a good one, on the one side, and it was a good one
16 on the other, and then the transfer of the
17 information. I would like to see more of those.

18 DR. POWERS: I think one of the issues we
19 are trying to get at is what I think I heard
20 yesterday a couple of times was what is the
21 incentive for anyone in industry to go out and do a
22 placebo-controlled study of acute bacterial
23 exacerbations of chronic bronchitis, so in this
24 way, it sounds like this might be some incentive if
25 it streamlines the drug development process in some

1 way.

2 The other issue I think that we didn't
3 talk about much yesterday is a placebo-controlled
4 ABECB trial has fewer patients in it than a
5 non-inferiority trial of ABECB does, therefore,
6 there is two benefits to a company doing this. Do
7 I think we are going to see this? I am a little
8 skeptical from what I have heard, but at least we
9 can at least hold out that there is some benefit to
10 industry to actually do things this way.

11 If there is not, why should anybody do
12 this?

13 DR. RELLER: Yesterday, I would have to
14 pull out the books, but, what, 2- to 300 in three
15 different trials with acute exacerbation, or were
16 there four? There were three or four trials for
17 acute exacerbations of chronic bronchitis.

18 DR. COX: You mean in yesterday's
19 discussion?

20 DR. RELLER: Yes, yesterday. I mean at
21 least three, three, four or five. Let's take four
22 trials, 250, 300 patient apiece, I mean one good
23 trial would have I think given us more useful
24 information than all of the material that we
25 wrestled with yesterday with acute exacerbations of

1 chronic bronchitis.

2 DR. POWERS: You are hitting on my pet
3 topic here, so I have another question for the
4 committee related to this. So, if it's okay to
5 expose 800 people to a non-inferiority trial in
6 ABECB, one of the things we hear is it is unethical
7 to do a placebo-controlled trial.

8 Well, how ethical is it to expose all
9 those people if we don't even know if the drugs
10 have any efficacy in that disease?

11 DR. LEGGETT: Correct, but they are not
12 going to die. I think that people draw the line at
13 when you are going to die.

14 DR. POWERS: I am trying to address the
15 question of why is it unethical to do a
16 placebo-controlled trial in ABECB.

17 DR. WALD: Who says that it is unethical?

18 DR. POWERS: Ever since we had this
19 discussion in November, we have tried to ask drug
20 sponsors saying based on what we heard, that we
21 think that these trials should be
22 placebo-controlled. No one has expressed a
23 willingness to do so, and one of the reasons we
24 hear is that IRBs have a problem with this and that
25 it is not ethical or supposedly not ethical to do a

1 placebo-controlled trial in this disease.

2 DR. LEGGETT: Barth.

3 DR. RELLER: What a wonderful opportunity
4 for a sponsor. We have got the Infectious Disease
5 Society of America participating in the meeting
6 saying that placebo-controlled trials are
7 necessary, and even the Institute of Medicine
8 saying this is something that should be brought up
9 to the NIH for funding because this is important.

10 I mean I would think that there are
11 sufficient published consensus bodies experts, I
12 mean it should be a slam dunk within IRB. I mean
13 we have emphasized here the tympanocentesis study
14 with the demonstrations, the taps, that it can't be
15 done, the amount of useful information in what the
16 potential benefit of knowing what somebody actually
17 has as opposed to the pitfalls of empiricism in a
18 world of unexpected resistance, I think the time
19 has never been better to tighten the science and
20 thereby achieve also economies of having more
21 useful information involving smaller numbers.

22 DR. PROSCHAN: Would you expect the drug
23 companies to say we don't want to do that because
24 our drug might not be any better than the placebo?
25 Of course, there is an incentive to say it is

1 unethical.

2 DR. LEGGETT: Ellen.

3 DR WALD: I think it may help. You know,
4 there are certainly some published statements from
5 recommending agencies suggesting that these things
6 are ripe for investigations, and I think the timing
7 is right, but I have to say that the IRBs now are
8 particularly skittish.

9 I think that they are feeling a lot of
10 pressure because of the kinds of things that have
11 recently been reported in the press about mistakes
12 of protocol implementation, whatever, and we just
13 had an experience at Pittsburgh where, in fact, our
14 IRB has declined approving a placebo-controlled
15 trial of acute otitis media, and we are going to be
16 sending it to the FDA to get their ruling on that.

17 I think that is despite the fact that, you
18 know, there is a lot of media now looking at
19 watchful waiting as a strategy. So, I think that
20 maybe in the minutes of this meeting, if we can
21 make a formal statement about how important these
22 studies are, that it will create a sense of
23 equipoise, which I think is what is necessary to
24 engage in any of these kinds of investigations.

25 I think that we can, in fact, state that

1 equipoise, because I think we don't know the
2 answer.

3 DR. LEGGETT: Keith.

4 DR. RODVOLD: I agree, that, you know,
5 being a past IRB member plus dealing with the IRB
6 constantly, that every IRB is its own animal
7 basically, and the only way you get through it,
8 especially where a lot of people do trials, they go
9 through IRBs that are way different than the ones
10 that I think most of the people sitting around this
11 table go through as an IRB, and you would need to
12 not only gather the literature, but I think you
13 probably would have to make a statement that would
14 go right in the packet.

15 That does lend credence, a lot of
16 credence, in areas of untouched territories or
17 uncomfortable territories, and I can tell you one
18 of our IRBs, when you bring up placebo-controlled,
19 are just like what Pittsburgh is running into, it's
20 almost a no go until you can just really show them
21 with convincing data and convincing endorsement
22 from the Federal Government that this is a
23 possibility.

24 DR. LEGGETT: Go ahead, John.

25 DR. POWERS: Having been on an IRB myself,

1 I agree that it is the quality of the data that
2 gets presented to the IRB that sways them, and one
3 of the things that always comes to my mind now when
4 we talk about levels of evidence is the trial that
5 was done on hormone replacement therapy in women
6 that was published just last year.

7 Loads of observational data saying that
8 that therapy actually prevented cardiovascular
9 disease, one very well-done placebo-controlled
10 trial shows it does not, and those are the kinds of
11 things that I think are convincing to IRBs, look,
12 we have all these trials done in the past that
13 prove absolutely nothing to us, we want to do a
14 kind of trial like the hormone replacement trial to
15 answer this question definitively.

16 Jim, could we maybe look at some of those
17 criteria?

18 DR. LEGGETT: Sure. Don wanted to say
19 something and we will do it.

20 DR. PORETZ: In certain areas like
21 cardiovascular disease, you look at endpoints with
22 events like how many myocardial infarctions you are
23 going to have or how many deaths you are going to
24 have if a person does or does not take a certain
25 drug.

1 In infectious diseases, when you are doing
2 drug studies, antimicrobial studies, who determines
3 the total number of patients for validity of a
4 study, is the pharmaceutical company and their
5 statisticians, is it the FDA and their
6 statisticians, who determines?

7 DR. POWERS: That is something we usually
8 work on together and it usually depends upon what
9 the endpoint is and how effective you estimate that
10 your drug is going to be, and then it gets into the
11 dreaded delta issue of how effective you want your
12 drug to be relative to whatever control that you
13 are happening to use, but that is usually something
14 we talk about, that the FDA and the pharmaceutical
15 sponsor, we talk about together.

16 DR. LEGGETT: So, what we are saying
17 basically is if we are going to be able to change
18 things and improve the single trials, that you guys
19 are going to have to require more stringent
20 criteria on your part.

21 Ken.

22 DR. BROWN: If I understand the
23 discussion, I am a little uncomfortable with the
24 idea of CAP to HAP or reverse, unless we are
25 absolutely stringent on the organism, be a

1 case-by-case by organism. The pathology of
2 Pseudomonas pneumonia is so dramatically different
3 from the pathology of Pneumococcal pneumonia that I
4 can't conceive that we could let anybody get a
5 claim for both regardless of where they came from.

6 I think a parallel exists in my discomfort
7 with otitis media and sinusitis. After the first
8 or second bout of sinusitis, I believe that you no
9 longer have acute sinusitis, you have acute
10 exacerbations of chronic sinusitis.

11 Joe Fredericks showed in around 1964 that
12 if you do cultures for obligate anaerobes, and not
13 just facultative anaerobes, you always get
14 anaerobes in those sinuses, which means to me that
15 the drainage procedure may be more important than
16 the antibiotic in those cases, but certainly we
17 shouldn't be using antibiotics which don't have
18 anaerobic coverage for those people.

19 DR. LEGGETT: I think one would have to
20 specify there are big differences between adult and
21 pediatric populations in terms of sinusitis.

22 Here are the proposed criteria. No. 1,
23 the natural history of the disease under study -
24 what is the spontaneous resolution rate and what is
25 the morbidity/mortality without treatment? This is

1 where we have been talking about acute exacerbation
2 of chronic bronchitis.

3 Are there some others in terms of we are
4 talking about various different models where that
5 might apply other than the otitis that we have just
6 mentioned?

7 Go ahead, Ed.

8 DR. COX: One of the things that might be
9 helpful would be this morning, some of the criteria
10 were actually ranked as far as level of importance,
11 and I think that might help us get a better feel.
12 I think we had some discussions about where there
13 are criteria that are more important than others at
14 least from the discussions we had going on here.

15 That would actually be helpful to us, I
16 think, if we had some discussion of the criteria,
17 which of these are of the most importance and which
18 ones are of lesser importance.

19 DR. LEGGETT: The diseases that we get the
20 most irrelevant data on are the ones that have the
21 fewest hard endpoints, and that is the upper
22 respiratory tract type problems. That, to me, is
23 probably the strongest argument for a
24 placebo-controlled trial in a disease of that
25 nature.

1 Don.

2 DR. PORETZ: Another example of 1 would be
3 chronic bacteriuria, chronic urinary tract
4 infection in elderly women where most people now
5 would not treat asymptomatic bacteria. I mean you
6 can put them on an antimicrobial, get rid of the
7 organism, just like chronic bronchitis, it means
8 nothing.

9 DR. LEGGETT: What about something like
10 skin and soft tissue infections, whether it's
11 complicated or uncomplicated, we are going to let
12 clinical data go where we can't get bacteria? That
13 is another example of two things that I can think
14 of where we don't really get reliable data, but we
15 know from antibody studies that 90 percent of them
16 are group A strep, at least in uncomplicated.

17 What kind of numbers do we need for that?
18 Are those going to resolve by themselves, does
19 anybody think?

20 Go ahead, Alan.

21 DR. CROSS: Not with group A strep or
22 Staph aureus.

23 DR. LEGGETT: Or Staph aureus.

24 DR. CROSS: But perhaps I can take this
25 opportunity to ask a question, and that is, we

1 heard from the pharmacokineticists and dynamics
2 folks that if we had an AUC of greater than 100, it
3 is highly predictive of efficacy, so I am just
4 wondering, in the case of like a skin infection, if
5 we actually were able to measure the antibiotics in
6 the skin and actually calculate how much of a dose
7 actually gets in the skin.

8 Can that type of data be extrapolated to
9 other organisms?

10 DR. LEGGETT: So, in other words, the
11 question is sort of will you guys allow in vitro or
12 in vivo model extrapolations.

13 DR. POWERS: That is actually No. 3, the
14 characteristics that is up there about looking at
15 the pharmacokinetics of the drug. How to use
16 pharmacodynamics is something that we have been
17 discussing internally and that got brought up in
18 November, and the FDA has an internal exposure
19 response working group, which is actually trying to
20 look at this information of how can we actually
21 apply some of that data.

22 DR. COX: I think, too, I mean we are
23 focusing here today on clinical data and really the
24 reliance and inference that can be drawn from other
25 indications, so I think it is more clinical that we

1 are talking about here today.

2 DR. LEGGETT: To finish up with the
3 natural history stuff, does anybody here believe
4 that prostatitis or urinary tract infection is
5 going to go away by itself, and what are we going
6 to do? Uncomplicated urinary tract infection, you
7 know, post-coital cystitis, do we need placebo
8 control is what I am saying or do we have to have
9 controlled data? I am just making sure that we
10 flesh this whole thing out before we go. No.

11 The only thing I can think of unless
12 somebody tells me otherwise that we are going to
13 have placebo control is that upper respiratory
14 tract.

15 DR. POWERS: But our real question is how
16 they would be supportive of another disease. So, I
17 guess what I was hearing was acute bacterial
18 exacerbations of chronic bronchitis trials wouldn't
19 be supportive of anything else unless you did a
20 placebo-controlled trial.

21 DR. LEGGETT: Right. That is the lowest
22 on the totem pole, everything is above that.

23 A question that sort of jumps back in
24 terms of what do you propose. If you have a very
25 good, tight puncture of the ear trial with data,

1 and you have one puncture trial of the sinus data
2 in kids, can you use them back and forth, or do you
3 need two in the ear and then one in the sinus?

4 If we have just made the argument that
5 they are the same, why do you need two in one and
6 in the other except for the best possible world
7 validation?

8 DR. COX: I think it gets to the issue of
9 level of evidence, and having the one indication or
10 anchoring the initial indication in a couple of
11 studies, and then moving on to use that information
12 to support other studies, so it is all level of
13 evidence question, and we have had some discussion
14 about the number of studies, one big study versus
15 smaller studies.

16 John mentions another good point, too, and
17 that is, you know, accruing sufficient numbers of
18 patients in order to be able to adequately
19 characterize the safety of the drug, too.

20 DR. LEGGETT: Right, the same
21 globalization.

22 Jan.

23 DR. PATTERSON: Just in terms of
24 priorities, you were asking about priorities. I
25 think one of the things that have spent a lot of

1 time talking about is that No. 7, similarity in
2 spectrum of organisms causing disease. I think I
3 would put that pretty high, like towards No. 1.

4 Then, No. 5, similar site of infection,
5 you know, respiratory versus urinary versus skin
6 and soft tissue, complicated versus uncomplicated,
7 we have been talking about that, too, so I think
8 that would also be pretty high up, maybe No. 2.

9 I would see those two as being a couple of
10 the more high-priority ones.

11 DR. LEGGETT: So, what you are saying, for
12 No. 5, was we don't really seem to like the CAP/HAP
13 thing. You are saying that urinary,
14 complicated/uncomplicated, skin,
15 complicated/uncomplicated, and how about urine and
16 prostate?

17 DR. PATTERSON: Complicated UTI.

18 DR. LEGGETT: Yes, complicated UTI.

19 DR. PATTERSON: Complicated UTI, then, I
20 would go for prostatitis, yes.

21 Go ahead, John.

22 DR. BRADLEY: In extrapolating between the
23 same site of infection, but different scenarios,
24 like HAP and CAP, knowing that they are different
25 types of organisms, in my suggesting that all you

1 would need is one study of each, the implication
2 was that you would need to study a certain number
3 of Pseudomonas infections either in that HAP trial,
4 so that you would know that it would work in
5 Pseudomonas, hospital-acquired pneumonia, or have
6 just a few in hospital-acquired pneumonia and then
7 Pseudomonas in another complicated tissue site that
8 you would not expect any spontaneous resolution, so
9 some sort of complicated urinary tract infection,
10 hospital-acquired urinary tract infection, or deep
11 surgical wound infection, a mediastinitis.

12 There are certainly situations where you
13 can collect information on the drug's effect on the
14 organism, so what I am trying to build is taking a
15 certain amount of information on efficacy at a
16 tissue site, but requiring a certain amount of
17 microbiology that is either from that site or a
18 comparable site.

19 So, if you can treat Pseudomonas or
20 Enterobacter or Klebsiella in a complicated
21 intra-abdominal infection, because even though you
22 require surgery, the antibiotics are part of the
23 whole treatment process, if I can get efficacy data
24 in those pathogens in another tissue site, I will
25 feel comfortable extrapolating into a pneumonia

1 site and requiring fewer of those cases in a
2 pneumonia.

3 You had in the other slide complicated
4 intra-abdominal infection towards complicated skin
5 and skin structure. Well, you don't get Staph
6 aureus very often in a ruptured appendix, but it's
7 a deep tissue space where there is a low pH, lots
8 of white cells that requires drainage, so the same
9 thing would be true of a cervical adenitis that
10 requires drainage caused by staph in terms of the
11 environment, but the organisms would be different.

12 So, I wouldn't go from intra-abdominal
13 into complicated skin and skin structure unless I
14 had data on Staph aureus supporting skin and skin
15 structure, and where you would get that other data,
16 I don't know, certainly not in intra-abdominal
17 infections.

18 Then, you have got complicated skin and
19 skin structure supporting complicated
20 intra-abdominal, and again the same concept is
21 there. The organisms are completely different even
22 though the types of tissue environment would be
23 similar, both deep tissue, both requiring drainage.

24 DR. LEGGETT: To follow up on that, what
25 about monomicrobial and polymicrobial, so staph is

1 one of your polymicrobial in your intra-abdominal,
2 whatever process, and then your skin and soft
3 tissue is staph, do you think you can extrapolate?

4 DR. BRADLEY: I think it would be
5 difficult to extrapolate polymicrobial to a single
6 drug simply because in the abdomen and in deep head
7 and neck space infections where you have got so
8 many different organisms, the quality of
9 pathogenesis and rapidity of spread seems to be a
10 function of the multiple organisms rather than the
11 single, and it may be easier to treat a single
12 organism than once you get them all together, and
13 their separate pathogenicities add or are
14 synergistic with each other.

15 DR. LEGGETT: To face the other issue,
16 what about an enterococcus in a polymicrobial
17 versus an enterococcus someplace else? I am not
18 sure I would buy that either. So, I am not sure we
19 can use this polymicrobial/monomicrobial in terms
20 of going from one to the other, if that is what you
21 guys were trying to get at.

22 DR. POWERS: I guess what we are asking is
23 suppose you had some complicated intra-abdominal
24 cases, and some of those were, say, abscesses that
25 grew pure enterococcus versus you had another drug

1 that studies the same thing, and then you get
2 enterococcus and a whole bunch of other stuff.

3 DR. LEGGETT: Nope.

4 DR. POWERS: But would the pure cases of
5 enterococcus be more convincing to you?

6 DR. LEGGETT: Yes, to me, yes. I will
7 defer to everybody else. Go ahead, Alan.

8 DR. CROSS: If you had a pure case of
9 enterococcal abscess in the belly, I would be
10 impressed. I just recall early on when the
11 coverage for intra-abdominal sepsis used to be
12 Keflin and kanamycin and, you know, absolutely no
13 enterococcal coverage. People have studied it,
14 including Dr. Tally in his earlier days, it just
15 hasn't been a problem in patients or animal models,
16 so I would be hard pressed.

17 DR. LEGGETT: The same applies to
18 clinda/gent.

19 DR. PATTERSON: I guess one comment about
20 enterococcus is kind of getting back to the
21 pathogen-specific issue. If I knew something
22 worked in enterococcal bacteremia, then, I would
23 use it for an abscess or skin and soft tissue, and
24 I think there are times you see significant
25 intra-abdominal infections, for instance, in liver

1 transplant patients, so I mean I think you do see
2 them sometimes.

3 DR. POWERS: And that is the point. I
4 have seen pure enterococcal abscesses, but it is in
5 somebody that is throwing bucketloads of
6 antibiotics, you know, in their third operation
7 after they get it.

8 DR. LEGGETT: Right, where it is in their
9 liver.

10 DR. POWERS: Exactly. I think it can
11 exist, it is just unusual.

12 Mike.

13 DR. PROSCHAN: Could the FDA say, you
14 know, ordinarily you need two well-controlled
15 clinical trials, but if you think you can make the
16 case based on related bugs, then, you are welcome
17 to try, and then the advisory committee sees if
18 they made the case.

19 DR. POWERS: What we are trying to do here
20 is outline the criteria that decides whether you
21 make the case. Rather than have the company come in
22 and just have to de novo make this up, we are
23 trying to outline this of the things that would
24 allow them to say we meet these criteria that the
25 advisory committee outlined, which is what we are

1 asking you guys today, so that they have some
2 template upon which to build their case.

3 DR. LEGGETT: I would think it pertinent
4 in terms of that, in your No. 1, the natural
5 history of the disease, it is not only the disease,
6 it is natural history of the bug disease complex.
7 As we were saying, that enterococcus melts away
8 when you don't treat it in the presence of a bunch
9 of other stuff, but VRE bacteremia, if it's
10 persistent, you know, if somebody is looking for a
11 VRE thing, they have got a VRE liver abscess, and
12 then a VRE bloodstream, and then a VRE someplace
13 else, could they lump those together and say yes, I
14 would think you could make a case for saying yes.

15 Barth.

16 DR. RELLER: That is basically what was
17 done with quinupristin-dalfopristin.

18 DR. LEGGETT: That is an example, going
19 forward to drug-resistant pneumococcus, or VRSA, or
20 something like that.

21 DR. RELLER: To me, the critical issue is
22 the rigor of the database, and I would add a little
23 caution in trying to get things too delineated
24 because then if those things are met, you still may
25 be uncomfortable.

1 I think about yesterday's discussion,
2 well, the company did what they were supposed to
3 do, but, you know, the lingering discomfort of the
4 solidity of the science, so that I think maybe 95
5 percent of the way there, but just checking them
6 off shouldn't be--it should be defensible, not only
7 there, but also rigorous, and I think some of the
8 attempts here is to raise the bar, or put another
9 way, you get what you ask for, and it also applies
10 to the agency what is required.

11 DR. LEGGETT: Going back to how hard the
12 sort of persistence question of the pathogen can be
13 applied, not when you are only trying to do one
14 bug, but when you are trying to do
15 nosocomial-acquired pneumonia.

16 Lots of the times, MRSA in the sputum, I
17 deliberately don't do anything with in the ICU with
18 somebody who has got an infiltrate. That data has
19 to be tightened up. The not normally sterile site,
20 you know, but the data that I am aware of, and that
21 we have tried, looking at all the sort of protected
22 specimens in the quantitative, is if anybody has
23 had a whiff of antibiotics, you don't get anything.

24 It is only in France where they don't give
25 antibiotics to anybody before they do the

1 bronchoscopy that they get anything, and it is only
2 in those one or two places that could publish those
3 studies, nobody else can replicate that.

4 DR. POWERS: So, it sounds like what I am
5 hearing is there should be an eighth criteria on
6 here, and that has to do with the quality and rigor
7 of the trial.

8 DR. LEGGETT: Definitely.

9 John.

10 DR. BRADLEY: Looking at 7, thinking of
11 organisms, in most of the studies that I have done,
12 and in what you said earlier, John, that the FDA
13 looks to treat infections, when there is a clinical
14 trial, when a patient comes into the hospital, we
15 are looking for infectious disease diagnoses, and
16 then we look to see if that patient qualifies in
17 terms of the types of pathogens that we are
18 interested in treating, but what Dr. Reller is
19 saying, and you seem to have agreed with,
20 particularly with dalfopristin-quinupristin, is
21 supplementary data that is organism-specific, not
22 site-specific.

23 Am I hearing you say that if there is a
24 particular indication like pneumonia, and a company
25 would like Pseudomonas as an indication for

1 pneumonia, that you would accept my screening from
2 the micro lab and taking Pseudomonas infections
3 other than pneumonia, so bacteremias, endocarditis,
4 prosthetic joint, you know, all range of things
5 that are not pneumonia--

6 DR. LEGGETT: Except UTI.

7 DR. BRADLEY: Except UTI, you know, the
8 quality of data, serious infections that you need
9 the drug, and provide you with supplemental data
10 for the organism that is organism-driven, not
11 infection-driven.

12 DR. GOLDBERGER: I think that basically we
13 recognize, and this is something we have sort of
14 touched on a couple times, that for some of the
15 more difficult-to-study organisms, including some
16 that despite the fact that they are difficult to
17 study, in no way means that they are not important.
18 We talked about an Acinetobacter, there are other
19 examples.

20 It is going to be necessary, I think, to
21 be able to pool data across more than one
22 indication, and I think you can recognize that much
23 of the discussion that we have had today about how
24 indications support one another is the kind of
25 discussion that is necessary as part of thinking

1 conceptually how we can take organisms across the
2 different sites and pool them, but I think it is
3 inevitable if we are going to be able to draw some
4 kinds of conclusions about whether a drug works.

5 You can argue, on one hand, the goal from
6 the pharmaceutical company is, of course, to get
7 this in their product labeling, which is fine
8 because they need to have an incentive in order to
9 do all this work, but realistically, that is
10 hopefully intimately tied to the idea that we can
11 actually draw some meaningful conclusions about
12 whether the drug actually performs.

13 In order to do that, it is clear it is
14 going to be necessary to do this, so in addition to
15 a lot of obviously the traditional indications and
16 listing some organisms, it is clear in certain
17 circumstances we will need to be able to grant some
18 sort of organism-specific approval that will
19 utilize data across more than one indication. I
20 think it is inevitable.

21 What we want to do is to do it as well as
22 we can. I think if you think about what everybody
23 is saying here, what everybody is saying is and
24 almost irrespective of whether we were having the
25 discussion we are having, is that there are issues

1 still in how the clinical trials are performed, and
2 they could be done better.

3 We have talked about it for sinusitis, we
4 have talked about it for otitis, we have talked
5 about it for pneumonia, we have talked about it for
6 almost every--well, and that is what we are moving
7 toward.

8 On the other hand, what we are also
9 hopefully moving towards is providing an incentive
10 for industry to be interested in doing it better
11 because instead of doing a lot of trials that are
12 at best so-so, ultimately, the goal is to move to a
13 fewer number of trials that are better performed
14 with better endpoints, with better microbiology,
15 and the link to that is this further incentive of
16 how organisms can sort of be used across more than
17 one indication.

18 That is really what we are trying to do.

19 DR. LEGGETT: Could I go back to sort of
20 the real world situation? I don't know if, say,
21 piperacillin or piperacillin-tazobactam, or some
22 drug, for instance, that did not get
23 intra-abdominal and went for pneumonia, or got
24 intra-abdominal and then did not go for a pneumonia
25 trial, but you knew the MIC and the susceptibility

1 data in real life, do you not use your pip-whatever
2 it is in the belly after you have used it before in
3 the pneumonia, and vice versa?

4 So, how much higher does the hurdle have
5 to be, which is I think what you are trying to get
6 at, so we should think about the way we actually
7 treat people with antibiotics when we are
8 discussing this.

9 DR. POWERS: Can I sort of follow up on
10 that for a second, because one of the things I
11 think that came out of the last meeting we had in
12 February was a misunderstanding of what we were
13 saying when we were saying accepting pooled
14 information.

15 That would still need to be held down by
16 efficacy data in the disease in which that
17 resistant pathogen is most likely to be found. So,
18 in other words, suppose you wanted to go for
19 methicillin-resistant Staph aureus, the two places
20 you would most likely see that would be complicated
21 skin and, say, hospital-acquired pneumonia.

22 So, if you did a hospital-acquired
23 pneumonia trial and only came up with a few MRSA's,
24 you could then do this other trial, pooling the
25 information, but if you just come to us with all

1 that pooled information and no hospital-acquired
2 pneumonia trial, that is not very helpful.

3 DR. LEGGETT: Jan.

4 DR. PATTERSON: I was just going to say
5 about extrapolating from the non-pneumonia
6 infections to pneumonia. I think that is where
7 Criteria No. 3 would come in as pretty high
8 priority about making sure you had tissue levels,
9 because not all antibiotics get into the lung
10 equally well, so that would become important.

11 Then, just thinking about Pseudomonas
12 pneumonia, I mean even if you had a complicated
13 skin and skin structure infection due to
14 Pseudomonas, I think Pseudomonas pneumonia is
15 harder to treat. For instance, you would definitely
16 use combination therapy for Pseudomonas pneumonia,
17 whereas, with the other one, if you combined it
18 with surgery, you might not need combination
19 therapy for as long at least.

20 So, I think for that particular pathogen,
21 you would have to be a little bit careful going
22 from non-pneumonia to pneumonia.

23 DR. LEGGETT: To bring No. 2 back into
24 this, Pseudomonas, would you accept an
25 intra-abdominal abscess that got drained, that had

1 the resistant Pseudomonas in it, if you had lung
2 data or vice versa?

3 In other words, a good study in pneumonia
4 that cleared the resistant Pseudomonas, and then
5 you had other supportive data, say, an
6 intra-abdominal abscess drained or had surgery,
7 would that be acceptable? Trying to get at No. 2.

8 DR. PATTERSON: Going from pneumonia to
9 the abscess, you mean?

10 DR. LEGGETT: Yes.

11 DR. PATTERSON: Yes, I would accept that.

12 DR. LEGGETT: And is it a directionality,
13 would you not go the other way?

14 DR. PATTERSON: Well, that is what I am
15 saying. I don't know that I would necessarily go
16 the other way for Pseudomonas, I might for some
17 other pathogens, but I would want to know about the
18 tissue levels if I was going the other way.

19 DR. LEGGETT: Back to No. 3, there are
20 some significant differences in the
21 surface-to-volume ratio in the abdomen than there
22 is in the lung, so I would be very hesitant going
23 from the belly to the lung personally.

24 Keith, what is your take on what can be
25 done in terms of No. 3, in terms of helping with

1 resistance trials and in vitro, in vivo, and stuff?

2 DR. RODVOLD: Well, in 3, where you are
3 looking at basically tissue levels or
4 concentrations of fluids, is that again I think, as
5 you are putting the whole package together, it
6 lends you support in that disease state.

7 The problem in the area is that tissue
8 samples, I have never been hooked to efficacy to a
9 significant degree, and someone that does research
10 in the area that I do, I mean that is the common
11 criticism we get, you know, elegantly designed
12 study, data is really meaningful, but there is no
13 link to showing that those samples and those levels
14 of concentrations prove that efficacy is going to
15 occur.

16 It gives people a comfortability level, I
17 think that is what it does, and supports, as you
18 trying to say I have got 10 Pseudomonas and I have
19 concentrations in the lung that equivalent or
20 higher in the plasma, and it works in the plasma,
21 it is going to probably work there, as well.

22 DR. POWERS: Let me give you an example of
23 where we encounter something like this.
24 Norfloxacin is indicated for urinary tract
25 infections. Do you feel real good about using it

1 for pneumonia, and if not, why not?

2 DR. RODVOLD: Well, in that case, it
3 doesn't have any systemic levels in the blood, in
4 the first place.

5 DR. POWERS: That's what we are talking
6 about. Maybe we didn't phrase this like tissue
7 levels is not the right word. I guess maybe we
8 should broadly say gets to the site of infection at
9 all..

10 DR. RODVOLD: I think that most people
11 believe that it needs to be in the site of
12 infection, but if it's there, it doesn't
13 necessarily still link you to efficacy.

14 DR. POWERS: Right, not relative
15 concentrations, just the fact that it has to get
16 there at all.

17 DR. RODVOLD: But, again, I think it's a
18 supportive tool, and especially for the industry,
19 from the industry perspective for them, is that you
20 are trying to make them fast-track to get an
21 approval or get it in their package, it's another
22 thing if they have it, it allows you to be a little
23 bit more comfortable, but they still need efficacy
24 data in the indication.

25 If you have 10, 15, or however many

1 pathogens, I think most people feel more
2 comfortable with it. The whole kicker with that
3 is, though, I can tell you from the number of phone
4 calls I get and the conversation with people is
5 that the quality of those studies have got to be
6 done right, as well.

7 Most people call me to ask me how we do
8 the studies, and they are not sure what they are
9 going to do and how they do them, and there is only
10 a few sites around the area that really know, in a
11 specific tissue, how to do them.

12 We do lung, but I don't do a lot of the
13 other ones, so I am very cautious in jumping over
14 there until we make sure we have the methodology
15 done right. So, the methodology, again, good data
16 is going to come to you.

17 DR. LEGGETT: Barth.

18 DR. RELLER: Maybe a comprehensive way of
19 putting this is the necessary, but not sufficient
20 concept, the necessity of having adequate
21 concentrations at the site of infection, and this
22 also extends--well, there are high concentrations
23 in urine, but it is also the recognized published
24 quantitative relationships that are necessary.

25 NCSF, the 10-fold margin of bactericidal

1 activity, that many drugs get into the urinary
2 tract, but not all drugs get into the urinary
3 tract, and the ones that don't get in there are not
4 good agents for urinary tract infections, so
5 without which, you can't expect efficacy and that
6 alone doesn't necessarily constitute efficacy of
7 adequate concentration, and adequate has some
8 quantitative concentrative relationships that are
9 recognized in some sites that are more important
10 than others.

11 Coming back to what we haven't discussed a
12 lot is complicated intra-abdominal infections. The
13 integrity of the database and what you can rely on
14 microbiologically, I think there is more recent
15 published data in this area, as well.

16 For example, the microbiology that you can
17 rely on in intra-abdominal collections of pus,
18 drainage or not, are the initial CT-guided
19 aspirates, not what is draining out of the pigtail
20 catheter on the fourth, fifth, sixth day, but what
21 is achieved initially. That is one point.

22 Secondly, if one has multiple, which is
23 frequently the case, collections in the abdomen,
24 that we know that they need to be drained, you
25 know, over a certain size, but I mean if there are

1 multiple collections, there are multiple sites that
2 need to be drained, but they also need to be
3 sampled.

4 There are also good published data on the
5 lack of complete correlation between one collection
6 and another collection in terms of the
7 microbiology, so that clearly, drainage is
8 necessary, but I think with some of these
9 organisms, it is not sufficient, and what used to
10 be true is not necessarily, as Jan and others have
11 pointed out, for example, with the enterococcus
12 that may have been dismissed 20 years ago in a
13 polymicrobial collection, it is not necessarily
14 dismissed in a post-liver transplant with a
15 collection of pus.

16 We know that it is real when it's
17 associated with bacteremia, but it is probably real
18 even without always demonstrating the bacteremia
19 when it is got by CT-guided aspirate. So, I think
20 the techniques for getting the microbiology are
21 better than they used to be, and we need to look at
22 first tap and each one drained tap information in
23 the microbiology and the correlation with efficacy
24 of these agents in complicated intra-abdominal
25 infection.

1 And then having those data and what the
2 response is adds support to the potential, not the
3 automatic, but the potential in the resistant
4 organisms of some extra utility in considering the
5 efficacy of the compound against a given resistant
6 pathogen across body sites.

7 DR. LEGGETT: In terms of across body
8 sites, I was looking there. I don't think I would
9 allow that resistant pathogen in bronchitis or just
10 a sputum without some illness at all, ever, unless
11 it was part of a trial that was placebo-controlled.

12 What about the situation in which you have
13 a pathogen that is hard to come up with like
14 drug-resistant pneumococcus, and you use
15 penicillin-susceptible pneumococcus with great
16 data, bacteremic pneumonias, and then you do your
17 animal model trial that tells you that you are
18 going to kill it dead from your PK/PD modeling, how
19 much more data do you think is reasonable before
20 you are going to use that in real life, in other
21 words, is this a situation where you would allow 15
22 bacteremic pneumonias that are treated with this
23 drug when you had all that supporting data and
24 PK/PD modeling?

25 DR. RELLER: Well, I think if you have the

1 PK/PD data, the animal model, a relatively small
2 number, but those are golden cases, you know,
3 accompanied by bacteremia, and you have got the NV
4 drode [?], legitimate, NCCLS methodology data that
5 the, quote, "resistant" organisms are susceptible
6 to compound X, and the mechanism of resistance,
7 there are good data in vitro, that there is no
8 cross-resistance whatsoever, the resistance
9 mechanism isn't totally different, you know, you
10 don't need 100 cases of resistant when you put all
11 of the components together.

12 I think Keith was talking about that
13 earlier. I mean if you have got a beautiful
14 package that passes muster based on what is known
15 about mechanisms of resistance, doing everything
16 that you have done first-class, you don't need the
17 numbers.

18 DR. LEGGETT: The reason I brought that up
19 again is because I want to go back to the one dose
20 azithromycin for the ear.

21 Suppose we get into a situation where you
22 have got dueling PK/PD stuff, are we going to hence
23 forward say you are going to have to show us more
24 data, or what happens if the company comes up with
25 some clinical points or has a few bugs, but the

1 PK/PD says it shouldn't work or, as Dr. Schentag
2 said earlier, they aim at 25, and we know we want
3 100 if we are not going to see resistance in a
4 couple of months, I think those things need to be
5 fleshed out in terms of your criteria for allowing
6 resistant pathogens.

7 You were going to say something, John?

8 DR. BRADLEY: I was just going to support
9 the statement that you made when there was that
10 long pause that no one was saying anything and
11 Barth agreed with you, and I think everything Barth
12 said regarding the package, the complete package,
13 is absolutely correct.

14 DR. POWERS: One of the things that we
15 deal with is when the package doesn't hold
16 together. I think this brought this up earlier
17 today. What we saw yesterday was some information
18 on quinolone-resistant organisms in a drug that had
19 zero anti-pneumococcal quinolone isolates in its
20 clinical development package, and showed an animal
21 study that showed lack of eradication when the drug
22 was given twice a day compared to when it was given
23 once a day in an animal model.

24 So, what we do we do when that information
25 package doesn't hold together?

1 DR. LEGGETT: I personally, if it comes
2 across again, I am going to be much harder than I
3 was yesterday. That was a terrible model. It
4 wasn't a model of infection, it was a preventive,
5 prophylactic model, and you could see the dropoff
6 right at 0.25, you know, 0.5 was certainly a
7 dropoff, but I didn't want to go too far down the
8 NCCLS road because you are going to be doing that
9 later, but that was really very disturbing to me
10 especially with them trying to say that they were
11 going to get quinolone-resistant--no way in m view.

12 Alan.

13 DR. CROSS: I think we also have to be
14 careful when we talk about animal models between
15 the PK/PD models versus infection models. I think
16 certainly in terms of the latter, it is very
17 difficult to have a uniformly accepted model that
18 everyone is comfortable with the data that is
19 collected and how it is interpreted.

20 I just have to always harken back to in
21 the area of sepsis where there really isn't one
22 uniformly accepted animal model, and I would
23 probably hazard that is probably the same in terms
24 of the--

25 DR. LEGGETT: Yes, in that little package,

1 that was definitely just an animal model, and not a
2 PK/PD model, which to me what you take from that is
3 the goal that you are going to use in your clinical
4 studies, not that it works or not, and that you can
5 then sort of use it as a surrogate endpoint, no.

6 DR. BRADLEY: You said that you have got a
7 PK/PD working group, and I think when a sponsor
8 comes to you with a request for an indication, you
9 can share with them the animal model that you think
10 would best fit the types of indications that they
11 are ultimately looking for.

12 As I understand it, you know lots of the
13 information that the sponsor is looking for
14 ultimately when they come to you, and there is much
15 more dialogue upfront rather than waiting for a
16 sponsor to just come up with data, dump it in your
17 lap, and say, "and here is the animal model we
18 used," and have that not be the appropriate one
19 that you feel is the best and most predictive
20 model.

21 DR. POWERS: I think in the future, at
22 some point we will need to have a more detailed
23 discussion about this issue of pharmacodynamics,
24 but some of the issues of how these studies are
25 done are important in that realm, as well, as far

1 as when you actually measure it, how long you wait,
2 et cetera.

3 Although we have heard several times from
4 people at this advisory committee, there are other
5 folks within that field that feel differently about
6 how those studies should be done, and we need to
7 probably address that at some point in the future.

8 DR. LEGGETT: Ellen.

9 DR. WALD: In the practice of clinical
10 medicine, one of the things that makes
11 interpretation of microbiologic data difficult,
12 especially in things like intra-abdominal
13 infections, is that the patient has often received
14 a few doses of antibiotics before the material is
15 available for culture.

16 I am participating in a pneumonia study
17 now, and it really surprised me, but I presume that
18 you guys okayed this, which allows me to enroll
19 patients 24 hours after they have received another
20 antibiotic. Now, I can't do that in good
21 conscience, and so I am not doing it, but I suspect
22 that other investigators who are entering patients
23 in this trial are.

24 What do you learn from that and what
25 should be the posture?

1 DR. POWERS: This goes to something Dr.
2 Goldberger said earlier. In a perfect world, one
3 would obviously like to enroll pristine patients
4 that didn't get any antibiotic. It becomes very
5 difficult, though, to enroll people that way
6 especially--you know, when I was an infectious
7 disease fellow trotting in at 3 o'clock in the
8 morning to enroll those people in those trials.

9 The flip side of that, though, is that
10 would you expect one dose of a different antibiotic
11 to cure the patient, and in the long run, what we
12 want to look at is, you know, what was the actual
13 effect. So, if a person gets one dose of
14 ceftriaxone and then gets nine more days of drug X,
15 is it the ceftriaxone that cured them or not.

16 This goes to a bigger issue, though, and
17 that is, you know, when the perfect becomes the
18 enemy of the good, where if we require people to do
19 trials that way, and the companies say forget it,
20 it's too hard to do it, and then we get no data.

21 DR. WALD: Well, I would say that
22 ceftriaxones are a pretty powerful drug, and I
23 would really not know the answer to the question
24 that you posed, is the clinical outcome a
25 consequence at least in great part from one very

1 powerful drug and some other not so powerful drug.

2 DR. LEGGETT: I think people are going to
3 have to be a little bit more inventive of trying to
4 enroll people's pathogens. If you use your
5 pneumococcal urine antigen after you have received
6 one dose of ceftriaxone, and then you use your drug
7 X for 10 days, I will buy that, but just allowing
8 clinical data because, quote, "you had this
9 infiltrate," and you enrolled somebody on
10 ceftriaxone is a much weaker endpoint. I think
11 that is what Ellen is getting at.

12 Whether this would have a lot of bearing
13 on anything except drug-resistant pneumococcus is
14 unclear in that example, but I mean I could think
15 of other situations.

16 I had a question. In the proposed
17 criteria for resistant pathogens, No. 6, host
18 effects, what sort of things were you guys thinking
19 about in terms of the criteria?

20 DR. COX: I think what we are talking
21 about here are if we are looking to take data and
22 use that to support another indication, if there
23 were significant differences in host factors, say,
24 for instance, one indication was in the study of
25 immunocompromised patients, patients who were

1 ventilated or other factors, I mean it would
2 certainly seem reasonable to have some degree of
3 reservation about extrapolating that data from a
4 more immunosuppressed host to a less
5 immunosuppressed host.

6 So, I think that is sort of what we are
7 trying to get at there and trying to characterize
8 that, and we are looking for comment on that.

9 DR. LEGGETT: Do you want to start, Alan?

10 DR. CROSS: I am sorry, I am not sure I
11 followed your comment. Are you saying or asking is
12 it possible to extrapolate from a more compromised
13 to a less compromised patient?

14 DR. COX: What we are getting at is the
15 host factors. If you had a more immunocompromised
16 patient, it would seem reasonable to have some
17 degree of hesitancy to extrapolate that data to a
18 less immunocompromised host, so we are looking at
19 this as sort of criteria that would allow us to
20 assess whether one indication could support another
21 one, and host factors seem to be important.

22 So, yes, I mean I guess we are asking the
23 question of in what situations would host factors
24 give you hesitation to extrapolate data or not
25 extrapolate, but using supportive data from one

1 indication to support another indication.

2 DR. POWERS: If a drug was indicated for
3 febrile neutropenia, bacterial drug for febrile
4 neutropenia, how good would that make you feel
5 about hospital-acquired pneumonia in
6 non-immunocompromised population?

7 DR. CROSS: I will give you a different
8 example. I see lots of patients who are
9 neutropenic, who have VRE, so they have host
10 defenses that are compromised, there are a bunch of
11 other immunosuppressive drugs. If I have an agent
12 that is effective in clearing the VRE in that
13 patient, I would have no hesitation going in the
14 other direction.

15 DR. POWERS: But remember we are talking
16 about a different indication. We are not talking
17 about the same indication in neutropenic versus
18 non-neutropenic. We are trying to extrapolate
19 across different disease states.

20 That is why I used the example of two
21 different diseases all together, of empiric therapy
22 for bacterial infections in neutropenic patients
23 compared to some other completely different
24 disease, not empiric--well, you don't give empiric
25 therapy to non-neutropenic patients.

1 DR. LEGGETT: How about you grow
2 Pseudomonas out of the bloodstream when you are
3 neutropenic, and then you have got a normal host
4 with a complicated skin and soft tissue infection,
5 would that data, if you cleared that, would you
6 like to hear that at this meeting?

7 DR. CROSS: Yes, I would feel pretty
8 comfortable with that because I know the
9 importance, let's say, of neutrophils in
10 Pseudomonas infections, and if it worked in the
11 absence of it, I would think in someone who had
12 it--

13 DR. POWERS: How about the other way
14 around, complicated skin infections with
15 Pseudomonas, and then referring that to the
16 neutropenic compromised host?

17 DR. CROSS: I wouldn't know what the
18 contribution of the neutrophils is in that
19 situation.

20 DR. POWERS: So, there would be a
21 directionality to this.

22 DR. LEGGETT: What about Pseudomonas
23 bacteremia from presumed GI tract in your
24 neutropenic with no infiltrate to Pseudomonas
25 pneumonia in a hospitalized patient who is not

1 immunocompromised?

2 DR. CROSS: I think as Jan pointed out, at
3 least historically, Pseudomonas pneumonia with
4 bacteremia is a horse of a completely different
5 color.

6 DR. LEGGETT: I don't think I would feel
7 comfortable going from a neutropenic bacteremic
8 patient no matter what--unless it was the
9 pneumonia, the source, to the lung in a
10 non-compromised person.

11 Barth.

12 DR. RELLER: The issue is the enormous
13 numbers of organisms and what you are asking the
14 antibiotic to do. Maybe there is one place, not
15 that this comes up very commonly even though it is
16 occasionally seen, and that is the sort of
17 stringency required for Pseudomonas meningitis, so
18 if it worked in Pseudomonas meningitis, maybe you
19 could have some benefit to the lung.

20 John asked earlier, and I want to not miss
21 the opportunity to be a little provocative on this
22 one, you said what do we do when the data don't
23 hang together in a package. Well, I would hope you
24 would exercise your regulatory responsibility and
25 consider the advisory committee exactly what the

1 name says, advisory, and that you would give no
2 broader indication than what the substantive
3 scientific data allowed until additional
4 information was forthcoming that would allow
5 expansion of the indication as regards organism or
6 site of infection.

7 DR. LEGGETT: Are you implying a ruling
8 committee or advisory committee?

9 DR. RELER: What I am saying is that the
10 agency is privy to the entire package. I mean
11 there are limitations to what any advisory
12 committee, no matter what its composition and how
13 hard they work and how carefully they think, can do
14 in the course of a few hours relative to the detail
15 that the agency is privy to. That is all I am
16 saying.

17 DR. LEGGETT: Beyond that, there is all
18 the information that is not yet done when we are
19 meeting, that comes up later, for instance, as well
20 as all the stuff that went on before.

21 One thing maybe to consider would be
22 another--well, I don't know if you guys can even do
23 this--but another venue to then get secondary
24 feedback if more information comes in, but the
25 package still doesn't look good, you know, without

1 going through the whole process, is there any way
2 of getting a "second" look or something like that,
3 or what is the rules?

4 DR. POWERS: Usually, we can bring it back
5 here a second time.

6 DR. LEGGETT: Are there any of these other
7 criteria that you want to run through more at
8 length?

9 DR. POWERS: I think we can probably sum
10 this up. It sounds like what we heard was that
11 these seven criteria are pretty good, they need to
12 be arranged in a certain way, putting the
13 microbiology of the disease and the similar site up
14 at the top as No. 1 and 2, and then we need to add
15 an eighth criteria to this, to say that these
16 studies need to be also of high quality and rigor.

17 DR. LEGGETT: That is close. I will tell
18 you the way I did it.

19 No. 1 is the similarity in spectrum, which
20 you guys have as 7. No. 2 is the rigor of the
21 trial. No. 3 is the similar site, and then it gets
22 less. No. 4 is the characteristics, which you guys
23 have as No. 3. Then, No. 5 is the similar--sorry,
24 I am getting lost because I numbered them again--at
25 some point, No. 5 or 6, or whatever the next number

1 is, is your guys No. 2, the factors other than the
2 antimicrobial.

3 The final two, the next to the last would
4 be the host effects, and the last one is the
5 monomicrobial versus polymicrobial.

6 Jan, you were the original re-arranger of
7 the list.

8 DR. PATTERSON: I think I had put No. 5 as
9 No. 2, but I think the rigor of the trial is very
10 important, so I think that is very important to
11 have up there.

12 **Summary**

13 DR. LEGGETT: Any other comments by
14 anyone? Okay.

15 I think basically, this morning we heard
16 about linkage of resistance determinants in
17 bacteria and we looked at and basically didn't have
18 much to say about a draft of criteria of listing
19 pathogens of public health importance, so I think
20 that means they are probably pretty close, and we
21 heard an industry perspective.

22 We spent lots of time discussing the
23 criteria that was presented, but I think we came up
24 with a little fundamental change and lots of
25 tweaking that may or may not be useful. I think

1 the FDA's list is coming close to being finished
2 and the pathogens of priority further analyzed to
3 get more data.

4 This afternoon we heard about being sure
5 to incorporate PK/PD concepts not only into the new
6 drug approval process, but also in prioritizing the
7 list of pathogens for targeting drug development,
8 the complexities of relating clinical data from one
9 disease state to the other, and then some, and how
10 clinicians eventually try to make sense of the
11 bug-drug host interactions in treating people after
12 all the above is said and done and behind us.

13 I think that you might want to draw upon
14 your own clinical experiences as you guys start
15 thinking about the clinical trials things, and
16 thinking more the way we were talking there in real
17 life, if you know the drug works against the bug in
18 one situation, would you use it in another, and I
19 think let reality sort of filter into regulation in
20 terms of trying to come up with what you feel
21 comfortable with.

22 In the few minutes we had today, I don't
23 think we spent enough time thinking about all the
24 permutations of that.

25 Anybody else have anything to say?

1 [No response.]

2 DR. LEGGETT: Thank you all for putting up
3 with a long day. Tomorrow, we are going to start
4 at 8:00. Thank you.

5 [Whereupon, the committee was adjourned at
6 4:05 p.m., to reconvene at 8:00 a.m., Thursday,
7 March 6, 2003.]

8

- - -

C E R T I F I C A T E

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



ALICE TOIGO