

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE
ARTHRITIS ADVISORY COMMITTEE

8:10 a.m

Monday, September 29, 2003

Versailles Ballroom
Holiday Inn
8120 Wisconsin Avenue
Bethesda, Maryland

ATTENDEES

COMMITTEE MEMBERS:

GARY S. FIRESTEIN, M.D., Chair
Division of Rheumatology
Allergy and Immunology
Department of Medicine
University of California at San Diego
9500 Gilman Drive
Basic Science Building, Room 5098
La Jolla, California 92093

KIMBERLY LITTLETON TOPPER, M.S.
Executive Secretary
Advisors and Consultants Staff (HFD-21)
Center for Drug Evaluation and Research
Food and Drug Administration
5600 Fishers Lane, Building 5630, Room 1093
Rockville, Maryland 20857

JENNIFER J. ANDERSON, PH.D.
Department of Epidemiology and Biostatistics
Boston University School of Medicine, A-203
715 Albany Street
Boston, Massachusetts 02118

LEIGH F. CALLAHAN, PH.D.
Department of Medicine
Division of Rheumatology
Thurston Arthritis Research Center
3330 Thurston Building, CB#7280
University of North Carolina
Chapel Hill, North Carolina 27599-7280

JOHN J. CUSH, M.D.
Rheumatology and Clinical Immunology
Presbyterian Hospital
8200 Walnut Hill Lane
Dallas, Texas 75231-4496

SUSAN M. MANZI, M.D., M.P.H.
University of Pittsburgh
School of Medicine
S722 Biomedical Science Tower
3500 Terrace Street
Pittsburgh, Pennsylvania 15261

ATTENDEES (Continued)

COMMITTEE MEMBERS: (Continued)

WENDY McBRAIR, R.N., M.S., C.H.E.S.
Consumer Representative
Director
Southern New Jersey Regional Arthritis Center
Virtua Health
1 Carnie Boulevard
Voorhees, New Jersey 08043

H. JAMES WILLIAMS, JR., M.D.
Department of Internal Medicine
Division of Rheumatology
University of Utah School of Medicine
50 North Medical Drive
Salt Lake City, Utah 84132

SPECIAL GOVERNMENT EMPLOYEES: (Voting)

GRACIELA S. ALARCON, M.D.
University of Alabama
Division of Clinical Immunology & Rheumatology
830 FOT
510 20th Street South
Birmingham, Alabama 35294

JILL P. BUYON, M.D.
Department of Rheumatology, Room 1608
Hospital for Joint Diseases
301 East 17th Street
New York, New York 10003

JOHN C. DAVIS, M.D.
University of California, San Francisco
Department of Medicine-Rheumatology Division
533 Parnassus Ave., Room U-383, Box 0633
San Francisco, California 94143-0633

BETTY DIAMOND, M.D.
Department of Microbiology and Immunology
Albert Einstein College of Medicine
301 East 17th Street
Bronx, New York 10461

ATTENDEES (Continued)

SPECIAL GOVERNMENT EMPLOYEES: (Voting)

MARY ANNE DOOLEY, M.D.
University of North Carolina at Chapel Hill
Department of Medicine
Division of Rheumatology and Immunology
3330 Thurston Boulevard
Chapel Hill, North Carolina 27599-7280

MICHAEL FINLEY, D.O.
Western University
College of Osteopathic Medicine
309 East Second Street
College Plaza
Pamona, California 91766-1854

ALLAN GIBOFSKY, M.D.
Cornell University Medical College
425 East 79th Street
New York, New York 10021

BEVRA H. HAHN, M.D.
UCLA School of Medicine
Department of Medicine
Division of Rheumatology
1000 Veteran Avenue
Rehab Center Room 32-59
Los Angeles, California 90095-1670

JOHN HARDIN, M.D.
Albert Einstein College of Medicine
Department of Medicine
1300 Morris Park Avenue
Forshheimer 713
Bronx, New York 10461

GARY HOFFMAN, M.D., M.S.
Chairman, Department of Rheumatic and
Immunologic Diseases
The Cleveland Clinic Foundation A/50
9500 Euclid Avenue
Cleveland, Ohio 44195

ATTENDEES (Continued)

SPECIAL GOVERNMENT EMPLOYEES: (Voting)

GABOR G. ILLEI, M.D.
National Institutes of Health
National Institute of AMS
Office of the Clinical Director
9000 Rockville Pike, Building 10, Room 9S205
Bethesda, Maryland 20892

NORMAN T. ILOWITE, M.D.
Director, Division of Rheumatology
Division of Pediatric Rheumatology
Schneider Children's Hospital, CH197
269-01 76th Avenue
New Hyde Park, New York 11040

THOMAS A.J. LEHMAN, M.D.
Hospital for Special Surgery
Cornell University
535 East 70th Street
New York, New York 10021

MATTHEW LIANG, M.D. (by teleconference)
Department of Medicine
Division of Rheumatology/Immunology
Harvard Medical School
Brigham and Women's Hospital
75 Francis Street
Boston, Massachusetts 02115

JOAN T. MERRILL, M.D.
Clinical Pharmacology Research Program MS 22
Oklahoma Medical Research Foundation
825 Northeast 13th Street
Oklahoma City, Oklahoma 73104

DAVID PISETSKY, M.D., PH.D.
Division of Rheumatology and Immunology
Duke University Medical Center, 151G
Durham VA Hospital
508 Fulton Street
Durham, North Carolina 27705

ATTENDEES (Continued)

SPECIAL GOVERNMENT EMPLOYEES: (Voting)

DANIEL J. WALLACE, M.D., F.A.C.P., F.A.C.R.
Wallace Rheumatic Study Center
8737 Beverly Boulevard, Suite 301
Los Angeles, California 90048

MICHAEL H. WEISMAN, M.D.
Division of Rheumatology
Department of Medicine
Cedars-Sinai Medical Center
8700 Beverly Boulevard, Suite B-131
Los Angeles, California 90048

GUEST SPEAKERS: (Non-voting)

BARBARA BOYTS
President
Alliance for Lupus Research
28 West 44th Street, Suite 1217
New York, New York 10036

MARGARET DOWD
President
Lupus Research Institute
149 Madison Avenue
New York, New York 10016

SANDRA RAYMOND
Executive Director
Lupus Foundation of America
2000 L Street, N.W., Suite 710
Washington, D.C. 20036

KATHERINE M. SNIDER
Executive Director
Rheuminations, Inc.
221 East 48th Street
New York, New York 10017

ATTENDEES (Continued)

GUEST SPEAKERS: (Non-voting) (Continued)

VIBEKE STRAND, M.D.
Biopharmaceutical Consultant
Adjunct Clinical Professor
Division of Immunology
Stanford University
306 Ramona Road
Portola Valley, California 94028

FOOD AND DRUG ADMINISTRATION STAFF:

JOEL SCHIFFENBAUER, M.D.
Office of Drug Evaluation V
Division of Arthritis, Analgesic, and
Ophthalmic Drug Products
5600 Fishers Lane, HFD-170
Rockville, Maryland 20852

JEFFREY SIEGEL, M.D.
Office of Drug Evaluation VI
5600 Fishers Lane, HFM-582
Rockville, Maryland 20852

LEE SIMON, M.D.
Office of Drug Evaluation V
Division of Arthritis, Analgesic, and
Ophthalmic Drug Products
5600 Fishers Lane, HFD-170
Rockville, Maryland 20852

MARC WALTON, M.D.
Office of Drug Evaluation VI

JAMES P. WITTER, M.D., PH.D.

ALSO PRESENT:

LISA AMATO
PAUL BRUNETTA, M.D.
KELLY COOPER
BETTY ANN EXLER
SCOTT EXLER
LINDA L. NARDONE, PH.D., RAC
VENETIA THOMPSON

C O N T E N T S

Systemic Lupus Erythematosus Concept Paper

* * *

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Ms. Kimberly Topper	13
WELCOME AND OVERVIEW by Dr. Lee Simon	15
STATE OF THE ART Objective Laboratory Measures dsDNA, Serum Creatinine by Dr. Jill Buyon	29
Disease Indices by Dr. Matthew Liang	41
HRQOL, Fatigue, Function by Dr. Vibeke Strand	50
Pediatric Lupus by Dr. Thomas Lehman	64
ALLIANCE FOR LUPUS RESEARCH PRESENTATION by Ms. Barbara Boyts	69
LUPUS RESEARCH INSTITUTE PRESENTATION by Ms. Margaret Dowd	72
RHEUMINATIONS, INC. PRESENTATION by Ms. Katherine Snider	76
LUPUS FOUNDATION OF AMERICA PRESENTATION by Ms. Sandra Raymond	79
DISCUSSION - STATE OF THE ART	82

C O N T E N T S (Continued)

AGENDA ITEM	PAGE
SLE CLAIMS	
by Dr. Jeffrey Siegel	121
OPEN PUBLIC HEARING - CLAIMS	
by Dr. Paul Brunetta	137
by Dr. Dan Wallace	138
by Ms. Kelly Cooper	143
by Ms. Betty Ann Exler	146
by Mr. Scott Exler	151
by Ms. Lisa Amato	152
by Ms. Venetia Thompson	154
DISCUSSION - CLAIMS	157
CLINICAL MARKERS, SUBPART H AND E	
by Dr. James Witter	198
OPEN PUBLIC HEARING	
by Ms. Sandra Raymond	214
by Dr. Linda Nardone	217
DISCUSSION - CLINICAL MARKERS	219
SUMMARY	
by Dr. Lee Simon	269

P R O C E E D I N G S

(8:10 a.m.)

1
2
3 DR. FIRESTEIN: I thank everybody for coming
4 today. I am Gary Firestein and this meeting is to talk
5 about systemic lupus erythematosus.

6 Now, unfortunately, we don't have the questions
7 yet. They will be here very shortly, I'm told, and will be
8 passed around so that we can give them intense scrutiny
9 during Lee's opening statement.

10 In any case, do you want me to go around with
11 introductions first? Okay. There are a number of new
12 people at the table, so why don't we go around before have
13 the meeting statement, starting at that end.

14 DR. SIMON: So I'm Lee Simon. I'm a
15 rheumatologist. I'm the Division Director of Analgesic,
16 Anti-Inflammatory and Ophthalmologic Drug Products at the
17 FDA.

18 DR. SCHIFFENBAUER: Joel Schiffenbauer. I'm a
19 medical officer in the Division of Analgesic, Anti-
20 Inflammatory and Ophthalmologic Drug Products.

21 DR. SIEGEL: Jeffrey Siegel, Acting Branch
22 Chief, Division of Clinical Trials, Office of Therapeutics
23 at the FDA.

24 DR. WEISMAN: Michael Weisman, Director of
25 Rheumatology, Cedars-Sinai Medical Center.

1 DR. WALLACE: Dan Wallace, Professor of
2 Medicine, UCLA, member of the division at Cedars-Sinai, Los
3 Angeles.

4 DR. BUYON: Jill Buyon, New York University
5 Hospital for Joint Diseases.

6 DR. DIAMOND: Betty Diamond, Albert Einstein
7 College of Medicine.

8 DR. DAVIS: John Davis, University of
9 California, San Francisco.

10 DR. FINLEY: Michael Finley, Western
11 University, Southern California.

12 DR. ILOWITE: Norm Ilowite, Schneider
13 Children's Hospital, New York.

14 DR. MANZI: Susan Manzi, University of
15 Pittsburgh.

16 MS. MCBRIAR: Wendy McBriar, Director of
17 Arthritis Services, Virtua Health, consumer rep.

18 DR. CALLAHAN: Leigh Callahan, University of
19 North Carolina, Chapel Hill.

20 DR. FIRESTEIN: And again, I'm Gary Firestein,
21 a rheumatologist from UCSD.

22 DR. WILLIAMS: Jim Williams from the University
23 of Utah.

24 DR. ANDERSON: Jennifer Anderson, statistician,
25 recently retired from Boston University.

1 DR. CUSH: I'm Jack Cush. I'm a rheumatologist
2 from Presbyterian Hospital, Dallas.

3 DR. HOFFMAN: Gary Hoffman, rheumatology,
4 Cleveland Clinic Foundation.

5 DR. PISETSKY: David Pissetsky, rheumatology,
6 Duke University Medical Center.

7 DR. ALARCON: Graciela Alarcon, rheumatologist,
8 University of Alabama at Birmingham.

9 DR. DOOLEY: Mary Ann Dooley, rheumatologist,
10 University of North Carolina, Chapel Hill.

11 DR. HAHN: Bevra Hahn, rheumatology, UCLA.

12 DR. HARDIN: John Hardin, Rheumatology
13 Division, Albert Einstein College of Medicine.

14 DR. LOONEY: John Looney, rheumatologist,
15 University of Rochester.

16 DR. LEHMAN: Tom Lehman. I'm Chief of the
17 Division of Pediatric Rheumatology at the Hospital for
18 Special Surgery in New York.

19 DR. FIRESTEIN: We have one person who has a
20 telephone connection. Dr. Liang, are you there?

21 DR. LIANG: Yes, I am.

22 DR. FIRESTEIN: Would you like to introduce
23 yourself?

24 DR. LIANG: I'm Matt Liang, Harvard.

25 DR. FIRESTEIN: Thank you very much.

1 DR. STRAND: Gary, am I allowed to introduce
2 myself?

3 DR. FIRESTEIN: I don't know.

4 (Laughter.)

5 DR. FIRESTEIN: But that's Vibeke Strand.

6 Why don't we go ahead with the opening
7 statement, please?

8 MS. TOPPER: The following announcement
9 addresses the issue of conflict of interest with respect to
10 this meeting and is made a part of the record to preclude
11 even the appearance of such at the meeting.

12 The committee will discuss the proposed
13 systemic lupus erythematosus concept paper, a preliminary
14 discussion for creating a guidance for development of
15 drugs, biologics, and devices for the treatment of SLE.
16 The committee will also discuss the proposed sections
17 regarding the current state of the art, the claims for
18 treatment, and clinical markers.

19 The topic of today's meeting is an issue of a
20 particular matter of broad applicability. Unlike other
21 issues coming before the committee in which a particular
22 product is discussed, issues of particular matters of
23 broader applicability involve many industrial sponsors and
24 academic institutions.

25 All special government employees have been

1 screened for their financial interests as they may apply to
2 the general topics at hand. Because they have had reported
3 interests in pharmaceutical companies, the Food and Drug
4 Administration has granted general matters waivers of broad
5 applicability to the following SGEs which permits them to
6 participate in today's discussions: Drs. Jill Buyon, Betty
7 Diamond, Mary Anne Dooley, R. John Looney, Susan Manzi,
8 Joan Merrill, Daniel Wallace, and Michael Weisman.

9 A copy of the waiver statements may be obtained
10 by submitting a written request to the Freedom of
11 Information Office, room 12A-30 of the Parklawn Building.

12 Because general topics could involve many firms
13 and institutions, it is not prudent to recite all potential
14 conflicts of interest, but because of the general nature of
15 today's discussions, these potential conflicts are
16 mitigated.

17 In the event that any discussions involve any
18 other products or firms not already on the agenda for which
19 FDA participants have a financial involvement, the
20 participants' involvement and their exclusion will be noted
21 for the record.

22 With respect to all other participants, we ask
23 in the interest of fairness that they address any current
24 or previous financial involvement with any firm whose
25 products they may wish to comment upon.

1 Thank you.

2 DR. FIRESTEIN: Thank you very much. So we
3 actually do have a very busy schedule today, and I'm going
4 to ask, please, if people can try to stay on time with
5 regard to their talks.

6 So as a way of introduction, I'm going to ask
7 Dr. Simon to give his overview and he also is asked to stay
8 on time.

9 (Laughter.)

10 DR. SIMON: First, I'd like to welcome
11 everybody here, thank the committee and all the people that
12 have volunteered -- well, not volunteered but have donated
13 some of their time to come to this meeting. The committee
14 is much larger than normal which is partly related to the
15 importance of the discussion we're going to have.

16 This is really an interesting time. This is
17 the second iteration, I presume, of a meeting that was held
18 about six to eight years ago with this committee discussing
19 very similar topics, but I believe that not only has the
20 science evolved but also our thinking has evolved.

21 The division and the agency has been very hard
22 at work since I arrived two years ago in looking at the
23 question of systemic lupus and the lack of a guidance
24 associated with that field. Some of you may be quite aware
25 that we in the division, as well as what has now become

1 part of CDER, the CBER folk -- and I do welcome as of
2 tomorrow our CBER colleagues into CDER as part of the
3 CDER/CBER merger -- we have been trying very hard to get
4 clarity over very many important issues that will allow us
5 to create a forward-thinking, flexible, and appropriate
6 document that will lead us to a new understanding of how to
7 design clinical trials and study patients with this
8 disease.

9 Therefore, we put this meeting together to
10 discuss an ongoing document development, now presently
11 called a concept paper, due to issues regarding getting
12 draft clearance of a document as a true draft guidance.
13 So we're discussing at this meeting a concept paper which
14 has been developed by multiple groups within the agency to
15 determine the basis for guidance for the development of
16 therapies in systemic lupus.

17 We are going to spend time today and tomorrow
18 discussing aspects of claims, discussing aspects of trial
19 designs, and the issue of application of possible
20 accelerated approval in the context of subpart H and E,
21 depending if it's a drug versus a biologic.

22 The idea is that we are doing two things. One
23 is getting clarity about how to study this disease, and
24 secondly, how to foster interest in the field, particularly
25 from sponsors and others that have the wherewithal to be

1 able to allow clinical trials to ensue.

2 I thought it would be useful to take a minute
3 for everyone to be up to snuff on what is a guidance
4 document. So what is a guidance document? Well, many of
5 you do not know that the CFR is the Code of Federal
6 Regulations. This is actually not your most entertaining
7 reading, but nonetheless it is reading that's imperative to
8 understand how the government and the agency works. You'll
9 hear more about this from others, but basically the 21 CFR
10 10.115 defines a guidance document as those prepared for
11 FDA staff, applicants and sponsors and the public that
12 describe the agency's interpretation of or policy on a
13 regulatory issue. A very key important issue associated
14 with the development of drugs or biologics or devices.

15 Guidance documents could include description of
16 the design, production, labeling, promotion, manufacturing
17 and testing of regulated products, the processing content
18 and evaluation and/or approval of submissions, and
19 inspection and enforcement policies.

20 It is important for everyone to understand and
21 recognize that guidance documents do not establish legally-
22 enforceable rights or responsibilities. They do not
23 legally bind the public or FDA. Anyone can choose any
24 other approach than one that's set forth in the document,
25 especially in that science is constantly evolving.

1 I want to point out, however, that the
2 alternative approach must comply with relevant statutes and
3 regulations and the FDA is willing to discuss alternative
4 approaches that will make sure that they comply with these
5 requirements, and although not legally binding, everyone
6 should be aware that it's important to note that such a
7 document represents the agency's current thinking.

8 It always seems to be frustrating to people to
9 recognize that the document itself changes on a regular
10 basis as well through these kinds of meetings as people
11 begin to discuss aspects in the real world once the
12 document is created. If the FDA departs from the document,
13 it does require open public discussion about it and it
14 requires justification and supervisory concurrence in a
15 hierarchical way, in a hierarchical structure.

16 Now, what are the procedures for developing
17 such a document? I've taken great advantage of that as
18 Division Director at 550, or Analgesic, Anti-Inflammatory
19 and Ophthalmologic Drug Products. Before a working draft
20 is developed, we can as the agency seek or accept early
21 input from individuals or groups outside the agency and it
22 can be done through participation in or holding public
23 meetings and/or workshops, and for anyone in the room who
24 actually has been in Antarctica, we've actually had a lot
25 of these meetings already. I have to thank in public the

1 four interested voluntary agencies, the four foundations
2 that have spent a lot of time and effort with us in helping
3 us understand some of these questions and providing great
4 support for the community to be able to determine some of
5 these important issues.

6 Once these kinds of early discussions take
7 place, then a formal process takes place, and the formal
8 process is that a document that's created is then reviewed
9 internally by the people that know about documents and know
10 about words and ensure all the words are appropriately
11 created to be able to represent a very safe document from a
12 government point of view, and then we publish a notice in
13 the Federal Register, and then we post a draft on the
14 internet. We make hard copy available. We invite comment.
15 We hold further public meetings perhaps, if necessary, and
16 then once decided and finalized, again notice is published
17 in the Federal Register and the document is placed on the
18 internet and made available as hard copy.

19 Typically, the agency functions, once it is in
20 draft format, as if it exists and we work with it as such.

21 In the context of this particular arena, because we'd like
22 to foster development as rapidly as possible and given the
23 importance of this meeting, we will go forward in thinking
24 about the approach as we discuss today and as I'm sure
25 you've been discussing with the various different divisions

1 in the past to ensure that we have continuity and that we
2 will actually live up to our commitments that we've had
3 before as we're beginning to evolve into this new realm of
4 an accepted guidance.

5 I want to remind everybody the importance of
6 why we're doing this seemingly interminable work. We want
7 to get clarity and public buy-in of what we'd like to
8 achieve, and one of the reasons for that is that for the
9 last 30 years, we've not had a lot of drug development in
10 this field. These are the three agents that are presently
11 approved for the treatment of various different aspects of
12 lupus within the United States: hydroxychloroquine,
13 glucocorticoids, and more recently low-dose acetylsalicylic
14 acid to prevent cardiovascular complications.

15 These are the drugs that are used off-label in
16 the United States for the treatment of systemic lupus and
17 its manifestations, and I won't go through the entire list.
18 Many of you know what these things are.

19 It's important to recognize that to the
20 agency's point of view -- and it's an important component
21 of comparative trials that we will discuss as the day goes
22 on today and tomorrow -- is that the prospective proof of
23 some of the utility of these agents is quite lacking, and
24 one of the reasons it's lacking is because of a lack of
25 clear understanding about how to get therapies approved for

1 this particular disease, only one of the reasons, not all
2 of the reasons.

3 One of the major issues that we all need to
4 remember is for agency approval in comparative studies, one
5 has to compare against a drug that's already approved in
6 the field for the new drug to be approved or the standard
7 of care or drug that's already in the field serves as a
8 "placebo" compared to the new drug, but you'll hear much
9 more about that as we go through. The important thing to
10 remember is what we're trying to accomplish, which is to
11 develop understood therapies for the treatment of this
12 disease.

13 There are issues about lupus that make it
14 difficult to do this, and it only should be something that
15 we have to grapple with and get over rather than to leave
16 it as an obstruction. The unique characteristics of the
17 studies in lupus are highlighted by the heterogeneity of
18 the disease, its unpredictability, and the heterogeneity of
19 the patients and their manifestations.

20 We've noticed over the years that morbidity and
21 mortality has spontaneously improved in the last three
22 decades. One can argue it's just better therapeutic
23 approaches and using unproven therapies, not that we don't
24 believe they may work, it's just from a regulatory
25 perspective, it's hard to find the data that proves that

1 they work. Remember, the agency regulates interstate
2 commerce. It does not regulate medical care.

3 There's a lack of clear outcome measures and
4 there's an issue of fixed damage and how one analyzes that,
5 damage either due to therapy or damage due to the disease.

6 What about the length of time observed that can
7 lead to a desired response? Sometimes some people believe
8 that the ability to understand lupus nephritis might take
9 three to five years from looking a real clinical outcome
10 being either saving renal parenchyma or preventing end-
11 stage renal disease, the lack of clear guidance so far.

12 And the disease course is extremely difficult
13 to predict a priori, typically observed flares and
14 remissions, multiple organ system involvement, and
15 progression is quite variable and recurrences are very hard
16 to predict.

17 One of the major issues that we need to discuss
18 today and we have several questions that are actually
19 devoted to this particular area and you will hear a talk or
20 components of a talk about this particular issue -- so we
21 believe that this is very important, and we have major
22 discussions going on within the agency -- is the area of
23 the applicability of disease activity indices and what they
24 really mean and how to use them in the context of trial
25 design.

1 What are the issues regarding them, including
2 reliability and validity? What are the usual levels in
3 active disease, and what is their responsiveness to
4 treatment defined in corroborating prospective studies?

5 We at the agency in thinking about the utility
6 of outcomes think about them in the context of their being
7 proven within prospective analyses, not just retrospective
8 studies, not to suggest that that is not important, but
9 it's the prospective proof that allows us to understand
10 their applicability.

11 So what we're doing here is thinking about the
12 issues for regulatory approval, and again I would like to
13 point out, as we have discussed before in this venue and in
14 other venues, this is not to suggest that other trial
15 designs are not important. There are many, many, many
16 questions that all of us think about that are important
17 from an academic clinical point of view but are not
18 applicable to the regulatory process. So this is a
19 parallel issue that goes on and drives the entire field.

20 So what are the issues regarding the effects of
21 the systemic inflammatory disease on the whole person in
22 the context of a regulatory approach? Well, we're
23 interested in disease activity or measurements of disease
24 activity. We're interested in measuring replicate data
25 that describes response to therapy, amount of damage

1 prevented which would have been caused by the disease
2 versus the fixed damage not able to evidence improvement,
3 and maybe an important observation, if not worsening, and
4 damage caused by the treatment. All of these things are
5 very important to the regulatory environment.

6 We're also interested in thinking about not
7 only the whole person but individual organs, the disease
8 activity within that organ as to how it's measured, how one
9 measures response to therapy in a time that's applicable to
10 a clinical trial, but also in a time that may allow us to
11 understand that the long-term nature of the changes within
12 that organ may be predictable based on what we're using to
13 measure the change, how much damage would be prevented
14 which would have been caused by the disease, and how much
15 damage that would be caused by therapy.

16 Then we're also interested in improvement in
17 target area, such as in the organ we just talked about, but
18 that the disease does not worsen elsewhere. Obviously a
19 therapy that makes patients sick is not a particularly
20 useful therapy, and one way patients may be sickened is in
21 fact if you make something better in one area and you get
22 worse in other areas.

23 In the context of trying to predict improvement
24 in a relatively short period of time of a clinical trial
25 that actually has importance as it relates to clinical

1 outcomes has been a discussion that's been going on for
2 quite some time, both within and without the agency. This
3 relates to surrogates and biomarkers.

4 Now, surrogate endpoints are candidate criteria
5 for drug approval, but a broader term, biomarker or early
6 marker, is commonly used. We actually had a meeting about
7 this issue to discuss this related to lupus per se, but
8 there are many other areas within the agency that are
9 grappling with these particular problems.

10 It is important to remember that an early
11 marker or a biomarker does not have the same regulatory
12 implication as something that is labeled surrogate, and a
13 surrogate may be a biomarker or early marker but not all
14 biomarkers are surrogates. You will hear more about this
15 discussion in our section talking about surrogate markers.

16 The importance of this is to gain clear,
17 absolute understanding if we identify some marker as a
18 useful way to predict response, that there is a clinical
19 link to that marker that is well understood, well accepted,
20 and has prospectively been proven. So in the scenario of a
21 complex disease, it is important to be creative in trial
22 design. We need to get much clearer clinical endpoints so
23 that we understand what we're measuring and what the
24 outcome will mean in the long run as well as in the short
25 run.

1 It's important to remember that determining
2 safety requires a robust data set. That has been a major
3 debate both in the field of rheumatoid arthritis and in
4 drug development, as well as in this one. We are looking
5 at enough patients to understand the implications of
6 intervention.

7 We will support the idea of studying
8 therapeutic effects regarding the state of disease as well
9 as specific organ involvement, yet the overall state of
10 disease cannot worsen. That's a very critical part of what
11 kind of measures that you build into a clinical trial.

12 Given the heterogeneity of the disease, we
13 might consider the development of a responder index.
14 Perhaps that may be one way to go to get away from
15 multiplicity issues of measure which are always a bugaboo
16 to our statistician colleagues.

17 Early and active dialogue with members of the
18 agency is strongly recommended. Whether you are an
19 academic investigator looking for an IND approval or a
20 sponsor sitting out in the audience, it's critical for you
21 all to talk to us as much as possible, whether we're in ODE
22 6, in the old CBER group that's now in CDER as of tomorrow,
23 or whether in ODE 5 or even in ODE 2 with cardio-renal.
24 Basically, early discussion is critical.

25 So our agenda that we're going to be following

1 today is going to be somewhat unique, and I would ask
2 everyone here to bear with us as we work through this
3 process. I ask the chair to do the same. So we're going
4 to review the state of the art and have some very specific
5 talks, and I'm incredibly grateful to the people that have
6 volunteered their time to be able to do this, either those
7 on the committee or those that are not on the committee.

8 Two is we're going to talk about potential
9 claims. We're going to talk about the potential for
10 accelerated approvals and application of early markers or
11 biomarkers, and then we're going to talk tomorrow about
12 trial designs.

13 What we've constructed here are three
14 opportunities for people from the floor to actually make
15 comment spontaneously, in addition to the open public forum
16 that is traditionally held that requires you to submit an a
17 priori application to be able to talk, and in between each
18 major topic, we will have these new unusual discussions.
19 There are microphones on the floor available for you for
20 this.

21 At the discretion of the chair -- and I
22 reiterate at the discretion of the chair -- you can get
23 called on by standing at the microphone when we open up
24 this section. Our exec sec, Kimberly Topper, will make an
25 announcement before each of these sessions identifying what

1 you need to do when you come up to the podium and get
2 called on. You need to disclose the issues about why
3 you're here, support, conflicts of interest, for the
4 community to understand in the context of your comments.

5 So that's all we're going to do. We're going
6 to hopefully get major discussion going. I remind the
7 people who actually come up to the microphone that one of
8 the no-nos in this process, you cannot actually ask the
9 committee members anything. You cannot engage in a
10 discussion with the committee members. The committee
11 members have their own discussion.

12 Once we actually finish the discussion
13 regarding each of those sections, we will then move on to
14 have a committee discussion and answer the questions.
15 Again, the questions will be handed out as soon as we get
16 them in an organized fashion.

17 The only other departure we're going to do this
18 time as opposed to other meetings that you've experienced,
19 we've invited the four major advocacy groups to actually
20 spend some time sharing some of their thoughts with us
21 about the importance of this document and the importance of
22 the process at the beginning of the meeting, near the
23 beginning of the meeting.

24 So I'm giving you heads up about some of the
25 differences and uniqueness of this meeting, and I

1 appreciate everybody's up-front cooperation with the
2 various different things that we're trying to accomplish
3 here, and I wish you the best of luck and thank you very
4 much, Mr. Chairman.

5 DR. FIRESTEIN: Thank you, Dr. Simon. We're a
6 little bit out of order here actually because the
7 discussion of the state of the art is going to begin with
8 Jill discussing objective laboratory measures.

9 DR. BUYON: I thank you for inviting me. I'm
10 afraid I've caused some AV disruption this morning.

11 And I've also done something I've never done
12 before: I've taken the liberty of changing the topic. So
13 instead of talking about anti-DNA and creatinine, I've
14 chosen to consider that a typo and I'm actually going to
15 talk about anti-DNA and complement because that seemed to
16 make the most sense to me. So, Dr. Simon, my apologies.

17 So at any rate, I start out with basically
18 taking us back probably to the '80s and this was the
19 paradigm that we were all taught in medical school. The
20 longitudinal, clinical, and autoantibody profile in the
21 patient with lupus nephritis. It was very obvious and it
22 was simple. Following along the blue line, patient's anti-
23 double-stranded DNA antibody would go up. Concomitantly,
24 the complement would drop. We'd all predict there would be
25 active renal disease. The patient would be treated with

1 prednisone, anti-DNA antibodies would fall, complement
2 would come up, and everybody would be happy. Voila. We
3 have our biomarkers.

4 What was also interesting is that certain
5 autoantibodies, the ENA, SMITH, RNP, RO, LA, did not track
6 disease. What I want to evaluate really is now moving
7 forward, evaluating anti-DNA and complement proteins in
8 diagnostic testing and that will just take us back to the
9 basics.

10 Well, what is the scientific reason for using
11 these as biomarkers? Anti-DNA antibodies are specific to
12 lupus, anti-DNA antibodies can deposit in the glomerulus.
13 They're generally of high avidity, IgG, cationic and fixed
14 complement.

15 Well, what about complement? There's evidence
16 that complement consumption indicates immune complex-driven
17 inflammation. Genetic alterations in the early components
18 of complement, the classical pathway, are associated with
19 lupus, and there's clearly an association between genetic
20 polymorphisms of FC receptors clearly in these immune
21 complexes and renal disease.

22 Just to take us back to biology for one second,
23 we can see that anti-DNA antibodies and their antigen, the
24 immune complex, clearly activate in the classical pathway,
25 and the consequences are generation of C3a and C5a, both of

1 which are strong chemotactic factors and anaphylotoxins and
2 most likely play a major role biologically in glomerular
3 nephritis and fetal loss.

4 Just to remind you a little more of the science
5 of why is it important to consider these as biomarkers is
6 C3a and C5a do something. We all understand a little bit
7 about the vascular disease of SLE and what I'm showing you
8 in the slide is that this is the endothelium. The
9 endothelium lining in the normal case has some of the
10 adhesion molecules, a little bit of ICAM-1, and these are
11 our neutrophils. When we generate C3a and C5a, perhaps
12 through anti-DNA antibody complexes, we cause increased CR3
13 expression on the leukocytes, we increase the adhesion
14 molecules, and we get leukoaggregation which may be
15 relevant to the vascular disease of SLE. So complement
16 plays a key role biologically.

17 So from the tissue perspective, this is a
18 cerebral infarction, subtended by a vessel occluded by an
19 aggregate of polys.

20 Well, what are the playing rules then, having
21 looked at what the pathology might be and substantiating
22 that anti-DNA antibodies and complement play a role in the
23 pathogenesis of this disease? Let's look at the playing
24 rules for evaluation of the biomarker.

25 It depends on how you test these biomarkers,

1 and I submit that is part of the playing rules. The first
2 assay over here is the Crithidia assay, which picks up high
3 and low affinity antibodies. It could be IgM or IgG, and
4 it clearly favors and does pick up double-stranded DNA
5 antibodies, but if you're measuring the antibodies by FARR
6 -- that would be FARR out there -- high-affinity
7 antibodies, IgM and IgG, picks up single-stranded and
8 double-stranded DNA antibodies. The ELISA high- and low-
9 affinity antibodies, you can choose IgM or IgG, you can
10 choose single-stranded or double-stranded, and you can see
11 there are differences in sensitivity versus specificity.

12 What about complement? There are
13 immunochemical assays picking up native C3, C4. The
14 specimen used is serum, generally done by nephelometry.
15 You can measure functional integrity, CH50, EDTA plasma,
16 measuring red cell lysis, and then you can measure the
17 catabolic state, for example, looking at activation
18 products, such as C3a, again EDTA plasma, measurement
19 ELISA.

20 So it matters what rules, what instruments you
21 use to measure the biomarkers and not only that, we
22 obviously have to define the parameters of change for these
23 candidate biomarkers.

24 So the question we'll ask is: does the
25 candidate biomarker predict flare? Does it associate with

1 flare? Does it respond to therapy in parallel with
2 favorable clinical outcome? An association between a
3 factor and the risk of a disease does not guarantee that
4 drug-induced changes in that factor will produce a
5 corresponding change in risk.

6 So now let's examine several of the studies,
7 and this is going to be very hard for me to go through
8 without you being able to read this. But what we're
9 looking at is a study by Michelle Petri and Audrey Ho, and
10 she evaluated the percent of visits with flares categorized
11 by prior and concomitant changes in the levels of anti-DNA
12 antibodies and that's very important to keep in mind. So
13 she defined "prior" as between visits 2 months and 1 month
14 before the visit with flare, and she defined "concurrent"
15 as between the previous visit and the current visit.

16 What she showed in this paper is that a prior
17 increase of DNA antibodies to just 10 percent, when she
18 compared, there were 70 visits that met that criteria, 30
19 percent associated with flare, compared to 19 percent in
20 the overall group with a significant p value. But oddly
21 and unexplained, when she increased the bar to greater than
22 25 percent, in fact, there was no significant difference.

23 However, if we look at a prior increase of DNA
24 antibodies by doubling of the Crithidia as the criteria of
25 change, there was a highly-significant difference in

1 detecting flare or rather predicting flare.

2 But one of the other points of her paper,
3 somewhat unexpectedly, is the concurrent decrease in DNA
4 antibodies by either ELISA or Crithidia at the time of
5 flare was also a very strong association. So her message
6 was that yes, some of these tests may be useful for
7 prediction, but it is the decrease of the anti-DNA antibody
8 by ELISA or Crithidia that went along with the disease
9 flare.

10 Now, interestingly, Arthur Kavanaugh did a re-
11 analysis of these data -- and I found this interesting --
12 looking at the likelihood ratio. And the LR for a positive
13 test is the extent to which a positive test increases pre-
14 test the likelihood of disease. So a high number is good
15 and 10 would be good, and what you can't see here is that
16 says sensitivity and 1 minus specificity. When both are
17 up, obviously your number will be up, and if you
18 recalculated Michelle's data, that turned out to be 2.7.

19 An LR for a negative test determines the post-
20 test probability of disease after a negative result, and
21 again what you can't see here is that the 1 minus
22 sensitivity over specificity. If both are up, then the
23 number is down. Hers was .081. So the conclusion would be
24 that these tests have limited utility in predicting or
25 excluding lupus flares.

1 Now, what about taking this the other way
2 around and that is clinically-active, serologically-
3 quiescent disease. This was a study out of Toronto. 514
4 patients were followed at the Toronto Lupus Clinic between
5 1991 and '95. 62 patients had clinically-active,
6 serologically-quiescent disease and, interestingly, 43 with
7 CNS renal or vasculitis. 58 patients had follow-up after
8 the last defining visit, 9 remained that way for 3 years,
9 23 became active, 21 became clinically and serologically
10 active, and 5 became serologically active but clinically
11 stable.

12 That brings us to the last two studies I'd like
13 to highlight, both unpublished as opposed to the others I
14 just presented, and this is really data from NYU looking at
15 the evaluation of the sensitivity and specificity of C3,
16 C4, CH50, anti-DNA, and C3a for detection of lupus flares
17 within 3 months. The cohort were actually patients
18 enrolled in the SELENA trial. This was a randomized,
19 double-blind, placebo-controlled trial, 496 females were
20 enrolled, and SLE patients were treated with either
21 HRT/placebo or OCP/placebo.

22 The analytes measured, as you can see, were the
23 complements and complement-split products in anti-DNA done
24 at baseline every month, monthly times 3, then every 3
25 months over a 12-month period, and the outcomes looked at

1 were severe flares and mild/moderate flare. Disease
2 activity defined by SELENA SLEDAI and PGA.

3 Well, the approach taken here was to define the
4 change in analyte prior to beginning the study. So the
5 measurements are shown on the side, as you can see. C3a, a
6 greater than 50 percent increase from the previous visit
7 and an absolute level greater than or equal to 500
8 nanograms per ml. The CH50, greater than or equal to 25
9 percent decrease from previous visit. C3, same; C4, same;
10 and anti-DNA antibodies, greater than 25 percent increase
11 from the previous visit. And the previous visit by
12 definition had to occur within 3 months from the date of
13 measurement.

14 Our definition of flares is shown here, mild or
15 moderate flare, a change in SLEDAI greater than 3, new or
16 worse lupus rash, nasopharyngeal ulcers, pleuritis,
17 pericarditis, arthritis and fever, any increase in
18 prednisone up to .5 milligram per kilogram per day for
19 treatment of lupus, added nonsteroidals or Plaquenil for
20 disease activity, or a physician global assessment with an
21 increase greater than 1 but less than 2.5. Severe flare
22 was very specifically defined as a change in SLEDAI to
23 greater than 12, new or worse CNS lupus, vasculitis,
24 nephritis, myositis, thrombocytopenia, hemolytic anemia,
25 requiring at least a doubling of prednisone greater than .5

1 milligram per kilo, and hospitalization, or the institution
2 newly of Cytoxan, azathioprine, or methotrexate, and
3 increase in PGA to greater than 2.5.

4 So these patients were available, as you can
5 see, 496 total patients: 328 on HRT and a 168 OCP. 428
6 patients had levels that were available, and these are the
7 differences. And flares, including multiple flares, there
8 were 491 mild/moderate flares, and 39 severe flares.

9 And these are the data looking at the
10 sensitivity and specificity of analytes to predict flares.
11 What I want to point out is that every one of these
12 measurements were highly specific for both mild/moderate
13 flare and severe flare, but only the C3a to a level of
14 greater than or equal to 500 nanograms per ml conferred a
15 somewhat decent sensitivity. So to go over the limitations
16 and implications, if the utility of analytes improved,
17 perhaps a definition of positive test would be less
18 stringent as in Dr. Petri's study. Perhaps analytes every
19 3 months is insufficient and we must consider monthly, and
20 the absence of abnormal analytes does not equate with
21 clinical stability but the presence may be predictive of
22 flares, and then finally, a priori treatment with abnormal
23 analytes may be appropriate since few patients will be
24 unnecessarily exposed.

25 Just to finish up with this study,

1 serologically-active, clinically-stable patients -- and
2 here the objective was to evaluate steroid treatment in
3 averting flares when elevations of plasma C3a are
4 accompanied by rising anti-DNA titers. The inclusion
5 criteria that anti-DNA antibodies had to be present within
6 2 years -- and that's an important point. Are we studying
7 patients who've never had anti-DNA or are we studying
8 patients who have had DNA? It's different perhaps in a
9 level that's rising versus de novo. Prednisone had to be
10 less than 15 milligrams, no active infection, and stability
11 of disease and medications for 2 months prior to study.

12 The study design was that patients were
13 followed monthly for 12 to 18 months. They had history and
14 physical, analytes, and SLEDAI. The randomization criteria
15 was a rise of C3a greater than 50 percent, an absolute
16 level greater than or equal to 500, rise of DNA 25 percent,
17 as in the other study, and the absence of clinical
18 activity. Meeting those criteria, the patient would be
19 randomized to prednisone on the schedule that I've shown
20 you, 30 milligrams for 2 weeks, 20 and 10, or placebo.

21 This is the flow chart, which again you really
22 can't see very well, which is looking at patients followed
23 in observational study for up to 18 months, and we had a
24 180 patients enrolled and I'll just point out the green
25 side of the interest of time. 41 patients met

1 randomization criteria. There were 11 who wound up having
2 a clinical flare, 30 had no clinical flare, 5 were
3 mild/moderate, 6 were severe. This is the ethnic
4 breakdown.

5 As it turned out when we analyzed the severe
6 flare rates, the flares within 90 days, what you can see is
7 prednisone and placebo. None of the individuals who
8 received a priori prednisone had a severe flare, 21 no
9 flare, and placebo 6 and 14, with a Fisher's exact of .009.

10 What was the nature of these flares? Timing
11 and clinical features of the 6 flares, pre-C3a and DNA,
12 placebo or randomized to the steroids, within 1 month, 3
13 renal, 1 CNS. And one of those renal was de novo; the
14 other two were recurrences. Within 2 months, 1 pyodermic
15 gangrenosum and pancytopenia, and 1 pleural effusion,
16 hospitalization, and high fevers, non-infectious.

17 Well, the other question to ask is, that's
18 fine, but does the biomarker respond in parallel with the
19 clinical response? This is a summary of results of the
20 outcome variables by treatment group, and what you can see
21 is that the SLEDAI after 1 month appropriately decreased in
22 the prednisone group as did the double-stranded DNA, as did
23 the C4, and there was certainly a trend of to decrease in
24 the C3a. So again, the clinical markers went in parallel
25 with the response.

1 This basically shows you patients who received
2 placebo and the C3a continues to rise, as does the anti-
3 DNA, and we saw the different effect with the prednisone,
4 that the marker also responds in parallel with the clinical
5 effect.

6 So I leave you with anti-DNA antibodies and
7 complement as candidate biomarkers for clinical trials in
8 lupus.

9 Clearly, clinical laboratory correlation in
10 lupus is a heterogeneous relationship, and these are the
11 unanswered questions. Are these serologic parameters
12 useful as predictors of flare and/or an assessment of flare
13 in response to therapy? Which tests are best and are
14 combinations superior? What is the optimal time interval
15 in which to study a patient? Finally, what is the outcome
16 being measured? In other words, defining a flare, what
17 organ, and could renal be the most relevant?

18 We started out with a slide that probably was
19 from the '80s that we were taught in medical school. But
20 this is a table from Dubois current textbook and it's a
21 chapter written by Schur and Glickstein, and this does
22 project, which is sort of interesting, and this is
23 basically very interesting in that it very simply tells us
24 that when complement falls and anti-DNA rises, this should
25 reflect active nephritis. But I leave with you a quotation

1 from a Roman dramatist Terrence. "One easily believes what
2 one earnestly hopes for."

3 Thank you.

4 (Applause.)

5 DR. FIRESTEIN: Thank you. Please hold your
6 applause.

7 The people on the committee should have
8 received copies of the questions that were handed out
9 during the talk, and then for those in the audience that
10 have not received them, they are apparently available on
11 the table outside.

12 So the next discussion will be from Dr. Matt
13 Liang who will be transported here magically through the
14 wonders of modern technology. Dr. Liang, are you there?

15 DR. LIANG: Yes, sir. I'm also here with
16 Professor (inaudible) from Sweden, and I really want to
17 discuss my chart.

18 DR. FIRESTEIN: Matt, you're breaking up.

19 DR. LIANG: I wanted to say that I hope
20 (inaudible) 3-plus years of work by many people, I'm sort
21 of at a disadvantage because we also had technical
22 problems. So what I'm going to do in the presentation is
23 to read the title of the slides, as I think they are in
24 sequence, and then I think everybody has a copy of the
25 individual slides as well as the two source manuscripts

1 that have been recently submitted to Arthritis and
2 Rheumatism, which really details the work.

3 What I'll do today -- and my colleagues will
4 cringe -- is give you clinic in bad slides, something
5 inscrutable, something unreadable, and many are too busy,
6 but it's really just a map for those two documents and the
7 thing that's in your hand, and if I don't project well or
8 my voice gives out, please let me know because I'm not as
9 full-throated as I usually am.

10 In any case, the first slide should be "ACR SLE
11 Response Criteria Initiative," and this is just to remind
12 me that four years ago roughly, the ACR saw three or four
13 different groups, sometimes with overlapping membership,
14 trying to develop response criteria. And the ACR, having a
15 tradition in providing some guidance to both nomenclature,
16 taxonomy, and case definitions, thought it could play a
17 very important role by convening a consensus-building
18 process toward three initiatives which are on the slide and
19 you can read them.

20 One was to define a priori minimally clinically
21 important differences in the metrics of overall disease
22 activity, which are usually a combination signs and
23 symptoms and laboratory manifestations of the existing SLE
24 activity measured. We didn't want to play favorites and we
25 wanted to make sure that everyone could play no matter what

1 they measured.

2 The second subgoal was to do that in
3 combination with selected target organ systems, and then
4 tomorrow, I will go into what we have tried to do to
5 develop criteria for steroid-sparing agents that are
6 tested.

7 So the next slide should be the support
8 provided in kind or with dollars by multiple groups which
9 are on this slide. This took more time to do actually than
10 some science because we were constrained by ACR rules to
11 only get funds from certain kinds of sources, but this
12 project wouldn't have been possible without these donations
13 from these groups and we're really grateful for that.

14 The next slide should be the committee. I'm
15 sure you can't read this. It includes some people in the
16 room today, and like a lot of big projects, it involves an
17 international village. It was represented on this
18 committee as well as by the invited consultants and also
19 the people who volunteered their time to do a web survey,
20 which I'll describe in a second. Those are the experts.
21 This is in your handout. You can't read this.

22 Then the next slide should be "Methods," and
23 this is just an overview of the first paper and I'll get
24 into the details. But basically we tried to do an
25 empirically-based exercise but using real patients. We got

1 300 patients in trials, observational cohorts, from three
2 or four different countries, and we asked a rheumatologist
3 who wasn't involved in the care of these patients to
4 abstract the clinical data into standardized vignettes.
5 All these patients had at one point disease activity
6 measured based on one of the six available measures in real
7 time. There were a couple instruments where we had no data
8 on specific disease activity measures, and these were done
9 post hoc by Jill Buyon in one instance and by David
10 Isenberg's group in another instance, so that we could
11 actually have data in the SELENA SLEDAI and also in the
12 BILAG.

13 From these 300 vignettes, we created a very
14 complex but I think rigorous sampling frame that recognized
15 that these activities are not normally distributed even in
16 observational cohort or trials, and we wanted to ensure
17 that we covered the range of activity.

18 We then got a tremendous donation in kind from
19 the University at Dusseldorf who maintained a web site, and
20 we were able to use their information sciences to create a
21 secure web site where we basically pulled SLE experts.
22 These experts were gotten from the editorial boards of our
23 distinguished publications, presenters at ACR meetings,
24 attendees of the lupus international meetings.

25 Then we asked the experts certain questions and

1 I'll detail that in a second, and then we had another group
2 which is my committee, meet and to examine the data but
3 blinded to the instrument and because there aren't any
4 statistical or any other standards for what level of
5 agreement should determine a significant agreement, we
6 asked the participants to vote on this.

7 Then basically we now had a data set where we
8 had experts rating whether a patient had changed in an
9 important way and we had independently -- and this
10 information was blinded to the survey respondents -- the
11 actual disease activity measures as assessed by the
12 clinician in real time. Therefore, we were able to create
13 the data to establish the relationship between the
14 clinically meaningful, important difference and a change in
15 any given disease activity measure that we looked at. This
16 operationally was corresponding to 70 percent or higher
17 agreement between the experts of either improvement or
18 worsening.

19 So the next slide, I think, is an "Example of
20 Baseline Vignette," and again it's unreadable at a
21 distance. But basically it has a piece of the history --
22 and this is a real patient -- the laboratory information,
23 and then we asked the respondent to rate or ask specific
24 questions and also to indicate what kinds of changes they
25 would make in various classes of medications that are used

1 in lupus. We used that as sort of the functional
2 operational definition of whether we thought that someone
3 was getting worse because we argued that a clinician
4 sensing something was important and different and worse
5 would elect to go beyond symptomatic treatment to more
6 toxic and possibly more effective treatment.

7 So the next slide, I think, says the "Same
8 patient, two month follow-up." The survey respondents
9 answered what they could after the first vignette and could
10 not get back to change those answers and then was presented
11 information from the same patients two months later, and
12 again the format is as I've described, history, laboratory,
13 and then the same questions at this new time point.

14 So the next one is "Assigning Vignettes," and
15 what we did was with the bank of vignettes was to take from
16 the bank five standard vignettes as sort of our internal
17 control that all the respondents got, so that we could see
18 what the reliability of those assessments were, and then we
19 also had the rest of the vignettes stratified by the
20 disease activity so that we could sample and cover the
21 range of disease activity.

22 So as you work your way from the egg, two eggs
23 after that, the box in the middle, you get down to the fact
24 that the respondents got 5 standard vignettes and then 30
25 vignettes over-sampled for higher activity because, as in

1 most data sets, most lupus patients lump toward the mild to
2 moderate level of activity. And these were given in
3 randomized order to eliminate order effects to the
4 respondents.

5 So I think the next slide should be the results
6 of what the experts said or responded to in terms of the 5
7 standard vignettes, and the vignette numbers are down the
8 left-hand column. They just correspond to the number of
9 subjects, and the M0, M2, M6, are the months after the
10 initial month. I think the key thing here, which I think
11 is not a new finding for people who are involved with
12 lupus, is that if you take the same patient, i.e. vignette
13 54, giving the experts the same information, you have
14 roughly 6 percent saying they're worse and 80 percent
15 saying they're improvement, and you can see that there's
16 variation across all the vignettes.

17 The panel in Germany looked at the vignettes
18 themselves and you could make up all kinds of explanations
19 in terms of bad wording of vignettes, et cetera, but I
20 think that this basically underscores the fact that given
21 five lupus experts, we get six opinions.

22 So the next slide is the kind of data that we
23 got on each instrument. And I have to single out the
24 singular creative contributions of Dr. Michal Abrahamowicz,
25 who's a professor of statistics at McGill, who's had a lot

1 of experience developing performance curves for various
2 kinds of measures, but we were able to develop these kinds
3 of data and curves for each of the instruments over the M0,
4 M2, M0 2 to 6 period. And if I could, I'd just like to
5 walk you through this because this is a data-driven
6 exercise.

7 So if you look at the 3 colored curves, the
8 blue corresponds to the probability of the experts saying
9 that the patient was better, and if you look at the dotted
10 box that goes from point A-2 on the vertical axis and minus
11 4, you'll see that there's a relationship of the
12 probability of the experts saying that they were better but
13 it's not the same. It follows the trajectory over the
14 differences in disease activity scores. So if you looked
15 at that rectangle, the dotted rectangle, you can see that
16 the cross section should add up to 1, but on any given
17 instrument of a minus 4 decrease measure, you have these
18 probabilities with those confidence intervals. What the
19 committee was asked to do was to pick out which probability
20 would be the one that they would use as a consensus
21 probability and that turned out to be 70 percent.

22 Now, the next slide is basically the bottom
23 line in a sense. Here's where we gave the clinically
24 meaningful differences for specific instruments for both
25 improvement and worsening, and you can see down the left-

1 hand column the instruments that we evaluated and what
2 differences in the metrics of that instrument corresponded
3 to the clinician's assessment of improvement and worsening.
4 This is very important information to drive sample size
5 calculations. It also, I think, in the data is information
6 about sensitivity.

7 Then finally, I just want to conclude. We had
8 a number of recommendations about the conduct of trials,
9 which is summarized in the paper, but I think that the data
10 and our exercise concluded that for X rheumatologists,
11 there are always X plus 1 different judgments in the
12 assessment of the disease activity.

13 We felt and this is also based on the
14 experience of Professor Abrahamowicz that the performance
15 curves on disease activity measures, albeit not perfect,
16 have more than adequate psychometric properties to
17 distinguish different categories of response.

18 And then it was the feeling of the committee
19 and also, I think, part of the assumption of the exercise
20 that activity measures are summary measures. Some of them
21 are implicitly weighted, others are explicitly weighted,
22 but we felt that in a clinical trial and certainly, I
23 think, for most individual patients, a change of therapy is
24 usually driven by some key target organ which we're trying
25 to control, and we thought that a priori target organ

1 response criteria, which we are currently doing, should be
2 used with these measures.

3 There are two other reasons we think that this
4 is true. In our data set, 10 percent of the subjects had
5 some organs getting better and some getting worse, so that
6 an overall summary would not capture that necessarily and
7 that individual organ responses should at least be
8 presented and documented.

9 Then finally, when you look at these measures,
10 what they have in terms of breadth they lack in depth, and
11 so when you look within an organ scale on any of these
12 measures, the scales are most likely insensitive to change.
13 And we thought that that's the other reason that these
14 should be considered ancillary metrics to target organ
15 response criteria.

16 That's the end of my presentation.

17 DR. FIRESTEIN: Thank you very much, and we
18 appreciate you taking the effort to make the presentation
19 from your home office.

20 All right. The next talk will be from Dr.
21 Vibeke Strand, who will discuss fatigue and function in
22 lupus.

23 DR. STRAND: Thank you, Dr. Firestein and
24 members of the committee. As a nonvoting member who wasn't
25 introduced, I will show you why, but this is my effort, of

1 course, to disclose the fact that I do a lot of consulting,
2 and I do also teach at Stanford and I am a rheumatologist.

3 I've been very interested in lupus for a long
4 time, both as a treating physician and in my role as a
5 consultant in trying to develop new trial designs and
6 hopefully facilitate the approval, one of these days, of a
7 new product in lupus. We've had a lot of false attempts
8 or, shall we say, a lot of hard work that so far hasn't
9 been successful.

10 I think we know why. We just discussed disease
11 activity indices, and I think part of that is because they
12 were not designed as outcome measurements. The majority of
13 them really have been used to determine when therapy should
14 be changed, and that is a good means of using them and they
15 can function that way in a clinical trial.

16 Perhaps one of the more important issues is
17 that patients often say what they think of how they're
18 doing and it's not very concordant with what the physicians
19 think of how the patient is doing and, of course,
20 progressive renal insufficiency is a good example. Until
21 one is fully symptomatic with renal insufficiency, it's
22 very hard to explain to a patient why we worry about their
23 BUN and creatinine.

24 We have not so far been very successful in
25 using responder analyses and presumably that's because

1 we've actually proposed them in advance of actually getting
2 the data in a clinical trial. We now have some trials from
3 which we've learned.

4 And, of course, change in medical practice
5 occurs all the time and it may well confound outcomes.

6 But I've been asked to talk about fatigue and
7 function and health-related quality of life, and I just
8 want to remind you that back in 1998, at the lupus module
9 at the OMERACT meeting, Outcome Measures in Rheumatology
10 Clinical Trials, we developed consensus on the required
11 domains to be assessed in either clinical trials or
12 longitudinal observational studies. Those domains were
13 very important and the one, of course, that's highlighted
14 is health-related quality of life.

15 What is health-related quality of life? I
16 think most of the people in the audience know, but it's
17 certainly not the economy, it's not the geographical
18 situation or the politics or the recall election in
19 California, and it's not the status or access to resources.
20 In other words, it's really in all the ways that your
21 disease affects you, how are you doing today, and it has a
22 great deal to do with how it's asked.

23 Lupus does affect all domains of health-related
24 quality of life, but specifically patients in comparison to
25 other rheumatic diseases complain of fatigue, complain of

1 the inability to plan ahead, and complain of changes in
2 their appearance.

3 The SF-36 is one of the instruments that's been
4 mostly widely used to measure health-related quality of
5 life. It is a generic measure. It has 8 domains and 2
6 summary scores which sum the domain scores. It's been used
7 in a variety of diseases. Lupus has been one of the newest
8 ones it's been applied to. It's been validated in
9 rheumatoid arthritis, osteoarthritis, and a variety of
10 cardiovascular diseases, as well as diabetes and other
11 chronic illnesses. In many ways, it's a useful instrument
12 for us in rheumatology because we can then show other
13 organizations how the diseases that we treat impact our
14 patients and that can be compared to what happens with
15 chronic renal disease or coronary artery disease or
16 diabetes.

17 Now, the four domains that are positively
18 summed in the physical component score and negatively
19 summed in that one include: physical function, role
20 physical, bodily pain, and general health perceptions.
21 Vitality, social function, role emotional and mental
22 health, are positively scored in the mental component
23 summary score and these are negatively weighted.

24 There's been some question about whether using
25 these component scores is useful or whether it's better, in

1 fact, to look at the individual domain scores. But just to
2 remind you of the nice editorial that Michael Ward wrote,
3 while he was still at Stanford, basically the coping
4 mechanisms are most consistently associated with health-
5 related quality of life in lupus patients but not
6 necessarily their morbidity. We do know that ethnicity and
7 socioeconomic status are important and all of these are
8 very variable in trying to assess it.

9 It's pretty clear that social support
10 mechanisms are fairly consistently associated with how
11 patients report the mental health aspects of their health-
12 related quality of life, and organ damage, as in the
13 example of renal disease, is less associated with how a
14 patient reports their health-related quality of life than
15 disease activity in terms of how they perceive that. And
16 this has been derived from cohort studies, as well as
17 randomized controlled trials.

18 Fatigue and depression are quite important.
19 Disease activity and damage do not equal health-related
20 quality of life. Interestingly enough, disability does not
21 necessarily equal impairment in physical function in lupus
22 patients. For instance, if we look at a varied series of
23 lupus patients here, Baltimore, published by Hochberg,
24 Cleveland and Canada, published by Milligan and Dafra
25 Gladman, we see that patients basically have relatively low

1 HAQ disability index scores, much lower than we would
2 expect in patients with longstanding rheumatoid arthritis.
3 Many of them will have a disability index of 0 indicating
4 they have actually no impairment in physical function, and
5 very few of them actually report requiring help to perform
6 the physical activities queried in the HAQ.

7 So basically, in comparison with RA, lupus
8 patients complain of loss of energy, unpredictable course
9 of disease not different from RA, but they complain of
10 fatigue much more prominently. They have much more
11 dissatisfaction with their perceived control of their
12 bodies, and more importantly, they report a lot more
13 dissatisfaction with understanding of their disease on the
14 part of other individuals, including their physicians, and
15 specifically their handicap is invisible to others.

16 So if we look at prospective study of 82
17 patients with RA, 82 with lupus and match gender and age
18 controls, we see that the diseases impact all dimensions of
19 health status, but there's actually less disability in RA
20 and lower visual analog pain scores, although in fact both
21 groups of patients, RA and lupus patients, complain of
22 bodily pain in their domain scores which indicate a
23 significant impact of their disease. In fact, the SF-36
24 correlated best with patient global assessment and
25 accumulated damage.

1 Now, Dr. Gladman was the first to actually show
2 the SF-36 was sensitive to change in a longitudinal
3 observational series in lupus and that the baseline domain
4 scores were very, very low in all 8 of those domains, and
5 that a variety of series have now shown in cohort studies,
6 as well as some limited clinical trial data, that basically
7 decreases in disease activity do translate into improvement
8 in physical function, bodily pain and general health
9 perceptions. Worsening disease activity actually shows
10 worsening in all the domain scores, especially physical
11 function, and more damage eventually translates into poorer
12 physical function and poorer general health perception.

13 So SF-36 has been demonstrated valid and
14 sensitive to change. The decrements in the multiple
15 domains do, in fact, correlate with increases in disease
16 activity and damage, but these are generally weak
17 correlations. They also correlate with use of
18 immunosuppressives, and they reflect end-stage renal
19 disease where, once patients go on dialysis, they show very
20 significant improvement.

21 So one of the things about looking at the SF-36
22 specifically in lupus was a series of observational studies
23 with Thumboo, et al., in Singapore who was able to show
24 that the SF-36 was sensitive to change, but in fact the
25 greatest variability in reporting was in role physical and

1 role emotional domains. And he did not agree with how the
2 PCS and the MCS, the summary scores, were put together and,
3 instead, took the 4 physical domains and meaned them into
4 what he called the PHS and the 4 mental into the MHS,
5 saying that they better reflected the individual domains.

6 Interestingly -- this should again be arrows
7 but e-mail always changes the symbols -- the PHS was
8 negatively correlated with increased steroid doses and
9 worsening BILAG score and the MHS was negatively correlated
10 with increased steroids, use of cytotoxics, and also
11 worsening BILAG scores.

12 Now, we talk about minimum clinically important
13 differences and Matt Liang just discussed the exercise that
14 we did with the disease activity scores at Dusseldorf
15 almost two years ago now. Originally, MCID was defined by
16 patient query and Delphi technique, but for instance, with
17 both the HAQ and the SF-36, it's now been looked at in
18 comparison to global visual analog scores on the part of
19 patients in randomized controlled trials so that it's a
20 statistical definition as well. For the HAQ disability
21 index, it's an improvement of minus .22, and for the SF-36,
22 it's generally considered to be improvements in domain
23 scores of about 5 to 10 points across many different
24 disease states, including cardiovascular and pulmonary
25 disease, and about 2.5 to 5 points for the component

1 scores.

2 Another point is the confounding issue of
3 fatigue. We talk about fibromyalgia being an important
4 part of what lupus patients complain of. In various
5 series, there may be as few as 10 percent or as many as 30
6 percent, and it does, in fact, significantly impact the
7 SF-36 because fatigue is measured in several of the
8 questions and is associated in several domain scores.

9 Fatigue is also directly assessed in the SLAM
10 and the SLAM-R, and the Krupp Fatigue Severity Scale, which
11 was developed for use in MS patients, has also shown that
12 the fatigue that's reported by patients with lupus is
13 different and involves different domains in fatigue.
14 Either way, the fatigue can be assessed and is included in
15 the assessment of the SF-36, and whether fibromyalgia is
16 impacting the patient with lupus or not, one understands, I
17 think most of us clinically, that if their lupus is
18 improved to at least some degree, their fibromyalgia is as
19 well.

20 Now, anti-double-stranded DNA antibodies do
21 predict disease flares and Jill Buyon gave a nice summary
22 of the data in the clinically-quiescent but serologically-
23 active patients. You'll see here -- and I'm sorry they
24 don't go through one at a time, which is how it was
25 supposed to work -- that there are several series where

1 prospective treatment of patients who have elevated double-
2 stranded DNA antibodies actually improves either their
3 ability not to have a flare or actually decreases the
4 number of relapses, and most recently, this was also
5 published by Bijl in terms of using mycophenolate mofetil
6 based on an increase -- again this should be two arrows up
7 -- in double-stranded DNA antibodies.

8 Now, this is the LJP394 study, a phase II/III
9 study that's been published and shown previously, to simply
10 show that using this particular agent, active treatment
11 resulted in improvement in double-stranded DNA antibody
12 levels and increases in complement 3 levels, and such a
13 relationship was not seen in the placebo group.

14 This was analyzed in terms of looking at SF-36
15 data, and this was specifically looked at in a longitudinal
16 analysis of the first 18 weeks of patient treatment because
17 that was when they all received the same 100 milligram dose
18 weekly. This was a group of patients, a 179 intent-to-
19 treat and a 157 who were defined as having high affinity
20 double-stranded DNA antibodies; in other words, antibodies
21 that had high affinity binding to the LJP394 epitope. As
22 well, we looked at patients in 37 who had had a flare to
23 see whether there was a difference between their reported
24 HRQOL before and after the flare.

25 This is in fact the baseline for all treated

1 patients in green versus the age and gender matched norms,
2 showing you that, with the exception of the mental health
3 index, HRQOL was significantly impaired in the patients
4 with lupus. These patients were required to have elevated
5 double-stranded DNA antibodies at enrollment and to have
6 had a history of renal flare but were clinically stable at
7 the time of enrollment.

8 These are the changes in the domain scores over
9 the first 16 weeks of treatment. One can see here now that
10 the active agent is in green and placebo is in blue, and
11 there are more improvements relatively in the active group
12 with diametrically-opposed change in role emotional.

13 If one looks now at the pre- and post-changes
14 with renal flare, one can see that the patients receiving
15 active treatment do not show or report the deterioration
16 that's seen with the placebo. And this is true in all
17 domain scores, and it's also true if those patients who are
18 receiving high-dose corticosteroids or cyclophosphamide are
19 removed from the analysis, as they would be expected to
20 report more deterioration.

21 So the conclusions from that particular study
22 are that patients with clinically stable lupus reported
23 impaired health-related quality of life, and even during
24 the induction time when they had not had a flare, one could
25 see improvement with active treatment which was associated

1 with a decrease in double-stranded DNA antibodies. The
2 differences pre- and post-flare appear to be related, at
3 least in some part, to those reported changes associated
4 with active treatment.

5 Now, I want to quickly show you one more thing
6 which is longitudinal changes in two randomized controlled
7 trials and this is now looking at changes in double-
8 stranded DNA antibodies, regardless of treatment groups; so
9 both active and placebo are combined. The definition here
10 is actually a greater than or equal to 10 percent reduction
11 in anti-dsDNA antibodies in more than two-thirds of all the
12 determinations. This definition was derived based on the
13 standard deviation of the assay and the fact that patients
14 were required to have a baseline of 15 on the FARR assay.
15 One can use another definition, such as 20 percent, and see
16 similar findings.

17 So one could see the responders are defined
18 here and they show a sustained reduction in double-stranded
19 DNA antibodies, and as you can also see here, even using
20 the definition of 10 percent, the majority of the active
21 responders actually also will come to a definition of
22 either 20 or 30 percent decrease and such a magnitude of
23 change or increase is not seen in the non-responders.

24 These are the health-related quality of life
25 scores in both groups, now looking at responders in blue

1 versus non-responders, and this is at month 4. So one can
2 see -- this is approximately the week 16 time point -- that
3 despite clinically stable disease, patients report
4 improvement in all domains of health-related quality of
5 life, if their double-stranded DNA antibodies have gone
6 down.

7 If one looks at a second series at 6 months and
8 again at 12 months -- and these are in your handout -- you
9 can see very similar types of findings, with in fact some
10 deterioration in those patients who are not defined as
11 responders.

12 Now, these analyses excluded even the patients
13 with the renal flares who might have been attributed as
14 reporting the worsening and in fact showed very little
15 change in the analyses.

16 Now, are these changes clinically meaningful?
17 I mentioned to you before that we think MCID is an
18 improvement of about 5 to 10 points in domains. Well, you
19 have in your handout global assessments which actually show
20 improvement over time in the responders, and what we can
21 also see here is that in one of these two series, the 15-
22 point scale by Guyatt, et al., was used, asking patients in
23 the past 3 months, has there been any change in your
24 overall quality of life related to your lupus. We looked
25 at those patients who said they were a little bit better,

1 which was 6 on the scale of 15, or those patients who said
2 they were a little worse, which was 10 on the scale of 15.

3 What we found was that the improvements indicated mean
4 change scores improvement of 6.7 to 11 in all of the
5 domains and about 3.4 to 3.9 in the two component summary
6 scores.

7 Worsening, which was interesting, might have
8 been expected had patients actually determined worsening a
9 little bit sooner than they determined improvement or no
10 change. We can see that the domain decreases or increases
11 in fact range from 1.7 to a worsening of about 15 points,
12 and in physical component and mental component summary
13 scores, the worsening was approximately 1 to 2 points. So
14 this is quite consistent with the published data suggesting
15 5 to 10 points for domain scores.

16 So it is difficult to assess outcomes in lupus,
17 and the data derived from randomized controlled trials are
18 very limited and have yet to result in approved therapy.
19 However, I think it is important to look at patient-
20 reported health-related quality of life. It is different
21 from what we assess in RA. It means that we need to be
22 looking at a generic measure that looks at all domains,
23 such as the SF-36, that physical function is only one of
24 those components and a limited one.

25 Health-related quality of life is improved.

1 Patients do report improvement when their disease activity
2 scores go down. They do respond and prove that they feel
3 worse when they're getting high-dose glucocorticoids or
4 immunosuppressives. This kind of data has correlated with
5 longer-term outcome, and I think that this data has
6 preliminarily shown you in two series of patients that
7 there appears to be reported improvement in health-related
8 quality of life in many of those domains with sustained
9 reductions in double-stranded DNA antibodies which are
10 clinically meaningful.

11 Now, this data will then, of course, need to be
12 confirmed in other clinical trials but suggests again that
13 there is a relationship between a biomarker, a marker of
14 disease activity, and a patient-reported outcome.

15 Thank you.

16 DR. FIRESTEIN: Thank you very much, and the
17 next discussion is from Dr. Tom Lehman on pediatric lupus.

18 DR. LEHMAN: I'm going to take a slightly
19 different approach this morning because I think there's a
20 number of difficulties that are inherently obvious in how
21 we analyze SLE disease activity. I think it's clear that
22 the pathogenesis of disease in multiple different organisms
23 is not the same and that when we use a generalized marker
24 like the SLEDAI or the SLAM or the BILAG, which demonstrate
25 evidence of SLE activity overall, if we try to use a single

1 marker when we're measuring differences in brain disease,
2 skin disease, lung disease, or kidney disease, has inherent
3 problems that assume a common pathogenesis which probably
4 doesn't exist.

5 In order to deal with that in pediatrics, I'm
6 going to show you one study we've done where we've
7 deliberately restricted ourselves to children with biopsy-
8 proven diffuse glomerular nephritis. By restricting
9 ourselves to a specific organ system and a specific
10 pathogenesis, I think we have a much better chance of
11 showing a specific role of different antibodies, et cetera.

12 I'm not going to prolong the rationale of
13 immunosuppressive therapy. I think everybody here is aware
14 of this.

15 What I'm going to show you is data from using
16 "our standard cyclophosphamide" therapy of a gram per meter
17 squared per dose, given routinely in a prospective manner,
18 7 doses at monthly intervals, followed by 10 doses at 3-
19 month intervals for a total of 36 months of therapy.

20 If you then go to look at responsiveness and
21 say what measures of response can we show had a clearly
22 meaningful effect, you can see initial SED rate comes right
23 down over the time of treatment and persists in remission
24 as the patient's persistent remission. Serum creatinine.
25 We started off with people with basically normal

1 creatinines and you can see over a 5-year period, despite
2 the fact they had biopsy-proven diffuse glomerular
3 nephritis, there is no increase in serum creatinine levels.
4 Creatinine clearance improves.

5 One of the things we're going to have to watch
6 for is illustrated by this data point. All through this
7 period, the creatinine clearances are being done on
8 children while they're in the hospital during 24-hours
9 receiving IV cyclophosphamide therapy and during which
10 they're receiving 2 liters per meter squared of hydration.
11 This point is attempting to follow up these patients who no
12 longer require hospitalization with out-patient creatinine
13 clearances.

14 So the data here probably is nowhere near as
15 reliable as the data here. Because we've changed the
16 timing and circumstances of the collection, we've
17 introduced a degree of unreliability that if we're going to
18 have meaningful results needs to be excluded.

19 The same is true here. These are C3 levels.
20 Again when therapy stops, they drop down a little bit but
21 remain at normal range. 24-hour urine protein. Again,
22 these are all easily measured, easily quantifiable outcome
23 measures.

24 Prednisone dosage goes down over time very
25 clearly. One of the things that will need to be considered

1 is, is there a minimum prednisone dose on which we want
2 patients to remain, and therefore if we're looking at
3 changes in prednisone dose over time, if there's a floor
4 which we've created that will need to be remembered in
5 doing all the calculations.

6 In renal disease, we can do activity and
7 chronicity indexes on renal biopsies. Obviously, that's
8 not going to be possible when we're discussing renal flares
9 as a whole.

10 Perhaps most importantly as we're talking about
11 patient subjective sense of well-being, socioeconomic
12 status, sense of depression, psychosocial factors,
13 concurrent fibromyalgia.

14 A long time ago, one of first studies of
15 outcome factors in adults with lupus showed that one of the
16 best predictors of disease activity, when you got past C3,
17 C4, et cetera, was plain old simple hemoglobin. If you
18 want to know whether or not your patient is doing better
19 and you want to avoid psychosocial factors, you want to
20 avoid socioeconomic factors, you might want everybody to be
21 on a vitamin pill that contains iron to minimize dietary
22 issues, but hemoglobin coming up and normalizing clearly is
23 associated with improvement in disease overall status
24 without being organ-specific.

25 Indeed, here in our children treated with SLE

1 with Cytoxan, you can see the hemoglobin is normalized
2 promptly over the course of therapy and remained normal at
3 5 years, 2 years after the last dose. I have further data
4 now. We're 10 years out with the same exact results. I'm
5 just showing you -- and we're going to be presenting at the
6 ACR meeting -- 10 years.

7 When we do this, we still have to represent the
8 fact that there are going to be problems and there are
9 going to be failures, but I think the most important thing
10 for everybody here to remember is that when we talk about
11 lupus, we're talking about a very heterogenous disease.
12 We're talking about the fact that we know there are racial
13 differences in the incidence of lupus. Are we including in
14 our studies the fact that there seems to be racial
15 differences in the severity of lupus, not to mention the
16 confounding socioeconomic factors, et cetera?

17 All of these things are not being accurately
18 represented in the current measurement and outcome
19 statistics that we're doing. I don't think anyone here
20 would like to say that the average oriental patient has the
21 same general disease activity level as the same white
22 patient who lives on the Upper East side of Manhattan.
23 There's different genetics. There are different
24 confounding social variables. There are different
25 confounding psychological variables, which we're going to

1 have to take into account as we do these things and as we
2 measure the outcome of our patients. Clearly Minneapolis,
3 New York, London, Singapore are not the same in patient
4 population, in patient background genetics, and until we
5 standardize treatment, in treatment, or even in the way
6 they're going to interpret reading the SLEDAI to do the
7 scoring.

8 Our major needs at present. We need
9 standardized criteria for the initiation of therapy. Those
10 are going to be present in drug trials. What we really
11 need for our children right now is an early intervention
12 that can prevent both corticosteroid and disease-related
13 complications.

14 Thank you.

15 DR. FIRESTEIN: Thank you very much and that is
16 the end of the state of the art discussion, or at least the
17 presentations, and I want to thank all of the speakers for
18 doing a wonderful job of summarizing the data.

19 The next section is a series of short
20 presentations from a number of the groups that have been
21 intimately involved in supporting the research, as well as
22 our patients with lupus. The first is Barbara Boyts
23 representing the Alliance for Lupus Research.

24 MS. BOYTS: Good morning, Dr. Firestein and
25 members of the Arthritis Advisory Committee, Dr. Simon,

1 members of the FDA, other individuals here from industry,
2 from academia, members of the public, and my lupus
3 colleagues, and the other lupus organizations. It is
4 indeed a great pleasure to be here with you today. My name
5 is Barbara Boyts. I am the President of the Alliance for
6 Lupus Research.

7 Last March, at the biomarker assessment
8 meeting, many of you here embarked on the challenging
9 process that lay the groundwork for a document that would
10 have major impact on the lupus research community and on
11 the individuals with lupus. I want to thank the FDA and
12 particularly Dr. Lee Simon and the other members of the
13 Arthritis Advisory Committee for organizing this meeting
14 and showing such a strong commitment to making the lupus
15 guidance document a reality.

16 I would also like to congratulate the many
17 researchers whose collaborative efforts to identify targets
18 for treatments served as a catalyst for this critical
19 phase. Your rapid advances in scientific discoveries have
20 brought new opportunities in many areas of lupus research
21 and fueled the urgency to move forward. Examples include
22 progress in genetics, molecular biology, molecular
23 immunology, and complement biology. Each have yielded
24 important knowledge for potential targets for new
25 treatments.

1 I see evidence of progress throughout the field
2 and can cite examples within the Alliance for Lupus
3 Research Target Identification and Lupus Grants Program.
4 There's huge potential for new treatment development, yet
5 industry and research supporters have been reluctant to
6 move forward because of the uncertainty surrounding the
7 drug approval process.

8 Your efforts today provide hope that we can
9 finally move past the handful of drugs that have been used
10 for over 50 years to help manage lupus. They provide hope
11 that we can find drugs that will do more good than harm.
12 By providing clear ground rules for drug development and
13 drug approval in lupus, we will expedite the process by
14 which insights and discoveries in science translate into
15 effective treatments for lupus. This in turn will
16 stimulate industry and the Alliance for Lupus Research and
17 other lupus research philanthropies to achieve their goals
18 of new treatments to improve the lives of the more than 1
19 million people who live with this really terrible disease.

20 Working together with these new guidelines, I
21 am confident that we can discover better treatments and
22 even one day a cure for lupus. Thank you very much.

23 DR. FIRESTEIN: Thank you very much. The next
24 speaker is Margaret Dowd, representing Lupus Research
25 Institute.

1 MS. DOWD: Good morning. I'm Peggy Dowd. I
2 represent the Lupus Research Institute, and I'm very
3 grateful to be here this morning with you. This is indeed,
4 I think, a pivotal point in the lupus world, and it is
5 significant that we are here.

6 I bring you greetings from the LRI and our
7 affiliates all over the country who are members of the
8 board and members of the organization and that is the
9 organizations of families and patients who comprise the
10 Lupus Research Institute. They're the people who serve on
11 our board. They're the policymakers. They're the
12 decisionmakers. They're the funders of this organization
13 that is seeking to bring new science to lupus. They are
14 passionately devoted to the cause that addresses us today,
15 and I am proud to bring you their greetings, their
16 commitment, their thanks and their hope.

17 I think everyone has talked about significant
18 meetings that we have sponsored and held over the past few
19 years, but I think two years ago we co-sponsored a meeting
20 at the NIH that had a session that many of you were at and
21 I've noted this before in previous remarks on Friday night
22 of that weekend in January 2002 that surfaced all the
23 frustrations and almost the despair of clinicians,
24 scientists and patients in that room. And it was a low
25 point and it was a high point. I think it was a turning

1 point, and I think that one of the reasons that we are here
2 today is that people left that meeting charged up and said
3 we're going to do something about this.

4 One of the people who was there and who has
5 done something about it and I'd like to personally thank
6 today is Dr. Lee Simon. He was new to the FDA at that time
7 and he told us that he was here and he was going to try to
8 get things moving, and I think through the sheer power and
9 force of his commitment and determination to move forward
10 -- and this is not to slight anyone in the FDA who's done
11 tremendous work on this disease for so many years, but I do
12 personally believe that Dr. Simon has made an enormous
13 contribution.

14 At the risk of political suicide perhaps,
15 there's one other person that I would like to thank in my
16 experience over the last 10 years with the SLE Foundation
17 and the LRI and that is Dr. Matt Liang, who is not here
18 with us today, but as I look back -- and as Matt just said,
19 five experts, six opinions. As I look back at the studies
20 that Matt has come to us with and we have helped and we
21 have funded and we have worked with him over the past
22 years, studies in nomenclature, studies in response
23 criteria and finally bringing together and working to
24 achieve consensus and agreement at difficult places, like
25 Dusseldorf, I just think that that is about team. It's not

1 about turf. It's about agreement and it's about trying to
2 get consensus. I applaud his work and I really, really
3 hope that his leadership will take us forward to go the
4 path that we need to do to get agreement quickly as we're
5 going on.

6 The LRI continues to endorse clinical trials in
7 lupus with the greatest of enthusiasm, and we are delighted
8 that the issues of drug development are finally getting the
9 attention they deserve. We have made a major commitment to
10 advance clinical trial methodologies in lupus and we have
11 an RFA on the market right now on biomarkers which we are
12 working very hard to publicize among you all. We are
13 seeking new projects to develop and to validate early
14 markers, so you have our commitment on proceeding in that
15 regard.

16 First and foremost and before all else, we
17 petition the FDA to have and to maintain a deep and serious
18 concern for the safety of lupus patients as we proceed with
19 drug development. We ask you, above all, and members of
20 the committee to, of course, protect our patients.

21 But we also ask you that once that safety is
22 determined to please work to let these projects go forward.
23 We don't need perfection. We don't need total and complete
24 consensus on which markers or which measures or the
25 details, and this should not be a stumbling block to going

1 ahead with the trials we need so badly for our patients.
2 Let's agree on and let's get a document that's usable and
3 doable and that works, that can be the basis for moving
4 ahead as long as safety is not an issue.

5 We ask you on behalf of our patients to take
6 some risks. At the LRI, we take risks. We fund people
7 whose work wouldn't be funded immediately at the NIH
8 because there isn't enough data for it. It's just a good
9 hypothesis. It probably would be called kind of a lame
10 business plan, I guess, but boy, is it turning out to be
11 very productive. The people that we take risks on on good
12 ideas are going on to the NIH for funding and their work is
13 making a significant difference in this disease.

14 So we ask you to do what we preach about the
15 LRI, to think outside the box and to take some risks with
16 the details and not get bogged down in a process that
17 doesn't give us the product that we need.

18 In closing, I would just like to cite two of
19 the people who are very important to the LRI. They co-
20 chair our board of directors. Robert Ravitz and Jack
21 Lavery. They are parents of daughters with lupus, and some
22 of you in this room know them intimately because you've
23 treated their kids, Annie Ravitz and Dena Lavery. They're
24 two young women that I would like you to keep in mind as
25 you proceed with your deliberations. Now in their 30s,

1 they have suffered the ravages of this disease since
2 childhood. They have lost eyes and fingers and toes. They
3 have lost the ability to conceive and bear a child. They
4 have lost the experience of quality of life or nothing.
5 They have suffered heart attacks. They have suffered open
6 heart surgery and now Annie, looking for a kidney and on
7 dialysis.

8 Their fathers co-chair the Lupus Research
9 Institute board and for 20 years, their parents have been
10 contributing millions personally and raising millions more
11 to get some relief for their children and we don't have it
12 yet.

13 So I ask you in the process of deliberation, as
14 you go forward, to consider these young women who suffer
15 with this disease. We can't let problems delay the
16 process, and I implore you to let trials go ahead as soon
17 as possible.

18 Thank you.

19 DR. FIRESTEIN: Thank you very much.
20 Representing Rheuminations, Incorporated, is Katherine
21 Snider.

22 MS. SNIDER: Good morning. My name is Kit
23 Snider, and I'm the President of Rheuminations. I want to
24 thank the FDA for providing the opportunity for
25 Rheuminations to speak this morning.

1 Lupus is a disease that my family has lived
2 with for a long time. My mother was diagnosed with lupus
3 in 1973 and my own diagnosis followed 7 years later. In
4 2001, our wish to respond to our personal experiences with
5 lupus gave birth to a private charitable foundation,
6 Rheuminations. Our goals have been to fund excellence in
7 scientific research leading to better understanding of and
8 treatments for lupus and to offer education, empowerment,
9 and support to patients in fresh and innovative ways.

10 Our first project was to establish the Mary
11 Kirkland Center for Lupus Research at the Hospital for
12 Special Surgery in New York. Our second project has been
13 to design a comprehensive and ongoing web site that will
14 adapt itself to the changing needs of people with lupus
15 over time. Our most recent project has been to create a
16 separate public charitable organization known as the Lupus
17 Clinical Trials Consortium.

18 One of LCTC's current goals is to give grants
19 to over 25 academic institutions to support their
20 infrastructure for clinical research activities focused on
21 bringing new, safer, and better treatments for lupus to
22 market. Most of the current treatments for lupus are off-
23 label, borrowed from other diseases, very powerful and
24 pockmarked with side effects. Some of these drugs may
25 cause infertility, cancer, bone and joint damage, and

1 infections that can lead to death. Many of these were
2 approved to save lives and not to treat chronic illness.

3 I would like to quote a few patients who
4 discussed some of the worst side effects of these
5 treatments.

6 Debbie, now 43, was 21 when she developed
7 lupus. She said, "The worst part was the physical change
8 in my appearance. I blew up. My face changed and people I
9 have known all of my life walked right by me and did not
10 even recognize me. All my joints were hurting pretty
11 badly. My hips collapsed and I could not walk."

12 Tiombe, older sister of Kai, who was first
13 diagnosed with lupus at the age of 13, describes the way
14 treatment affected her sister. "Along with the medication,
15 the doctor said she would become very moody, gain weight,
16 and her hair might never grow back. It was so painful to
17 look at my sister and not see her as the same person."

18 Ellen, who has lived with lupus for many years,
19 describes her flares as a series of "little deaths,"
20 referring to losses of health, independence, self-esteem,
21 and quality of life. The reality is that those little
22 deaths are due not only to lupus but also the treatments
23 currently being prescribed for lupus.

24 Advocacy groups have worked hard to bring lupus
25 into the public eye. Foundations have been diligent in

1 their support of research. Lupus researchers remain
2 passionate, dedicated and tireless in their pursuit of new
3 discoveries leading to new therapies. We must now move
4 forward to identify biomarkers and innovative drugs that
5 can pass through clinical trials and on to market, but it
6 will take the commitment of all areas of the lupus
7 community, including government, academic centers, advocacy
8 groups, the public, and, of course, industry to support
9 this effort and defeat this devastating disease.

10 Thank you.

11 DR. FIRESTEIN: Thank you. The final
12 presentation, representing the Lupus Foundation of America,
13 is Sandra Raymond.

14 MS. RAYMOND: Good morning and thank you. I'm
15 very pleased as the President and CEO of the Lupus
16 Foundation of America to share the podium with our sister
17 lupus organizations.

18 The Lupus Foundation of America is dedicated to
19 improving the diagnosis and treatment of lupus, educating
20 health professionals about lupus and supporting individuals
21 and their families while educating the public and hopefully
22 finding a cure. We vigorously pursue this mission through
23 programs of research, public and professional and patient
24 education and advocacy.

25 I'm here today representing almost a million

1 individuals, women, men, children of all races and
2 ethnicities, who implore you to issue a guidance document
3 for industry that will offer the absolute stimulus
4 necessary for major pharmaceutical and biotechnology
5 companies to invest the hundreds of millions of dollars it
6 will take to bring a lupus drug to market.

7 You will hear all day today and tomorrow that
8 there has not been a new lupus drug in the last 30 to 40
9 years, and you will hear the reasons why this is so. There
10 is no question but that the disease is complex and that
11 there are many gaps in the science of this autoimmune
12 disease, but there are other factors that are equally true.
13 Quoting Dr. Dan Wallace, "In the year 1948, half of those
14 with lupus died within 2 years. By the year 1960, 60
15 percent of people with lupus were living 10 years, and by
16 the '90s, 90 percent were living 10 years or more."

17 This improvement in mortality from 60 to 90
18 percent took place during a time when no new lupus drugs
19 were introduced to the market. It was the skill of
20 clinicians in learning how to use a variety of existing
21 drugs and dialysis and interpreting markers that made the
22 difference, but gains in survivorship, however, have come
23 at a very high price since the morbidity associated with
24 existing treatments may be worse than the original lupus
25 symptoms.

1 While the document lays out the gaps in science
2 and in doing so puts forward a robust research agenda, its
3 purpose is to provide a road map for industry, to encourage
4 investment in lupus research. We believe that clinical
5 experience in lupus should not be ignored.

6 Recently, in preparation for this meeting, we
7 conducted what I would call a convenience survey by e-mail
8 of 341 clinicians who provide treatment to people with
9 lupus. These individuals were randomly selected from a
10 list of 1,000 clinicians who we know treat many lupus
11 patients because their names appear on the physician
12 referral list maintained by our 50 chapters nationwide.

13 While we recognize that the results cannot be
14 projected to represent the practice of all clinicians who
15 treat people with lupus, with only one exception, every one
16 of the 132 clinicians who responded answered yes when asked
17 if they used complement levels and antibodies to double-
18 stranded DNA to evaluate disease activity in lupus
19 patients.

20 The almost unanimous agreement by those who
21 responded indicates to us that these markers are used
22 widely in this country by clinicians and represent a so-
23 called standard of care in the management of lupus
24 patients.

25 If we do not find a way to broaden out this

1 document, children and women of childbearing age diagnosed
2 today may well experience the same future as those who have
3 lived with lupus for the past three to four decades. I
4 respectfully ask you to recognize the dire circumstances in
5 which these patients find themselves as they continue to
6 take toxic drugs to control their lupus and suffer the side
7 effects that can be worse than lupus itself.

8 We have very brilliant people in the field of
9 lupus here today, and I ask them to find a way to open up
10 this document beyond the subpart H or E to allow for full
11 development of safe and effective therapies for very, very
12 brave people who have waited much too long for your help.

13 Thank you.

14 DR. FIRESTEIN: Thank you, and that brings us
15 to the end of the first section today, and we're going to
16 take a break, a 15-minute break. So according to my watch,
17 it's 10:05. So we'll start at 10:20.

18 (Recess.)

19 DR. FIRESTEIN: Why don't we go ahead and get
20 started then with some of the questions that have been
21 asked by the agency regarding the state of the art section?
22 We didn't have much time to contemplate this in advance
23 because we just received the questions this morning, and I
24 have actually a slightly altered form from the time that
25 the questions were passed out an hour and a half ago.

1 So I'm going to read the first question.
2 Disease activity indices may be useful in assessing overall
3 disease activity in lupus. Please discuss the utility and
4 potential limitations of disease activity indices. Please
5 discuss the acceptability of a single DAI applicable as a
6 stand-alone primary measure of disease state in response to
7 therapy versus the use of several DAIs. Please discuss the
8 use of DAIs in the context of treatment of specific organs
9 as an outcome. For example, nephritis improves at 1 year,
10 but SLEDAI must also improve or cannot worsen.

11 So I'm going to open this up now to the panel
12 and hopefully get a lively discussion. Certainly
13 significant aspects of this were discussed in a number of
14 the talks that we've heard today, but with regard to the
15 utility and potential limitations of disease activity
16 indices, does anybody want to begin with a comment?
17 Certainly, again, there are multiple indices that have been
18 discussed today, the SLEDAI, SLAM, BILAG, et cetera.

19 DR. ILOWITE: As a pediatrician, I just want to
20 mention that although the SLEDAI and the SLICC have been
21 validated in children, there are limitations with regards
22 to the sensitivity of the instruments. In children, for
23 instance, they don't assess growth, school performance, and
24 sexual development, things like that, that would be
25 important to include in a pediatric trial.

1 DR. FIRESTEIN: Thank you. That's very true.
2 What about some of the limitations of the
3 SLEDAI, for instance, where only changes in activity are
4 necessarily monitored as opposed to some of the other
5 indices? Jill. I'm sorry. Bevra first and then Jill.

6 DR. HAHN: I want to comment on that. I saw
7 that paragraph in the draft document we have, and I don't
8 think it's quite correct. I think that refers to maybe
9 older versions of SLEDAI, but the SELENA SLEDAI, you get
10 points if you still have activity in arthritis or you still
11 have oral ulcers or whatever, you still have malar rash.
12 You get points for that. So I think that might be a
13 misconception about SLEDAI. I'd like to hear what other
14 people think, that it doesn't measure ongoing disease
15 activity, only new things.

16 DR. BUYON: I was going to echo that sentiment
17 exactly and point out that several of the parameters have
18 been changed so that it reflects ongoing activity, not just
19 new.

20 The other is to recognize that SLEDAI actually
21 misses some organ systems. So, for example, you could have
22 hemolytic anemia, which I think we'd all agree would be
23 very serious, and that would not even be captured in the
24 SLEDAI.

25 So one of the problems about using SLEDAI as

1 disease activity or even a flare index is recognizing that
2 it's not all-encompassing and that in using it, you'd have
3 to mandate equally that there be guidelines for using it
4 because interpretation of SLEDAI, given the descriptors
5 being rather perfunctory really in what it states, you have
6 to have not only that document but then there would have to
7 be a compendium or what we would call a glossary of terms.

8 So I would submit that, number one, it's not
9 all-inclusive and that number two, it needs definite
10 education for uniformity, and what it doesn't really
11 encompass at all is the intention to treat which obviously
12 the BILAG incorporates in a different way.

13 DR. FIRESTEIN: Joan.

14 DR. MERRILL: I really want to second what Jill
15 is saying, but I do want to point out that the SLEDAI that
16 is being used in clinical trials today is mostly the SELINA
17 SLEDAI which does address some of the problems that Jill
18 brought up. There are ways for the SLEDAI, with the flare
19 index and with the global assessment put into it, to
20 reflect things that may not be on the list of categories.

21 Having said that, I think it is really
22 important to recognize that the SLAM, the SLEDAI, the BILAG
23 are three very different instruments that are useful for
24 different purposes. The SLEDAI is the instrument that is
25 probably least susceptible to the placebo effect from the

1 point of view that it is measuring mostly objective
2 criteria.

3 The SLAM has a weighting that has to do with
4 whether you're getting better or getting worse, but if you
5 had CNS disease and it was at its top worst point, you'd be
6 getting the same score as if you had fatigue and it was at
7 its top worst point. So that weighting doesn't really
8 factor in that some organ system disease is much worse than
9 other organ system disease.

10 The SLEDAI does the opposite of that. The
11 SLEDAI weights by organ, so that if you have very severe
12 thrombocytopenia and you have a platelet count of 5, you
13 get 1 point. If you have fairly mild-to-moderate arthritis
14 and have two or more swollen joints, you get 4 points. So
15 sometimes these instruments just don't reflect what's
16 really going on with the patient and aren't optimal to
17 compare drug versus placebo. The instrument that solves
18 this problem is the BILAG because the BILAG enables you to
19 look at both of those qualities at once.

20 DR. FIRESTEIN: Yes.

21 DR. DOOLEY: I think the other difficulty with
22 the SLEDAI is it's got a threshold effect, so that if you
23 have 2 or more swollen joints, you get the same points as
24 if you had 20 joints. Moreover, if you go from 20 joints
25 to 3, your score doesn't change. So it has a disadvantage

1 of a threshold effect and then also reflecting a fairly
2 short period of time of 10 days prior.

3 DR. FIRESTEIN: Graciela, did you have a
4 comment?

5 DR. ALARCON: Yes. The only comment is that
6 regardless of the instrument, not only do you need the
7 glossary, you really need training. Unless the training is
8 accomplished, then you really are going to guess how to
9 score an instrument, and I think that's quite important
10 when we are talking about multi-center clinical trials.

11 DR. FIRESTEIN: Dr. Hahn, did you have a
12 question before?

13 DR. HAHN: No.

14 DR. FIRESTEIN: Okay.

15 Dr. Simon, yes.

16 DR. SIMON: If I just may ask a question for a
17 little bit more clarification there? We grapple at the
18 agency with the idea of a memory score. The idea that
19 you're asking a question about a patient to remember how
20 they were beforehand, and in the VAS scale for pain, in
21 other circumstances, other kinds of interventions from an
22 outcome point of view, we grapple with this all the time.

23 Could you comment on the utility of an
24 instrument that looks at a 10-day window to week window,
25 whatever the window is, and how accurate it might be, one;

1 two, and how one would validate that for the today versus
2 the 2 weeks before; and how important is it to validate it
3 for the today versus 2 weeks before in a disease such as
4 this?

5 DR. FIRESTEIN: Yes, Joan.

6 DR. MERRILL: I'd like to make a comment about
7 that. I think one of the most important things to do when
8 there is a long window is not to depend too much on
9 subjective parameters because those are almost impossible
10 to talk about a month ago, or even 10 days ago, and
11 people's emotional baggage does get involved in these
12 things.

13 However, having said that, I've observed in
14 doing clinical trials for many years that when you ask
15 people to fill out one of these analog scores, if you don't
16 let them look at their last score, the data jumps all over
17 the place and has nothing to do with your assessment of how
18 their disease is doing. If you let people look at how they
19 were last time and then you say now move that line this
20 time, I think you get beautiful data.

21 Now, I haven't proven it. I haven't published
22 it. I think I'd love to hear the comments of some of the
23 other people, Mary Anne and Bevra and Jill, but I think
24 that you can depend on people to know if they're better or
25 worse than they were before. Having them just simply

1 subjectively tell you how they are is a little bit more
2 difficult.

3 DR. BUYON: I actually agree, and from the
4 physician's perspective, just to echo that, one of the
5 things we did in the SELENA trial is that everything had to
6 be documented and you were encouraged always to go back and
7 look at your note from the month before or three months
8 before, and in our educational sessions, everything that we
9 scored on an instrument had to be in the source document.
10 So you were describing the joints, you were describing the
11 skin, and then with that document in hand, you could
12 "remember" and make an assessment the next time and move
13 forward.

14 DR. DOOLEY: I think that also reflects in fact
15 how we care for patients with lupus, and I think patients
16 are very good at letting you know if they feel that they're
17 better or not, and moreover, you can tell them your labs
18 look great and they can tell you quite explicitly that they
19 don't feel as well, and typically they are good predictors
20 of what their clinical status will be.

21 So I think that in fact we do make treatment
22 decisions and we alter therapy based on what our patients
23 tell us their status is at the visit compared to their
24 prior visit which may be as much as three or four months
25 ago, depending on their activity.

1 DR. FIRESTEIN: Yes.

2 DR. MANZI: I was just going to comment to
3 Leigh that I think unlike perhaps other diseases, just by
4 the nature of lupus, we actually have to be able to do that
5 because of the up and down course of the disease as opposed
6 to a progressive course and that actually, I think, brings
7 up an issue. Is it valid to take a pre- and post-snapshot
8 and think you have captured what's gone on for the course
9 of the trial? So, for example, pre- and post-SLEDAI. Does
10 that really tell you how the patient's done over the course
11 of the trial? I would venture to say that it may not, and
12 so I think there's imperfections in that, but I think we
13 really have to do that to reflect this particular disease.

14 DR. FIRESTEIN: Bevra.

15 DR. HAHN: It's an interesting idea that has
16 come up here. Personally, I think that any of the scales
17 are okay and they can't stand alone as the only measure of
18 outcome in a trial, and if you want to have less argument
19 about people who will be reviewing results as the trial
20 goes on, you might be smart to use two of them so that
21 those that favor one over the other, at least they'll have
22 something they like.

23 But what I'd like to talk about is the question
24 that's arisen here. I'd know from the experts that do this
25 kind of study is there a precedent for -- let me say I'm

1 not so sure I agree that most patients can tell you if
2 they're better or worse. I come out of an examining room
3 many times having no idea what somebody thinks about that,
4 what a patient thinks.

5 So is there any precedent for doing the global
6 assessment scales or quality of life measures or something
7 like that with looking at what prior scores have been, the
8 person is looking at what prior scores have been, so either
9 the patient or the physician? Is there any precedent for
10 doing the science that way?

11 DR. MERRILL: SELENA.

12 DR. LIANG: I have a comment, Mr. Chairman.

13 DR. FIRESTEIN: The chair recognizes Dr. Liang.

14 DR. LIANG: I just want to clarify one thing
15 and that is, all the measures are meant to be done by
16 experienced people who in their clinical wisdom will filter
17 out these kinds of issues because obviously in an
18 individual patient, their anchor point, their cognitive
19 function, all that stuff, whether it's being done after a
20 steroid dose or whatever, these all play into it.

21 I think clinical judgment is meant to be
22 interpolated in the completion of these instruments, and I
23 also want to say that I don't think the issue is coverage
24 of subjective versus objective. The patient owns their
25 feelings and we use them, as Mary Anne Dooley pointed out,

1 in our assessment. Of course, we try to incorporate in
2 that our assessment of their previous state, the worst
3 lupus patients we've ever seen, whether they are people
4 with low symptom-reporting thresholds, et cetera, et
5 cetera. It's complicated, but I think that we still
6 resolve this after every office visit. We come down to
7 some assessment.

8 And I think that it's more important that we
9 try not to reduce this ad absurdum and recognize that life
10 is much more complicated than we can ever measure, but the
11 key thing is that we capture it, that we do it in an
12 accurate reliable way, and that we also report it. I mean,
13 we don't think of a baseball player just by their batting
14 average. We like to hear about other contributions they
15 make, and I think that's what I believe is important, is
16 that we recognize that you can't describe an individual by
17 gender alone. You need to get ideas of their vital signs,
18 et cetera, and I think all of these things are actually a
19 description and they should be reported so that the results
20 are transparent.

21 And Bevra's question is, I think, it depends.
22 If you were to show a patient their subjective rating from
23 a baseline, for instance, Guyatt has done that with his
24 (inaudible) scale. It actually improves the sensitivity of
25 the measure, but I think it depends on the state that

1 you're trying to measure, and I think that's actually a
2 testable question for some of the subjective symptoms that
3 lupus patients have.

4 DR. FIRESTEIN: Dr. Cush?

5 DR. CUSH: I would offer a contrasting view
6 that I don't believe that global visual analog assessments
7 should be relative to that which went before. I think that
8 in doing different trials in different areas, you do the
9 assessments based on what an ideal outcome is, maybe no
10 disease, and what the worst outcome is and that's the span
11 of disease one is looking at. And whether the VAS that you
12 use or the patient uses are maybe not descriptive enough,
13 that might go into it, but you have these in line. I think
14 they're also somewhat dependent upon the tools that you
15 either use as a clinician or the patient is using to make
16 these assessments.

17 In RA trials, we know that patient assessments
18 are very, very valid and are done without prior information
19 of what they were doing. They know how they're doing since
20 last week and 2 months and when they entered the trial.
21 They don't have to look at their scores to actually come up
22 with an independent assessment for today, and I think the
23 same should be true here, that this should be a slice in
24 time of what's going on today and how the patient is doing
25 at this point in time, based on all the things that affect

1 them from their disease. To introduce past assessments
2 into that equation, I think, muddles it up and doesn't
3 really clarify the issue at all.

4 Maybe the problem then is the assessments
5 themselves are not fine enough that they can distinguish
6 true changes in disease activity.

7 DR. FIRESTEIN: To come back to the question
8 that's asked, there are a number of instruments that have
9 been suggested as being useful in these clinical trials.
10 Do any of them rise above the others as individual
11 endpoints for potentially drug approval, or is some sort of
12 composite of composites going to end up being the gold
13 standard?

14 Michael.

15 DR. WEISMAN: Gary, that's a really good
16 question, and I was thinking about that as I was listening
17 to the discussion because in my mind, it's not clear
18 whether there really is a significant difference between
19 the instruments or, really, are the issues mostly how the
20 instruments are used; that is, the standardization, the
21 training, the glossary, and all the very important
22 scientific aspects of doing any instrument in the disease.
23 And that's true for rheumatoid arthritis or anything else
24 as well.

25 So from what I hear, there are some differences

1 between the instruments or among the instruments. Some are
2 a little bit more subjective than others, but really those
3 differences aren't as great as the differences in how
4 they're implemented; that is, if they're implemented with
5 the proper training, with the proper glossary, with the
6 proper standardization and all the other scientific
7 methods. So I come down more on that side than answering
8 your question if there's one better instrument than the
9 other.

10 I wonder, also, the BILAG instrument has always
11 been cited in this group as well as being the best. I
12 don't know what exactly that means, but it's been cited
13 several times in the previous discussion, and yet it's not
14 widely used at all and what the difficulties are with that
15 may, in fact, be that it's hard to standardize, it's hard
16 to train, it's very difficult to use. So that almost
17 answers my question.

18 So in summary, then I think it's more the
19 training and the scientific methodology that's important
20 than the choice among instruments.

21 DR. FIRESTEIN: Yes, Joan.

22 DR. MERRILL: I have to agree that all of the
23 instruments are fine, if properly-trained people are using
24 them, and I'm sure that Matt knows these data better than I
25 do, but they've all been shown to be sensitive to change

1 and reliable with different observers who know how to use
2 them.

3 I would like to say, however, that I think the
4 BILAG is by far and above the most flexible instrument. It
5 can be used for so many different kinds of assessments. It
6 is not true that it's hard to use. It's quite easy to use.
7 What's hard about it is to do the statistical analyses, but
8 in fact, in a clinical trial, that's not up to the
9 individual investigators, and so a person developing the
10 drug can work with the BILAG people and there's now
11 computerized support for it. So it's actually quite easy
12 to use.

13 DR. FIRESTEIN: Are there any other comments
14 specifically on that question with regard to which
15 instrument? Well, first, Jill, did you want to say
16 something?

17 DR. BUYON: I don't know if we're going to
18 readdress the patient assessment versus the physician
19 because I feel a little dissenting about that, and the
20 other actually slips my mind at the moment. But I don't
21 necessarily want to leave that issue because I think that
22 attribution is extremely important and we didn't mention
23 that yet. But unlike seeing other patients, lupus patients
24 require a lot of time and sometimes how they feel is
25 reflected by other things going on in their lives that are

1 very exaggerated by this disease, and I do think we need
2 objective anchors and I certainly don't want to leave that
3 point.

4 I also do recall, I disagree a bit with Dr.
5 Cush, at least with regard to lupus. If I couldn't go back
6 and the patient couldn't go back and look at how they were
7 even a month ago, I think those scores would be useless.

8 DR. FIRESTEIN: Dr. Simon, did you want to say
9 something?

10 DR. SIMON: I just want to remind you all that
11 what we're asking about is not clinical care, and we really
12 need you to focus on -- not that clinical care is
13 unimportant. We really need you to focus on what kind of
14 instruments will be useful in a clinical trial setting for
15 regulatory approval? What do these instruments tell us?

16 So my question to you, Jill, in particular, was
17 that you inferred that to be used. The question I have to
18 ask you is to be used for what? Not to follow the patient
19 over time from the point of view of a clinical practice is
20 very important.

21 DR. MERRILL: For determining the difference
22 between an effective treatment and placebo, and the reason
23 for that is because you can break it down by organs and
24 your final assessment actually solves the problem that is a
25 problem in RA trials as well, which is the all-or-nothing

1 problem.

2 Now, the SLAM also solves this problem and can
3 be used effectively in this situation as long as there's
4 some way to differentiate between the really tough organs
5 and the really not-so-important organs, which I'm sure
6 could be incorporated into the SLAM.

7 But the point is that a lupus patient can be a
8 whole lot better, but unless everything is gone, the SLEDAI
9 is not going to react, and so you're going to have a
10 narrowing of gap between drug and placebo because of that.
11 So the BILAG actually solves all the problems. You can
12 look at this organ versus that organ which, as Matt pointed
13 out, is a very important thing to do.

14 Now, you can do this with any of the
15 instruments. It's just that there's actually a composite
16 score that you can get that's already been sort of built in
17 to the BILAG which factors in the importance of the organ
18 and whether or not the patient is somewhat improved or
19 totally improved, and you get points for all of that.

20 DR. DOOLEY: I think the other unique aspect of
21 the BILAG is that one of the things that you want to be
22 sure is not happening during a trial is not only is the
23 patient's active symptoms getting better, but that you
24 aren't acquiring de novo or new manifestations of disease
25 that might be an inadvertent effect of the medication.

1 DR. DAVIS: I would like to disagree with some
2 of the comments that have been said, too. I don't think
3 all the instruments are equal or can give us just as good
4 of information. I think the ones that have more subjective
5 scales in them and use longer periods of time are more
6 susceptible to the placebo effect, and I think that's maybe
7 one of the reasons why in past clinical trials, we haven't
8 seen a difference.

9 I also think that patients with lupus have a
10 lot of cognitive difficulties which would be another reason
11 that I want to use more objective outcome measures and for
12 shorter periods of time to help them recall those things.

13 I really think that we don't have good
14 weighting scores, both on the SLEDAI and on the SLAM.

15 DR. FIRESTEIN: We still have a couple of other
16 questions to get to in this section.

17 DR. ALARCON: I think another thing to consider
18 when you're talking about overall assessment and asking the
19 patient -- I think you have no way not to do that -- is
20 that the visual analog scales have a floor effect and a
21 ceiling effect. So if the patient has scored herself to be
22 really at the high end of the score and today is worse,
23 there's no way really to get worse with visual analog
24 scale. So I think that's something that should be
25 considered.

1 I think that you have no way to exclude the
2 patient. You have to ask the patient how the patient is,
3 and I disagree with the fact that you really can only be
4 reliable if you use a measurement that goes for a very
5 short time because in a disease that is so variable as
6 lupus, the value of asking what happened over the last
7 month as opposed to today is that your patient happened to
8 be very sick, being in the trial or not being in the trial,
9 in the first 2 weeks of the month and then comes back to
10 you in the last 2 weeks and she's fully recovered or over
11 the flare, you're not going to capture that.

12 DR. DAVIS: And I'm not going to put her in a
13 trial.

14 DR. ALARCON: She is on the trial already.

15 DR. FIRESTEIN: In terms of the specific
16 question that was asked, Lee, if I can just try to
17 summarize, none of these instruments are perfect and there
18 wasn't hue and cry for a composite of composites that I was
19 able to discern. It sounded like, among the many that are
20 available, the BILAG seemed to have some advantages
21 compared with the others, but again it also had some issues
22 associated with it.

23 Can we just comment briefly on organ-specific
24 outcome measures? That was one of the things that was
25 asked by the agency. Joan.

1 DR. MERRILL: Yes. I think that's a very
2 important aspect of what we need to be able to do. One of
3 the things we need to do is not just prove that something
4 works globally for lupus, which may be impossible, but if
5 we can prove that it works for some aspect of lupus, it
6 will become available and then time will tell.

7 So I think this is very important work, and I
8 believe Matt is doing a lot of work trying to begin to sort
9 some of those things out to support the fundamental
10 research into how that ought to be done, is that correct?
11 Is he here?

12 DR. LIANG: We're bringing it to the village.

13 DR. FIRESTEIN: I guess the question that arose
14 was if you have something that prevents renal flares, for
15 instance, but exacerbates CNS disease, how does one
16 evaluate that?

17 DR. MERRILL: That's something Matt has been
18 working on, isn't it, Matt?

19 DR. LIANG: I and others.

20 DR. MERRILL: Yes. You want to comment?

21 DR. LIANG: What we're trying to do -- and this
22 was also supported by the ACR -- was to pick some major
23 organ systems where we would likely need new agents and,
24 again, because it's really difficult to amass any
25 significant numbers or to, obviously, get information from

1 an ongoing clinical trial to, again, do the exercise of
2 reviewing measures for specific organ systems, borrowing,
3 stealing other people's work, but having a committee come
4 up with what they sensed was a clinically meaningful
5 difference and a recommendation for an appropriate scale.

6 The first of these is nearing completion and
7 that is the renal criteria. And then after that, we had
8 done background work and had actually some position summary
9 papers on other organ systems, and we were hoping that in
10 the absence of data, it's more important to be consistent
11 than to be right and that that would ensure a level playing
12 field and perhaps avoid what is commonly done in trials and
13 that is to do post hoc data dredging for statistically
14 significant differences.

15 This is our sort of effort, and obviously we
16 would want to test these out, but I think realistically, it
17 would be very difficult, for instance, to amass enough
18 patients with specific neuropsychiatric phenotypes to
19 actually test these out. So I think we're left with trying
20 to do a sensible but not perfect nor completely evidence-
21 based job, but I think we need to do it, otherwise we will
22 be having these meetings endlessly about how difficult it
23 is.

24 DR. FIRESTEIN: Let's move on now to the
25 quality of life questions, and these discussions are, of

1 course, restricted to the panel members only.

2 One of the questions is whether or not this
3 should or could be a primary outcome measure in evaluation
4 of lupus. Jack.

5 DR. CUSH: Well, my comments actually also
6 relate to organ. I don't know that you can have an organ-
7 specific indication or a quality of life indication without
8 actually having a disease-improving indication. So meeting
9 a criteria for a SLEDAI or SLAM or BILAG, along with an
10 organ-specific like renal or musculoskeletal or heme or a
11 quality of life, that makes more sense to me.

12 I don't know that you'd want a therapy to be
13 approved for something that in trials might, for instance,
14 improve quality of life but not actually improve SLEDAI,
15 SLAM, or more global measures. I don't know if you've
16 really gained anything in the treatment of lupus.

17 DR. FIRESTEIN: Yes.

18 DR. LOONEY: Just a query, I suppose. One
19 aspect of lupus you could consider would be antibodies
20 against phospholipids, and Coumadin would be a pretty good
21 drug to test as an effective treatment for that but would
22 have no beneficial effect on most of these parameters at
23 all.

24 DR. FIRESTEIN: Mike.

25 DR. WEISMAN: Go ahead, Dan.

1 DR. WALLACE: I think Vibeke presented some
2 data that was published on lupus this month on the quality
3 of life indices with the LJP394 where she showed dramatic
4 improvements in quality of life with just lowering anti-DNA
5 and nothing else.

6 On the other hand, I think in the Gene Lab's
7 DHEA studies, you have improvements in quality of life
8 without improvements in those parameters.

9 So quality of life can be improved with or
10 without other instruments necessarily improving, and I
11 think it's a very, very important component, and I think
12 every study that's been done with quality of life has
13 really validated the current indices' use in lupus.

14 DR. FIRESTEIN: Michael.

15 DR. WEISMAN: I think Jack raised the important
16 question and that is, that if you can improve quality of
17 life and make no change in any of the other parameters or
18 instruments, is that sufficient for drug approval in this
19 disease.

20 I'd like to hear Lee's comment on that because
21 it's very clear in the draft guidance documents submitted
22 to us that the agency will not tolerate, if you will,
23 worsening in the disease with improvement in something else
24 and that's throughout the document. But what if everything
25 is stable and there is improvement in quality of life or

1 even improvement in lupus nephritis, if a drug is very
2 specific for lupus nephritis, and everything else is the
3 same? Is that sufficient in the agency's view for approval
4 of a drug in this disease? That's a question, not a
5 comment.

6 DR. FIRESTEIN: Well, Michael, that's the
7 question that's being asked of the committee.

8 (Laughter.)

9 DR. FIRESTEIN: So what do you think?

10 DR. WEISMAN: I think it is and I think that
11 we've reached the point now where we understand the value
12 of each one of these individual organ-specific measures.
13 We know that. We've had 20 some years of experience in
14 understanding what the predictors are for mortality.
15 That's again in the draft guidance document. The agency
16 understands that as well, and I think the experience with
17 quality of life and its meaning for lupus patients is also
18 well understood. So my opinion is, yes, I think that's
19 sufficient, as long as the rest of the disease doesn't get
20 worse.

21 DR. BUYON: I would say that I agree, as long
22 as the other doesn't get worse, but that's too vague for
23 me, and I personally would vote down quality of life as a
24 single outcome. I would also point out we have a very
25 heterogeneous group of patients from an educational point

1 of view, and I know you don't want to talk about care of
2 patients, but we're still dealt with Belleview Clinic,
3 clinics in inner cities versus private practices, that
4 we're drawing the group of patients here and certainly
5 we've seen many individuals who feel very fine and want to
6 refuse all of our therapies when we see the creatinine
7 rising. So I would be extremely against quality of life as
8 being the single outcome measure.

9 DR. FIRESTEIN: The analogy in rheumatoid
10 arthritis, by the way, is that there are composite indices
11 for disease activity but there are quality of life
12 indications as well, and I think at least from my
13 perspective that is a reasonable approach to this disease
14 as well.

15 Jim, do you have a comment?

16 DR. WILLIAMS: Yes. I agree that quality of
17 life is very important as an outcome measure, but I would
18 agree that I do not see it as the primary outcome measure.
19 It see it as an adjunctive outcome measure.

20 DR. CALLAHAN: I just wanted to clarify. When
21 we talk about quality of life, I think it's very important
22 to have it as adjunctive, and lupus is not my main area,
23 but I would think you'd have to have other primary
24 outcomes.

25 Are we having a discussion, too, about whether

1 it should be a generic quality of life measure versus more
2 disease-specific or just any one? As long as they have any
3 measure, it's fine?

4 DR. FIRESTEIN: I don't know.

5 Yes. Were you going to address that question?

6 DR. WALLACE: I think the quality of life
7 indices are hampered a bit in that they don't take into
8 account disability and they don't take into account
9 fatigue, and I think they have to really be improved before
10 we can use it as a single parameter.

11 DR. FIRESTEIN: Joan, and then Richard, and
12 then we're going to move to the next question.

13 DR. MERRILL: I thought that Vibeke's data were
14 compelling and my own instinct agrees with her data which
15 is that if patients get better, their quality of life
16 improves. I would rather see the focus of what we're doing
17 here, which is a very serious intent, which is to try to
18 finally figure out a way to develop drugs for lupus be on
19 improving lupus. I have to agree with Jack on that.

20 I don't need an approval for lupus for Prozac.
21 I can give Prozac anyway.

22 DR. FIRESTEIN: I forgot about Jennifer.

23 DR. ANDERSON: Well, I would submit that Prozac
24 may affect some aspects of quality of life, but the way
25 that quality of life is measured, it actually helps status,

1 and it has physical and mental components, and it actually
2 reflects some aspects of disease activity and some aspects
3 of damage as well. They're all interrelated. So I don't
4 think that's a reason to reject quality of life.

5 The thing that I wanted to say was really
6 following the item that Vibeke Strand presented relating to
7 outcome domains recommended by OMERACT. The three, disease
8 activity, damage, and health-related quality of life, also
9 adverse events and economic costs, but those are in a
10 different realm. It's important that all three, disease
11 activity, damage, and health-related quality of life, be
12 included, I think, in an outcome measure for use in
13 clinical trials because they're all important.

14 I'd like to understand more about specific
15 measures for health-related quality of life. I don't know
16 that they're going to be discussed today, but given that
17 fatigue and some other aspects of disability that may not
18 be covered in the SF-36 are important in lupus, I don't
19 know whether any attention has been given to developing
20 lupus-specific quality of life measures, but that should be
21 examined further.

22 DR. FIRESTEIN: Just a quick comment from
23 Richard and then Jeff, and then we're going to move on to
24 the last question.

25 DR. LOONEY: As I understand it, the question

1 was could it be used as a primary outcome and can I
2 envision a group of lupus patients in which that would be a
3 reasonable outcome to be the measure as opposed to it
4 always being or being in people with organ damage. I think
5 in people who have a specific organ which is the target of
6 your therapy, then no, it wouldn't be an appropriate
7 outcome, but people who don't have that, it would seem like
8 that would be actually a very good outcome to use.

9 DR. SIEGEL: In the previous discussion, we've
10 heard a couple of different points of view with respect to
11 the importance of improvement in one specific organ system,
12 and since this is a major part of the concept paper, I
13 wonder if at some point we could hear a little bit more
14 from the rest of the committee.

15 Some people have said that they don't think
16 improvement in one organ system would be enough. The
17 disease as a whole should improve. Other people have
18 suggested that they thought improvement in one organ system
19 would be enough, so long as the other organ systems don't
20 worsen. So at some point, it would be helpful to get
21 feedback from the other members of the committee.

22 DR. FIRESTEIN: I didn't get the sense that
23 people were opposed to a single organ indication, as long
24 as the other aspects of the disease didn't worsen.

25 DR. BUYON: A very brief comment. As I was

1 reading through the document that you handed us, I'm not
2 sure I even agree with that. If renal disease was made
3 better and a malar rash might be made slightly worse, I
4 think we have to be very cognizant of the fact that perhaps
5 that would be okay, and I would not want to close the barn
6 door as an absolute, and I actually very much disagreed
7 with the idea that everything had to be okay.

8 If we find a medication that literally stops
9 diffuse proliferative glomerular nephritis in its track and
10 there just might be a little more hair loss, let the
11 patient decide that, not us.

12 DR. FIRESTEIN: I don't think anybody suggested
13 that actually. I think the notion is that if there is
14 significant worsening that is of the same order of the
15 original disease and then the patient is no worse off, but
16 worsening of malar rash or alopecia.

17 What direction would you like to go at this
18 point? Because we can go on longer on this, if you'd like.

19 DR. SIMON: It would be very helpful to hear
20 the other two points from people have already raised their
21 hands, or three.

22 But also, I'd like to end off a question. This
23 may seem self-evident, but to us it's not. I'll go back to
24 lupus nephritis yet again and their lupus nephritis is
25 being treated with some specific agent and it improves. Do

1 you all expect that, in addition, you would like some other
2 disease activity index to be measured as well as the
3 indicator of the overall disease lupus improving, one, two,
4 or three different measures? Would one be enough or do we
5 need more than that?

6 Then furthermore, as Gary had alluded to
7 before, a la the rheumatoid arthritis guidance document, in
8 a tiered nature of the indications, moving from signs and
9 symptoms to x-ray to physical function, would you all see
10 that HRQOL would serve in the realm of a health-related
11 quality of life indication further enhancing the investment
12 into trial development and indications to allow more
13 studies to be done as we did with the rheumatoid arthritis
14 guidance document?

15 DR. FIRESTEIN: So who were the two people with
16 their hands up?

17 DR. WILLIAMS: I just wanted to comment that I
18 agreed with Jill. I read the document the same way, that
19 any worsening would make it unacceptable.

20 DR. FIRESTEIN: Was that the intent?

21 DR. SIEGEL: No.

22 DR. FIRESTEIN: No.

23 DR. WILLIAMS: I wasn't speaking to intent. I
24 was speaking to our interpretation.

25 DR. FIRESTEIN: I understand. I'm just trying

1 to sort through if that's what they wanted it to say.

2 Then Jack, and we'll just go around the table.

3 DR. CUSH: I was raising my hand in response to
4 the organ-specific question. Why do we step up our therapy
5 in patients? Usually it's because we deem lupus to be
6 active based on several parameters or we deem one organ
7 specific to be out of control that we have to treat
8 specifically, thrombocytopenia or renal failure or CNS
9 disease. So I would be in favor of a single, sole organ-
10 specific indication, as long as those are well defined,
11 based on some study.

12 But I still think the better way to go in trial
13 design to get a drug approved, to answer Lee's question, is
14 that more than one measure must be done for disease
15 activity, to get that indication and then to get one of
16 these other indications, whether quality of life or organ-
17 specific. I'd like to see at least one of those improving
18 with these organ-specific measures improving or quality of
19 life improving. That would be what I'd like to see.

20 DR. MERRILL: I'd like to give an example of a
21 situation where you could have a drug that would be
22 wonderful for one organ in lupus and really might make
23 lupus worse and that's thalidomide which is highly
24 effective for discoid lupus and is a tumor necrosis factor
25 alpha blocker. I think widespread use of thalidomide in

1 lupus might cause some pretty bad flares. I think the
2 question is still kind of open, and I think it's a drug
3 worthy of study. I think the approach of tumor necrosis
4 factor alpha blockade for certain manifestations of lupus
5 might be worthy of study, but we would be going in
6 understanding that there's the possibility you could in
7 certain situations cause flares.

8 When we're more sophisticated, we may be able
9 to do this better and be able to tread lightly and know
10 what to measure and not get the patients into any trouble
11 doing it, but I have to vote that we keep a little bit of
12 an open mind about worsening in other organs because I
13 agree with Jill. She gave examples that were straw men
14 that were easily knocked down, but it may be that for some
15 people with devastating, disfiguring discoid lupus, it
16 would be worth it to them to risk an arthritis flare.

17 DR. FIRESTEIN: I'd just add one small point to
18 that and that is that the mechanism of action of
19 thalidomide is not certain.

20 DR. MERRILL: Fair enough.

21 DR. FIRESTEIN: It is not at the therapeutic
22 doses necessarily a TNF blocker.

23 DR. MERRILL: Fair enough. But there are
24 actually other theoretical reasons to consider TNF blockers
25 for discoid lupus.

1 DR. FIRESTEIN: I understand that.

2 Any other comments? Graciela.

3 DR. ALARCON: The question of whether or not
4 you need to use a general instrument in addition to measure
5 organ-specific, the answer is absolutely yes. I will favor
6 to use more than one activity index. If you really examine
7 your patients and ask your patients all the right question,
8 you can score the SLAM, the SLEDAI, and the BILAG with your
9 source document, as Jill mentioned, without any
10 difficulties. So I think that really and truly you will be
11 better off examining and scoring your patient completely
12 and then scoring everything.

13 Then as part of the trial, I think that you
14 have to include the quality of life, and I think that
15 therefore it's not a lot more effort to do it if you are
16 really spending millions of dollars in developing your
17 medication or getting your medication to the market. So
18 I'll go for all of them.

19 The fact that the SF-36 doesn't cover fatigue I
20 don't think is true because one of the scales of the SF-36
21 is vitality and if you actually correlate that, very well,
22 you can see that it correlates very well with the degree of
23 fatigue the patients experience. So I think that's a very
24 good instrument.

25 DR. DAVIS: I have a comment too. I'd agree

1 with the single organ --

2 DR. FIRESTEIN: Excuse me one second.

3 We're going to have to, I think, move on at this point.

4 One last very quick comment.

5 DR. DAVIS: Okay. It was just hearing other
6 people's opinions, and my opinion of it is single organ
7 system would be fine, and I wouldn't require multiple other
8 markers to change if I had a very, very effective drug, for
9 instance, for lupus nephritis.

10 DR. FIRESTEIN: Question number 3 regarded
11 responder indices, and I think essentially most of the
12 instruments that we're using or suggesting to use are
13 responder indices. So I think that question is probably
14 answered.

15 The last question relates to clinical trials
16 with regard to irreversible damage, and I would ask Dr.
17 Simon whether he wants to go into that discussion now or
18 maybe come back to that later because we have another
19 presentation at this point.

20 DR. SIMON: Well, actually, I would like to
21 return to one other thing because it has to do with the
22 responder indices issue and it actually does relate to 4.
23 If one is to measure SLAM, SLEDAI, and BILAG in the same
24 trial, there is an issue of multiplicity. You're doing
25 multiple measures, and a responder index which actually

1 might/should possibly be developed would theoretically
2 provide a bar of response that you'd be looking for that
3 would take into consideration MCID that had been defined in
4 some fashion and then would be able to then provide a bar
5 that the SLAM should change by X, the SLEDAI by Y, and
6 BILAG by Z, achieves that bar in A percentage of patients
7 and that that A percentage of patients is acceptable to the
8 community as a substantial response compared to placebo or
9 standard of care.

10 That is the kind of thing we were looking for
11 in the context of a responder index, but you're correct,
12 any one of these things is a responder index. But if we're
13 really going to go the route of multiple different measures
14 within a trial, then I was wondering what the community
15 thought about then inventing an ACR, WHO, ILAR, blank
16 something for response in lupus that would take all of
17 these measures into consideration.

18 DR. FIRESTEIN: I didn't sense a lot of
19 enthusiasm for that in the previous discussion. Does
20 anybody else want to comment on that? Bevra?

21 DR. HAHN: Personally, I think it's a good
22 idea. I've been thinking about it for years and those of
23 you who work in RA could maybe guide us better. That
24 seemed to be a big breakthrough in RA.

25 I think the reason I'm reluctant to get into it

1 companies to include multiple indices when they do these
2 studies, then although we are going to introduce some
3 confounding in terms of multiple measures, the ability to
4 use that data in the end by somebody like Matt in a
5 computerized analysis to determine what in fact are the
6 best factors to be included in a global index will present
7 itself automatically.

8 DR. FIRESTEIN: David.

9 DR. PISETSKY: I was going to say to a certain
10 extent for rheumatoid arthritis that these were
11 retrospective data and they were based on clinical trials
12 of existing agents, both the Paulus criteria and ACR. So
13 there was a data set that allowed you to distinguish what
14 worked and what didn't work, and this is, I think, a very
15 different situation where you don't have the background of
16 clinical trials to go forward.

17 DR. FIRESTEIN: Jennifer, Jack, Joan, and then
18 Jeff.

19 DR. ANDERSON: Yes. A lot of the impetus for
20 the development of improvement criteria in rheumatoid
21 arthritis was the existence of multiple measures and the
22 multiplicity of answers that you could get and it was very
23 unsatisfactory for deciding whether a drug had really
24 improved in comparing from one drug to another and so on.

25 Yes, enough of the measures had been used in

1 the past that it was possible to use preexisting data to
2 develop the measure, and I would think in a few years'
3 time, if trials have been done with using the various
4 measures that are being suggested here, there would be
5 enough data to develop a response criterion that would be a
6 single outcome measure for use in SLE clinical trials, but
7 I believe that it can't be done just yet. But if the data
8 is gathered properly from all the trials and made available
9 to somebody to do analysis, then it's not a difficult
10 matter to come up with that within only a few years' time,
11 I would expect.

12 DR. FIRESTEIN: Jack.

13 DR. CUSH: I would be against the combination
14 of these tools as a responder index. I think you have the
15 tools right now to give you the indications for control of
16 signs and symptoms and control of quality of life and
17 control of an organ-specific thing, and I would stick with
18 those individually.

19 DR. FIRESTEIN: Joan.

20 DR. MERRILL: I agree with Jack actually. I
21 think that for different drugs that you're developing, one
22 of these instruments might be better than another, and I
23 think they should be able to choose what their primary
24 measurement is from some of the options that exist.

25 I do want to point out, however, that I think

1 the data are out there that could be used to develop really
2 much better assessments of our tools and figuring out what
3 the margins ought to be from the FDA's point of view, what
4 kind of improvement they would want to see.

5 We've had completed clinical trials by Gene
6 Labs, LJP, IDEC, Biogen. There's a lot of data out there
7 that really hasn't been mined for what it could tell us
8 about how to develop drugs.

9 DR. FIRESTEIN: Okay. As Dr. Siegel gets
10 ready, one last comment from Dr. Diamond.

11 DR. DIAMOND: I think what Bevra said is very
12 important, that we have enough tools that we shouldn't wait
13 on anything before going forward with clinical trials, and
14 I think the other thing that's true is what's been said
15 many times. When we have a good therapy, we'll be able to
16 assess which of these tools is best. And while I think
17 it's very important to use a multiplicity of tools in
18 clinical trials, I think it's also very important that when
19 we do organ-specific clinical trials, which I certainly
20 think we ought to be able to do in lupus, that we not
21 require that one meet any standard on these global
22 assessments, that we have them, but that part of the
23 efficacy of the drug not be determined by that.

24 So we need to do them to learn, but not
25 necessarily to approve the agent.

1 DR. FIRESTEIN: Thank you. Well, we're all in
2 complete agreement, as usual.

3 (Laughter.)

4 DR. FIRESTEIN: Now Dr. Siegel will talk about
5 SLE claims.

6 DR. SIEGEL: Thank you. In my talk this
7 morning, what I'd like to do is to discuss some
8 considerations with respect to deciding what types of
9 claims, what types of benefits should be recognized for
10 agents undergoing clinical trials in systemic lupus
11 erythematosus.

12 As background for my talk, I just want to
13 review a few points that I think many people in the
14 audience were already aware of. We have not had any new
15 products approved for lupus in recent years, and while
16 products can be developed without guidance, formal guidance
17 from the FDA can be helpful. Guidance on what can
18 represent adequate evidence of efficacy can have an
19 important role in facilitating drug development. In a
20 disease like lupus, ideally guidance should recognize a
21 broad range of potential benefits that therapeutic products
22 could achieve in this disease.

23 In formulating a claim structure, as I
24 mentioned, it's desirable to include a wide range of
25 potential clinical benefits, but there are a number of

1 challenges in reaching this goal. For one, as has been
2 mentioned many times, lupus has very widely different
3 manifestations from patient to patient and over time.
4 Disease activity has a tendency to wax and wane over time,
5 making assessment difficult and complex, and there's a
6 paucity of randomized clinical trial data to be used to
7 characterize the clinical benefits of many of the currently
8 used agents.

9 On this slide, I'm showing some of the
10 potential claims that are under consideration in the
11 agency. The first would be perhaps the most
12 straightforward in some ways, which is that a new
13 therapeutic product would improve disease activity in a
14 specific organ.

15 The next, which is anything but
16 straightforward, would be reducing signs and symptoms, and
17 a claim of this type would be based on a trial that showed
18 improvement in a disease activity index -- and I'll call
19 this DAI in the rest of my talk -- compared to a control
20 arm.

21 But there's one very difficult problem that
22 we've been grappling with, which is that if improvement in
23 a trial like this concerns non-internal organ system
24 manifestations, perhaps the benefit that such a trial would
25 show would be better described as improvement in

1 constitutional symptoms and constitutional aspects of the
2 disease rather than improvement in overall disease
3 activity, and I'll talk about this in more detail as I go
4 on.

5 The other claims that are under consideration
6 is prevention of lupus flares, complete response or
7 remission, and improvement in health-related quality of
8 life. So turning first to organ-specific disease activity,
9 the evidence that we're talking about here would be based
10 on a study that enrolled patients with active disease in a
11 specific organ system. So, for example, patients could be
12 enrolled who have disease in renal aspects of disease,
13 hematologic, pulmonary, or central nervous system disease.

14 In addition, such a study could enroll patients
15 who have disease in more than one organ system, but you'd
16 use stratification, so patients with disease in each organ
17 system would be balanced across study arms to be able to
18 reach conclusions about each organ system manifestation in
19 the trial, and a successful trial would demonstrate better
20 control of disease in the involved organ system with study
21 drug compared to control.

22 In many cases, however, outcome measures are
23 not yet well-defined for organ-specific manifestations and
24 this presents really an enormous challenge for optimal
25 design of clinical trials. One possibility is to use

1 portions of disease activity indices that assess specific
2 organs to explore those for their suitability as outcome
3 measures in clinical trials and this would need to be done
4 on a case-by-case basis and validated.

5 The definition of success could be restricted
6 to complete remission in that organ system, or it could
7 allow partial responses in control of disease activity to
8 also be recognized as a clinical benefit.

9 One specific example of improvement in an
10 organ-specific manifestation would be lupus nephritis.
11 Lupus nephritis has, of course, represented a major cause
12 of morbidity and mortality in the past. However, modern
13 management has been associated with improved outcomes
14 compared to earlier eras. Nonetheless, current treatment
15 modalities are associated with considerable toxicity in
16 many cases.

17 Possible outcome measures for lupus nephritis
18 are shown here. Survival and progression to end-stage
19 renal disease represent clear clinical benefit but may
20 occur too infrequently to serve as sensitive indicators of
21 treatment effect. Other potential outcome measures include
22 doubling of serum creatinine, and this has been reported to
23 predict progression to end-stage renal disease, at least in
24 certain populations. Others include smaller increases in
25 serum creatinine, such as an increase of 50 percent, or

1 sustained attainment of renal remission using accepted
2 criteria, such as normalization of an active urine
3 sediment, improvement in glomerular filtration rate, or
4 improvement in proteinuria.

5 Turning next to the next potential claim,
6 reduction in signs and symptoms, this claim would represent
7 success in a clinical trial that showed benefit in signs of
8 disease activity and the associated symptoms, but as I
9 mentioned before, there's been considerable internal agency
10 discussion about the relative merits of calling such an
11 improvement a signs and symptoms benefit versus improvement
12 in constitutional symptoms. And I'll tell you more about
13 exactly what I mean by constitutional symptoms in a minute.

14 But such a clinical trial showing improvement
15 in signs and symptoms would assess overall control of
16 disease activity, so in contrast to an organ-specific,
17 overall control of disease activity using a disease
18 activity index, such as the SLEDAI, the SLAM, the BILAG, or
19 another validated index.

20 Since disease activity indices measure a wide
21 range of disease manifestations, defining the clinical
22 benefits demonstrated in such a successful trial may be
23 quite complex, and I'm going to illustrate that with two
24 extreme examples in the next two slides. This isn't in
25 your handouts. I apologize.

1 Consider trial number 1. Such a trial would
2 enroll patients with active lupus stratified for the type
3 of internal organ system involvement. Let's imagine trial
4 1 at the end of the trial showed scores on the disease
5 activity indices that were statistically significantly
6 reduced with study drug compared to control. And further
7 imagine that the percent of patients with renal, pulmonary,
8 CNS, hematologic manifestations, each represented about 25
9 percent of the overall study population; namely, there were
10 enough patients to assess that there was improvement in
11 each organ system with study drug compared to the control.

12 Based on such a study, you might conclude that the study
13 drug showed efficacy on a variety of major internal organ
14 system manifestations of lupus.

15 Now consider another study, trial 2. This
16 study would also enroll patients with active lupus, perhaps
17 a similar size study, perhaps patients with similar overall
18 baseline scores in their disease activity index, but this
19 trial does not stratify for the type of internal organ
20 system involvement. Imagine that trial 2, at the end of
21 the trial, shows scores on the disease activity index that
22 again are statistically significantly reduced compared to
23 control.

24 However, imagine that the percent of subjects
25 with each of these individual organ system involvements,

1 renal, pulmonary, CNS, hematologic, in this case only
2 represent, say, 10 percent of the overall study population,
3 and with such small numbers, there's no clear evidence of
4 improvement with study drug compared to control.

5 But imagine that the improvement in disease
6 activity can be largely attributed to improvement in
7 arthritis, skin, fatigue, and other non-internal organ
8 system manifestations. Here you might conclude that there
9 is a drug effect but you cannot conclude that there's clear
10 evidence of efficacy on internal organ system
11 manifestations.

12 So these are the two extremes. For a signs and
13 symptoms claim, to attain such a claim, a product would
14 need to show benefits in control of the common and serious
15 manifestations of lupus. Therefore, a trial showing
16 efficacy would need to enroll subjects with disease
17 affecting the major target organs in lupus, and it would
18 need to demonstrate that the efficacy is general and not
19 restricted to specific organ systems, otherwise perhaps you
20 would have a claim for those specific organ systems but not
21 for signs and symptoms of lupus in general.

22 Now, let's talk in contrast about what
23 reduction in constitutional symptoms might mean. Here, the
24 idea is that some products may demonstrate an effect on
25 disease activity indices without affecting disease activity

1 in major internal organ systems by affecting what you might
2 call the constitutional aspects of disease, for example,
3 effects on arthritis, rash, fever, fatigue, serositis. So
4 perhaps reduction in constitutional aspects of disease
5 should be recognized as a distinct claim.

6 Such a claim would represent improvement in
7 constitutional symptoms as a clinical benefit of products
8 that don't affect the internal organ system manifestations.
9 Now, one of the challenges here is that currently there are
10 no validated instruments for assessing constitutional
11 symptoms.

12 The next claim I'd like to discuss is
13 prevention of lupus flares. Here, the idea would be that a
14 product showing this benefit would have demonstration of
15 efficacy in preventing lupus flares in trials of adequate
16 length that showed one or more of the following potential
17 benefits: reduced frequency of flares over an adequate
18 time frame, increased time to flare compared to a control
19 arm, or reduced severity of flare. And for a trial like
20 this, use of a validated definition of flare would really
21 be essential.

22 Now, you could argue that efficacy in
23 prevention of flares is really similar to the benefit of
24 control of disease activity. However, some products may be
25 effective at preventing flares but could not be used in

1 treating acute disease. So, for example, high-dose
2 corticosteroids may treat acute disease but may be too
3 toxic to use to prevent flares in long-term use. Other
4 products, in contrast, may be better tolerated long-term
5 and could have utility in preventing flares, and for an
6 example, I would give a study from John Esdaille, et al.,
7 in the New England Journal that assessed the use of
8 hydroxychloroquine in preventing flares. So the idea here
9 would be that prevention of lupus flares may represent a
10 distinct benefit in some circumstances.

11 Next, turning to complete clinical response and
12 remission, this claim would be defined by analogy with a
13 similar claim for rheumatoid arthritis as a prolonged
14 absence of disease activity in patients who previously had
15 active disease. The clinical trial evidence would involve
16 absence of disease activity, for example, for 6 consecutive
17 months. The study could represent a 12-month clinical
18 trial with disease activity score achieving 0. For a
19 complete response, the outcome would be achieved while
20 patients were also receiving other lupus-directed
21 therapies, whereas for remission, the outcome would be
22 achieved in patients receiving no other lupus therapies.
23 And furthermore, the claim could pertain to one single
24 organ system or could be for treatment of lupus generally,
25 depending on the patient population studied.

1 I want to present a few caveats in these
2 claims. The proposed claim structure I've talked about
3 allows for approval of products affecting targeted organ
4 systems. However, products to be approved must show an
5 overall favorable risk-benefit ratio. So for example,
6 there shouldn't be any worsening of other aspects of lupus
7 that would counterbalance the benefit seen in the
8 particular organ system under study and no unacceptable
9 adverse event profile again that would counteract the
10 benefit seen.

11 Of necessity, regardless of the claim that was
12 being sought, clinical trials should assess all relevant
13 disease domains, including disease activity, irreversible
14 damage, and health-related quality of life.

15 Now, let me turn to health-related quality of
16 life as a claim for a minute. OMERACT recognized health-
17 related quality of life in lupus as a key domain in
18 assessment of lupus in clinical trials. Recognizing a
19 claim of improvement in health-related quality of life is
20 under consideration. A product that attains this claim
21 would previously be shown to also reduce disease activity
22 or in the same trial, and evidence should include a
23 validated health-related quality of life measure in lupus
24 and a patient global assessment. Assessment of health-
25 related quality of life outcomes in clinical trials should

1 include the same statistical rigor as other endpoints under
2 assessment.

3 In conclusion, the proposed claim structure
4 would recognize a variety of potential clinical benefits.
5 Some of the challenges are: one, designing clinical trials
6 that clearly demonstrate which patients would benefit from
7 the therapeutic product and what benefits they would
8 attain; and, two, describing the benefits seen in the
9 studies in a useful and accurate manner for patients and
10 clinicians. Finally, clinical trials should assess the
11 effects of the therapeutic product on all domains of
12 disease in order to fully characterize risks and benefits.

13 Thank you.

14 DR. FIRESTEIN: Thank you very much.

15 Yes, Dr. Diamond?

16 DR. DIAMOND: Can I just make one comment? I
17 mean, I'm sure there are lots of comments that we all want
18 to make about this, but can you tell us why a claim cannot
19 be for reducing morbidity from therapy? Because I think
20 all of us who work in lupus know that we want drugs that
21 don't have the side effects of Cytoxan and steroids, and it
22 would seem to me that that would be a major advance and
23 it's not included in any of the claims.

24 DR. SIEGEL: I think we are interested in
25 hearing additional claims that the committee might think

1 are worthwhile and important that we did not include in our
2 talk paper or in my talk today, but I can discuss a little
3 bit about why we didn't include that.

4 I think some people might think that it would
5 be straightforward to show that a product reduces toxicity
6 due to another therapy, but it actually can be quite
7 difficult. For one thing, suppose the product reduced the
8 toxicity from another product short-term but it had to be
9 used long-term, and when it was used long-term, there were
10 additional toxicities that came out after only 6 months or
11 a year of treatment. Then what we'd have to do, to assess
12 whether there's a favorable risk-benefit of the new
13 product, is not just to show that you don't get the
14 toxicities of the old agent but to characterize the
15 toxicities of the new agent and somehow weigh one against
16 the other.

17 I think this would depend on what product
18 you're talking about, but there could be some cases where
19 the new toxicities would clearly counterbalance the
20 benefits of not having the toxicities of another product.
21 I don't want to close off discussion. We'd very much like
22 to hear your thoughts, but that's one of the concerns.

23 DR. FIRESTEIN: Yes, sir.

24 DR. SIMON: Thank you. Without dragging this
25 on longer, I think it's important for the committee to

1 remember that the FDA is charged with approving drugs that
2 demonstrate efficacy and safety, and under those
3 circumstances, such a putative agent would have to
4 demonstrate efficacy and that alone in the context of
5 safety, the safety issue would be highlighted significantly
6 within the label in clinical trial descriptors, as well as
7 potentially ways that the agency might choose, to
8 capitalize and emphasize the safety issue.

9 So the idea of an indication for safety is a
10 difficult one because in fact it's an efficacy indication
11 that is associated with an improved safety profile and that
12 would be heavily described if that exists.

13 DR. DIAMOND: So can you just clarify something
14 for me? So that means something could be approved if it
15 performed as well as Cytosan and had a better safety
16 profile or if it performed as well as Cytosan and had the
17 same safety profile. Both? Neither? That's what I'm not
18 understanding.

19 DR. SIMON: I'll make it even clearer. A, no,
20 because Cytosan is not approved and has no indication and
21 furthermore has had no real good clinical trials that show
22 efficacy.

23 But let's say it did and let's say Cytosan was
24 an approved therapy. A new product that came along that
25 was efficacious that was not inferior to cyclophosphamide

1 in the context of lupus nephritis, let's say, and had
2 improved safety and was proven -- and important improved
3 safety -- would receive a label that would describe such a
4 thing and would give an approval.

5 If in fact it was superior to cyclophosphamide,
6 it would not require cyclophosphamide to be approved at all
7 anyway and therefore would get that, and again if it had an
8 improved safety signal, that would be highly described
9 within the label.

10 DR. FIRESTEIN: And with that, we'll have
11 lunch. So we will reconvene at 12:40.

12 (Whereupon, at 11:38 a.m., the committee was
13 recessed, to reconvene at 12:40 p.m., this same day.)

14

15

16

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 (12:40 p.m.)

3 DR. FIRESTEIN: If everybody is ready, we'll go
4 ahead and get started, and if people aren't ready, we'll go
5 ahead and get started anyway.

6 So this is an interesting change in terms of
7 how these meetings are held with an open public hearing and
8 some statements from a whole list of folks over the next 30
9 minutes. So we have six people that are scheduled and
10 potentially others that may not be on the schedule who will
11 have the opportunity to speak. So if you will each come up
12 and introduce yourselves, and I believe each individual
13 gets 5 minutes to make their comments, unless otherwise
14 indicated, yes.

15 So the first is Dr. Paul Brunetta from
16 Genentech.

17 (No response.)

18 DR. FIRESTEIN: Well, that was shorter than I
19 anticipated.

20 (Laughter.)

21 DR. FIRESTEIN: The next is Dr. Dan Wallace.

22 DR. WALLACE: Thank you. My name is Dan
23 Wallace. I'm a member of the Division of Rheumatology at
24 Cedars-Sinai Medical Center and a Clinical Professor of
25 Medicine at UCLA.

1 DR. FIRESTEIN: Hang on one second. I'm sorry
2 for interrupting. I'm supposed to read something in
3 advance of this which I thought was going to be read by
4 somebody else.

5 In any case, both the Food and Drug
6 Administration and the public believe in a transparent
7 process for information-gathering and decision-making. To
8 ensure such transparency at the open public hearing session
9 of the advisory committee meeting, the FDA believes that it
10 is important to understand the context of an individual's
11 presentation.

12 For this reason, the FDA encourages you, the
13 open public hearing speaker, at the beginning of your
14 written or oral statement to advise the committee of any
15 financial relationship that you may have with any company
16 or any group that is likely to be impacted by the topic of
17 this meeting.

18 For example, the financial information may
19 include a company's or a group's payment of your travel,
20 lodging or other expenses in connection with your
21 attendance at the meeting. Likewise FDA encourages you at
22 the beginning of your statement to advise the committee if
23 you do not have any such financial relationships.

24 If you choose not to address this issue of
25 financial relationships at the beginning of your statement,

1 it will not preclude you from speaking.

2 Now, I apologize for interrupting you.

3 DR. WALLACE: I understand the other speaker
4 came in. I don't know if you want to have him first.

5 DR. FIRESTEIN: I think that's very reasonable.
6 So the first speaker is Dr. Brunetta.

7 DR. BRUNETTA: I just wanted to read a very
8 brief statement related to claims for treatment, and this
9 is a point that's been touched on by this committee
10 previously.

11 Cyclophosphamide and prednisone remain the
12 standard of care for treatment of severe lupus nephritis.
13 The concept paper comments on improved renal survival with
14 the use of these medications, and Cytoxan, as mentioned by
15 Dr. Diamond, in particular is known to have significant
16 treatment-related morbidity. The concept paper does not
17 assert that a Cytoxan-sparing program would be a claim for
18 treatment in a complete clinical response or induction of
19 remission trial in lupus nephritis. So that is part of a
20 question whether or not a Cytoxan-sparing claim would be
21 acceptable to a committee such as this.

22 If a Cytoxan-sparing claim is not acceptable to
23 the FDA and a placebo-controlled trial in lupus nephritis
24 is considered, we then have to determine what would be an
25 ethically acceptable period wherein a patient with severe

1 lupus nephritis would be treated off of Cytoxan and how we
2 would then determine rescue for that patient.

3 So that's the main point that I want to make,
4 that Cytoxan-sparing is quite important to patient care,
5 very important to investigators and to clinicians and how
6 we would consider Cytoxan-sparing in a program.

7 DR. FIRESTEIN: Thank you. Did you state your
8 financial interest?

9 DR. BRUNETTA: Yes. I'm Assistant Medical
10 Director at Genentech in the Biotherapeutics Division.

11 DR. FIRESTEIN: Thank you very much. Now, back
12 to Dr. Wallace.

13 DR. WALLACE: Even though I'm not running for
14 Governor of California, I appreciate you giving me 5
15 minutes of your valuable time.

16 The FDA needs to generate a guidance document
17 giving industry a crystal clear road map which will lead to
18 the burgeoning and not discouragement of clinical trials.
19 The nearly 1 million Americans with SLE demand no less.

20 I've been in the trenches, so to speak, seeing
21 20 lupus patients a day for the last 20 years, and it's
22 discouraging to see industry implement their best ideas and
23 initiatives in other disorders. I am fully cognizant of
24 the weaknesses, confounding factors, and biases of every
25 statistically validated inflammation quality of life damage

1 index and biomarker evolved for the disease.

2 I am thrilled with Lee Simon's leadership and I
3 am thrilled that the FDA has made several seminal
4 suggestions in their draft document that improve our
5 current methodology, such as looking at area under the
6 curve for SLEDAI, and I think it makes sense to rely on at
7 least two activity indices in a clinical trial.

8 Let me embark on a historical perspective for a
9 minute. In 1948, the year LE preps and steroids became
10 available in Marian Ropes' Lupus Clinic at the Mass
11 General, half with lupus died in 2 years. This observation
12 divided those with organ-threatening from non-organ-
13 threatening disease.

14 As Sandra Raymond pointed out earlier, by the
15 mid-1960s, 60 percent with lupus were living 10 years and
16 by 1990, 90 percent in the United States. This improvement
17 in survival rates took place during an interval when no
18 lupus drugs were introduced into the market. We had
19 Cytoxan in 1963.

20 It was largely due to the skills of practicing
21 clinicians in learning how to manipulate steroids,
22 alkylators, antibiotics, antihypertensives, and the
23 availability of dialysis. This improvement can be
24 attributed to the clinical skills of rheumatologists in
25 interpreting SED rates, complements, anti-DNAs, 24-hour

1 urines, and urine sediments and managing patients
2 accordingly. Improving the survival rate was due to
3 physicians being able to identify a flare and managing it
4 accordingly.

5 I don't know of a single rheumatologist in
6 private practice, other than myself, who has BILAG software
7 or calculates damage indices, but my point is that
8 assessing improvement in lupus is not rocket science and
9 that finely-honed clinical acumen is all that is needed to
10 ascertain if a treatment regimen is effective or not.

11 Lupus should be an easier disease to quantitate
12 than RA because there are fewer subjective factors, such as
13 morning stiffness, that are used in clinical trials. The
14 weaknesses of the ACR-20, 50, 70, the DAS28 score and Sharp
15 scores are no more or less serious than those of the
16 SLEDAI, SLAM, or BILAG, and the FDA is actively promoting
17 RA clinical trials in spite of these deficiencies.

18 Over the last weekend, I read a transcript of
19 the hearing relating to the advisory committee
20 recommendations for fibromyalgia drug trials which took
21 place on June 23rd of this year. In my opinion, those
22 recommendations seem clearer than what we have for lupus,
23 and the irony is that in fibromyalgia, just about
24 everything followed is subjective.

25 Matt Liang and his committee have explored

1 markers to evaluate organ-threatening disease and assess
2 steroid-sparing regimens. The drafts of his paper endorsed
3 by the ACR and submitted to ANR for publication are
4 positive, cogent, and constructive. They provide hard
5 evidence that the FDA should include in its road map.

6 The experience in clinical trials conducted
7 thus far validates the use of the ACR classification, the
8 use of quality of life indices, the use of damage indices.
9 When plugged into Matt's specific organ markers, a
10 combination of BILAG with SLEDAI in a response index, I
11 feel confident that investigators now have more than enough
12 of an armamentarium to conduct an honest, rigorous lupus
13 clinical trial. Adding a few biomarkers or surrogate
14 markers, such as anti-DNA or C3 complements, is icing on
15 the cake.

16 The draft document wants more documentation
17 that anti-DNA or C3 can be biomarkers. We've already heard
18 about the LFA poll where a 131 of a 132 rheumatologists
19 polled feel that they are lupus markers. You already heard
20 what Dr. Buyon talked about this morning.

21 If you look at Frank Quismorio's chapter in the
22 2002 edition of the Dubois textbook, he reviews 6
23 perspective and 6 retrospective trials validating the use
24 of anti-DNA and 11 prospective studies validating the use
25 of C3 in over 1,500 patients. There is even a paper that's

1 going to be presented with myself as a co-author with LJP
2 at the ACR validating the use of anti-DNA further.

3 I cannot prove it, but in my opinion, the
4 ability to follow anti-DNA and C3 are one of the major
5 reasons why mortality rates plummeted between 1960 and
6 1990.

7 I had the privilege of serving on Matt Liang's
8 ACR Nephritis Guidelines Committee and it addresses the
9 concerns relating to confounding variables with renal
10 function, validation of doubling of the creatinine,
11 induction of renal remission, surrogate renal markers, the
12 issue of cellular casts in a very comprehensive manner, and
13 I'm sure that this would be acceptable when incorporated in
14 the final document.

15 Finally, the issue of measuring flare which, in
16 my opinion, is only one of six major categories of outcome
17 measures in conducting a trial, is the weakest link we have
18 right now in validating a lupus trial, but this should not
19 delay trials. The flare indices were premeditated and
20 plugged into these trials and we're just analyzing the data
21 now. The FDA should propose provisional parameters for
22 measuring flare that can be changed and adapted as current
23 trials are analyzed.

24 In my opinion, the menu of ascertainties we
25 have now, while flawed, are as good as what the FDA has

1 endorsed for RA, fibromyalgia, osteoarthritis and
2 osteoporosis. My lupus patients deserve the same
3 considerations as their rheumatic disease compatriots and
4 nothing less.

5 Thank you.

6 DR. FIRESTEIN: Thank you. The next speaker is
7 Kelly Jean Cooper.

8 MS. COOPER: Hello. My name is Kelly Cooper.
9 I live in Chicago, and the Lupus Foundation of America is
10 underwriting my trip here.

11 I have quite a dramatic story. I was diagnosed
12 five long years ago and have not experienced remission once
13 since then. My usual symptoms include inflammation, high
14 fevers, facial rash, chronic fatigue, hair loss, general
15 malaise, cognitive difficulties, painful joints, and
16 chronic severe chest pain.

17 When I first became ill, my anti-DNA numbers
18 were so high, I was immediately put in the hospital and
19 pumped with a three-day megadose of steroids. This
20 treatment was effective briefly but then my numbers slowly
21 started to climb. In the past five years, I've had that
22 same treatment three times and suffered some of steroid's
23 awful side effects.

24 I also began taking oral steroids which I'm
25 still on. I couldn't even attempt to count how many times

1 I've raised and lowered my oral doses, guessing on my own
2 the appropriate amount to take in relation to the symptoms
3 I was having.

4 As most lupus patients are, I was put on
5 Plaquenil but this drug had no effect on me. My doctor
6 then proceeded to put me on Imuran which my body just
7 couldn't tolerate, then CellCept which made me feel worse
8 than Imuran. Next in the line-up was methotrexate, started
9 at 10 milligrams, then went to 15, and still my body just
10 didn't respond.

11 I've traveled to the Mayo Clinic and the NIH to
12 see if there was any reason why my body was not responding
13 to anything at all, but left their care with only the
14 suggestion to up my dose of methotrexate yet again. I have
15 finally found some stability on 20 milligrams of
16 methotrexate, but let me reiterate it has only stabilized
17 me. I am not getting better.

18 In the past five years, I have had and still do
19 have pleurisy, pericarditis, fluid in the lungs, dry skin,
20 dry eyes, and I carry the anti-RO, anti-la, anticardiolipin
21 and antiphospholipid antibodies which will make carrying
22 children a very dangerous endeavor for me. And I am
23 literally in Northwestern Memorial's ER no less than twice
24 a month for pain.

25 I've been on pharmaceuticals for malaria, anti-

1 organ rejection, cancer, and arthritis, but not one of
2 these drugs has been approved for use in lupus patients,
3 and I cannot say strongly enough how important it is for
4 the FDA to stimulate private companies to do research
5 specific to lupus.

6 What you are doing here today is very important
7 to me and many others like me trying to live with this
8 awful disease. I asked for the opportunity to testify
9 during this particular part of the meeting because, as I
10 understand it, the document as it now stands includes three
11 claims that a drug company can make on behalf of a new drug
12 for lupus. However, for each of these claims, there
13 appears to be problems that may be seen as impossible to
14 overcome by the very drug companies that we want to attract
15 to work on lupus.

16 To overcome these problems, the FDA must be
17 willing to invest its funds in helping to solve problems,
18 such as coming up with an accepted definition of a flare or
19 validating biomarkers for lupus.

20 Will the National Institutes of Health step up
21 to the plate and help address the gaps in science that the
22 draft document cites? Will the NIH provide research money
23 to find answers to these questions?

24 Your decisions on these matters have life and
25 death consequences for me and many other people with lupus.

1 I ask you on behalf of myself and all others who suffer
2 from this disease to please make your decisions now rather
3 than later.

4 Thank you.

5 DR. FIRESTEIN: Thank you very much. The next
6 speaker is Betty Ann Exler.

7 May I ask the speakers not only now but also
8 throughout the day and tomorrow to try to stay within the
9 5-minute guidelines? Thank you.

10 MS. EXLER: Hi. My name is Betty Ann Exler and
11 this is my son Scott, and we were asked to come by the
12 Lupus Foundation of America. They are underwriting our
13 trip, and I want to thank you for the opportunity to speak
14 here today.

15 I am here as the mother of a child with lupus.
16 One of the most important issues for parents of children
17 with lupus is the long-term effects of the drugs that the
18 children must take to treat this disease. Safety and
19 effectiveness of drugs for lupus is very much on our minds
20 and we are very concerned about the toxicity of the current
21 treatments.

22 One day when Scott was in second grade, he came
23 home from school. After leaving to go to school just fine,
24 he dropped to the floor and said, I don't feel good. I
25 hurt everywhere. He had a fever, did not want me to touch

1 him anywhere, not even to help him get up. I immediately
2 took him to the doctor who found that his spleen was
3 enlarged and tested him for strep. The test was positive.
4 He was treated with antibiotics. He seemed to feel better,
5 but within a few days, he was feeling too ill to go to
6 school and the doctor treated him two more times with
7 antibiotics, until it became clear that they were not
8 helping. His spleen was still enlarged. Mono tests were
9 coming back negative.

10 Our doctor then sent us to an infectious
11 disease doctor who ran a number of blood tests and
12 discovered Scott was ANA-positive and IgA-deficient. His
13 blood cell counts were very low. He sent us to a
14 hematologist who found Scott's immune system was destroying
15 healthy blood cells. He sent us to an immunologist who
16 found other immune system problems.

17 At this point, Scott was having severe knee and
18 ankle pain and was having a great deal of difficulty even
19 walking. We went to a rheumatologist who tested his urine
20 and found blood and protein present. He sent us to a
21 nephrologist.

22 This all started in February, just a week after
23 Scott's 8th birthday. At the end of July, almost 6 months
24 later and 40 blood tests later, the doctors informed us
25 that our son had systemic lupus with kidney involvement.

1 His blood count by this time was so low, he was near
2 needing a transfusion. His enlarged spleen and achy joints
3 were keeping him from going to school and playing the
4 sports that he so enjoyed.

5 He was immediately put on 40 milligrams of
6 prednisone daily which helped alleviate the most severe
7 symptoms quickly. It also made him gain weight and changed
8 his appearance so much so that when school started a month
9 later, some of the children didn't even recognize Scott.
10 The medication made him very edgy and unable to concentrate
11 in the classroom. Noise gave him headaches and playing
12 sports was difficult.

13 Scott responded well to the prednisone but is
14 very sensitive to changes. When the doctor tried to lower
15 his dosage, his symptoms would immediately worsen. He
16 spent his third grade year going through the misery of
17 withdrawal from prednisone only to have the levels raised
18 again. This scenario repeated itself constantly for the
19 next two years.

20 The next summer, Scott developed a skin rash on
21 his face, arms, chest, back and legs, more severe than
22 anything I've ever seen in my life. His skin was red,
23 swollen, hurt, itched and was so fragile, that if I tried
24 to play with him, his skin would break and bleed. To
25 combat this, the doctors raised his prednisone to 60

1 milligrams per day. By the time Scott started fourth
2 grade, his skin was doing better, but he continues to have
3 a much milder rash than that today.

4 In the meantime, the doctors started him on
5 CellCept to suppress his immune system. He was put on
6 Plaquenil to help his skin. He was put on Prinivil to
7 hopefully decrease the amount of protein leaked by his
8 kidneys. All of these medications cause harmful side
9 effects, cataracts, deposits in the eyes, inability to
10 fight infection, increase in the risk of cancer, sterility.

11 As a parent, I was shocked, heartbroken and
12 devastated. The doctors told us we really had no choice
13 but to put our young child on these toxic medications.
14 Without them, he would continue to deteriorate with no
15 chance for improvement, and if these medications don't
16 work, our only choice would be to treat him with even more
17 toxic medications. He has not been able to discontinue any
18 of his medications since he was diagnosed over three years
19 ago.

20 The only word I can say to describe my feeling
21 when the doctor informed me of his diagnosis is
22 devastation. I never really truly knew the meaning of this
23 word until then. The feeling never leaves me. I am
24 heartbroken that this child who is so full of life, kind,
25 funny, generous and well-loved, so talented and fun, must

1 live a life confined by his disease and the side effects of
2 his medications.

3 Scott is very difficult to manage medically and
4 the doctors have few choices in medications or therapies to
5 even try. The doctor tells me I am so very sorry. I wish
6 I could do something, but we must have hope. Hope is
7 really all we have, and the hope is in this room.

8 I cringe and want to jump up and down and
9 scream whenever I hear that this is a manageable disease.
10 You can live a normal life and the prognosis is so
11 positive. I know this is not a graceful or complimentary
12 mental image, but Scott's disease is very complicated and
13 difficult to treat. He does not live a normal life and he
14 does not have a good prognosis. Our family lives with the
15 pain of this disease every day as we watch Scott deal with
16 this life-altering, life-threatening disease.

17 As I looked over the concept paper, it struck
18 me that there are both positives and negatives. For
19 example, one of the ways the document suggests to prove a
20 drug is working is to show a decrease in the frequency or
21 severity of flares. However, in the same paragraph, the
22 document states that no measure of a flare has been
23 validated.

24 In a different section, the document suggests
25 using disease activity indexes, but the document then goes

1 on to say these indexes have not been validated in clinical
2 trials. The document calls for making claims based on
3 knowledge that presently does not exist.

4 These contradictions are frustrating for people
5 with lupus, for parents as well as for sponsors of clinical
6 trials. I hope additional efforts will be made to address
7 these gaps in knowledge and contradictions so safer and
8 more effective therapies can be developed for children and
9 adults who suffer from this disease and also suffer from
10 the side effects of the present toxic medications.

11 I came here today as a member of the Lupus
12 Foundation to ask you and as a mother to beg you to give
13 research clear-cut guidelines so that we may bridge the gap
14 between the devastation and the hope.

15 Just real briefly, this is a book about
16 pediatric lupus that Scott's third grade class worked on
17 and did, and I left a few of the copies with Kimberly if
18 anyone would like a copy of this. And this is Scott, and
19 if you don't mind, he'd like to say a word.

20 MR. EXLER: Hi. I'm Scott Exler. I'm 11 years
21 old, and I have lupus. I would really like you to try and
22 find a cure for lupus because it is really not fun to have
23 lupus. Thank you.

24 MS. EXLER: Thank you so much for your time.

25 DR. FIRESTEIN: Thank you very much, Scott, for

1 sharing your story.

2 The next speaker is Lisa Amato. Again, if you
3 can comment on potential conflicts of interest, I would
4 appreciate it.

5 MS. AMATO: Hi. My name is Lisa Amato, and the
6 Lupus Foundation of America has underwritten my trip here
7 to speak to you today.

8 Thank you for the opportunity to address the
9 committee. I want to comment on the document, but first I
10 want to take a few minutes to tell you how I got here.

11 It all began with low-grade fevers, joint pain,
12 and loss of appetite. For years, we could not find the
13 cause. After going to numerous doctors and undergoing a
14 battery of tests, including an invasive biopsy, I learned
15 my kidneys were slowly deteriorating. I was 21 years old
16 and diagnosed with lupus.

17 My story is not unique. Many suffer from the
18 same initial symptoms I had but many have yet to be
19 diagnosed. When young people begin treatment to fight
20 lupus, they are susceptible to complications from long-term
21 use of the medications, such as diabetes, high blood
22 pressure, high cholesterol, osteoporosis, and obesity,
23 which can lead to heart attacks by the age of 40.

24 Despite high doses of steroids to fight lupus,
25 my health worsened, with high blood pressure, anemia, fluid

1 retention, and protein in my urine. After nine years of
2 undergoing several more biopsies, weekly blood tests, and
3 an angiogram, my doctor told me I had end-stage renal
4 disease. With only 10 percent kidney function, I was
5 expecting to die, until we learned that my sister was able
6 to donate a kidney. Our transplant team told me I was
7 going to feel better with a new kidney.

8 Remarkably, I was a totally new person the day
9 after the operation. To prevent rejection, I was given
10 prednisone, Imuran, and cyclosporine. Three years after
11 the transplant, I was diagnosed with lymphoma caused by the
12 drugs. My life with lupus has not been easy.

13 For the last 18 years, I have been fighting for
14 my life, taking an arsenal of powerful medications each day
15 to prevent my immune system from destroying the vital
16 organs of my body. These medications often are worse than
17 the disease itself. After a few years on prednisone, I
18 developed avascular necrosis, forcing joint replacement
19 surgeries for both knees and twice on both hips. Clearly,
20 we need safer, more effective treatments without the severe
21 side effects.

22 As I read this document -- and clearly it was
23 difficult to read -- I felt as though the document was
24 laying out an agenda for research on lupus. It points out
25 that there exists many gaps in scientific knowledge for

1 lupus. I feel that the contradiction will discourage the
2 development and testing of safer and more effective drugs
3 for lupus.

4 As a lupus patient who had end-stage renal
5 disease, I am concerned that the document creates an
6 impossible hurdle to overcome. It appears that lupus is
7 being held to a higher standard that makes it difficult to
8 prove that prospective new drugs are effective. This will
9 cause drug companies to avoid working on lupus.

10 For me and others who have had major organ
11 involvement, this would be very disappointing. We need
12 safer drugs now. If not now, when? Thank you.

13 DR. FIRESTEIN: Thank you very much. The last
14 scheduled speaker is Venetia Thompson.

15 MS. THOMPSON: Good afternoon. My name is
16 Venetia Thompson. My trip here has been underwritten by
17 the LFA, and I am the wife of a retired worker from
18 Monsanto. I'm not sure if that has any bearing. We are
19 still holding some stock.

20 (Laughter.)

21 MS. THOMPSON: I want to thank you very much
22 for the opportunity to provide comments regarding the
23 proposed draft document. I am particularly interested in
24 this effort because of the higher prevalence of lupus among
25 African Americans as well as the higher numbers of African

1 Americans that suffer with serious lupus nephritis and the
2 importance of minorities being well represented in any type
3 of a study that's being done. For these reasons, I wanted
4 to present some brief comments.

5 At the age of 9, I was hospitalized for
6 chronic fatigue, abnormal blood tests, unexplained fevers
7 and headaches. Suspecting that I was suffering from
8 juvenile diabetes, when those tests found out that I was
9 not, then I was sent home. Symptoms continued to plague me
10 as my body went through growth cycles, so did my symptoms.

11 Pregnancy proved to be the most difficult for me.

12 When a butterfly rash appeared on my face at
13 the age of 35, my obstetrician suggested that I take a
14 lupus test. I was ignorant of what lupus was and was not
15 aware that there were no known tests, and he told me that
16 the test came back negative.

17 At the age of 40, a co-worker watched my
18 fingers as they turned white and were numb. Believing that
19 I had a connective tissue problem, she encouraged me to see
20 a doctor immediately. I did and I tested positive for ANA
21 connective tissue problems, Raynaud's phenomenon, and
22 rheumatoid arthritis, but it wasn't until my boss had to
23 drive me home twice in one week and my family members had
24 to pick me up off the bathroom floor that I realized I
25 really could not work any longer.

1 Fatigue and chronic pain from pleurisy has
2 taken over my body. Six years later and three specialists,
3 who all diagnosed me differently, finally have brought my
4 symptoms under bearable control.

5 The draft document points out the disagreements
6 among researchers about the ability to measure disease
7 activity and how to weigh these measures, and there are
8 situations where changes in scores do not necessarily
9 reflect or relate the changes in disease activity. This
10 makes it difficult to know if the drugs are having any
11 effect.

12 I have been disabled for the last 4 of my 46
13 years. My doctor draws blood three to four times a year
14 and I'm on six nonsteroidal medications a day. I watch my
15 diet very carefully and I exercise. It was difficult to
16 resist using steroids to help resolve pain issues, but I
17 did not choose to use them because I was too concerned
18 about the side effects from using them.

19 My treatment is somewhat costly, time-
20 consuming, and labor-intense, but I do believe that over
21 time, the costs will prove to be far less substantial and
22 ensure greater quality to my life. I've lived 30 years
23 with my husband. We have a daughter who is pursuing her
24 Ph.D. in biochemistry. I have one son who is an Army
25 officer that's serving in Iraq right now, and my other son

1 is an Army officer who will be joining him in March. I
2 would like to live long enough to see grandchildren.

3 Thank you very much.

4 DR. FIRESTEIN: Thank you, and thank you to all
5 the speakers.

6 Now, this is the time when I'm supposed to open
7 this up to the audience. It's an interesting conundrum
8 because there are 30 minutes allocated to this section and
9 there are six speakers each allocated 5 minutes. So the
10 time remaining will be available. So if people would like
11 to make a comment, they can be entertained now, but they
12 should be, please, brief.

13 (No response.)

14 DR. FIRESTEIN: In that case, we will move on.
15 So for the next hour or so, the goal is to go over the next
16 series of questions posed to the committee from the agency,
17 and actually some of them can be done together here. So
18 why don't I just read them?

19 The first one is: would a claim for "treats
20 constitutional manifestations" that include such
21 manifestations as arthritis, skin involvement, fatigue,
22 fever, weight loss, be acceptable? Then the next question
23 actually is: for an individual without specific major
24 organ involvement, should a claim for "treats
25 constitutional manifestations" be considered as an

1 indication? What outcome measures are appropriate to
2 support this claim, such as a DAI?

3 I guess I'm not sure I like the term
4 "constitutional manifestations," and we'll find out in a
5 minute if there's much disagreement that this would be a
6 reasonable indication.

7 DR. HAHN: I'm stimulated to talk about this
8 one. Jeff was talking about it. I think if we're going to
9 talk about constitutional symptoms, we should maybe back
10 off into items like fatigue and pain and maybe disability
11 or something like that.

12 I was a little bothered by skin and arthritis
13 being rolled in here because in some people, they can be
14 very bad and require so much treatment that it's quite
15 dangerous. So I was a little bothered to separate out skin
16 and joints from kidney and brain and hematologic. Although
17 maybe not as consistently life-threatening, they certainly
18 can be.

19 So I was thinking if we talk about
20 constitutional, maybe we should back off or make a little
21 more global description of people's constitutional
22 disability and not imply that some organs are less
23 important than others.

24 DR. WALLACE: Yes. I agree. Constitutional is
25 simply fatigue, fever, and weight loss, nothing else. Pain

1 falls into quality of life and disability. So when you're
2 talking about constitutional, it's something that doesn't
3 apply to a specific organ system but all organ systems and
4 it's only those three entities.

5 DR. FIRESTEIN: Well, I think that was one of
6 the reasons why I wasn't thrilled with the notion of
7 constitutional although, again, many of the therapeutics
8 that are being entertained are generally divided into those
9 that might be useful for major organ system disease and
10 then all others, and I think what you're driving at here is
11 all others.

12 Jack?

13 DR. CUSH: I would take "constitutional" off
14 the table. I think that while it is a major problem for
15 many patients, I think it's also hard to define, and it's
16 really analogous, I believe, to RA. We don't treat the
17 pain of RA with narcotics. We try to control the
18 inflammation of RA and pain will take care of itself in the
19 vast majority of individuals.

20 The same is true here. If you control the
21 disease, you control many of these hard-to-describe
22 aspects, fatigue and poor sleep and weight loss or not
23 feeling well. You'll control those aspects or
24 constitutional aspects of the disease as well by meeting
25 the signs and symptoms indication alone.

1 DR. FIRESTEIN: So what you're suggesting is
2 that those are not independent variables, that they're
3 dependent on the activity of disease as measured by other
4 parameters?

5 DR. CUSH: Yes.

6 DR. FIRESTEIN: Yes.

7 DR. MANZI: I would generally agree with that,
8 but the only component that I think may stand alone might
9 be the fatigue component. I mean, I liked the idea when I
10 saw this that there might be an indication for lupus
11 fatigue, even in the setting of relatively inactive organ
12 system involvement. So I might be a proponent of fatigue
13 in a constitutional symptom claim, but I do agree with
14 taking out the other organs in constitutional symptoms.

15 DR. FIRESTEIN: I don't know that we should get
16 hung up on whether they should be called constitutional or
17 not. Lee, is the question related to true constitutional
18 symptoms or non-major organ systems? Not that skin is not
19 a major organ. I know that it is.

20 DR. SIMON: It's actually really driven by the
21 fundamental question, do we approve a drug to treat lupus,
22 whatever that might be, and, of course, it's open to
23 debate? We have a criteria for diagnosis of 11 different
24 things. We put together for clinical trials to look at 4
25 of 11. Is that what we consider lupus, and thus any of

1 those things that go into our ingredient list to make the
2 diagnosis could be those things that we look at for
3 improvement as it relates to an indication for "lupus."

4 We thought that that was a difficult approach.

5 So we were thinking that we could ask a different
6 question, and a la the RA guidance document again as has
7 already been alluded to, in a signs and symptoms way, could
8 we look at that question and identify those things that
9 might be amenable to some kind of therapy that may not be
10 the same kind of therapy to treat a specific organ?

11 Because we've only had those therapies that
12 seem to treat many different things at the same time, it
13 doesn't mean that in the future, we will not be able to
14 have something that might just treat the fatigue and fever
15 and weight loss of the constitutional components. So
16 that's what we were trying to get at.

17 DR. FIRESTEIN: It seems to me that it would be
18 inadvisable to set the bar so high that only therapeutics
19 that will treat major organ system disease that have
20 significant mortality associated with them, for instance,
21 would be approvable and a good example would be
22 antimalarials, which clearly have benefit in patients but
23 would not fare well in a trial head-to-head, say, to
24 cyclophosphamide in renal disease.

25 Is there any disagreement on that?

1 DR. HAHN: But they would in arthritis and
2 skin. You know, if you picked your organs.

3 DR. FIRESTEIN: Right. I agree. I think the
4 line is being drawn here between major organ systems and
5 the rest as opposed to constitutional which is fevers,
6 weight loss, fatigue.

7 Joan.

8 DR. MERRILL: I think the issue really is do we
9 really have that patient who only has fevers, weight loss,
10 and fatigue and doesn't develop a flare in any organ. In
11 my clinical experience, that's fairly rare and probably
12 would, if it happened, respond to a short course of
13 steroids. So it's not one of our major needs, and I guess
14 that's why you're not getting a lot of enthusiasm.

15 DR. FIRESTEIN: Well, based on prescribing
16 patterns for antimalarials, I think that there's a lot of
17 individuals where that would have value.

18 DR. MERRILL: I'm thinking of my own clinical
19 practice which is lots and lots of lupus patients, and I'm
20 prescribing antimalarials for arthritis and fatigue and
21 weight loss and fevers, but there's always something else
22 going on when you see those.

23 DR. ILOWITE: So couldn't arthritis and skin
24 involvement qualify as organ system disease for organ-
25 specific claims in one paradigm, and then I would be in

1 favor of leaving fatigue, fever and weight loss for a
2 constitutional indication.

3 DR. MERRILL: Yes, and I think Bevra is making
4 a very important point. You can have someone very sick
5 from arthritis or have disfiguring discoid rash and then
6 you can have mild rash and mild arthritis, and it may not
7 be the same drug for both.

8 DR. LOONEY: Would it be possible to let
9 whoever is proposing the trial select the manifestations
10 that they want to say the drug is going to be good for that
11 they want to have constitutional skin, serositis, and
12 arthritis and say that that's what they want to get an
13 indication for? Would that be acceptable to people?

14 DR. FIRESTEIN: Yes.

15 DR. ILLEI: Leaving the label of this aside, I
16 thought that the screen would be to include patients with
17 different manifestations into the same study and show that
18 a drug works or to be able to compare patients who have
19 arthritis to a patients who has skin disease and then label
20 the drug that it can treat lupus in general. And I think
21 that it, to a certain degree, brings us back to the
22 question of a responder index where it could be
23 individualized. So the patient who comes with arthritis
24 should respond in the arthritis, and if they meet a certain
25 response, then they are responders, and the same can be

1 brought down for any manifestation.

2 DR. FIRESTEIN: Dr. Hoffman.

3 DR. HOFFMAN: I'd just be concerned that if
4 someone were to design a trial to diminish malaise and
5 fatigue, that that is likely to be confounded by concurrent
6 therapies that patients are on that may, in fact, cause
7 malaise and fatigue. While I think it's important to
8 track, in the context of a trial that deals with a broader
9 concept, I think just malaise and fatigue, if patients are
10 on background therapy of cyclophosphamide or methotrexate
11 and perhaps other agents, CellCept, Imuran, that the
12 effects of those drugs in causing malaise, fatigue, even
13 perhaps weight loss, may not be easily sorted out from the
14 underlying disease.

15 DR. WILLIAMS: I would just like to agree with
16 Dr. Cush. I would take skin and arthritis and move them to
17 organ-specific or even signs or symptoms but maybe organ-
18 specific, and I would not consider constitutional symptoms
19 as a primary indication for the drug.

20 DR. HAHN: I'd like to speak to rescue it. I
21 see what the FDA is trying to do, and I think that it's a
22 good idea and maybe it's the wording hanging us up. I'd
23 still like to have pain in the definition because I don't
24 think it is like RA. I think pain and fatigue often
25 persist after everything else looks like it's better in SLE

1 patients.

2 It's a little hard to quantitate, so you'd have
3 to have people presenting something for that indication,
4 would certainly have to have very rigid measures and
5 inclusion and exclusion criteria and this sort of thing.
6 Certainly if we had something, in addition to Plaquenil,
7 that had these effects, it would be something that we all
8 wanted to use in subsets of patients and patients after
9 they improve.

10 So I'd like to think of a way to rescue it.
11 Taking those two organ systems back, I agree, putting them
12 back into the organ-specific part, and I don't know. I'm
13 thinking about how to do that. So Matt, are you still on?
14 He's not on?

15 So I was interested in whether in anybody's
16 work, can you pick out a symptom complex that would define
17 this subset? We all know what we're talking about, right?
18 So how would you define it?

19 DR. FIRESTEIN: David.

20 DR. PISETSKY: To a certain extent, this is a
21 matter of severity. I mean, this is what could be called
22 milder lupus of skin, joint, that would respond to
23 antimalarials, nonsteroidals, and other medicines like
24 that, and I could certainly see a value for developing
25 alternatives for that group of medicines. But here, it's

1 constitutional. It's really this complex of less non-
2 severe organ system disease. But I don't know that I would
3 pull arthritis out of it nor do I know I would pull all
4 skin disease out of it because there's a value to other
5 drugs --

6 DR. FIRESTEIN: This doesn't exclude having
7 organ-specific indications for those, but as a more global
8 non-major organ system, and I tend to agree with that.

9 Joan?

10 DR. MERRILL: Actually, this work has been
11 done. There is a definition for this. It's a BILAG C
12 score, and I think that would probably address Bevra's
13 concern. When you have a BILAG of an A and you treat it or
14 a B and it gets down to a C, you've pretty much got what
15 Bevra described, and so you could consider that as an
16 indication for a certain kind of therapy that might be a
17 little safer and might be not quite as powerful as some of
18 our others.

19 DR. WILLIAMS: However, if you're getting down
20 to definitions by BILAG score, isn't that coming under
21 signs and symptoms rather than constitutional?

22 DR. MERRILL: I mean, it can be either one.
23 BILAG is a very flexible instrument.

24 DR. FIRESTEIN: Can we take the word
25 "constitutional" out so we don't argue about it anymore?

1 DR. SIMON: Yes, but if you're going to do
2 that, let me just step back for one second. Internally, we
3 were thinking about the issue that constitutional was
4 consuming signs and symptoms, but yet I've heard several
5 people separate that out. I would just like to have some
6 understanding of what then is signs and symptoms.

7 DR. PISETSKY: To a certain extent, some people
8 would put serositis in this, too, which I think would be
9 reasonable. It's not listed here, but I think it would be
10 included. So I think it's that constellation of problems
11 that you would like to have a term for that is not strictly
12 constitutional since it does involve organ systems. It's
13 just, I think again, degree of severity.

14 DR. SIMON: I don't mean to be facetious or to
15 drive a drug in turn or deal with semantics, but in that
16 context of the non-organ system-based symptoms and signs,
17 then we're talking about the disease lupus unrelated to
18 organ involvement specifically.

19 DR. FIRESTEIN: Essentially, yes. I would hate
20 to see the bar raised so high for a therapeutic that it
21 would discourage drugs that would address that.

22 Jack was next.

23 DR. CUSH: What are signs and symptoms? You
24 define that in your protocol, based on a certain level of
25 SLEDAI or SLAM or BILAG and that's your threshold. Now, a

1 BILAG C, if that's the trial you want to do, then that's
2 where you start. You're starting at a lower level, but at
3 least you set the bar at whatever level you want for signs
4 and symptoms and that's your indication.

5 I think to rely on single variable poorly-
6 defined outcomes like the ones we mentioned -- now, fatigue
7 has poor tools for measuring now, and those can be measured
8 and they get some sort of secondary credit or secondary
9 outcome measures. But as a primary outcome or as a primary
10 indication, I still don't think that that's wise.

11 DR. FIRESTEIN: Jill.

12 DR. BUYON: I would just make some
13 disagreement. There's specificity, and I think serositis,
14 arthritis, and skin disease may be specific for lupus. I'm
15 not sure about fever and weight loss and fatigue. So I
16 personally would separate those, but this is one's person
17 opinion. Not to say that I wouldn't use those three if
18 that is what industry wanted. Perhaps they could make that
19 very specific. But I would never lump what is specific to
20 lupus with what isn't specific to lupus.

21 DR. FIRESTEIN: Jeff.

22 DR. SIEGEL: I think the discussion from the
23 committee has been very helpful, but there's one aspect
24 that I want to focus on. We imagine that there will be
25 clinical trials not just of patients who have one specific

1 manifestation, like renal, or a group of patients with
2 renal manifestations, a group with skin, a group with
3 joint, but clinical trials that would take all-comers who
4 have active disease based on a disease activity index.

5 Our concern is suppose you do such a trial and
6 you show a reduction in the disease activity index, but you
7 don't have enough patients and enough data to say that it
8 affects each major internal organ system. Would that be a
9 basis for a claim and, if so, how would you describe it?
10 The specific concern was you may not have evidence in that
11 trial that it improves CNS lupus, that it improves renal
12 lupus, but yet the disease activity indices come down.

13 The term "constitutional symptoms," was brought
14 up in part to help describe something that hadn't been
15 shown to improve all the internal organ system
16 manifestations, but nonetheless did decrease the disease
17 activity index.

18 So I think it would be helpful to learn from
19 the committee how it would see a trial like that that
20 showed a decrease in disease activity index in an all-
21 comers trial without necessarily showing that it
22 specifically reduced renal, CNS, so on.

23 DR. FIRESTEIN: David.

24 DR. PISETSKY: You used two terms. One is
25 "symptoms" and the other is "manifestations," and I think

1 they do really have different meanings. The problem here
2 is things like serositis and arthritis may not be very
3 objective, but they are subjective and patients will know
4 the difference. I think the term "symptom" may have some
5 value here.

6 DR. FIRESTEIN: Jack was next.

7 DR. CUSH: I think the way you sort of set it
8 up in your cases is the way you set up your protocol. So
9 if the company that's sponsoring a product wants to go
10 after an organ-specific indication, they have to structure
11 their protocol to answer that question. But I would argue
12 that again everyone should meet at least some measure of a
13 disease activity improvement by, hopefully, more than one
14 measure and that's your indication for lupus activity,
15 signs and symptoms, and then if you want to go even
16 further, much as like in RA, we go for quality of life or
17 x-ray improvement, so it affects renal or hematologic or
18 articular outcomes, you have to structure your protocol and
19 power it appropriately to go for that indication as well.
20 Then for quality of life, maybe it has to be longer, more
21 than 6 months' duration, maybe as we do in RA, a year or 2
22 years.

23 DR. FIRESTEIN: Mary Anne.

24 DR. DOOLEY: I was just going to say that in
25 many respects, we oftentimes concentrate on organ damage

1 from the disease, but I think if you survey patients, the
2 most troubling symptoms oftentimes can be these so-called
3 constitutional symptoms, particularly relentless fatigue.
4 So certainly there are many people who cannot take
5 Plaquenil or there may be other drugs that might do a
6 better job than the existing drug. I don't think we should
7 ignore the less organ-threatening spectrum of disease and
8 favor only organ-damaging disease in terms of allowing
9 people to develop drugs.

10 DR. FIRESTEIN: Joan, Jim, and then Michael.

11 DR. MERRILL: I think that most of us who treat
12 lupus patients -- I want to agree with everyone. I think
13 there really are sort of three levels of lupus. One is
14 exactly what Mary Anne just described, but it could be
15 almost anything. It could be a little mild
16 thrombocytopenia that you're not worried about but it's
17 there. It could be fatigue. It could be arthralgia,
18 myalgia, fevers, but not high fevers, not toxically-ill.
19 These people may be going to work. They just feel lousy,
20 and we'd like to have one kind of drug for that and we'd
21 hope that would be a very safe drug, too.

22 And then there's sort of the moderate people
23 and then there are the really severe people where right now
24 we're going to cyclophosphamide or high-dose bolus
25 steroids.

1 I don't think clinicians are all that confused
2 about how to stratify those patients, but I don't think
3 it's kind of organ so much as it is severity of disease.

4 So again, I don't mean to sound like a broken
5 record, but the BILAG took care of that for you already.

6 A, B, C.

7 DR. WILLIAMS: Actually that was my same
8 comment, that I think for what Dr. Siegel is referring to,
9 if you're going to use the disease activity index, you're
10 really talking about signs and symptoms and that would
11 cover it.

12 DR. FIRESTEIN: Dr. Weisman?

13 DR. WEISMAN: I think there are some circular
14 reasoning here. The disease activity measures were
15 developed to capture this panoply of non-organ-threatening
16 signs and symptoms, and that's what we have. That's lupus.
17 Then there's some specificity for what historically has
18 been felt to be organ-threatening/life-threatening lupus
19 which is renal disease, and that's where we've been.

20 I don't think we're going to change that. I
21 agree totally with you, Gary, that we just have to get rid
22 of the term "constitutional." We understand where the
23 meaning comes from. We understand where Jeff is coming
24 from. It means the non-life-threatening/organ-threatening
25 signs and symptoms, and just get on with it. So I agree

1 with you.

2 DR. FIRESTEIN: So we've reached consensus.

3 I'm just kidding.

4 (Laughter.)

5 DR. FIRESTEIN: Jill.

6 DR. BUYON: I would make actually two points.

7 One, I wouldn't want to a priori discourage anyone from
8 making a claim about anything that a priori they made that
9 claim for. So if industry wants to say these are the items
10 that we would like to make better, who are we to say not to
11 do that? Why can't we agree up front yes, you have
12 something objective. You've written it out. Whatever
13 level it is, severe or not, I don't think it's our job here
14 to sit here and discourage that. So that bothers me.

15 The other is these composites are the sum of
16 the parts. So if you look at an activity index and you see
17 what gets better, it's not a number. It's what constitutes
18 that number, whatever instrument you use. And I think
19 we're moving away and I'm actually a little discouraged by
20 these comments.

21 DR. FIRESTEIN: Joan, Bevra, and then we're
22 going to go on to the next question.

23 DR. MERRILL: Don't be discouraged, Jill. No
24 rheumatologist is going to go to hear a presentation about
25 a trial and not ask, well, what percentage had arthritis.

1 We want to know what was being treated and the
2 rheumatologists will demand to know that.

3 I think that when you develop a drug that has a
4 certain mechanism of action and you go to the FDA and say
5 look, now I can tell you I can predict this is only for
6 nephritis, the FDA's going to listen and there are already
7 medications like that. Their medication is aimed at
8 nephritis like a bullet and they're not going to fix other
9 things. Those are legitimate medications to develop, and I
10 don't think anyone's discouraging that.

11 DR. FIRESTEIN: Dr. Hahn.

12 DR. HAHN: I want to go back to Jeff's question
13 which has been bothering me. In your scenario, you said
14 that drug X would have been shown to reduce activity
15 indices, but there weren't enough individuals in each cell
16 of organ involvement to say it reduces arthritis or it
17 reduces nephritis.

18 I guess I'm bothered about although that would
19 be nice, would that mean that the FDA couldn't approve a
20 drug if it reduced disease activity in SLE? Isn't that a
21 good starting place?

22 DR. SIEGEL: We're asking you how you would see
23 such a trial, how you would describe the benefits that
24 trial showed to help us advise sponsors on how to design
25 their trials and so on.

1 DR. HAHN: I wouldn't have any trouble with
2 that outcome being worthy if reducing disease activity.

3 DR. FIRESTEIN: That really leads into the next
4 series of questions, which I'm going to lump together,
5 which again is related to using organ-specific endpoints
6 versus activity indices.

7 It says, for an individual with major organ
8 system involvement, is this best studied utilizing a DAI or
9 organ-specific endpoint or both? That's number 3. Number
10 4 is, is the claim for treatment of signs and symptoms
11 which includes individuals with major organ system
12 involvement acceptable, and would a DAI be an appropriate
13 outcome measure? Last, should a claim of "treats lupus" be
14 considered? If so, how many organs should be studied, et
15 cetera?

16 So, again, we're circling back around to the
17 same type of question. Can there be organ-specific
18 endpoints? Would that stand alone, or should we only be
19 looking at DAIs, or should there be a combination of them?

20 Yes, go ahead, Mary Anne.

21 DR. DOOLEY: I think that we should use a
22 combination. The organ-specific endpoint should establish
23 the efficacy of the drug and the disease activity indices
24 in that setting would just ensure that in fact you're not
25 inducing new manifestations of lupus with that agent. So I

1 would see them not as primary endpoints but rather as
2 secondary or safety points.

3 DR. MERRILL: I really think again Jill is
4 looking very grim, and I agree with you. I think you've
5 got to have some flexibility here because we don't even
6 understand the biology of lupus well enough yet to know
7 whether some of these medications that are being developed
8 out here might treat arthritis and skin and not nephritis
9 whereas another one will treat nephritis. So we have to
10 let them find out. So I think there has to be flexibility
11 here.

12 Someone has got to go into a trial with a
13 primary outcome measurement but that will be arrived at
14 based on drug mechanism and what's predicted.

15 DR. FIRESTEIN: But that's what phase II is
16 for. By the time you get to phase III, you should have a
17 specific indication.

18 DR. MERRILL: Correct. By the time you get to
19 phase III, I think that some medications, it might be best
20 to be organ-specific, and some, it might be perfectly
21 acceptable to treat lupus because that might be what they
22 do best.

23 DR. FIRESTEIN: David.

24 DR. PISETSKY: But some of this would even be
25 more than organ-specific, it would be manifestation of

1 specific organs. So what you may do for diffuse
2 proliferative nephritis would not be what you did for
3 membranous and you'd need something separate, or diffuse
4 CNS disease as opposed to other CNS disease would also be
5 separate. So I think you're going to have to be
6 encompassing enough to allow people to focus on particular
7 organ-specific manifestations.

8 DR. FIRESTEIN: Lee.

9 DR. SIMON: So I have two questions that have
10 grown out of this, and I've now learned to ask one at a
11 time.

12 The first is, is there something called
13 "indicated to treat lupus" because it has three organs
14 involved versus four organs involved that you're addressing
15 versus two organs? Can I get some clarity about treats
16 lupus and what would be those things that would get you
17 that? Is there a minimum number of things that would allow
18 you to say that's your indication?

19 DR. FIRESTEIN: Isn't that what the DAIs are
20 designed to do?

21 DR. SIMON: Well, some of us don't believe
22 that. That's the problem about DAIs.

23 DR. FIRESTEIN: Joan.

24 DR. MERRILL: Well, I think that this was to
25 some extent addressed by the SELINA SLEDAI document which

1 defined severe flare, mild to moderate flare. I think
2 going through these trials was very instructive because I
3 thought I was a very astute clinician, but when you start
4 tallying things up, you do learn a few things.

5 I think most of us who see patients who have
6 what we would call by a SLEDAI a severe flare, which is a
7 score of 12, those are very sick patients and those are not
8 the same people as a SLEDAI of 4 or 6. So really what that
9 ends up being is more organ systems because you can arrive
10 at that number various ways. So unless you have a really
11 severe organ system like CNS involved, you don't get 8
12 points at once and most of the patients who get to 12 are
13 accumulating organs.

14 So you have a good point. I am not sure
15 whether or not the science is out there to tell you what
16 that number is yet, but it's probably worth doing some
17 reviewing about that.

18 DR. SIMON: And my second question is -- and
19 it's more of am I correct in hearing this, yes or no -- I'm
20 hearing that we should be flexible enough so that our
21 indications are really what the community defines in
22 designing their trials that they're trying to prove,
23 whatever that indication would be, meaning if you think you
24 have a therapy that would treat arthritis, that should be
25 enough, if you're successful in treating arthritis, and

1 thus the label should reflect this is for the treatment of
2 lupus arthritis, and that we in fact are trying to lump too
3 much too early when it should be split more until we have
4 further information.

5 DR. FIRESTEIN: I think the corollary to that,
6 Lee, was the use of a DAI, in addition to that, to show
7 that there's not something untoward that has happened.

8 Jill.

9 DR. BUYON: I would say 100 percent second the
10 motion. I'd like to hear that as being the directive of
11 the FDA. I think the activity index is your safety net,
12 but to not encourage industry to go for either specific
13 manifestations or global, I think that would be very
14 unfair.

15 I also would say "to treat lupus" is a very
16 broad term. It could be the arthritis of lupus, the renal
17 disease of lupus. I don't see anything wrong with that,
18 and I'm not sure we ever could sit here and say, well, we
19 have a drug to treat lupus. If we have a drug to treat
20 discoid lupus, we have a drug to treat lupus.

21 DR. SIMON: Could I ask your indulgence then,
22 Mr. Chairman? This is really a critical question. Could
23 we determine that everyone on the committee does or does
24 not agree with this kind of approach? It changes entirely
25 the way we traditionally think about approaching such a

1 document. It's not that we're against it. We'd just like
2 to know that everybody agrees with it.

3 DR. FIRESTEIN: Are you asking for a vote on a
4 specific question?

5 DR. SIMON: The question I would ask for the
6 vote on would be to split rather than lump the individual
7 manifestations and allow the sponsors to define what they
8 are targeting and whatever they are targeting, if they win,
9 with the appropriate provisos and built-ins and the issue
10 that disease activity indices do not worsen concomitantly,
11 et cetera, and that statistics that are associated with
12 that, is that an acceptable way to go?

13 DR. MERRILL: Clarification. Acceptable, in
14 other words, they could lump? They have the choice.
15 Correct?

16 DR. SIMON: No, no. That would be the choice.

17 DR. MERRILL: They can choose to lump or split.

18 DR. FIRESTEIN: So we're going to go around the
19 table, but because there are 25 of us, we can't have any
20 testimonials. We just have to basically say yes or no with
21 one or two sentences at most. So the question was as you
22 just defined it. Well, you're still allowed to lump.

23 DR. MERRILL: It's both or lump.

24 DR. SIMON: Lump and/or split or just lump.

25 DR. FIRESTEIN: Sounds like a menu.

1 DR. LOONEY: I guess I go for the lump and/or
2 split.

3 DR. FIRESTEIN: I've had a motion here to raise
4 hands so we don't have testimonials. I've been advised
5 that there have to be individual votes. So we will again
6 endeavor to go around the room.

7 I just don't exactly understand what we're
8 talking about.

9 (Laughter.)

10 DR. FIRESTEIN: You're allowed to split not in
11 a vacuum but with some lumping in addition to that. Okay.
12 Now I understand.

13 DR. CUSH: I am not sure that lumping and
14 splitting is raising it right. Are you saying that by
15 lumping a disease activity indication, that's global, and
16 by splitting, you're saying splitting aside from organ-
17 specific indications, we're actually going to allow now
18 symptom-specific indications? That's what you're allowing
19 when you say splitting. So not just organ-specific but
20 symptom-specific, including itching and fatigue and things
21 that might --

22 DR. FIRESTEIN: Well, which ones are being
23 split off needs to be defined separately, but I think we're
24 probably talking about some of the things that have been
25 discussed, such as renal disease.

1 DR. CUSH: That's actually very different than
2 fatigue. I mean there are millions and millions --

3 DR. FIRESTEIN: I understand.

4 DR. CUSH: -- of people with fatigue and ANAs.
5 Are we going to call them lupus or are we going to now
6 allow this be an indication that's going to be treated with
7 a new drug?

8 DR. FIRESTEIN: No, but this is just as a
9 matter of philosophy, not for that specific indication that
10 you're talking about. Would it be reasonable to have an
11 indication for lupus nephritis?

12 We're going to take a vote on it, so everybody
13 now will be able to be heard, and then we'll just tally up
14 the votes. So why don't we just start off?

15 DR. LOONEY: What are the A and the B here?
16 Could you give us the statement of what A is and what B is?

17 DR. FIRESTEIN: Okay. A is an organ-specific
18 or a manifestation-specific indication along with a global
19 index as a safety net, as was pointed out, or just a global
20 indication. That's the B.

21 DR. DIAMOND: It's whether the claim is this
22 treats lupus or this treats lupus nephritis or this treats
23 thrombocytopenia or this treats lupus skin disease. It's
24 whether you can have a restricted claim or whether you have
25 to say that your drug treats all of lupus, so you have to

1 show that. That's essentially what it is.

2 DR. WALLACE: Essentially, you have four
3 things. You have symptoms, signs, laboratory
4 abnormalities, and organ disease.

5 DR. DIAMOND: But we're not deciding now --

6 DR. FIRESTEIN: Hold on just a second, please.

7 All right. So the vote is -- there are only two choices
8 -- for either a global lupus indication, that's B. I'm
9 doing this in reverse order to confuse people even more.
10 Or A, you can have an organ-specific claim.

11 DR. DIAMOND: A restricted claim. We're not
12 deciding now whether fatigue is in or out.

13 DR. MERRILL: But it's not one or the other.
14 You could have either one. It's do you give people a
15 choice or not.

16 DR. FIRESTEIN: Please. Folks, we're just
17 going to go around the table now.

18 DR. SIEGEL: I'm going to try to again. A is
19 an organ-specific claim. B is only a lupus claim.

20 DR. LOONEY: A.

21 DR. ILLEI: A.

22 DR. HARDIN: A.

23 DR. HAHN: A.

24 DR. DOOLEY: A.

25 DR. ALARCON: A.

1 DR. PISETSKY: A.

2 DR. MERRILL: A.

3 DR. GIBOFSKY: I think A. I'm a lawyer here,
4 and I'm confused by all this parsing that we're doing.

5 DR. FIRESTEIN: Dr. Gibofsky, you've used your
6 time allotment.

7 (Laughter.)

8 DR. GIBOFSKY: A lupus general claim.

9 DR. HOFFMAN: B.

10 DR. CUSH: A, only organ-specific, not symptom.

11 DR. ANDERSON: A, either organ or symptom.

12 DR. WILLIAMS: A.

13 DR. FIRESTEIN: A.

14 DR. CALLAHAN: A.

15 MS. McBRIAR: A.

16 DR. MANZI: A.

17 DR. ILOWITE: A.

18 DR. FINLEY: A.

19 DR. DAVIS: Organ-specific or symptom.

20 DR. DIAMOND: A.

21 DR. BUYON: A, whatever the claim may be.

22 DR. WALLACE: A.

23 DR. WEISMAN: A.

24 DR. HOFFMAN: We can make that actually
25 unanimous because of the confusions of A and B. It

1 actually was A.

2 DR. FIRESTEIN: Oh, all right. So it's
3 unanimous. Therefore it must be correct.

4 (Laughter.)

5 DR. FIRESTEIN: All right. So we have a few
6 more minutes in this time allotment in order to talk about
7 the last couple of questions. Number 6 is what criteria
8 should be used to define remission, and how long should a
9 remission be observed? Dr. Williams.

10 DR. WILLIAMS: Many years ago, the CSSRD did a
11 study on early undifferentiated disease and we did a 5-year
12 follow-up. Then they asked us to do a 10-year follow-up.
13 One of the interesting aspects of that was patients who
14 were in remission at 5 years with lupus were not in
15 remission at 10 years. Over half of them went back into
16 disease.

17 I'm uncomfortable with calling remission in
18 lupus. I think you can say they're no longer active, but I
19 think these patients went in and out, whereas rheumatoid
20 patients tended to stay in remission.

21 DR. FIRESTEIN: But that's not a problem in a
22 number of other diseases where people can be in remission
23 and then the disease can come back or recur at a later
24 time.

25 So how long does somebody have to be in

1 remission before you would feel comfortable calling it a
2 remission? Joan?

3 DR. MERRILL: The remission has to be
4 permanent. I mean if you're allowed to get sick again and
5 still you called it a remission at that time, then I would
6 say 1 year.

7 DR. WALLACE: What do we mean by remission?
8 Clinical? Laboratory?

9 DR. FIRESTEIN: Well, that was defined by Jeff,
10 wasn't it? The actual definition of remission was --

11 DR. WILLIAMS: Jeff's definition, I think, was
12 no disease off medication.

13 DR. FIRESTEIN: No disease off medication.

14 DR. WALLACE: Well, is that a positive ANA in
15 somebody off medication who feels fine?

16 DR. FIRESTEIN: No, I don't think so. A
17 positive ANA is not lupus.

18 DR. LOONEY: You're saying that you would not
19 say somebody is in remission if they had a positive ANA?

20 DR. FIRESTEIN: My personal opinion? If they
21 were clinically in remission and were not on therapy and
22 their ANA were persistently positive, I would still be
23 comfortable calling that a remission.

24 DR. LOONEY: Sure. I think everybody would
25 agree with that.

1 DR. MERRILL: This has been done before. It's
2 called a BILAG D.

3 DR. FIRESTEIN: Are there 26 BILAG --
4 (Laughter.)

5 DR. PISETSKY: Depending on the agent and the
6 manifestation, being off all medication is actually fairly
7 stringent because there are patients with lupus who are
8 going to be on a variety of things that are not necessarily
9 immune-related. Anticoagulants, you know the list. And I
10 don't think you really expect people to be off of those in
11 the entirety.

12 The other thing is whether there should be
13 separate consideration for being on nonsteroidals as
14 opposed to steroids or antimalarials.

15 So I think all medication is really a little
16 stringent and not realistic.

17 DR. DIAMOND: The definition was lupus-directed
18 medication.

19 DR. PISETSKY: Right. But I think you can
20 argue where some of these should be fit in. Does that
21 include ACE inhibitors or aspirin?

22 DR. FIRESTEIN: Do you have a comment, Dan?
23 Jack, and then Gary.

24 DR. CUSH: I think this is putting the cart way
25 before the horse. I think that since we're struggling with

1 BILAG C's and D's, that I think it's a lofty goal but one
2 that must be, I think, based on some study and a guidance
3 document or the result of some consensus on what defines
4 remission. It would be a very rigid definition. There
5 would be a time element. I don't know that that's up to
6 the committee to give guidance on that right now.

7 It's such a high bar, I don't know that it
8 needs to be developed right here and now. I think in
9 developing your guidance document, you can talk about it
10 and take suggestions for it and wait for developments in
11 that field, but I don't think we have any firm definition
12 of it at this time.

13 DR. LOONEY: I think we're already faced with
14 the possibility that treatments are actually going for
15 remission. I think that's the goal of the Hopkins study
16 with ablative therapy for Cytosan. They're looking for
17 treatment-free remission of disease.

18 DR. FIRESTEIN: Gary.

19 DR. HOFFMAN: I think the quibbling is going to
20 be about the suggestion that we consider remission off all
21 drugs because there are other disease activity tools, and
22 in fact in oncology as well. Remission is not defined
23 based upon being off or on any drugs. It's the absence of
24 any signs of active disease.

25 So I think we can define that remission in fact

1 takes place if someone is on Cytoxan and prednisone, but
2 then we have to subqualify great remissions when people are
3 off steroids entirely and the greatest remissions when
4 they're off steroids and adjunctive therapy, whether that
5 be cytotoxic drugs or some of the newer biologics.

6 But I think this runs a little counter to some
7 of the other guidelines and even dictionary definitions of
8 what constitutes remission, where in fact if you look it up
9 in Dorland's, it's only disease improvement. It doesn't
10 even mean absence of all signs and symptoms of disease.

11 DR. FIRESTEIN: Mary Anne, and then Bevra.

12 DR. DOOLEY: I think speaking about remission,
13 too, may not be able to speak about remission in lupus in
14 general, but also be organ-based. So, for example, there
15 are guidelines being presented for remission in lupus
16 nephritis and those might be in some respects more easy to
17 define than remission in other organ systems. So I suspect
18 it will end up needing to be more organ-based.

19 DR. DIAMOND: Can I ask a question? I'm sorry.
20 Go ahead.

21 DR. HAHN: I want to pick up on Gary's theme,
22 and I think that these are different levels and maybe it's
23 too hard to recognize them because of numbers, but if we
24 call remission treatment-free absence of symptoms and
25 signs, leaving the lab out, and then we call complete

1 response absence of symptoms and signs and activity but you
2 could still be on some medication, and partial response,
3 there's some level of medication that's required and there
4 are still symptoms and signs, I think we could follow what
5 Gary was saying and we could make some words or definitions
6 that would capture all three of those.

7 Now, my question for the statisticians was if
8 we do that, will we never get enough n? Is that too much?
9 That's reality. Is it too much subsetting to get
10 statistical validity, do you think?

11 DR. FIRESTEIN: Did you want to comment on the
12 statistics? Then we'll go over to this side.

13 DR. ANDERSON: I would just say that some years
14 ago, it was thought that there would never be complete
15 response in rheumatoid arthritis and so there wasn't much
16 point in defining it, but now, they're getting more and
17 more. So it really depends on what proportion of the
18 patients are going to end up in that state and maybe now
19 you don't have it but in 5 years or 10 years, you may. So
20 that isn't a reason not to do it because you don't have it
21 right now.

22 DR. FIRESTEIN: John, and then Norman.

23 DR. DAVIS: I think we are putting the cart
24 before the horse. We've been dealing with this with
25 spondylitis for the past couple of years as well, and it's

1 a totally separate disease. I agree with what Mary Anne
2 has been saying, which is for each organ-specific claim, we
3 can have a definition of a remission, but for lupus
4 overall, I can't think of a good-enough definition.

5 So I'd like to throw another word into the pot
6 of low disease state, being maybe 20 percent on a number of
7 different scales. I know it's a BILAG whatever, right?

8 (Laughter.)

9 DR. MERRILL: This has been published. This
10 has been validated. You guys are reinventing the wheel. I
11 think we should all go read the literature.

12 DR. DAVIS: But I think we don't know enough
13 about the disease pathology to be able to define really
14 what a remission is, and if we go back even to looking at
15 these sort of constitutional things that we were talking
16 about before, a patient could totally look very well but
17 feel very unhealthy but be off of all medicines. Are they
18 in remission at that point? I have nothing to measure it.

19 DR. ILOWITE: It seems to me that this is a
20 relatively semantic argument because there are descriptive
21 terms to describe things that we can define any way we
22 want. But the real question is how long are they going to
23 stay in this state and not relapse, and we're not there
24 yet. So we don't know how long you have to be in remission
25 or complete clinical response or off medication or not

1 before you're less likely to relapse, at least not to my
2 knowledge.

3 DR. DIAMOND: I completely agree with Norman.
4 I think that when you need this discrimination is when you
5 have two good drugs and you want to know if one is better
6 than another. But we're not there yet, and at the moment,
7 we can use our words to describe that it improves renal
8 disease, that it improves hematologic disease, that it
9 improves total disease global assessment, whatever, and we
10 would not do a service to ourselves and patients by getting
11 hung up on these definitions when we don't have a reason to
12 need them yet. Hopefully we will.

13 DR. FIRESTEIN: So there you have it. We don't
14 know what a remission is and so we don't know how long it
15 should last either, I guess.

16 Is there another comment? Joan.

17 DR. MERRILL: I thought our charge was to say
18 how long a patient would have to be disease-free to
19 consider it a remission, and I thought the definition of
20 remission was not cure, it was just absence of disease. So
21 I would be convinced that someone had absence of disease if
22 they really had it for a year.

23 DR. FIRESTEIN: Since we can't agree on what a
24 remission is, then --

25 DR. MERRILL: It's a BILAG D.

1 (Laughter.)

2 DR. FIRESTEIN: I understand that and we've
3 been admonished to read the literature which we shall do

4 So did you have another question about that?

5 DR. SIMON: So after this long discussion and
6 the various different questions, I wanted to pose another
7 question to the committee. You don't have to take a vote,
8 but I'd like to hear your answer, which is, so if we
9 identify that a sponsor decides that arthritis of lupus is
10 important to them and they want to develop a therapeutic
11 for that, that might be a nonsteroidal anti-inflammatory
12 drug. And that would be acceptable to this panel that the
13 sponsor would go ahead and design and implement a discovery
14 program to demonstrate that a specific nonsteroidal anti-
15 inflammatory drug would be approved for the treatment of
16 the arthritis of lupus.

17 DR. FIRESTEIN: I can't speak for everybody
18 else, but from my perspective, if they wanted to invest
19 their resources in that manner, there are certainly broader
20 target audiences for that particular indication in terms of
21 arthritis that can also work in lupus as well, but if
22 that's what they choose to do.

23 So the last couple of questions here are
24 related to the use of flares and how we assess them and how
25 we use them. So should a new therapy also study the

1 treatment of active disease if a prevention of flares claim
2 is sought? Is it acceptable to consider all flares of
3 equal importance as an outcome measure?

4 DR. CUSH: I think flare trials are probably
5 best if you're looking to do a placebo-controlled trial,
6 meaning that you have stable disease and you're looking to
7 prevent flares. Then that's the way you can place your
8 placebo-controlled trials and maybe that's during phase II.
9 But then when you want to treat nephritis or active
10 cerebritis or active severe hematologic disease, really
11 sort of threatening sorts of organ-specific manifestations,
12 that's where maybe an active control randomized trial might
13 make more sense, comparing your new intervention with
14 whatever the standard is at that time.

15 DR. FIRESTEIN: Jill.

16 DR. BUYON: I couldn't emphasize more strongly
17 that to lump all flares together I think would be a major
18 mistake and that we should clearly recognize the difference
19 and implication of a mild/moderate flare versus a severe
20 flare. I think our experience with the SELINA trial
21 unequivocally dealt with that.

22 And patients, by the way, also want to know.
23 When they say is something going to happen to me, there's a
24 very big difference to them between going on dialysis and
25 again we were discussing hair fall, for example. Or an

1 oral or nasal ulcer is an area which we didn't even touch
2 upon. So I would make a great push that we differentiated
3 mild/moderate from severe flare.

4 DR. FIRESTEIN: Jim, did you have something to
5 say, and then Joel.

6 DR. WILLIAMS: Well, I was just going to say
7 that based on our previous vote, that if they wanted to go
8 in for an indication of flare, they define it and do the
9 study and then we base it on that study.

10 DR. SCHIFFENBAUER: The reason behind the
11 question was if a drug is approved that prevents flares,
12 it's likely at some point to be used off-label to treat
13 active disease. So the implication was when and if it
14 should be studied to treat active disease because that's
15 how it would potentially be used. That was the reason
16 behind the question.

17 DR. HOFFMAN: I think while it's important to
18 track flares and types of flares, the proposed document
19 gives us lots of options about ways that we can perhaps
20 more accurately get a feel for the disease. For example, I
21 think on page 8 and 9, looking at disease activity, there's
22 the suggestion of also looking at area under the curve for
23 disease activity throughout the trial with frequent
24 intervals of assessment. So I would suggest to our
25 partners in industry, should they be interested in

1 utilizing such a tool, that they also take to heart the AUC
2 determinations as an important endpoint.

3 DR. DOOLEY: In terms of saying that there may
4 be an agent that would prevent flares but that would not be
5 substantial enough to treat active disease, I certainly
6 think that exists. There have been some small trials of
7 Plaquenil, for example, that suggest that it may prevent
8 serious flares of lupus, not just mild flares, but no one
9 would presume to treat active nephritis with Plaquenil
10 alone.

11 So I don't think that because there may be
12 physicians who choose to use drugs off-label that we should
13 make regulatory requirement that these drugs that might be
14 good enough for maintenance but not strong enough for
15 active disease would have to prove efficacy against it.

16 DR. FIRESTEIN: Gabor.

17 DR. ILLEI: I just wanted to say the same. I
18 do think that a drug that has the claim for prevention of
19 flare has to be proven to treat active disease.

20 DR. FIRESTEIN: Bevra.

21 DR. HAHN: I agree with that, and I wanted to
22 be educated about this. So what is the FDA's
23 responsibility to try to prevent using drugs off-label?
24 What are the guidelines?

25 DR. SIMON: That is exactly what I was going to

1 address. We don't regulate medical care and the community
2 should do what is standard of care. The key issue is
3 understanding the safety of such a thing.

4 The use of it might be slightly different. It
5 might be used for a different period of time. The analogy
6 is in the pain field, an acute pain drug, we know if people
7 believe it works for acute pain, will be used for chronic
8 pain. We've had any number of examples of that, and thus
9 it's used for a longer exposure time and a different kind
10 of exposure time, thus potentially opening up safety risks
11 that could not be seen in a 2- or 3-day trial for acute
12 pain.

13 So under those circumstances in creating this
14 analogy, one would think that it is possible that
15 entrepreneurial and aggressive and interesting physicians
16 might do interesting studies to demonstrate that a drug to
17 prevent a flare would then be used to treat active ongoing
18 disease in a way that might be slightly different or for a
19 longer period of time. So it allows us to understand a
20 little bit more about its safety issues than just its
21 efficacy.

22 DR. PISETSKY: I was going to say that there's
23 plenty of precedent of drugs used preventively to prevent a
24 bad outcome. You lower lipids to prevent MIs, but there's
25 no assumption you're going to treat MIs with lipid-lowering

1 agents. So I think it's really quite fair, if you had some
2 idea of mechanisms, to have agents that prevent lupus from
3 getting worse, and I wouldn't discourage their development.

4 DR. SIMON: Don't get us wrong. It was not an
5 understanding to discourage development. It was an attempt
6 to understand how best to understand its use. We're not
7 wedded to it one way or the other. We're just asking
8 questions to get a clarity point of view about what you all
9 are thinking about.

10 DR. FIRESTEIN: Two more comments and then
11 we're going to move on to Dr. Witter's presentation.
12 Graciela and Mary Anne.

13 DR. ALARCON: Yes. I think when you're talking
14 about prevention of flare, you really should expand and say
15 prevention of the damage caused by the disease or the
16 treatment. So really and truly, if you get a drug that not
17 only does prevent you from having an acute exacerbation but
18 also prevents damage, then you really have a winner.

19 DR. FIRESTEIN: All right. Well, that answers
20 that question, I guess.

21 Dr. Witter is now going to talk to us about
22 clinical markers.

23 DR. WITTER: I have in my hand here a form
24 356H. It's entitled Application to Market a New Drug,
25 Biologic, or An Antibiotic Drug for Human Use. If we

1 wanted to start filling this out, there are some things
2 that I think we need to understand and that's part of the
3 reason that I'm giving this presentation today.

4 I might be dating myself, but my mother used to
5 give me cod liver oil and told me it was good, so I held my
6 nose and I took it. So if I see some of you holding your
7 nose, I won't be offended. But I do think we need to get
8 on the same page literally with some of these concepts so
9 that we understand where we are and, more importantly as
10 we've been discussing, where we need to go.

11 So as you've heard already from Dr. Simon, the
12 FDA approves drugs and biologics -- and I didn't leave
13 devices out to be spiteful -- therapeutics for interstate
14 commerce. The FDA does not regulate medical care, although
15 I think one could certainly argue that if a drug is
16 withdrawn or withheld from the market, that may in fact be
17 regulating medical care. Therefore, we come to this issue
18 we've been talking about as standard of care or off-label
19 use and Dr. Simon again talked about what we're all
20 familiar with which are "approved" drugs and then "off-
21 label" use.

22 So I'd just like to take a little bit of time
23 to go back to explain how it is that we arrived -- the FDA
24 that is -- where we are with our current thinking, so that
25 we understand the rules and I think we'll better understand

1 the exceptions to those rules.

2 So the FDA really started in about 1906 and it
3 was really established really just to respond to problems.

4 There was no specific requirement for testing or approval,
5 but then some things happened in the '30s which woke a lot
6 of people up. Dinitrophenol was being utilized at that
7 time for weight loss and if you go on the internet today,
8 there's still an active amount of discussion for that
9 particular usage for that compound. But it was discovered
10 that about 1 percent of people -- and this was mostly women
11 -- developed cataracts and there were also some deaths
12 associated with its use.

13 Then in 1937, there was the elixir
14 sulfanilamide disaster. If you read the label -- and
15 actually you can go on the FDA's web site and they have a
16 picture of a bottle-- the label says, "For all conditions
17 in which the hemolytic streptococci appear." That's when
18 you should use it. There were a 107 deaths, many of whom
19 were children, and this was come to understand that
20 diethylene glycol, or essentially the component in
21 antifreeze, was being utilized as a solvent. It seems kind
22 of ridiculous today, but this was how we came to understand
23 that things can have an unwanted effect.

24 So the Food, Drug and Cosmetic Act was written
25 in 1938, and really what that act did was establish the

1 requirement for safe therapeutics. Marketing required an
2 NDA, New Drug Application, but it was really a passive
3 process in the sense that if FDA did not object, then it
4 was okay. So for example, FDA at that time could refuse an
5 application if the investigations did not establish safety
6 under the proposed label, the tests showed that they were
7 unsafe or not safe, there was insufficient information to
8 establish safety, or the label was false or misleading.

9 Jumping ahead now to 1962, the Act was amended
10 to add the requirement -- and the word is "requirement --
11 for efficacy, and it laid out some mechanisms to conduct
12 clinical studies. The goal was to predict safety and
13 efficacy when the product was to be marketed, and this was
14 accomplished through carrying out, and the importance of
15 words here, "adequate and well-controlled trials."

16 Jumping ahead to current times now, if we look
17 at the Food, Drug and Cosmetic Act, section 505, which I'll
18 talk about in just a bit more in a second, again we have
19 now arrived. Now I think you understand why we say for a
20 traditional approval, what we're after is that there needs
21 to be substantial evidence of safety and efficacy as the
22 basis for approval. This time now, the approval is on a
23 positive approval. It's not negative. We actively have to
24 be involved in it. And of course, as you've been
25 discussing, this then gives FDA the right to grant

1 exemptions from this Act to allow IND studies to be
2 conducted for both drugs and biologics.

3 So we'll come back to my application here.
4 We're going to fill it out later, I hope, in some way or
5 another at some point in time. One of the questions on
6 there says you have to fill in whether this is a section
7 505(b)(1) and that is what we call a traditional pathway
8 for approval of a new drug, for example. In there, it
9 states the application has to have full reports of
10 investigations to show whether a drug is safe and effective
11 and it has details about components, composition, methods,
12 and controls.

13 On this same form, you also have to fill out
14 some areas that talk about the Code of Federal Regulations.
15 They are both laws but the Code of Federal Regulations is
16 a way to implement the act, and so that's what we tend to
17 talk about more at these kinds of meetings. So really what
18 the Code of Federal Regulations is, it's a codification of
19 rules published in the Federal Register by the executive
20 department of the Federal Government. It's divided into 50
21 titles and these titles generally represent broad areas
22 that are subject to federal regulation. The titles are
23 divided into chapters which often bear the name of the
24 issuing agency, and then the chapter are divided into parts
25 and subparts. So we've now come to at least half of my

1 talk. Subparts.

2 Title 21 then is really composed of nine
3 volumes with parts. Parts 1 to 1299 comprises the first
4 chapter and that really describes what we do at FDA. Part
5 1300 to the end which is only a single volume, has really
6 two chapters in it. One describes the Drug Enforcement
7 Agency and how it works and chapter 3 talks about the
8 Office of National Drug Policy. So we'll be focusing only
9 on some of those.

10 So if we went then to part 314 and we looked at
11 that -- this is an application to market a new drug -- we
12 would see subparts A, B , and all the way down to H, which
13 is what I'll be talking about today.

14 So now we should understand when we say 21 CFR
15 subparts H and E, how we got there. So subpart H is
16 314.500, as I've indicated here, and it reads, "Accelerated
17 Approval of New Drugs for Serious or Life-Threatening
18 Illnesses." Just to remind you again, 314 regulations are
19 really new drug regulations. Subpart E is in a different
20 area. It's under what are the IND regulations, so it's
21 312.80, and it is entitled "Drugs Intended to Treat Life-
22 Threatening and Severely-Debilitating Illnesses."

23 So I think we should take a second to make sure
24 that we understand, at least in terms of the Code of
25 Federal Regulations, what these definitions are meant to

1 mean.

2 Life-threatening is two things. You can
3 substitute lupus, as you see fit, into these definitions as
4 I move forward. Diseases or conditions where the
5 likelihood of death is high, unless the course of the
6 disease is interrupted, and diseases or conditions with
7 potentially fatal outcomes where the endpoint of clinical
8 trial analysis is survival.

9 Severely debilitating, on the other hand, are
10 diseases or conditions that cause major irreversible
11 morbidity.

12 So now let's talk about surrogate approval.
13 I'd like to not use the term "accelerated approval" because
14 I think it's a little confusing. So a surrogate approval,
15 subpart H -- now you know where the citation comes from --
16 reads as follows: "FDA may grant marketing approval for a
17 new drug on the basis of adequate and well-controlled
18 clinical trials establishing that the drug product has an
19 effect on a surrogate endpoint that is reasonably likely,
20 based on epidemiologic, therapeutic, pathophysiologic, or
21 other evidence, to predict clinical benefit or on the basis
22 of an effect on a clinical endpoint other than survival or
23 irreversible mortality."

24 There are caveats to subpart H. For example,
25 there is a requirement that the applicant must study the

1 drug further to verify and describe its clinical benefit
2 where there is uncertainty. So in the one instance where
3 we're utilizing the surrogate to a clinical benefit or the
4 observed clinical benefit to the ultimate outcome of
5 survivability, for example.

6 These studies that are done post-marketing are
7 expected to be underway and they also are expected to be
8 adequate and well controlled and they must be carried out
9 with due diligence.

10 Other caveats to pay attention to in subpart H.

11 The FDA may withdraw approval following a hearing if any
12 of the following apply: post-marketing clinical studies
13 that are underway fail to verify the clinical benefit; the
14 applicant fails to perform the required post-marketing
15 study with due diligence. I find this one particularly
16 interesting. The promotional materials are false and
17 misleading. Even in that instance, a part of the agency is
18 looking at this, called DDMAC. Other evidence demonstrates
19 that the drug product is not shown to be safe or effective
20 under its conditions of use. These types of caveats don't
21 apply to traditional approvals.

22 Subpart E also has its caveats and some of
23 these are really quite interesting, I think. It says that
24 FDA can exercise flexibility in applying standards while
25 preserving safety and effectiveness, much of what we've

1 been talking about today so far, and it states that these
2 procedures reflect the recognition that physicians and
3 patients are generally willing to accept greater risks of
4 side effects from products that treat life-threatening and
5 severely-debilitating illnesses than they would accept from
6 products to treat less serious illnesses.

7 Another caveat I think important to bear in
8 mind is that, for example, when the agency is looking at
9 the risk-benefit analysis in the review of a marketing
10 application under subpart E, that it's not necessarily a
11 done deal, that you can get, for example, a non-approvable
12 letter, if it's a drug, or a deficiency letter, if it's a
13 biologic, that may be issued after the review. In other
14 words, there is a decision that has to be made here. And
15 phase IV studies seem to be very likely because the FDA may
16 seek agreement from the sponsor to conduct certain phase IV
17 studies to delineate additional information about the
18 drug's risks, benefits, and optimal use. So that sounds
19 pretty much like subpart H.

20 So we've come to a part of the talk then that
21 deals with the subparts. Now let's talk about surrogates.

22 Maybe many of you were at the meeting four or so years
23 ago. It was an NIH/FDA-sponsored meeting that really
24 talked about biomarkers and surrogate endpoints. That was
25 very much coming into a lot of people's radar screens at

1 that point in time, and this meeting was a very interesting
2 meeting. We talked about at that point definitions,
3 conceptual models and possible relationships. So I thought
4 I would just go over some of those for a bit because it
5 might be useful for today's and tomorrow's discussions.

6 The conceptual models that were really talked
7 about at that time were that biomarkers included
8 measurements considered directly related to clinical
9 outcomes but are not the outcomes themselves. We've heard
10 some of that discussion already today. Biomarkers can
11 evaluate the safety or efficacy or potentially both of
12 therapeutic interventions, and some biomarkers may achieve
13 the status of a surrogate endpoint in a clinical trial, but
14 at that time, it was thought to be difficult because
15 diseases are generally very complex and single markers have
16 their limitations.

17 Some of the relationships that were discussed
18 at that point were that a biomarker, for example, may be of
19 no value as a surrogate marker and, for example, the
20 intervention may affect the disease and not the marker at
21 all. It was talked about that biomarkers may measure an
22 unfavorable outcome, and I'll talk about an example of that
23 in a bit. It may be that a biomarker has the partial value
24 and that the intervention's positives and negatives are not
25 fully measured, and this may be where most current

1 surrogate endpoints are today, or it may be that the
2 biomarker is in fact an ideal surrogate endpoint which
3 would be what would be desired.

4 So biomarkers in an SLE may, for example, be
5 utilized then in exploratory studies. They may help
6 identify or prioritize new therapies. They may help to
7 assess safety. They may help to compare therapies. They
8 may help patients and doctors to select and monitor
9 therapies, and if they're good, they may then function to
10 help assess efficacy, particularly as a surrogate.

11 So let's talk about surrogates for a second and
12 make sure that we are again understanding what the
13 definition is. A surrogate endpoint of a clinical trial --
14 this has been described -- is the laboratory measurement or
15 physical sign used as a substitute for a clinically
16 meaningful endpoint that measures directly how a patient
17 feels, functions, or survives. And I'd like to stress that
18 again. When you're looking to be approved without any
19 caveats, when you're looking for a clinically meaningful
20 endpoint, that's what this is, is that it has to describe
21 how a patient feels, functions, or survives.

22 Changes induced by therapy on a surrogate
23 endpoint are expected to reflect changes in a clinically
24 meaningful endpoint. The surrogate endpoint concept is
25 only valid if the effect on the surrogate leads to a

1 clinical benefit.

2 So as Lee talked about a bit earlier, then the
3 distinction then between surrogates versus biomarkers is
4 that surrogate endpoints are candidates for drug approval
5 and biomarkers do not have the same regulatory implication
6 and some surrogates may be biomarkers but not all
7 biomarkers are surrogates.

8 I just have a little slide here kind of showing
9 this in a picture form, cartoon form, and I think it's re-
10 illustrating the fact that there are a variety of ways for
11 a biomarker to become a surrogate marker and a surrogate
12 marker to become a clinically meaningful endpoint.

13 So what is the current status of surrogates?
14 Blood pressure, for example, is one that's utilized.
15 Lipid-lowering we heard just before. Blood sugar. Bone
16 mineral density and HIV load. If you were, for example, to
17 go to the FDA's web site and look under subpart H, there
18 have been since 1992 49 approvals under subpart H, and 50
19 percent of those, about half, have been for HIV. Another
20 25 percent have been for oncologic-type indications. So
21 there's not a lot of experience in terms of looking at
22 surrogate endpoints in situations outside of this, so
23 hopefully we'll be able to have some of that discussion
24 today.

25 Well, what are some of the problems with

1 surrogates? One of the most worrisome is that they do not
2 always account for adverse event effects which may cancel
3 out part or all of the apparent treatment effect. So, for
4 example, one that's often talked about is the Cardiac
5 Arrhythmia Suppression Trial, or the CAST trial, which was
6 published in the New England Journal back in 1991. The
7 idea going in there, which was agreed to and made sense to
8 everybody, was that it was good to suppress arrhythmias,
9 but in fact what came out of the trial was exactly the
10 opposite in the sense that I have listed here, for example,
11 deaths and cardiac arrests in the placebo group, which was
12 3.5 percent, and in the active treatment groups, which was
13 8.3 percent. So in this regard, the problem is that the
14 surrogate marker was -- no pun intended -- dead wrong.

15 So subparts H and E, then hopefully I've
16 explained, they have some potential advantages. They can
17 do this that we've been describing as an accelerated
18 approval, but they have a potential disadvantage in that
19 you can also have an accelerated withdrawal because there
20 are certain requirements put on a compound if it takes this
21 route.

22 So let's just finish up and talk about a few
23 potential biomarkers or surrogate markers, starting off
24 with uric acid as a potential example. We all know that
25 serum uric acid is a laboratory measure and that in the

1 right patient, elevated levels can correlate with gout
2 attacks or tophaceous disease or renal disease.

3 So the question then becomes, in terms of
4 lowering serum uric acid, are we looking for then
5 decreasing the incidence of what could be argued to be a
6 robust clinical endpoint of end-stage renal disease or are
7 we simply looking for the reduction of stone formation?
8 Are we looking for then to decrease gouty arthritis or
9 simply the size of the tophi? How much is enough? Do we
10 have to come to a certain level there? Do we have to beat
11 placebo, and does it have to be in everyone or just a
12 subset of patients? These are issues which we may want to
13 discuss as we proceed here in SLE.

14 So let's throw out a for instance. This is a
15 hypothetical example of a surrogate approval. Say that it
16 is proposed by a sponsor that double-stranded DNA, the
17 antibodies against such, are proposed as a surrogate in a
18 trial for lupus, for renal disease in this case, and that
19 they are proposing a responder approach to analysis.
20 Hopefully we've heard enough about responder analysis to
21 understand that it's interesting because it's highly
22 malleable and it can be adaptable to different situations,
23 which makes it appealing.

24 So they would then have proposed this based
25 upon certain endpoints in phase II and then look at it in

1 phase III, and this would be then addressing a short-term
2 benefit. So we would propose or we would be discussing, as
3 you've done today, that it seems obvious then that you have
4 to have some kind of benefit from a renal perspective, but
5 then what else do you need that shows that you have
6 clinical benefit? Would one of the quality of life
7 measures that we were discussing earlier today be
8 sufficient to allow it to get on the market with a robust
9 due diligence post-marketing commitment to verify long-term
10 clinical benefit and what would that then be? Preservation
11 of renal function? Some of these will be described after
12 the break.

13 So I think really what we've been discussing
14 all day and I'm pretty sure what we will be continuing to
15 discuss is that when you talk about risk-benefit, there
16 really are different levels that need to be considered. We
17 at the agency look more at a population level. Those of
18 you out here that are providers, you evaluate it for your
19 patients and then those of you that are patients, you
20 obviously evaluate this from your own terms and what makes
21 it of importance to you. So hopefully we can keep all of
22 these balancing acts in mind as we move forward with our
23 discussions.

24 DR. FIRESTEIN: Thank you very much. We are
25 now at our next break time. So we will break for 15

1 minutes, 15, 1-5, and then reconvene.

2 (Recess.)

3 DR. FIRESTEIN: The next portion of the meeting
4 is going to be an open public hearing.

5 As before, we have several individuals who have
6 asked for time and again just to remind those individuals
7 to please state their potential conflicts of interest. I
8 have to read it again? Can we just play it back?

9 (Laughter.)

10 DR. FIRESTEIN: I really have to read this
11 again?

12 MS. TOPPER: You really do, yes.

13 DR. FIRESTEIN: Both the Food and Drug
14 Administration and the public believe in a transparent
15 process for information-gathering and decision-making. To
16 ensure such transparency at the open public hearing session
17 of the advisory committee, FDA believes that it is
18 important to understand the context of an individual's
19 presentation.

20 For this reason, FDA encourages you, the open
21 public hearing speaker, at the beginning of your written or
22 oral statement to advise the committee of any financial
23 relationship that you may have with any company or any
24 group that is likely to be impacted by the topic of this
25 meeting.

1 For example, the financial information that may
2 include a company or a group's payment of your travel,
3 lodging or other expenses, in connection with your
4 attendance at the meeting. Likewise, FDA encourages you at
5 the beginning of your statement to advise the committee if
6 you do not have such financial relationships.

7 If you choose not to address this issue of
8 financial relationships at the beginning of your statement,
9 it will not preclude you from speaking.

10 The first speaker is Sandra Raymond.

11 MS. RAYMOND: Thank you. I don't believe that
12 I have any financial or conflicts of interest.

13 Let me just say by virtue of the discussion
14 this afternoon, I believe we're moving toward claims that
15 are more realistic in terms of the state of the science,
16 but I would say to you that language is very important and
17 that this document frames the science in very negative
18 terms and those terms in my view are very unattractive to
19 potential sponsors. I understand that there are gaps in
20 the science, but I think the way in which this is framed
21 really is very negative.

22 In terms of markers, I recall in the original
23 osteoporosis guideline document that bone mass was a
24 surrogate marker, and certainly measuring bone mass was
25 very, very important and was central to clinical trials.

1 The fact is that the technology at that time had not fully
2 evolved and there was great controversy about the accuracy
3 and the precision of DEXA and other forms of the
4 technology, yet the FDA was very generous, I think, in
5 allowing that technology to be included in the document.
6 It really did allow for the evolving therapies that now
7 exist today, and you know we have very good therapies in
8 osteoporosis. So I would ask the FDA not to set the bar at
9 an impossibly high level.

10 With respect to the claims, I think that the
11 current claims -- and I know they may be changing -- but
12 when you talk about language, for example, the document up
13 front lays out the science. In fact, it lays it out in
14 glaring detail, and it does raise uncertainty about the
15 disease activity indices, and from what I've heard here and
16 from what I'm told by the experts, we do have indices that
17 are pretty good in this field. They may have some
18 imperfections, but the fact is that they, through clinical
19 experience, have proven to be pretty good indices.

20 We may have several markers, and I'm not here
21 to tell you whether you have them or not, but certainly
22 clinicians have been using complement and have been using
23 ds-DNA and have been managing patients that way for quite
24 some time. So is it rocket science?

25 In terms of definition of a flare, I suspect

1 that there is a definition that's been used out there. It
2 may not be validated. And I don't know how fair it is to
3 ask a sponsor to both validate definitions and indices
4 while conducting their clinical trial. Maybe that is not
5 the role of the sponsor. Maybe it's the role of other
6 agencies.

7 In the second claim, I think the document lays
8 out unrealistic outcomes, sustained doubling of creatinine,
9 which might be too harsh for patients over that period of
10 time, or progression to end-stage renal disease which, from
11 what I hear, you have to conduct a pretty long trial in
12 order to get the number of patients you need to power a
13 study. So I think that those are pretty unrealistic
14 outcomes. I believe there are five in claim 2 and three in
15 claim 1, if you include quality of life.

16 The other issue I think that we need to think
17 about is this whole idea of complete clinical response and
18 clinical remission. I hear around the table that there may
19 be some definitions that have been used that seem to work
20 in clinical trials.

21 I would say about subpart H and E the
22 following, that for companies working in the field today,
23 this is a thin ray of light, but for others, this is a
24 high-risk, high-return strategy unlikely to be so
25 attractive in today's drug development environment. I

1 could be 100 percent wrong on that, and certainly it did
2 wonders for HIV and if it can help in lupus, that's
3 terrific, but I wonder whether in fact the drug industry
4 will be attracted specifically to that. So that means that
5 claims in the front part of the document need to be spelled
6 out, I think, the way in which you discussed it this
7 afternoon.

8 Thank you.

9 DR. FIRESTEIN: Thank you. The next speaker is
10 Linda Nardone.

11 DR. NARDONE: Thank you for agreeing to allow
12 me to speak. My name is Linda Nardone. I am the Vice
13 President of Clinical and Regulatory Affairs at Elusys
14 Therapeutics, Inc., and Elusys is a biotechnology company
15 that is developing a drug for SLE. And certainly a clear
16 road map -- and you mentioned that before -- is very, very
17 important to a company like us.

18 As you have heard today, systemic lupus
19 erythematosus is a complex disease and there are a variety
20 of manifestations in different organ systems at different
21 points in an individual's long-time battle, long life
22 battle. It follows that SLE has been a very difficult
23 disease in which to conduct clinical trials. The disease
24 population is certainly one with unmet medical needs, and
25 the paucity of drugs to have even come before the agency

1 for approval in the last 20 years attests to that.

2 These differences in patients in expression of
3 disease and in complexity notwithstanding, there is a well-
4 documented body of evidence regarding double-stranded DNA
5 autoantibodies. In fact, you heard data presented today,
6 particularly those by Dr. Buyon and Dr. Strand, and even
7 Dr. Dan Wallace mentioned that in the last public session
8 there is a wealth of data about that.

9 Three points among others can be made. The
10 first is that double-stranded DNA autoantibodies are the
11 diagnostic hallmark of this disease. The second is that a
12 correlation of double-stranded DNA autoantibodies with
13 kidney pathology and function and even longevity has been
14 certainly demonstrated in animal models, and we haven't
15 talked much about the animal models in this forum.
16 Finally, in the clinical setting, lupus nephritis is
17 established as a major sequelae of the disease and large
18 percentages of patients exhibit double-stranded DNA
19 autoantibodies at some point in that disease. The
20 correlation between the autoantibodies and this
21 debilitating kidney damage continues to be studied.

22 We therefore urge the agency and this committee
23 to recognize double-stranded DNA autoantibodies as a
24 surrogate marker for clinical benefit in lupus.

25 We applaud the agency for the current

1 initiative designed to look at many aspects of drug
2 development for this disease, including biomarkers and
3 surrogate endpoints. The use of surrogate markers will
4 enable the development of important new therapies for the
5 treatment of these patients.

6 Thank you.

7 DR. FIRESTEIN: Thank you. Are there any
8 additional comments during this open session?

9 (No response.)

10 DR. FIRESTEIN: If not, we can move ahead to
11 the questions. So there are three questions that have been
12 posed, each of which has many parts.

13 So the first is: would a change in anti-
14 double-stranded DNA antibody level associated with a change
15 in hematuria or proteinuria be considered reasonably likely
16 to predict clinical benefit in treatment of lupus
17 nephritis? That's in combination with would the following
18 outcome measures together be reasonably likely to predict
19 clinical benefit: (a) a change in anti-double-stranded DNA
20 antibody levels, (b) along with some other clinical outcome
21 measures, such as SF-36, et cetera, and then (c) no
22 worsening kidney function over 6 months, and then
23 subsequently be required to show in post-marketing a 3-year
24 study of improvement in renal function? So it's a rather
25 complex question, but I think people get the idea.

1 So this raises the important question of
2 whether or not there exist surrogate markers versus
3 biomarkers in lupus from a regulatory perspective, and who
4 would like to begin? Jennifer.

5 DR. ANDERSON: I'd like to ask a question of
6 Dr. Buyon, because in your presentation, it seemed at one
7 point you were saying that the complement was associated --
8 I'm not sure if it was the complement or the anti-dsDNA --
9 with both increase and decrease of activity of disease.

10 DR. BUYON: I'd like to clarify the fact that
11 some of the slides you couldn't see.

12 So, first of all, I was representing different
13 studies, and I'd like to say that the answer is
14 heterogeneous. To address your particular question, that
15 was in reference to one study by Michelle Petri in one
16 cohort looking at global lupus and what she found in her
17 paper was that increases of anti-DNA doubling by a
18 Crithidia predicted flare, but at the time of flare, there
19 was a concurrent decrease in anti-DNA antibodies. I
20 brought that up because I think that paper is highly
21 quoted, yet most of us in fact have not actually been able
22 to corroborate that.

23 DR. PISETSKY: Some of the differences
24 allegedly have to do with how frequently you assess anti-
25 DNA.

1 DR. BUYON: Yes.

2 DR. PISETSKY: The idea that anti-DNA goes down
3 during disease actually has been around for awhile, and the
4 interpretation is you form immune complexes at that point
5 and it deposits in the tissue and therefore is just not
6 measurable. So they're actually not inconsistent. So you
7 can imagine time when anti-DNA goes up and then there's a
8 separate event. You form immune complexes and it goes
9 down.

10 I think that when you look at measure of
11 change, I think one question, beyond the issue of what
12 methodology you use, is how frequently you do it because I
13 think you'll get very different answers.

14 DR. FIRESTEIN: Dr. Cush.

15 DR. CUSH: I don't treat lab tests. I treat
16 patients, and while biomarkers and surrogate markers may be
17 things I worry about and things upon which I base some of
18 my treatment decisions and how often I'll see the patient,
19 how often I'll do double-stranded DNAs, I do not respond to
20 lab tests alone.

21 To allow a biomarker or surrogate marker to be
22 the primary endpoint for an indication I think would be
23 wrong. I think to use a biomarker or a surrogate marker as
24 the hallmark for an organ-specific indication might be
25 appropriate, if it was uniformly agreed upon that the

1 surrogate marker that was being used, 24-hour creatinine
2 clearances or whatever, was felt to be highly predictive of
3 what would happen for that organ outcome. But I would not
4 allow just a double-stranded DNA as my sole outcome and
5 upon that I base approval or give some approval to that.

6 DR. FIRESTEIN: Dr. Looney.

7 DR. LOONEY: In the Goulay study of lupus
8 nephritis from the NIH, one thing that was impressed me was
9 that when they looked at people who eventually responded,
10 when they looked at 1 year, where most of them had not
11 responded, you could pick them out using serological
12 markers compared to the people who didn't respond. The
13 change in the anti-double-stranded DNA in the people who
14 didn't respond from initiation of treatment to 1 year was
15 from 320 to 160 units; whereas, in the people who did
16 respond, it went from 160 down to 10, which is essentially
17 normal.

18 So I think if in fact you're talking about
19 losing your anti-double-stranded DNA completely, I suspect
20 that that would be a pretty good surrogate marker, at least
21 for proliferative lupus nephritis.

22 DR. FIRESTEIN: Graciela.

23 DR. ALARCON: To echo the fact that you just
24 cannot look at a marker isolated, it can be a secondary
25 outcome measure but not really the outcome measure of a

1 trial.

2 DR. FIRESTEIN: Joan?

3 DR. MERRILL: I don't think you can treat lupus
4 nephritis by looking at the patient alone. I think that as
5 part of the picture, these laboratory indices are all we
6 have between the first biopsy and whenever your second
7 biopsy is to tell you how you're doing, and it's a
8 conglomeration of things. It's not one, it's not just the
9 antibody to double-stranded DNA. It's the complement.
10 It's the protein. It's the sediment, and it's the renal
11 function. And if you see all of that going in the right
12 direction, you're pretty comfortable as a clinician.

13 DR. FIRESTEIN: So are you suggesting that an
14 individual one of those components wouldn't be appropriate
15 as a surrogate marker but that a composite index would?

16 DR. MERRILL: I'm saying that you can't take
17 one of those things. It's just not going to work in enough
18 people, but if you put them all together and make rules --
19 and the precedent for this, I think, was the original LJP
20 trial. Now, they weren't talking about treatment, they
21 were talking about flare, but they had a nice put-together
22 definition of flare.

23 Another good example is the CellCept trial. We
24 were really following specific things. There was a
25 crossover point. If we weren't doing well, we were going

1 to change to the other treatment, and we set rules, but it
2 was a conglomerate rule.

3 DR. MANZI: I guess I have a question for Dr.
4 Witter. I guess by definition, a surrogate should stand
5 alone as an outcome and that's, I guess, the presumption
6 with the surrogates that you showed us. But what you're
7 suggesting to us is really coupling the double-stranded DNA
8 with other measurements.

9 My question is, is there precedent for a
10 "surrogate" to be coupled with something else and still be
11 a surrogate?

12 DR. WITTER: I think that's the question that
13 we're trying to ask with these surrogate questions, is
14 should we be doing that? Whether there's precedent for it,
15 there probably is. I can't think of it off the top of my
16 head, but I think what we're after is getting as much
17 comfort as we can pre-approval so that we don't have to
18 worry about certain issues post-approval.

19 DR. MANZI: Our response, my guess would be,
20 that we could certainly come up with what might be response
21 in renal disease, but the question that seems to be posed
22 to us is double-stranded DNA a surrogate and would it stand
23 alone, I think is what you're asking us, and yet the way
24 you've posed it here, it's really can you couple it with
25 other factors and come up with a response.

1 DR. FIRESTEIN: The way the question is
2 written, it almost sounds like you've already decided that
3 anti-double-stranded DNA is not a surrogate marker but it
4 might be in a composite with something like proteinuria and
5 hematuria.

6 Lee, do you want to address that?

7 DR. SIMON: Yes. I think that it's hard to
8 hide in these circumstances. Internally, we've had that
9 debate, and there are many people who are uncomfortable
10 within the agency that anti-DNA today can stand alone as a
11 marker, that it would make people feel more comfortable
12 that if you're following anti-DNA, which you measure based
13 on specific therapy compared to your active control, would
14 then be corroborated with a longer-term post-marketing,
15 post-approval phase IV trial, that the way to make you feel
16 more comfortable with that decision was to link it to some
17 other event, one of which might be a health-related quality
18 of life measure, maybe perhaps other disease activity
19 scores/indices, and then also obviously what we've talked
20 about over and over again, that there wasn't worsening in
21 other things, and in particular in this context, that there
22 was not worsening in renal disease at the same time your
23 anti-DNA fell.

24 So that is why these were lumped together. I
25 would love to hear if you all would be willing to do an

1 anti-DNA as a surrogate predictive of end-stage renal
2 disease and that you'd have to look at that in a phase IV
3 marketplace with a 3- to 4- year study for end-stage renal
4 disease.

5 DR. FIRESTEIN: Bevra.

6 DR. HAHN: I'm actually quite comfortable with
7 this. I think that either the anti-DNA or
8 hypocomplementemia with a clinical marker of short-term
9 benefit is fine. I think it's a fine place to start. We
10 wouldn't want to take just the change in the urinalysis
11 either, I don't think, as indicative of improvement, unless
12 it was sustained for a long time.

13 So I agree with all the people who have said
14 the combination is reasonably predictive.

15 When you look into the literature, in general,
16 I did want to make the point that the studies that show the
17 best correlation which is never perfect in humans are the
18 studies where the anti-DNA is done frequently, at a regular
19 interval, independent of what's going on clinically, and
20 it's all done in the same lab by the same method or, better
21 yet, two methods, and they correlate each method
22 independently.

23 When you do it that way as opposed to taking
24 what comes into the chart from 20 different labs that the
25 HMO is paying to do the anti-DNA that month and you do it

1 only when you think the patient might be deteriorating,
2 then those don't correlate very well. So I think the way
3 it would be done in a trial regularly, same lab, same
4 technique, that we could hang our hat on a combination like
5 this.

6 DR. FIRESTEIN: Bevra, if you weren't
7 comfortable with the urinalysis, for instance, in
8 combination, what would be an example of a lab that you
9 would then link to anti-double-stranded DNA?

10 DR. HAHN: Well, I suggest a hypocomplementemia
11 or a creatinine or a protein-creatinine ratio, something
12 functional as well as immediate.

13 DR. FIRESTEIN: So I think the creatinine is
14 particularly interesting, at least to me. If we were to do
15 that, then we're back to looking at essentially renal
16 function as the endpoint and we lose the power of a
17 surrogate endpoint to get us around having to do a longer-
18 term study looking at renal function specifically in a
19 disease-oriented or an organ-specific endpoint.

20 DR. HAHN: I think part of this depends on how
21 fast you think it would change. So I think I could use
22 either one. They both change pretty fast, right,
23 clinically, both the creatinine and the sediment, and the
24 creatinine is a little more reliable in terms of accuracy.
25 That's all.

1 DR. FIRESTEIN: John, and then David.

2 DR. HARDIN: I suppose in some ways, anti-DNA
3 is to lupus as cholesterol is to cardiovascular disease.
4 If we were to bring a drug to lower the serum cholesterol
5 to the FDA, would you require a clinical response or would
6 you limit it just to lowering the cholesterol effectively?

7 DR. FIRESTEIN: Well, I'm not the FDA.

8 DR. HARDIN: Well, maybe Lee or someone could
9 answer that?

10 DR. SIMON: Well, initially, before all the
11 enthusiasm and hype and any number of different trials that
12 get very confusing were done, in fact that was required,
13 that lowering of serum cholesterol was a surrogate marker
14 for outcome, and subsequently, there have been trials that
15 have claimed in the right patients and the right
16 circumstances that lowering serum cholesterol has made a
17 difference in clinical outcomes.

18 Therefore, it is the same route, meaning we're
19 asking for something being reasonably likely based on
20 either epidemiologic studies, which is what happened with
21 cholesterol, and then furthering the drug development,
22 demonstrating in large post-marketing circumstances that
23 that data was corroborated. So it's in fact incredibly
24 analogous.

25 DR. PISETSKY: I was just going to say that I

1 think while in many patients, anti-DNA is associated with
2 renal disease manifestations, it's by no means all patients
3 and there are certainly exceptions in both directions of
4 people serologically active, clinically quiescent, and the
5 other way around, whether's that's assay or not.

6 So if it's to be used as a biomarker or
7 surrogate marker, it has to be very defined in terms of
8 which patient population it's used in, and I think, in
9 addition, there are issues in terms of methodology as to
10 how broad or narrow you wish in terms of which types of
11 anti-DNA you want to include.

12 But the other question I would sort of bring up
13 is what constitutes a clinically significant change in
14 anti-DNA. I'm quite surprised by seeing these 10 percent
15 changes. When this system was originally described, it was
16 notable for the huge range in anti-DNA. This was an
17 antibody that could see extraordinary levels and went away
18 with therapy, and now we're dealing with 10 percent levels.

19 So I think it's something in between that's going to turn
20 out to be informative.

21 DR. ILLEI: I think that the combination of
22 hematuria/proteinuria and the anti-double-stranded DNA or
23 some other serologic markers is reasonably likely to
24 predict a clinical response. I'm not sure about double-
25 stranded DNA in itself.

1 In the last NIH trial, a positive outcome was
2 used as the primary outcome. That was called a response
3 and that included normalization of proteinuria, normal
4 creatinine, and then normal urinary sediment. We did do a
5 follow-up study on those patients and we looked on the
6 long-term outcome between those who were responders or non-
7 responders in that study, and the responders who fulfilled
8 the criteria for the remission did have much better long-
9 term renal outcome than those who were either partial
10 responders or non-responders.

11 We also did a study on renal flares including
12 these patients and the subset of patients who were treated
13 during the period where double-stranded DNA antibodies were
14 routinely tested. Those who did have positive double-
15 stranded DNA antibodies at the end of the treatment had a
16 significantly higher probability of flaring. So I think
17 including serologic markers in a combination endpoint is
18 useful and it is reasonably likely to predict response.

19 I think the risk for using double-stranded DNA
20 antibody in itself is that there may be treatments that do
21 have a biologic effect on double-stranded DNA but do not
22 influence other aspects of the kidney disease, and there
23 may be a mixture of patients in trials, some of which may
24 have already had some chronic damage to their kidneys. So
25 I would be cautious in using double-stranded DNA on its own

1 as a surrogate marker.

2 DR. FIRESTEIN: Joan, and then Jill.

3 DR. MERRILL: I would think that you would have
4 to be careful about what sort of trial you're talking
5 about, but if this were a trial where you were entering
6 patients who had antibodies to double-stranded DNA, and if
7 this were a trial where nephritis is what we're talking
8 about, then I think there's plenty of justification for
9 considering anti-double-stranded DNA, plus one or two other
10 markers, and I would say C3 would be a key one as being
11 reasonable beginning steps to show the possibility that
12 this could be an effective medication.

13 The goal of that would be to shorten the time
14 it would take to get things moving for a drug? I'm not
15 sure I quite understand what the goal is.

16 DR. SIMON: The goal has been defined by what
17 has been used in the past to use as an endpoint. Remember
18 what Dr. Witter's slide said. Function survives. So organ
19 survival of end-stage renal disease has been classically
20 considered the important clinically oriented outcome. You
21 and I and everyone in the room know to do a clinical trial
22 is impossible for that. So what we've been searching for
23 consistently is something to allow a much shorter trial
24 time to allow then a change to be monitored and measured
25 that would be importantly linked to end-stage renal disease

1 or perhaps even not as an extreme example, just a 50
2 percent change in creatinine clearance and maybe that would
3 be good enough under those circumstances.

4 DR. MERRILL: What I would just suggest under
5 those circumstances, though, is that now there's a concept
6 evolving of induction and maintenance. So the definitions
7 would have to be very clear. Is this induction? Is this
8 maintenance?

9 See, a lot of what Jill was talking about were
10 studies that were looking at different kinds of flares, and
11 what's interesting is that even some of them seemed, to
12 some extent, to follow with the antibodies to double-
13 stranded DNA. But I think you're going to get more of a
14 connection if you stick to nephritis.

15 DR. FIRESTEIN: Jill, and then Michael.

16 DR. BUYON: I would just make two points. One,
17 we have to remember to define the players. We've heard
18 that a lot of times. We have to define what the players
19 are. Two, I think we should take pause really in the
20 estrogen story and that is here is clearly a medication
21 that changes a surrogate marker. It changes cholesterol
22 levels and we know what the data show with regard to the
23 actual clinical benefit.

24 So I would say we could take the open-minded
25 approach that a drug could change a surrogate marker. For

1 example, DNA as a stand-alone, but that it's mandate that
2 you have to couple that perhaps in post-marketing with a
3 clinical response. So I really don't have any problem with
4 the concept of accepting a drug that does something to a
5 surrogate marker that has reasonable chance of being
6 something. We've all heard here about DNA antibodies and
7 the association with TPGN, but it would have to be coupled
8 with a clinical improvement in post-marketing.

9 DR. FIRESTEIN: But has there ever been a study
10 where a therapeutic has lowered anti-double-stranded DNA
11 and not shown efficacy in terms of --

12 DR. BUYON: I think we don't know that, but the
13 same question could have been raised about estrogen about
14 six years ago. That's what we have to find out.

15 DR. WALLACE: There was one study and that was
16 the case of apheresis. They developed columns that removed
17 anti-double-stranded DNA but the nephritis did not get
18 better.

19 DR. WEISMAN: Let's put this in some
20 perspective. In a disease that we already have drugs
21 approved for, rheumatoid arthritis, right now companies and
22 investigators can construct trials enriched with
23 seropositive-only patients with erosive disease and a drug
24 can be shown to eliminate or change the rate of erosive
25 disease and therefore get a claim. But we don't know

1 whether seronegative rheumatoid arthritis erosions are
2 going to respond the same way.

3 We've already allowed ourselves to do that and
4 talked about half or two-thirds of the whole rheumatoid
5 population and we've all agreed as members of the advisory
6 committee and the public and everyone else that that's
7 fine. So we have a claim.

8 So what's happening here? The argument is, are
9 anti-DNA antibodies a sufficient marker for outcome? I
10 think the issue for me is I'm wrestling with that. Is this
11 the same as the erosion? I still feel that if I saw anti-
12 DNA antibodies go away and it was coupled with some other
13 clinical indicator, whether it's proteinuria, as Bevra
14 suggests, or red cells in the urine or a change in
15 creatinine, I'm convinced at this point.

16 DR. FIRESTEIN: Gary, then John.

17 DR. HOFFMAN: I pass.

18 DR. FIRESTEIN: John.

19 DR. DAVIS: I'm having a hard time with it as
20 well as a stand-alone surrogate marker for a number of
21 reasons, because even in proliferative patients, even in
22 the most severe ones, it doesn't always correlate, and I'm
23 also wrestling with the idea of what titer would be
24 pathologic in my mind and what percent change, as David
25 said, would be significant? If we're going to have it as a

1 stand-alone marker, what percent change are we going to set
2 as the threshold then? If we're going to approve it now,
3 what percentage would we want, and in the future, if we're
4 going to tie it to other things, we're going to have to
5 make darn well sure that we tie it to things that are
6 temporally related, like complement, because proteinuria is
7 going to take at least 3 to 6 months really to change. So
8 you've got to keep those things in mind.

9 And I would not use serum creatinine. You're
10 going to have too much damage going on before you're able
11 to detect anything there.

12 DR. FIRESTEIN: But does it have to be 100
13 percent predictive? For instance, bone mineral density
14 does not always predict someone who will have a fracture
15 and people with high cholesterol don't always have
16 myocardial infarctions.

17 DR. DAVIS: I don't know.

18 DR. FIRESTEIN: Mary Anne.

19 DR. DOOLEY: I think the way it's written here
20 and we're saying it's reasonably likely to predict clinical
21 benefit from treatment of lupus nephritis, then I think you
22 have to couple the double-stranded DNA antibody with some
23 measure specific to the kidney, whether that be proteinuria
24 or whether that be creatinine.

25 I think if you look at nephrology literature,

1 it's true that doubling of serum creatinine is serious,
2 probably a 50 percent loss of kidney function, and we
3 certainly don't want that as a goal or need to demonstrate
4 that to demonstrate the drug is not doing well, but you can
5 certainly look at the log of reciprocal of creatinine or
6 look at kidney function measures in a number of different
7 ways and tie this more directly to the specific organ.

8 DR. FIRESTEIN: David.

9 DR. PISETSKY: The only comment I was going to
10 make is that while we always think of anti-DNA as related
11 to nephritis, there is emerging data in other situations
12 that DNA/anti-DNA immune complexes have more widespread
13 activity. I think there was considerable interest in the
14 study presented that quality of life went up, and I think
15 in current evidence you can explain that by sort of
16 cytokine effects and sort of some well-being if you get rid
17 of the component that's leading to the cytokine. So it may
18 be reasonable to tie it to other things when we know more.

19 DR. FIRESTEIN: Graciela.

20 DR. ALARCON: I think that if you're going to
21 do a study, why do you have to wait for the post-marketing
22 data to actually show the component? If you're going to
23 actually measure anti-DNA, you should as well measure all
24 the other things that go along with it and then you don't
25 have to wait for 2 more years of data.

1 DR. FIRESTEIN: Lee.

2 DR. SIMON: That's a very cogent point, Ciela,
3 and I think that it really raises two other issues that
4 have been brought up to us from clinical investigators who
5 are very interested in lupus nephritis trials.

6 One issue is how long it takes to see a change
7 like that. So doubling of serum creatinine is obviously
8 not something we want. Serum creatinine has its own
9 problems, although it's easy to measure. So we've been
10 looking for other measures that would predict, one of which
11 would be GFR as determined by creatinine clearances, but
12 then we're told by lupus clinical investigators we can't do
13 those because that requires too much burden to the patient
14 to be able to collect the urine appropriately.

15 This raises the question that I'm going to ask,
16 which is we can't have it both ways. We want rigorous
17 trial designs, yet we hear from the community that we can't
18 get that, so we have to settle for less useful measures,
19 such as serum creatinine.

20 So it's trial design length is the reason that
21 we're trying to look at shorter trials, Ciela, and allow a
22 post-marketing period for corroboration of what the
23 predicted result might be, and then, secondly, what is the
24 issue about how difficult it is to do these trials because
25 of these kinds of interventions? Is this really true? Is

1 it really hard to do a creatinine clearance when in fact
2 that is the best way to measure what we're trying to answer
3 the question about?

4 DR. FIRESTEIN: Susan, and then Gabor.

5 DR. MANZI: I just wanted to make one point
6 about this idea that we tend to be perfectionists and we
7 want every individual to fit the profile, and I think you
8 were alluding to this. I think if you teased apart the
9 hypercholesterolemia trials and the lipid-lowering trials,
10 there are many individuals that don't fit the profile,
11 whose cholesterol levels stay high, don't have an event,
12 whose levels go low and have events, but you're looking at
13 population effects. You're not looking at individuals.

14 We're very much influenced by our individual
15 patients and the variability, and I think if we can step
16 back and say let's not be perfect, but as a population,
17 would that surrogate predict a good outcome and would we be
18 comfortable with trying it?

19 DR. FIRESTEIN: One other interesting side bar
20 on that is that it may be that the effects on cholesterol,
21 for instance, are totally independent of the long-term
22 beneficial effects of statins and that we were all fooled
23 into thinking that that was the surrogate marker, but
24 that's a whole other discussion.

25 Gabor, and then Dan.

1 DR. ILLEI: I pass.

2 DR. FIRESTEIN: Dan.

3 DR. WALLACE: First of all, if rheumatoid
4 patients volunteer to get endoscopies all the time with
5 nonsteroidal trials, I don't think it would be that hard to
6 do a creatinine clearance on a lupus patient. I just think
7 that anybody that's motivated to be in a clinical trial
8 would do that, and I just don't think that's a major
9 problem.

10 I think, also, rather than collecting 24-hour
11 urines and new protein/creatinine ratios are very, very
12 well-validated.

13 But thirdly, I think in two or three years,
14 we're going to see a new marker, something like one of the
15 urinary cytokines, like urinary IL-6 or urinary MCPs, that
16 is going to be coupled with the anti-DNA and I think we
17 have to be poised to be flexible and jump into some sort of
18 evaluation along those lines.

19 DR. MERRILL: I actually was going to say
20 exactly what Dan was going to say, and I wish I going to
21 say what Sue said because I agree with her 100 percent.

22 Having schlepped through so many clinical
23 trials, I do not think getting 24-hour urines is at all a
24 problem. Yes, we lose a few. Yes, a few people forget.
25 But they'll do it. So I don't think that's an issue.

1 The protein/creatinine ratio, I've been getting
2 them lately, and they don't quite correlate but they go in
3 the same direction at the same time, and I think they're
4 very useful and you wouldn't need the 24-hour urine. So I
5 think all of this is really open to us, but again you could
6 potentially predict who's getting better relatively
7 quickly.

8 It would be perfect if you had antibodies to
9 double-stranded DNA, sediment, urine protein/creatinine
10 ratio, or 24-hour urine and antibodies to double-stranded
11 DNA. I would be highly comfortable with that. I'd
12 probably be comfortable with less.

13 DR. FIRESTEIN: But if the creatinine clearance
14 improves, do you still need a surrogate marker? Is that an
15 endpoint in and of itself for renal function?

16 DR. WALLACE: No. You can improve it just by
17 adding an ACE inhibitor. You can improve it by diet. So
18 that's no.

19 The other thing is I think we should take the
20 Crithidia assay out of the equation because its levels do
21 not necessarily correlate with true improvement. I think
22 we have to either use the ELISA or the FARR.

23 DR. FIRESTEIN: Dr. Hahn, you had a comment?

24 DR. HAHN: I just had a comment about the
25 creatinine clearance, and the issue isn't so much in my

1 experience in clinical trials with patients not being
2 willing to collect it as that they're so inaccurate. So I
3 once did a trial where we had patients on the CRC and we
4 did two 2-hours and a 24-hour in the same period and the
5 results were all over the map on what the creatinine
6 clearance was. They varied as much as 60 to 80 percent in
7 the same patient in the same 24-hour period under a
8 supervised CRC condition.

9 So I don't think we should require creatinine
10 clearances if people have a way to do it that is as easy
11 because of their inaccuracy, not because of the
12 inconvenience to patients.

13 DR. DOOLEY: At least in the clinical trials
14 group that Matt Liang had convened that included both
15 nephrologists, as well as a number of rheumatologists,
16 Crockoft-Gault formula was accepted as a good estimate of
17 creatinine clearance.

18 DR. FIRESTEIN: So to focus the discussion a
19 little bit, I guess one question we might ask is could
20 anti-double-stranded DNA in and of itself serve as a
21 surrogate marker because that is something that has been
22 discussed?

23 I don't know. Lee, do you want some sort of
24 formal comment from us on that. No, you do not.

25 DR. SIMON: I think we've heard what we've

1 needed to hear about this.

2 DR. FIRESTEIN: Gary.

3 DR. HOFFMAN: The thing that I haven't heard,
4 and not being one of the parties to the multi-center lupus
5 trials or the disease activity exercises, is when it comes
6 to surrogate markers, I'm not sure that a surrogate marker
7 and a single clinical marker, say, in lupus nephritis is
8 better in terms of predictive value than using a surrogate
9 marker, whichever one you choose, and the composite scores
10 from the disease activity indices.

11 Does the disease activity index, if one is
12 looking at lupus nephritis complement the renal outcomes
13 better than in fact antibodies to double-stranded DNA?

14 Sue, you've been involved.

15 DR. MANZI: I'm certainly not the nephritis
16 person here, so I'll defer, but I mean I think our
17 understanding was that the disease activity indices are not
18 as good or as sensitive as measuring change in renal
19 disease which is exactly why this conference was convened
20 to look at renal outcomes specifically because I don't
21 think the indices can tease out renal change as well as
22 they can global effect, but please comment if that's not
23 true.

24 DR. PISETSKY: BILAG can.

25 (Laughter.)

1 DR. FIRESTEIN: BILAG. Which one exactly?

2 DR. MERRILL: I was just going to say that one
3 of the problems with the SLEDAI is that you get too many
4 points for different parts of nephritis, I think. Would
5 you agree with that, Jill?

6 DR. BUYON: There's no question, the SLEDAI is
7 definitely a problem in that regard because the point scale
8 has a lot of redundancies, and it's really not clear enough
9 and you have to have a lot of guidance. For example, if
10 red cells can stand alone, do they have to have concomitant
11 proteinuria? That particular instrument needs major
12 guidelines.

13 DR. MERRILL: And I think the SLAM is actually
14 okay for nephritis and I hate to say this but the BILAG
15 works.

16 DR. FIRESTEIN: Lee, are there other issues in
17 question 1 that you want us to cover with regard to
18 combinations or not? It seems to me we've covered most of
19 this ground.

20 DR. SIMON: I think that you've covered most of
21 the things that we are interested in.

22 DR. FIRESTEIN: Is there anything that you're
23 not interested in that we should cover?

24 (Laughter.)

25 DR. FIRESTEIN: That appears in the transcript

1 and it'll look just foolish as will this. Strike that from
2 the record, please.

3 Would time to resolution of hematuria and/or
4 casts in the context of proteinuria be considered as
5 evidence of efficacy for lupus nephritis? This is a
6 variation on a theme from what we've already discussed.

7 Gary.

8 DR. HOFFMAN: My concerns about that come from
9 other than lupus nephritis, although I've had some
10 experience with that, but the different types of glomerular
11 nephritis that you see with vasculitides. I can tell you
12 that if there's been significant delay before intervention,
13 there's enough glomerular basement membrane injury, so that
14 you can continue to see significant proteinuria, red cells
15 and red cell casts, even a year later with a stable
16 creatinine once effective treatment has been implemented.
17 But if there hasn't been significant delay and there hasn't
18 been irreversible damage, you might in fact see
19 reversibility within a matter of a few months. I think in
20 part it depends on what your starting point is for
21 intervention.

22 DR. WALLACE: As good as hematuria's
23 disappearance is, it's still a very bad marker for a
24 clinical trial. First of all, 90 percent with lupus
25 nephritis are women and if menses interferes, that's a

1 major, major problem.

2 The second is to look for casts and hematuria,
3 unless you have a trained observer, if you're going to send
4 it to Indianapolis or something and it's going to be
5 frozen, it's not going to be reliable, unless it's looked
6 at fresh by somebody who's really good, and it's really not
7 going to be overly practical.

8 DR. FIRESTEIN: Yes, I agree. Now that
9 urinalyses are essentially no longer done by the house
10 staff or the medical students or anybody else except by
11 central labs evaluating for casts makes it extremely
12 difficult.

13 DR. SCHIFFENBAUER: I think the thrust of the
14 question, though, is if we can have a trial that's
15 relatively short-term, maybe we can afford to hire someone
16 to do that specifically and make it a feasible outcome. I
17 think that's the question. That's really behind the
18 question.

19 DR. FIRESTEIN: Although would that address
20 some of the issues that were raised by Dan with regard to
21 menses and other confounding factors?

22 Mary Anne had a comment.

23 DR. DOOLEY: I actually do spin and look at
24 urines every week in clinic from the unusual position of
25 seeing lupus patients with nephrologists. My concern is

1 actually in the opposite direction, which is that you'll
2 see patients' urinary sediment improve with steroids alone,
3 and yet if you go and look at the subsequent biopsy, you
4 see quite active disease, and so a teaching point for many
5 of our nephrology fellows is the patient who presents with
6 a flare is treated initially with prednisone while being
7 referred to the nephrology clinic. They get to the clinic.
8 Much of their hematuria is resolved or they may no longer
9 have casts. Half of the people that we biopsy have
10 creatinines in a normal range, and yet you see very active
11 diffuse proliferative nephritis on biopsy. So my concern
12 is in the opposite direction which is you can mask urinary
13 sediment activity with steroids and yet obviously, as the
14 NIH has shown, not affect long-term renal function.

15 DR. FIRESTEIN: Lee.

16 DR. SIMON: So with this discussion, could we
17 refocus back to the first part of the question and let's
18 not just use hematuria and casts. Let's use response Y
19 time to resolution. Is that an important way to design a
20 clinical trial? Time to the event is one way to think
21 about that. So although we'll talk about trial design
22 tomorrow morning, could you comment about the first part
23 which is could you use time to resolution and that that
24 time to resolution, given a disease that waxes and wanes
25 spontaneously, as an outcome, whatever the outcome is?

1 DR. DOOLEY: I think using time to resolution
2 of abnormality in renal disease would be an excellent
3 outcome because the longer the inflammation is occurring,
4 the more risk you're taking of damage that won't be
5 reparable, and particularly since what we're talking about
6 is in most of our therapies, we're trying to suppress the
7 immune system and the immune response to prevent scarring.
8 So if you're looking at agents which will be
9 immunosuppressive, then shortening the time to response
10 ought to minimize the risk of scarring. So I think it
11 would be an ideal endpoint.

12 DR. FIRESTEIN: Graciela.

13 DR. ALARCON: Time to resolution would be fine,
14 but you have to actually be sure that the manifestation
15 actually is on remission or is resolved over time. So you
16 have to measure that several months after to be sure that
17 you really have achieved it, that in a disease that waxes
18 and wanes, it is not just one time point.

19 DR. HAHN: Yes. I'm pretty uncomfortable with
20 this one actually in terms of how short-term it could be,
21 and I see what's disappeared here is something that we
22 discussed at the Biomarkers meeting which is repeat renal
23 biopsy. So I don't even know if that's a better marker.
24 It sounds good. If you at 6 months showed that group A had
25 less renal tubular damage and scarring than group B, have

1 you achieved your endpoint, but frankly I'm more
2 comfortable with that than I am with whether you've changed
3 what's on spot urinalyses over a period of time. It's so
4 variable.

5 DR. FIRESTEIN: David, and then Richard.

6 DR. PISETSKY: I was just going to say the
7 other meaningful thing to me is prevent progression, and if
8 all you're looking for is resolution that may prevent you
9 from seeing an important benefit.

10 DR. FIRESTEIN: I was going to say this is
11 analogous to again rheumatoid arthritis studies where you
12 have a chronic disease and you're looking at a very short-
13 term outcome, whether or not that's going to have an impact
14 on the true natural history of the disease.

15 Jeff, did you have a comment? And then
16 Richard.

17 DR. SIEGEL: We've had concern raised by a
18 number of members of the committee about using casts or
19 hematuria alone. What about using a more comprehensive
20 guide to renal remission? I think the NIH definition uses
21 an active sediment returning to inactive, plus a return of
22 the creatinine to normal and loss of proteinuria.

23 Would something that measured multi-parameters
24 be more reliable?

25 DR. PISETSKY: To a certain extent, there are

1 some data available because the original NIH trials
2 reported results almost from weeks after the onset. I
3 mean, they go back into the '60s. Unfortunately, it took a
4 real long time to see a benefit, but if you go back to
5 those numbers, you could see the 6-month follow-up, the 1-
6 year follow-up, and a few-week follow-up. It takes awhile
7 to see these changes.

8 DR. FIRESTEIN: Richard.

9 DR. LOONEY: The one practical matter in
10 designing a trial is if you don't take time to resolution,
11 you have to pick a time when you're going to look at your
12 response and looking at the different nephritis trials,
13 when you see resolution is so variable in those trials, I
14 think it becomes very difficult to pick a single time that
15 you're going to use for your primary outcome. So to be
16 able to use time to resolution which would allow you to
17 look at a number of different time points would be a big
18 advantage.

19 I would like to second the idea that a renal
20 biopsy as an outcome would be very useful and probably
21 could be done as early as 6 months, but both of these
22 things I think really fall in the area of surrogate markers
23 and you would have to have some kind of long-term follow-up
24 to document that they were actually accurate.

25 DR. FIRESTEIN: Jack.

1 DR. CUSH: I think the time to resolution trial
2 answers the question of acute therapy. This would be an
3 acute indication for active disease. I think that
4 certainly might be a means of getting accelerated approval
5 using H&E as Jim outlined for us, but I think as everybody
6 said, we're more concerned about the long run. But again,
7 for acute therapy, it might be the way to go against an
8 active control.

9 To answer Jeff's question, I do think that the
10 NIH definition of response might be fine, but again,
11 reliance on RBC casts is fraught with difficulty because of
12 the inaccuracies in their measurement, even in good labs.
13 I agree with Mary Anne Dooley, at the time of clinic which
14 is not done because of CLIA, then why do it?

15 DR. FIRESTEIN: Mary Anne, and then Joan.

16 DR. DOOLEY: I think it would also need to be
17 hypothesis-driven. If the drug under consideration is to
18 treat inflammation, then looking at a relatively short time
19 period and looking at repeat renal biopsy at 6 months would
20 be reasonable. But if what you're trying to do is prevent
21 progression, then you're talking about a much longer trial
22 and that would be either time at remission or looking at a
23 biopsy 2 years down the line. So in some respects, it
24 would need to be hypothesis-driven, based upon the proposed
25 action of your drug.

1 DR. MERRILL: I would suggest that trying to
2 imagine all the different possible mechanisms of action of
3 some of these new biologics coming down the pike, that you
4 would add to your renal standard marker a marker that the
5 drug had its biological effect and that might be related
6 to, somewhere down the line, getting rid of DNA antibodies.
7 That would be the first thing.

8 The second thing I want to just cement back is
9 this idea that there may be a difference between what's
10 necessary for induction and what's necessary for
11 maintenance. The Europeans certainly believe this, that
12 you don't need to use quite as toxic a medication for
13 maintenance as you do for induction, and it could end up
14 being that we would want to switch drugs at some point so
15 that you might have different requirements for a drug to
16 induce and that might be a more short-term marker than you
17 would have to give an approval for induction and
18 maintenance which is where your long-term going back and
19 again nephritis comes in.

20 DR. FIRESTEIN: Wendy.

21 MS. McBRIAR: Just from a consumer point of
22 view, if we can figure out a way to measure by lab tests
23 rather than biopsy, I think that would be a positive thing
24 for patients, not only the costs involved in doing it, but
25 just the possible difficulties that could happen with

1 biopsies.

2 DR. SIEGEL: In that regard, a number of
3 committee members have asked for biopsies either at 6
4 months, if I understand it, or at 2 years to corroborate
5 that the other findings are accurate. We've had some push-
6 back from sponsors who have told us that their
7 investigators were unable to get a repeat biopsy through
8 their IRB if the urine sediment was normal and there was no
9 proteinuria and so on.

10 Could those of you who thought that a repeat
11 biopsy was necessary comment on whether you'd still
12 recommend it in the presence of absolutely normal function
13 and sediment?

14 DR. LOONEY: I think the studies are probably
15 not going to be able to be done out in the real world if
16 everyone is required to have a repeat biopsy, but I think
17 it will be possible to do that on a subset of patients. I
18 think it would be a corroborative evidence rather than a
19 primary outcome. But I think that it would be good to get
20 repeat biopsies on people with a range of different
21 responses because you would like to verify that people who
22 have had a complete renal response actually have the kind
23 of biopsy that you would predict when they do that.

24 DR. HAHN: Yes. I brought it up, and I also
25 brought it up at the biomarkers meeting, that I don't think

1 the IRB will permit a renal biopsy in somebody who's
2 otherwise doing well. I think that is a problem.

3 I also think that they're getting safer and
4 with the new intravenous approach to renal biopsies, I've
5 been happy with that in terms of really low, low, low
6 morbidity. So I think maybe we keep in mind that the
7 technology for that is also advancing and we might want to
8 leave it as an open question.

9 I think it might be the best primary outcome
10 measure actually, the most predictive, but I don't think
11 it's practical.

12 DR. FIRESTEIN: Jim.

13 DR. WILLIAMS: I vice chair an IRB and I think
14 that the major reason is the education of the IRB. If you
15 point out that renal function being normal doesn't
16 necessarily imply that there's no active disease. A lot of
17 the times the decisions are being made by non-
18 rheumatologists and non-nephrologists, and it may take
19 better explanation, but I think with explanation, you could
20 get it through an IRB.

21 DR. FIRESTEIN: Well, patient recruitment also
22 becomes an issue.

23 Mary Anne.

24 DR. DOOLEY: I think I would be a very strong
25 proponent of rebiopsy, and I would also say that we've

1 actually looked and surveyed the group of nephrologists
2 that we work with about that issue, about the willingness
3 to adopt a study to include a rebiopsy even in folks who
4 appear to be doing well. And over a 10-year period of
5 time, that group has now decided that it is quite
6 reasonable and ethical to rebiopsy. The reason is that
7 when we looked at our group of patients -- I'm from North
8 Carolina -- predominantly African American, and we have
9 very active patients, such that although most of our
10 patients enter with normal serum creatinines, by the end of
11 5 years, 40 percent of our African American patients were
12 on dialysis. So they didn't double their serum creatinine,
13 they required renal replacement therapy.

14 When we looked carefully and we identified all
15 of the clinical, histopathologic, serologic, and medication
16 data that was present at the time of the initial renal
17 biopsy and then the patients received the standard Cytoxan
18 therapy, we could not pick out those patients who went to
19 dialysis in any of those aspects from those who did well.
20 So there was no data available to us at the beginning of
21 therapy as to who would progress to end-stage renal
22 disease.

23 We included 8 patients who actually required
24 dialysis at the time of institution of Cytoxan. 5 of those
25 patients came off and remain off dialysis, but a suitable

1 number came in with normal creatinines and required
2 dialysis within 6 months.

3 So I would suggest, also, in looking at the
4 patients as they go from monthly IV Cytoxan to quarterly
5 Cytoxan, we also see a significant portion who look like
6 they are staying in remission but who rapidly flare upon
7 completion of their quarterly doses of Cytoxan. When we
8 come back to rebiopsy them, we see significant chronic
9 change, suggesting that even though clinically they appear
10 to be in remission, that they had grumbling, ongoing
11 activity that was leading to further damage. So I think
12 repeat renal biopsy study would certainly help us to
13 understand better what's going on during that time period.

14 DR. FIRESTEIN: Dr. Simon.

15 DR. SIMON: So in that context, people were
16 talking about biopsies, people were talking about using
17 them as a surrogate marker. I would presume you're not
18 talking about it in the context of the WHO classification.
19 I presume that the changes that you're talking about are
20 the clinical activity inflammatory changes versus sclerotic
21 changes. That's my first question. I have a second
22 question after that.

23 DR. DOOLEY: Well, the first question about the
24 change in WHO class -- and we certainly know that patients
25 do change among the classes. I think it's important to

1 describe that. We will see patients who go from
2 proliferative to membranous during a course of therapy, and
3 certainly you can see a major difference in long-term renal
4 survival in patients who have predominantly membranous
5 disease compared to those who have proliferative disease.

6 So if patients are continuing to have
7 proteinuria but are predominantly membranous, I think your
8 impetus to treat with increased cytotoxic therapy is not as
9 great. You may want to maximize ACE or ARB therapy or
10 choose other means to decrease proteinuria.

11 Looking at activity and chronicity indices are
12 very important, and I think looking and seeing that
13 somebody has little activity but high chronicity may cause
14 you to think that perhaps the damage is done and you don't
15 want to subject that patient to further immunosuppressive
16 therapy.

17 So I think there's information in both
18 descriptors.

19 DR. SIMON: Thank you.

20 And the second issue is although we want to be
21 as flexible and as open in a document as possible as
22 relates to induction versus maintenance therapy, at the
23 same time, if it's written in too structured a way related
24 to that, it might preclude the newest development of
25 therapy that would not require induction and maintenance

1 therapy. So that's a little tension there that we have to
2 be careful about, not to suggest that at the present state
3 of the art, that is in fact what we're working with.

4 Tomorrow we'll discuss this somewhat more,
5 about what we know or think we know about the utility of
6 induction therapy with cyclophosphamide and what it really
7 tells us, if anything, about how we should be approaching
8 this.

9 So thank you.

10 DR. FIRESTEIN: David, and then we're going to
11 move on to the third question.

12 DR. PISETSKY: I was just going to say in the
13 experience of the other North Carolina institution, if you
14 have high chronicity, the outcome with Cytosan is not
15 favorable. It's predictive of poor outcome. So I'd just
16 clarify that. I think one should bear in mind when you
17 talk about trials that therapies presumably can treat
18 activity but they don't yet treat chronicity and there
19 should be some consideration as to what kind of patients
20 enter these trials because if they have too much burden of
21 disease, you don't see benefits.

22 DR. FIRESTEIN: Well, that actually moves us
23 into the last question. It seems to me that it's
24 revisiting the question of using one of these laboratory
25 biomarkers in combination with a non-traditional domain for

1 approval, such as quality of life indicators.

2 Does anybody want to comment on that? For
3 instance, anti-double-stranded DNA plus quality of life as
4 an approvable endpoint.

5 DR. BUYON: I don't see how that could be
6 approved without having some type of biopsy or other
7 objective evidence, and I would strongly say you could not
8 do that without linking the other.

9 I would also comment that something Mary Anne
10 said was very disquieting, that if the sediment alone is
11 not predictive and you just told me you're at the level of
12 teaching that to renal fellows, then I don't see in a way
13 how we can almost get away without biopsying to really sit
14 back on our laurels and say a medication works or not.
15 That may not be the first thing you have to do to approve,
16 but just as you were saying before, it would be coupled by
17 you get the claim and then you have to follow it by a phase
18 IV trial. I don't see how we can get around that.

19 DR. FIRESTEIN: Dr. Looney.

20 DR. LOONEY: I guess this sounds like it's in
21 the setting of renal nephritis, and if that's true, then I
22 don't see how you could just have -- I mean quality of life
23 is important. I think it's more important in non-organ-
24 threatening diseases, but I think for nephritis, it's not
25 as important an endpoint.

1 DR. FIRESTEIN: Joan.

2 DR. MERRILL: Yes. Mary Anne, can you clarify
3 that? That's in the setting of an acute flare, isn't it?
4 So that they came to your clinic, they got maybe a week or
5 2 or 3 weeks of steroids and now the sediment is clear and
6 then within another week or 2, they get a biopsy. I'm not
7 that surprised to see that, and I don't think it doesn't
8 mean that they would be getting better. I think probably
9 what's going on deep in the kidney is going to lag a little
10 behind what's coming pouring out.

11 So I'm not sure I'm as concerned about that
12 data as I am about your other data with your patients that
13 went on dialysis.

14 DR. DOOLEY: Yes, that's correct. But then,
15 even not that long ago, I think that as rheumatologists, we
16 were taught that the first step in treating a patient who
17 looked to have a flare of nephritis was to put them on
18 high-dose corticosteroids and then reassess within a 1-
19 month period of time. Then we expected, if we saw improved
20 renal sediment, that we will have made a therapeutic
21 impact, the concern being that you may actually mask the
22 activity of the urinary sediment but not necessarily have
23 resolved underlying nephritis.

24 Now, if the patient's serum creatinine remains
25 normal, proteinuria is resolving, then I think you're in a

1 much safer ball park, but institution of steroids as part
2 of an acute flare doesn't mean that you've treated the
3 nephritis just because you've changed the urinary sediment.

4 DR. FIRESTEIN: Dr. Hahn, did you have a
5 comment?

6 DR. HAHN: Yes. I was responding to something
7 you said, and that is, that we have to remember that the
8 nephrologists are coming up with experimental molecules
9 that will prevent fibrosis and scarring in kidneys. So we
10 want to keep in mind that we aren't looking just at what we
11 currently think of for suppressing active lupus, but I'm
12 hoping they'll be coming into the lupus field with their
13 strategies to prevent damage, whether or not they probably
14 have to be added to ours, and they might be the
15 maintenance. So you might induce with ours and maintain
16 with anti-scarring and that unfortunately brings me back to
17 the biopsy. I just wanted us to remember that.

18 DR. FIRESTEIN: Yes. I think I'm going to add
19 my name to the list of people that are uncomfortable with a
20 biomarker like anti-double-stranded DNA and quality of life
21 type of an outcome.

22 With regard to biopsies, I think that that
23 would be an excellent choice, except for two potential
24 issues. One is the issue of sampling error that can arise
25 and it depends on how many glomeruli you can get in your

1 sample in order to get an adequate representation.

2 Then I also have some concern that we would
3 have difficulty recruiting into a study like that,
4 especially for the second biopsy. I have no doubt that the
5 first biopsy would be doable. It's the second one, even if
6 the IRB approved. Our experience has been that people are
7 not anxious to be biopsied again.

8 Gary, you had a comment.

9 DR. HOFFMAN: I think everybody is on the same
10 page as Mary Anne in suggesting that the first biopsy is
11 always illuminating and sometimes actually very surprising,
12 but when you look at patients who have responded
13 unequivocally to treatment, whose urine sediment appears to
14 be improving, whose creatinine is going down, perhaps is
15 normal, it's very difficult in the context of routine
16 patient care to tell that patient you would like to get a
17 renal biopsy.

18 So I think studies can be designed where
19 patients other than that type, where there are several
20 markers, clinical or otherwise, suggesting continuing
21 active disease, markers that may influence a change in
22 therapy are present, where you could have a branch point in
23 your study design where you could say that patient in the
24 context of even routine patient care might be recommended
25 to have a biopsy, to then be able to change treatment, and

1 in that way, you can get the data that I think other people
2 are interested in.

3 DR. MANZI: I would just caution that what we
4 feel comfortable with in patient care may be very different
5 as to what we think is appropriate for a clinical trial. I
6 do agree it's about education and I would be curious to
7 hear Wendy's response. If this were a surrogate marker
8 that could accelerate drug approval and this was a 6-month
9 rebiopsy, I think you may have a very different response
10 from patients willing to participate. I think it just
11 depends on how important drug approval is to them. But I'm
12 sure we've never approached them with that particular
13 surrogate outcome, and maybe Wendy is in a better position
14 to answer that.

15 MS. McBRIAR: I feel uncomfortable speaking for
16 all lupus patients here, but certainly I think if there's a
17 clear, defined benefit that may be shown using the biopsy
18 that would give us a potential drug approval, I think most
19 patients probably would go along with it.

20 Clearly, there has not been much in the way of
21 good therapy for new medications for people with lupus, and
22 I think that's a real important piece and there certainly
23 have been plenty of people here today that have said we
24 need to do something and so if we can give them a clear
25 idea of the benefit they might receive from participating

1 in that, that certainly should help.

2 DR. FIRESTEIN: Dr. Simon, are you going to
3 summarize for us?

4 DR. SIMON: No. I'm going to ask a question,
5 if you don't mind. I don't want to parse, but given your
6 last comments, Gary, about the idea that you would be
7 uncomfortable with the anti-DNA associated with perhaps an
8 HRQOL or something as a sole outcome to predict longer-term
9 effects, may I ask the question?

10 Alternatively, I heard earlier that it's
11 possible that people would be more comfortable with an
12 anti-DNA and some urinary marker of inflammation that had
13 been followed which perhaps would be something related to
14 creatinine clearance or iothalamate along with an active
15 urinary sediment, and if that was then correlated along
16 with an HRQOL, would that significant change be enough,
17 where BILAG doesn't worsen, to warrant at 6 months an
18 approval with a commitment to prove over 3 years a change
19 in organ survival?

20 DR. WALLACE: I think it would, but I just want
21 to caution that at least a third of my nephritis patients
22 feel fine. How are you? I'm okay. Their HRQOL is not
23 going to change.

24 DR. BUYON: I want to really second that motion
25 because unlike the extra-renal parameters, at least I would

1 totally agree with you, that's our biggest difficulty, is
2 trying to convince young women to take Cytosoxan when they
3 feel fine and we tell them their creatinines are
4 deteriorating. This is very different than arthritis or
5 skin disease which is apparent to them as serositis. Renal
6 disease is very often a silent killer, except that your
7 ankles are a little swollen. So I totally agree and would
8 not want the health quality and anti-DNA alone without some
9 follow-up.

10 DR. ILOWITE: It seems to me that when you look
11 at the other surrogates that have been approved, they all
12 seem to reflect long-term accumulated consequence, like
13 hemoglobin Alc, bone mineral density, HIV load, and we're
14 not really there yet with DNA antibodies, unless we're
15 creative about area under the curve of DNA antibodies and
16 over a long period of time show that that affects outcome.

17 So that, I think whatever biomarker we choose,
18 it has to be linked or linkable to evidence of accumulated
19 damage, either on a biopsy, or if the creatinine clearance
20 nuclear medicine scan is sophisticated enough, perhaps
21 that's sufficient.

22 DR. ANDERSON: I'd just like to make a comment
23 about the patients feeling fine. I think that health-
24 related quality of life measures like the SF-36 are more
25 sophisticated than just asking patients how do you feel and

1 their saying fine or bad. They do cover more domains than
2 that.

3 Also, hearing that statement about that kind of
4 measure makes me think that perhaps there aren't any -- I
5 actually want to ask a question. Are there any long-term
6 observational studies in lupus where health-related quality
7 of life has been measured fairly early on, along with some
8 biomarkers, where you do have long-term outcomes on
9 patients as a function of those things measured early on?
10 Because if you do, then this will give you some help in
11 deciding whether these things are really useful.

12 DR. MERRILL: There are some ongoing studies.
13 The SLICC cohort for atherosclerosis is taking patients
14 with a new diagnosis of lupus and they're getting these
15 done. I think there have been correlations between that
16 and some of these disease activity indices, but I can't
17 remember how to quote them off the top of my head.

18 Lee, to answer your question, I think that I
19 wouldn't want to require health-related quality of life to
20 improve for a nephritis drug. I sure would like to see
21 what it did because it looks like there might be some very
22 interesting stuff there. I wouldn't want to require that
23 and I wouldn't want to require that the BILAG not get
24 worse. I mean, if this is a medication that's aimed at the
25 kidney, I guess I'd only want to see kidney parameters,

1 whatever seems to be enough.

2 For me, I think at this point, antibodies to
3 double-stranded DNA, some measure of creatinine clearance
4 or urine creatinine ratio, something like that, and
5 complement would be plenty for me.

6 DR. FIRESTEIN: Graciela, then Jack, and then
7 David.

8 DR. ALARCON: Just a comment about the SF-36
9 over time in our cohort, which is now about 520 patients.
10 Over time, the best predictor was actually the baseline
11 SF-36. So how bad the patients were at the beginning is
12 what predicts how bad they were at the end in terms of
13 quality of life, and we have not been able to correlate the
14 SF-36 with any of the serological markers.

15 DR. PISETSKY: I was just going to say as a
16 cautionary note here, certainly from animal models, you can
17 have interventions that help kidney disease that don't
18 change anti-DNA. You just prevent their deposition or the
19 inflammation secondary. So I really wouldn't link these
20 too closely.

21 DR. CUSH: My question was to the FDA with
22 regard to this post-marketing commitment to verify long-
23 term clinical benefit. Do you have any idea of how you
24 would actually define that? Would that just be an open-
25 label follow-up of that 140-patient 6-month blinded trial

1 and then follow them over time or would you actually want
2 that expanded in the post-marketing era to a registry? I
3 mean, would there be mandatory data collection to look at
4 these outcomes?

5 I'm sort of concerned. I don't have a problem
6 giving expedited approval for a life-threatening organ-
7 specific indication based on some of the things we talked
8 about, but I do have concerns about how that would be
9 followed up longitudinally and then acted upon.

10 DR. SIEGEL: I can't really comment on how it
11 would be applied in this particular situation, but in terms
12 of other instances of accelerated approval, I think there
13 are a variety of different post-marketing studies that are
14 done. In many cases, it requires a randomized, controlled
15 trial showing a clinical benefit afterwards, but in other
16 cases, I think in oncology trials, the idea is to show that
17 the benefit in terms of remission has a benefit in terms of
18 survival and that would not be a separate randomized trial.

19 DR. FIRESTEIN: There's another comment from
20 Marc. Did you want to say something?

21 DR. WALTON: Marc Walton in Office of Drug
22 Evaluation VI.

23 Only to follow up on what Jeff has said, that
24 the verification studies, the design is not in any
25 particular way mandated in a global sense. However, it is

1 meant that the verification studies do obtain rigorous
2 evidence of clinical benefit, and what design might be
3 necessary is certainly going to vary from disease entity to
4 disease entity.

5 DR. FIRESTEIN: Betty.

6 DR. DIAMOND: I just want to say as we talk
7 about anti-DNA antibodies or complement or whatever as one
8 of the biomarkers or surrogate markers even used in
9 composite with something else, I think we should be careful
10 about making the tacit assumption that any degree of
11 decrement in antibody titer or increment in complement is
12 associated with improvement.

13 There may be real threshold effects and you
14 have to reach a certain decrement in titer, and in fact,
15 while that's not been studied quite that way, if you go
16 back and look at what data there are, you really have to
17 normalize your titer. You don't need to reduce it by 10
18 percent, 20 percent, 30 percent. You really need to
19 normalize and so I think we need to be careful.

20 I would certainly agree that it can be used as
21 a marker, but I don't think that it can be used just as a
22 statistically significant difference between two
23 populations because that doesn't have a predictive effect
24 that we know of.

25 DR. FIRESTEIN: Dan, did you have one comment?

1 DR. WALLACE: Vibeke wanted to be recognized.
2 She has a lot of experience with quality of life indices,
3 and I know she wanted to make a comment, if you would allow
4 it.

5 DR. FIRESTEIN: I'm sorry. This is for the
6 panel members only.

7 DR. WALLACE: Oh, okay.

8 DR. FIRESTEIN: Dr. Simon, would you like to
9 summarize? Because I don't want to.

10 DR. SIMON: Well, it seems that we have looked
11 at this from multiple different directions, and it seems
12 that I have heard and we have heard that the community at
13 this table believes that there is utility in certain
14 measures, that that composite measure of outcome in
15 nephritis, which was the majority of the time we spent
16 talking, could be several different measures that each look
17 at different aspects of the clinical scenario, and that
18 that might be a useful way to study a patient over time.

19 I discerned a lack of comfort in applying that
20 in the context of a surrogate outcome, but that if
21 something just came along that showed clear change and it
22 would have to be going to 0 in activity, that it would not
23 be just a statistically significant percentage alteration,
24 that that might be very important.

25 It does seem that at this stage of the game,

1 early marker development or surrogate marker development is
2 still in development and that many of the people around the
3 table didn't feel comfortable with some of the proposals
4 that we did as straw men. At the same time, people raised
5 the question of the utility of kidney biopsy and that
6 perhaps that might be revisited as something that is an
7 important outcome that would predict renal survival.

8 I also heard things like changes in anti-DNA
9 levels would not be great as a measure of other aspects of
10 systemic lupus besides nephritis. Perhaps there was even
11 less enthusiasm about that as a measure for other
12 components, and perhaps there are other measures out there
13 that we did not talk about that would be useful in the
14 context of other manifestations of the disease.

15 Is that fair?

16 DR. FIRESTEIN: I think that is a reasonable
17 facsimile of the discussion.

18 Are there any other questions or comments at
19 this point?

20 (No response.)

21 DR. FIRESTEIN: In that case, today's session
22 is officially adjourned. Thank you.

23 (Whereupon, at 4:07 p.m., the committee was
24 recessed, to reconvene at 8:00 a.m., Thursday, September
25 30, 2003.)