

1 if we are to preserve the progress we have made thus
2 far in combination technology and achieve further
3 improvements.

4 First, we have conveyed previously we
5 must reaffirm the agency's past interpretation of
6 primary mode of action, which has allowed so many
7 innovative and important combinations to reach
8 market using device jurisdictional standards.

9 Second, we must refine and preserve the
10 agency's historic inter-center practice of applying
11 flexible approaches to cross-labeling, an issue that
12 arises not just at the end of a premarket review,
13 but also early on in setting jurisdiction and
14 defining pathways for many novel delivery systems.

15 Third, we need to create new guidances
16 that allow for more creative and flexible approaches
17 to data development for this class of products, with
18 the device authorities clearly and consistently
19 applied for the device and/or the device component
20 parts of these reviews.

21 And finally, we need better
22 understanding and clarification of those

1 circumstances where parallel path review may or may
2 not be appropriate.

3 These four framework issues are, of
4 course, not the only instances that require
5 continued collaboration attention. For example,
6 enhanced communication and transparency, greater
7 predictability of data requirements, and further
8 efforts to reduce the number of review cycles are
9 all important areas for ongoing improvement.

10 However, our focus today is on a broader
11 framework of challenges, using the premise that if
12 the framework itself is first optimized to foster
13 innovation and to reduce needless data burdens and
14 avoidable delays, secondary product improvements
15 more easily fall into place.

16 First and foremost among industry
17 challenges in the jurisdictional standard of primary
18 mode of action and reaching consensus with the
19 agency on the appropriate interpretation of this
20 term.

21 Before discussing this issue, however, a
22 brief comment on the agency's reference to novel

1 drug delivery systems in this context. The term
2 suggests devices serving to deliver a drug which may
3 inadvertently misdirect primary mode of action
4 analysis and thus inadvertently misdirect
5 jurisdiction.

6 For example, some of the devices listed
7 in the Federal Register and agency press releases
8 and Web announcements leading up to this meeting,
9 including orthopedic products containing
10 biomaterials, hyperthermia/drug combinations, and
11 drug eluting stents.

12 In each of these cases, the device
13 component has been determined to provide the primary
14 mode of action with the drug facilitating the
15 device's performance. These are not drug delivery
16 systems for purpose of jurisdictional
17 determinations. For this reason, we suggest not
18 using the term "delivery systems" unless the primary
19 intended use of the device is, in fact, to deliver a
20 drug.

21 Without this subtle but important
22 clarification, there may be undue and potentially

1 misleading emphasis on the jurisdictional role of
2 the drug component.

3 In interpreting primary mode of action,
4 and as we have conveyed on a number of prior
5 occasions, AdvaMed's member companies have come to
6 rely and build their combination business around two
7 fundamental interpretation standards that have now
8 been replaced for more than a decade.

9 First, the combined product, that is,
10 the product as a whole is analyzed for purposes of
11 determining the primary mode of action.

12 And second, mode of action is determined
13 based on the primary intended function of the
14 combined product.

15 The principal theme of the CDRH-CDER
16 inter-center agreement, as you know, provides that
17 products which are primarily structure, physical
18 repair or reconstruction purpose should be regulated
19 as devices.

20 For the inter-center agreements from our
21 RFD decisions and from informal center assignments
22 over the years, there has emerged a long and varied

1 list of combination products granted primary device
2 status based on the intended function of the
3 composite product. Among them, human fibroblast
4 derived skin substitutes, bone cements containing
5 antimicrobial agents, spinal fusion products
6 containing biomaterials, dental devices with
7 fluoride, and condoms with contraceptive agents.
8 All of these examples may deliver a drug or a
9 biologic, but that function was not deemed the
10 primary intended function of the combined product
11 for jurisdictional purposes.

12 FDA's historic interpretations of
13 primary mode of action have served both the agency
14 and industry well. They have fostered innovation,
15 on one hand, and protected and preserved public
16 health on the other, the precise two goals of the
17 Commissioner's new initiative

18 Innovation has been fostered because of
19 the legal and policy initiatives that are uniquely
20 available under our device premarket review
21 structure, including early collaboration meetings,
22 100-day meetings, modular reviews, least burdensome

1 review principles, and humanitarian device exemption
2 initiatives.

3 From the public health perspective, we
4 have had over a decade of combination assignments to
5 CDRH, and to our knowledge, not a single post-market
6 safety issue has arisen as a result of these
7 assignments.

8 For these reasons, maximum use of device
9 jurisdiction authority should be encouraged. If
10 Commissioner McClellan is to truly accomplish his
11 initiative in making innovative medical technology
12 sooner and reducing the cost of developing safe and
13 effective medical products while maintaining
14 standards of consumer production since CDRH
15 jurisdiction over a combination has a demonstrated
16 effective review history in these instances where
17 primary mode of action is otherwise unclear.

18 And companies believe that a device
19 assignment would serve to foster and advance their
20 technologies. Strong deference should be given to
21 this principle.

22 For the subset of combination products

1 that, in fact, serve to deliver a drug, for example,
2 new aerosolized insulin systems and lasers to
3 deliver topical anesthetics, there are other
4 jurisdictional principles that have been placed over
5 the years which like the agency's primary mode of
6 action, interpretation will be important to
7 preserve.

8 For example, the inter-center agreement
9 provides that for drug delivery devices intended for
10 use with marketed drugs and used together as a
11 system, CDRH will have jurisdiction if the device
12 technology predominates. From this jurisdictional
13 interpretation, whole industries and, indeed, whole
14 new standards of care have been born.

15 Elastomeric infusion pumps, for example,
16 are delivered systems that historically have been
17 granted device review. CDRH jurisdiction and
18 related innovations under our device authorities
19 have allowed this delivery system technology to
20 progress and evolve quickly from hospital to home-
21 based patient use, bringing improved standards of
22 patient care and significant cost savings to our

1 health economy.

2 The challenge of cross-labeling. A
3 second and particularly significant challenge for
4 novel drug delivery systems is cross-labeling.
5 Since 1991, when the agency first articulated its
6 framework for combination products, including how
7 labeling must conform for these products, market
8 introduction of novel delivery systems have been
9 aided tremendously by FDA's flexible approach to
10 cross-labeling issues.

11 For the last decade, cross-
12 labeling/mutual conformance have been defined
13 through the inter-center agreement. From this
14 inter-center agreement important guidance has been
15 provided both to FDA and industry on the issue of
16 cross-labeling, used not simply for final labeling
17 discussions, but also early on and concerning
18 framework/jurisdictional issues for novel devices
19 intended primarily to deliver drugs.

20 The inter-center cross-labeling
21 standards are fourfold. First, the inter-center
22 standard states there are three essential aspects of

1 drug labeling requiring mutual conformance:
2 indications, general mode of delivery, drug
3 doses/schedule equivalence.

4 If device labeling is generally
5 consistent with these key parameters of drug
6 labeling, the essential elements of mutual
7 conformance will be assumed. When there is general
8 mutual conformance, the agreement states that the
9 FDA should do two things. It should grant CDRH
10 jurisdiction for the product, and it generally
11 should waive additional clinical showing of drug
12 effectiveness.

13 A second standard. The agency has
14 recognized that as delivery system technology
15 evolves, models of delivery and dose schedules for
16 drugs may inevitably be refined. To accommodate
17 these refinements, two of the three key drug
18 parameters in the standard are described with some
19 flexibility.

20 Specifically, the mode of delivery need
21 only been the same general mode of delivery, and the
22 doses/schedule need only be equivalent.

1 Also, the term conformance. Using the
2 standard does not convey verbatim replication of or
3 precise equivalence to drug labeling. Device
4 labeling need only be generally consistent with the
5 labeling of the drug intended to be delivered.

6 Examples of the precedents that have
7 relied on the flexibility of this labeling standards
8 include continuous delivery devices for insulin and
9 fibron sealant mixing and delivery systems.

10 As a third inter-center cross-labeling
11 principle, even if there are changes to these three
12 critical drug parameters described in the cross-
13 labeling standard, the standard nevertheless affords
14 CDRH further flexibility to consult with CDER and to
15 resolve those issues through device labeling.

16 And finally, the inter-center agreement
17 on cross-labeling does not purport to address any
18 other secondary aspects of the drug labeling beyond
19 the three stated parameters of indications, mode of
20 administration and dosage. Under this
21 interpretation second drug labeling issues have been
22 available to be addressed through device labeling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and review.

2 In keeping with the Commissioner's goal
3 of encouraging innovation in this area, we ask that
4 these four historic cross-labeling standards,
5 reaffirmed through agency device reviews over the
6 years, continue as policy practice in this area.
7 Without these flexible policy approaches,
8 significant new challenges will be added to pathway
9 development for this category of products.

10 A third challenge for novel delivery
11 system relates to data burdens and the need for new
12 guidance that permit more flexible, more
13 predictable, and more consistent approaches to data
14 development. In the novel delivery system context,
15 data challenges can sometimes be very different
16 depending on whether CDRH or another center has
17 received primary jurisdiction for the composite
18 product.

19 Compounding these challenges is the
20 reality that, in contrast to certain other forms of
21 combination products, delivery system technology
22 often involves two severable components, and review

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standards for those components are not always clear
2 or even applied.

3 If CDRH jurisdiction has been granted
4 for delivery systems, historically it has been
5 because the drug is marketed, has been generally
6 approved, and the device issues thus predominate. In
7 this context two data challenges have emerged.

8 First, our members believe that there
9 needs to be stronger emphasis on the principle first
10 articulated in our inter-center agreement that
11 whenever possible, delivery systems need not reprove
12 the fundamental efficacy of a drug already approved
13 for the same general mode of administration, dosage,
14 and indication.

15 In reaffirming this historic standard,
16 our members ask that the agency provide concrete and
17 specific guidance through examples as to how this
18 principle can be more effectively and consistently
19 applied.

20 As a second challenge our members also
21 feel strongly that any CDER consult process, while
22 important to resolving unsettled drug issues, not be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 permitted, directly or indirectly, to set the review
2 standards for the composite product. CDRH product
3 jurisdiction, if it is to be meaningful, necessarily
4 must involve device authorities.

5 Defining the combined product. In this
6 context we need to make certain that the tried and
7 true drug standards are not applied to combination
8 technologies. These technologies represent
9 breakthrough thinking and application of established
10 drug standards may not in most instances be an
11 appropriate standard for review.

12 CDRH has a long history of establishing
13 flexible standards because of the nature of the
14 products they regulate. This history gives CDRH a
15 unique experience based on the development of review
16 criteria for those novel products.

17 In instances where CBER and CDER have
18 granted jurisdiction of novel delivery systems, it
19 is generally the case that both aspects of the
20 product, both the device and the drug that are
21 biologically being delivered, have been deemed
22 investigational.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In this context, industry data
2 challenges are somewhat different. First, for the
3 device combination of delivery system combination,
4 the agency needs to be clear that device
5 authorities, including least burdensome principles,
6 frame the review for the aspect of the product.
7 This component part of the evaluation could occur
8 through separate review and/or consultation process
9 at the sponsor's discretion, but it is important
10 that it be undertaken effectively.

11 Too many of our members have expressed
12 concern with the agency's internal assessment,
13 published last October, which acknowledged that some
14 reviewers in CDER and CBER lacked fundamental
15 understanding or appreciation of advice premarket
16 review authorities.

17 The ability to ensure proper device
18 review becomes more important the more complicated
19 the device design, and complexities are increasing
20 reality for delivery system as many new technologies
21 involve software electronics, electromagnetic
22 principles, ultrasound energy, and other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sophisticated forms of device engineering.

2 As a second more general challenge, our
3 members convey that when CDER and CBER jurisdiction
4 is granted, there is little, if any, incentive at
5 the moment for reviewers in those centers for
6 seeking mechanisms or employing standards and
7 encouraging the development of novel drug delivery
8 systems.

9 If we are to achieve meaningful
10 premarket improvements in this area, it will be
11 important to develop new guidance specifically
12 addressing delivery system combinations and
13 acknowledging the sentiments expressed at the
14 agency's January 31st press release which launched
15 this initiative.

16 At the risk of repeating ourselves in
17 that release, the Commissioner described the
18 agency's desire to help make innovative delivery
19 systems available sooner and to reduce needless
20 costs and burdens while ensuring safe and effective
21 medical products.

22 We believe this initiative represents a

1 form of least burdensome philosophy now sanctioned
2 expressly under our device laws. New guidance for
3 novel delivery systems should attempt to reflect
4 this standard as appropriate and consistent with the
5 current law.

6 We believe that separate guidance
7 specifically encouraging and promoting novel
8 delivery system development will give CDER and CBER
9 reviewers one more reason to think creatively and
10 flexibly about data issues and to avoid any
11 temptation for more doctrinaire data demands.

12 A fourth and final issue that challenges
13 the framework for premarket review of novel delivery
14 systems is the subject of separate parallel past
15 submissions, and better understanding those
16 circumstances where parallel review may or may not
17 be appropriate.

18 In the November hearing on combination
19 products, we let the agency know that our member
20 companies see the advantages and disadvantages of
21 separate applications in different ways at different
22 times, depending upon the specific regulatory,

1 factual, and business circumstances presented by the
2 particular combination.

3 We believe, however, that these
4 differing views may be fully reconciled by
5 distinguishing required separate filings that may be
6 an option of the sponsor. Several specific
7 recommendations highlight and explain how this
8 distinction would be implemented.

9 First, in order to avoid redundant
10 reviews and excessive regulation, only one filing
11 should be required in the majority of the cases.
12 Indeed, we believe that as the consultative process
13 continues to be regulated and improved and held
14 accountable, there should be fewer and fewer
15 mandated separate applications.

16 There are certain selected
17 circumstances, particularly for novel delivery
18 systems, where a company at its option might see a
19 separate filing as useful for regulatory
20 business/marketing reasons. Factors include:

21 One, where two different companies, for
22 example, a drug company and a device company, are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 involved in the manufacturer of a combination drug
2 delivery system.

3 Two, where delivery system components
4 are expected to have separate distribution and
5 use/reuse patterns.

6 And, three, where primary jurisdiction
7 for the combination delivery system has been given
8 to the center other than CDRH and the delivery
9 device component is capable of being separately
10 defined and reviewed.

11 Examples include novel ultrasound
12 infusing catheters, nebulizers, jet injectors,
13 insulin pens, and drug delivery systems that monitor
14 a patient's vital signs. In these circumstances
15 AdvaMed believes that the separate filings are
16 appropriate.

17 The key to this recommendation, however,
18 is that the option of the dual filings is left up to
19 the sponsor. We believe this theme of flexibility
20 and sponsor discretion is important if we are to
21 encourage the development of novel drug delivery
22 systems in an industry with such a wide array of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 corporate and technological interests.

2 Your next two questions inquire about
3 areas where guidance would be helpful on how the
4 agency can best collaborate with industry and other
5 institutions in the development and encouragement of
6 novel delivery system technology.

7 Given the commonality of themes
8 presented by these two questions, we have
9 consolidated a response and have several
10 recommendations to provide. We believe the four
11 framework issues just discussed should be reaffirmed
12 in separate or consolidated guidance documents, and
13 those documents should be developed following notice
14 and comment processes required by good guidance
15 practices.

16 Further agency collaboration with
17 industry on development of these documents also
18 would be beneficial. We also agree with the agency
19 that a drafting process which is as interactive as
20 possible, for example, through stakeholder meetings,
21 would allow for further debate and reiterative
22 refinement of FDA and public views on those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 important issues.

2 As part of the guidance process we also
3 recommend that the agency's initiative and intent to
4 encourage novel delivery systems be fairly stated
5 and specifically supported. In particular, industry
6 would appreciate receiving concrete examples of how
7 the agency process to reduce needless delays and
8 avoidable product developments cost in the premarket
9 process.

10 We believe suggestions that the agency
11 already has made concerning improvement and review
12 in communications and proceduralizing combination
13 reviews will facilitate the agency's goals, but we
14 request that additional mechanisms for more
15 efficient review processes and further encouragement
16 of flexible review standards be considered as well.

17 Implementation of this initiative will
18 work best if all aspects of the agency's review
19 chain are trained well on the principles adopted.
20 If the agency is to have all reviewers consistently
21 thinking creatively and flexibly in this area, there
22 must be regular, internal reminders of this goal to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all three centers involved.

2 Industry would also appreciate ongoing
3 efforts to make combination product include a novel
4 delivery system database as transparent and as
5 informative as possible, consistent with FDA's
6 nondisclosure obligations and the proprietary
7 interests of sponsoring companies.

8 Data on approved products should convey
9 primary jurisdiction, time frame for reviews,
10 available information on consultative or
11 collaborative processes invoked, the number of
12 review cycles involved, and public summaries for
13 review.

14 This database should be separate and
15 apart from other databases for approved products to
16 facilitate industry's efficient review of
17 combination precedents.

18 With those recommendations, AdvaMed
19 thanks the agency for its consideration of our
20 comments. Our members strongly support the agency's
21 ongoing efforts in this area, and we look forward to
22 working closely with you to further reduce

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regulatory challenges and to improve premarket
2 processes so as to foster and facilitate innovation
3 of delivery systems and other forms of combination
4 technology.

5 Thank you.

6 (Applause.)

7 DR. JACOBSEN: Thank you, Keith.

8 And finally, Christine Allison from the
9 Global Regulatory Affairs Group at Eli Lilly is
10 going to discuss the drug industry's perspective on
11 combination products.

12 MS. ALLISON: Thank you.

13 First, I'd like to thank FDA for
14 sponsoring this very important workshop. For those
15 of us that have been working on this type of
16 combination products for years, this is exactly what
17 we have been looking for, an opportunity to have an
18 open dialogue with the agency and to discuss about
19 some of the issues and challenges we have been
20 dealing with and struggling with on a daily basis.

21 I'm also very honored to be invited as a
22 speaker today.

1 My presentation goals today is first to
2 give you a brief introduction of the type of
3 products that my company has been working with, and
4 also hopefully walk you through some of the
5 regulatory challenges that we have experienced
6 during development and market applications and post-
7 approval, and then touch a little bit on the
8 challenges that we have experienced working with
9 partners, and some global challenges, and then I'll
10 summarize some key points and conclude it with our
11 recommendations to the agency.

12 Lilly's experience on the combination
13 product is mainly on the drug-device combination.
14 We are currently working on several innovative
15 products, for example, pulmonary inhalation system
16 for systemic delivery of drugs and also other, you
17 know, interesting, innovative products, and those
18 are all at the development stage.

19 We also have many years of experience
20 working on the pen injectors, which is already in
21 the market, and for those products we have post
22 development and post approval experience. Although

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't consider pen injectors as an innovative
2 product, however, I believe that some of the post
3 approval experience that we have will be good
4 examples for us to look forward once the innovative
5 product has been approved in the market.

6 My presentation will also be focused on
7 the CMC issues.

8 For innovative products, a lot of time
9 the questions surface very early in the development
10 stage, even before we are ready to request for lead
11 center designation. A lot of time we will have a
12 lot of questions, sometimes drug questions or device
13 questions, and we often struggle which center we
14 should go to to ask those type of questions.

15 So it would be very nice if we have a
16 single focal point so we can just channel those
17 questions to. So we recommend that the Office of
18 Combination Products be the coordinator and
19 facilitator for identifying the appropriate centers
20 for technical consultation prior to lead center
21 designation.

22 Some of the challenges we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 experienced is also the consistency in lead center
2 designation. We understand that the statutory
3 history does not mandate that the sponsor has to go
4 to the Office of Combination Products to request for
5 designation of lead center.

6 So sometimes the sponsor can choose to
7 go to individual center instead of go to the Office
8 of Combination Products. So this could result in
9 some similar products, combination products that
10 result in different lead center assignments. It
11 depends on which center the sponsor goes to first.

12 And often the consultation centers are
13 not defined at the time of lead center assignments.
14 So we suggest that internal procedure be developed
15 to guide each center for routing those requests to
16 the Office of Combination Products for review to
17 ensure the consistency of lead center designation.

18 And also we recommend that Office of
19 Combination Products also identify those consulting
20 centers at the time of lead center assignments.

21 Some of the major CMC challenges that we
22 have experienced for innovative drug delivery

1 systems, because this type of product is very new
2 and is kind of unique, and because a lot of times
3 the agency has no experience with dealing with this
4 type of product, a lot of time the agency will
5 request a commercial system to be used for pivotal
6 studies.

7 And this creates a lot of technical
8 challenges for us. It means that we have to lock in
9 the CMC development process in a very early stage of
10 development, and this also prevents us to continue
11 to improve the process during the clinical phase and
12 feed it back to our design.

13 In addition, early resource commitment
14 is required. Sometimes we have to purchase
15 commercial equipment or even build manufacturing
16 sites in the very early stage of development,
17 sometimes even as early as Phase II or III. It
18 depends on what kind of clinical plan that we have.

19 And so this is a very typical approach.
20 It compares to the normal product development
21 process, and if we have a change that is not
22 avoidable, then we have to make those changes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The difficulty is to establish
2 successful, satisfactory comparability protocol
3 between pre-commercial and commercial systems to
4 satisfy agency's expectation. So we encourage the
5 agency to consider the role of reaching strategies
6 to allow product process improvements during the
7 development through commercialization, and also
8 clear and documented expectations from the agencies
9 are needed.

10 And this is not an easy task. We
11 realize this is not an easy task. Therefore, I
12 think frequent dialogue with the agency regarding
13 specific issues is very critical throughout the
14 entire development process.

15 The traditional pre-IND meetings, or end
16 of Phase II meetings, it is just not sufficient for
17 us. Therefore, we encourage that the agency to be
18 flexible in granting the request for meetings and
19 consultations when dealing with this type of
20 product.

21 Another major CMC challenge for us in
22 dealing with this type of innovative drug delivery

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 system is that the drug and device is an integrated
2 system. This is an integrated system. It's got
3 drug components in there and the device components
4 in there, and both components have to work together
5 as a system.

6 And, therefore, it requires a lot of
7 time, with frequent consultation with multiple FDA
8 centers and sometimes multiple divisions within the
9 same center.

10 Currently, based on our experience,
11 alignments and communication with multiple centers
12 and divisions has been a challenge, and therefore,
13 we believe that it would be very beneficial if
14 agency's review team can include members from all
15 relevant centers and divisions from the very first
16 sponsor meeting.

17 Another major challenge that we face is
18 quality systems. The question is which regulations
19 you apply for the drug-device combination. Is it
20 drug cGMP or should we apply the device QSR or both?
21 And which compliance guidance will be used during
22 the preapproval inspection?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It is our opinion that the drug
2 regulations should apply to the drug portions of the
3 products, and the device regulations should apply to
4 the device portions.

5 And we also believe that clear policy is
6 needed with regard to the FDA inspections for
7 preapproval inspection of the combination products.

8 And also, we encourage that the
9 investigators to be trained and to perform
10 combination product inspections using the
11 appropriate regulation for each component of the
12 combination.

13 Another challenge is during the
14 development, is the regulatory reporting. It's
15 unclear what are the requirements for AE and device
16 reporting during the clinical study. Should we
17 follow the 21 CFR 312 or 21 CFR 812?

18 Especially when it comes to the device
19 expedited reporting requirements for the device
20 portion submitted under the IND in terms of the
21 device malfunction and the inclusion of the device
22 investigation results.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It is our opinion that in principle,
2 reporting requirements for both drugs and devices
3 should be applied as appropriate. We believe that
4 if device malfunction is reportable under the device
5 regulation, it should be also reportable even if
6 submitted under the IND.

7 We also believe that device
8 investigation results should be included in the
9 report, and this report should be directed to the
10 lead center doing the review.

11 As far as the reporting time, we have no
12 preference one way or the other as long as it is
13 clear to us what kind of reporting time we need to
14 follow.

15 Moving on to the challenges during the
16 market applications, the question is always is it a
17 single or dual submission, and if it's dual
18 submission, would dual user fees apply?

19 And also, what is the format we should
20 use to include those device information in a CTD
21 submission? And what kind of device information
22 needs to be included in drug submissions?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We support the concept of a single
2 premarket review mechanism leading to a single
3 approval of combination products. We understand
4 that there will be exceptions when the DOS
5 submissions may be more appropriate.

6 In terms of the formats, submission
7 formats, we recommend that standardized formats and
8 also provide guidance for us to include the data,
9 the data requirements for the device information to
10 be included in the CTD submission.

11 In addition, the phase-appropriate data
12 requirements for the device to be included in the
13 INDs. From our experience, a lot of times we find
14 out it's very beneficial if we prepare the device
15 information in a format that is familiar with the
16 CDRH reviewer. So if we prepare that information,
17 for example, in a 510(k) format, it will be much
18 easier for a CDER reviewer to hand it over to the
19 CDRH reviewer for consultation.

20 Moving on to some of the post approval
21 challenges, the difficulties we have encountered the
22 most is when we have to deal with device changes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 when this device information is part of the NDA
2 submission, and currently because there is no clear
3 guidance on how to handle this, it's been a
4 challenge for us.

5 We recommend the use of the CDRH 510(k)
6 decision tree as a guidance. If we go through the
7 510(k) decision tree and the conclusion is we don't
8 need to have a 510(k), we suggest this type of
9 change will be communicated to the CDER reviewer
10 through the annual report.

11 And if we go through the 510(k) decision
12 trees and the 510(k) is required,, then we suggest
13 that this type of change will be communicated to the
14 CDER reviewer through the NDA Supplement B or C.

15 Again, talk about the post-approval
16 regulatory reporting requirements. There's
17 currently no clear guidance on how to conduct those
18 AE reporting and device reporting for drug-device
19 combination products. Which regulation should we
20 apply? Is it 21 CFR 314 or 21 CFR 803?

21 The same challenges when we talk about
22 doing the IND stage is for those expedited

1 reporting, device reporting, do we include the
2 device investigation result in the report?

3 It is our opinion that, in principle,
4 reporting requirements for both drugs and devices
5 should be applied as appropriate. We believe that
6 if a device malfunction is reportable under the
7 device regulation, it should be reportable as well
8 when it is submitted through the NDA, and we also
9 believe that the device investigation results should
10 be included in the report.

11 And those reports should be directed to
12 the lead center that has reviewed the submission and
13 approved the products. Again, for the reporting
14 time, we have no preference one way or the other as
15 long as it's one clear reporting time that we have
16 to follow.

17 Another challenge we have experienced
18 for the post-approval is the cross-labeling of
19 products intended to be used together. An example
20 of some cases is that some of the 510(k) devices
21 approved in the market, cleared in the market can be
22 used for multiple products. When the drug company

1 wants to include those devices into the drug label,
2 there's no clear guidance how to do this, to reach
3 conforming labeling.

4 And since there is not a user fee
5 associated with this type of labeling change,
6 therefore, there's no set reviewing times, and in
7 some cases it takes a long time to have this
8 labeling change accomplished. Sometimes when we are
9 waiting for the approval the device that we try to
10 include in there, the model already is obsolete. We
11 know it is very dynamic in the device work. So
12 that means that we have to then restart it again for
13 this whole entire reviewing process to include the
14 new versions of the model.

15 And sometimes the reviewer may
16 repeatedly review the data set that has already been
17 reviewed by the other center, and in some occasions
18 the reviewer may request additional data beyond what
19 was required by the other centers.

20 Therefore, we believe that clear
21 guidance is needed on how to obtain mutually
22 conforming labeling. And we suggest that allowing

1 the 510(k)-cleared device to be included in the drug
2 label is appropriate, and communication of this type
3 of labeling change be made in the annual report.

4 To touch a little bit on the challenges
5 we have experienced working with partners, very
6 often a device company may work with multiple drug
7 companies with the same device platform. So those
8 device information will be considered proprietary,
9 and when we have to submit an NDA to include this
10 device information, we will not be able to describe
11 those information in detail without reference to a
12 DMF.

13 And the regulatory challenges come into
14 play when the reviewer wanted to discussion or has
15 questions regarding to those informations. It would
16 be very difficult for the FDA reviewer to discuss
17 those issues with the sponsor due to the
18 confidentialities. And this is the same challenge
19 once the product is in the market and we have
20 changes made in the device portion.

21 And sometimes the device company may
22 have a different approach, regulatory approach or

1 interpretation than the drug company, especially in
2 a controversial area, such as we mentioned earlier,
3 some of the GNP requirements or regulatory reporting
4 requirements.

5 And I believe that those differences can
6 be minimized once the agency has a clear guidance on
7 how to deal with those issues.

8 Some of the global challenges that we
9 have experienced. A drug device combination product
10 approved under the CDER NDA may require a market
11 authorization for the drugs and a CD marking for the
12 device in EU, and this reports a lot of challenges
13 in terms of submission document preparations,
14 quality system requirements, post-approval changes,
15 regulatory reporting, labeling, and compliance
16 inspections.

17 And, therefore, it would be very nice if
18 the agency, when dealing with certain policies and
19 guidance, if you can work with your counterparts in
20 the other parts of the world and work toward a
21 direction of having a global harmonization. That
22 would be very nice.

1 Therefore, in summary, we have
2 identified many challenges throughout the entire
3 product life cycle for innovative device products.
4 We also identify many areas that we need guidance,
5 such as quality system requirements, post approval
6 changes, regulatory reportings, and cross-labeling.

7 And we believe continued dialogue
8 between sponsors and the agency is critical to
9 ensure successful development and timely review of
10 market applications.

11 And in conclusion, we would like to
12 recommend that the agency when setting policy and
13 guidance, please consider using Office of
14 Combination Products as a single focal point to
15 handle the issues regarding the combination
16 products, and keep it simple. If we can do it with
17 one process let's not use two processes.

18 Reduce redundancy, especially in the
19 reviewing process. If one center already reviewed
20 the data, the other center does not need to review
21 it again.

22 And also, when setting guidance and

1 policy, not only market applications, please
2 consider also post approval requirements and think
3 through the entire product life cycle.

4 And the last if not the least, please
5 consider global harmonization needs.

6 Thank you.

7 (Applause.)

8 DR. JACOBSEN: Okay. Thank you very
9 much.

10 That's the end of this session, and I
11 think we're scheduled to have a break now. So if
12 you could all be back at 3:30, we'll get started
13 again with the FDA session.

14 Thanks.

15 (Whereupon, the foregoing matter went
16 off the record at 3:17 p.m. and went
17 back on the record at 3:33 p.m.)

18 DR. JENKINS: We will begin the FDA
19 session. I'm John Jenkins. I'm the Director of the
20 Office of New Drugs in the Center for Drugs. I'm
21 here substituting for Dr. Woodcock, the Center
22 Director, who was not able to be here because of a

1 conflicting schedule on her calendar.

2 It's a pleasure to be here, and I think
3 from what I've been hearing in the hallway, this is
4 the session you've been waiting for, which is FDA
5 perspective on all of these issues.

6 So I'm going to serve as a moderator,
7 and let me introduce our first speaker. Mark Kramer
8 I think you all know.. He's the Director of FDA's
9 new Office of Combination Products.

10 Mark.

11 (Applause.)

12 DR. KRAMER: Thank you, John, and I'd
13 like to thank Dr. Feigal and Dr. Provost for
14 inviting me to be with you here today and talk about
15 what we're doing.

16 The first thing I have to do is start
17 out by saying that I've been asked to focus on the
18 role of the Office of Combination Products and the
19 kinds of things we're doing. What I wished we had,
20 and this is what I'm going to cover today, is just
21 to give an overview of what is and what is not a
22 combination product; give an overview of how we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-2701

1 regulate combination products at FDA; talk about the
2 role of our office and also some of the current
3 initiatives that we have gotten underway.

4 I really wish I had a fifth bullet here,
5 which are the answers, the answers to all of the
6 questions that people have been raising today, but
7 as I think you'll hear, we are beginning to work on
8 these issues, and clearly these kinds of sessions
9 really help give us the kind of input that we need
10 in order to anticipate the products that are coming
11 down the line, and there's clearly a lot of new
12 things that I've heard today in terms of products
13 that we have to anticipate.

14 So the first thing I wanted to do is
15 start out with a question. Are novel drug and
16 biologic delivery systems combination products?

17 And I think the answer is it depends,
18 and as I'm going to lay out the definition of a
19 combination product, I think we use the term
20 loosely, but really many of the products that we
21 talk about as being combinations might not meet the
22 regulatory definition of a combination product.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 However, they may still raise complicated regulatory
2 issues and, therefore, we sort of, you know, are
3 trying to address these types of products at the
4 same time.

5 This is a regulatory definition of a
6 combination product, and the regulation provides --
7 this is in 21 CFR Part 3, 3.2 -- there are really
8 four different types of combination products, and
9 the third and the fourth bullets are tied together.

10 But sort of the quintessential
11 combination product is a product where the product
12 itself comprises two or more regulated components
13 that are physically, chemically, or otherwise
14 combined or mixed as a single entity, and a good
15 example there would be the drug eluting stent that
16 has been discussed much today.

17 But it has its forbears, things like
18 antimicrobial coated catheters, heparin coated
19 catheters, condoms with spermicide that have been
20 around really for a long time, and those are
21 combination products, too, and we have been
22 effectively regulating those for quite a long time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The second type of combination is where
2 we have a kit or a co-package that in itself is
3 comprised of separate products, drugs and devices,
4 devices and biologics, or drugs and biologics.
5 Together they create one product which is a
6 combination product because it contains different
7 types of regulated articles.

8 And the third category is really one of
9 the most complicated for us, and this is where you
10 have separate products, often provided by or
11 manufactured by separate companies that their use
12 together constitutes a combination product. Both
13 products are required to achieve the intended use,
14 indication, or effect, and where upon approval of
15 the proposed product, the labeling of the approved
16 product would need to be changed. And this is the
17 so-called cross-labeling issue that's been raised by
18 a couple of the speakers earlier this afternoon.

19 In the interest of time I'm going to run
20 through these examples pretty quickly, but they are
21 in your notebook, devices coated, impregnated or
22 otherwise physically combined with a drug or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 biologic. I gave some examples of the drug-device
2 combinations. There are also drug-device biologic
3 examples, such as skin substitutes with cellular
4 components, orthopedic implant with growth factors,
5 and there was one of those that was recently
6 approved in the least year.

7 Prefilled drug or biologic delivery
8 devices are also combination products. Some of the
9 simplest ones that we have are just prefilled
10 syringes, a syringe that is filled with a drug or
11 biologic is a combination product because the
12 syringe is a device and the drug or biologic
13 obviously is regulated separately.

14 But we also have insulin, epinephrine,
15 interferon injector pens, metered dose inhaler,
16 transdermal patches, again, all examples of
17 combinations.

18 Drug or biologics that are provided with
19 an applicator or delivery device; drug-biologic
20 combinations. We haven't spoken about that too much
21 today, but radiopharmaceuticals combined with a
22 biologic or monoclonal antibodies combined with a

1 chemotherapeutic drug, interferon-ribavirin
2 combination for Hepatitis C. These are drug-
3 biologic combinations.

4 And then again in that last category of
5 combinations, separate products that may constitute
6 a combination: a hyperthermia device used with a
7 chemotherapeutic drug; photodynamic therapy drug and
8 laser light source, and you'll be hearing from
9 Richard Felten about that soon after my talk.

10 Diagnostic devices that require the
11 administration of a particular drug or biologic, or
12 a drug requiring a specific diagnostic device.
13 These are examples of separate products that used
14 together might constitute a combination.

15 What are not combination products?
16 Well, combinations of two drugs, two devices, or two
17 biologics. They may raise some of these types of
18 regulatory issues as well, but in order to be a
19 combination product by the regulation, you have to
20 comprise different types of regulated articles: a
21 drug and a device; a drug and a biologic; or a
22 device and a biologic.

1 Most concomitant use of drugs, devices
2 and biologics is not a combination product, and also
3 general drug or biologic delivery devices, such as
4 an infusion pump that's not intended for use with an
5 individually specified drug or biologic product,
6 they don't meet the definition of a combination
7 product.

8 And I think those are some of the types
9 of things we're discussing here today that may
10 actually fall in that last bullet and not
11 technically meet the definition of a combination,
12 but may pose some of the very same issues that
13 combination products raise.

14 These are the various regulatory
15 approaches we have in our armamentarium. Devices
16 generally get approved under the PMA or 510(k)
17 process and are investigated under IDE. Drugs
18 approved via NDA, studied under IND, and biologics
19 under BLA and studied under an IND.

20 And what somebody once told me at the
21 last talk I gave where I had this same slide was
22 it's like worlds colliding. If anybody remembers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Seinfeld, there was an episode where George felt his
2 worlds were colliding. His girlfriend was getting
3 to know his friends and his work people, and he
4 didn't like that.

5 And somebody told me once that's what
6 happens with combination products.

7 (Laughter.)

8 MR. KRAMER: But when we look at the
9 intersection here, I think it's what makes
10 combinations unique because we have the regulatory
11 flexibility to apply the most appropriate regulation
12 to a combination product by tailoring the approach
13 to taking pieces of drug regulation, pieces of
14 device regulation or biologics as appropriate.

15 But what we haven't had in the past was
16 a very consistent way of doing that, and that's what
17 we're in the process of doing.

18 Some of the things that are unique about
19 the way we regulate combination products, first, as
20 you heard earlier, they're assigned to a lead center
21 based on the s-called primary mode of action. As
22 Jonathan pointed out, not defined in the law, not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 defined in our regulations, although we are in the
2 process of formulating a regulatory definition for
3 primary mode of action that will be made available
4 for public review and comment, and we feel that's a
5 very important first step in the process of ensuring
6 that these products are appropriately regulated and
7 that we have a good way for determining which center
8 will have lead review responsibility.

9 Another one of the hallmarks of
10 combination product regulation is that we often, but
11 not always, have consultation or collaboration
12 between the centers. That is a way of applying the
13 best mixture of expertise to insure that one center
14 can supplement its expertise in order to best
15 understand and tackle the review issues associated
16 with that product.

17 Jonathan asked me to touch on the
18 difference between consultation and collaboration,
19 and I'll try to do it in ten seconds, but
20 consultation is what we generally have. In most of
21 the cases this is where the lead center is
22 ultimately responsible for all decision-making on an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 application.

2 But we also have an approach of
3 collaboration that allows basically the two centers
4 to have equal votes at the table, and both centers
5 would need to reach agreement on the outcome of a
6 submission in order to approve or disapprove the
7 product.

8 I mentioned earlier that we do have the
9 flexibility to tailor the premarket regulatory
10 authorities, and we have the same flexibility to
11 tailor the post-market regulatory authorities. So
12 we may have a product that might be subject, for
13 example, to elements of the quality system
14 regulation and to elements of CGMPs.

15 And we have done that, in fact, with
16 drug eluting stents where the drug substance needs
17 to conform to drug GMPs up until the time that it's
18 coated on the stent, and once it's a combination
19 product, then the combination is subject to the
20 quality system regulation.

21 The other thing is one application
22 versus two, and this is an important issue not only

1 because it affects, you know, really the whole
2 regulatory landscape of how these products are
3 regulated, but some companies, as AdvaMed pointed
4 out, prefer one application and some prefer two.
5 And sometimes there are business reasons that affect
6 what a company's preference is.

7 And we're trying to look at tailoring
8 our approach in terms of making it as -- our
9 ultimate goal is to try to have one application
10 whenever we can, but we recognize that there will be
11 instances where two will be most appropriate in
12 order to regulate a product, and there are cases
13 where a company may actually prefer to have two even
14 if we feel they only need one.

15 There are user fee issues associated
16 with that. I think Christine mentioned that in her
17 presentation, and therefore, there are important
18 ramifications to a decision as to whether to require
19 one or two applications.

20 The Office of Combination Products was
21 established in December of this year. We have six
22 main roles that are outlined in the statute, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this was all part of the Medical Device User Fee and
2 Modernization Act.

3 We have responsibility for assigning
4 combination products based on the primary mode of
5 action to ensure the timely and effective premarket
6 review of combinations, to ensure the consistent and
7 appropriate post market regulation of combination
8 products.

9 We also have a role with respect to
10 dispute resolution, review and update of guidance
11 agreements and practices relative to the assignment
12 of combination products, and we have to report to
13 Congress on an annual basis on the activities and
14 impact of the Office and provide some prescribed
15 data.

16 In terms of the assignment of
17 combination products, the statute tells us that we
18 have to promptly assign an agency center with
19 responsibility for jurisdiction of a combination
20 products, and our goals there is to have as
21 efficient an RFD process -- that's the request for
22 designation process -- as possible and to make it as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consistent, transparent and predictable as possible.

2 Some of the things we're doing I already
3 mentioned. We are developing a definition of
4 primary mode of action, which we think is probably
5 one of the most important steps of this process, but
6 we're also working on guidance on the selection of
7 premarket authorities so that our reviewers
8 understand what tools are available to them in order
9 to regulate a combination, but that we have a
10 framework in order to do it in a consistent and
11 transparent and more predictable way so sponsors
12 will have a better understanding of how their
13 product will be regulated.

14 Similarly working on guidance for the
15 one application versus two, we're in the process of
16 continuing to make the RFD process as efficient as
17 possible. We just modified 21 CFR Part 3 for some
18 administrative changes to implement MDUFMA and
19 recognize the Office's role here, and we are
20 documenting the various precedents -- I think
21 Jonathan mentioned this earlier -- to make them much
22 more searchable and readily available. So we were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 able to have a much better assessment of what we've
2 done in the past with similar products and can help
3 ensure better consistency.

4 In terms of review of combination
5 products, again, here what does the statute tell us?
6 Ensure the timely and effective premarket review by
7 overseeing the timeliness of and coordinating
8 reviews involving more than one center, and these
9 are the kinds of things that we're doing in that
10 regard.

11 We do have an SOP on the inter-center
12 consultative collaborative review process, and on my
13 next slide I'll just give a few quick bullets about
14 that.

15 We're also in the process now since
16 February 14th actually when we modified our SOP in
17 order to allow us to do this, is to monitor the
18 consultative process between the centers, and what
19 we're doing is when the centers initiate a consult
20 request to another center, they copy us on that
21 request. We sort of have a low-tech way that we're
22 doing this right now, but we're in the process of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 developing a data base, basically an automated way
2 of doing this so that we'll know in the background
3 every time one of these consults is going on.

4 And what we do is we take a pretty
5 active approach when they come in to make sure that
6 the request is clear, that the second center, that
7 is, the center that is being asked to help
8 understands what's expected of them, what the time
9 frames are, and then we monitor that process to make
10 sure that the originating center actually got the
11 input that it was expecting and on time.

12 We also have an effort underway where
13 we're reporting and tracking other combination
14 products, that is, combinations that don't require
15 consultation, but are combinations nevertheless, and
16 these include things like prefilled syringes and
17 transdermal patches which are typically not
18 consulted out to another center, but there's also a
19 lot more sophisticated combinations as well, where
20 the lead center has developed an expertise over the
21 years and doesn't require consultation.

22 Well, what we have underway now is a

1 process where every major type of premarket
2 submission in all three centers as of May 1st is
3 categorized as to whether it concerns a combination
4 product or not and if so, what type, and we actually
5 have eight different types of combination products
6 that we're categorizing, and our first annual report
7 to Congress is due on October 26th, and we'll be
8 providing that data. .

9 This first year we won't have very much
10 to report just because of the time we've started.
11 There won't be a lot of combinations that are
12 actually approved by then, just given the statutory
13 time frames, but the data are being collected and we
14 will really have for the first time knowledge of how
15 many combination products we get each year, how many
16 we approve, what types they are, which centers are
17 doing them, and all of that. And up until now we
18 really haven't had that kind of data.

19 We're also available as a resource to
20 sponsors and review staff for combination products,
21 issues and questions. We're in the process of
22 developing reviewer tools and training.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 For example, on the consultation
2 process, by actively monitoring the consults, we're
3 seeing first-hand a lot of the issues that are
4 presented by consulting reviews, and we have some
5 lessons learned beyond what we thought we had
6 already addressed in the SOP, but real-life
7 practical issues that when somebody is actually
8 looking and seeing every one of these, some of the
9 common denominators of problems, and we're going to
10 be disseminating those to review folks.

11 The SOP, in two words about the
12 consultation process, says that consults count.
13 Consulting reviews need to be given due priority,
14 and it's all part of the agency's work. So if one
15 center asks another for help, the second center
16 needs to do its part in order to make sure that the
17 lead center is able to meet its review goals.

18 And the consulted centers are expected
19 to be consulted with respect to the time lines for
20 the consulting review, and in turn, held accountable
21 for the timeliness and the quality of their
22 consulting review.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Very quickly on post-market regulation,
2 the law tells us to ensure the consistency and
3 appropriateness here. What we've begun doing where
4 possible is in our RFD letters providing preliminary
5 determinations of what GNPs and adverse event
6 reporting requirements a combination product will be
7 subject to.

8 We have two active working groups in
9 this area, one on GNPs, one on adverse event
10 reporting, to be able to provide some of the
11 guidance that Christine Allison from Lilly said was
12 badly needed in this area.

13 Some general considerations. Really my
14 point here is that one size doesn't fit all. I
15 think that point was made this morning. There is no
16 cookie cutter approach. These products, you know, I
17 think we heard this morning that they really run the
18 scope of a wide variety of different products in the
19 kinds of issues that they raise, and therefore,
20 there is not a one size fits all approach.

21 We've had combinations approved under
22 HDE with as few as 30 or 40 patients, and then we've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had combinations reviewed with much larger
2 randomized controlled trials.

3 So I think consultation with FDA is very
4 important. I think some of the technologies that
5 were described this morning I personally hadn't
6 heard about before, and I think it's very important,
7 not that that means much, but I think it's important
8 that these dialogues, you know, the dialogue with
9 FDA begin as early as possible so that we can help
10 work with you on what regulatory pathway will be
11 followed.

12 Just very briefly, collaboration between
13 the device and drug or biologic sponsors I think is
14 very important. That was mentioned earlier, and I
15 have seen first hand that when the collaboration or
16 cooperation exists, the process does work much
17 better.

18 It is very difficult, as Jonathan
19 pointed out, for a device company without any drug
20 company or biologic company partnership to be able
21 to independently work on changing the label of an
22 approved drug.

1 And this is going back to the question
2 in the beginning. If my product is not a
3 combination product, will these initiatives still
4 help?

5 And I hope the answer is yes. It's our
6 intent that they will. I think they present some of
7 the same issues even though a product may not
8 technically be a combination, and I'd encourage you
9 to contact our office. We do have the liaison role
10 that I think that Jonathan mentioned earlier in
11 working with the centers, and I'm hopeful that we'll
12 be there to help make a difference and make the
13 development program easier for your product.

14 So I think there will probably be a lot
15 of issues that come up in the Q&A section, and you
16 know, if you have issues you'd like me to address
17 afterwards, I'll be happy to stay after the
18 conference and address them at that time, too.

19 Thanks.

20 (Applause.)

21 MR. JENKINS: Thanks, Mark, for that
22 overview of the Combination Products Office.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think you're doing a great job there,
2 and we're all very fortunate to have you there.

3 We're going to enter into a series now
4 of some vignettes about the current approach to
5 review of some of the combination products, and
6 we'll start off with one that has been very hot in
7 the news recently, and it sounds like it has been
8 the topic of much discussion already here today, and
9 that's the drug eluting stents.

10 We're fortunate to have Ashley Boam, who
11 is the Chief of the Interventional Cardiology Branch
12 in CDRH to give us that overview.

13 Thank you.

14 DR. BOAM: Thanks, Dr. Jenkins.

15 I thank Dr. Provost and Dr. Feigal for
16 setting up this workshop today, and I appreciate
17 being asked to speak on this very hot topic, drug
18 eluting stents.

19 We've heard a lot about it today, and we
20 have a few more little items on this today.

21 This is kind of a redundant slide at
22 this point. I should have realized talking at four

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 o'clock you would have known the answer to this
2 question by now, but for those of you who maybe
3 stepped out this morning, this is an example of a
4 drug eluting stent.

5 This is a diagram of the Cordis' CYPHER
6 Sirolimus-eluting stent which was approved just this
7 last April. As you can see, the stent consists of a
8 bare metal stent platform with a polymeric carrier
9 in which the drug is loaded, and the drug elutes
10 from the polymeric carrier on the surface of the
11 stent.

12 One of the things we found to be very
13 important when looking at applications for drug
14 eluting stents is that this really is a three
15 component system. There is the stent platform and
16 delivery system which has traditionally fallen to
17 CDRH for review. There is the polymeric carrier in
18 which the drug is loaded. That has also kind of
19 fallen to CDRH review. And then there is the drug
20 substance which has fallen under CDER review.

21 So today I wanted to talk about some of
22 the review challenges for drug eluting stents kind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of as a real life example that we've been through in
2 the last couple of years. Some of those topics
3 include regulatory jurisdiction, inspectional
4 authority and site readiness, disparity in statutory
5 and regulatory requirements between the two centers
6 involved, then appropriate leveraging of information
7 from other sources, appropriate preclinical and
8 clinical trial design issues, and then a little bit
9 on post market studies and surveillance.

10 First, as Mark just ably described,
11 combination products fall under Part 3 of 21 CFR.
12 Request for jurisdiction was made for these products
13 pretty early on, and jurisdiction was granted to
14 CDRH as lead center with Center for Drugs'
15 consultation.

16 But as you can see, there are quite a
17 number of divisions in both centers that are
18 involved in the review of these devices ranging from
19 the Division of Cardiovascular Devices and the
20 Division of Mechanics and Materials Science within
21 CDRH to the Cardiorenal Drug Products Group, the New
22 Drug Chemistry Group, and Pharmaceutical Evaluation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 folks from CDER.

2 Since CDRH does have the lead
3 jurisdiction for these products, the appropriate
4 marketing submission is a PMA, and the appropriate
5 application to investigate these devices is under
6 IDE.

7 Just to give you a hint as to some of
8 the complexities of these devices, there are quite a
9 number of areas that require expertise from
10 mechanical performance and testing to drug substance
11 and polymeric carrier chemistry, to animal studies,
12 to PK/PD clinical trial design, and not the least of
13 which, manufacturing.

14 I guess all of this is to really say
15 that it has really been a successful collaboration
16 between the two centers that has really led to the
17 success that we've had in the review of these
18 applications thus far.

19 One question that has come up earlier
20 today, Christine mentioned this in her talk, was the
21 question of inspectional authority, and for drug
22 eluting stents, as I believe Mark mentioned, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inspections are conducted by CDRH's lead center, but
2 have involved participation from reviewers from
3 CDER's Office of New Drug Chemistry.

4 It's important to note that for these
5 devices, as Mark mentioned, the drug regulations
6 have been applied to the drug substance, and then
7 the device QSR regulations to the finished product.

8 It's also important for companies who
9 are making these devices to have their validations
10 complete prior to inspection. We have worked very
11 interactively with the two centers and with
12 companies to try to get inspections done as quickly
13 as possible, but it's very important to have all of
14 those validations done.

15 We understand these are very complex
16 products, but if there are subsequent changes and
17 subsequent validations, we may have to go out for a
18 second time, and it's the best use of all of our
19 resources if we go out once and get you taken care
20 of.

21 There are a number of differences
22 between the different centers, CDRH, CDER and CBER,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-2704

1 when it comes to marketing applications and the
2 statutory authorities. As you can see, for CDRH an
3 IDE is what's filed to start an investigation,
4 whereas in CDER and CBER you have an IND.

5 And then for a marketing application for
6 these devices it would be a PMA as opposed to an NDA
7 in CDER or a BLA in CBER.

8 There are also a number of differences
9 in development. I think this has been pointed out
10 today as well. The rate of technology change for
11 devices is much faster than that for drugs. I
12 believe there was an example earlier that devices
13 can become obsolete within six to 12 months, whereas
14 a drug might be on the market for ten, 15, or even
15 20 years.

16 There are a number of other differences
17 that are very important in our consideration of
18 these devices, and that relates to the influence of
19 physician technique on the results, on the number of
20 full scale studies that are usually required, and
21 how we regulate products in CDRH according to risk,
22 in which there are three classes versus one class

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for new molecular entities in Center for Drugs.

2 Companies that are investigating these
3 new products often want to know, well, what kind of
4 information do I need and where can I get it. How
5 do I not have to reinvent the wheel?

6 And whether you have to reinvent the
7 wheel or not really depends on a couple of items
8 here, and it's really in this table. It depends on
9 whether your stent platform is approved or not
10 approved, and it depends on whether your drug
11 substance is approved or, as we say, unstudied.

12 The easiest scenario in terms of being
13 able to leverage information from other applications
14 is the box marked one where both your stent platform
15 and your drug substance are approved.

16 The most difficult situation is where
17 both the stent platform and the drug substance are
18 unapproved or unstudied, and that's the box marked
19 four.

20 As you can see, there are guidance
21 documents for both centers that can help you to put
22 together the right information to get started with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the study for these devices.

2 So if I have an unstudied or unapproved
3 drug, what type of information do I need that I may
4 not be able to get from somewhere else? For all of
5 these drug eluting stents, we're requiring that
6 sponsors provide the equivalent information that
7 would be required in a Phase I IND for CDER.

8 It's also important to understand that
9 an analogue of an approved drug is considered to be
10 a new molecular entity by both CDER and CDRH for
11 these products. So Phase I IND information for an
12 analogue to an approved drug would also be required.

13 There are several categories of safety
14 information that fall under the Phase I IND
15 requirements. That includes chemistry manufacturing
16 controls, otherwise known as CMC. Both preclinical
17 pharm-tox and systemic clinical exposure in normals
18 are required prior to doing human investigations of
19 the finished product as a device.

20 It's also important to note that if your
21 drug substances has not been studied before, this
22 Phase I IND safety information could very well

1 inform on the clinical trial design that would be
2 necessary for the finished product. If there are
3 toxicity issues or potential drug-drug interactions,
4 that are identified during the Phase I safety
5 information gathering. It may be necessary to alter
6 your clinical study to look for those when
7 evaluating the drug eluting stent.

8 In terms of preclinical testing, I think
9 one of the most important messages we try to get out
10 is that characterization of the finished sterilized
11 product as it is to be studied is really essential.
12 We realize that a lot of changes and design
13 improvements go on during the design and
14 development, product development process, but by the
15 time you're ready to do a clinical trial we really
16 need you to characterize the actual device you want
17 to study so that we know when you get clinical trial
18 results what they really represent.

19 And a couple of those areas include
20 characterization of the coating and the drug
21 substance, in vitro and in vivo elution
22 characteristics with release rate of the drug, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also methods and some initial specifications for
2 stability of both the drug substance and the
3 polymeric carrier, if applicable.

4 Also, adequate animal studies are really
5 needed to assess safety prior to going into human
6 clinical trials for these devices.

7 A few more specifics here in the
8 preclinical area. Some of the common deficiencies
9 that we see are inadequate stent platform testing in
10 terms of looking at fatigue and corrosion testing.
11 This is not testing that can be leveraged simply
12 from the bare stent platform. With the addition of
13 a coating, it becomes important to look for fatigue
14 and the effects of corrosion through potential
15 cracks in the coating.

16 We also see inadequate analysis of any
17 surface modifications made to the device, either
18 through application of the coating with the drug
19 substance in it, and so this relates to coating
20 integrity, durability testing, and also
21 characterization of both drug content and its
22 uniformity along the length of the stent.

1 We also see incomplete in vitro
2 pharmacokinetics both in terms of methodology, and
3 we strongly recommend that sponsors attempt to
4 develop an in vitro/in vivo correlation if at all
5 possible.

6 This becomes very important in terms of
7 scale up from a clinical trial batch or
8 precommercialization to commercialization
9 manufacturing. It also becomes very important if
10 there are changes or improvements that you want to
11 make in the device because the better you can
12 characterize the device you study, the better you
13 can evaluate what those changes might look like in
14 terms of clinical sequelae.

15 And also CMC issues not being adequately
16 addressed, stability and shelf life are very
17 important. I think device companies are very much
18 used to a device paradigm where accelerated aging
19 with real time aging to confirm those results have
20 been accepted.

21 We typically review protocols, and when
22 we're very comfortable with device materials and

1 device packaging materials, they're pretty
2 straightforward protocols, but when you introduce
3 the drug substance and a polymeric substance, there
4 are a lot of new issues that need to be looked at in
5 terms of stability, and we recommend that sponsors
6 follow the ICH guidelines for evaluation of
7 stability especially of the drug substance.

8 In terms of animal studies that we
9 receive, we often receive inadequate reports to
10 allow us to make an assessment of safety, to know
11 whether it's appropriate to start a clinical trial,
12 and that involves lack of an evaluation of the doses
13 that are intended for use in the clinical study, and
14 we also require doses higher than this. We require
15 overdosage to make sure that we understand what the
16 toxicity limits are in an animal model.

17 We look for serial sections of
18 myocardium, arterial histopath, and necropsy reports
19 for any deaths that might have occurred during the
20 study.

21 As we move to the clinical evaluation of
22 drug eluting stents, first and foremost, we're

1 looking for a reasonable assurance of safety and
2 effectiveness, and it's important to remember that
3 your clinical trial design should look to meet both
4 of these objectives.

5 The usual standard of evidence for these
6 products at this point is the randomized controlled
7 clinical trial, and in terms of study endpoints for
8 coronary drug eluting stents, we're looking for
9 primary endpoint or endpoints that include at least
10 a clinically meaningful endpoint.

11 We're also evaluating the use of
12 surrogate or co-primary endpoints at this point. As
13 I believe Dr. Kuntz mentioned this morning, now that
14 we have an approved drug eluting stent on the
15 market, there are a lot of questions about how do
16 you design a study to be performed in the U.S. if I
17 don't feel I can do a placebo trial because the
18 penetrance has been so remarkable.

19 And these are some of the areas that
20 we're looking at in working with sponsors as well as
21 our statistics group to come up with reasonable
22 clinical trial designs that will still give us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evidence of both effectiveness, but also, and most
2 importantly, of safety.

3 We also recommend the use of independent
4 core labs, clinical event committees, and an on-
5 line, very active data safety monitoring board.

6 I wanted to mention that TPLC is really
7 critical for drug eluting stents. The first drug
8 eluting stent is estimated to have been implanted in
9 over 50,000 patients since it was approved in late
10 April, which is a pretty remarkable roll-out for a
11 new product.

12 And compare that 50,000 number to the
13 1,100 patients that we saw in the U.S. clinical
14 trial. There's a lot of information you can get
15 from 1,100, but it's never going to tell you
16 everything about what happens when it gets to 50,000
17 or 100,000 patients.

18 And so we feel that information gathered
19 in the post market is very important for these
20 products. We are requiring five-year follow-up for
21 all of the cohorts that have been enrolled in
22 support of an application.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We're also requiring additional data
2 collection in the post market period to get a
3 further understanding of what happens when real docs
4 put these in real patients because we know that
5 sometimes there are differences between what happens
6 in a clinical trial and what happens in the real
7 world.

8 It's important to note though that in
9 the post market as folks are looking at new
10 indications and new patient populations for these
11 products, those indications and those patient
12 populations should be studied under the IDE process.

13 There was a question about adverse
14 events earlier, and for these particular products,
15 in collaboration with our folks in the Office of
16 Surveillance and Biometrics and the people in the
17 Office of Drug Safety over in CDER, we have made a
18 determination that for coronary drug eluting stents
19 reports will come to CDRH through the MDR process,
20 but we have made arrangements for data to be shared
21 with CDER, both preapproval and post approval, as
22 information is gained on both sides about drug

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 substances.

2 And so in closing, if you have
3 questions, certainly we encourage very early
4 meetings with us. We're happy to meet with you.
5 We're happy to talk with you very early in your
6 process and then as needed again as you get further
7 through your product development.

8 I'm the Branch Chief that handles the
9 coronary drug eluting stent program. Lisa Harvey is
10 handling the peripheral drug eluting stent program,
11 which I didn't really speak about today, but it has
12 its own set of challenges as you get great big
13 stents with lots more drug and an area where bare
14 stenting doesn't have approved products. So a lot
15 of their own challenges in that group.

16 But I encourage you to contact the folks
17 on this slide if you have questions, and we look
18 forward to continuing our collaboration with CDER
19 and with the Office of Combination Products to make
20 efficient and effective review of these
21 applications.

22 Thank you.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Applause.)

2 MR. JENKINS: Thanks, Ashley.

3 We're going to move along quickly.

4 We're running a little long on time. I recognize
5 that, but I see a lot of attention in the audience.

6 So I think that's okay.

7 Next we're going to talk about
8 photodynamic therapy systems. We have Richard
9 Felten from the General Surgery Devices Branch of
10 CDRH.

11 Richard.

12 MR. FELTEN: I'll just actually go to
13 the next slide very quickly.

14 This I think, hopefully, is a success
15 story, but it also gives a good idea of how we got
16 where we are in this particular area. If you'll
17 notice from the slide, there is a pre-1984 date.
18 The drug that initiated photodynamic therapy and the
19 combination product review that we used to get this
20 finally to market was originally submitted as an IND
21 in 1978.

22 We became involved from the standpoint

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of devices with this product in early 1980, '81, by
2 being asked by the Center for Drugs to look at the
3 light source that was being used to activate that
4 drug.

5 We formalized that arrangement in 1985,
6 where I was actually designated as the lead reviewer
7 from the Center for Devices to look at these
8 products at the time..

9 In 1989, we developed a collaborative
10 process for review with the Center for Drugs
11 following lots of conversations between Center for
12 Drugs, Center for Devices, and the company on how
13 best to proceed with these products, and as
14 formalized through the interagency agreement in
15 1981.

16 The reason we did this is -- and you've
17 already seen this slide sort of -- Center for
18 Devices has a very involved process in getting
19 things to market. You have premarket notification,
20 PMAs, the premarket notification that's in our
21 510(k), the premarket approval which is PMAs, and
22 some other sources, where essentially drugs has NDA.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The generic drug approval process is not even close
2 to something we do.

3 The problem, therefore, was how to take
4 these products and have them reviewed efficiently
5 and at the same time make sure that both the device
6 and the drug were being addressed in the appropriate
7 ways.

8 The important thing to remember in this
9 area is all of these drugs are brand new drugs.
10 These are not already marketed products. These do
11 not have a history. These are brand new drugs.

12 In some cases they are derivatives of
13 biological products like human blood. Other times
14 they are simply chemistries that somebody has
15 developed on a lab bench that has a photosensitive
16 property. So that alone is what led us to decide
17 that Center for Drugs would have lead in all of
18 these products with Center for Devices acting as the
19 consultant.

20 The way this worked was we encouraged
21 the companies through conversations to have these
22 meetings with us. Center for Devices took lead. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consulted the Center for Devices on the device
2 section. All official correspondence during the
3 initial IND stage was sent through Center for Drugs
4 to the drug company. The device companies were
5 involved peripherally through the drug company to
6 submit their device section as part of the IND. We
7 reviewed the device section, sent our comments to
8 Center for Drugs, who reported back to the drug
9 company, who talked to the device company.

10 As Jonathan has mentioned, although
11 nobody believed it was going to work, it apparently
12 worked very well and very efficiently. We did have
13 an oral arrangement with Center for Drugs that
14 allowed me to talk directly to device companies if I
15 needed to, but official correspondence was always
16 through Center for Drugs.

17 Once the clinical trials were completed,
18 the issue came up then how do we submit this
19 application and what do we do with it. One of the
20 interesting parts of this particular initial
21 application which was for a drug eventually called
22 Photofrin was that the drug company very clearly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 told the agency, "We do not want to be a device
2 company. We want to have nothing to do with the
3 devices once you approve this."

4 And the reason for that was that in the
5 initial first one of these drugs, which was
6 Photofrin, the light source were commercially
7 available surgical lasers. Surgical lasers, as
8 laser products, have regulatory responsibilities
9 under the Center for Devices' performance standard
10 for light emitting products. The drug company
11 didn't want to be a device manufacturer because they
12 didn't want to be responsible for all of the
13 reporting requirements lasers have once you market
14 them and post market.

15 In those conversations with the drug
16 company, who very clearly said, "We don't want to be
17 a device manufacturer," between Center for Drugs and
18 Center for Devices there was an agreement reached
19 that what would happen would be that a single
20 application would be submitted as an NDA.

21 We, the Center for Devices would take
22 the device section out of the NDA and convert those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 into PMAs. We made three PMAs out of the device
2 section, two laser PMAs and a fiber optic PMA.

3 Now, the reason we did that was, of
4 course, to make this work more efficiently for the
5 drug company after the approval process because once
6 they sold off the device sections, we needed a way
7 to be able to track those post marketly in case
8 there was design changes to the lasers, if there was
9 design changes to the fiber optics, if there are new
10 indications for use to come along with a different
11 drug. We needed a way to be able to at least track
12 the devices.

13 For the drug company, of course, they
14 wanted to not have anything to do with the devices
15 after the fact. Historically actually what has
16 happened since then is we have had two new
17 indications for use added to the devices since the
18 original approval, and the fiber optic systems have
19 had three PMA supplements for design changes in the
20 fiber optics.

21 This has made it much easier for them to
22 make these changes to the devices because they could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 come directly to a device document that existed, and
2 it makes it easier for the Center for Devices to
3 track these changes, and this is why we've done it.

4 That process has continued for
5 subsequent drugs. Presently we have three approved
6 photodynamic therapy device-drug combinations. The
7 original one, which was by QLT, was for palliative
8 treatment of esophageal carcinoma. The second one
9 is for a topical drug for treatment of actinic
10 keratosis, where we've done the same process where
11 the NDA was submitted. We pulled out the device
12 section, created a PMA for the light system, and we
13 have continued to follow that device separately, and
14 we have some suggestions again that the company has
15 come in subsequently to the original approval and
16 made a device modification to that device under the
17 PMA as a PMA supplement, which again allowed that to
18 work very smoothly for us.

19 And then the most recent approval, which
20 I cannot remember now, is like three or four years
21 ago. It was again from QLT for the treatment of age
22 related macular degeneration, again using a laser

1 light source with a drug, which again we created the
2 PMA process.

3 We've found that to work very well
4 because in most cases so far, lasers have been the
5 light source of preference because most of these
6 systems so far require the ability to transmit light
7 down fiber optics, and lasers give you that very
8 nice ability to do that.

9 It has also allowed these companies to
10 sell off the laser part of their approvals so that
11 they don't have to be laser manufacturers.

12 Whether or not that's the future to
13 where we will continue I have no way of knowing
14 because the laser is a very unique part of this
15 system and has unique responsibilities with the CDRH
16 requirements under the light performance standard,
17 but this history for the photodynamic therapy is how
18 we got to where we are today with what we're doing.
19 It is mainly because this was the first one of these
20 to come into the system in 1978 actually, and when
21 we first started this process, we had no previous
22 histories.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And it did require a lot of
2 collaboration between the Center for Drugs and the
3 Center for Devices, and I will repeat what everybody
4 else is telling you. With these kinds of products
5 you have to get involved with the centers early on
6 in discussions to find out not only where you're
7 going to be placed as far as jurisdiction, but to
8 find out from the reviewing centers what are going
9 to be your responsibilities.

10 And I thank you for your attention.

11 (Applause.)

12 MR. JENKINS: Thank you, Richard.

13 Our last speaker is Dan Shames, who is
14 the Director of the Division of Reproductive and
15 Urologic Drug Products in CDER, who is going to talk
16 to us about his experience with contraceptive
17 delivery systems.

18 Dan.

19 DR. SHAMES: Thank you.

20 I just noticed that the title of this
21 conference is "Innovative Systems for Drug
22 Delivery." I'm the only person from CDER talking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about the drug portion of the review, and I'm the
2 last speaker. So I guess this is what everybody is
3 waiting for right here.

4 I want to thank the device folks for
5 asking us to give our perspective on the review of
6 drug device combinations. I'm going to discuss the
7 particular experiences of our division in this area.
8 I think our group has a particular interest and
9 perhaps expertise since the gynecologists and
10 urologists in our division have significant clinical
11 experience with devices and drug-device
12 combinations.

13 I was asked to evaluate our review
14 process regarding contraceptive implants, and
15 actually let me go back. I was asked to review
16 contraceptive implants, but what I did was I
17 expanded this to contraceptive drug-device
18 combinations and other devices that we've had
19 experience with in our division.

20 I'm going to take the experience that
21 we've had over the last five or six years and give
22 you what lessons we've learned regarding these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 combination products.

2 I'm first going to describe five
3 contraceptive systems that involve cooperative
4 review of device and drug components. The approval
5 year is in parentheses. The first are a group of
6 device drug combinations that are all variations of
7 subdermal progestin releasing implants for
8 contraception. They deliver the drugs systemically.
9 These are rods that are made up of a co-polymer core
10 surrounded by thin walled elastic tubing.

11 I think that the lesson I got from this
12 group was my personal education regarding the
13 chemistry and the sort of engineering and
14 pharmacokinetic experience related to quantifying
15 manufacturing processes. So I personally learned a
16 lot, and our division learned a lot by reviewing
17 these materials.

18 Now, all of these and all of the
19 products I'm talking about went through the same
20 type of clinical trial experiences that oral
21 contraceptives would go through. So the review
22 standard was the same for these products as they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be for any other kind of contraceptive device.

2 Next is Lunelle, which is a monthly
3 injectable that delivers a combination of estrogen
4 and progestin for contraception. The take-away
5 message here is that although this was a prefilled
6 syringe and should have been fairly straightforward,
7 there were issues related to manufacturing the
8 syringe and the vial, et cetera, which did make the
9 review challenging.

10 The other thing that should be a lesson
11 here is that there were two drugs involved, and we
12 had to deal with the combination rule regarding
13 drugs in themselves. So we not only dealt with the
14 device issue. We dealt with the fact that we have
15 to show that each individual drug adds to the safety
16 and efficacy of the product.

17 Mirena, which is the next one, is an
18 intrauterine system which delivers levonorgestral
19 both locally and systemically. I think the
20 important take-home message for this one is that
21 although there have been IUDs around for some time,
22 there was a challenge here to show that the addition

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the drug added to the effectiveness and safety of
2 the product itself, which is something that products
3 have to do, combination products have to do in
4 general.

5 This next one is an intravaginal ring,
6 which delivers estrogen and progestin systemically.
7 This was relatively recently approved. It can be
8 inserted by the individual themselves. Vaginal
9 contraceptive rings have been studied for decades,
10 but it took some innovation on the part of the
11 developer to get it quite right regarding both
12 placing the estrogen and the progestin, and the
13 right combination of materials to make this work
14 properly.

15 I think this is our last example. This
16 is a transdermal system which delivers estrogen and
17 progestin, and although you might think at first
18 glance, well, this, you know, should be fairly easy,
19 we have a lot of transdermal systems. We've had
20 transdermal systems for menopausal symptoms for many
21 years, but as you may or may not realize, it
22 requires more drug delivery for contraception than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for menopausal symptoms, and it took some
2 significant innovation for the developer to create a
3 system which is only about the size of a match box
4 and deliver the appropriate amount of estrogen and
5 progestin.

6 So I was asked to look at all of this
7 and look at our experience and ask myself what did
8 we learn from this. Well, the good news is that as
9 far as CDER, we can work with device technical
10 experts in a productive and efficient manner to
11 review innovative drug delivery systems, and we have
12 done it, and we continue to do it, and most of the
13 time it goes fairly well.

14 In this case, with contraception, it was
15 relatively easy because we used the same standards
16 for review, the same clinical trial standards, and
17 that was not terribly burdensome on the device
18 systems.

19 And also, as many people have said, it
20 goes much better when we discuss these issues a
21 priori, before we start.

22 The other news, the good news and then

1 the other news, when it doesn't go well. It doesn't
2 go well, I find, when things are a little out of the
3 box, which, of course, is happening more and more.
4 I find that at least with our division the problem
5 is not necessarily the scientific challenges. It's
6 how to fit the scientific issues into the regulatory
7 constraints that we all seem to have, and I think
8 that's improving.

9 Then, of course, we have the issue about
10 who's in charge, which we've talked about, CDER or
11 CDRH. I've never seen a turf battle, but I guess
12 that could possibly happen.

13 We also have what I call culture
14 clashes, and I didn't see Mark's slides. He calls
15 it war of the worlds or colliding of the worlds.
16 Sometimes critics of CDER might characterize our
17 reviewers as what I have here, the pointy headed
18 bureaucrats whose main goal is to keep things off
19 the market because they have no regard for the
20 entrepreneurial spirit and good old American
21 ingenuity. We're just obstructionists, et cetera,
22 et cetera, you know, versus the critics of CDRH who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think the reviewers are those people who will
2 approve anything that doesn't blow up when they plug
3 it in regardless of consequences to patient safety.

4 Of course, none of that is true, but
5 there is a bit of a culture clash, and you know, I
6 try to think what is the origin of this culture
7 clash. Well, maybe it's engineers and doctors, you
8 know. There's a lot of doctors in CDER. There's a
9 lot of engineers in CDRH, and I really don't think
10 that's the basic -- I think it's a matter of working
11 together more because the doctors in CDER seem to
12 get along well with the chemists, which we deal
13 with. We've had a long history with, and I just
14 think we should be able to get along with the
15 engineers also.

16 Perhaps another issue that may be more
17 important has to do with this reasonable versus
18 substantial evidence, which is in our regulations.
19 I'm not sure that's supposed to be an issue. I've
20 had sponsors and lawyers for sponsors tell me, well,
21 that's only in the eye of the reviewer, you know.
22 You determine what's reasonable and substantial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then there's the issue of the big
2 PhRMA versus small firms, and it is true that we
3 deal with larger companies in general, but we do
4 deal with smaller companies, and I think that's
5 something maybe we all have to learn how to deal
6 with better.

7 However, the future looks good in my
8 estimation. I think Mark's group has actually
9 improved things. We've had a very difficult issue
10 that had been essentially in regulatory and
11 scientific limbo for years, and Mark was able to get
12 us to move forward on this issue, find a way to move
13 forward, and I think the regulatory hang-ups can
14 often be the most difficult hang-ups.

15 I think we are improving in terms of the
16 culture gaps or culture differences between the two
17 areas, and I think getting the message out that we
18 have to be talking about development very early on
19 with companies is important.

20 However, I think most staff at CDER and
21 CDRH enjoy working on innovative products and are
22 well motivated to assist the sponsors and improve

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 our internal processes.

2 Thank you.

3 (Applause.)

4 MR. JENKINS: All right. Thanks, Dan.

5 I guess we're done with this section,
6 and we'll move on to the panel discussion.

7 DR. JACOBSEN: While she's collecting
8 the questions, let me just welcome everybody back to
9 this final session where we have both FDA and
10 industry on the panel up here. We have tried to put
11 FDA and industry folks on both sides of the table so
12 that we're coming across with a message that this is
13 not an us against them. This is an exciting new
14 area or an exciting old area really if you listened
15 to Richard Felten, and just listening to the talks
16 this morning, it was, I think extremely exciting to
17 see all of the things coming down the road.

18 Clearly, we're going to have lots and
19 lots of issues to work through together, and I think
20 together ought to be the underlying take-home
21 message from today.

22 I have one. Do you have more written

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 questions?

2 I think the appropriate thing to do is
3 this is your part of the meeting, to let people ask
4 questions, and as I said before, you can either walk
5 up to the mic and ask it out loud or you can send
6 your question up on paper and we'll try to, you
7 know, get them answered.

8 I mean, I can do a kick-off question
9 from the paper if that makes everybody more
10 comfortable. I have one.

11 Miriam, do you have something?

12 Stuart, go ahead.

13 DR. PORTNOY: Hi. My name is Stuart
14 Portnoy, and until a year ago I worked at CDRH for
15 eight years, most recently as a Branch Chief of
16 International Cardiology Devices.

17 While I was at the FDA and in the past
18 year since I joined PharmaNet as a medical device
19 consultant, I have closely monitored the regulatory
20 and scientific requirements for drug-device
21 combination products, especially drug eluting
22 stents.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And it has been my observation that
2 while CDRH has been designated as both the lead
3 review center and the regulatory authority for drug
4 eluting stents, the agency has clearly and
5 consistently raised the bar so that these products
6 are actually regulated more like drugs than devices.

7 I'm concerned that if this trend were to
8 continue, that some new and potentially breakthrough
9 combination technologies may face significant delays
10 in making it to the marketplace and putting it to
11 clinical use because of unrealistic agency
12 expectations and requirements.

13 And specifically, I've noticed a trend
14 of what I consider to be overly burdensome
15 requirements for things like kinetic drug release
16 testing, stability and lot release testing, and
17 other traditional drug testing requirements.

18 Now, while I agree with the FDA that
19 such testing is absolutely necessary and fundamental
20 to demonstrating acceptable product performance, I
21 still believe that the agency is already going too
22 far in their requirements that the drug-device

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 combination products are held to the same standards
2 and level of quality control as pharmaceuticals.

3 And let me emphasize that this is for
4 drug agents that have previously been demonstrated
5 to be safe in an NDA.

6 So to address this concern, I hope and
7 recommend that the agency and specifically the
8 Office of Combination Products considers a
9 reasonable and feasible approach to regulating
10 combination products that lies somewhere between the
11 current requirements for traditional pharmaceuticals
12 and perhaps the less burdensome standards for
13 medical devices, and I invite panel discussion of
14 this important issue.

15 DR. JACOBSEN: Just let me add that we
16 are joined at the panel -- I should have done this
17 before -- we have two center Directors joining the
18 panelists who have already spoken here today: David
19 Feigal, the CDRH Director, and Jesse Goodman, the
20 CBER Director. Obviously they really need no
21 introduction, but I figured I'd better do it anyway.

22 Anybody want to start off with that?

1 DR. FEIGAL: Well, let me make a
2 comment. I don't think the standards are the same.
3 If the standards were, then some of the things you
4 have asked for would not get alternatives. If you
5 didn't understand the release kinetics and couldn't
6 do bridging between release kinetics of two
7 formulations, the only thing you could do if you
8 changed manufacturing would be to repeat the
9 clinical trials.

10 And so I think there is a real vested
11 interest for us to make this as scientifically based
12 a process as possible because we have not taken that
13 stance, nor have we taken some of the other types of
14 traditional pharmaceutical approaches of requiring
15 the manufacture of three complete commercial batches
16 prior to NDA approval. We haven't required only
17 testing, only doing clinicals on products
18 manufactured in the final formulation.

19 So I think there still is a fair amount
20 of flexibility there. The one sort of wish that we
21 often hear is to say we'd like to have a
22 breakthrough product, and we'd like to not have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 submit any evidence for it.

2 (Laughter.)

3 DR. FEIGAL: And to me it has always
4 struck me as sort of strange when someone says we
5 have enough evidence to show that it's safe, but
6 this is a breakthrough product and we haven't seen
7 the evidence of effectiveness yet.

8 It would strike me that if you've got
9 enough evidence for safety so that you've begun to
10 see what the side effects are and so that you're
11 seeing side effects but you haven't seen any
12 benefits yet, you must not have a positive risk-
13 benefit ratio.

14 So I think that all of the centers, when
15 you have a product that's dramatically different
16 than the existing therapies, treat those products
17 differently. The evidence requirements are
18 generally less, and I think it would have been a
19 mistake to have simply stopped with the European
20 experience. The limitations there weren't so much
21 the small numbers, but the very careful limitation
22 of the types of patients studied and have led the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 public to believe that that's the expectation of
2 what to expect from the product.

3 There's a need to characterize how these
4 products basically work. In other words, I think,
5 you know, we can join the advertising promotion
6 staff of the companies, as many of our former
7 employees do.

8 (Laughter.)

9 MR. HUNTER: Richard Hunter, and I'm not
10 a former employee.

11 (Laughter.)

12 MR. HUNTER: I have been in the business
13 for 35 years in industry to get products on the
14 market, but just to ask my question, can you use
15 your imaginations here to determine how a company
16 like mine, Altea Therapeutics, that has a technology
17 for delivering drugs and other products through the
18 skin, can avoid double jeopardy, triple jeopardy,
19 whatever, every time we go to a different division,
20 a different center, which we will and have to some
21 degree already?

22 In terms of some of the major questions,

1 I know that there will be tailored questions per
2 patient group and per drug, but the major questions,
3 the blockbusters that would put us back to square
4 one in that particular area. Can you imagine a
5 better world, is what I'm asking.

6 MR. KRAMER: I think we can imagine that
7 world. If I understand the question correctly, I
8 guess what you were indicating was that when you
9 have a new indication for an existing product, that
10 that indication is going to different divisions
11 within a center, and therefore, new questions are
12 generated each time.

13 I think the intent would be not to have
14 to, you know, reinvent the wheel. We've heard that
15 before. If that's a problem that you're
16 encountering, then you should definitely bring that
17 to the agency's attention so that we can look into
18 that.

19 I mean, clearly indication-specific
20 questions will arise, but if fundamental questions,
21 as you say, have been answered, then I don't think
22 the intent would be to have to review those all over

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again.

2 DR. JACOBSEN: Okay.

3 DR. GOODMAN: I'd just make one
4 addition, which is certainly in our world you can
5 refer to master files and data generated in other
6 settings to support an application involving
7 portions of the same product. So to the extent that
8 the developers of these products are the same or are
9 cooperating, you know, we're very open to looking at
10 data broadly, but as Mark said, there are going to
11 be some kinds of data that are distinct for a new
12 combination.

13 DR. VAN ANTWERP: We've talked a lot
14 about today --

15 DR. JACOBSEN: Could you identify
16 yourself?

17 DR. VAN ANTWERP: Oh, I'm Bill Van
18 Antwerp from MiniMed, Medtronic MiniMed.

19 We've talked a lot today about drug
20 delivery systems, but we all, or at least those of
21 us in the diabetes world, believe what David showed
22 us this morning, that devices delivering drugs or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com