

1 biologics controlled by diagnostics are going to be  
2 the future of diabetes therapy, at least, and  
3 perhaps in a whole bunch of hopefully other  
4 therapeutic regimes.

5           So how does the addition of a diagnostic  
6 tool change what we've talked a little bit about  
7 here today? So I have a drug that's made by one  
8 company, Christine's perhaps, and then we have a  
9 device, a pump, and then we have now a diagnostic  
10 device. Does that change anything in your thinking,  
11 your fundamental thinking about how these systems  
12 get approved?

13           And where I'm going is is the ultimate  
14 endgame therapy, delivery? Is there going to be the  
15 possibility of approval for a therapy that includes  
16 some of these kinds of systems?

17           DR. FEIGAL: I can give you an example  
18 of one that's already on the market that has got a  
19 diagnostic, and that's the variations of different  
20 pacemakers that sense rhythms, sometimes deliver  
21 intermittent shocking therapies, decide whether or  
22 not to pace the heart, and it does introduce a whole

1 additional number of issues in terms of the way the  
2 software is written. There's an amazing number of  
3 lines of code imbedded in the people's chest as they  
4 walk around, and you need to make sure that the  
5 software behaves properly in addition to the sensors  
6 behaving properly, in addition to the whole logic.

7 And then you have to prove that the  
8 whole strategy has a net benefit, and that I think  
9 has been one of the successful areas where the  
10 devices are actually starting to look better than  
11 the drugs that used to be used for arrhythmia.

12 So I think that's possible. I think  
13 that some of the challenges laid out this morning  
14 specifically for diabetes identifies, you know, that  
15 not all side effects are created equally; that  
16 hypoglycemia is potentially fatal and much more  
17 devastating than loose control. And so how do you  
18 back into this and how do you do this in ways? And  
19 it probably isn't even so much a matter of whether  
20 it's an implantable, tiny device, which we'd  
21 eventually like to see, or initially if it's  
22 something that's done in a more controlled

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 environment.

2 But I think that's where some of the  
3 paradigms are. I think what's interesting is you  
4 watch and you see these things being developed  
5 incrementally and you see changes, and this is  
6 different than drug development. You'll see a  
7 change in pacemaker features from the same  
8 manufacturer every six to nine months, and you'll  
9 see new strategies that are unproven being planned  
10 to be imbedded in the future models to treat  
11 different types of things. I imagine there will be  
12 that sort of incremental benefits in developing  
13 software for diabetes management. You may not try  
14 to do anything very complicated at first and deal  
15 with the safer sort of things that you can treat and  
16 then gradually work into the other things as you  
17 develop the safety track record for that.

18 What often you don't have is a sense yet  
19 of sort of what will the clinical and the patient  
20 population and the public bear in terms of  
21 complications. There are some products -- Jesse has  
22 unfortunately a couple of them -- where it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 national news if there's a single product failure.  
2 You know, one patient gets an HIV transfusion, and  
3 it's news.

4 And there's other products that are over  
5 the counter drugs that we tolerate a certain serious  
6 complication rate and even death rate, you know, a  
7 death rate from, and so how the technology is  
8 developed and the comfort that people have with the  
9 technology so that we don't make our patients into  
10 Luddites who think, "Oh, it must be the technology  
11 that is going to be bad."

12 How we build that trust as we build that  
13 to say that the products are safe and effective is  
14 very important, and it's a complex process. It even  
15 involves things like handling recalls responsibly  
16 and safety alerts responsibly.

17 There have been a lot of pacemaker  
18 safety alerts, recalls over the years that haven't  
19 undermined the confidence in the products because  
20 they've been viewed largely as proactive measures to  
21 deal with problems as they're discovered, as opposed  
22 to manufacturing problems that weren't anticipated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and other kinds of problems.

2 MR. KAHAN: Can I add just one  
3 regulatory point to that? What you're talking about  
4 in a regulatory sense is a closed-loop system where  
5 the actual control of the release of the drug is by  
6 a diagnostic feedback, and our discussions with FDA  
7 over the years on closed loop systems is that they  
8 certainly can be cleared through the agency.  
9 However, the approval process will be one that will  
10 be extremely rigorous because the potential for  
11 underdosing or overdosing if somehow there's a gap  
12 or a data glitch in the loop through a software or  
13 other problem has raised the agency's hurdles here.

14 And I think we've been talking about  
15 these products for at least ten to 15 years, and now  
16 they're about to come to be very, very quickly, and  
17 so can we think out of the box? I think the good  
18 news is that you're going to be, especially with  
19 insulin, you're going to be delivering a drug that  
20 has a well-known character and a well known profile.

21 On the other hand, the closed-loop side  
22 of this is going to lead to possibly FDA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 scrutinizing the product more than they would  
2 scrutinize a pacemaker or an automatic implantable  
3 defibrillator because you're relying totally on the  
4 software and the feedback.

5 DR. JACOBSEN: Let's take this question,  
6 and then I have a written one that I want to ask.

7 MS. ITANI: Temima Itani with Ethicon  
8 Endo-Surgery.

9 I was struck this morning by the  
10 complexity of the programs that were presented, and  
11 I believe that they will undoubtedly present a big  
12 challenge to the regulatory system. I'm interested  
13 in hearing from the various center Directors here  
14 what are their thoughts on where FDA needs to go to  
15 meet these challenges.

16 What are the changes that need to be  
17 made, the competencies, et cetera?

18 DR. FEIGAL: Jon's taking the easy way  
19 out. We'll make you Deputy Center Director for the  
20 hour.

21 (Laughter.)

22 DR. FEIGAL: So that you can answer the

1 question, Jon.

2 But I think the hardest thing for CDRH,  
3 one of the things, we were talking about the culture  
4 differences. It isn't just the fact there's  
5 different application processes and things. The  
6 thing that is different and was alluded to a little  
7 bit in Ashley's slides is our responsibility to make  
8 risk-based determinations in an application.

9 And so even within an application not  
10 every question has to be settled with clinical data.  
11 So one of the hardest things is to decide which kind  
12 of things are actually better determined with  
13 performance specifications, engineering  
14 specifications.

15 And sometimes it's thought of as a  
16 lesser standard, but you know, I would argue there  
17 are some things like radiation therapy equipment  
18 where you'd rather have a physicist measure the beam  
19 than try and figure out how sharp the beam is by  
20 testing it on patients. You're better off with  
21 performance standards in that kind of setting once  
22 you've established that a beam has some therapeutic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 uses.

2 So I think a lot of the strategies that  
3 were presented this morning, which included drugs  
4 which were activated by the use of energy, by the  
5 use of light, that included many new sort of novel  
6 fabrication technologies to make needles that were  
7 smaller than were possible before.

8 A lot of that, I think, comes down to  
9 really identifying what are the different  
10 characteristics of those products that are really  
11 going to be essential to their performance and that  
12 will make them safe and effective, and to figure out  
13 which of the things, even though they're new, are  
14 probably better determined by looking closely at the  
15 engineering than at the clinical data.

16 So I think that's probably going to be  
17 one of the challenges, is making that sort of risk  
18 based assessment. I think the fortunate thing for  
19 devices is that they are built incrementally and  
20 iteratively, change by change, and that gives us the  
21 ability to creep up on some of those technologies,  
22 but some of them seem awfully slow in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 development.

2 Was that in the 1840s that those laser -  
3 - that those light activated drugs started? That  
4 was a long time ago.

5 John, do you have any comments on new  
6 technology and how CDER can learn about it?

7 MR. JENKINS: Now that I've been  
8 promoted to head pointy head bureaucrat, I guess.

9 (Laughter.)

10 MR. JENKINS: I took offense to that  
11 remark.

12 I think the biggest challenge that we  
13 face in CDER is becoming more familiar and aware of  
14 the CDRH regulations and statutory provisions. Most  
15 of our reviewers really have very little knowledge  
16 about the CDRH process. So when they get asked to  
17 do a consult or a collaborative review for a drug  
18 device combination may be where CDRH is the lead  
19 center, it's really a whole new world for them.

20 I've watched the collaboration that's  
21 been going on for the last six or 12 months between  
22 Ashley Boam's group in CDRH and the Cardiorenal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Drugs Division in CDER, and I think they've  
2 developed a really good working relationship, a good  
3 understanding of the procedures, the regulatory  
4 hurdles, and the pathways, and I think that's worked  
5 very well.

6 So at some point you develop a critical  
7 mass of relationships and understanding that make it  
8 go well. All too often most of our divisions see  
9 one of these, you know, every year or once every two  
10 or three years. So you don't really develop that  
11 critical mass of knowledge.

12 One of the other things that struck me  
13 as I was thinking of answering this question is it  
14 may not be apparent to most of the people in the  
15 audience, but most of the people at CDER don't even  
16 know people at CDRH. We're not in the same physical  
17 location. We rarely run into each other in the  
18 cafeteria or whatever. In fact most of us don't  
19 even know where CDRH is located.

20 (Laughter.)

21 MR. JENKINS: So it would be, I think,  
22 really nice if, down the road, the White Oak campus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 does actually bring us all together on the same  
2 campus where there can be shared training  
3 opportunities, where you can kind of walk across the  
4 courtyard and go to a device meeting rather than now  
5 trying to figure out how to get your way up 270 to  
6 go to a device meeting.

7 So I think training, opportunity to  
8 interact and experience go a long way to making  
9 these collaborations work well.

10 DR. GOODMAN: Well, you know, I think  
11 CBER has some unique perspectives on this that I  
12 think are relevant to this in terms of constantly  
13 dealing with a lot of new technologies and cutting  
14 edge technologies where risk is often uncertain, and  
15 where as David said, a risk based approach and an  
16 iterative approach is important.

17 I think these are big challenges for the  
18 agency. I think everything the agency does is a big  
19 challenge for it, but I think new technologies are  
20 particularly big challenges, and then new  
21 technologies that cross regulatory lines are even  
22 more difficult ones. ▶

1                   To me some of the things we need to  
2                   strive for in FDA and you outside need to help us  
3                   with are our expertise, you know, and when you're  
4                   dealing with new technology, with new material  
5                   science, with new biologics and cells or drugs, you  
6                   really need people who are cutting edge and have  
7                   stayed current.

8                   So we need to invest in our own people  
9                   in terms of being scientifically up to date, and I  
10                  include there not just the technology, but in being  
11                  in touch as much as possible with clinical reality,  
12                  clinical trials, et cetera.

13                  And I think most people at FDA would  
14                  like to see that, but when people are working very  
15                  hard and don't have a lot of time, that's one of the  
16                  things that tends to suffer. It also suffers from  
17                  the resource point of view, but I know all of the  
18                  people sitting up here from the agency are very  
19                  conscious of trying to support our people to be as  
20                  expert as possible.

21                  Anther part of that, I think, is  
22                  collaboration and consultation both within the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 agency and then outside, and how can we find  
2 nonconflicted ways, for instance, to collaborate  
3 more and get more outside the agency, and to me for  
4 CBER that's a real priority.

5 And finally, as I think both previous  
6 people said, I think, you know, this is sort of  
7 "Brave New World" technology that many of you have  
8 talked about earlier today, and it really has to be,  
9 as David said, in devices you see this all the time,  
10 but in the other areas we don't see it as much; that  
11 there needs to be this iterative approach to how we  
12 evaluate products and react to new information and a  
13 degree of flexibility that one needs to strive for.

14 But I think all of those things to do  
15 them, you know, have required expertise and good  
16 communication, all very resource-intensive stuff,  
17 but I think it's stuff ideally we want to work with  
18 you to do.

19 DR. JACOBSEN: I'm not a center  
20 Director. In fact, I don't even work for FDA  
21 anymore, but can I make a comment on this question  
22 anyway?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GOODMAN: Sure.

2 DR. JACOBSEN: Even though it was asked  
3 for the center Directors.

4 Mark said earlier that he had really  
5 liked the talks this morning and this early  
6 afternoon, that he hadn't heard of a lot of the  
7 technologies, and that he thought that his take-away  
8 message as a result of seeing all of those exciting  
9 technologies was that companies need to dialogue  
10 with FDA. I think he said begin early, and I agree  
11 with that.

12 But I also would add that it seems to me  
13 that this kind of open meeting really helps that  
14 dialogue start to happen and maybe we should do more  
15 meetings with industry and FDA staff like this one  
16 where you really get a chance to hear the talks on  
17 new technologies like we heard this morning, maybe  
18 even have the products, you know, area specific.

19 I don't know, but sort of talking  
20 together about the technologies that are leading to  
21 these new and interesting combination products. I  
22 mean, the platform presentations were really

1           terrific, but the hallway conversations were just as  
2           terrific.

3                         So that would be my suggestion, but I  
4           don't know how you all feel about that.

5                         DR. GOODMAN: Yeah, we think it's great,  
6           and you know, the other thing some people have done  
7           is just come in and talk to us about their future  
8           plans and portfolios, and it's a little bit of, you  
9           know, meet and greet kind of thing.

10                        On the other hand, we find it very  
11           informative to be aware of not just what's there,  
12           but what's coming to be sure we have the right kind  
13           of expertise.

14                        DR. JACOBSEN: I have a couple of other  
15           written. I don't see anybody else at the mic.

16                        The question is insulin is currently not  
17           FDA approved for IV route of administration. IV is  
18           an off label use. The insulin manufacturers don't  
19           seem interested in filing with FDA to do the studies  
20           for IV insulin to be approved, yet it's widely used.

21                        If IV insulin was approved, then that  
22           would open the door for novel IV insulin devices to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be developed for hospital patients. Can IV insulin  
2 be cleared without much initiative from insulin  
3 manufacturers?

4 IV insulin devices are not approvable  
5 now with IV insulin being used off label.

6 MR. JENKINS: Sounds like a drug  
7 question.

8 (Laughter.)

9 DR. GOODMAN: We would be very open to  
10 having sponsors of the insulins come forward to  
11 develop, you know, approved indications for use of  
12 insulin IV. I think we already have the dosage  
13 forms. I think the forms that are available may be  
14 appropriate, although I'm not sure of that. There  
15 may be some modifications that need to be made in  
16 the preservatives or whatever.

17 Sometimes the agency finds itself in the  
18 situation where sponsors don't come forward, and  
19 sometimes we find that we have to develop the data  
20 ourselves. It may be possible that there's adequate  
21 data in published literature that someone could put  
22 together and come forward and submit a supplemental

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 application to get that approved.

2 Sometimes it comes down to we have to do  
3 it ourselves, which is obviously a very resource-  
4 intensive process to go through reviewing the  
5 literature and developing an understanding of  
6 whether the product is felt to be safe and  
7 effective, and then we can put out calls for  
8 applications.

9 So that's a question we could take back  
10 to our Metabolic and Endocrine Division, but I think  
11 we also have a representative from one of the major  
12 insulin manufacturers on the panel. So she might  
13 want to address coming to us for an indication.

14 MS. ALLISON: I think I'm probably not  
15 the proper person to answer that question, but it  
16 would still be welcome if anybody wants to discuss  
17 about this approach to our company, and we can talk  
18 about that.

19 DR. KLONOFF: David Klonoff from Mills  
20 Peninsula.

21 That was actually my question, and I  
22 just wanted to have a follow-up to that, which is:

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do you think that if a device company came forward  
2 because they have a method of delivering insulin by  
3 an alternate route, namely, intravenously for  
4 hospital patients, that this would be sufficient for  
5 you to look into the IV insulin indication or would  
6 you still say that this device company must bring on  
7 board an insulin manufacturer?

8 MR. JENKINS: Well, I think there are  
9 different ways that you can approach it. Clearly  
10 the most straightforward way is as the question was  
11 written, is if the insulin manufacturers would get  
12 approval for an IV indication that would help,  
13 obviously, the device manufacturers.

14 The other approach would be for you to  
15 come in in partnership with an insulin manufacturer  
16 or maybe not even in partnership; just, you know,  
17 some of the pumps are not in partnership with the  
18 insulin manufacturers.

19 You yourself could be the one who could  
20 summarize the literature and try to present the  
21 evidence to support approval that, you know, IV  
22 insulin for whatever indication you're seeking is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 safe and effective, and there maybe adequate data in  
2 the literature to help support much, if not all of  
3 that indication.

4 So I would encourage you to, you know,  
5 consider talking to the Metabolic and Endocrine  
6 Division about what they might need to feel  
7 comfortable for that indication.

8 DR. KLONOFF: Okay. Thank you.

9 DR. FEIGAL: There is one historical  
10 example. The very first H. pylori approvals were  
11 done based on literature reviewed by an FDA  
12 reviewer. It didn't occur to me at the time, but  
13 that might have had some user fee implications --

14 (Laughter.)

15 DR. FEIGAL: -- because if you were to  
16 come in with an efficacy supplement for insulin,  
17 wouldn't he need a drug user fee for that?

18 MR. JENKINS: Probably if you're  
19 submitting the simple clinical literature to try to  
20 support an indication. That would probably meet the  
21 definition of clinical data for review, but there  
22 obviously are also provisions for waivers of fees in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some cases.

2 We have taken the approach occasionally  
3 of, you know, developing the data ourselves. We did  
4 that with levothyroxine. We published a Federal  
5 Register notice saying that we, you know, based on  
6 the accumulated scientific evidence found  
7 levothyroxine to be safe and effective, and what we  
8 needed were manufacturers to submit NDAs to show  
9 that they could manufacture a quality product that  
10 was stable over time.

11 We did that recently with Prussian Blue  
12 for the indication for elimination of radiation from  
13 the body after accidental exposure. So we published  
14 a Federal Register notice saying that we had  
15 reviewed the scientific literature and concluded  
16 that Prussian Blue was safe and effective for that  
17 use, and now we're looking for manufacturers to come  
18 in and basically do the manufacturing package, the  
19 CMC package.

20 DR. JACOBSEN: Okay. I think we have  
21 time for one more question.

22 (Participant speaking from an unmiked

1 location.)

2 DR. GOODMAN: Well, you know, I think  
3 the question, because that mic doesn't seem to be  
4 working, was about vaccine delivery devices, and  
5 we've actually talked recently about potentially  
6 having a public workshop about this. I think it's a  
7 very rich area.

8 I think there are several different  
9 technologies out there that are quite exciting that  
10 offer promise of more rapid or less complicated  
11 vaccine delivery.

12 I think with vaccines the general point  
13 of view has been that each vaccine is a new product,  
14 but I think just like a syringe is a vaccine  
15 delivery device, some of these formats readily lend  
16 themselves to multiple vaccines.

17 So we do want to both hear more broadly  
18 about some of the technologies that are out there  
19 being developed as was suggested and then discussion  
20 some of the regulatory implications.

21 But as I said, it is very exciting.  
22 When you think of, for instance, we've had

1 discussion about this, you know, there are issues in  
2 the Third World about reduction of needle  
3 transmission of infections, and potentially some of  
4 these devices if they were not too costly could have  
5 tremendous promise in alleviating global health  
6 problems.

7           There are some suggestions that some of  
8 these devices may be able to deliver equivalent  
9 immunogenicity at lower antigen levels. That's a  
10 hope. So I think it's a very exciting area, and as  
11 I said, we may be able within the next year or so to  
12 be thinking about a workshop just on that subject.

13           DR. JACOBSEN: Well, it's five o'clock,  
14 and the agenda promised that you would be out by  
15 five.

16           I'd like to thank all of the panelists,  
17 and also I'm sure that if you have individual  
18 questions, they probably would be willing to hang  
19 around for a few minutes if you want to grab them  
20 before they can get out the door.

21           I don't know if there are any other  
22 wrap-up comments. Are there any other wrap-up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 comments?

2 (No response.)

3 DR. JACOBSEN: Okay, and I'd like to say  
4 again thanks to Mariam and to Vickie for putting on  
5 such a good workshop in such a short time.

6 (Applause.)

7 (Whereupon, at 5:05 p.m., the meeting  
8 in the above-entitled matter was concluded.)

9

10

11

12

13

14

15

16

17

18

19

20

21

22

CERTIFICATE


This is to certify that the foregoing transcript in the  
matter of:                   Workshop on Delivery Systems

Before:                       DHHS/PHS/FDA/CDRH

Date:                         July 8, 2003

Place:                        Bethesda, MD

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced  
typewriting.

  
\_\_\_\_\_