DR. LIPICKY: Well, we have not seen the data. It exists but you haven't seen a review.

3 DR. TEMPLE: No.

DR. LIPICKY: You haven't seen a table. You haven't seen a figure. I haven't see a table. I haven't seen a figure. I haven't seen any pros. I haven't talked to anybody who has actually opened the volume. So, we don't know any more about RENAAL than is in the New England Journal.

DR. TEMPLE: Right. You could tell us what you would think, for example, if after review, that was thought to be a solid study. We can't tell you that we know that. But you could say that. Obviously that might not affect your conclusion because you wouldn't know.

But it raises the whole question of what, which is absolutely not settled, except I did tell you that we have behaved as if ACE inhibitors share common properties when we deal with congestive heart failure. And I would go further and say the beta-blocker approvals probably all reflect the fact that other drugs of the same class have had that effect.

22 So, you can tell us how you're thinking of it. 23 I don't know that fits into a vote exactly.

DR. FLEMING: Well, in fact, you have asked us this structured set of questions. We have gone through

question 12, which basically is based on the two trials and 1 prior expectations from the captopril study, what was our 2 judgment. Now in question 13, you're saying is there other 3 relevant information. I don't have a problem with your 4 asking the committee. I understand why you might want to 5 ask the committee are there other sources of data that we б would advise you should look at when you think about your 7 final judgment. 8

9 But I don't understand why you're going to 10 press to question 14 and then say, now I want you folks to 11 vote on that. That's an entirely different perspective. 12 Why are you asking us to do any more now than suggest 13 additional sources of information that you should think 14 about?

15 DR. TEMPLE: First of all, some people have, without thinking about those other studies, reached the 16 conclusion that the answer is one way or another. So, you 17 don't necessarily have to incorporate that. But you might 18 want to tell us I'm at the edge. I'd be convinced if you 19 looked at that study and found it satisfactory. You can 20 tell us whatever you want. It isn't only the vote. 21 We listen to the text also. It would be of considerable 22 interest to me to know if you thought that a favorable 23 finding in a closely related drug should be taken as the 24 25 priors or the other evidence or whatever. And we can worry

about getting access to other data, and then we can also worry about whether we're violating a company's proprietary interest and a wide variety of other interesting questions that we're not ready to talk about here.

DR. LIPICKY: There are people within the 5 agency who tell us not to ask questions where the answer is б obvious, and to me the obvious answer to this question 7 would be, of course, not. You're insulting my intelligence 8 by asking me. Sometimes it's useful to ask questions like 9 that so that we can get your reaction. But here we're 10 being told now, because we asked questions we probably 11 shouldn't have asked, that you know, well, the captopril 12 study tips me over. Well, then it's fair to say, what else 13 would tip you over? 14

15 DR. BORER: As the hour is moving on here, question 14 -- 15 is going to require some discussion I 16 think. But 14 says, should irbesartan be approved for 17 treatment of nephropathy in type 2 diabetes? Prior to 13, 18 there were 4 yes votes already. So, just to deal with the 19 time issue, I think I understood Blase to say that at 14 he 20 would change his previous vote, but for the record, what's 21 your vote now, Blase? 22

23 DR. CARABELLO: That is correct. Yes. 24 DR. BORER: So, now we have 5. 25 There are six other committee members who have not been convinced by these data, and so I'm going to ask to go through each of those six. Should irbesartan be approved for the treatment of nephropathy in hypertensive or non-hypertensive patients with type 2 diabetes? Beverly?

DR. LORELL: For the issue of diabetic
nephropathy in hypertensive patients, my answer would still
be no.

9 DR. CUNNINGHAM: No.

10 DR. BORER: Mike?

DR. ARTMAN: No.

12 DR. BORER: Tom?

DR. FLEMING: I encourage the FDA to look at all information that they would have access to that they judge to be relevant.

I can't go beyond question 12 without specific data put before us with a judgment and with a good insight that we have a level of understanding of that data comparable to our level of understanding of the two trials here. My answer is no.

21 DR. BORER: Steve?

DR. NISSEN: My answer is also no. And I really do need to say something here, and that is the reason that I don't want us to consider the RENAAL data is there were things that came out in the discussion of the

IDNT data that I didn't know from reading the publication. 1 For example, the cardiovascular endpoints are not in the 2 New England Journal of Medicine publication. 3 Those individual endpoints were very persuasive to me. I don't 4 know as a fact that there aren't things in the RENAAL data 5 that we haven't seen yet that would be very negative in my 6 view. So, my answer is still no. I can't consider a study 7 that isn't before us. 8

9 DR. BORER: Alan?

DR. HIRSCH: Well, no surprise based on my prior comments. No. But I would, since the text is read, strongly encourage the FDA to gain whatever access to data sets that exist that would permit us to look at this issue again, if that's possible.

DR. TEMPLE: I just want to be sure I understand the last couple of comments, and perhaps other people have the same sense. We have no idea whether we can get the two companies on the same stage or sort of steal one's data to use in the other. Let's leave all that aside.

21 What I guess I hear you saying is that some of 22 you are not so convinced by information about captopril in 23 a different population, but you might find independent 24 studies of the same drug class in a very similar population 25 more persuasive. DR. HIRSCH: Yes, and I'll just try to summarize my feelings and maybe speak for others here. This is a very major national clinical problem. We're all seeing a signal of efficacy. We are all very close to the edge, but we're not quite there. So, whatever we can get that brings us closer to a decision would be great.

7 DR. BORER: Before we go on to number 15, which is the last question, can I ask if anyone has any comments 8 about data that could be perhaps presented to the FDA and 9 if they were presented to you would be useful over and 10 above issues about other drugs and what have you. 11 What, for example, would you think if the company was able now 12 retrospectively to go back and determine cardiovascular 13 events in the patients after cardiovascular events were no 14 15 longer being counted? And what if the differences sort of evaporated? Would that be compelling to anyone? Would you 16 like to see that? 17

18 DR. LORELL: Yes.

DR. BORER: Okay. So, that's one type of information that might be useful if the FDA is not convinced by the data that exists.

There may be others. For example, although Tom suggested that he accepts the creatinine of 6.0, as I do, perhaps if we asked the company to come back with the actual numbers of people who went on to dialysis or 1 transplant and the time at which they did that to confirm
2 the importance of the creatinine level, would that be
3 useful to anyone?

4 DR. LORELL: Yes.

5 DR. BORER: Okay, so that would be too. So, 6 there may be some additional data that exists that might be 7 helpful to the FDA in its final deliberations.

8 Having said that, do the results of the 9 irbesartan development program in type 2 diabetic 10 nephropathy support the use of proteinuria as a surrogate 11 for clinical benefit? And we need everybody to say 12 something about that. JoAnn?

13 DR. LINDENFELD: I don't think the data quite support the use of proteinuria at this point for a couple 14 15 of reasons. One, I think that we don't have any idea of how much reduction in proteinuria is clinically important. 16 I mean, if you saw a 10 percent reduction, would that 17 really lead to some sort of realistic clinical change? I 18 don't know the answer to that. So, I'm not ready to say 19 that yet. 20

DR. BORER: Let's start on this side of the table with the nephrology contingent. Dr. Brem, what do you think of that? Do the results of the irbesartan development program in type 2 diabetic nephropathy support the use of proteinuria as a surrogate for clinical benefit?

DR. BREM: I was just talking to Dr. Temple 1 about the very same issue. For a nephrologist, I believe 2 that it does. And I read the FDA commentary, and I suppose 3 by itself, in the absence of changes in GFR to correlate, 4 it wouldn't. But I believe that it does. So, as a 5 nephrologist I think it does, and if they looked at it б correctly, it would correlate with a change in GFR. One 7 thing that would be very helpful and supportive is if the 8 company had similar data or would be able to retrieve that 9 information to demonstrate the connection between 10 development of proteinuria and change in GFR; that would be 11 a very strong selling point. 12

DR. BORER: I'm sorry, Tom. Go ahead.

13

I just wanted to clarify to make DR. FLEMING: 14 15 sure that at least I'm understanding the question. There are at least two different questions you could ask. One 16 is, is proteinuria a correlate for subsequent clinical 17 endpoints? Or there is the much more complicated question, 18 is a treatment-induced effect on proteinuria an adequately 19 reliable predictor of a treatment-induced effect on long-20 term clinical endpoints, that simply the demonstration of 21 the effect on proteinuria is adequate evidence of an effect 22 on the clinical endpoint? Which is what I would typically 23 think of as the surrogate. Are you asking the first 24 25 question or the second or both?

DR. LIPICKY: We're asking the second question only. Is it a surrogate? That is, proteinuria would be good enough. You see that proteinuria goes away and you say that people aren't going to get transplanted or have dialysis.

DR. TEMPLE: We believe a lot of nephrologists, as you've just heard, believe that proteinuria is an indicator of the fundamental structure of the kidney going down the tubes, and we have a lot of people who are asking us to use that as an endpoint because it's a very accessible endpoint and it occurs early and you don't do harm to people. So, that's a very important question.

DR. FLEMING: And that's the first issue. And there's a myriad of natural history data, and Dr. Lewis and others have clearly discussed the relationship that is establishing this as an important biological step along the cascade of events, such that in natural history, it's a correlate.

But we have a myriad of correlates across all disease areas, the vast majority of which are not established to be surrogates, and those that are typically are done so after extensive clinical experience where one is looking at the relationships of treatment-induced effects on the marker and the predictiveness of those effects on the clinical endpoint.

We didn't even get from this study evidence of whether there was a relationship with effects on proteinuria to effects on filtration rate, much less the ultimate clinical endpoint. So, how does this study begin to provide us evidence as to whether we don't just have a correlate but we truly have a replacement endpoint surrogate?

B DR. TEMPLE: Well, don't yell. Just say no.9 (Laughter.)

10 DR. BORER: We have his vote now.

11 Dr. Kopp.

DR. KOPP: You specifically asked about 12 diabetes, but I'll make the first point that if you were 13 looking at other diseases, a complete remission I think 14 15 would be acceptable, that is, somebody with a disease like minimal change that you had an agent that could put people 16 into complete remission, I think most nephrologists would 17 be convinced that a sustained remission, as defined by no 18 proteinuria, would be evidence of benefit. 19

How about in diabetes? I guess if you had an agent that put somebody into complete remission, no proteinuria, who previously had proteinuria and that was sustained, I would find that pretty convincing. The problem comes from what you mentioned,

25 JoAnn, which is how about a 50 percent decrement, which is

the typical effect of ACE inhibitors. Then how about a 30 percent? Then how about a 25 percent? And as we said earlier, we don't have a graded spectrum of risk table that allows us to evaluate that. So, I think that's where you're coming from.

And I have to say by itself, I don't find it adequate. Now, I did find it adequate in the second study, IRMA 2, because I had another study that had a GFR measure, and in that setting, it's supportive. But by itself as the only measure, short of a complete remission, I have trouble accepting it.

DR. LORELL: I agree with that. I think the evidence is very compelling that proteinuria is both a measure and may even play a role in the progression of diabetic nephropathy. I don't think that that is sufficient to use it as an endpoint for approval or disapproval of a drug.

18 I think a very poignant example in the recent cardiovascular and even perhaps cardiovascular-renal world 19 is the use of estrogen replacement therapy where it was 20 argued for a long time that the improvement in lipid 21 profiles that were seen demographically and in trials could 22 be used as a surrogate marker to indicate expected 23 improvement in major cardiovascular events. And actual 24 25 trials that asked that question have given a striking

1 answer in the other direction.

DR. BORER: Dr. Cunningham. 2 DR. CUNNINGHAM: I think I don't actually know, 3 so I cannot say yes, but I don't know if I can no either. 4 So, you'll have to deal with that. 5 DR. BORER: It's an abstain. б 7 Mike? 8 DR. ARTMAN: I would say no and leave it at that. 9 10 DR. BORER: Tom, I think you already said no. Blase? 11 DR. CARABELLO: I think I also would like to be 12 fair and simply say I don't know. 13 DR. NISSEN: No question that proteinuria is a 14 15 bad thing, but the question being asked is do we know that a drug that reduces proteinuria is going to have a 16 consistent, reproducible effect on hard endpoints. I would 17 want to see several trials, maybe even with different 18 classes of drugs, showing that we know what the 19 relationship is between the reduction in proteinuria and 20 the reduction in end-stage renal disease, dialysis, 21 transplant, death, and a lot of other hard endpoints. And 22 we haven't come even to the first step. Validating a new 23 surrogate is like hitting an inside-the-park home run, and 24 25 we haven't even gotten to first base yet. So, I think

1 we've got a long way to go here.

DR. BORER: Particularly in Cleveland where the 2 stadium is now smaller than it used to be. 3 DR. NISSEN: But the team is good. 4 (Laughter.) 5 DR. BORER: Alan? б 7 DR. HIRSCH: I probably don't know either. Not to disrespect my renal colleagues, I also would like my 8 protein in my urine to go away with treatment anecdotally, 9 but when it comes to data and approval, my problem with 10 surrogates is that we've been burned too often as 11 clinicians, whether it's left ventricular hypertrophy as a 12 surrogate marker -- I can go on and on -- VPBs with 13 dysrhythmic drugs. So, if I was going to use proteinuria 14 15 as a marker, I need some specific clinical outcomes and some kind of a dose response where I know, like with blood 16 pressure, these many millimeters down affects these many 17 cardiovascular risks, this LDL change affects this clinical 18 I need some better handle on the dose response, I outcome. 19 think as you said Dr. Kopp. 20 I'm going to vote no also. DR. BORER: With 21

21 DR. BORER: I'm going to vote no also. With 22 Dr. Kopp, I thought that the proteinuria data were very 23 compelling as supportive evidence with another trial with 24 harder endpoints, but by itself, the issues that everybody 25 has mentioned, the relation of the magnitude of the effect

1 to the outcome of interest to us just is too poorly known2 to allow us to accept as a surrogate at this point.

Bob?

3

DR. TEMPLE: Well, I was very struck by the 4 observation that at least one dose of irbesartan was able 5 to produce a dramatic decrease in proteinuria that 6 obviously had nothing to do with anything anatomical at 7 all. So, maybe the other dose did something anatomical I 8 don't know. But that was impressive, I thought, that it 9 could go away so quickly, and it certainly seems reason for 10 11 pause.

DR. LIPICKY: Jeff, I think you said that IRMA supported IDNT, and now you say that proteinuria is not a surrogate. Can you explain how you came to those what I think are disparate decisions?

DR. BORER: Yes, I can. I don't think they're disparate at all.

18 IRMA I believe gives me some information about the pathophysiology of disease and convinces me that the 19 drug has an impact that's positive on the pathophysiology 20 of the disease. That was supportive of hard body count 21 data from another trial. Absent the hard body count data 22 from the other trial, I would not have been in favor of --23 DR. LIPICKY: But the body count was 22 and 24. 24 25 DR. BORER: Well, in my judgment the data in

1 their totality, for all the reasons that I stated that are 2 going to be on the record when you read it, I thought were 3 sufficient.

DR. LIPICKY: I withdraw my question. 4 DR. FLEMING: If I could, I'd like to, even 5 though I voted against it being a surrogate, defend that б there's logic to what Jeff has said. When I argue it's not 7 established to be a surrogate, my belief is we have not 8 come close to establishing the scientific evidence to be 9 confident that when you simply show an effect on 10 proteinuria, that we know that it's of the duration, 11 magnitude, and all the other things we have to understand 12 to know that it's reliably going to predict an effect on 13 clinical endpoints. 14

15 Nevertheless, there's still a level of plausibility of efficacy that you gain from such an 16 insight. Even if you have a marker that's not an 17 established surrogate, you understand natural history, and 18 if you can impact that marker, it provides some level of 19 sense of plausibility. So, if in fact you believed that 20 the first trial was close enough or was quite close, then 21 something that's not a surrogate, but establishes a level 22 of plausibility, could tip you over. I don't know if 23 that's where you were, but it's logically consistent you 24 25 could have that opinion.

DR. BORER: You said it better than I could. I think we've completed the questions, but Bob has another.

DR. TEMPLE: No. I just want to say one thing and it's not tooting my horn because they were written by someone else. I think this was a terrific set of questions, and I want to compliment Norm Stockbridge and Ray who did them. It led to what I think is one of the more fascinating discussions I ever remember at an advisory committee that brought out all kinds of stuff.

DR. LIPICKY: Just Norman. I had nothing to do with it.

13 DR. TEMPLE: Okay, even better.

14 (Laughter.)

DR. BORER: Okay. With those disclaimers having been made, we'll adjourn and meet again tomorrow morning.

18 (Whereupon, at 5:50 p.m., the committee was 19 recessed, to reconvene at 8:30 a.m., Friday, January 18, 20 2002.) 21 22

provided by A.R.W.

- \$ -\$10,000 6:23 6:25 \$5,000 6:25 \$65,000 204:17 - 0 -0.0004 168:23 0.001 62:4 170:11 0.006 61:2 **0.012** 61:24 **0.023** 60:22 0.035 61:11 0.05 169:10 0.085 169:6 00125 240:25 241:15 242:22 001 242:22 247:15 247:22 269:22 002 206:12 003 206:13 0048 288:2 **004** 272:8 **005** 289:21 006 83:17 272:9 01 78:17 244:2 286:23 023 226:16 257:16 269:5 269:19 025 247:14 247:22 248:6 02 243:18 035 250:2 055 219:17 05 219:13 239:22 240:24 241:5 241:8 241:12 241:12 241:21 241:22 241:22 243:16 243:18 243:21 248:6 248:9 257:16 257:18 269:20 286:23 075 248:6 07 227:8 228:24 243:19 257:11 - 1 -**1's** 92:10 200:16 1,000 159:24 1,094 141:23 1,291 58:21 1.500 210:9 1,699 58:12 1,715 9:18 58:9 113:23 1.09 135:9 1.0 56:25

1.1 214:2

1.2 56:25 203:8

1.3 219:8 219:11

1.7 59:10 92:20 10-15 47:20 **10-1** 92:7 10.2 272:17 100 37:2 92:13 116:13 142:7 158:13 102 131:15 167:10 103 167:9 167:9 107 131:15 108 166:21 170:23 170:23 109 166:21 166:21 170:23 10 43:25 85:18 98:20 131:16 136:16 140:18 162:8 163:3 165:2 168:17 182:19 190:6 190:9 209:18 272:3 272:14 283:15 289:18 289:18 307:17 11-month 207:5 11.2 276:11 110 171:15 118 58:16 11:00 72:5 11 126:20 131:16 135:19 207:6 207:9 217:5 228:3 228:7 229:5 276:8 277:13 281:6 12.9 122:8 121 58:15 129 176:18 12:10 133:12 12 68:14 85:18 140:18 168:6 182:18 203:18 277:13 281:7 283:16 292:22 293:10 295:25 304:16 12a-30 7:3 **130** 183:6 183:9 133-subject 259:14 **133** 63:10 135 57:23 139:11 158:11 164:13 13 125:21 135:8 178:15 217:3 217:5 228:2 228:8 277:13 295:22 298:22 302:3 303:18 140 106:25 141 167:21 143 167:18 144 167:16 14 94:4 136:7 302:10 303:16 303:17 303:20 15,000 108:23 15-minute 70:7 150 9:7 164:6 167:8 167:18 168:17 169:3 169:24 170:9 170:25 171:9 172:12 177:12 178:7 179:13 180:24 188:4 259:7 260:10 261:18 153 166:23 15 13:16 59:4 98:5

143:6 168:11 168:14 172:2 209:15 227:2 227:6 295:6 303:16 306:7 160 106:25 161 58:15 **166** 258:4 16 58:11 124:24 125:3 125:6 125:8 214:2 180 227:12 1836 160:5 18 6:20 60:22 62:6 229:23 285:15 285:16 316:19 19-patient 126:17 1950s 180:3 1980s 94:20 **1993** 54:20 74:8 1995 54:20 74:8 104:8 1997 242:10 **19** 61:11 67:6 126:19 128:13 129:6 129:19 217:3 217:5 219:11 226:18 226:24 227:6 1:00 133:13 1:15 134:2 - 2 -2's 37:14 2,000 161:7 2,300 9:12 2-year 168:10 177:5 196:6 272:7 **2.1** 221:6 2.2 221:6 2.3 182:18 **2.4** 73:18 2.5 63:22 82:23 2.6-year 224:18 2.7 59:24 **2.9** 58:4 106:23 **20-23** 228:23 2002 316:20 200 18:2 20:18 160:18 161:3 164:24 168:16 169:17 2025 158:12 208(b)(3 6:20 209 57:3 228:14 20 17:25 19:8 60:21 69:14 72:5 84:14 84:18 128:14 129:6 129:19 141:19 141:19 159:25 160:17 160:18 160:23 162:7 228:14 241:17 277:20 278:11 21 67:7 22.8 171:25 **229** 228:14 22 83:2 94:3 223:9 314:24 23 60:25 65:3 69:15 78:7 80:25 135:2 223:9

226:23 229:4 231:5 24/22 84:10 24 18:2 83:2 92:9 143:6 169:25 170:9 179:14 181:4 182:18 191:4 203:19 262:7 314:24 **250** 261:20 25 31:4 73:9 92:15 102:3 106:25 140:4 207:8 311:2 260 67:20 26 61:23 153:17 275 214:15 27 57:3 82:14 258:4 - 3 -3's 37:14 3-fold 150:19 **3.0** 56:25 3.2 175:24 176:21 **3.6** 9:6 3.9 59:24 300 9:8 18:3 164:7 167:10 167:20 168:18 168:22 170:2 170:24 171:8 172:2 172:11 177:16 178:13 178:19 181:5 181:12 181:19 181:22 181:24 182:2 182:17 184:4 188:5 191:21 209:9 259:6 260:16 260:17 260:25 261:10 261:19 262:17 30 38:7 56:22 73:9 83:24 164:24 233:2 31 206:22 **322** 63:9 325 158:12 **33** 206:11 213:22 220:18 226:14 226:14 276:21 354 129:11 35 82:5 88:2 **361** 80:20 36 78:9 227:13 228:16 37 107:2 139:12 140:19 143:4 206:12 216:11 38 170:8 **39** 169:5 - 4 -

4-197 186:21 4-37 176:7 4-3 175:12 4.1 235:7 4.2 235:15 4.7 176:24 40,000 116:24 40-odd 277:16



408 58:14 214:15
409-subject 2/2:7
40 10:9 161:16 171:18
186:16 203:20 228:16
411 213:21 220:25
225:25
416 235:10
41 63:10
42 221:2 230:2
43.5 151:25
43 72:22
44 169:9
45 10:10 92:25 94:14
141:19 204:12
48-6 93:6
48 276:21 282:7

- 5 -

5.7 181:13 181:14 5.8 79:14 50 14:9 16:7 36:20 38:5 55:17 67:21 72:21 73:7 81:10 83:17 84:19 85:19 87:21 102:4 142:7 146:19 171:18 180:15 183:9 186:17 228:6 272:9 277:14 277:17 277:18 278:10 310:25 518 235:20 51 78:10 272:7 **52** 120:3 **54** 170:5 **56** 19:18 57-month 100:13 221:23 221:24 577 68:15 **57** 59:11 221:9 **58** 82:6 88:2 165:22 166:18 590 9:21 **59** 59:11 63:19 5:50 316:18

- 6 -

6,000 105:23 6.0 56:4 62:25 63:8 134:23 155:8 253:8 253:12 253:24 306:23 6.4 122:7 6.5 162:11 600 65:16 105:9 105:15 106:7 60 59:2 161:14 203:19 611 257:21 63 139:13 64 226:2 69 153:25 154:21

- 7 -

7-0 209:10 70 56:22 168:21 172:8 209:10 278:12 71 63:17 73 79:22 151:22 75 92:14 77 79:22 80:18 80:19 226:18 78 139:12 **79** 9:5 - 8 -8-year 177:4 80 43:4 67:4 178:9 221:16 83 63:19 167:6 167:6 167:6 85 57:23 164:13 86 78:11 87 139:14 227:12 88 79:24 89 58:19 228:2 8:30 6:2 316:19 - 9 -9,000 108:23 9-month 86:15 9.3 63:3 9.8 222:19 900 38:5 56:24 147:25 90 166:23 166:24 91 147:25 166:24 92 131:16 131:21 221:15 **93** 227:12 94 79:24 80:19 95th 6:4 98 139:10 147:17 - A -A-6 54:9 **a.m** 6:2 316:19 Alc's 35:5 35:14 35:18 Alc 35:11 35:18 35:21 36:2 39:2 61:13 166:6 A2 117:5 123:10 279:25 AASK 27:20 ABCD 117:7 175:25 ability 11:6 14:3 19:14 40:15 43:11 43:12 50:15 50:22 55:16 71:13 105:16 159:2 215:12 230:23 238:8 abnormal 16:24 17:25 22:20 abnormalities 16:22 20:4 49:8 49:11 51:2 51:6 162:25

abnormality 16:10 16:11 18:10 19:23 20:8 23:11 49:5 49:5 161:10 abruptly 188:10 absence 240:19 252:14 262:18 268:14 300:12 308:4 absent 269:15 314:22 absolute 25:21 75:19 181:14 228:16 Absolutely 28:2 153:11 200:3 223:21 223:23 250:20 301:16 absorbed 295:2 absorption 28:12 abstain 312:6 academic 54:17 73:25 accelerated 173:20 accent 188:21 accept 90:20 114:10 129:7 149:13 230:6 247:19 253:5 257:17 314:2 acceptable 228:9 310:15 accepted 46:8 accepting 257:18 311:11 accepts 257:9 306:23 access 157:2 304:14 305:12 accessible 309:11 accordance 6:20 according 30:6 55:12 113:5 122:13 205:13 218:22 241:24 account 29:20 88:18 127:25 243:2 245:5 246:14 294:17 accounted 48:13 accounts 246:18 accurate 26:18 50:14 78:21 145:7 accurately 20:12 21:4 38:14 achievable 49:9 achieve 60:8 80:13 268:4achieved 86:17 114:12 131:16 154:11 195:4 acknowledged 87:7 across 58:3 58:24 59:5 59:17 69:4 130:10 150:21 211:5 211:15 275:5 282:15 295:11 295:11 309:19 Act 242:10 243:22 276:4action 57:8 65:20 74:4 74:17 132:11 261:2 272:4 274:9 284:8 284:9 284:10 actions 55:24 active 9:3 105:9 106:4 106:23 109:22 204:20 257:25 259:16 259:17

activities 160:12 activity 74:22 261:14 261:15 262:4 actual 75:10 79:15 80:5 93:14 93:14 107:5 115:14 116:2 196:17 306:25 311:24 acute 30:16 76:17 200:23 201:8 ad 24:19 234:3 add 81:8 87:11 87:13 99:2 106:14 113:13 123:21 131:8 132:4 196:20 227:10 228:12 243:13 250:7 260:20 266:4 297:2 297:11 299:16 added 57:22 106:12 106:14 106:15 106:19 215:6 adding 106:22 229:2 296:18 addition 23:2 28:16 62:14 92:18 95:11 142:21 152:14 195:20 223:15 224:2 225:4 236:22 239:23 additional 8:19 76:6 90:9 134:9 137:12 142:23 173:15 174:25 220:9 229:3 230:20 254:9 263:25 270:12 302:13 307:6 address 7:11 27:13 47:23 67:19 71:14 77:9 89:13 89:14 91:17 97:17 99:19 101:22 129:12 140:23 147:15 147:18 152:19 211:8 292:22 addressed 75:20 addresses 6:10 addressing 74:14 79:3 adequate 34:17 42:4 239:14 245:20 247:20 248:16 250:10 251:7 255:21 257:6 263:15 265:25 266:4 266:7 283:19 292:25 293:12 308:22 311:7 311:7 adequately 86:24 229:12 247:12 249:4 272:18 308:19 adjourn 316:16 adjudicated 56:17 137:20 137:23 adjudication 111:22 218:13 218:21 adiust 128:11 130:13 132:5 166:14 168:24 169:7 207:24 208:4 209:5 adjusted 61:7 129:15 129:17 141:14 167:23 208:13 adjusting 61:12 adjustments 129:5

130:23 Adler 19:4 administration 57:13 admissions 109:18 admit 117:5 admitted 137:14 137:15 137:16 admittedly 197:22 244:17 269:5 adrenergic 60:10 60:10 60:11 adults 36:16 advance 159:4 advanced 16:10 16:17 16:21 23:14 23:15 23:19 38:8 45:22 45:23 50:17 53:7 62:19 64:3 69:11 208:17 209:15 advancement 260:8 268:9 advancing 98:16 267:11 advantage 86:2 99:3 238:20 adverse 65:8 67:15 67:15 67:16 67:23 68:23 97:24 109:4 171:24 200:22 201:7 205:10 advise 302:7 advised 31:4 advisors 251:14 Advisory 6:5 7:21 54:16 91:10 287:12 295:7 316:9 advocated 149:2 advocating 163:13 241:11 affect 28:12 34:21 43:11 43:12 56:12 188:10 297:10 301:13 affected 202:3 affecting 122:21 229:13 affects 250:24 313:17 313:18 afferent 21:25 afford 204:17 African 25:8 26:6 26:10 131:9 131:14 131:23 141:14 141:17 141:21 141:23 142:4 142:10 145:5 145:8 afternoon 159:9 afterwards 205:20 age-adjusted 141:15 agency's 7:2 agency 242:7 251:15 303:6 agenda 6:14 7:5 agent 30:13 73:9 73:10 73:11 74:11 96:7 150:9 271:10 278:3 286:12 286:13 289:12 290:14 290:14 292:9 310:16 310:21 agents 29:4 60:12 68:4 68:7 72:19 104:20 106:11 120:7 120:12

122:21 176:2 205:15 276:4 276:4 281:15 295:9 295:12 ages 141:19 aggregation 85:2 aggressive 57:18 237:25 aggressively 77:4 agonists 60:10 agree 32:7 36:22 83:22 112:19 122:11 143:10 143:18 146:5 151:15 187:17 199:6 211:6 211:18 215:7 215:7 215:9 224:5 224:19 241:25 252:12 253:2 264:13 264:19 268:8 268:14 271:8 273:7 273:19 275:24 283:6 284:5 311:12 agreeing 212:23 234:12 aim 162:14 164:10 184:2 185:22 **aiming** 163:25 191:14 **Alan** 6:23 41:9 41:10 103:7 250:13 256:6 267:7 275:13 276:5 279:10 296:25 305:9 313:6 albeit 75:11 198:19 282:21 292:13 albumin 17:16 17:20 18:13 19:7 19:17 20:13 28:13 29:13 29:15 29:17 29:18 29:20 40:24 44:21 46:25 48:7 48:10 99:6 99:7 159:22 160:8 160:10 160:16 161:25 164:23 165:9 169:21 191:15 albuminuria 20:22 29:24 159:24 160:3 160:7 160:16 160:20 164:25 168:4 168:24 169:15 169:16 170:8 178:5 179:15 aliquot 76:11 76:12 alive 126:23 204:18 All-cause 56:8 65:6 67:3 210:25 ALLHAT 127:22 128:2 allow 55:19 64:17 121:5 131:4 242:10 252:20 298:12 314:2 allowed 70:19 76:8 89:5 117:16 164:15 164:17 205:19 233:13 242:24 243:10 243:14 260:15 287:9 allowing 203:5 allows 204:19 311:4 alluded 141:23 alpha 105:4 alter 29:6 40:22 46:2 47:14 159:3 250:20 252:15

alteration 17:10 alterations 17:19 altered 20:8 203:4 242:10 altering 96:18 96:19 alternative 162:21 162:23 alters 41:22 201:25 ambiguous 118:17 America 147:4 155:20 155:20 156:5 156:10 161:17 249:18 250:8 American 25:15 26:10 131:9 131:11 132:25 141:17 141:21 145:5 145:8 146:2 146:10 155:23 156:2 163:12 246:15 246:17 252:2 252:2 Americans 25:8 26:7 131:14 131:23 141:14 141:23 142:4 142:10 amounting 171:10 amounts 17:16 20:22 34:4 34:5 amplify 241:2 amputation 56:15 106:2 106:19 235:9 235:19 analogous 38:12 analogy 47:2 analyses 36:8 38:23 60:3 64:15 64:18 66:3 71:24 79:11 86:5 89:22 116:6 129:16 135:5 136:8 145:12 150:14 225:23 230:14 234:3 analyze 225:15 analyzed 77:18 119:13 125:3 125:12 anatomic 46:17 47:13 anatomical 46:9 314:7 314:8 anecdotally 313:9 anemia 98:13 253:16 angiotensin 9:3 22:13 22:22 23:2 30:15 47:24 55:3 57:20 74:19 74:20 74:21 106:18 128:8 188:11 191:18 201:4 260:6 262:4 273:3 283:12 284:9 293:15 animals 22:3 50:21 182:12 ankle 106:2 ankles 112:5 anniversary 160:25 announcement 6:10 annually 203:13 anomaly 221:6 221:21 222:9 234:16 answer 8:20 18:23 30:3 30:10 32:10 42:9 47:19 50:20 53:16 75:21 77:15 103:10 118:23 119:9 138:13 139:3

139:24 154:3 157:16 164:2 171:20 184:19 187:7 189:2 189:5 189:18 194:8 194:10 218:8 218:25 219:8 228:18 237:9 241:24 243:14 259:3 263:23 281:6 281:17 287:9 293:13 302:17 303:6 303:7 304:7 304:20 304:22 305:7 307:19 answered 151:4 173:23 240:21 262:20 answering 33:12 293:10 answers 70:9 157:17 212:14 antagonist 55:3 74:19 123:10 164:16 164:20 191:19 200:21 antagonists 57:21 74:9 164:17 anticipate 112:20 196:4 anticipated 104:11 195:14 antifibrotic 262:2 antihypertensive 29:4 53:8 57:16 107:15 116:24 137:10 150:8 163:22 188:3 188:8 188:16 188:23 205:15 208:3 antihypertensives 28:17 28:22 57:8 57:19 60:7 60:9 100:10 119:25 120:5 137:6 antirenin 72:18 anybody 24:22 89:4 117:16 183:23 212:14 214:9 234:2 245:14 257:7 287:4 301:7 anvtime 130:12 anywhere 137:6 202:11 apart 138:17 228:19 apologize 104:11 apology 104:4 apparent 60:22 65:2 91:20 213:15 221:3 230:22 235:13 235:23 260:25 appear 16:16 17:17 142:21 227:22 278:8 appearance 6:12 6:18 168:3 293:5 appeared 263:10 291:5 appears 26:9 81:23 143:23 150:22 152:10 152:13 205:11 230:5 237:23 application 209:23 2ĪĪ:2 applications 134:5 applied 21:10 165:6 applies 98:5 apply 36:21 47:10

51:12 275:21 appreciate 127:8 161:5 162:3 181:15 212:8 237:20 295:15 approach 64:3 113:9 130:2 130:17 131:3 226:20 approached 67:4 **appropriate** 9:15 16:14 16:16 26:20 31:9 68:15 85:3 85:4 98:10 114:2 200:5 238:14 271:13 appropriately 109:6 117:12 294:24 approvability 268:5 approvable 153:5 287:2 approval 54:11 213:15 213:18 239:15 240:14 245:21 249:10 252:17 255:21 263:16 264:13 266:7 271:23 272:6 282:25 283:19 286:16 286:20 290:19 292:2 292:16 292:19 292:25 293:12 293:16 294:10 298:25 311:16 313:10 approvals 301:19 approve 50:3 249:24 250:12 254:15 264:17 268:16 270:3 289:12 290:7 290:14 approved 120:8 121:6 204:5 211:19 285:2 286:22 287:25 288:3 290:14 298:2 303:17 304:3 approving 284:12 284:15 approximated 15:19 approximately 10:9 10:10 14:14 18:3 67:20 72:21 75:25 76:14 105:9 105:23 106:25 108:23 159:25 171:16 171:18 174:10 174:22 183:6 approximates 25:25 26:2approximation 11:9 **ARB** 235:18 260:23 297:6 arbitrarily 241:4 arbitrary 82:20 202:9 202:15 233:7 ARBS 48:8 75:14 108:22 120:21 189:10 208:9 210:3 210:4 250:20 architectural 15:6 15:12 aren't 26:5 51:6 229:15 243:9 266:16 305:5 309:4 argue 34:8 113:10 198:10 236:23 269:19 294:9 315:7

argued 311:21 arguing 114:11 argument 175:9 266:18 arguments 282:18 296:4 arm 65:17 68:24 73:16 151:24 152:2 164:15 178:10 180:24 180:24 220:12 233:15 233:19 233:19 234:9 234:9 257:25 armor 199:21 arterial 129:18 131:15 131:17 131:20 arteriole 21:18 21:19 21:19 21:25 22:11 22:17 arterioles 21:21 artery 30:15 69:7 200:22 artifact 181:5 artificial 18:5 **ARTMAN** 246:7 247:5 264:6 264:16 274:3 277:6 293:24 294:3 304:11 312:8 ascertain 216:12 Asia 161:17 Asian 158:14 aside 88:11 305:20 asking 35:21 46:20 53:13 54:10 54:10 100:21 128:25 138:7 185:12 186:17 191:24 254:19 295:5 302:5 302:12 303:9 308:24 309:9 aspects 253:6 aspirin 238:3 238:4 assess 64:12 65:12 66:23 74:12 154:7 265:12 assesses 66:25 assessment 211:14 295:14 assessments 255:7 assignment 56:19 107:17 associated 12:7 12:10 17:20 39:16 44:7 47:25 66:12 68:19 102:23 111:24 124:3 158:4 202:5 263:5 associates 22:15 Association 131:11 163:12 assume 72:7 96:23 129:9 130:4 151:11 187:13 189:24 213:5 238:3 255:13 295:22 assuming 36:13 101:11 126:25 137:19 assumption 145:4 145:7 145:23 232:15 assumptions 74:25 130:18 132:11 145:16 AT1 9:3 70:22

attack 116:17 216:4 attacks 116:9 117:3 attained 59:21 attempt 157:8 160:10 attempted 130:23 attempting 116:25 attention 256:18 294:25 attitude 289:22 attributable 132:13 attributed 56:14 68:18 217:10 attributing 247:6 audience 188:22 Australian 148:22 author 185:6 autonomic 69:3 autoregulation 21:22 22:4 22:9 Avapro 7:16 7:22 9:2 9:10 9:24 average 16:6 58:4 59:4 60:7 76:13 93:20 112:25 142:3 162:8 167:5 206:21 avoiding 160:11 160:12 181:13 aware 7:7 150:20 251:8 294:17 awareness 220:23 220:24 awful 155:21 285:25 295:2 axis 37:25 - B back 29:7 31:6 40:23 41:16 42:9 70:7 73:6 77:21 79:2 97:23 99:22 103:12 104:15 108:17 139:5 143:21 151:2 153:12 177:13 178:6 178:9 180:3 180:19 187:5 187:5 191:12 192:17 199:20 199:21 199:22 201:22 204:3 216:11 217:24 230:22 234:5 236:2 260:11 261:13 261:18 283:10 306:13 306:24 background 8:4 10:4 159:16 159:18 162:17 272:21 backup 89:4 89:22 bad-doers 194:18 bad 87:8 87:10 106:3 109:2 127:15 145:21 169:17 182:3 217:10 239:3 254:3 274:10 290:12 297:20 312:15 badly 102:8 balance 103:11 252:19 balanced 165:19 barely 15:11 248:17

barotrauma 22:7 22:19 barriers 213:15 Barry 182:13 base 200:7 312:25 baseline 12:25 14:17 54:23 55:10 58:25 59:11 59:16 59:20 103:16 103:22 105:22 130:6 130:14 140:10 159:22 159:23 164:25 165:18 166:25 167:4 167:15 168:25 169:7 169:23 177:13 178:7 187:15 210:12 261:9 261:13 basement 15:4 15:9 15:10 18:17 18:25 189:23 268:24 basically 15:3 25:6 35:13 129:12 148:18 210:12 210:21 227:5 227:16 241:2 242:8 257:17 bearing 116:21 212:19 beast 196:19 beat 278:10 278:10 beautiful 15:10 190:3 beautifully 270:7 beauty 267:10 becomes 33:5 144:16 268:23 286:3 becoming 202:22 bed 15:2 131:25 begin 6:3 6:6 7:15 17:16 24:17 33:14 72:5 72:13 79:8 134:3 229:22 294:9 310:4 begins 17:14 23:24 begun 99:7 99:9 behave 273:13 behaved 61:15 301:17 behavior 262:25 263:3 belabor 155:18 belaboring 186:2 281:20 belief 315:8 believed 315:20 believer 197:2 197:3 below 36:15 36:16 36:18 41:20 111:10 160:17 164:13 bench 50:21 beneficial 69:12 78:20 131:19 261:15 298:6 benefit/risk 66:23 benefits 57:8 62:13 65:20 69:17 109:3 114:12 211:4 213:3 254:24 276:2 benefitted 149:8 benign 112:25 bet 291:25 beta-blockage 72:20 beta-blocker 301:19 beta-blockers 72:17 100:11 120:2 148:18 beta 60:9 238:2 262:5

Bev 75:8 99:16 99:24 147:12 197:9 199:6 262:22 270:4 284:11 Beverly 244:13 271:17 273:18 304:5 bias 111:5 biases 142:19 291:15 big 46:11 117:7 117:7 243:19 284:13 bigger 26:3 181:23 237:5 237:15 biggest 179:9 182:6 bilateral 30:15 69:7 200:22 **Bilous** 35:9 **bind** 291:6 binders 113:18 biochemical 19:23 162:24 bioengineer 33:22 biological 156:7 192:11 274:15 275:8 277:25 309:16 biometrics 213:14 biopharmaceutics 213:14 biopsied 17:2 190:5 **biopsies** 15:20 19:6 19:6 20:5 38:7 49:19 49:21 50:2 50:8 50:10 162:23 262:8 271:16 271:20 biopsy 15:15 18:15 20:6 49:25 190:3 268:19 biostatisticians 27:12 biostatistics 8:22 77:14 biphasic 170:17 179:22 birth 146:21 bit 24:17 34:21 72:23 75:9 87:20 89:6 104:2 115:3 122:9 128:3 139:6 141:12 142:16 142:19 190:23 191:12 222:10 231:18 231:19 233:7 244:18 247:12 247:21 251:12 254:25 255:18 271:24 271:25 284:2 284:17 287:24 290:3 299:14 black 15:8 25:8 139:13 139:23 140:24 141:2 145:8 145:18 151:5 151:17 152:24 156:4 246:14 246:17 blacks 25:3 26:14 27:3 27:4 139:7 139:8 139:25 140:12 140:16 140:16 141:3 141:9 142:17 142:18 142:22 142:25 143:6 143:13 143:21 144:6 144:16 146:10 150:16 150:18 151:3 151:8 151:12 151:13 blame 246:25 287:4 blaming 247:3 blanket 240:14

Blase 76:25 182:14 249:12 266:9 274:18 275:24 278:6 295:20 299:17 303:20 303:22 312:11 **blind** 58:22 **blindly** 107:16 blindness 41:21 blockade 62:11 74:20 128:7 238:2 blocked 200:16 blocker 9:3 47:25 57:5 70:22 106:18 201:4 201:25 205:10 282:24 blockers 57:14 57:22 60:10 60:11 74:6 108:22 117:5 117:8 117:17 118:10 208:9 260:6 274:12 283:13 blocking 191:18 blue 59:23 60:25 123:15 **bluff** 240:16 bluish 187:4 **blunted** 238:8 BMI 166:4 BMS 93:12 209:22 Bob's 49:6 **Bob** 114:5 116:18 128:24 151:20 215:16 238:10 242:3 300:19 314:3 316:2 body 314:21 314:22 314:24 book 182:16 booklet 177:10 218:2 booklets 272:21 bookmark 38:20 215:15 books 280:22 border 20:18 41:6 152:6 borderline 18:5 18:7 251:4 271:6 borders 41:6 **bothered** 249:18 bottom 167:2 194:21 234:2 box 162:16 193:20 boy 295:6 bradykinin 74:24 279:24 breached 17:14 break 69:25 70:5 70:7 70:10 71:11 71:12 71:17 71:21 72:4 72:8 104:2 108:6 114:6 118:23 127:9 133:8 153:25 228:19 230:22 breakdown 151:5 breath 41:22 Brem's 38:22 Brem 24:20 24:20 34:14 34:15 35:20 36:11 38:24 172:23 172:24 173:8 173:19 174:4 174:9 174:24 175:5

178:24 179:4 240:2 240:9 240:18 252:22 268:6 268:7 273:6 273:7 281:9 281:13 292:3 292:18 307:22 Brenner 22:15 182:13 188:19 188:21 188:25 210:6 250:19 Breyer 8:20 147:19 **Brian** 7:23 brief 215:6 218:8 236:22 briefing 151:23 177:10 218:2 briefly 225:22 292:25 **Brigham** 8:25 Bright 160:5 bringing 27:18 brings 27:14 84:25 306:6 Bristol-myers 7:25 8:10 38:20 77:11 77:13 125:2 Bristol 122:24 Britain 35:9 broad 29:18 broaden 106:7 broader 108:15 broke 154:21 broken 153:14 **build** 105:13 **Building** 7:3 **bump** 30:24 **bumps** 75:19 **bumpy** 168:3 bundled 94:7 burned 313:11 burning 36:5 business 243:24 buy 285:13 285:17 buys 117:21 - C -C-13 153:13 C-16 77:24 77:25 103:13 calcium 57:5 57:14 57:21 74:6 74:9 108:22 117:8 117:17 118:10 164:17 164:19 205:9 208:9 calculate 165:15 186:16 calculated 122:6 167:7 cancel 29:10 cancer 203:21 capacity 33:24 204:24 204:24 capillaries 15:3 16:4 21:18 21:23 22:6 161:11 capillary 15:2 15:11 15:12 17:12 18:12 20:8 21:17 21:19 21:20 22:8

22:14 capture 102:25 114:17 130:9 captured 66:5 102:15 102:16 102:18 102:18 102:20 130:21 155:11 CARABELLO 182:15 182:23 183:15 183:18 249:13 266:10 274:19 278:7 278:17 295:21 295:24 299:18 303:23 312:12 card-carrying 89:12 100:6 cardiac 45:25 205:9 205:14 252:4 253:23 255:12 cardiologist's 143:20 cardiologist 89:5 89:13 100:2 109:9 132:23 158:2 cardiologists 91:11 116:8 183:16 202:21 202:22 281:10 cardiology 100:3 107:21 cardioprotective 100:11 237:24 238:2 238:6 279:18 cardiovascular-renal 311:19 careful 40:21 40:25 151:20 193:2 261:24 261:24 275:12 279:7 294:20 300:8 300:13 carefully 147:8 caregiver 112:13 caring 198:11 carry 11:6 197:25 240:7 carrying 177:19 cartoon 160:6 cascade 199:17 265:4 265:11 309:17 categories 35:23 149:9 149:10 category 176:25 235:4 287:15 caucasian 59:3 caused 259:6 causes 24:6 88:18 138:20 196:24 204:11 254:24 255:18 caution 143:11 caveat 79:10 caveats 269:19 cell 15:4 cells 15:4 cellular 262:14 censored 77:5 102:12 102:14 102:19 113:11 215:13 220:10 censoring 109:14 Center 6:17 8:13 central 55:23 60:10 76:12 107:15 218:10

218:17 cerebrovascular 232:25 certificate 157:3 cetera 43:13 79:11 191:23 235:14 246:10 280:12 **chain** 41:2 chair 111:12 Chairman 54:15 132:23 challenges 227:19 chances 146:2 changed 94:21 101:24 109:21 218:6 changing 47:14 177:11 270:9 channel 57:5 57:14 57:21 74:6 108:22 117:8 117:17 118:10 205:9 208:9 characteristics 17:11 17:13 18:11 58:25 165:18 210:13 213:24 characterized 62:20 checking 138:24 chemistry 213:13 chest 112:3 CHF 235:8 235:17 Chicago 266:14 266:16 chicken 33:5 **Chief** 8:12 child 37:20 38:13 children 36:15 37:6 cholesterol 41:18 choose 73:10 108:12 300:23 chose 111:15 113:13 202:9 202:15 277:11 **chosen** 207:2 chronic 93:4 98:23 cigarettes 41:3 Cincinnati 266:13 266:15 cite 298:19 claim 186:11 claims 286:22 clarification 53:22 54:5 155:4 215:24 231:23 270:24 286:5 clarified 54:10 54:13 clarify 39:8 97:8 122:10 172:25 284:17 308:14 class 53:19 73:20 100:11 104:20 106:11 203:22 275:2 275:6 275:7 285:6 285:7 285:10 285:13 285:14 286:11 286:19 289:9 292:9 294:5 298:11 301:20 305:24 classes 60:11 119:25 120:4 275:3 275:5 275:11 286:12 286:13 295:11 296:2 312:19 classification 56:17 89:12 137:21 clear-cut 236:6 260:13

clear 22:12 25:22 40:8 82:20 86:2 91:19 105:3 106:2 118:13 122:16 132:16 172:20 183:2 194:8 205:8 222:18 222:23 242:24 256:4 clearance 11:9 11:12 11:18 12:5 12:6 12:9 12:10 13:2 13:3 13:16 13:25 29:2 36:24 37:22 48:25 52:14 52:14 59:11 59:12 98:5 98:19 123:25 141:3 165:11 170:12 170:18 170:22 175:4 197:13 253:11 258:16 258:24 259:2 259:7 263:4 264:9 clearances 28:24 53:10 cleared 11:13 Cleveland 313:2 **clinic** 99:4 164:5 201:13 234:24 clinically 11:24 20:22 31:25 50:23 59:22 60:5 61:3 61:7 85:6 85:8 90:19 90:22 105:18 121:2 127:19 129:14 157:14 225:7 237:13 307:16 clinician 88:5 clinicians 199:12 313:12 closely 287:3 302:24 closer 147:16 221:24 241:21 265:23 306:6 closest 123:10 closure 58:16 58:18 126:6 Cockcroft-gault 165:11 183:14 coded 28:16 55:18 56:19 64:16 64:20 66:2 68:22 77:3 77:17 101:20 112:23 119:2 119:5 119:8 119:12 119:20 120:13 120:14 122:21 124:16 214:17 cognizant 128:23 cohorts 103:20 123:3 collaboration 73:24 collaborative 12:20 15:16 54:19 55:12 93:13 96:6 104:16 collagen 17:6 19:20 22:23 colleague's 41:12 colleagues 48:21 165:6 215:7 268:15 274:14 277:24 313:8 collect 91:5 97:8 103:22 113:8 120:18 158:22 160:15 194:3 216:15 collected 112:15 156:20 215:2 253:15 collecting 112:8

collection 109:13 160:11 160:14 Collectively 211:3 211:17 College 8:4 column 153:19 **combine** 267:3 combined 41:15 66:24 86:8 108:25 136:4 136:15 combining 66:22 267:20 comfort 220:13 comfortable 106:17 251:23 264:2 277:22 comforting 235:12 235:23 commentary 308:3 commented 141:25 comments 87:20 91:4 91:7 113:13 134:6 153:5 155:25 156:14 212:6 236:21 257:17 258:17 305:11 305:16 306:8 committed 120:10 **Committee** 6:5 6:8 6:15 23:25 24:17 24:19 35:9 54:16 56:18 56:18 57:24 57:25 58:6 91:10 98:3 107:15 111:22 113:14 128:2 131:12 133:12 137:21 143:11 212:3 212:13 218:2 218:7 218:12 218:21 244:16 245:2 254:6 255:25 283:10 287:2 289:18 295:6 296:10 300:6 300:10 302:5 302:6 303:25 316:10 316:18 committees 56:19 198:25 287:13 291:12 295:7 297:14 commonly 28:9 communicate 82:3 communications 82:9 community 54:17 64:2 82:16 98:21 211:13 268:7 297:13 companies 305:18 company's 303:2 company 100:18 113:16 216:7 306:12 306:24 308:9 comparable 227:11 277:11 304:19 comparably 238:4 comparator 73:25 74:2 74:15 comparators 105:9 106:5 204:20 compare 29:3 85:7 95:21 170:7 228:5 233:2 234:17 compared 12:25 25:3

provided by A.R.W.

60:20 61:22 64:24 67:5 67:7 67:8 68:12 69:14 70:13 71:3 85:19 92:20 93:4 94:20 119:6 139:23 140:4 140:12 141:18 142:18 146:3 163:9 166:16 170:5 170:9 200:12 231:4 231:16 257:24 261:2 261:12 compares 280:4 comparing 95:17 108:22 128:16 135:7 163:21 233:14 233:14 277:8 281:14 comparison 55:7 55:8 60:24 61:25 64:22 64:23 65:2 65:7 78:6 110:11 231:7 278:9 279:21 287:19 296:14 comparisons 65:24 66:9 66:13 105:16 118:8 123:4 128:25 148:10 compatible 151:7 compelled 220:16 compelling 246:22 249:5 250:11 264:8 264:12 296:5 299:19 306:16 311:13 313:23 compellingly 157:11 compete 70:5 **competing** 11:3 56:9 65:13 95:8 227:16 compile 71:13 complain 99:10 complained 166:2 complementary 9:10 completed 58:21 316:2 complex 30:4 31:7 43:17 43:18 199:24 complexities 27:15 295:4 295:10 complicated 31:17 71:7 114:16 308:18 complication 189:20 233:11 233:12 complications 97:21 97:22 98:11 227:20 227:21 compliment 316:7 component 64:14 79:20 108:9 109:20 111:18 263:5 components 64:7 64:12 64:13 64:19 65:24 80:13 80:18 90:2 107:25 108:7 108:17 119:3 136:10 248:4 composite 55:9 56:9 60:16 60:19 61:14 61:17 64:7 64:11 65:10 65:23 66:24 69:12 78:4 79:19 80:11 80:12 83:20 84:4 84:16 86:4 86:8 87:3 105:13 105:13 105:14

12 Reef Integrated Systems

107:10 107:22 107:24 126:12 128:11 128:21 136:4 136:15 159:21 204:25 205:2 205:5 210:19 231:4 269:6 269:17 composites 83:25 compound 172:17 178:22 compounds 164:19 191:14 compromise 144:11 215:12 compromised 154:13 conceivable 49:19 conceivably 27:12 concentration 11:15 concept 247:13 conceptions 142:8 concern 41:10 51:3 68:21 69:2 171:23 211:13 230:4 284:13 284:14 concerns 51:12 140:16 142:15 142:25 concise 132:16 conclude 63:24 131:4 concluded 149:6 concluding 45:6 conclusion 20:15 44:17 107:11 107:21 109:22 117:17 118:18 125:19 128:24 194:21 209:11 242:11 243:6 255:19 286:9 287:4 287:5 287:21 294:9 301:14 302:17 conclusions 137:3 147:10 232:7 233:18 267:9 conclusively 237:6 248:20 concomitant 119:23 concrete 47:19 concur 78:6 211:18 248:2 concurrent 87:24 condition 180:6 conditionally 233:18 conduct 54:23 75:5 conducted 61:13 66:23 73:22 75:4 82:13 confidence 42:11 66:18 80:6 108:3 108:11 135:9 135:19 148:3 148:6 242:21 244:9 255:19 296:18 296:19 296:20 confident 315:10 confirm 55:25 60:5 61:5 218:11 231:9 confirmation 56:17 76:13 137:21 227:3 265:18 confirmatory 242:12 287:15

confirmed 62:8 218:17 218:19 247:18 confirming 139:2 confirms 108:16 conflict 6:7 6:9 6:11 6:18 confounder 144:4 152:13 152:14 confounders 250:7 250:9 confounding 144:8 151:21 152:3 152:4 246:8 confronted 247:10 confuse 183:16 183:23 184:18 confusing 274:16 confusion 104:5 congestion 112:3 congestive 286:21 287:25 301:18 **Congress** 107:21 connect 267:25 connection 308:10 connective 15:6 16:2 16:24 17:5 22:24 consecutive 55:22 consensus 83:14 203:22 consent 126:21 156:24 consequence 39:15 consequences 87:7 114:20 192:24 239:8 265:5 290:8 290:8 consequently 39:14 162:14 164:21 168:7 173:15 183:12 conservative 207:13 207:19 219:16 considerable 112:23 302:22 considerably 36:15 59:13 92:11 93:16 289:6 consideration 11:4 97:5 144:23 252:23 Considering 231:5 consistency 253:3 288:22 consistent 18:24 59:7 154:17 156:12 196:12 196:16 237:10 281:17 281:18 289:10 292:6 292:14 312:17 315:24 consistently 286:22 286:23 consisting 61:19 constitute 9:10 constitutes 242:15 constraint 47:21 constraints 32:18 constriction 21:25 22:11 construct 27:5 constructionist 275:14 consultants 8:19

consulting 6:22 **consumer** 270:19 270:21 293:14 contains 135:16 contaminated 113:22 contention 118:2 context 155:9 155:13 contingent 307:22 continuation 19:24 continue 77:7 84:10 85:11 113:8 120:9 185:24 190:16 220:7 230:5 continues 68:9 171:19 continuing 32:24 170:4 continuous 268:18 continuously 168:5 206:9 continuum 9:13 9:22 86:12 132:2 201:25 202:10 211:5 211:15 211:20 244:9 266:11 267:22 267:24 268:25 contrary 249:17 contrast 63:12 contribute 113:2 287:23 contributing 76:4 contribution 84:2 contributions 83:23 control 21:21 22:22 28:15 30:22 34:16 34:17 34:22 35:2 35:7 35:12 35:17 36:6 57:18 60:8 65:3 65:21 68:20 106:24 120:11 128:6 131:7 137:7 142:12 145:9 145:21 151:24 205:12 207:22 208:2 209:14 224:11 226:2 233:19 233:24 237:25 279:19 controlled 30:20 30:23 31:13 95:14 112:24 144:24 178:24 224:13 231:20 242:9 246:10 controlling 30:7 147:8 controls 22:23 convenient 11:24 convention 241:17 conventional 163:21 220:21 conversation 91:7 conversion 175:17 convert 163:4 166:10 converting 74:21 convince 199:23 223:19 223:20 223:22 223:23 251:6 convinced 249:4 255:14 267:4 269:23 271:18 273:21 275:19 285:5 298:8 298:9 299:23 302:19 305:22 306:21 310:18 convinces 314:19

convincing 47:9 130:3 225:20 227:8 228:25 229:13 247:12 248:12 248:19 264:3 265:24 266:5 266:18 269:6 269:18 270:17 289:15 310:23 convincingly 175:7 268:12 convincingness 144:12 coordinating 30:25 coordinator 138:24 Core 77:25 105:22 coronary 103:19 235:17 correct 43:3 54:2 70:22 81:9 81:19 102:12 130:15 155:15 155:16 174:5 174:8 174:15 174:19 193:5 193:5 198:14 216:5 216:6 216:14 303:23 corrected 238:3 corrective 55:24 correctly 40:10 86:6 155:5 178:7 179:8 193:15 219:9 219:11 308:7 correlate 265:7 308:4 308:7 308:17 309:18 310:6 correlated 14:22 correlates 309:19 correlation 222:23 correlations 34:6 corresponding 84:20 123:18 228:15 correspondingly 228:22 corresponds 55:16 **Cosmetic** 242:10 cost 10:13 78:8 count 155:14 158:16 244:25 281:10 314:21 314:22 314:24 counted 118:4 124:20 125:15 174:10 175:2 217:20 221:14 239:13 306:15 counterbalanced 108:10 counting 125:16 countries 9:5 57:3 82:14 158:14 204:16 country 10:12 116:16 204:14 250:25 267:25 282:15 counts 206:19 couple 82:2 91:3 91:6 116:19 176:8 184:21 242:16 268:17 298:17 305:16 307:14 courses 26:5 cousins 275:16 covariate 61:8 130:11 131:2 cover 217:22

Cox 38:23 61:9 62:7 128:10 129:5 crazy 288:11 create 184:15 creating 234:15 creatinines 31:6 36:15 36:16 86:19 139:11 credibility 219:20 219:23 credit 247:5 credited 217:17 217:23 criteria 15:19 98:4 122:14 137:24 217:25 242:2 criterion 12:13 critical 91:14 115:19 criticized 256:10 crossed 20:18 219:14 crosses 148:3 crossing 41:5 267:25 cumulative 62:22 67:4 167:25 Cunningham 246:3 246:4 270:15 271:2 273:20 273:21 283:5 283:6 293:13 304:9 312:2 312:3 current 7:11 9:4 297:21 Currently 10:10 290:10 293:15 curve 12:4 12:9 14:12 14:14 37:4 37:5 37:7 37:9 37:19 37:24 80:21 80:21 80:23 121:15 123:19 124:2 124:8 134:24 195:11 195:16 195:20 195:23 196:3 199:10 203:16 207:4 curves 61:18 62:5 81:4 81:5 89:3 124:10 147:4 206:16 229:22 230:4 231:13 293:6 cycle 99:5

- D -

D-12 172:25 173:8 180:19 192:9 daily 9:8 9:9 37:3 164:7 164:8 167:20 168:18 169:4 170:3 damage 32:24 190:10 190:18 dangerous 49:20 275:9 Daniels 7:19 7:20 7:24 53:23 dare 186:11 database 157:17 157:18 283:18 292:24 298:21 date 104:8 dating 180:3 day-to-day 40:21 dead 116:14 126:23

158:17 196:25 dealing 76:17 159:13 159:19 160:8 160:9 165:6 165:20 165:20 165:21 166:19 171:4 176:9 180:17 181:24 183:4 183:5 186:10 200:17 deals 100:2 271:10 deaths 67:20 82:7 88:18 94:3 94:12 94:13 94:13 96:10 102:6 102:7 116:9 172:3 225:10 226:2 226:4 227:10 227:11 227:12 227:13 227:14 227:24 debatable 157:15 debate 157:25 158:8 **December** 288:23 decide 120:9 199:12 229:12 234:25 281:22 decided 198:24 218:3 deciliter 166:11 decision 82:15 97:10 98:10 201:9 241:19 267:4 290:7 294:7 294:23 306:6 decisions 297:3 300:14 314:15 declared 218:16 decline 55:15 57:2 63:15 99:13 142:3 142:9 149:22 154:10 159:6 162:4 162:7 162:9 163:7 163:9 174:3 174:6 175:23 176:4 176:20 195:15 195:22 197:13 197:16 decrease 10:19 11:5 23:2 23:4 41:21 45:14 46:25 78:7 95:3 173:9 173:20 174:10 174:13 189:9 314:6 decreased 20:25 44:7 44:9 48:10 decreasing 146:24 202:5 decrement 310:25 defend 315:6 defensible 90:8 defer 42:21 274:14 deficiency 22:4 278:21 deficient 22:9 deficit 56:14 define 11:5 14:2 240:6 291:11 defined 9:19 17:24 55:24 56:2 56:23 58:22 62:24 63:5 63:8 63:18 160:16 241:4 242:13 257:14 310:18 defines 13:25 18:14 **definitely** 118:3 167:22 179:16 181:8 189:17 definition 13:10 13:14 13:17 13:20 13:21 18:6

80:18 81:11 82:11 82:19 82:20 97:18 98:17 98:18 106:9 149:12 176:23 208:22 257:5 definitions 94:18 106:8 degree 103:23 156:17 244:24 delay 91:18 91:20 121:7 121:17 192:19 195:4 207:5 207:11 207:17 260:24 delaying 193:24 delays 61:21 62:12 deliberations 307:7 deliver 114:21 delta 141:3 220:18 285:15 delved 246:8 demand 49:14 demographic 54:23 165:20 demographically 311:22 demonic 125:17 127:3 demonstrate 88:8 131:19 175:6 211:3 265:19 308:10 demonstrated 69:12 160:5 174:4 178:16 182:8 182:13 184:22 187:9 211:6 demonstrates 190:11 demonstrating 60:20 173:9 demonstration 272:7 308:21 Denmark 8:13 159:9 176:16 177:20 184:23 denominator 11:17 92:3 Denver 266:14 266:16 Department 77:14 132:21 186:17 dependent 42:13 61:8 132:6 depending 220:19 289:22 depends 191:13 depicted 160:21 176:4 depth 225:2 299:23 derived 283:18 293:11 describe 116:23 289:15 describing 46:17 197:11 descriptive 115:19 deserve 98:24 designing 12:12 29:19 47:18 82:10 111:4 202:12 desire 89:21 despite 179:11 249:17 **destined** 269:15 detail 120:18 292:13 detailed 255:11 290:17 detect 65:18 136:9

163:15 196:5 detection 148:20 determinant 33:8 determination 218:23 determine 15:22 21:7 23:24 24:5 28:25 76:3 76:9 96:8 213:16 221:4 306:13 determining 96:10 devastating 161:18 180:6 develop 150:19 160:21 161:15 161:18 161:19 189:21 developing 141:20 developments 111:8 diabetics 25:3 56:6 57:16 63:3 64:3 73:19 87:21 88:8 88:9 117:7 149:20 279:3 279:4 282:9 282:21 diabetologist 35:10 diagnoses 102:2 diagnosis 10:12 110:15 111:11 204:11 204:14 204:15 215:14 dialysis-free 71:24 229:2 dialysis/transplant-free 71:25 dialysis/transplantation 84:3 225:8 dialyzed 97:12 98:24 158:7 253:13 diastolic 59:18 59:20 60:2 179:3 die 44:24 180:5 221:14 250:25 253:13 253:14 296:12 died 88:20 88:21 88:23 126:24 diet 97:13 differ 10:15 129:22 132:12 152:11 differences 10:23 60:3 60:5 61:5 65:18 66:9 67:17 119:15 129:9 129:13 130:24 130:25 131:5 136:9 143:14 144:18 166:14 166:25 168:24 169:7 209:5 222:10 225:20 246:15 250:4 259:18 259:21 273:8 274:7 274:8 274:20 274:22 275:25 278:14 278:18 279:25 295:10 296:2 306:15 differential 152:22 differently 244:25 dihydropyridine 164:17 164:19 diminished 202:4 202:7 diminishes 22:18

Reef Integrated Bystem

dip 18:9 18:13 41:6 160:21 209:7 209:20 directional 66:16 279:14 directionally 280:9 Director 8:3 disabling 235:9 235:19 disadvantage 86:3 disagree 81:20 118:6 184:21 196:21 287:21 disagreed 214:12 disagreeing 257:7 disagreement 214:24 217:14 224:3 224:5 disagrees 212:14 214:9 disallowed 57:20 disappearance 39:13 disapproval 311:17 discard 77:16 discarded 169:12 discernible 277:19 disclaimers 316:15 disconnect 262:24 264:8 discontinuation 58:23 67:25 68:14 68:22 68:25 69:3 discontinuations 67:14 67:15 215:8 discontinue 188:8 188:16 discontinued 58:14 123:12 135:4 188:3 188:4 188:4 188:5 214:16 discontinuing 101:21 120:12 discuss 7:22 8:16 42:6 71:21 101:13 165:25 262:21 289:5 discussed 38:10 162:10 162:21 171:19 197:4 205:4 235:15 236:4 236:12 247:8 263:25 270:13 292:14 309:15 discussing 91:23 118:6 166:6 discussion 34:19 73:23 74:9 82:8 103:3 116:21 116:21 118:23 118:25 134:18 208:23 212:6 216:12 223:13 240:3 242:6 244:16 249:17 251:18 253:7 254:23 255:12 262:24 263:2 272:15 274:25 275:19 293:25 294:21 295:2 295:13 303:16 304:25 discussions 7:4 46:6 104:21 115:17 279:8 316:9 diseases 40:11 40:13 44:10 50:9 98:24 229:24 279:22 280:18 280:18 310:14

disparate 263:3 314:15 314:17 displayed 134:25 displays 61:18 64:10 64:21 65:22 79:15 119:24 disregard 184:16 disrespect 313:8 dissimilar 26:5 dissuade 236:14 distinction 107:12 213:8 distributed 238:4 distribution 35:13 59:5 235:11 235:21 241:14 255:17 disturbance 68:17 238:17 disturbed 249:16 disturbing 177:14 282:13 disturbingly 234:19 diuretic 31:7 diuretics 28:17 29:5 30:6 30:9 30:24 60:13 60:14 148:19 diverge 230:5 293:6 divide 241:13 divided 54:22 287:3 Division 8:24 286:19 doctors 16:15 16:16 18:9 30:7 204:2 205:20 document 151:23 154:20 242:14 242:25 documented 68:17 69:7 115:14 180:16 228:12 253:18 documenting 154:17 domain 225:9 225:11 237:8 237:10 237:12 domains 225:6 225:16 225:17 225:18 228:20 dominance 84:6 dominant 84:2 dominate 85:11 87:8 dominated 86:13 183:8 192:15 donors 19:5 dose-dependent 172:11 dose-related 76:9 dose 9:7 9:8 47:24 58:12 76:8 124:25 169:3 178:22 257:25 259:6 259:8 259:16 259:19 259:20 260:10 261:10 261:13 261:25 269:21 280:2 280:3 280:4 313:16 313:19 314:5 314:8 doses 48:8 120:16 207:21 257:22 dosing 30:9 dot 108:2 double-blind 119:23 149:13 double 37:12 58:21

62:18 95:9 120:7 195:17 doubled 13:2 13:6 31:3 36:19 37:13 37:16 37:17 37:21 62:23 63:10 63:25 70:18 77:2 122:13 124:16 137:18 154:11 156:19 206:2 206:24 218:22 224:14 doubles 12:4 36:18 96:23 doublings 139:11 doubt 26:22 250:20 downhill 14:19 downstream 192:23 195:7 draining 22:16 drains 21:19 dramatic 45:18 207:23 314:6 dramatically 253:10 draw 44:17 232:7 232:14 261:14 275:21 277:4 278:13 278:18 298:11 drawing 280:24 drawn 76:15 76:16 298:21 dreadful 203:23 293:20 drift 269:20 driven 64:25 65:4 136:20 140:8 151:8 156:3 driving 91:13 drop 161:24 170:20 170:23 171:5 171:8 171:11 171:13 174:16 175:19 176:23 179:21 179:23 180:18 181:10 181:11 181:14 181:22 182:2 182:7 182:10 182:11 182:17 182:18 185:9 185:14 186:12 196:25 197:7 dropout 186:3 dropouts 180:21 181:2 194:6 dropped 186:8 194:2 Drugs 6:4 28:10 28:10 28:25 29:9 46:23 47:12 53:10 53:14 65:19 70:19 70:24 100:11 118:9 155:22 191:4 202:25 207:20 243:7 274:19 275:3 275:7 275:9 276:2 279:18 284:6 293:7 298:11 301:20 306:11 312:19 313:14 duplicate 218:16 duration 51:4 59:8 62:7 166:5 195:5 221:23 227:17 315:11 dying 95:8 102:6 102:6 117:23 dynamics 53:13 dysrhythmic 313:14

earliest 17:9 17:17 161:11 161:21 163:16 easier 95:12 easily 90:20 East 175:23 ECG 105:22 105:22 echo 24:24 31:15 Ed 8:2 10:3 161:9 162:19 edema 30:6 68:2 68:25 110:13 110:14 111:24 edge 248:16 257:18 265:21 302:19 306:5 editorial 73:3 EDMUND 10:6 25:5 26:16 27:11 28:14 30:2 32:2 33:16 34:22 35:25 36:22 38:4 40:7 42:4 43:5 43:8 43:14 45:5 45:8 47:16 48:16 49:12 51:8 51:14 52:18 52:22 53:5 91:22 93:10 97:16 101:22 112:12 123:21 123:23 140:25 144:19 146:12 199:20 201:19 201:21 201:24 210:9 218:9 219:2 219:6 effectively 114:13 efferent 22:11 efficacy 8:10 8:14 54:24 58:7 60:4 60:15 61:10 149:23 150:2 175:6 205:2 251:25 252:18 265:17 266:2 267:15 281:14 294:18 306:4 315:16 efficient 212:11 egg 33:5 ejection 47:5 47:9 **elapsed** 156:20 elderly 37:6 electrocardiograms 105:24 electrolyte 68:16 elegant 90:17 188:20 288:8 elevate 164:20 elevated 19:22 29:24 37:16 158:15 163:9 182:12 elevation 22:5 30:11 157:13 221:2 Elizabeth 185:6 elsewhere 100:7 embark 76:20 emerging 230:19 Emeritus 8:22 emphasis 8:5 emphasize 53:6 92:12 202:8 267:21 emphasizing 237:22 empirical 213:10 empty 269:3 enalapril 200:2 280:22 281:2 292:5 292:7 299:9 encourage 304:13

ASSOCIATED REPORTERS ** (202)543-4809

- E -

...

305:12 encouraged 138:19 endorsed 56:7 endothelial 15:4 endure 293:22 enemy 15:14 208:16 energy 99:14 engineer 33:22 England 73:3 148:20 160:5 210:7 299:19 301:8 305:3 **enhanced** 265:16 enlighten 188:21 enlightening 212:3 enormous 10:13 92:24 100:22 enormously 53:2 261:21 enrolled 149:14 156:4 183:4 ensure 57:22 enter 12:13 208:22 249:2 entered 29:23 209:16 entering 10:11 10:12 16:7 53:6 208:17 enters 234:24 entirely 25:22 152:8 152:12 200:13 201:9 284:7 302:11 entities 56:20 279:23 entitled 243:2 entry 12:13 15:19 37:11 56:21 environment 112:13 enzyme 74:21 epidemic 10:8 epidemiologic 142:9 episodes 253:17 epithelial 15:4 equal 26:14 28:7 35:19 52:15 56:4 66:24 98:19 98:19 149:21 168:23 169:9 195:22 equally 27:10 27:25 equals 272:8 272:9 equate 110:16 274:5 equivalence 164:11 equivalent 27:2 36:7 166:8 166:22 172:3 ESRD 60:17 61:20 62:17 62:22 62:23 63:5 63:8 63:14 63:17 63:25 65:5 66:4 70:12 70:14 71:6 80:17 80:21 80:22 82:12 82:19 93:24 96:11 102:13 102:23 114:3 122:6 122:13 123:8 124:19 135:4 135:23 141:14 141:15 154:7 216:2 216:4 216:8 216:10 216:15 216:21 216:22 222:19 224:16 254:11 276:13 essence 85:22 114:19 125:4 163:24 170:15

179:7 190:19 192:15 essential 57:19 essentially 86:11 88:17 130:4 210:20 225:18 227:10 233:9 233:13 247:9 266:10 286:11 295:8 establish 92:5 235:3 establishes 248:20 265:15 265:25 315:22 establishing 237:6 266:3 309:16 315:9 estimate 88:25 129:22 140:2 147:16 148:3 148:10 156:2 159:20 159:23 226:23 estimated 165:10 170:12 170:17 278:5 estimates 65:6 66:16 78:13 87:4 220:5 230:4 296:13 estimating 236:24 estimation 11:13 167:23 estrogen 311:20 et 43:13 79:11 191:23 235:14 246:10 280:12 ethical 197:17 198:7 199:7 199:9 201:10 205:21 210:3 ethically 197:21 200:7 ethics 50:2 199:25 200:12 201:2 ethnic 25:7 ethnicity 165:25 246:11 254:21 255:2 Europe 149:25 150:5 156:13 161:17 166:2 198:25 199:2 European 27:4 107:21 246:16 evaluable 124:12 evaluate 74:3 162:24 163:18 165:3 185:23 311:4 evaluated 65:12 104:20 137:18 evaluates 26:13 evaluating 163:25 196:19 Evaluation 6:17 70:14 122:10 evaluations 137:17 evaporated 306:16 evens 93:18 eventually 191:15 everybody 88:9 96:23 117:21 118:16 133:3 145:22 184:18 190:19 211:25 212:25 216:2 241:7 245:15 256:5 256:7 291:24 292:12 307:11 313:24 everyone 9:2 106:23 120:10 212:2 216:21 298:23

evidenced 258:23 259:24 evidences 17:3 evident 62:21 evolving 130:24 130:25 exact 112:19 121:10 exactly 27:6 35:14 37:21 52:14 94:18 144:13 145:6 163:17 164:12 165:5 176:22 185:22 193:18 194:11 197:8 203:14 216:6 268:20 272:25 287:13 287:17 292:23 294:15 301:23 exaggeration 39:18 examining 148:17 example 32:13 35:2 43:23 87:20 97:13 97:22 121:23 124:21 138:21 147:25 151:22 190:2 195:17 226:8 237:6 261:3 301:11 305:2 306:12 306:22 311:18 examples 22:21 265:6 266:21 275:6 exceeding 56:24 excellent 31:16 exception 57:19 216:11 274:4 300:2 exceptions 6:19 excess 45:10 88:24 138:11 151:8 152:2 205:13 226:14 excited 132:24 280:13 exclude 7:7 65:14 137:9 excluded 173:6 excluding 86:8 exclusion 7:8 17:13 exclusions 119:11 124:14 exclusively 295:18 excrete 40:24 excreted 17:22 41:5 excretion 17:25 19:7 19:17 29:13 29:15 29:18 29:18 29:20 34:5 34:5 40:22 42:18 47:15 48:10 56:24 59:14 93:15 159:22 160:8 160:10 160:17 160:18 161:7 161:25 164:23 165:9 169:21 191:15 276:10 excuse 81:4 150:2 **Executive** 6:8 35:9 exercise 288:2 exist 146:4 279:24 305:13 existing 298:21 exists 119:17 129:20 273:2 298:2 301:2 306:21 307:6 expand 27:15 36:23 129:10 141:4 158:10 176:6

expansion 17:4 19:3 189:23 268:25 expectation 169:15 187:12 expectations 283:18 292:24 293:11 302:2 expense 78:9 experienced 63:7 63:13 68:12 68:18 221:12 experiences 265:7 experimental 50:21 57:11 expert 146:6 explain 179:17 186:7 188:20 222:2 236:20 314:14 explained 177:10 180:22 181:21 192:11 explains 14:21 44:4 explanation 38:22 156:7 247:4 259:11 276:15 explanations 91:19 287:11 explanatory 252:11 explicit 245:23 exploratory 106:10 110:21 147:24 150:14 exposed 209:25 exposure 109:16 109:17 expressed 156:16 expression 191:2 exquisite 142:12 extend 275:5 extending 114:13 extensive 309:22 external 295:8 296:17 extraordinarily 24:5 extrapolate 277:22 extrapolating 286:13 extreme 242:20 extremely 26:23 34:24 35:7 197:11 256:14 282:6

- F -

face 15:14 208:16 289:14 290:9 297:2 facing 24:3 200:13 200:14 227:20 factor 34:18 85:25 116:16 224:10 300:23 factorial 141:24 factors 40:22 49:7 51:5 66:7 76:21 99:14 108:10 248:19 facts 281:3 fail 213:8 failure 11:5 16:5 30:16 45:19 46:11 52:2 56:14 66:15 75:13 76:18 91:13 91:15 98:17 98:18 98:23 100:4 103:14 103:20

105:25 106:15 107:9 109:14 109:15 110:12 110:15 110:17 111:7 111:8 137:14 137:17 138:8 138:11 138:22 180:4 180:13 200:23 201:8 203:22 225:13 235:19 239:3 286:21 293:20 301:18 failures 289:14 fair 74:8 84:18 88:22 107:24 156:19 181:8 200:10 232:22 259:25 292:11 292:11 303:13 312:13 fairly 89:2 113:22 230:15 242:24 fairness 7:11 163:11 falls 266:2 false 233:18 familiar 101:9 128:2 149:20 fascinating 316:9 fashion 273:14 faster 25:9 26:2 95:16 104:10 142:18 144:21 146:8 146:10 151:14 fatigued 99:5 favor 62:2 66:17 81:24 135:2 135:18 135:25 136:6 136:16 142:19 224:10 254:14 314:23 favorable 103:15 262:17 302:23 favored 66:15 favoring 127:18 favorite 170:2 favors 140:2 148:10 211:15 FDA'S 248:25 FDA 6:5 7:6 54:16 72:8 72:9 78:24 80:5 82:9 90:24 90:25 114:6 183:20 183:22 183:25 184:2 184:7 185:11 212:5 247:11 254:14 255:4 288:23 288:25 290:19 291:11 295:15 298:20 299:7 304:13 305:12 306:9 306:20 307:7 308:3 fear 251:20 federal 13:21 98:21 Federation 163:13 feedback 31:9 82:10 feeder 21:19 feelings 33:11 306:2 fellows 132:25 females 148:5 149:21 fewer 90:11 109:18 213:23 226:18 226:25 227:2 227:6 228:2 228:14 238:21 255:15 filter 14:3 14:25 19:15 38:16 40:16 40:17 41:2 50:16 55:16

filterable 11:10 filtered 11:21 13:5 48:11filtering 11:7 filtration 10:19 11:8 11:21 11:25 12:14 12:24 13:4 13:8 13:10 14:16 14:18 15:5 17:13 17:20 19:16 20:24 20:25 23:3 33:20 33:25 38:15 44:10 51:11 51:18 124:3 161:24 163:8 171:2 174:13 176:14 185:2 185:5 186:10 192:13 192:25 196:24 202:5 204:24 310:3 financial 6:15 7:6 7:12 finding 46:9 219:21 231:21 241:5 242:8 261:14 302:24 findings 47:11 292:10 finds 241:3 finish 101:23 133:10 188:7 firm 82:11 firms 6:17 7:5 7:12 Fisher 8:22 87:17 87:19 88:7 88:15 89:9 101:5 122:11 fits 301:23 **fixed** 41:4 **flat** 171:6 Fleming's 134:10 **florid** 18:19 focus 49:15 67:11 85:3 85:16 86:9 88:19 157:18 228:10 228:21 237:11 248:3 253:22 270:2 focused 110:6 134:18 256:7 269:3 295:18 focusing 100:22 foggy 215:21 folks 302:10 follow-up 41:11 58:21 63:12 63:24 77:8 89:25 96:15 111:19 125:21 125:24 126:4 126:24 148:21 157:10 176:7 206:22 215:11 215:14 217:4 219:12 221:9 222:9 226:9 227:18 252:3 follow 26:12 32:19 47:20 52:10 80:9 84:10 96:22 99:21 114:11 114:21 117:24 126:19 174:22 174:24 184:13 196:7 197:12 215:22 216:7 226:10 230:24 294:8 Food 242:9 fooling 47:12 forced 234:17 forget 127:12 200:4 forgive 158:14 formal 6:6 118:25

133:10 formidable 127:23 formula 11:15 165:12 165:12 165:15 165:15 forth 26:25 27:3 37:6 37:7 41:4 48:8 50:4 90:13 101:15 108:17 124:6 147:9 207:22 211:12 262:5 fortunate 49:22 116:15 fortunately 291:10 forwarded 196:12 196:13 four-fold 160:2 fraction 47:5 47:9 115:21 227:14 frame 63:21 Francisco 266:12 266:16 Freedom 7:2 freely 11:21 13:5 frequency 103:23 120:3 253:22 frequent 154:9 frequently 57:16 60:9 68:23 180:25 Friday 316:19 frightened 116:17 fulfilled 137:24 fully 86:24 130:9 130:20 153:17 154:16 295:4 functional 10:18 17:19 20:8 39:13 39:17 40:15 49:5 49:8 49:16 50:15 51:5 188:18 204:23 functionally 17:9 fundamental 225:6 252:16 309:8 fundamentally 49:4 115:18 funny 118:12 Furthermore 22:20 57:15 66:3 172:14 future 31:21 50:4 210:4 210:5 252:4 267:11 274:17 297:3 - G gain 190:21 305:12 315:16 gained 181:12 gathered 264:18 gee 90:2 90:4 114:15 199:15 232:22 299:20 299:21 geez 243:17 gender 148:14 246:11 250:8 254:21 255:2 generalize 274:11 generate 233:13 genetically 25:16 Ĭ47:2

geographic 218:15 geometric 93:11 93:18 geometrical 93:14 Ğeorge 122:24 germane 272:14 273:14 273:17 274:4 275:15 276:6 281:19 Germany 147:5 gets 44:23 146:20 257:10 271:22 GFR 29:2 33:4 38:4 45:14 123:13 123:25 124:7 131:19 142:7 161:23 166:18 171:15 173:2 173:3 173:9 173:20 174:6 174:9 175:6 177:9 182:17 182:23 185:14 186:6 193:5 193:16 195:21 196:4 258:10 259:15 259:18 259:20 259:24 260:2 260:20 265:13 271:12 271:14 308:4 308:7 308:11 311:8 gigantic 195:18 **giving** 19:25 159:15 160:6 170:20 193:6 247:4 247:5 294:19 glad 18:23 97:16 146:12 glass 269:3 269:4 270:15 global 87:6 109:19 109:25 111:15 251:22 globally 85:8 87:5 115:25 globs 187:4 glomeruli 23:18 39:14 39:23 39:24 46:18 189:11 glomerulus 15:8 33:7 38:6 38:7 glucose 36:6 39:2 166:8 190:7 224:11 glycemic 34:16 34:17 34:22 35:2 279:19 goal 12:12 16:13 23:9 23:16 34:8 42:10 48:14 97:19 102:4 267:12 goes 21:24 29:7 33:3 46:22 101:8 104:15 112:12 146:6 178:6 191:18 309:3 gold 50:13 gotten 35:12 250:15 312:25 Government 70:2 98:21 116:13 graceful 15:10 graded 31:22 311:3 grading 241:16 gradual 99:13 grams 19:19 grant 132:25 granted 6:21 70:12 88:9 graphic 15:2

greatest 264:10 greatly 10:15 grew 132:24 grill 48:17 Groningen 182:9 gross 29:24 **groups** 25:7 26:14 26:15 26:21 27:10 27:25 27:25 28:6 35:19 36:8 58:3 58:10 58:24 59:2 59:6 59:17 59:21 61:6 65:15 67:17 69:5 72:17 81:7 95:13 103:25 105:15 107:14 123:20 129:14 140:21 142:18 143:14 143:17 154:22 179:9 181:20 208:2 209:6 213:22 220:19 235:11 235:21 237:25 238:7 259:20 guess 27:7 33:13 35:20 36:11 36:12 39:8 51:14 69:21 70:21 73:2 73:5 78:15 90:15 100:5 110:5 116:19 128:15 136:19 138:7 153:21 194:21 196:7 202:16 203:15 214:13 215:22 218:5 239:8 248:24 276:17 281:9 281:10 289:4 290:6 291:13 291:16 294:9 305:21 310:20 guesstimate 85:18 guideline 297:14 guidelines 125:4 291:12 297:13 guys 169:17

- H -

halved 37:21 123:13 halves 12:5 halving 12:8 12:24 13:8 13:10 14:15 14:17 hand 234:5 246:11 260:17 handful 82:7 handle 215:8 313:19 handled 124:22 214:4 214:4 214:7 214:18 214:19 214:20 215:4 215:5 217:6 217:6 217:19 217:19 218:4 219:22 handling 213:25 219:20 257:3 258:3 hands 208:10 Hans-henrik 8:12 happen 30:17 39:23 87:9 104:23 109:9 118:5 127:2 136:11 136:21 184:20 193:17 203:23 218:25 220:21

260:16 275:9 happening 31:10 85:8 170:15 225:14 233:4 happens 13:3 15:15 20:21 44:22 49:20 51:22 114:3 163:10 177:21 185:23 203:14 298:4 happier 122:19 happy 80:4 288:11 harder 27:5 27:16 37:20 110:17 270:13 313:24 hardly 210:23 harm 65:14 128:17 128:20 180:10 203:5 235:13 235:23 309:12 harmony 166:19 Harry 241:6 hazard 167:23 hazardous 277:9 head 184:24 274:16 274:16 278:8 health 26:3 109:25 227:19 heart 46:7 56:13 66:14 75:13 91:13 91:15 100:4 103:14 103:20 105:25 106:15 107:9 110:12 110:15 110:17 111:6 111:8 116:8 116:17 117:3 131:11 132:25 133:2 133:3 137:10 137:14 137:17 138:8 138:11 138:22 203:5 203:11 203:22 216:4 225:13 235:18 286:21 287:25 301:18 heavily 86:18 heighten 220:22 heightened 220:24 hell 293:25 helpful 53:2 231:20 237:21 249:14 261:17 261:20 273:5 307:7 308:8 helping 144:19 249:15 helps 142:13 222:12 231:18 251:9 266:14 hemodynamic 178:11 187:16 191:25 260:12 hemodynamics 47:14 188:12 188:23 250:21 hemoglobin 35:5 35:11 35:14 35:17 35:18 35:21 36:2 39:2 61:13 166:6 hence 144:4 **Henriquez** 6:7 6:9 heralds 159:6 heroic 208:12 hesitate 279:20 Hi 77:12 hierarchical 110:5 110:23 hierarchy 230:13 highly 112:15 127:2 170:9

Hirsch 6:23 41:11 103:9 250:14 250:18 267:8 275:14 279:11 305:10 313:7 Hispanic 25:12 25:16 25:19 146:16 147:3 Hispanics 25:3 26:14 143:23 146:11 histologic 22:21 histologically 268:18 histology 268:21 Historically 242:7 history 10:14 42:3 59:7 92:24 103:20 104:14 195:2 196:21 229:24 241:4 265:3 267:21 267:23 279:17 309:14 309:17 315:18 hit 38:9 71:5 101:9 169:12 169:17 175:2 hitting 86:19 312:24 hoc 24:19 32:15 66:21 108:25 136:8 234:3 255:7 256:24 Holland 182:9 honest 183:19 honestly 154:23 Hopefully 199:20 199:22 **hoping** 116:5 horizontal 206:19 horn 316:5 horrible 210:16 Hospital 8:25 24:21 55:24 109:18 109:19 110:15 138:22 hospitalization 56:13 66:14 105:24 105:25 106:16 107:9 108:9 110:12 110:17 138:3 235:9 235:18 hospitalizations 98:11 99:18 109:12 109:21 111:17 hospitalized 111:6 154:12 Hostetter 73:3 hotel 40:23 hourly 36:25 huge 116:16 151:10 178:8 179:14 237:7 humans 22:3 hundreds 20:5 117:15 hyperbolic 11:19 12:2 12:11 37:5 37:9 37:19 37:24 123:24 124:8 195:11 hyperfiltration 263:4 263:6 hyperkalemia 68:6 68:7 68:10 68:12 68:17 68:19 121:22 200:25 201:8 211:12 hypertension 7:17 9:5 9:7 9:25 10:22 28:18 43:24 44:2 49:24 54:3

54:7 54:12 56:23 68:22 87:25 93:4 131:10 131:14 141:22 148:14 148:16 148:18 148:20 149:4 149:6 149:8 152:25 180:2 180:2 180:4 180:15 208:6 213:6 213:9 213:9 240:12 240:16 240:18 252:15 252:22 252:24 263:5 298:4 298:15 hypertensive 9:18 9:21 26:9 43:3 43:22 87:22 88:9 92:13 92:15 96:5 145:2 163:22 200:18 240:5 255:22 263:17 263:18 264:20 280:23 283:20 283:20 304:3 304:7 hypertensives 282:22 hypertrophy 313:12 hypoaldosteronism 68:6 200:24 hyporeninemic 200:24 hypotheses 55:2 57:17 hypothesis-generating 107:25 293:3 293:7 hypothesis 113:20 163:16 196:12 196:13 255:8 263:2 hypothesize 239:9 hypothesized 238:13 238:15 238:16 258:22 259:23

- I -

i.e 85:16 247:22 idea 46:9 71:8 71:11 99:22 106:6 201:3 254:23 305:17 307:15 ideal 11:23 11:25 13:4 49:13 282:13 ideally 50:21 identical 94:19 124:10 166:5 167:3 167:6 167:14 168:14 170:22 179:2 179:3 181:25 203:22 208:2 210:11 210:12 210:13 275:16 276:6 279:22 282:7 293:8 identify 202:12 IDNT 8:7 8:11 9:18 15:20 16:7 26:24 29:24 35:4 45:23 53:6 92:13 149:18 159:20 159:21 161:5 162:10 163:18 166:16 166:20 196:3 199:8 202:4 204:19 208:17 209:16 235:6 239:14 245:20 250:12 255:20 263:15 263:25 267:17 270:9 283:17

283:25 284:4 300:11 314:13 iffy 243:9 ignore 234:14 279:14 297:20 **II** 9:3 22:13 22:22 23:2 55:3 57:20 74:19 188:11 191:18 262:4 274:8 274:10 illness 154:12 illuminating 201:16 imagine 89:3 96:16 208:3 imbalance 144:9 144:11 imbalances 103:18 impact 24:9 41:25 47:9 64:12 75:17 109:23 113:20 132:3 148:14 149:16 215:13 282:25 291:13 298:24 299:24 299:25 314:20 315:19 impacted 131:6 229:3 impacting 116:3 impaired 68:9 impairment 38:2 implication 33:15 258:9 implications 258:4 imply 236:7 251:21 implying 32:22 importance 20:20 44:8 57:7 110:24 111:3 165:3 176:12 202:19 255:13 307:2 importantly 22:10 69:17 99:6 impossible 197:18 impressed 267:12 impression 216:18 **impressive** 47:6 122:16 233:16 314:9 improve 188:25 improved 169:9 **improvement** 42:3 109:25 192:2 193:16 239:20 263:10 268:2 268:2 311:21 311:24 impurities 11:7 Inability 68:20 inanition 98:12 inception 54:18 incidence 58:23 68:7 68:12 79:25 80:15 81:21 82:4 83:5 100:7 100:12 141:14 141:15 223:8 incidents 67:14 100:3 included 30:23 56:8 58:10 58:13 64:14 66:2 70:21 80:6 85:10 94:9 102:14 126:24 135:24 137:15 173:2 173:2 173:10 173:12 173:13 175:8 217:7 includes 67:23 80:22 136:4 203:11 225:9

257:4 inclusive 225:23 incomplete 252:3 incorporate 302:18 incorporated 149:5 increases 41:4 42:17 63:15 75:23 84:11 193:24 increasing 120:15 162:7 204:15 204:16 209:2 230:6 incredibly 219:15 249:2 incurred 138:5 **INDANA** 149:4 independent 21:12 55:5 56:20 57:24 62:9 69:18 101:12 128:7 129:8 132:9 209:14 240:12 242:8 247:16 248:22 305:23 independently 137:23 index 44:19 51:24 India 175:25 Indian 25:15 25:23 146:18 146:19 Indians 146:10 indicate 45:25 63:14 66:16 203:7 311:23 indicated 115:3 216:12 253:3**indicates** 180:10 indicating 51:18 66:10 81:6 indication 9:4 9:24 53:24 54:9 120:8 121:7 225:19 240:11 254:15 264:17 272:5 290:20 indicator 309:8 indirectly 115:12 indistinguishable 59:22 61:3 individuals 117:16 134:6 **induced** 265:9 inducing 189:10 industrial 33:22 inevitable 159:6 inexorable 10:16 14:19 42:16 51:15 206:4 inexorably 25:19 infarct 43:13 infarction 43:6 43:13 47:3 56:13 66:17 103:19 239:4 296:14 infer 97:13 122:19 128:4 222:16 231:23 232:4 inferences 261:14 275:21 277:4 298:10 inferential 115:19 inferred 279:3 inflated 233:17 influence 22:12 49:7 51:5 66:6 105:17 108:13 116:3 130:9 152:7

219:23 280:14 287:11 288:9 influenced 86:19 151:4 229:16 229:17 247:21 281:4 287:6 influences 282:22 290:2 influencing 114:16 130:20 Information 7:3 7:22 8:5 10:4 26:6 37:17 42:2 42:14 75:10 113:3 120:6 120:17 120:18 126:5 146:3 159:10 159:16 160:6 161:14 162:17 210:5 210:15 218:19 218:20 240:14 252:19 254:9 255:5 260:5 268:14 271:18 271:19 293:22 298:19 302:4 302:13 304:14 305:22 306:20 308:10 314:18 informative 114:24 132:15 157:21 212:3 236:25 265:2 265:14 informed 101:6 infrequent 68:7 inhibit 200:11 inhibited 23:15 inhibition 12:21 21:7 22:17 149:23 188:11 191:19 inhibitor 70:22 74:15 74:21 75:2 75:4 75:14 106:18 121:10 121:17 121:20 121:21 123:9 123:10 164:16 197:24 200:8 201:13 210:4 224:15 235:18 274:6 281:2 282:17 285:14 291:3 299:10 inhibitors 47:3 57:21 69:8 73:4 73:15 75:15 117:5 117:9 118:11 120:21 121:14 121:15 122:7 122:8 124:5 200:2 200:5 204:3 204:8 207:19 208:8 211:14 249:23 270:21 274:12 275:25 278:8 279:25 283:12 286:21 290:10 291:22 293:16 295:25 301:17 initial 77:6 79:19 170:18 170:21 170:23 174:16 180:14 180:18 181:10 181:22 182:6 182:10 182:17 194:5 217:25 initially 171:15 176:15 179:21 181:9 181:16 196:25 initiate 64:5 82:15 82:17 initiated 63:21 180:8

initiating 56:6 91:18 99:11 initiation 29:25 66:5 innumerable 295:6 inside-the-park 312:24 insight 62:15 304:17 315:17 insights 237:20 286:6 instance 27:9 36:18 137:16 instilled 246:20 **Institute** 7:25 8:9 institution 52:16 52:17 instructed 76:2 instructors 250:19 insufficiency 59:9 insulin 34:25 190:7 278:21 278:22 insulting 303:8 insults 138:3 integral 51:6 intelligence 303:8 intended 195:13 252:18 intense 243:4 279:19 intensive 295:2 intent-to-treat 64:18 66:3 77:16 125:4 125:13 intent 58:10 intention-to-treat 113:7 214:7 214:21 intention 114:20 182:21 237:11 interaction 93:11 113:17 intercede 99:17 intercurrent 154:12 interest 6:7 6:9 6:11 6:19 7:6 7:11 49:2 67:24 88:12 88:14 109:20 112:19 156:21 257:8 302:23 303:3 interested 49:16 83:18 128:15 143:2 interesting 36:4 43:17 43:18 46:7 108:14 108:20 153:17 228:5 230:16 233:20 263:7 299:20 303:3 interestingly 86:21 121:9 interests 6:15 6:16 interfere 68:4 118:18 interlocking 99:23 International 163:13 interpret 111:16 114:18 120:21 184:14 188:2 188:24 240:7 251:20 interpretable 130:2 interpretation 78:5 128:19 143:12 192:8 192:10 193:5 193:10 193:10 193:12 228:20 234:4 244:16 254:8 interpretations 123:2 interpreted 184:19 interpreting 274:15

interrupt 240:10 interrupted 30:14 interruption 21:15 23:6 55:2 57:9 74:4 interrupts 73:21 interval 108:3 108:11 135:9 138:17 174:20 242:21 intervals 66:18 80:6 135:19 148:3 148:6 168:4 intervene 19:25 38:12 101:15 intervened 195:4 intervention 34:11 42:11 42:19 68:16 86:21 86:22 113:4 114:13 114:21 115:21 144:7 150:8 152:2 176:13 199:13 238:6 246:21 249:6 294:16 interventional 100:3 interventions 66:6 76:20 102:23 102:24 150:9 237:24 294:18 intraocular 41:20 intriguing 286:7 intrinsic 297:6 intrinsically 88:13 introduce 10:3 23:20 69:21 158:23 201:19 introduced 7:18 introduction 8:2 invariably 31:6 51:25 investigated 9:16 9:18 investigator 8:7 8:15 55:19 64:17 106:16 120:9 121:5 131:9 138:19 investigators 35:8 76:2 76:20 82:14 107:16 107:19 121:3 121:9 197:18 198:11 247:16 invited 54:16 104:8 involve 7:4 199:24 involvement 7:8 7:12 27.4 iothalamate 13:2 13:3 28:24 29:2 52:14 131:18 **IRB** 50:3 irbesartan's 67:11 **IRBS** 50:4 irrespective 35:17 96:6 irreversible 55:14 62:20 ischemic 103:15 **Island** 24:20 isotope 176:14 Israel 200:2 issues 24:3 27:18 36:5 48:22 49:17 71:21 73:5 89:7 89:10 89:13 89:14 93:25 99:23 144:5 151:21 152:17 153:6 172:22 199:24 219:10

246:8 246:9 246:10 246:13 247:8 251:2 253:5 254:21 255:24 274:5 306:11 313:24 Italy 182:9 203:5 ITT 126:24 215:8 215:9**W** 17:6 19:21 22:23 203:22 - J -**Jaime** 6:7 January 316:19 Jeff 71:20 153:9 193:11 219:9 224:23 256:25 314:12 315:7 Joann's 152:5 219:7 Joann 46:20 52:11 83:12 84:7 134:11 137:12 144:2 177:7 212:23 214:5 215:7 217:6 219:21 221:19 222:21 231:10 232:10 234:5 235:25 237:10 239:17 258:6 263:20 264:14 272:19 276:16 283:22 287:17 290:6 291:17 299:2 307:12 310:25 Joanne 6:21 24:18 24:21 join 104:9 **Journal** 73:4 210:7 299:20 301:9 305:3 journals 245:14 judge 304:15 judged 153:5 248:11 judgment 242:19 242:23 243:5 288:17 294:16 302:3 302:8 304:17 314:25 218:17 Julia 8:20 78:24 99:2 111:20 111:22 113:12 116:7 131:8 138:13 141:11 147:20 158:10 195:10 jump 271:13 justification 112:8 justified 13:9 249:11 148:9 - K -Kannan 77:12 Kaplan-meier 14:12 61:18 62:5 80:21 80:23 108:5 134:24 159:20 167:25 206:16 Kaplan 80:20 keeping 151:21 164:13 keeps 33:4 key 48:22 51:14 84:25

99:6 149:9 158:9 222:16 276:17 283:16 kidney's 55:16 kidneys 133:3 142:2 kinds 46:8 130:23 179:7 230:18 238:14 244:7 254:18 278:23 298:19 316:10 Kingdom 35:12 kissing 275:16 knowing 83:18 105:15 107:17 115:6 132:23 165:13 165:13 knowledge 29:11 56:18 188:22 243:20 knowledgeable 277:24 known 15:7 47:15 48:6 58:19 58:20 59:8 66:6 68:4 105:5 126:14 126:15 126:21 148:14 166:5 211:10 217:4 217:8 279:25 knows 133:4 208:7 243:14 262:9 Kopp's 41:12 Kopp 24:19 24:20 31:14 31:15 33:13 34:13 72:14 72:15 119:16 147:12 150:15 185:13 185:17 185:21 212:15 212:17 224:3 224:4 240:22 240:23 241:24 261:22 261:23 268:16 271:9 273:16 273:17 281:20 292:20 310:11 310:12 313:20 313:22 - L lab 105:22 218:15 label 255:5 labeling 153:6 256:11 laboratory 55:23 76:12 165:21 218:11 labs 218:20 lag 86:15 86:21 86:23 largely 286:14 Lastly 22:25 61:15 69:6 74:10 75:3 136:3 lasts 186:15 latent 44:18 latest 130:21 Latin 156:10 Laughter 40:6 45:7 48:15 48:18 53:20 69:23 70:3 104:13 132:19 133:5 158:25 161:2 182:25 183:17 183:21 183:24 189:4 201:23 210:8 240:17 243:12 245:7 247:2 250:17

256:20 281:12 285:20 288:15 294:2 310:9 313:5 316:14 launch 73:2 law 287:16 layers 15:3 LĎL 41:18 313:18 leading 74:23 124:7 192:13 192:13 192:14 239:3 278:23 leads 16:3 18:19 226:12 leak 41:2 111:11 leaking 161:11 leap 268:9 leaves 108:7 leaving 170:14 176:16 194:18 length 80:10 96:15 205:4 lengthy 242:14 lesion 15:22 16:14 16:15 16:17 18:20 23:14 23:19 189:22 lesions 162:20 162:24 lesser 254:5 let's 33:8 43:22 54:13 72:12 75:7 80:20 85:23 85:23 87:2 87:6 87:14 87:15 97:11 99:21 106:3 106:7 106:8 153:6 153:7 155:3 191:10 224:21 231:3 235:5 244:2 257:20 258:19 263:12 272:3 286:19 290:21 298:22 305:19 307:21 levels 32:12 58:2 59:21 61:4 61:8 61:12 93:8 106:24 128:10 130:25 152:23 159:24 160:7 260:11 262:13 **liberty** 300:22 **lie** 15:13 life 26:5 49:14 108:23 113:2 lifestyle 97:12 liked 261:17 264:6 likelihood 95:3 105:6 limit 18:4 252:23 limitation 269:18 limitations 97:14 linear 37:24 57:2 lines 122:2 linked 268:3 linking 159:19 LIPICKY 39:8 40:4 42:10 90:15 91:9 186:20 187:10 187:18 187:21 187:25 188:6 189:7 196:7 198:2 198:7 198:13 198:16 199:3 212:20 213:7 216:17 216:21 216:24 217:2 220:16 222:25 223:2 223:16 240:10 240:15 240:21 243:13 244:15

244:20 245:8 245:10 246:25 262:20 284:17 285:4 285:9 287:23 288:16 301:4 303:5 314:12 314:24 315:4 316:11 lipid 311:21 lisinopril 290:18 listed 78:2 213:20 listen 290:18 302:22 listening 48:24 49:2 127:22 liter 166:9 166:10 literature 25:14 25:15 28:4 74:9 101:10 143:23 145:7 162:8 163:3 164:18 175:10 175:13 177:18 190:3 202:10 209:24 253:9 253:19 Lloyd's 101:23 Lloyd 8:22 88:6 100:20 101:4 122:10 load 191:25 located 57:3 location 250:8 log 160:7 230:18 logic 288:20 315:7 logical 16:18 42:6 288:22 294:8 logically 315:24 long-term 176:3 177:4 182:7 262:18 longer-term 89:25 197:12 longitudinal 146:19 looks 32:16 78:18 90:12 122:2 189:13 191:8 205:23 233:2 238:18 268:20 274:16 289:8 **loop** 60:14 loops 15:11 15:13 LORELL 75:9 76:19 76:23 99:25 100:24 101:3 147:13 197:10 198:4 198:10 198:15 198:17 224:19 237:20 244:13 244:14 245:22 245:25 251:8 251:19 262:23 270:5 273:19 281:24 283:3 292:22 304:6 306:18 307:4 311:12 losartan 198:6 210:18 251:9 292:8 lose 129:2 195:18 196:24 243:18 247:12 255:18 losing 95:12 95:15 171:2 171:16 186:15 loss 51:24 55:17 62:20 141:2 260:25 lots 130:5 266:21 lovely 24:14 24:25 271:12 lowered 171:3 180:8 191:10 284:2

lowering 21:14 29:21 48:12 55:6 57:7 58:2 62:10 65:19 74:3 132:9 132:13 163:20 176:2 178:3 180:7 187:16 249:16 260:18 lowers 22:7 lucid 172:20 luckily 182:5 lump 78:15 235:4 **lumping** 107:23 lunch 72:9 79:13 114:7 lupus 49:15 50:9 **lurking** 248:6 lying 160:13

- M -

macroalbuminuria 197:15 198:21 macromolecule 20:11 macromolecules 17:14 23:3 macroproteinuria 31:20 magic 219:13 magnitude 51:4 75:19 195:5 225:5 229:8 230:21 256:22 276:10 276:12 276:14 278:4 279:16 282:19 283:4 283:7 284:13 292:15 293:4 293:8 313:25 315:12 magnitudes 277:2 280:10 main 149:10 167:24 198:23 maintain 120:15 241:20 maintained 60:23 62:7 261:11 262:14 maintaining 120:11 major 34:18 75:13 169:21 178:10 180:16 187:15 227:21 255:14 255:15 288:22 306:3 311:24 majority 60:13 63:19 309:20 male 59:3 165:23 males 147:25 148:4 149:20 149:21 149:23 149:25 150:2 150:3 150:5 150:6 150:9 malignant 144:21 145:6 180:2 180:3 180:15 manage 113:19 managed 203:2 management 52:2 57:18 57:25 mandated 114:7 manifest 272:11

manifestations 112:2 mantra 246:20 manuscript 251:13 map 150:12 marathon 160:11 marathons 41:4 Marc 8:23 104:3 104:24 110:10 111:23 115:3 115:10 margin 253:4 marginal 144:10 157:18 marginally 261:16 marked 15:25 marker 43:22 99:6 162:19 225:4 265:9 265:15 265:23 309:24 311:23 313:13 313:15 315:17 315:19 markers 41:13 41:17 51:13 market 297:10 297:14 marvelous 190:2 mass 36:17 187:3 massive 93:21 material 18:19 Mathiesen 185:6 matrix 22:20 268:25 matters 188:16 Mauer 190:4 maximum 9:8 MC-128 136:13 MDRD 150:7 180:17 meaningful 60:6 61:7 113:3 233:17 235:24 236:17 meant 196:10 213:5 220:22 measurable 12:15 51:2 109:23 109:24 measure 11:23 11:24 11:25 17:16 38:14 39:12 39:13 39:16 40:14 40:16 49:3 51:16 52:4 52:6 52:7 52:8 52:24 55:15 60:15 65:11 109:19 131:17 154:6 195:19 204:23 206:8 227:5 269:12 311:8 311:10 311:14 measured 11:7 16:23 19:20 20:11 20:12 20:12 20:15 22:2 22:3 22:15 90:23 109:25 130:21 155:11 168:5 168:5 185:2 259:15 measurement 53:2 55:22 58:17 138:18 165:12 195:21 measurements 59:16 76:3 76:11 138:16 166:15 195:21 measures 11:20 21:4 56:16 67:18 228:22 232:19 232:20 232:25 233:5 247:25 248:7 248:10

measuring 11:8 12:19 13:4 17:15 38:15 38:16 40:15 40:18 42:11 50:11 175:3 176:13 mechanism 23:5 74:4 74:17 209:14 272:4 284:7 284:10 mechanisms 57:8 65:19 132:11 25**4:22** 273:4 275:10 284:9 284:25 299:11 299:13 mechanistic 287:11 median 14:15 62:23 75:19 75:22 205:24 medians 122:5 Medical 8:4 53:19 132:20 149:7 209:24 209:25 211:13 Medicare 13:13 13:21 56:7 97:18 98:3 98:8 98:16 98:18 medication 53:8 55:19 56:19 64:16 64:20 66:2 68:14 68:22 77:18 101:20 112:23 119:23 120:13 120:15 135:4 179:7 184:13 188:3 medications 28:16 Medicine 8:21 8:24 78:2 305:3 meeting 6:4 6:11 6:13 6:14 6:19 101:11 104:6 127:21 134:4 173:4 248:18 254:23 meets 284:15 Meier 80:21 Melisa 8:8 23:21 172:16 mellitus 209:13 membrane 15:4 15:9 17:2 18:17 18:25 33:24 113:17 189:23 membranes 15:10 33:23 268:24 mentally 122:15 mention 170:21 256:11 mentioned 48:25 72:16 111:25 163:12 177:10 224:10 310:24 313:25 mentioning 179:24 mercury 57:24 59:24 59:25 127:25 131:16 164:14 167:7 167:17 167:21 merit 247:15 256:11 mesangial 18:19 19:3 189:22 268:25 mesangium 15:7 16:2 17:4 message 159:23 169:19 175:16 186:13 186:13 186:18 190:14 203:6 279:9 messages 172:5 meta-analyses 85:9 meta-analysis 96:6 metabolism 16:24

17:6 22:20 22:23 metaphor 267:25 MI 78:4 78:11 85:25 87:13 105:21 110:8 111:5 111:11 220:5 225:12 235:8 235:17 Michael 190:4 microalbuminuric 19:18 19:22 20:17 57:12 170:16 171:17 176:19 186:14 195:24 196:5 197:8 268:19 microgram 161:3 micrograms 18:2 19:8 20:19 160:17 160:18 164:24 166:12 168:16 169:18 microphone 212:25 263:14 microscope 300:11 Mike 246:6 264:5 274:2 275:24 276:25 304:10 312:7 mild 59:9 milestone 14:19 95:4 milligram 171:8 171:9 177:16 178:8 179:13 181:12 182:2 209:9 218:18 259:6 259:7 260:10 260:16 260:17 261:10 261:18 milligrams 9:8 9:8 18:3 38:6 56:4 56:24 56:25 59:10 62:25 63:9 134:23 159:24 164:7 164:8 166:11 167:20 168:18 170:3 172:11 178:19 181:20 184:4 262:17 milliliter 181:16 milliliters 59:11 170:24 174:7 181:13 millimeter 59:24 59:24 131:16 167:18 millimeters 57:23 127:25 164:14 167:6 167:16 167:21 208:11 313:17 millimoles 166:9 166:9 million 9:6 158:12 158:13 mind 24:14 90:15 132:6 178:15 190:11 212:8 229:19 244:18 268:4 297:22 minds 291:15 mine 210:7 minimal 310:16 minimally 271:8 minimization 117:25 **minimum** 194:24 Minnesota 190:4 minor 29:11 36:11 144:11 minorities 27:19

139:19 139:19 minority 26:21 27:21 27:24 28:6 156:11 minute 13:16 18:2 19:8 20:19 59:12 77:22 98:5 142:5 160:17 161:25 162:2 162:5 162:9 162:11 164:24 166:13 168:16 171:10 171:12 171:14 171:16 174:7 174:17 179:16 186:15 197:7 234:15 281:22 miracle 256:14 **MIS** 106:13 111:7 111:8 232:25 misallocation 103:18 misinterpreting 232:8 misleading 226:7 misquoting 240:10 missed 72:16 154:5 186:22 missing 58:17 268:9 misstatement 46:4 mistake 243:23 mixed 189:7 ml 161:25 171:11 171:14 mls 13:16 98:5 142:5 162:2 162:5 162:8 162:11 171:10 171:16 181:20 186:15 197:7 model 38:23 61:9 129:5 190:24 models 57:11 273:11 moderate 59:9 modest 152:4 237:2 237:15 237:16 modification 151:21 modifier 144:5 144:14 144:16 152:9 modify 188:12 modulated 31:7 modulation 22:22 Mogensen 160:23 molecular 279:23 molecule 11:14 11:25 13:3 molecules 11:10 money 116:13 monitor 64:4 monitoring 58:6 68:15 month 168:6 178:5 262:19 months 14:17 36:21 58:5 60:23 62:6 63:3 63:22 82:23 105:23 122:7 122:8 127:21 168:6 168:6 168:6 168:9 170:19 171:3 179:22 181:4 182:18 203:18 203:19 205:25 206:22 207:6 207:9 221:9 222:19 229:23 230:2 259:15 259:17 262:7 262:16 272:11

282:8 morbid/mortal 39:15 morbidity 64:8 65:11 67:2 239:6 278:24 morphologic 50:16 191:2 morphological 189:10 189:12 189:14 190:24 191:25 morphology 50:19 morphometric 50:11 Mortal 217:4 mortality 41:23 43:2 43:4 43:12 56:8 56:18 58:18 58:19 64:9 65:6 65:11 67:3 69:13 75:16 88:19 101:23 102:2 102:3 112:18 125:24 126:15 126:20 148:25 157:2 157:2 203:2 207:8 210:25 215:18 216:8 216:9 222:3 225:9 239:6 247:25 248:12 272:10 277:15 277:17 277:19 278:24 mostly 249:16 motivated 49:6 mountains 117:4 291:21 MRC 148:20 mrna 17:6 19:20 muddy 190:22 Muehrcke 8:2 multifactorial 176:12 multinational 26:25 multiple 49:19 50:2 50:8 57:19 148:9 184:15 **muscle** 36:17 muscular 37:3 Myers 122:25 Myocardial 43:6 43:13 43:25 56:13 66:17 103:19 239:3 296:14 myriad 114:16 227:19 265:6 309:14 309:19 mysterious 189:15 - N nadir 41:21 name 77:12 212:24 narrowed 35:5 narrowest 108:3 108:11 narrowly 110:6 292:23 Natarajan 77:11 77:12 77:13 124:23 126:2 126:17 129:11 130:15 132:4 143:10 152:19 national 306:3 Native 25:14 natural 10:14 42:3 42:14 59:7 145:18 145:19 195:2 196:21 229:24 265:3 267:21

267:23 309:14 309:17 315:18 naturally 19:11 nausea 98:11 253:17 NDA 7:16 near-doubling 217:20 necessarily 33:10 33:12 51:6 147:9 239:10 265:7 279:5 302:18 neck 296:22 needing 221:15 negate 44:8 negated 189:14 negative 18:13 271:8 288:25 289:2 289:17 305:6 neighborhood 227:9 248:5 nephrologist 8:8 15:15 36:14 40:5 97:20 98:7 99:3 109:8 116:10 132:18 172:22 184:17 253:8 288:14 291:15 308:2 308:6 nephrologists 24:18 24:22 32:3 33:19 36:24 174:21 203:9 253:19 288:7 288:10 309:6 310:17 nephrology 13:18 41:12 64:2 82:16 186:17 307:22 nephrons 39:17 nephropathies 272:22 nephrotic 59:15 269:14 nephrotoxic 23:2 nephrotoxicity 33:8 nervous 245:13 nervousness 156:17 net 193:22 235:2 298:17 neurologic 232:25 neurological 56:14 neurologist 109:8 neuropathy 69:3 Nevertheless 208:13 315:15 news 106:3 109:2 165:19 171:5 178:12 nice 47:4 52:13 89:11 90:12 222:13 262:15 nighttime 160:15 **NIH-SPONSORED** 131:13 NIH 24:20 27:20 113:15 141:22 146:18 nil 108:5 NISSEN 43:2 43:6 43:9 44:22 77:21 102:10 102:16 102:18 103:2 110:4 111:19 111:21 112:6 113:7 155:18 199:6 214:25 234:12 238:25 249:21 266:21 274:24 278:19 282:12 290:6 291:7 296:7

300:2 304:22 312:14 313:4 no-no 284:19 284:23 nobody 89:10 214:12 243:14 nominal 231:5 231:24 nominally 253:4 256:22 non-bms 56:20 non-hypertensive 304:4 non-ind 212:18 non-inferiority 75:5 non-nephrologist 39:9 41:24 197:14 271:12 non-north 155:20 non-renin-angiotensin 210:4 non-treatment 151:13 non-white 146:11 non-whites 147:17 151:24 152:10 152:17 noncomparison 73:4 nondiabetic 31:21 43:21 99:12 149:24 150:4 none-too-small 213:24 None 28:22 80:12 81:16 nonetheless 70:14 252:16 276:3 nonfatal 43:13 56:13 66:17 78:11 105:21 106:13 110:7 111:3 111:5 111:7 111:8 216:16 235:8 235:17 255:12 nonrandomly 207:2 nonsignificant 143:8 228:4 229:6 229:7 nonwhite 140:5 Norm 316:7 normality 163:8 normalization 273:10 normals 19:11 Norman 316:11 normotensive 176:3 noted 7:8 notes 115:17 notice 59:10 notion 196:16 199:2 199:4 nowadays 279:20 numerator 11:14 numerical 228:2 numerically 143:14 nutrition 99:7 nutritional 99:14

- 0 -

o'clock 133:9 obese 20:7 49:23 92:9 92:24 166:4 object 234:2 objective 13:17 13:20

163:18 obliteration 16:3 observation 117:19 118:7 167:16 175:22 180:23 181:19 256:9 277:5 314:5 observational 176:4 observations 99:18 108:20 116:20 281:4 293:3 **observe** 80:10 observed 59:20 59:25 60:25 61:16 61:23 62:2 62:6 62:8 63:2 64:24 65:15 87:25 128:13 135:2 135:19 136:7 156:11 196:18 observing 256:13 obtain 164:10 225:20 obtained 254:16 obvious 117:6 157:11 157:14 225:8 273:7 303:7 303:7 occur 20:4 31:11 48:12 67:24 91:20 97:13 113:9 153:18 154:18 154:19 227:11 occurred 63:6 63:20 64:19 66:4 68:23 68:25 69:8 70:15 75:12 79:16 79:18 79:22 79:24 189:11 220:10 262:6 occurrence 79:25 164:23 165:4 210:23 226:2 226:4 226:5 226:6 226:7 226:10 occurrences 64:13 occurring 16:18 26:4 98:12 227:15 occurs 16:25 16:25 25:18 86:22 192:12 268:11 309:11 off-label 249:22 282:17 290:16 offense 245:14 offer 204:7 Office 7:3 offset 122:3 122:12 122:15 132:12 201:7 offsetting 109:3 older 92:9 92:23 171:11 200:17 once-a-day 291:25 one's 29:19 305:19 one-third 270:16 one-year 102:2 ongoing 113:15 onset 146:21 146:22 159:5 224:16 onward 209:7 onwards 171:4 openly 77:4 operating 261:25 opine 250:16 252:7 252:8 opinion 34:12 42:7

50:6 213:3 222:15 252:20 254:17 292:16 315:25 opinions 297:7 opportunity 22:7 245:5 245:15 opposed 10:25 14:10 276:21 opposite 182:5 186:13 optimize 137:7 optimizing 65:20 option 179:24 orange 203:16 ordered 257:25 orient 211:25 oriented 220:17 orthostatic 68:2 69:2 69:4 otherwise 199:23 202:11 218:4 238:4 ought 45:3 241:20 242:20 275:11 276:15 278:12 279:6 300:8 300:10 300:13 ourselves 165:16 out-of-u.s 155:24 outcome 34:21 56:16 56:17 67:2 105:17 116:12 121:3 130:20 137:21 141:8 144:6 150:5 150:6 164:22 167:24 180:17 185:5 209:17 210:11 217:8 218:12 220:12 221:7 221:8 221:11 221:17 222:11 268:4 313:19 outcomes 61:19 63:16 64:25 65:4 69:13 85:15 87:10 88:13 114:16 115:25 116:4 116:10 130:25 145:13 149:17 149:21 150:10 217:10 218:21 236:13 236:20 267:13 313:15 outlined 24:4 outweigh 274:21 over-arching 24:3 24:11 over-attribute 287:8 over-interpret 180:20 overall 8:17 25:11 66:11 66:23 67:9 88:17 107:10 107:12 107:18 108:3 108:12 110:22 110:25 203:2 211:9 252:5 255:19 279:6 overdoing 143:2 overhead 120:24 120:25 overlap 66:19 78:13 80:7 148:6 overnight 160:8 160:9 160:14 165:9 189:21 overstating 207:16 overt 9:19 10:17 18:6 18:7 18:14 19:9 19:15

provided by A.R.W.

19:18 19:22 20:17 20:23 21:2 21:5 23:12 25:24 39:20 41:6 44:18 161:4 161:6 161:22 162:6 163:4 163:10 163:20 172:9 174:6 174:11 175:2 175:18 176:22 180:22 180:25 181:2 202:3 204:8 208:22 209:12 209:20 257:24 258:8 272:13 overwhelming 63:18

- P -

p.m 133:12 133:13 134:2 316:18 Pacific 156:10 package 266:5 page 151:22 182:19 210:7 pancreas 190:6 190:6 pancreatic 203:21 panel 7:21 8:20 64:21 64:23 93:25 100:6 123:24 206:15 297:2 paper 93:13 184:21 paperwork 104:10 paradigm 250:23 parallel 122:3 280:24 parallels 274:15 parameter 38:16 40:9 44:9 44:18 47:21 parameters 14:2 44:21 103:17 parity 26:23 27:5 Parklawn 7:3 partially 238:8 participants 6:16 7:6 7:7 7:10 54:16 participate 156:25 participated 183:6 partly 243:15 Parving's 42:25 48:16 Parving 8:12 8:15 33:10 42:5 42:22 47:23 69:21 158:24 159:8 161:3 173:4 173:11 173:22 174:8 174:15 175:10 177:18 179:5 181:8 182:4 182:21 182:24 183:8 183:19 183:22 183:25 184:20 185:16 185:19 185:22 186:4 186:9 187:6 187:11 187:20 187:23 189:2 189:5 189:16 191:13 192:3 192:5 193:8 193:18 194:10 196:20 197:5 198:23 201:18 pass 176:24 path 139:2 pathophysiologic

285:24 pathophysiology 39:6 251:6 279:4 314:19 314:20 pathways 277:25 patient's 102:24 113:2 203:11 patient-years 9:6 Pause 135:14 135:21 136:2 314:11 paying 98:8 pediatric 36:14 **PELAYO** 184:8 188:7 212:17 percentage 139:14 140:15 169:14 perfect 283:25 perfectly 205:11 perform 200:15 pericarditis 97:23 253:16 perilously 250:6 Periodic 68:15 periodically 58:7 64:4 peripheral 60:11 106:19 110:13 110:14 111:24 235:19 perm 20:9 23:10 44:7 51:9 permanent 56:14 68:14 154:14 260:7 permeability 17:11 17:12 18:11 38:17 188:10 188:12 permit 251:21 251:22 251:24 305:13 permitted 243:6 persistent 138:15 159:14 163:23 168:10 177:15 184:5 260:18 263:10 persistently 163:15 person's 130:12 **personally** 88:19 90:3 95:22 145:12 155:24 228:9 perspective 147:22 148:13 192:20 194:9 248:3 248:20 266:6 270:21 293:14 302:11 persuade 296:3 persuaded 50:25 194:22 248:25 257:4 277:10 289:9 persuasive 46:15 46:19 90:10 261:21 287:7 305:4 305:25 persuasively 289:17 289:17 pertinent 91:7 284:9 **Pfeffer** 8:23 78:23 78:25 79:3 99:19 100:17 103:6 103:10 104:4 104:14 111:2 293:2 Pharmaceutical 7:24 8:9 113:16

pharmacodynamic 279:24 pharmacologic 46:14 254:25 pharmacological 24:8 74:22 272:17 272:25 273:22 274:5 275:23 pharmacology 213:13 274:7 274:11 283:11 286:25 287:7 phase 104:15 106:10 134:12 265:15 266:22 266:23 268:19 268:20 phenomena 192:23 228:10 phenomenon 12:19 114:16 152:12 160:13 171:3 179:10 180:14 187:8 194:23 202:4 207:3 209:3 209:4 277:25 phenotype 262:14 philosophically 114:9 philosophy 90:24 phosphate 113:18 Physician 8:13 16:20 197:17 198:11 200:6 206:18 physicians 30:5 57:25 290:13 physiologic 46:14 46:24 52:24 physiological 48:3 48:4 physiologist 33:23 physiology 132:21 132:22 162:22 **PI** 95:18 pick 160:22 174:2 174:20 177:5 183:7 picked 38:7 194:12 picking 146:13 244:14 picture 170:16 pieces 266:16 **pilot** 15:16 15:18 49:22 Pima 25:15 25:23 146:18 146:19 175:23 pin 117:2 287:13 pink 15:7 60:20 61:22 123:15 178:6 206:11 pinned 287:17 Pitts 132:22 pivotal 286:8 placebo-like 234:14 placebo-treated 69:9 plausibility 265:16 315:16 315:20 315:23 plausible 152:23 230:19 play 311:14 player 181:11 183:12 playing 286:17 plays 278:22 please 73:17 77:25 92:7 93:6 119:23 125:7 134:17 135:12 135:22

136:14 208:16 209:8 240:10 pleased 23:20 159:10 184:7 187:6 189:18 pleasure 7:21 43:19 158:23 201:18 201:21 plot 167:25 plots 11:17 plotted 59:19 plumbing 299:23 plus 79:22 79:24 98:13 105:21 112:14 263:15 298:10 **podium** 48:14 poignant 311:18 pointed 87:6 282:8 polypharmacy 28:21 246:9 pool 115:25 232:21 232:22 233:5 233:8 233:19 pooled 233:21 pooling 232:24 233:23 poorly 33:3 populations 10:24 27:21 43:16 92:5 92:23 96:5 122:15 145:14 147:3 147:7 151:5 156:11 210:13 267:19 portal 21:18 portion 119:7 portray 64:6 positive 18:9 41:6 96:19 209:7 209:20 233:18 248:8 248:8 248:10 248:17 249:10 265:22 267:18 271:11 289:6 289:7 314:20 positivity 247:18 250:5 possibility 76:16 127:15 151:3 156:21 176:17 261:25 262:3 262:5 possibly 74:6 244:3 postponed 224:16 potent 73:11 191:17 191:17 207:21 237:23 potential 6:18 65:12 76:21 144:4 152:14 176:12 252:5 279:23 potentially 51:2 73:11 157:20 275:8 279:8 potentiation 74:23 powered 80:13 86:24 89:25 136:9 147:24 150:13 powerful 238:6 practical 20:3 53:11 practice 13:18 64:2 149:7 251:16 270:9 282:14 291:9 291:12 293:15 297:12 297:14 practices 249:25 practitioner 73:5 pragmatic 75:3

pre-stratification 27:13 preamble 48:5 212:12 preceded 14:5 precedent-setting 286:6 297:8 precedent 251:20 275:9 286:25 287:25 288:3 297:17 297:19 300:3 300:14 precedents 243:8 279:7 288:18 288:19 precedes 197:16 precisely 72:5 preclude 6:12 298:3 preconceptions 144:20 precursor 223:25 predefined 61:19 108:4 218:22 predict 315:13 predicted 238:20 244:5 predictive 130:7 predictiveness 309:24 predictor 99:8 144:3 144:6 152:10 221:10 254:2 308:20 predominantly 59:3 214:17 prefer 71:12 premature 258:4 prepared 89:14 preponderance 165:23 presage 253:6 271:6 presages 94:25 Presbyterian 55:24 prescribed 57:16 60:9 prescribing 249:24 presence 252:14 261:15 291:7 presentation 7:18 24:15 24:23 24:25 31:16 34:20 42:25 49:2 54:22 67:10 82:22 89:11 127:23 132:15 134:13 150:25 153:13 159:17 172:20 201:16 202:20 211:25 265:2 295:13 presentations 6:6 133:10 245:14 288:8 presented 64:18 89:22 107:19 131:10 154:4 230:25 270:7 282:2 282:6 292:10 300:9 300:21 306:9 306:10 presenting 23:8 209:22 presents 67:14 157:16 preserved 67:9 123:12 President 7:24 8:9 prespecified 107:22 110:21 235:7 235:16 prespecify 107:4 111:3 pressures 53:8 59:19 60:2 92:10 107:17 presumably 71:2 71:3 77:4 86:24 191:5

presume 258:15 presumed 70:20 pretend 146:6 pretty 41:18 130:18 174:23 183:22 184:6 220:8 230:18 254:2 275:3 280:21 286:23 288:24 310:23 prevent 16:10 16:15 16:17 16:21 23:18 41:21 45:18 47:4 70:20 97:24 101:15 102:4 113:4 199:16 202:19 203:24 205:20 239:7 265:11 266:15 prevented 226:15 **preventing** 16:13 45:17 117:3 165:3 239:7 prevention 210:16 260:8 298:14 prevents 34:4 98:10 previous 7:12 14:22 46:6 250:19 284:19 296:3 303:21 previously 310:22 primarily 64:25 132:21 principal 8:7 219:21 principle 125:13 principles 55:12 prior 15:17 103:23 220:4 227:13 227:15 243:20 283:17 292:23 293:11 302:2 303:18 305:11 priors 284:18 287:10 302:25 problematic 197:12 198:20 procedures 106:15 106:20 proceeding 250:22 proceedings 244:21 processed 180:21 processing 181:6 produce 79:10 110:13 314:6 profession 40:4 209:24 Professor 8:2 8:12 8:21 8:22 8:24 profile 8:17 67:11 130:10 171:22 profiles 311:22 profoundly 238:2 282:25 prognosis 43:25 182:7 progressed 20:19 60:14 168:15 progresses 142:24 progressing 161:20 239:2 progressive 10:17 21:12 25:20 26:10 51:21 62:20 63:14 162:13 progressively 268:23 prohibitive 75:6 project 104:7 105:4

projector 120:25 prominent 296:24 promulgate 252:3 pronounced 174:13 propensity 105:6 proper 214:8 214:21 215:8 properly 242:13 properties 301:17 proportion 87:23 204:12 228:15 proportionately 84:3 propose 201:24 proposed 9:24 53:24 54:8 proprietary 303:2 pros 301:6 prospective 175:15 176:20 prospectively 105:2 105:21 175:21 protect 76:16 137:8 137:10 protected 142:2 protecting 21:11 protective 23:5 261:11 protein 34:4 34:5 38:5 40:22 41:2 41:5 42:17 47:14 56:23 59:14 93:15 161:11 276:9 313:9 proteins 22:24 proteinuria/albuminuria 20:23 proteinuric 57:12 149:25 150:4 protocol 30:11 55:20 55:25 57:20 58:22 64:18 66:3 75:24 76:8 76:20 218:10 218:23 protocols 280:12 prove 47:7 62:11 196:13 237:3 proved 201:5 proven 178:20 provides 62:15 66:24 264:24 315:19 proximal 226:20 publication 203:14 305:3 publishable 42:23 Published 57:11 96:4 156:20 176:8 184:25 203:13 210:6 251:9 299:6 pull 70:10 80:23 288:8 289:7 **pulled** 286:19 pulmonary 112:3 pump 133:3 purely 261:25 push 293:12 299:14 pushed 138:4 154:14 287:18 putting 71:20 79:12 97:25 147:22 148:13

202:24 205:17 256:10 **puzzled** 224:6 - 0 qualify 56:21 qualitative 42:3 quantify 280:16 quantitatively 31:24 quantities 17:25 quantity 20:14 quarter 153:22 207:16 281:22 quartiles 36:2 159:22 questioning 72:13 quick 113:12 116:7 153:9 157:24 215:25 218:24 256:25 quickly 78:17 212:10 212:12 314:10 quintiles 36:2 quote 91:20 - R race 27:9 139:5 141:7 144:3 152:9 152:11 152:12 152:23 250:7 races 27:9 59:5 raise 153:10 172:23 raised 30:18 31:4 73:3 109:6 189:17 255:24 293:2 raises 301:15 rales 112:2 random 183:7 183:10 randomization 29:8 103:18 103:24 125:15 randomized 57:4 58:9 58:25 71:5 77:19 107:6 123:4 124:6 124:25 125:5 125:8 131:13 131:20 141:24 149:13 156:5 164:6 176:20 184:23 215:10 215:11 257:21 259:15 ranging 162:2 162:7 163:8 175:18 rank 111:4 111:10 230:18 rapid 25:13 121:24 143:25 154:10 197:13 197:16 rapidly 142:24 143:22 191:6 253:13 rates 31:23 119:6 131:5 156:7 174:19 265:13 ratio 166:15 rationale 21:6 264:7 Ray's 252:8 252:13 Ray 39:7 90:14 186:19

provided by A.R.W.

199:3 213:5 220:15 246:20 259:5 259:25 264:20 285:19 286:5 297:19 300:19 316:8 reabsorbed 11:22 13:5 17:21 17:22 48:11 reabsorption 48:7 reach 63:25 66:19 90:2 96:24 117:16 117:22 138:9 138:14 142:7 242:10 243:6 252:6 reaches 64:5 97:11 101:24 reaching 62:22 78:8 95:4 118:18 180:22 295:5 readily 12:15 50:25 70:9 realistic 307:18 reality 282:14 realize 189:19 190:19 299:23 realized 224:9 224:13 271:2 realm 153:3 249:9 reasonable 47:22 63:23 130:2 130:16 131:3 223:15 267:3 298:13 reasonably 271:19 reasons 18:23 21:15 22:11 46:11 75:25 76:4 82:24 113:13 121:20 195:16 245:25 246:5 281:25 307:15 reassess 295:14 reassurance 232:11 232:14 234:6 234:7 234:8 reassuring 234:23 rebiopsied 190:8 rebound 187:8 187:15 recall 60:15 65:10 91:10 113:23 125:21 179:7 219:18 250:3 receive 58:11 70:19 121:19 124:24 164:6 received 6:22 6:24 14:10 58:12 82:10 120:5 124:18 124:22 125:12 169:19 214:3 224:15 receiving 107:14 167:17 167:19 168:12 170:24 170:25 171:13 receptor 9:3 9:3 47:25 55:3 57:21 70:22 74:19 74:20 106:18 123:10 164:16 191:19 201:4 201:25 260:6 274:12 282:24 283:12 receptors 274:9 274:10 **Recess** 72:6 recessed 133:13 316:19 recited 158:4 recognize 34:3 110:20

110:21 137:9 139:7 recognized 98:21 recollecting 219:8 219:11 recollection 38:23 94:3 recommendation 247:11 249:24 recommendations 144:24 145:2 recommended 9:7 recommending 294:10 reconvene 133:13 316:19 recruiting 27:24 redefined 136:3 reduce 75:15 191:15 reduced 68:16 69:10 109:19 168:13 179:12 209:10 229:8 231:4 reduces 272:12 312:16 reducing 100:14 172:15 228:3 **Reductions** 59:19 64:11 64:21 65:23 66:19 207:11 208:4 210:17 reemphasize 279:11 reevaluated 185:10 refer 187:21 225:22 227:5 references 292:4 referred 17:23 109:8 237:9 256:2 257:8 referring 51:9 51:10 158:14 218:14 230:8 256:11 refinement 219:7 reflect 14:4 41:8 142:10 301:20 reflected 12:14 236:11 Reflecting 25:23 160:3 182:11 reflection 26:19 78:22 reflective 12:19 reflects 36:17 258:16 refractory 26:11 regain 179:20 regaining 178:17 regard 6:11 129:20 252:9 275:23 280:17 regarding 252:4 300:14 regardless 184:11 regimens 130:11 238:14 region 156:10 162:15 regions 156:9 156:12 regression 33:2 61:9 62:8 128:10 regulated 6:17 rehearsing 101:11 reinforce 65:20 reinforced 82:21 reinforcement 248:11 reinforcing 122:14 relate 103:9 222:3 related 7:16 19:5 84:22

85:3 87:25 219:10 243:7 244:21 246:9 246:11 246:13 247:17 263:4 272:4 294:18 302:24 relates 27:7 232:19 relation 159:21 160:24 174:16 181:12 313:25 relationship 13:24 29:15 43:10 45:4 62:16 63:4 123:24 153:14 153:16 154:17 157:13 222:18 252:25 258:22 259:2 259:23 260:20 260:22 309:15 310:2 312:20 relationships 309:23 relatively 12:6 12:7 12:9 63:20 70:13 103:14 191:5 253:4 253:12 relevance 20:11 88:3 88:6 88:7 90:16 282:18 283:3 relevant 25:14 28:21 38:22 70:10 85:6 88:16 90:20 90:23 104:15 104:17 115:24 121:3 127:14 127:20 128:2 129:14 146:17 152:8 230:17 237:12 237:13 243:4 245:3 275:15 275:17 276:6 276:9 278:16 278:16 278:17 279:13 280:9 281:19 281:21 281:24 282:11 286:9 286:10 294:15 294:22 302:4 304:15 reliable 17:15 226:21 227:5 265:9 308:20 reliably 131:4 195:6 265:19 315:13 relieves 22:18 reluctantly 272:2 rely 278:2 286:14 remain 37:12 170:15 remainder 58:20 153:8 remained 57:10 176:19 217:11 remaining 58:21 134:16 154:13 175:15 189:6 remains 37:5 remarkable 293:4 remarkably 100:8 282:10 remeasured 185:4 remind 9:2 14:24 80:4 123:23 147:20 147:23 148:15 148:16 208:24 296:10 remission 310:14 310:17 310:18 310:21 311:10 remodeling 47:4 262:6

remotely 234:7 **removes** 260:9 removing 263:5 **RENAAL** 210:9 244:17 245:4 245:12 251:9 299:3 299:18 300:4 301:8 304:24 305:5 renal-related 225:10 228:10 renin-angiotensin 21:8 21:16 22:17 23:6 55:2 57:9 62:12 68:5 73:21 74:5 122:21 200:11 200:16 200:21 renin 30:14 128:7 152:23 renoprotection 9:18 21:10 55:4 178:23 renoprotective 21:8 21:16 23:7 57:14 69:17 74:7 74:13 137:4 163:19 211:4 repeat 76:3 162:22 251:2 261:5 repeatedly 117:21 rephrase 261:6 replaced 120:14 replacement 13:13 203:17 310:6 311:20 replication 247:13 reports 57:11 represent 93:12 245:10 representation 140:14 142:17 245:12 representative 183:10 represented 27:10 27:25 representing 100:18 represents 11:2 11:13 31:22 36:20 147:3 reproduced 137:19 reproducible 17:15 50:14 51:3 312:17 reproducibly 20:12 request 7:2 requested 135:6 254:15 requesting 86:7 require 32:11 51:20 106:16 108:20 241:9 298:23 303:16 requirement 13:13 72:7 requires 53:22 265:18 requiring 68:25 112:17 113:5 154:4 residual 150:25 178:19 178:22 191:23 262:12 resistance 278:22 resolved 89:7 respectively 60:2 respond 91:6 128:8 134:16 272:23 273:13 response 59:4 134:7 148:11 155:4 170:17 170:18 181:4 214:11 217:15 238:25 245:22

258:18 313:16 313:19 responses 134:10 responsible 16:4 77:10 restate 281:13 292:25 restricted 97:14 resulted 67:13 67:25 68:13 retains 67:5 retards 209:12 retention 11:10 **retrieve** 308:9 retrospective 175:14 retrospectively 306:13 returns 79:3 revascularization 106:14 235:17 235:20 reveal 205:2 reversal 190:10 190:18 260:7 reverse 182:20 191:22 262:15 269:7 reversed 75:20 187:3 194:9 reversible 76:17 138:20 review 23:21 143:21 149:11 175:13 244:22 254:16 301:2 301:11 reviewed 57:25 58:6 reviewer 24:17 184:8 184:17 211:11 212:13 251:13 reviews 143:21 146:7 146:7 146:9 146:13 147:10 213:13 revise 295:14 Rhode 24:20 rich 116:15 rid 189:25 190:12 right-hand 153:19 rightly 282:22 rigidly 95:14 rise 68:2 69:6 138:20 138:22 169:16 178:8 178:15 179:5 179:8 179:17 179:18 180:9 rises 75:16 risk-benefit 8:17 risk/benefit 201:17 201:20 211:14 252:25 risks 211:7 211:8 211:9 213:3 227:17 313:18 road 97:23 97:23 Robert 132:22 robust 243:21 244:3 264:12 282:20 282:23 role 31:19 144:3 251:14 297:15 311:14 room 7:3 roughly 73:9 routine 18:8 137:17 **Rudy** 35:9 rules 24:2 219:20 runner 160:11 Rush 8:4 55:23

- S -

safe 67:13 69:20 172:17 safety 8:10 8:14 54:24 58:6 58:7 58:13 65:9 67:11 67:18 102:22 171:22 198:25 213:14 252:18 sake 192:8 sample 26:21 27:16 65:16 75:6 105:7 105:8 183:10 251:24 sampled 138:9 samples 55:22 218:17 sampling 50:9 76:6 San 266:12 266:16 sartan 299:20 sartans 299:22 satisfactory 302:20 satisfy 36:10 save 209:17 209:19 scale 160:7 scarring 16:3 scatter 195:21 scenario 126:9 scenarios 184:15 schema 90:17 school 53:19 132:21 **science** 90:6 scientific 315:9 scientifically 289:10 screening 163:14 scrutiny 300:11 300:13 searching 286:9 seat 270:19 secondary 55:8 56:11 56:16 60:24 61:25 64:8 65:10 65:23 87:11 105:3 105:14 115:15 165:8 228:13 235:6 239:8 247:25 248:7 248:10 251:4 secondly 70:25 196:15 seconds 135:12 Secretary 6:8 secreted 13:6 secretion 11:22 28:12 29:7 52:20 secure 254:7 254:7 security 255:6 seeing 52:25 75:16 84:13 85:9 85:9 170:14 170:16 200:6 204:21 226:23 228:23 257:8 282:10 306:4 sees 260:10 segment 67:10 segments 54:22 159:15 **select** 74:15 selected 56:5 149:17 selective 17:11 18:11 selectivity 20:9 23:11 44:7 51:9 selling 308:12 sends 279:8 sensitive 111:23 160:22 202:22 213:25 230:18 sensitivity 125:16

125:25 126:8 217:9 separate 118:19 151:16 151:22 152:8 152:12 152:17 229:23 267:16 292:2 292:4 separately 85:5 267:2 separation 168:9 168:10 sepsis 288:22 289:15 sequence 285:24 session 104:9 sets 305:13 setting 61:3 87:8 114:2 150:18 152:5 238:20 247:17 248:2 249:2 249:5 277:16 277:20 277:21 311:9 settings 247:20 247:23 294:19 settled 301:16 severe 121:22 154:12 172:3 200:24 201:8 268:23 288:22 severely 256:10 severity 9:15 68:16 68:21 sex 165:13 shake 184:24 shaky 241:23 shallow 109:2 shape 37:5 111:4 share 54:21 75:23 79:12 103:20 131:24 134:21 175:11 277:5 284:10 294:14 300:25 301:17 shared 60:4 81:21 82:21 284:25 299:12 shares 60:17 123:7 Sharon 19:4 shift 37:4 206:25 249:24 shifted 37:7 shocked 87:20 239:11 shooting 32:12 short-term 190:14 **shorter** 122:6 Shortly 51:19 60:4 shortness 41:22 showing 47:5 62:21 63:5 84:14 96:17 97:3 124:10 145:18 145:20 146:14 176:24 203:15 227:6 228:7 243:3 248:10 292:9 312:19 shows 15:2 115:14 121:12 153:16 203:14 215:19 239:20 289:6 sick 89:19 98:13 158:2 158:7 158:8 sicker 98:23 sides 292:12 sign 39:22 39:24 161:12 269:14 signal 17:5 17:7 19:21 105:16 106:4 174:2

174:21 174:23 177:6 194:12 199:14 225:17 229:14 230:21 231:2 267:15 267:17 306:4 signals 267:19 267:23 significance 52:8 66:20 90:10 110:20 114:23 129:20 144:10 152:6 219:13 220:9 220:22 226:24 251:3 252:7 257:13 significant 24:9 31:25 38:2 51:24 60:3 61:24 62:3 66:8 80:11 80:13 84:15 94:5 96:4 96:21 127:19 130:18 136:9 138:11 139:20 143:8 143:15 148:8 166:25 167:10 167:11 169:6 170:10 178:13 190:9 190:10 209:4 220:11 222:13 226:19 227:19 235:10 235:21 236:25 251:14 259:18 259:22 259:22 265:4 significantly 29:6 60:19 61:21 69:10 70:16 92:19 139:18 202:3 202:6 203:3 208:14 220:14 231:14 258:2 signs 99:10 209:3 **silent** 40:13 silver 15:9 similarities 274:20 274:21 279:14 similarly 61:15 72:17 148:6 272:23 simplification 225:4 sit 72:12 97:7 131:11 site 106:13 106:13 156:25 157:4 sites 57:3 sitting 122:2 188:19 191:6 270:18 situations 219:20 269:20 sizes 143:12 skewed 109:13 132:21 **sleep** 99:5 slide 54:9 60:17 61:18 63:5 64:10 65:22 67:23 77:24 77:25 79:15 80:15 80:19 80:20 92:7 105:12 115:13 119:22 119:24 120:23 120:24 121:11 123:6 123:7 125:6 126:2 126:18 129:11 134:17 135:5 135:10 135:16 135:20 135:22 136:13 141:12 153:13 169:14 172:25 173:2 173:8 173:10 174:16 175:16 180:19 186:20 192:9 195:10 215:19 282:5 slides 62:15 64:6 79:11

provided by A.R.W.

89:4 89:22 115:11 282:3 slightly 60:12 67:21 72:19 72:24 109:16 109:17 139:16 163:8 181:3 184:21 231:13 slim 92:10 slip 257:15 slippery 278:19 300:4 slope 37:23 57:2 154:10 181:25 278:20 300:4 slow 190:20 196:22 196:25 slows 44:25 smaller 28:24 65:17 231:7 313:3 smart 185:11 smoke 92:24 smoking 41:3 **smorgasbord** 106:3 106:22 111:16 sneak 264:22 so-called 14:6 165:11 170:16 171:4 **soft** 110:16 softer 78:20 solid 254:2 301:12 somehow 131:25 238:21 someone 116:5 241:8 316:6 somewhere 271:3 sorry 36:9 185:16 187:5 224:25 237:19 255:10 255:11 281:7 308:13 sounds 50:12 190:23 207:9 source 292:8 sources 292:2 292:4 292:5 292:6 302:6 302:13 speaker 89:5 speaking 6:24 53:18 161:4 215:9 speaks 100:18 specialist 109:10 specifically 9:23 55:6 71:24 74:14 74:22 79:9 83:15 83:19 134:20 139:25 144:3 212:7 245:20 254:18 310:12 spectrum 31:23 311:3 speculate 261:24 speculation 153:4 194:7 speech 162:4 **speedily** 166:10 **spend** 158:6 spent 91:22 spirit 85:22 spoke 269:2 spoken 281:10 sponsor's 151:23 153:13 219:19 248:3 250:3

sponsor 6:24 89:20 104:17 104:22 134:9 157:22 212:7 239:9 253:15 261:23 sponsored 113:15 113:15 141:22 sponsors 6:22 sprinkling 234:3 squared 241:12 247:14 247:22 Squibb 7:25 8:10 38:20 77:11 77:13 122:25 125:2 stability 50:18 230:3 stabilization 260:25 262:3 262:10 stabilize 23:10 stabilized 180:12 180:12 180:12 185:9 200:3 259:11 stadium 313:3 stages 17:17 staining 15:9 standalone 239:24 standardize 160:10 standardized 168:12 169.20 standardizing 160:14 standards 30:22 240:24 241:25 297:12 297:15 standing 160:12 standpoint 143:20 197:17 stands 88:13 259:3 starting 9:7 207:14 starts 20:24 stated 128:24 163:6 181:9 252:9 292:23 298:23 statement 6:7 6:10 24:2 26:7 98:25 101:23 108:12 119:17 211:18 214:14 263:13 States 27:2 44:6 148:21 171:18 270:10 282:14 stating 279:12 statistical 50:19 66:20 110:19 114:23 129:15 147:21 148:11 192:20 220:8 221:6 221:21 222:9 226:24 230:3 233:6 233:12 251:3 statistically 60:3 61:24 62:3 66:8 77:5 80:11 80:25 94:5 96:3 123:16 127:19 136:8 143:15 148:7 166:24 169:5 170:10 220:11 231:14 241:12 259:18 259:21 259:22 278:3 statistician's 100:19 statistician 77:10 100:2 122:2 statisticians 100:6 statistics 78:16 230:17 status 58:19 58:19

99:14 102:24 125:24 126:15 126:21 216:13 217:4 stayed 167:4 steal 305:18 steep 170:20 steeper 37:20 Steno 8:13 175:24 176:7 176:8 stenosis 30:16 69:8 200:22 Steve's 87:12 103:13 Steve 41:10 77:20 84:25 85:22 87:5 102:9 110:2 111:2 155:17 199:5 214:24 215:9 219:25 234:11 238:24 249:20 266:20 274:23 275:24 279:12 282:12 290:4 296:6 304:21 stick 18:9 18:13 41:6 160:21 209:8 209:20 296:22 stimulation 274:8 Stockbridge 316:7 Stockholm 107:20 stopping 75:14 178:5 178:17 185:10 198:17 261:10 261:12 263:11 strategies 75:10 75:18 116:3 stratify 27:8 stratifying 140:21 stray 264:21 strengthen 233:9 233:20 234:4 235:3 294:6 stressed 34:17 162:18 172:16 stretch 46:11 90:4 strict 217:25 275:14 strictly 252:9 293:10 strikes 49:3 striking 282:7 311:25 stroke 43:6 43:13 56:15 66:17 78:4 78:12 87:12 110:8 180:5 216:4 220:6 225:12 235:9 235:19 296:14 strokes 215:22 stronger 78:18 95:6 268:4 282:20 290:25 291:4 strongly 82:17 95:21 146:7 149:3 220:6 222:14 234:2 264:19 271:21 305:12 struck 155:19 180:23 314:4 structural 10:18 20:3 49:4 49:5 49:10 49:11 49:16 162:20 190:10 190:18 262:2 262:9 Structurally 16:24 17:9 262:6 structure 14:24 15:6

15:12 24:16 250:21 309:8 structured 301:25 struggle 41:24 288:21 struggling 115:13 studied 9:12 9:21 46:23 92:2 294:18 295:9 studying 14:25 15:23 33:20 33:22 38:8 44:11 50:14 92:19 113:3 113:4 146:9 147:7 269:25 stuff 30:8 90:23 187:3 238:14 244:24 288:9 291:17 316:10 subanalyses 108:19 subfraction 183:5 **subgroup** 139:25 140:6 145:11 145:14 147:14 147:15 147:24 148:9 148:22 149:16 150:11 150:14 156:6 184:10 259:14 subgroups 143:12 143:22 155:19 submission 55:22 submitted 6:14 submitting 7:2 subnormal 253:11 subpopulations 255:6 subsequent 63:15 120:12 120:22 245:18 308:17 subsequently 76:12 149:4 subset 73:12 177:25 249:19 256:11 287:6 287:8 subsets 256:13 subspecialties 253:20 substance 11:15 substantial 67:17 87:23 95:3 139:9 154:19 222:10 223:4 274:20 294:19 substantially 140:20 146:8 243:10 296:15 296:19 substantiation 242:8 substantive 194:25 278:4substitutes 14:2 substrate 23:17 substudies 255:2 255:3 255:7 substudy 177:9 182:23 183:5 183:7 183:7 183:8 183:10 183:14 183:15 185:14 185:17 185:19 185:23 186:7 260:2 subtalk 73:17 79:14 93:6 subtracts 260:21 subtype 9:4 success 116:25

suffering 159:14 sufficient 149:14 298:12 311:16 315:3 sufficiently 275:20 298:9 suggest 28:4 62:5 127:13 131:25 132:8 146:9 174:21 177:15 178:9 178:18 178:21 202:11 237:14 255:4 261:8 262:9 302:12 suggested 57:13 74:5 117:8 127:10 127:18 146:7 250:4 252:22 257:2 306:23 suggesting 28:6 65:7 67:8 121:16 127:23 148:4 148:7 150:12 160:2 162:25 187:15 254:14 260:5 280:20 suggestion 252:13 suggests 70:15 141:16 143:23 182:10 191:23 282:8 285:2 289:24 290:2 summarize 244:11 267:13 306:2 summary 69:10 151:24 172:4 201:17 201:19 219:19 255:20 summation 268:8 superimposed 81:5 190:25 superior 55:6 55:8 172:11 support 119:17 137:8 224:20 251:5 263:3 264:13 266:24 307:10 307:14 307:24 supported 146:18 314:13 supporting 245:18 251:4 supportive 175:9 221:16 222:14 223:21 244:17 263:8 263:24 267:17 270:8 270:12 270:17 271:21 292:12 292:16 308:8 311:9 313:23 314:21 supports 213:18 suppose 26:16 292:7 308:3 supposed 104:6 159:8 189:9 suppression 262:4 Surely 153:16 287:14 surgery 253:23 surprise 231:15 305:10 surprised 89:16 96:9 115:4 264:20 274:24 293:24 surprising 106:21 117.11 188:13 208:11 229:25 surprisingly 101:16

208:5 292:20 surrogacy 46:7 157:16 226:22 surrogate 31:20 39:12 40:17 41:13 41:14 41:17 43:10 45:3 52:5 90:21 192:21 195:8 206:7 206:7 226:21 265:8 269:12 286:15 307:10 307:25 308:24 309:2 310:7 311:23 312:24 313:13 314:2 314:14 315:6 315:8 315:18 315:22 surrogates 251:23 309:21 313:11 Survey 203:13 survival 71:24 71:25 83:16 85:5 85:24 99:8 115:23 158:19 180:4 203:16 203:18 203:20 229:2 survivors 88:22 suspect 100:15 234:3 sustained 76:10 171:4 310:18 310:23 switched 290:11 swollen 112:4 sympathetic 198:17 symptom 39:21 42:12 symptoms 68:2 69:2 69:4 99:10 209:3 system 11:2 21:8 21:16 21:18 22:17 23:7 30:15 33:20 55:3 57:9 62:12 68:5 73:21 74:5 122:21 128:8 138:4 153:2 188:11 200:16 200:21 202:23 202:24 276:5 278:23 systematically 115:7 216:14 systemic 21:13 22:5 22:8 29:16 29:17 97:25 systolic 59:18 59:19 92:11 106:25 166:23 167:13 167:20

- T -

tail 124:2 tails 241:14 takes 189:21 189:24 190:12 226:19 296:16 talked 155:19 214:25 223:7 244:8 246:13 296:17 301:6 talking 26:25 27:23 41:7 41:15 43:15 45:22 52:4 52:5 52:6 52:6 52:8 92:3 92:12 92:22 94:20 96:14 96:16 143:19 143:19 144:2 158:6 185:18 204:10

218:18 252:17 265:22 275:2 278:20 294:3 294:5 298:8 tandem 298:10 tangible 85:15 86:25 86:25 192:23 194:22 195:3 target 57:23 58:2 189:17 taught 264:21 teaching 132:24 team 278:10 278:10 278:11 313:4 technically 129:4 215:9 219:13 technique 176:14 **telling** 192:10 197:6 228:21 254:22 Temple's 244:14 **TEMPLE** 46:6 48:23 52:25 116:19 151:2 151:15 156:15 156:16 157:4 215:17 230:23 238:11 242:4 244:4 244:25 245:9 245:13 251:12 256:9 286:18 289:13 289:20 291:19 291:21 301:3 301:10 302:15 305:15 309:6 310:8 314:4 316:4 316:13 tend 25:12 78:3 110:4 224:17 245:13 296:12 tends 110:13 tenths 218:18 tenure 104:6 104:9 terminology 293:2 terrible 239:5 293:21 terribly 28:20 229:25 254:20 255:8 283:25 terrific 316:6 territories 243:10 tertiary 106:8 108:15 108:19 tested 74:24 104:19 198:24 255:8 testing 74:16 tests 18:8 18:12 24:8 160:22 text 302:22 305:11 **TGF-BETA** 22:22 **TGF** 262:4 Thank 7:14 7:20 10:6 23:22 23:23 24:25 31:15 34:13 53:17 79:3 99:15 101:3 118:21 132:15 134:14 135:15 137:11 147:13 159:7 172:18 172:19 201:15 201:21 211:20 211:24 270:5 therapeutic 16:12 23:6 66:6 123:2 148:22 therapies 26:11 therapy 13:13 23:14 31:7 52:16 52:17 58:22 66:23 71:5 87:24 107:11

107:15 109:22 119:23 121:6 158:17 189:15 199:15 200:5 201:24 203:17 222:7 269:16 311:20 they'll 96:24 118:24 253:14 thiazide 60:13 thickening 18:17 18:24 thinks 289:10 though 39:2 44:20 46:13 49:7 50:12 93:9 121:6 124:25 127:18 130:19 143:14 146:16 179:15 180:25 181:2 215:18 229:11 239:9 245:15 247:19 251:5 265:17 276:6 280:23 283:24 286:11 288:21 294:19 297:11 297:15 315:6 thoughts 31:18 thousand 100:16 thousands 20:5 117:15 threatening 256:17 three-by-two 141:24 threshold 41:20 56:5 threw 115:11 throw 90:12 thrust 252:12 thrusts 260:24 Thyere 166:4 tier 230:14 230:14 time-dependent 129:15 time-to-event 64:14 79:11 134:21 206:17 tip 303:14 315:23 tips 303:13 tissue 15:6 16:3 16:24 17:5 22:24 titration 164:8 to-head 278:9 to-treat 58:11 tolerance 288:2 Tom 48:20 71:19 73:2 78:16 79:6 83:10 89:21 100:20 101:7 110:2 114:5 129:24 134:10 134:12 155:4 192:6 196:8 229:20 231:22 234:13 236:21 241:6 241:7 246:24 247:7 254:9 254:19 254:22 264:15 264:23 274:13 277:7 294:13 297:18 300:18 304:12 306:22 308:13 312:10 tomorrow 316:16 tone 22:16 tooting 316:5 topic 31:17 31:18 42:7 totality 210:15 212:2 234:21 255:14 totally 90:10 184:16 tough 70:2 274:25 towards 132:22 261:9

261:13 toxicology 213:13 track 232:13 286:18 traffic 23:4 train 129:2 transient 46:18 75:12 75:16 75:19 75:22 76:5 76:7 138:22 transition 103:11 translate 86:25 195:6 translates 84:12 85:15 118:9 192:22 227:2 242:22 transmitted 22:6 transplant 71:23 79:17 81:21 83:2 83:20 192:15 223:11 224:7 226:6 253:24 254:11 312:22 transplantation/dialysis-fr 85:5 transplantation 10:11 14:7 51:21 56:3 58:18 62:24 63:6 63:11 63:20 72:2 79:9 80:16 83:16 84:17 85:17 85:24 86:9 87:2 87:11 87:14 94:2 94:9 134:20 135:6 136:5 136:22 153:15 153:18 153:23 216:13 228:11 277:12 transplanted 309:4 transplants 81:16 treading 111:13 treat 16:16 16:20 55:20 64:17 121:5 121:16 138:19 172:12 182:21 202:25 203:4 203:10 204:2 205:20 208:7 208:8 211:13 298:13 treated 68:11 69:8 77:4 77:5 77:15 100:10 117:12 119:4 119:21 121:21 123:9 125:9 125:11 148:25 187:14 201:12 220:12 238:5 249:23 269:23 282:16 290:10 291:14 treating 33:6 75:13 88:10 148:17 197:23 201:5 209:15 209:18 256:5 270:3 treatment-induced 265:10 308:19 308:20 309:23 treatments 35:23 57:4 136:10 259:16 tremendous 35:4 tremendously 27:16 trend 83:3 89:2 94:6 258:20 trends 66:16 123:16 220:7 279:14 trialists 96:5 trials 9:10 9:13 27:19 27:22 33:9 47:18 82:2

92:4 93:9 95:17 95:19 95:20 95:20 95:22 100:4 111:5 113:15 117:6 117:9 130:24 142:11 148:20 149:5 149:12 149:17 149:17 165:2 180:16 204:20 209:23 209:25 240:24 241:12 251:20 252:4 256:17 267:16 280:11 280:15 295:9 295:18 298:9 299:9 299:10 299:13 304:19 311:22 311:25 312:18 trigger 56:6 86:20 trip 266:11 266:14 triponin 111:11 troubled 112:10 155:24 220:4 296:16 troubling 114:9 283:8 295:15 295:16 true 14:20 16:6 28:15 36:7 46:13 53:23 85:15 101:12 109:4 114:20 141:13 152:15 161:19 197:14 224:2 229:23 279:20 281:14 298:11 truer 49:3 truly 42:22 130:20 194:8 310:6 truncating 226:9 trust 297:13 tubes 309:9 tubular 48:6 tubules 17:21 tuft 21:17 21:20 21:21 22:9 22:14 turns 18:9 117:13 244:6 twists 117:13 two-component 190:24 two-thirds 115:4 two-year 102:3 twofold 257:15 typical 15:24 15:25 typically 65:17 195:9 265:17 308:23 309:21

- U -

U.s.c 6:20 U.s 203:13 256:17 ugly 274:10 ultimate 156:17 192:21 195:6 310:4 ultimately 10:18 16:4 18:19 44:23 52:9 96:24 202:20 250:24 258:10 umpty-doodle 89:4 unable 131:19 unadjusted 129:17 168:21 169:4 208:14 unblinded 58:7 uncertainty 51:3

uncharted 243:10 244:18 uncomfortable 253:14 297:4 uncommon 70:13 undeniable 52:2 under-representation 140:11 under-represented 144:7 underestimate 202:18 underlying 160:4 161:12 260:8 260:9 undermines 90:23 220:13 296:19 undermining 45:5 understood 155:5 303:20 undertaken 55:25 underway 108:21 underwent 63:11 underwhelmed 246:19 unfair 164:21 unfortunately 90:5 161:16 182:4 184:20 194:7 194:17 199:19 291:10 293:18 unique 57:7 unit 11:14 11:16 116:11 unity 88:17 147:17 **University** 8:21 8:23 **unless** 47:20 63:25 212:7 238:3 238:21 259:25 262:21 291:19 unlike 142:10 unlikely 127:2 128:20 231:25 232:2 290:2 unlink 224:17 unopposed 274:9 unreasonable 49:13 unrelated 6:22 6:24 untreated 158:5 176:2 187:24 unusual 113:9 updated 157:21 **upper** 18:4 uremia 97:24 uremic 97:21 97:22 urinary 17:24 165:9 urine 11:16 17:17 17:22 17:25 38:5 42:17 56:23 59:14 93:15 160:15 313:9 usage 252:8 useful 119:16 155:2 199:14 267:2 273:23 288:4 298:18 303:9 306:10 306:20 307:3 uses 51:23 202:25 257:11 287:16 291:24 USRDS 141:15 203:15 203:15 usual 49:25 139:2 167:8 256:12 utilized 15:19 - V -

Valheft 287:2 valid 34:10 42:23 44:12 95:23 124:5 129:5 validated 165:16 validating 45:3 195:8 312:23 validity 192:21 valsartan 287:2 valve 253:22 Vanderbilt 8:21 variable 126:19 variance 29:15 35:24 210:20 variances 13:18 30:8 variations 130:5 varied 210:10 variety 18:22 40:13 41:2 118:9 303:3 varying 129:17 130:11 130:14 131:2 vascular 44:3 106:20 278:22 vasodilator 47:7 vast 309:20 ventricular 313:12 verbal 214:14 verbally 212:24 Verification 55:20 versus 24:7 49:5 57:7 80:24 83:2 110:11 111:11 114:21 127:16 128:5 131:15 139:7 139:25 141:3 148:19 150:17 153:15 154:18 155:20 168:22 169:4 204:22 204:22 206:12 206:13 207:25 210:3 210:4 210:18 227:12 228:14 228:16 237:17 246:15 250:8 274:8 vertical 37:25 206:17 vetted 245:2 Viberti 160:23 Vice 7:24 8:9 victory 109:2 view 17:15 28:19 66:21 95:18 129:15 181:9 198:11 206:18 212:19 233:15 241:3 248:8 248:15 248:25 254:7 265:17 265:20 270:7 271:4 286:3 292:23 305:7 viewed 232:16 237:6 247:11 views 300:24 violating 303:2 Virtually 10:21 70:21 292:9 Vis-a-vis 297:17 vision 267:22 visit 138:24 138:25 138:25 **visits** 154:5 volume 11:16 301:7 vomiting 98:11 253:17 vote 212:23 214:13

249:13 250:12 252:10 266:7 267:6 268:15 268:16 270:3 270:11 270:23 271:23 272:2 274:22 275:12 275:18 276:7 280:8 283:2 283:24 284:16 289:19 290:21 292:18 295:25 296:5 297:25 298:15 298:23 300:22 301:23 302:11 302:21 303:21 303:22 310:10 313:21 voted 256:2 315:6 votes 298:17 303:19 voting 116:5 254:14 VPBS 313:13

- W -

wait 76:6 188:7 waiver 6:21 walking 40:25 wants 85:22 Washington 8:23 waterfront 229:21 waters 190:23 wavelength 32:3 32:4 waving 246:12 weak 249:19 267:24 289:22 291:7 weakened 233:21 233:25 wearing 199:21 wedge 41:21 112:3 week 36:25 116:12 164:5 186:23 191:4 weeks 76:6 76:14 76:19 99:11 138:17 164:5 164:8 177:11 187:2 189:14 259:16 259:19 261:10 262:11 263:11 weigh 294:6 weighing 297:5 297:5 297:7 weight 66:24 165:14 270:9 294:19 299:11 weightings 225:16 welcome 6:3 244:15 well-controlled 222:6 well-documented 171:2 well-done 270:6 well-known 89:12 146:22 146:23 146:25 well-preserved 166:20 well-respected 35:10 well-tolerated 67:13 69:20 172:17 Welley 175:23 weren't 76:16 102:15 120:22 121:21 123:9 238:2 265:12 whatsoever 185:9 whenever 12:3

whereas 92:9 251:13 277:18 279:12 Whereupon 133:12 316:18 whichever 233:15 whites 25:4 25:10 139:7 139:25 141:4 142:22 144:16 150:17 151:25 152:2 152:15 152:16 who's 35:10 42:22 109:10 128:2 270:18 widely 249:3 249:6 wider 268:24 wild 36:7 WILLIAMS 122:24 122:24 willing 101:14 129:7 233:10 237:2 271:14 271:23 285:13 285:17 296:21 wishing 282:23 withdraw 156:24 315:4 withdrawal 177:12 258:4 withdrawn 126:21 258:8 withheld 149:3 woman 37:14 Women's 8:25 women 56:25 147:15 147:16 148:14 148:23 148:24 149:3 149:7 149:16 249:18 wonder 77:23 79:5 117:18 118:5 291:23 wondered 157:7 wonderful 211:25 wondering 34:20 172:24 175:7 177:17 work 72:9 142:22 275:9 279:3 289:25 290:2 worked 196:3 299:22 working 32:14 54:19 104:7 104:16 107:16 190:6 works 165:17 198:9 278:13 279:2 291:17 299:21 workshop 242:5 worldwide 9:6 10:8 35:10 worried 217:12 300:3 300:3 worrisome 269:11 282:19 worry 229:6 232:17 252:2 256:18 293:14 302:25 303:2 worse 25:22 32:6 43:24 44:23 92:19 117:18 145:19 149:21 150:5 150:9 191:8 194:16 220:12 224:11 231:8

231:14 232:23 233:3 worsen 68:9 worsening 138:8 162:13 174:3 193:16 worst 38:7 126:8 219:12 219:15 worth 118:6 237:22 256:13 259:5 wow 111:12 wrong 32:10 70:23 81:9 90:25 118:17 122:4 174:5 184:11 184:18 188:14 188:23 199:3 220:6 234:20 241:18 241:18 250:10 279:8 288:20 290:16 296:15 wrongly 282:23 - X x-ray 112:3 - Y **yell** 310:8 yellow 59:23 60:18 61:21 123:14 134:25 164:7 167:19 178:12 187:3 yes-yes 284:20 York 266:11 266:13 you'd 28:6 118:3 120:21 174:24 277:5 291:6 you'll 13:23 15:18 33:9 37:10 65:10 79:21 79:23 93:19 111:5 158:14 162:3 164:2 167:2 167:14 171:10 179:11 187:13 195:12 250:3 312:5 you've 18:20 24:4 37:21 45:25 52:3 54:10 79:5 90:11 103:16 105:20 108:25 115:3 121:4 124:13 124:14 130:4 132:16 142:15 142:22 177:10 208:23 211:7 229:21 239:5 240:21 244:22 249:6 255:24 256:23 284:24 291:24 297:6 300:20 300:23 309:7 **younger** 99:3 224:9