

1 gotten lots of signals from around the table that a  
2 bathroom break is in order, instead of waiting  
3 until 11:15.

4           But, right before we do that, those of you  
5 on the committee who have worked with me before  
6 know that I distinguish between points of  
7 clarification and discussion. What I would like to  
8 do now is just take a few minutes to see if there  
9 are any specific points of clarification that you  
10 would like to ask any presenters from the sponsor  
11 before we move on to the FDA presentation  
12 afterwards.

13           Then the discussion will begin after we  
14 finish everything. So, are there points of  
15 clarification that you would like to ask any  
16 presenter from the sponsor right now? You also  
17 will have another chance, but I just thought there  
18 might be something burning.

19           Yes, Lloyd? Or, Dr. King, I guess I  
20 should say. He helped train me so it is very easy  
21 for me to bounce back into the familiar role there.

22           DR. KING: Thank you. My point of  
23 clarification is, in reading the background, it  
24 seemed to be that the response to the fixed dose  
25 did not matter about the weight of the patient;

1 that is, you gave it and the response to the T-cells and all  
2 that was the same. Seeing the  
3 complications were in diabetics, and being  
4 diabetic, I wonder if the sponsor had looked at the  
5 role of diabetes, weight and response that they  
6 saw.

7 DR. VAISHNAW: We have not specifically  
8 addressed the issue of diabetes, weight and  
9 outcome. If you were interested in understanding  
10 the issue of diabetes and the potential issue of  
11 infections, we have some data to speak to that.  
12 Was that the--

13 DR. KING: One of the clinical  
14 observations is that diabetics are more predisposed  
15 to serious infections and other things. I just  
16 wondered if that was not something you could tease  
17 out because it may have something to do with  
18 diabetes and infections.

19 DR. VAISHNAW: In the database of over  
20 1500 individuals exposed, the number of serious  
21 infections that would see were low. In the  
22 placebo-controlled studies, it was under 1 percent  
23 both in the alefacept and the placebo group.

24 So, whilst that is an important topic,  
25 there really weren't sufficient number of

1 infections to study within the diabetic subgroup to  
2 definitively determine a relationship or not.

3 DR. DRAKE: Other points?

4 Because we are little bit over, although I  
5 must say that Dr. Lebowhl did a great job in  
6 catching us up, what I would like to do is call for  
7 a ten-minute recess. We will reconvene in ten  
8 minutes. I hope we can make that goal. We will  
9 aim for it; all right? Thank you.

10 [Break.]

11 DR. DRAKE: I would like to invite the FDA  
12 to begin their presentations. I would really like  
13 the audience--would the audience please be seated  
14 or step outside the room.

15 I believe the first presentation by the  
16 FDA is Dr. Marzella. You are the gentleman leading  
17 off. Please proceed.

18 FDA Presentation

19 DR. MARZELLA: Madame Chairman,  
20 distinguished members of the advisory committee,  
21 ladies and gentlemen, good morning. In the next  
22 hour, we will consider the FDA perspective on the  
23 efficacy and safety of alefacept.

24 [Slide.]

25 The FDA presentation has two main

1 objectives. The first objective is to confirm the  
2 analysis and the interpretations of the key  
3 clinical data that you have already heard this  
4 morning from the sponsor. The second objective is  
5 to point out, and hopefully explain, areas where  
6 there are different points of view about the  
7 interpretation of the data.

8           These areas are primarily in things such  
9 as safety where the clinical data are too few or  
10 inconclusive to provide definitive answers. We  
11 will be asking the committee to discuss these  
12 issues and provide guidance.

13           [Slide.]

14           Biogen is seeking to market alefacept for  
15 the treatment of adults with chronic plaque  
16 psoriasis. As you have heard, the clinical trials  
17 evaluated patients with moderate to severe disease  
18 which was defined as involvement of greater than 10  
19 percent body-surface area. Patients had  
20 previously received or were judged to be candidates  
21 for systemic therapy or phototherapy.

22           [Slide.]

23           You have heard this morning already about  
24 the significant impact that this disease has on a  
25 lot of Americans. It is seen in about 2 percent of

1 the U.S. population. There is a genetic component  
2 in the disease. Caucasians are affected primarily,  
3 other ethnic groups less commonly. There are two  
4 peaks of onset, one which is at around twenty years  
5 of age and one which is in later years, around  
6 sixty.

7 [Slide.]

8 Psoriasis in children tends to have a more  
9 severe disease expression. There is also a family  
10 history associated. Biogen has requested and  
11 received from the agency a deferral of the  
12 requirement to conduct pediatric studies. The  
13 agency will ask the committee to provide advice on  
14 the need and timing of pediatric studies of  
15 alefacept in children.

16 [Slide.]

17 As you have heard again this morning,  
18 psoriasis is a hyperproliferative disease. It is  
19 associated with significant morbidity particularly  
20 in the 30 percent or more patients who have  
21 moderate to severe disease. We have heard about  
22 the impact that this disease has on quality of life  
23 and it is well known that it is associated with an  
24 increased risk of suicide.

25 [Slide.]

1           Let's move on to the analysis of the  
2 clinical trials. In my presentation, we will go  
3 trial by trial to sort of highlight the key points.  
4 The clinical study of alefacept began with single-dose dose-  
5 escalation studies of IV and IM dosing in  
6 110 healthy subjects and continued with multiple-dose dose-  
7 escalation studies in patients with  
8 psoriasis.

9           [Slide.]

10           The healthy volunteer study showed rapid  
11 maximal reduction in CD4 cells and CD8, primarily.  
12 They decreased up to 40 and 70 percent of baseline  
13 respectively. Time to recovery was generally hours  
14 to days but occasionally lasted several weeks.  
15 There was a suggestion of dose relationship of the  
16 effect on lymphocytes.

17           The effects of alefacept on lymphocytes  
18 will be discussed in more detail when we talk about  
19 the Phase 2 and Phase 3 studies. Let me mention  
20 another finding of the early studies which was a  
21 rise in neutrophil counts which rose to about  
22 sometimes as high as four times normal. This rise  
23 usually peaked at around 4 hours and it was not  
24 associated with changes in body temperature.

25           No other hematologic abnormalities were

1 seen. Consistent with this protein configuration,  
2 alefacept has a long elimination half-life, about  
3 250 hours. The initial study showed that the IM  
4 route of administration was approximately 50  
5 percent less bioavailable than the IV route.

6 [Slide.]

7 Let's move on to the main Phase 1  
8 multiple-dose dose-escalation study which was done  
9 in patients with psoriasis. As you can see from  
10 the slide, the doses bracketed ranged from 0.005 to  
11 0.075 milligrams per kilogram IV, and a regimen of  
12 intramuscular dosing was also tested. The  
13 treatment schedule consisted of once weekly  
14 administration for eight weeks.

15 The main safety observation from this  
16 Phase 1 study was the relationship between dose and  
17 reduction in lymphocyte counts. The number of  
18 subjects with low lymphocyte counts and the  
19 duration of low counts increased with dose. At the  
20 highest dose level, some subjects experienced  
21 prolonged decrease in CD4 and CD8 counts, up to 53  
22 days and 117 days, respectively. Again, we will  
23 have more to say about these drops when we talk  
24 about the Phase 2 and Phase 3 data.

25 This was the first study to give

1 information on the time course of drops in  
2 lymphocyte counts. Various patterns of change were  
3 observed. An important general observation was  
4 that lymphocyte counts following an initial drop  
5 did not continue to decline as dosing continued.

6           The study also examined delayed type  
7 hypersensitivity to intradermal challenge with  
8 various antigens. Antigens were applied before the  
9 treatment and after the end of the treatment  
10 intradermally to non-lesional skin. A number of  
11 patients tested positive at baseline and negative  
12 post-treatment to specific antigens. In the  
13 example shown here, which is the most dramatic, for  
14 example for tetanus, there were eight shifts from  
15 positive to negative out of a total of nine  
16 patients who were positive at baseline and no  
17 patients shifted in the opposite direction.

18           [Slide.]

19           Let's discuss next the Phase 2 and Phase 3  
20 studies.

21           [Slide.]

22           Let's consider first the general design  
23 issues. The studies were randomized, double-blinded and  
24 placebo-controlled. An important  
25 provision for maintaining the study blind was



1 Biogen's use of a laboratory physician who  
2 evaluated the laboratory data. The physician  
3 ordered placebo substitutions if T-cell counts were  
4 below specified thresholds for age and laboratory  
5 range.

6           Now, in brief, let me characterize what  
7 the three main Phase 2 and Phase 3 studies were.  
8 Study 708 was a Phase 2 dose-ranging study that  
9 used weigh-based IV dosing. 711 was a fixed-dose  
10 IV administration study that evaluated two courses  
11 of treatment. Finally, 712 was a dose-comparison  
12 study that used fixed-dose intramuscular  
13 administration.

14           For all these courses, the drug was  
15 administered once weekly for a total of twelve  
16 weeks.

17           [Slide.]

18           Let's discuss the primary efficacy  
19 outcomes. The primary outcome in Study 708 was a  
20 static PGA of mild or better. In Study 711 and  
21 712, the main efficacy outcome was a 75 percent  
22 reduction in PASI score from baseline.

23           Now, the handling of patients who used  
24 disallowed therapies during study was as follows.  
25 In Study 708, any topical antipsoriatic drug was

1 allowed on specific areas of the body such as  
2 groin, scalp, palms and soles. Low potency topical  
3 corticosteroids were allowed on any skin lesion  
4 other than target lesion.

5           Systemic therapy and phototherapy,  
6 however, were not allowed. However, in the primary  
7 efficacy analysis, patients who used disallowed  
8 treatments were not considered treatment failures.  
9 On the other hand, in the Phase 3 studies, namely  
10 711 and 712, patients who received systemic therapy  
11 or phototherapy were considered treatment failures  
12 for the primary efficacy analysis and for most  
13 secondary analysis.

14           It is important to note that the  
15 prespecified time to assess treatment outcome was  
16 two weeks after the end of treatment.

17           [Slide.]

18           There is a suggestion in a number of  
19 studies that patients continued to respond to the  
20 study treatment beyond the prespecified time point.  
21 This is a plausible suggestion given, as you have  
22 heard, the long half-life of the drug and also the  
23 long duration of its pharmacodynamic effect.

24           However, as we will discuss in detail,  
25 there are some caveats to take into consideration

1 in interpreting treatment responses in the follow-up period.  
2 For this reason, we think that this  
3 hypothesis about response needs further  
4 corroboration.

5 [Slide.]

6 Let's go, then, to recap, in the next  
7 slide, what 708 was, again a dose-ranging study.  
8 The dose groups were placebo, 0.025, 0.075 and  
9 0.15 milligrams per kilogram IV. Certain  
10 concomitant antipsoriatic medications were allowed  
11 and dose--and this is an important provision of all  
12 of the trials from now on--was withheld if CD4  
13 count was less than 300 in this particular study.

14 [Slide.]

15 The next slide indicates, as a sponsor has  
16 already shown, that 708 provided evidence of  
17 treatment effect. Based on the primary efficacy  
18 outcome, there was a 20 percent absolute increase  
19 in the proportion of responders.

20 The primary outcome did not provide  
21 sufficient information about the relative clinical  
22 activity of alefacept doses. However, secondary  
23 efficacy analysis such as PASI and pharmacodynamic  
24 analysis did allow further delineation of a dose  
25 response and, ultimately, this was the dose that

1 was selected for the Phase 3 study, intravenous  
2 study.

3 [Slide.]

4 Evidence of treatment effect can be seen  
5 starting at about 60 days after the beginning of  
6 treatment. This is the placebo plot. These plots  
7 are for the alefacept groups. This line indicates  
8 the time for assessment of endpoint which was two  
9 weeks after the end of the treatment period. So,  
10 again, there is a suggestion that both in the  
11 placebo group and in the alefacept arms, patients  
12 continued to respond. The issue is going to be to  
13 see--for instance, if one looks at the alefacept  
14 group, what is the contribution of placebo in  
15 addition to other issues that we will talk about in  
16 a moment.

17 [Slide.]

18 This figure is taken--a very elegant  
19 figure--from the sponsor's study report. What this  
20 shows is the response of lymphocyte counts in Study  
21 708 to dosing. The bar here shows the duration of  
22 the dosing period. These are the various groups.  
23 As you can see, there is a nice dose response in  
24 terms of decrease in lymphocyte counts.

25 The pattern of drop is also informative.

1 It tends to be greatest within four weeks and,  
2 after that, it sort of stabilizes. Following the  
3 end of the treatment period, you will notice that,  
4 for the groups, there is a tendency for the counts  
5 to recover. However, by the last observation in  
6 the study, the counts have not returned to  
7 baseline.

8 [Slide.]

9 As Biogen indicated, obviously, these are  
10 mean data. To look at specific clinically  
11 meaningful effects in patients, we have to go to  
12 another type of analysis which essentially looks at  
13 the proportion of patients that fall under specific  
14 thresholds at any time during the treatment course.

15 In this particular case, we are looking at  
16 CD4 T-cell counts but the same phenomena can be  
17 seen with other T-lymphocyte subsets. Namely, what  
18 is occurring is that there is a definite dose  
19 response in the proportion of patients who, at any  
20 time, have decrease in CD4 cell counts below  
21 normal.

22 The other interesting thing is that the  
23 magnitude of the drop is also dose dependent. You  
24 will notice that, as we go from low dose to high  
25 dose, the proportion of patients falling below a

1 clinically significant threshold, potentially  
2 clinically significant threshold, of 200 also  
3 increases.

4 [Slide.]

5 The next slide also shows the correlation  
6 of this finding, namely that the laboratory  
7 assessing physician ordered substitution of blinded  
8 study drug with placebo whenever he observed  
9 abnormal CD4 counts. So what this slide shows also  
10 is a dose relationship in the proportion of  
11 patients who had to receive placebo substitutions  
12 because of a drop in CD4 counts. Again, the  
13 percentage is dose related and I will remind you,  
14 this is the dose that was tested further in the  
15 Phase 3 study.

16 A caveat here is that, for this analysis,  
17 only patients who completed treatment and received  
18 all twelve injections were used.

19 [Slide.]

20 There was some suggestion, in the previous  
21 study, that there might have been some shift in TDH  
22 testing. Again, to remind you, this was done using  
23 a commercial test kit and the antigens, about a  
24 dozen of them, were applied intradermally before  
25 treatment and then after the end of treatment.

1 Again, there is noise in this data but there is a  
2 suggestion that the alefacept groups had, perhaps,  
3 a higher number of shifts than placebo. This is  
4 not consistent for all antigens.

5 [Slide.]

6 If we go to the next group, we can see  
7 that, perhaps, there is a trend with Proteus but  
8 not with Trichophyton. So we think that this is  
9 suggestive data and one should be mindful of it  
10 particularly because it has a lot of plausibility  
11 due to the mechanism of action of the drug. We  
12 will be asking the committee to provide advice on  
13 this issue.

14 [Slide.]

15 So, in conclusion, then, 708 provided  
16 evidence of treatment effect. The sponsor used  
17 pharmacodynamic and secondary efficacy outcomes to  
18 identify a dose that appeared to have a suitable  
19 risk-benefit profile and, in particular, the high  
20 dose was not chosen because, as you saw, about 50  
21 percent of patients had to have reductions for  
22 lymphocyte counts.

23 The onset of response tended to occur  
24 towards the latter part of the dosing period--it  
25 began after 60 days in this study and the median

1 time in response plus treatment, I didn't actually  
2 show the data but it was estimated to be around 70  
3 days. I will show that in more detail in further  
4 studies and I also indicate how that was analyzed  
5 because you have heard different estimates and I  
6 want to try to reconcile them and explain how they  
7 were arrived at.

8 [Slide.]

9 The study also confirmed that alefacept  
10 induces dose-dependent reduction in total  
11 lymphocyte counts and lymphocyte subsets primarily  
12 CD4 and CD8. Lymphocyte counts did not return to  
13 pretreatment baseline by the time of the last  
14 hematology assessment which was twelve weeks post-treatment  
15 in all subjects.

16 There were also safety observations  
17 related to infections and malignancy but we will  
18 discuss those as the sponsor has done in the  
19 integrated safety analysis.

20 [Slide.]

21 Let's move on to Study 711 which was the  
22 Phase 3 intravenous dosing study.

23 [Slide.]

24 This study compared alefacept given IV as  
25 a 7.5 milligram fixed dose to placebo. The study



1 was also designed to evaluate two treatment courses  
2 of alefacept. A minimum interval of twelve weeks  
3 was specified between treatment courses to allow  
4 for recovery of lymphocyte counts before a second  
5 treatment course.

6 Note that in the first treatment course,  
7 Cohort 1 and Cohort 2 received alefacept so, for a  
8 lot of the analysis, these two cohorts are pooled  
9 and are referred to as the combined alefacept arm.  
10 The comparator group for that analysis will be  
11 Cohort 3 which received placebo in the first  
12 treatment course.

13 [Slide.]

14 The primary efficacy outcome was the  
15 proportion of patients again who experienced PASI  
16 75 percent improvement. As you can see, after  
17 placebo adjustment, the proportion of responders is  
18 10 percent. These are the confidence intervals of  
19 the difference. As you can see, they exclude zero.  
20 Using a criterion of PASI 50 percent improvement  
21 from baseline, the placebo-adjusted rate is 28  
22 percent. These are the confidence intervals around  
23 that difference.

24 Using a criterion of PGA almost clear or  
25 clear, the absolute difference, after adjustment

1 for placebo, is 7 percent. So we are in basic  
2 agreement with the finding of the sponsors that  
3 there is evidence of a treatment effect--it is 10  
4 percent--that the evidence of efficacy is  
5 corroborated by secondary efficacy outcomes. And  
6 we agree with the sponsor that all of these  
7 outcomes, and there are several others, in general,  
8 track very well with each other, perhaps not  
9 surprisingly because they essentially assess the  
10 very same manifestations of disease.

11 [Slide.]

12 Let's spend a little bit of time looking  
13 in detail at this slide which tries to examine the  
14 changes in median PASI score over time over two  
15 treatment courses. Let me, again, explain that  
16 there are two treatment courses here and that the  
17 sponsor defines a treatment course as an initial  
18 dosing interval which, as you see here, is twelve  
19 weeks followed by a follow-up period, which is  
20 another two weeks, followed by an interval which  
21 can be more than twelve weeks to allow for patients  
22 who were clear before--and, of course, did not  
23 qualify for redosing, as well as to allow for  
24 patients who had variable intervals of times during  
25 which their CD4 counts were too low for

1 retreatment.

2           The reason that this plot is truncated  
3 here is that that interval is nonlinear and it is  
4 variable.

5           Let's look at the various groups again.  
6 This is the placebo group, the brown line. This is  
7 the alefacept-placebo group and this is the  
8 alefacept-alefacept group. It is important to note  
9 that the median scores for all three groups were  
10 similar at the beginning of the first treatment  
11 course.

12           So, when one compares the combined  
13 alefacept group at the end of the treatment period  
14 at endpoint to the placebo group, one sees that the  
15 median score in the alefacept arm is lower than the  
16 placebo group. This is, of course, consistent with  
17 the primary efficacy outcome using a responder  
18 analysis.

19           It is informative to ask what happens  
20 after the second treatment course. First of all,  
21 one notices that, in the follow-up period, there is  
22 a tendency for the median PASI scores to rise in  
23 the treatment group. Following a second treatment  
24 course, you can see that there is a further decline  
25 in median PASI score.

1           There are two ways to look at the  
2 magnitude of the second treatment response. One  
3 can use as baseline the first treatment course, as  
4 the sponsor has done, and that results in a greater  
5 estimate of proportion of responders. If one looks  
6 as baseline the first treatment course, the  
7 magnitude of the second treatment course is lower.

8           In any case, I think it is reasonable to  
9 conclude that this plot shows that that two  
10 treatments are active, the two courses of treatment  
11 are active. A little bit inconsistent with this  
12 observation, however, is the fact that in the  
13 placebo arm, you can see that an initial placebo  
14 response following a course of alefacept, this  
15 group ultimately ends up where the other group ends  
16 up who received two courses of treatment.

17           Now, of course, for the purpose of this  
18 comparison, we are doing a landmark analysis and we  
19 are purposefully disregarding the area under the  
20 curve which shows that this group did, in fact,  
21 benefit. But I am pointing this fact to sort of  
22 point to some of the potential complications in  
23 comparing these effects.

24           Another comparison that is informative is  
25 to look at the alefacept-placebo group. One can

1 see that, over the course of about nine months,  
2 essentially all of the treatment response is lost  
3 and one goes back, then, to the placebo-placebo  
4 level. So, again, if you are now thinking back on  
5 what the sponsor talked about in terms of median  
6 responses of nine months, you sort of have to  
7 wonder about that interpretation.

8           The final point that I wanted to make is  
9 that, interestingly, there is a maintenance of  
10 response following the end of the treatment. The  
11 maintenance of response occurs in both the active  
12 and the placebo group. So the comparison of these  
13 two is not straightforward.

14           I have throw a lot of sort of analysis at  
15 you and, of course, I want to sort of make it clear  
16 that these are all post hoc analyses, but I think  
17 that it is informative to carefully look at these  
18 values and try to interpret the various effects of  
19 this treatment regimen.

20           [Slide.]

21           Let me go next quickly to the observed  
22 mean changes in patient-reported outcomes. I think  
23 that the FDA and the sponsor are in complete  
24 agreement on what the data show. Actually, as you  
25 saw in the meeting package, we--meaning I--misinterpreted

1 some of the values and we corrected  
2 that in the agenda. But there is no disagreement  
3 on the figures.

4 The only thing that I want to point out,  
5 as the sponsor did, I guess, is that there is some  
6 response in the placebo group and that if one looks  
7 at the absolute difference, it is in favor of  
8 alefacept. But the question is how meaningful this  
9 is.

10 [Slide.]

11 This is for the DLQI which was considered  
12 the primary score. Looking at another scale, the  
13 DQOLS, there is also, again, a response in placebo.  
14 Again, negative scores mean improvement. If you  
15 compare the difference between arms, there is a  
16 difference in favor of alefacept. But, again, the  
17 question is how clinically significant that  
18 magnitude is.

19 [Slide.]

20 Moving on to the next slide, we want to  
21 look at an estimate of the duration of a 75 percent  
22 reduction from baseline in PASI in those patients  
23 who achieved a response at the end of the  
24 treatment.

25 As you can see from this Kaplan-Meier

1 plot, a rough estimate of the median duration of  
2 treatment response is, perhaps, about 100 days or  
3 so in the alefacept arm and it is about--I think it  
4 is about 30 days in the placebo arm. Again, this  
5 is looking at--it is, admittedly, a somewhat  
6 conservative analysis looking at patients who  
7 achieve and maintain a 75 percent response.

8 [Slide.]

9 There was a question earlier about effects  
10 of weight on treatment response. This post hoc  
11 analysis did suggest that if you look at treatment  
12 responses in placebo and alefacept and you divide  
13 them weight quartiles that, if you look at the  
14 patients in the heavier weight quartiles, that the  
15 proportion of responders corrected for placebo is  
16 very low. We have a 4 percent, 5 percent and this  
17 contrasts with about 18 percent treatment effect  
18 adjusted for placebo in patients with lower body  
19 weight.

20 Then, if you look overall to try to  
21 increase the power, if you make a cut point which  
22 is roughly close to the median, and we used for  
23 this greater than 85 and less than 85, again, you  
24 can see that there is about a four-fold difference  
25 in response in favor of patients with lower body

1 weight.

2           Now, of course, it is not clear what this  
3 association is due to. There are multiple factors  
4 but it certainly raises the question of whether  
5 patients with greater body weight are being  
6 appropriately dosed.

7           [Slide.]

8           The next slide shows the relationship  
9 between efficacy and CD4. The sponsor also showed  
10 this correlation. I think that the main point that  
11 we would like to make here is that is, indeed, a  
12 correlation but that the correlation is very weak.  
13 This is taking total CD4 counts. The sponsor  
14 showed data focusing only on memory cells.

15           There are two ways of looking at these  
16 data. You can look at the--this data, let me  
17 explain what this shows. This is categorizing  
18 patients in terms of magnitude of response. Here  
19 we have patients that respond 75 percent or more,  
20 50 to 75, less than 50 percent. The question that  
21 we ask, then, within each of these groups, what  
22 proportions of patients have low CD4 counts.

23           There are two ways of looking at the data.  
24 If you look this way, we just calculated the  
25 numbers. I don't happen to have them in front of



1 me, but another way, perhaps, intuitively to look  
2 at the data is to look at the proportion of  
3 patients who had 75 percent improvement who were  
4 below 300. There is 33 percent of these as opposed  
5 to 11 percent who were below 50.

6           So you have to look at these two numbers,  
7 11 percent less than 300, 68 percent greater than  
8 400. So there seems to be a correlation. If you  
9 look at nonresponders, more tend to be over on this  
10 side whereas if you look at patients who responded  
11 more, more tend to be on the opposite side.

12           However, if you look at--oh; thank you.  
13 My office director actually calculated these  
14 numbers so I have to give him credit. The  
15 percentages are 53 percent for 75 percent  
16 improvement, 36 percent and 31 percent. So there  
17 is a general correlation.

18           However, if one tries to estimate what  
19 proportion of the drop in CD4 accounts for the  
20 response, you can see that the correlation is very  
21 weak. So, by this estimate, and I have to  
22 acknowledge Dr. Chao's analysis for this, only 4  
23 percent of the treatment effect can be accounted  
24 for by dropping CD4s. So it is a modest  
25 correlation at best.

1 [Slide.]

2 The next slide also is a busy slide but I  
3 think it is very informative. So I will try to  
4 spend a few minutes to try to go over that. This  
5 is essentially a correlate of the slide that you  
6 showed before except that, now, this one asks what  
7 happens to median CD4 counts over time in patients  
8 who receive two treatment courses.

9 There is a lot of, I think, informative  
10 points to be made here. One is that if one looks  
11 at the alefacept-alefacept that, following an  
12 initial alefacept treatment, there is a tendency  
13 for the counts to recover. But, by the time that  
14 you get a second treatment, you still haven't  
15 recovered to baseline and, in fact, these data  
16 suggest that you get a cumulative drop in counts.

17 You go from basically a median of 600 to  
18 400. I want to emphasize that these are  
19 essentially median counts. These are not in the  
20 individual patients.

21 The other point to make is that--actually,  
22 this is a very important point to make. This  
23 particular study has the best controlled data on  
24 long-term safety of a single alefacept treatment  
25 because, as you will remember, this group got

1    alefacept only during this three-month treatment  
2    interval.  Then they had a three-month follow up  
3    and then they went into a placebo phase where they  
4    got three months of placebo followed by another  
5    three months of placebo follow up.

6                So, the interesting point here to note is  
7    that nine months after the end of the treatment,  
8    the median CD4 counts are still low so there is  
9    substantial duration of time that it takes for CD4  
10   counts to recover.

11               Of course the clinical significance of  
12   this is unknown but we would argue that, in view of  
13   the suggestion that these effects may be  
14   cumulative, that they are long-lasting, that  
15   caution and conservatism is called for interpreting  
16   the data.

17               [Slide.]

18               Let's look at the same analysis that we  
19   talked about earlier.  This one now looks at drops  
20   below normal in individual patients.  These are the  
21   proportions of patients that fall below specific  
22   thresholds.  As you can see, at any time, there is  
23   a proportion of patients that drop below threshold.

24               [Slide.]

25               In comparing Course 1 and Course 2 as well

1 as comparing multiple treatment courses, the  
2 problem is that there is a potential enrichment in  
3 patients who are resistant to the potential toxic  
4 effects of the product. So these analyses are  
5 essentially potential underestimates of what the  
6 potential for cumulative toxicity would be for this  
7 product.

8           If you carefully noted the numbers in the  
9 treatment cycles that the sponsor showed, I think  
10 that there was a substantial drop, at least 50  
11 percent or more, with each treatment cycle. So the  
12 conclusion that there is no cumulative safety risk  
13 of adverse events with cumulative cycles has to be  
14 tempered by the realization that there is a  
15 substantial drop in the number of patients with  
16 subsequent cycles.

17           [Slide.]

18           We agree with the sponsor's interpretation  
19 that most of the effects are seen in CD4 and CD8  
20 counts, particularly in memory cells. However, we  
21 would like to point out, and I am not showing the  
22 data here, that if you look at individual patients,  
23 there are patients who also experience drops in  
24 naive cells. NK cells also do show a drop. It is  
25 not that dramatic. If you look at mean percent

1 changes at nadir, there are drops both in placebo  
2 and in the alefacept groups so there is a small  
3 differential, but it is reproducible and the counts  
4 return to normal.

5           So the point we are making here is that  
6 potentially there is a range of CD2-positive cells  
7 that can be affected by the drug. Again, the  
8 clinical consequences of that may be benign but are  
9 certainly unknown at this point.

10           [Slide.]

11           The next slide, again, shows the same  
12 issue which is important for clinical use of this  
13 product which is the proportion of patients that  
14 require placebo substitutions because of CD4  
15 counts. Of course, the proportion is--the total  
16 numbers of patients is as you see here.

17           This is in the first course, second  
18 course, and this is in the drug course of this  
19 particular group.

20           [Slide.]

21           So, in conclusions for 711, the trial  
22 demonstrated convincingly that alefacept was  
23 superior to placebo. The placebo-adjusted response  
24 rate was 11 percent absolute. Alefacept was also  
25 active for a second treatment course and, depending

1 on where one pegs the baseline, the response was  
2 either 15 percent or 6 percent.

3           There was a suggestion that body weight  
4 was associated with a differential effect on  
5 response. There is insufficient data in subjects  
6 weighing less than 50 kilos. In the clinical  
7 trial, these patients were dosed at about one-third  
8 less but there is not enough experience to indicate  
9 whether there is sufficient rationale for making  
10 that recommendation for these patients. The  
11 patient-reported outcomes also showed trends in  
12 favor of alefacept.

13           [Slide.]

14           In terms of immunologic parameters, it is  
15 clear that alefacept lowers lymphocyte counts.  
16 CD4s and CD8s are affected most, NK cells to a  
17 lesser degree. Consideration should be given to  
18 the potential that lymphocyte reductions may be  
19 cumulative and the decrease in CD4 counts are only  
20 weakly associated with treatment response.

21           [Slide.]

22           Now, lymphocyte counts may not return to  
23 baseline for up to nine months treatment, certainly  
24 on average, and certainly they were identical in  
25 specific patients, individual patients. The

1 pharmacologic effect was potentially greater  
2 without appropriate monitoring because one rule  
3 that was strictly adhered to in the clinical trial  
4 is that weekly monitoring and that the dose was  
5 held if counts were less than 250.

6 [Slide.]

7 Let's move on to the intramuscular dosing  
8 study. This was this design.

9 [Slide.]

10 This was a study that compared two  
11 intramuscular doses of alefacept, 10 and 15  
12 milligrams, weekly for twelve weeks to placebo.  
13 The stratification was by the two variables of PASI  
14 score and prior systemic therapy.

15 [Slide.]

16 These are the efficacy outcomes for the  
17 study. We agree completely with the sponsor's  
18 interpretation. The placebo-adjusted difference  
19 for the 15 milligram dose group is about 17  
20 percent. The confidence intervals around that  
21 difference between the two groups excludes zero.  
22 Interestingly, as the sponsor indicated, the 10  
23 milligram dose is also active. In fact, there is a  
24 suggestion--I shouldn't say there is a suggestion  
25 of a dose-dependent effect, but let me leave it

1 that it is intermediate.

2           The p-value that was calculated was about,  
3 I think, 0.04. The reason that it did not make it  
4 into significance was because of the multiplicity  
5 of comparisons, the prespecified p-value was 0.025.  
6 So there is a definite suggestion that this is also  
7 active. Again, if you use secondary outcomes,  
8 let's say 50 percent improvement or a PGA of almost  
9 clear to clear, that this is supported by the  
10 secondary efficacy outcomes.

11           [Slide.]

12           As in 711, there was a suggestion, at  
13 least in the 10 milligram dose group, that  
14 retreatment response was associated with weight.  
15 As you can see here, these are the proportion of  
16 responders in patients in the highest quartiles.  
17 This is the next highest above the mean and these  
18 are the two lowest. There is certainly a  
19 suggestion that patients, again, with higher body  
20 weights do not respond as well as patients with  
21 lower body weights.

22           This effect was not seen, however, in the  
23 15 milligram dose which is what the sponsor is  
24 seeking for a label.

25           [Slide.]



1           This slide, again, shows the relationship  
2 between efficacy and CD4 counts. If anything, in  
3 this particular slide, the correlation is a little  
4 bit even weaker than in the previous study. I  
5 think roughly 2 percent of the response can be  
6 accounted for by CD4 counts. I don't think I will  
7 go into the details there.

8           [Slide.]

9           The time to treatment response is shown in  
10 this slide. Consistent with what was seen in  
11 earlier studies, the onset of response is fairly  
12 late in the treatment period. This was the time to  
13 endpoint. This is the period of dosing. As you  
14 can see, time to response, this is the placebo arm.  
15 These are the two active arms.

16           There is a difference between the two but,  
17 as you can see, separation occurs fairly late,  
18 around after Week 9 or so of the treatment period.  
19 Again, there is this suggestion that there are  
20 additional responders in the post-treatment period.

21           [Slide.]

22           The sponsor--I should have given Biogen  
23 credit for the previous plot as well as this plot--this  
24 shows the median duration of treatment  
25 response. As you can see, this is the placebo

1 curve--I cannot read this number from here. It is  
2 probably 43--right; it is 43. Actually, let me  
3 make sure that I don't misrepresent that. Anyway,  
4 it is roughly maybe around 30 or so. It is very  
5 hard to see the slides from here. For the active  
6 arms, it is around 60. I will stand corrected if I  
7 don't read this. Is that reasonable? Okay.

8 [Slide.]

9 Again, we entirely agree with the sponsor,  
10 with their analysis of the mean changes in patient  
11 reported outcomes. Again, the placebo group tended  
12 to respond as well as the active arm but the mean  
13 difference between groups favored alefacept.  
14 Again, the question that we would like to ask the  
15 committee is does this provide additional  
16 clinically meaningful information for the label,  
17 for a potential label.

18 [Slide.]

19 This analysis looks at the proportion of  
20 patients who have abnormal CD4 counts at any time  
21 during the treatment period. As you can see, the  
22 proportion of patients with abnormal counts and the  
23 thresholds that they reach are certainly higher in  
24 the active arms confirming previous results.

25 [Slide.]

1           The subjects with abnormal cell counts at  
2 the last visit is shown here. There is about 8  
3 percent of patients at the last visit whenever that  
4 happened to occur have abnormal CD4 counts.

5           [Slide.]

6           So the efficacy conclusion for this study  
7 is that, compared to placebo, the 15 milligram  
8 group is superior and the placebo-adjusted response  
9 is 15 percent. The 10 milligram group has  
10 intermediate activity. Response for body weight is  
11 different in the 10 milligram dose group depending  
12 on which cohort you are in and the association  
13 between efficacy and reduction in CD4 counts is  
14 weak.

15          [Slide.]

16          For patients who responded at any time,  
17 the median time to response is certainly near the  
18 end of the 84-day dosing period, approximately 90  
19 days for both alefacept and placebo groups. The  
20 median duration of response in this particular  
21 study was 40 days for placebo and 64 days for  
22 alefacept. Again, this is a 75 percent criterion.

23          [Slide.]

24          Alefacept, then, induced decreases in CD4  
25 and CD8 cell counts. They persist until the end of

1 the study in some patients. I didn't show the data  
2 but there was a proportion of patients who  
3 developed alefacept antibodies, 4 percent, as the  
4 sponsor indicated.

5           Let's look at the summary of safety. Here  
6 we have, I think it is fair to say, some  
7 differences in interpretation with the sponsor.  
8 Before going into the integrated safety, I want to  
9 comment on the toxicology data. As my colleague,  
10 David Green, who made this slide, would like to  
11 point out, that similar toxicities were observed at  
12 the 1 and 20 milligrams per kilogram dose.

13           So, given the fact that no nontoxic doses  
14 were identified, we are not sure what the linearity  
15 is between the toxicity of 1 and 20. Potentially,  
16 there might be some saturation effect. So we have  
17 a word of caution about that.

18           Perhaps another fair caution is that if  
19 you look at the animal that, as Dr. Seigel pointed  
20 out, developed a lymphoma, the pharmacodynamic  
21 correlate of that was some drop in CD4 counts which  
22 was that dramatically different, if I remember. I  
23 shouldn't, perhaps, be so glib, but it was  
24 dramatically different from what one sees in  
25 humans.

1 [Slide.]

2 So I think the concept to emphasize here  
3 is that if one looks at pharmacodynamic effects in  
4 addition to dose toxicity, one, perhaps, would  
5 adjust downward the safety factor that one is  
6 dealing with in the toxicology data and apply that  
7 to the human.

8 Let's look at the issue of serious adverse  
9 events. The sponsor indicated that the incidence  
10 of serious adverse events was the same, 5 percent  
11 in both placebo and alefacept arms. But what the  
12 sponsor also pointed out was that there was a  
13 disproportionate amount of patients in the placebo  
14 arm who had serious adverse events which were  
15 called psoriasis.

16 We didn't have a chance to go back and  
17 analyze those closely, but it is a reasonable  
18 assumption to make that these are essentially--the  
19 disproportion is because this is essentially a  
20 manifestation of efficacy.

21 So another way, then, to consider the  
22 safety experience is, perhaps, to exclude patients  
23 that have serious adverse events due to psoriasis  
24 because one would expect a disproportion in the  
25 placebo patients. If one recalculates the data

1 this way, then the proportion is 3 percent in  
2 placebo and 5 percent in the alefacept arms.

3           The other point to make is that the  
4 sponsor indicated that the incidence--these are,  
5 admittedly, very low numbers but it is the best  
6 controlled experience that we have. It is in  
7 Course 1. So the intervals of exposures are  
8 comparable. We have a controlled experience.

9           I think that it is not reasonable to sort  
10 of discount both of these as being less than 1  
11 percent. Again, the numbers are low but another  
12 way to look at this is that there is a signal, that  
13 the relative proportions are higher in the  
14 alefacept arm.

15           This is further supported when one goes  
16 and looks clinically at the description of the  
17 serious adverse events. The numbers are a little  
18 bit different. We excluded one patient from the  
19 placebo group because that patient had pancreatitis  
20 due to alcohol intoxication and he was classified  
21 as an infectious event. So, excluding that event,  
22 we have one patient who was a patient with chronic  
23 COPD who developed decreased O2 saturation, was  
24 admitted, was treated with oral antimicrobials and  
25 improved.

1           One would contrast that with patients who  
2 had peritonsillar abscess, serious cellulitis. For  
3 instance, the diabetes mellitus patients, we  
4 confirmed the sponsor's observation that this was  
5 in a patient with a preexisting risk factor, but  
6 this maybe makes it more likely that, perhaps, a  
7 signal might be seen in this population.

8           So the fact that the patient had several  
9 episodes of external otitis and that, in this  
10 particular instance, developed necrotizing facial  
11 cellulitis requiring debridement and intravenous  
12 antimicrobials is certainly, we would argue, a  
13 complicated situation.

14           There are examples, also, from the  
15 noncontrolled data. For instance, we would argue  
16 that the patient who developed cellulitis is not  
17 atypical in patients with psoriasis. But this  
18 particular patient developed septic shock and  
19 developed renal failure, respiratory failure. With  
20 good medical care, he did survive. But, again, we  
21 would argue that that is a complicated event.

22           There was another patient, again this one  
23 with diabetes mellitus, who had a very complicated  
24 course following repair of a rotator cuff. He had  
25 multiple abscesses, had to have multiple operating-room

1 debridement and wound up, finally, with having  
2 to be reoperated and having some residual loss of  
3 range of motion.

4           So, again, the numbers are few but we  
5 would argue that caution is called for in the  
6 interpretation of these numbers.

7           [Slide.]

8           Let's look at malignancies. Again, the  
9 sponsor sort of chose to interpret this as less  
10 than 1 percent. But, again, there is potentially--the  
11 numbers are few but there is a suggestion of a  
12 signal, we would argue, potentially. The  
13 interesting fact is that the skin cancer seen in  
14 the placebo group was a basal-cell carcinoma.

15           There were two basal cells in the  
16 alefacept arm and four squamous-cell carcinomas,  
17 and the percentages you have to have those. So,  
18 again, we would argue that clearly the observation  
19 period is short. There are questions about whether  
20 we are dealing with development of cancer,  
21 promotion of cancer, a clinical diagnosis of  
22 cancer, but we think that this cannot be ignored.

23           [Slide.]

24           Let's look at the incidence during  
25 treatment of anti-alefacept antibodies. We agree



1 with the sponsor's analysis. In the IV group, the  
2 incidence was less than 1 percent. The highest  
3 titer was 1 to 160. The proportion of patients,  
4 not surprisingly, who developed antibodies was 4  
5 percent which is notable. The highest titer was 1  
6 to 40 and there was no evidence--we agree with the  
7 sponsor that these titers resulted in adverse  
8 events or loss of efficacy.

9 [Slide.]

10 Let's look, then at the overall  
11 conclusions.

12 [Slide.]

13 Alefacept efficacy; the responders  
14 compared to placebo, by a criterion of PASI 75--75  
15 percent in PASI from baseline, the placebo-adjusted  
16 response is 10 to 15 percent higher--it is 10 to 15  
17 percent in the alefacept-treated groups. Using  
18 PASI 50, the response is 25 percent.

19 Now the median time to response is  
20 approximately 90 day both by the IV and IM route.  
21 Again, this may seem plausible given the lag time  
22 following the pharmacodynamic effects. Then the  
23 median duration of response is approximately 105  
24 days or 64 days. As we have cautioned, the  
25 interpretation of this response is fraught with

1 dangers and it is something that needs to be  
2 confirmed with additional studies.

3 [Slide.]

4 With regard to reduced lymphocyte numbers,  
5 it is clear, as the sponsor has indicated, that  
6 phenotypes with higher levels of CD2 counts, with  
7 CD2 expression, are affected most. This means T-cells with  
8 memory phenotypes. But, again, we would  
9 point out that in individual patient-data listings,  
10 there were examples of patients who had also naive  
11 cells affected. This did not show in the mean  
12 counts.

13 NK cells were also affected to a minor  
14 extent. There is a suggestion that needs to be  
15 considered that the reduction may be cumulative  
16 with additional therapy cycles. Again, the comment  
17 that we would make, looking at cumulative cycles,  
18 is that, given the considerable dropoffs in numbers  
19 with subsequent cycles, it is very difficult to  
20 interpret that data.

21 Recovery to normal levels or to baseline  
22 is slow and/or incomplete in some patients. That  
23 data, again, beyond the second cycle is incomplete.

24 [Slide.]

25 We would like to focus the key issue of

1 what is the significance of the reduction in CD4  
2 and CD8 cells in terms of clinical events. I think  
3 that the sheer magnitude of the drop, as I have  
4 shown in different studies in as much as 50  
5 percent, suggests that the impact is likely, very  
6 likely, to go beyond psoriasis or immunity or any  
7 specific--recall to any specific antigen and it is  
8 likely to impact on immune defenses in general.

9           Again, this is an interpretation of the  
10 magnitude of the drops. We would argue, also, that  
11 there are some signals. There is some suggestion  
12 of decreased DTH responses. This is something that  
13 was also observed in the animal data. There is a  
14 high plausibility for this effect being seen, so  
15 the fact that we would, perhaps, admit to  
16 overinterpreting this. But it seems to us to be a  
17 signal.

18           There are trends in increased incidence of  
19 infections and malignancies that also cannot be  
20 disregarded. We acknowledge that the database is  
21 small to assess risk but, perhaps, this is the  
22 best-controlled way to certainly look at the  
23 incidence of infections.

24           [Slide.]

25           W also would like to caution that

1 reduction in CD4 counts may be potentially greater  
2 without strict monitoring. The sponsor should be  
3 complimented for their strict monitor and adherence  
4 to safety in the studies. The drug was withheld if  
5 CD4 counts were less than 250 and we would think  
6 that this would be the regimen that ought to be  
7 continued until this additional data that longer  
8 periods of observation are just as safe.

9           The other question is the issue of we  
10 don't know what happens to noncirculating T-cell  
11 pools. We are looking at, basically, a pool that  
12 is in the circulation. We know from animal data  
13 that lymphoid tissues are all affected. But,  
14 obviously, this is not easy to evaluate in humans.

15           [Slide.]

16           So we conclude with this slide indicating  
17 that there would appear to be need for long-term  
18 monitoring of immune function using clinical and  
19 laboratory assessment. More data are needed.  
20 Large-scale long-term studies are needed to assess  
21 the risk of infections in neoplasms and we are  
22 encouraged to see that the sponsor is giving strong  
23 consideration to how to design these studies.

24           We have a question for the committee about  
25 what is the appropriate timing of the safety and

1 efficacy studies in children.

2 DR. DRAKE: Thank you very much. Gosh;  
3 you know, this is just a ton of material and I want  
4 to compliment both the sponsor and the FDA for  
5 concise, thorough presentations. It is a  
6 tremendous amount of information to cover, as those  
7 of us who spent hours on our briefing books know.

8 I want to do just a second of housekeeping  
9 because the notion of this being a holiday weekend  
10 and people have already come up to me, would you  
11 believe this early in the morning, being concerned  
12 about missing flights because the flights are all  
13 booked full because of the holiday weekend.

14 So I want to make sure we get our work  
15 done on time. That is one reason I have been kind  
16 of tight with the time this morning, not to be  
17 punitive but to make sure I keep my committee  
18 intact until we get to the vote. So I think that  
19 is real important.

20 What I would like to do is we have a  
21 little bit of time before lunch, so, at this  
22 moment, I would like to allow some Q&A to occur. I  
23 would like some questions to be directed toward the  
24 FDA or the sponsor. Dr. Swerlick, I know you are a  
25 nonvoting member but you are here because of your

1 expertise, and so I want to absolutely encourage  
2 you to participate in the question and in the  
3 discussions. You just can't raise your hand when I  
4 get to that point. I am not sure why. That just  
5 has to do with the process of the FDA.

6 Questions from the Committee

7 DR. DRAKE: Questions for anyone from the  
8 committee? Seth? By the way, for those of you  
9 don't know, if you will just raise your hand and  
10 signal me, I make a little note of who has got  
11 their hands raised and I will call on you in the  
12 order that I spot you.

13 I have now seen Elizabeth and Seth.

14 DR. STEVENS: The question is for Dr.  
15 Marzella and it relates to your observations about  
16 possible differential benefit based on patient  
17 weight. Did you do analysis on risk for adverse  
18 events based on weight and did you see any  
19 difference between the heavier and the lighter  
20 patients in that regard?

21 DR. MARZELLA: We did look at that and we  
22 did not see a correlation. We looked, for  
23 instance, at effect of weight on CD4 counts and the  
24 correlation was not that strong. I wonder if the  
25 sponsor has any comments on that?

1 DR. VAISHNAW: I can clarify with just a  
2 few brief comments. We, in fact, did divide the  
3 Phase 3 patients both from the IV and IM into  
4 weight quartiles and examined the adverse-event  
5 rate by weight quartile and we saw no trend that  
6 was at variance between the various weight  
7 quartiles.

8 DR. STEVENS: Thank you.

9 DR. DRAKE: Elizabeth, and then Dr. Katz.

10 DR. ABEL: This was also in regard to the  
11 weight, Dr. Vaishnaw. If there is a dose-response  
12 curve in terms of effect on lymphocyte counts and  
13 the patients of low body weight would be more  
14 affected, do we have any data on decreased  
15 lymphocyte counts in the patients with low weight  
16 compared to high weight and why was this milligram  
17 per kilogram dosage schedule abandoned?

18 DR. VAISHNAW: Let me take the issue of  
19 lymphocyte changes in the lower weight segments.  
20 If I could have Slide 1051, please.

21 [Slide.]

22 This slide illustrates the CD4 memory  
23 cells which are the key targets which we defined in  
24 our presentation of the drug and the extent of  
25 change in the CD4 memory T-cells by the four weight

1 quartiles indicated. You can see that there are no  
2 significant changes between the four weight  
3 quartiles. I already made a comment as to the  
4 safety which parallels with this.

5           The second part of your question is  
6 important to us in terms of why did we transition  
7 from milligram per kilogram to fixed-dose regimens.  
8 Essentially, that relates to several factors. One  
9 is, in order to insure that in Phase 3 and beyond  
10 we could have an accurate calculation of dosing and  
11 so that people didn't have to kind of fiddle around  
12 with vials and calculate the dose that was  
13 required, it is a safety issue and we thought it  
14 would be preferable to have a fixed dose. It is  
15 more convenient and more accurate. That is the  
16 reason why we transition.

17           We had pharmacokinetic data in Phase 2  
18 that demonstrated that body mass between lean  
19 individuals and heavier individuals was not a  
20 significant influence on the major pharmacokinetic  
21 parameters. So we took the 0.075 milligram per  
22 kilogram dose which was optimum risk-benefit in  
23 Phase 2 and converted that to the fixed-dose  
24 equivalents in Phase 3.

25           DR. DRAKE: Dr. Katz?



1                   DR. KATZ: Dr. Vaishnaw, I just want to  
2 have two points of clarification. In the cohort  
3 that got the two--the drug-drug cohort, you said  
4 there was evidence then that they got further  
5 improvement. But in the second part of that drug-drug  
6 cohort, there was no continual placebo  
7 control; is that not correct? In other words, it  
8 was placebo-drug. There is no placebo-placebo so  
9 there is no control over that continued improvement  
10 with placebo. Is that correct?

11                   DR. VAISHNAW: I need to, indeed, clarify  
12 that point. So, to do that, let me have the Phase  
13 3 IV study design slide, just to begin with that to  
14 refresh myself.

15                   [Slide.]

16                   What you see here is, as you say, we were  
17 analyzing the response rates in Cohort 1 during  
18 Course 1 and comparing them to Course 2 coming to  
19 the conclusion that there was evidence of  
20 incremental efficacy. You are inquiring as to  
21 whether a formal placebo control comparison was  
22 conducted.

23                   One of the things I want to point out  
24 whilst we are on this diagram is Cohort 2, who  
25 became placebo in the second course, had that

1 prolonged duration of benefit that was the  
2 carryover. So this tends to confound the  
3 comparisons versus placebo in the second course.

4           If we go to Slide 123, now--

5           [Slide.]

6           On the left, you see the outcomes for  
7 Cohorts 1 and 2 in terms of PASI response rates  
8 over time. These are data we have already  
9 discussed. At the bottom, you see the placebo  
10 group. In the second course, Cohorts 1 and 2 which  
11 represent the yellow line here were broken out into  
12 those that received alefacept again, and that is  
13 the yellow line there, and those that received  
14 placebo.

15           You can see that there is a substantial  
16 carryover effect because the proportions of  
17 patients who are responding at PASI 75 are clearly  
18 significant. So the placebo-controlled comparisons  
19 were carried out and I will go on to discuss them  
20 now. But there is significant underestimate  
21 because of this carryover effect and the persistent  
22 benefit in the population group.

23           Finally, if I could have Display 414 from  
24 the briefing document which is where these data  
25 were summarized for you.

1 [Slide.]

2 This is a complicated table but let's just  
3 focus on the second part here. So this is Study  
4 711. It is IV study, Course 2 outcomes. Here is  
5 placebo response rate and here is the alefacept  
6 response rate. Two weeks after last dose, the  
7 response rate in the placebo group was 7 percent.  
8 Note that it is higher than the response rate in  
9 the first course of the placebo group. This is the  
10 late carryover effect.

11 When we compare the 7 percent response  
12 rate here in the placebo group for Cohort 2, in the  
13 second course, versus Cohort 1 who received drug,  
14 it is 23 percent in the alefacept group and the  
15 difference was highly statistically significant.

16 DR. KATZ: But that group that got drug-placebo  
17 weren't really--they were decreasing  
18 because they came off the drug in the first--so we  
19 are really not getting a true placebo response in  
20 the second course. So it is not a true comparison.

21 DR. VAISHNAW: It is not a true comparison  
22 and it tends to weight against alefacept so to  
23 speak because of this carryover effect of the  
24 alefacept effect from the first course into the  
25 second placebo course. It was a formal

1 prespecified placebo-controlled comparison, but the  
2 response rate in the second course, in the placebo  
3 group, is still influenced by the alefacept they  
4 were exposed to in the first course.

5 DR. DRAKE: Dr. Seigel, I think, has a  
6 comment on that question.

7 DR. SEIGEL: There is no question, I think  
8 as was pointed out, that the data indicate that  
9 patients who get the second course do better, which  
10 is to say compared to where they start the second  
11 course and, at the end of the second course, they  
12 are somewhat better.

13 If the question is whether there is a  
14 cumulative effect, they reach a better status on  
15 the second course than they did on the first  
16 course, aside from the carryover issues, there is  
17 another complicating factor here which is that  
18 there is some amount of dropout in between the two  
19 course. I think, in the controlled study, it may  
20 only have been 20 percent of patients, or  
21 something.

22 In larger and uncontrolled studies, the  
23 dropouts are for any of a variety of reasons. Some  
24 or nonresponses. Some are toxicities. Probably  
25 some are that they are still in response and not

1 interested in getting it again, whatever they are.

2           So you are not necessarily comparing the  
3 same patients when you look at the percent  
4 response. You are looking at percent responses of  
5 a somewhat smaller denominator on the second  
6 course. So we have had, for that reason as well,  
7 trouble making any definitive determination as to  
8 whether there is any evidence of cumulative  
9 benefit.

10           DR. KATZ: Thank you. One more question.  
11 May I?

12           DR. DRAKE: Yes; please.

13           DR. KATZ: On the diagram that you have on  
14 primary efficacy endpoint in Phase 3 based on prior  
15 therapy, the point also should be made that only 9  
16 percent in the people who improved on previous  
17 treatment, which you are taking 100 percent of  
18 people who improved on previous treatment because  
19 that is in that group, in this study, only  
20 9 percent over placebo improved with the drug.

21           So, in human terms, taking 100 percent of  
22 people who respond, the drug is only having 9  
23 percent--unless I am missing something--9 percent  
24 improvement in those people. In people who had no  
25 change with previous systemic treatment, there is a

1 17 percent response over placebo. Is that correct?

2 DR. VAISHNAW: Right. We illustrated  
3 these data terms as one point but the treatment  
4 effect is consistent over placebo irrespective of  
5 the high response status to the other therapies. I  
6 think you have paraphrased the data with respect to  
7 this group that reported improving to previous  
8 agents.

9 The other data set that I would like to  
10 point out here is the differential between placebo  
11 response rates for those that reported no change or  
12 worsening on the previous therapies and the 20.2.  
13 So that is an approximate 17 percent differential  
14 to those that responded to alefacept.

15 So this is just a spectrum of analysis to  
16 see whether patients are likely to respond to  
17 alefacept based on their previous response status.

18 DR. KATZ: Thank you.

19 DR. DRAKE: You may have commented on  
20 this, but I have a quick question on that last  
21 slide. The previous therapies, were those all  
22 systemic or were those both topical and systemic.

23 DR. VAISHNAW: No; those were all the  
24 major systemic and--

25 DR. DRAKE: That's what I thought it was.

1 Okay; thank you.

2 DR. LEBWOHL: May I also comment that that  
3 is PASI 75 and it is at the primary endpoint two  
4 weeks after. So anyone who would have achieved  
5 PASI 75 six weeks after or twelve weeks after would  
6 not be counted there and also anyone who would have  
7 achieved PASI 50 wouldn't have been counted there.

8 DR. DRAKE: Dr. Morison.

9 DR. MORISON: I had a couple of questions.  
10 The first one, I guess I am getting back to this  
11 weight business because one of the things that  
12 strikes you with that data no matter which way you  
13 look at it is that the actual response rate in  
14 comparison to some other systemic therapies is  
15 really very low. You come away with the idea, what  
16 is the chance that people who are not responding,  
17 not reaching 75 or not reaching 50, are actually  
18 being underdosed.

19 Is that an issue you have thought about  
20 addressing?

21 DR. VAISHNAW: As Dr. Marzella summarized,  
22 in the Phase 3 IV study, there was a trend towards  
23 lower response rates as you went significantly  
24 above 100 kilograms. In the Phase 3 IM study, we  
25 didn't see the same type of variation. and those

1 are the data summarized here for the PASI 75  
2 response rate two weeks after last day. So, again,  
3 this is the kind of primary efficacy-endpoint  
4 analysis.

5           You can see, in the upper weight segments,  
6 you don't see the tail-off in the response. So  
7 certainly IM is an option for patients who are in  
8 the higher weight category.

9           The other point that you made that I would  
10 like to address is the issue of efficacy. If we go  
11 to Slide 1059.

12           [Slide.]

13           On the left you see the stringent two  
14 weeks after last dose landmark analysis of the  
15 right, the overall response rate. What these  
16 overall response rates are informing is of,  
17 perhaps, very significant clinical efficacy with  
18 the majority of patients responding at the level of  
19 PASI 50. We provided several lines of evidence  
20 demonstrating the kind of quality-of-life benefit  
21 patients are attaining with PASI 50.

22           Certainly, in a population like this with  
23 this burden of disease with the types of other  
24 factors at play in terms of baseline severity,  
25 potentially previous response, poor response to



1 previous agents. We think these kinds of profiles  
2 are very significant and helpful.

3 Mark, do you want to comment on the  
4 clinical relevance of the--

5 DR. LEBWOHL: I hope that some of the  
6 photos that I showed you express the importance of  
7 PASI 50. The PASI score is one that is a high  
8 hurdle to climb if you ask for 75 percent  
9 improvement because if someone starts out with  
10 severe disease over a large body-surface area and  
11 has a dramatic reduction in the severity of  
12 disease, say from a 3 to 1 in all parameters but  
13 has the same area involved, you won't necessarily  
14 achieve a PASI 75 in that patient even though the  
15 quality-of-life benefit is dramatic.

16 DR. DRAKE: I would like to comment just  
17 quickly from a historical perspective. This  
18 committee has had, in March of 1988 and October of  
19 1988, there were meetings that were just to discuss  
20 how to evaluate patients with psoriasis, and what  
21 was the utility of the PASI score and what was the  
22 physician's global assessment and how did those all  
23 weigh together.

24 I can just tell you that we had experts  
25 around the table who couldn't come to closure on

1 it. We decided the PASI score was certainly far  
2 from perfect. We decided the physician's global  
3 assessment was probably better. But we also  
4 acknowledged that it is almost impossible to put  
5 all patients with psoriasis into one bucket because  
6 they have different types of psoriasis, different  
7 locations, different everywhere.

8           So I would encourage the committee to  
9 think more globally and not get hung up on a  
10 specific number but more what your gestalt is  
11 because everyone around this table understands  
12 psoriasis. I don't know how to tell you how to  
13 think about it except that I wouldn't get too hung  
14 up on a number because the PASI number is not a  
15 great number. We just don't have a great  
16 substitute for it.

17           If anybody comes up with one, I am certain  
18 the FDA and all of us would be very interested in  
19 that. So, if that is of any help on this scoring  
20 business.

21           DR. VAISHNAW: Could I just also, just  
22 interject there, Dr. Drake.

23           DR. DRAKE: Yes.

24           DR. VAISHNAW: Dr. Krueger has also been  
25 studying the issue of what is efficacy and he has a

1 different approach, and perhaps, Dr. Krueger, do  
2 you want to discuss some of your findings with  
3 respect to efficacy at a more kind of skin--

4 DR. DRAKE: If it is efficacy related to  
5 this, Dr. Krueger, but not a whole new scheme for  
6 efficacy. When I was asking for additional  
7 comments on PASI, I don't mean to develop a new  
8 scheme right now.

9 DR. VAISHNAW: No, no, no. It is not with  
10 respect to--

11 DR. DRAKE: Okay; good. My Executive  
12 Officer will kill me if I get us off schedule that  
13 much.

14 DR. KRUEGER: I have generated some  
15 alternate analysis of patients treated with  
16 alefacept in a small study that I conducted.

17 DR. DRAKE: Excuse me. Dr. Krueger, would  
18 you mind identifying yourself and where you are  
19 from.

20 DR. KRUEGER: I am Dr. Jim Krueger. I am  
21 from the Rockefeller University. I am a  
22 dermatologist.

23 DR. DRAKE: I knew that. I was just  
24 checking. Actually, we need it for the record.

25 DR. KRUEGER: I want to say that I have,

1 under an investigator IND, conducted an independent  
2 study of the effects of alefacept and have used  
3 what I view as hard endpoints in a histological  
4 assessment of plaques to look at both the response  
5 and to look at T-cell effects of skin because T-cell are  
6 clearly differentiated home to different  
7 compartments and this gives us some direct idea of  
8 the disease-relevant T-cell population.

9 DR. DRAKE: Dr. Marzella, have you had a  
10 chance to review this information he is about to  
11 share with us?

12 DR. KRUEGER: He has not because my data  
13 are independent of the Biogen submission under an  
14 investigator IND.

15 DR. DRAKE: I would like an opinion. I  
16 don't know if we can discuss it at this time. I  
17 would like an opinion from the FDA because we  
18 really kind of have to have it on schedule.

19 DR. SEIGEL: An opinion as to procedure  
20 regarding the data?

21 DR. DRAKE: Yes; procedure.

22 DR. SEIGEL: We don't ban the presentation  
23 of new data. We would caution that no data look  
24 quite the same after we have analyzed them as they  
25 do when they first come to us. I don't mean to

1 cast aspersions. So that is something you want to  
2 bear in mind but it is certainly up to the chair to  
3 see whatever data you choose.

4 DR. DRAKE: Jim, because of time  
5 constraints, not that we would disregard your data,  
6 but please go ahead. Can you keep it brief.

7 DR. KRUEGER: I will actually limit it to  
8 this one slide.

9 DR. DRAKE: Oh; that is really brief.

10 [Slide.]

11 DR. KRUEGER: This is an assessment of  
12 what happens to epidermal hyperplasia in patients  
13 that either fail to respond or respond to alefacept  
14 based upon an endpoint where keratin 16 is either  
15 eliminated from lesions or continues to be  
16 expressed.

17 So, in the nonresponding patients here, we  
18 have very little change happening on the average in  
19 this epidermal hyperplasia. This is a group of  
20 eight responders out of thirteen in a study that I  
21 set up. They are unselected in that these are all  
22 sequential enrollees. What we have here is, over  
23 the thirteen weeks of treatment, sequential  
24 measures of thickness showing a progressive  
25 reduction.

1           What you can see here at the end is an  
2 endpoint that is not so terribly different from the  
3 thickness of normal skin. In each of these  
4 instances, keratin 16 is turned off. You can see,  
5 associated with this in the responding patients,  
6 are really dramatic reductions and progressive  
7 reductions in the number of T-cells that are  
8 infiltrating the epidermis whereas, in the  
9 nonresponding patients, the corollary data are that  
10 there are not progressive and much lower magnitude  
11 changes in T-cell in tissue.

12           So I think, based upon this objective  
13 endpoint, it says that this drug is capable of  
14 turning off hyperplasia. I have gene expression  
15 measures that say all inflammation that is  
16 associated and driven by T-cells is also turned off  
17 in skin lesions.

18           The problem with the PASI, I believe, is  
19 that it is a stochastic measure. I just need to  
20 say this, that a 75 percent improvement in the PASI  
21 doesn't translate to a 75 percent improvement in  
22 disease. In fact, it may be a 95 percent  
23 improvement in disease reflected by the PASI of 75.

24           DR. DRAKE: Thank you, Jim.

25           DR. SEIGEL: Just one additional

1 perspective. I think we certainly agree with the  
2 sponsor that PASI 75 is a relatively high bar. I  
3 would also agree that there is not a linear  
4 relationship between PASI and amount of clinical  
5 benefit. Also, any cut point is an insensitive  
6 measure of benefit. Some people probably had a 20  
7 percent and would have, on placebo, had a 0 percent  
8 or something like that and there is potentially  
9 some benefit there.

10           Two things to speak to just to understand  
11 and counterbalance against that is that, by any  
12 standard, there is a "response rate" in the placebo  
13 arm. We wouldn't call that necessarily a placebo  
14 response in the sense that it may not have been  
15 induced by placebo. It may simply be regression to  
16 the mean. People tend to enroll in studies and see  
17 their doctors when they are doing poorly because of  
18 the cyclic nature--not cyclic nature, but variable  
19 nature over time of the disease, when people enroll  
20 in studies at times when they are doing poorly,  
21 they are often likely to get better on the placebo  
22 arm.

23           Some of that was observed here. So when  
24 one looks at the placebo rates, as we did, when one  
25 looks at the different cutoffs, one needs to also

1 look at the placebo rates. So, when you look at  
2 the PASI 50, I guess as was pointed out, the  
3 response rates go up on both placebo and  
4 nonplacebo. They go up differentially. So,  
5 instead of seeing a 10 or 15 percent difference,  
6 you see I think it was a 23 and 28 percent  
7 difference between groups, something larger but  
8 still, again, in the 25 percent range.

9           The other thing I would note is another  
10 way of looking at this, because of the problem with  
11 cut point, are the data on the median score of  
12 patients or mean or other aggregate data which Dr.  
13 Marzella presented, and just to summarize briefly  
14 in one or two sentences, the placebo patients on  
15 the first cycle of the study went from a median  
16 score of 15 to 12 at their primary endpoint and  
17 went from 15 to 8 on treatment.

18           So their status was 8. The treated  
19 patients were at 8 whereas the nontreated patients  
20 on median was at 12. Again, there is not  
21 necessarily a linearity in terms of what the  
22 implications of disease are. So one-third lower  
23 PASI may or may not mean being one-third or two-thirds as  
24 ill. Those comparisons are judgmental  
25 and hard to come by.



1           DR. VAISNAW: We do have some data that  
2 addresses that if there is inflation in the placebo  
3 rate and the alefacept rate, how can we  
4 differentiate between the extent of benefit in  
5 alefacept versus placebo. When we examine the  
6 number of times patients hit the endpoint in the  
7 placebo group, they hit it many fewer times than  
8 those in the placebo groups

9           Although the rates of proportion  
10 responding are as we have discussed, the responses  
11 you see with the alefacept group tend to be more  
12 sustained and so, therefore, of clinical relevance.

13           DR. DRAKE: Dr. Morison, you had a quick  
14 follow up?

15           DR. MORISON: Just a quick question for  
16 clarification, really. You gave huge doses to the  
17 nonhuman primates.

18           DR. VAISHNAW: Yes.

19           DR. MORISON: I presume that the  
20 conclusion you would draw is that these animals are  
21 much much much less sensitive than humans because,  
22 otherwise, they would be dead, wouldn't they?

23           DR. VAISHNAW: Did you say more or less  
24 sensitive.

25           DR. MORISON: Much less sensitive. In

1 other words, have you got any information on if you  
2 give the same sort of dose as you have given in  
3 humans, 10 to 15 milligrams, does that produce any  
4 change in the primate?

5 DR. VAISHNAW: The object of the nonhuman  
6 primate studies, as always, was to really push the  
7 test system, as they say in the jargon, that is to  
8 give as high a dose as possible for as long as  
9 possible to induce changes, to look at the  
10 potential range of events that can occur.

11 Under those circumstances, I think, as you  
12 are intimating, we would also urge some caution  
13 because you start seeing changes which may not be  
14 necessarily representative. So, for example, in  
15 the 20 milligram per kilogram dose group in the  
16 nonhuman primate, we saw over 80 percent reductions  
17 in lymphocytes which are far in excess of what we  
18 see in man at the therapeutic regimens requested.

19 The other point to note there is that, at  
20 those levels of reductions in the nonhuman primate,  
21 you lose that selectivity which we spoke about  
22 during that main presentation where, with the  
23 therapeutic regimen, you see an effect on memory  
24 not on naive. In these nonhuman primates with  
25 these reductions in excess of 80 percent, you are

1 hitting everything.

2           So you start getting into a setting where  
3 the toxicologic findings that may or may not occur  
4 always are relevant but you can't be sure that they  
5 are the result of the kind of mechanism that is  
6 operative in man.

7           We have got studies at lower doses. Those  
8 more closely resembling the clinical regimen are  
9 associated with T-cell reductions of a lower  
10 degree. In those settings, we did not see any  
11 significant changes of clinical note.

12           DR. DRAKE: I am going to ask Dr. Weiss--

13           DR. WEISS: I just was going to ask if Dr.  
14 Green who is at the FDA, who is a toxicologist who  
15 reviewed the animal data, if he would just make a  
16 comment regarding the data.

17           DR. GREEN (FDA): Thank you. I think that  
18 our interpretation of the an toxicology data is at  
19 variance with the sponsor and that although a very  
20 high dose of 20 milligram per kilogram was used in  
21 many of their studies, pharmacodynamically, in  
22 terms of immunological endpoints, there was,  
23 oftentimes no difference between 20 and 1 milligram  
24 per kilogram as Dr. Marzella pointed out.

25           I think that we would find that, for very

1 many of the important characteristics such as CD4  
2 depressions, we would find a great similarity  
3 between the response of the cynomolgus monkeys and  
4 other studies including baboons and that which was  
5 seen clinically. So I think that some of the  
6 factors that have been suggesting that there is a  
7 very high difference in terms of safety factors  
8 will not translate out.

9           As was pointed out, there is no no-effect  
10 dose or no nontoxic dose that we know of. I would  
11 say there is probably a grade equivalence between  
12 the nonhuman-primate studies and the clinical  
13 situation.

14           DR. DRAKE: So the agency is at variance  
15 with the sponsor on this issue of dosing. And you  
16 are concerned--clarify just a bit more for me.

17           DR. GREEN (FDA): I think we are at  
18 variance in terms of the safety factors that were  
19 reported. Although there is a difference in the  
20 time that the animals were exposed, they gave a  
21 factor of, as I recall, about 600. In other  
22 documents, they have said there is about a 200  
23 safety factor. But that is based on a dose, 20  
24 milligram per kilogram, which is functionally  
25 equivalent to a much lower dose, and the 1

1 milligram per kilogram is approximately, even by  
2 the sponsor's statements, about thirteen-fold  
3 different than the clinic which puts it exactly in  
4 the ball park.

5 DR. DRAKE: Right. Good. Thank you very  
6 much.

7 I have a whole list of questions. You  
8 guys are getting into this. This is great. Dr.  
9 Abel is next, then Dr. Tan and Dr. Swerlick, Dr.  
10 Taylor, Dr. Morison, Stevens, Epps and Katz. That  
11 is the order in which I seen your hands.

12 DR. ABEL: I have two questions. One is  
13 this drug seems to have--it does have a selective  
14 action on the memory T-cells. Point of  
15 information; do we know what the proportion is of  
16 memory T-cells to naive T-cells and could this  
17 somehow have to do with responders versus  
18 nonresponders, those people who have a lot of  
19 memory T-cells and the drug selectively inhibiting  
20 them? What are the ranges in normal subjects?

21 DR. VAISHNAW: There is a very wide range  
22 of CD4 and CD8 memory T-cell counts in normals. We  
23 have generated the largest pharmacodynamic database  
24 of this type of lymphocytes in humans to our  
25 knowledge.

1 [Slide.]

2 For example, here, you can see, at the  
3 top, for CD4 memory T-cells, the point I am making  
4 about this very wide range.

5 With response to the specific point that  
6 did baseline counts for these memory cells predict  
7 outcome. The answer to that is no. The most  
8 important predictor of outcome, looking at the  
9 memory cells that are targeted, was the extent of  
10 reduction seen on a percentage basis.

11 That goes back to that slide I showed in  
12 the core presentation where, for those that had the  
13 greatest reductions in the so-called fourth  
14 quartile, 40 percent of them achieved PASI 75.

15 DR. ABEL: Thank you. My second question  
16 has to do with therapies that were disallowed. In  
17 some of the Phase 1 I believe dose-ranging studies--or that  
18 they allowed. There were exceptions to  
19 the rule. They allowed them to use treatments,  
20 antipsoriatic treatments on the scalp, topicals,  
21 palms and soles.

22 Was this the same in the Phase 3 studies  
23 that they were allowed to use topical steroids or  
24 other antipsoriatic treatments to the palms, soles,  
25 groin area, scalp?

1 DR. VAISHNAW: I am happy to address that.  
2 The Phase 3 setup is described on this slide.

3 [Slide.]

4 These are the therapies that disqualified  
5 patients and classified them as treatment failures.  
6 So, if you took any of this range of agents from  
7 the top down, and they include the phototherapies  
8 and the major systemic agents. At the bottom, you  
9 see if patients indiscriminantly used moderate-potency  
10 topical corticosteroids, D analogues, et  
11 cetera, as in beyond the palms and soles and the  
12 scalps, then they were treatment failures from that  
13 point on.

14 So if we look at the data by taking into  
15 account all of these, then the primary efficacy  
16 data which we report and the agency reported are  
17 what you get. So you are looking at the effect of  
18 alefacept as a monotherapy.

19 So the entire efficacy dataset you see  
20 today is devoid of the use of these agents  
21 respective to all the endpoints.

22 DR. ABEL: But certain sites, they were  
23 allowed to use these topical agents in certain  
24 sites, and that does have an impact on the PASI. I  
25 think if I recall the scalp and the face are 6

1 percent of the total body-surface area, and each  
2 palm and sole is another 1, 2, 3, 4 percent if you  
3 are counting palms and soles. So was that taken  
4 into account and subtracted from the PASI response?

5 DR. VAISHNAW: Right. So let's deal with  
6 that with Slide 1211.

7 [Slide.]

8 In order to address the issue of how  
9 robust are the conclusion from the primary efficacy  
10 endpoints, we did what is termed a sensitivity  
11 analysis in the jargon. What you see here are the  
12 response rates under three sets of conditions;  
13 first PASI 75 responders irrespective of the  
14 disqualifying medications. We went through that  
15 list just now.

16 The response rates you see here are 4  
17 versus 15 for placebo versus 7.5 and 7 versus 22  
18 for the IM study. In the middle, you see what is  
19 termed the prespecified primary efficacy endpoint  
20 and those are the data we discussed in the main  
21 presentation and the data exactly as we spoke  
22 before, and the agency also commented on those.

23 Finally, at the bottom, we looked at the  
24 range of medications of the type you are  
25 suggesting. I think the agency was also interested



1 to explore this further. In their briefing  
2 document, they had two tables, Table 29 and Table  
3 53, that brought up the issue of these medications  
4 that have been used.

5           Then, when we disqualified those patients  
6 from the analysis, again we found that the response  
7 rates were stable and very comparable to the  
8 primary efficacy analysis. So, by these analyses,  
9 we have concluded that the data are devoid of the  
10 use of the effect of the list of disqualifying  
11 medications that we had and also the medications  
12 pointed out by the--

13           DR. ABEL: I wasn't talking about patients  
14 who were disqualified because they were  
15 indiscriminantly using. I was talking about  
16 patients who were using in the allowed sites and  
17 how that affected the PASI.

18           DR. VAISHNAW: The last analysis just  
19 takes them out of the analysis. I can't  
20 specifically comment for those patients that were  
21 using it on the scale, to what extent it had any  
22 effect on their PASI.

23           DR. DRAKE: I think that is the answer.  
24 By the way, for the folks from the FDA, when the  
25 questions are asked the sponsor is answering, but

1 if you guys have an answer or a counter answer,  
2 please speak up.

3 DR. VAISHNAW: I think Dr. Lebwohl is  
4 indicating to me that he just wanted to make a  
5 point.

6 DR. DRAKE: But, before that, Dr. Bonvini  
7 had his hand up.

8 DR. BONVINI: I had a comment on your  
9 previous question pertaining to the selectivity of  
10 action. Again, we have no contention on the  
11 evidence that memory cells are substantially more  
12 affected than the T-cells in this context. That  
13 may be due because these are selectively targeted  
14 or perhaps because memory cells tend to die much  
15 more rapidly, more quickly, be more susceptible to  
16 an action by alefacept or some other agent who  
17 might target them.

18 There is evidence that memory cells may be  
19 prone to apoptosis. The fact is that we don't know  
20 what the exact mechanism of action is. This may be  
21 semantic to some extent, but it may not necessarily  
22 be in the terms of the selectivity of targeting in  
23 one case versus targeting of the whole population.  
24 As a matter of fact with higher doses in the animal  
25 studies, more than just memory cells were affected.

1 DR. DRAKE: Dr. Lebwohl.

2 DR. LEBWOHL: Just to address Dr. Abel's  
3 comment. It was first double-blind placebo-controlled so  
4 that the impact on PASI score would  
5 be seen both in the active treatment group and in  
6 the placebo group. At the investigator's meeting,  
7 many investigators were unhappy with the prospect  
8 that patients would be treated with twelve weeks of  
9 placebo and twelve weeks off therapy, almost six  
10 months, with no therapy at all on visible areas,  
11 scalp and hands.

12 So they bore down on the sponsor to add  
13 that possibility with weak topical steroids in  
14 those areas.

15 DR. DRAKE: I have just a quick request.  
16 I have to ask everybody in the room who has a cell  
17 phone to please turn it off. I am embarrassed to  
18 ask that because the very first cell phone that  
19 rang was mine. So I have now turned mine off. If  
20 I have to turn mine off, so do all you guys. I  
21 appreciate your cooperation on that issue.

22 Dr. Tan.

23 DR. TAN: The incidence of adverse events  
24 in the alefacept group is consistently higher. The  
25 incidence in the alefacept group is consistently

1 higher than those in the placebo group. I wonder  
2 if this trend is statistically significant where it  
3 is stabilized. Is there any statistical analysis  
4 about this adverse event--

5 DR. VAISHNAW: Right. So the issue did we  
6 power the studies or do we have a statistical  
7 insight into the rates of adverse events that we  
8 have seen. So, in keeping with the usual approach,  
9 the studies were powered for efficacy rather than  
10 safety.

11 DR. TAN: No; I understand that.

12 DR. VAISHNAW: To take the question of  
13 have we had a statistical approach to some of the  
14 rarer events, for I think my colleague, Dr.  
15 Vigliani, addressed that with just one of our  
16 sites. We have others of that type. But, for  
17 example, if you take the total malignancy rate, the  
18 rate expected is within the rate expected for this  
19 type of moderate to severe psoriasis population  
20 when you look at the rates reported in the  
21 literature. The means and confidence intervals are  
22 almost overlapping.

23 We have similar data for other types of  
24 rare adverse events. The other point, of course,  
25 is that in the alefacept group, there were far

1 greater numbers of patients. So the period  
2 observation of patient years observed is greater  
3 for alefacept in the placebo-controlled studies and  
4 so you are more likely to pick up rare events

5 DR. TAN: But in terms of it, you look at  
6 infection, you look at neoplasm, but they are all  
7 like relative instances, like at least doubled,  
8 more of these.

9 DR. DRAKE: Dr. Seigel?

10 DR. SEIGEL: Certainly, I think in the  
11 areas that we highlighted concern about, which were  
12 serious infections, and this is corrected; these  
13 are in the controlled trials and patients in both  
14 groups were followed approximately six months in  
15 the course, 0.9 versus 0.2 percent. For a  
16 malignancy, 1.1 versus 0.5 for the subset of skin  
17 malignancies, I think it also around 0.9 versus  
18 0.2. None of those comparisons are statistically  
19 significant. We are talking about a handful of  
20 cases.

21 I think, as Dr. Marzella correctly said,  
22 they have raised concerns. They hardly stand as  
23 definitive evidence of treatment-associated adverse  
24 effect. But, if there are adverse effects at the  
25 levels suggested, at a half percent per half year

1 increase, or about a 1 percent year increase, if  
2 those do exist, then these trials--the controlled  
3 part of the data here are well under-powered to  
4 look at that.

5 DR. VAISHNAW: The other way we have  
6 addressed the issue given the low incidences of  
7 numbers in both the placebo and the alefacept group  
8 is to ask ourselves the questions are the rates  
9 increased over time with multiple course of  
10 exposure because one might expect to see a rise in  
11 the rates of serious infections if that is one of  
12 the points of debate.

13 We have consistently failed to see a lack  
14 of rise in the infection rate with multiple course  
15 of exposure. Under the issue of low numbers, these  
16 are other ways to look at it. The last point I  
17 would make on the topic is that naturally we, like  
18 the agency, are very diligently addressing the  
19 issue of what is the risk of infection in this  
20 population and does the agent predispose to that.

21 The central question there to ask has been  
22 that, given that this is an agent that targets T-cells, is  
23 there a pattern of events in terms of  
24 infections or malignancies that are representative  
25 of T-cell immunodeficiency. Most of us are very

1 familiar with the pattern of infections you would  
2 expect to see in T-cell immunodeficiency and we  
3 have failed to consistently see that and both we  
4 and the agency included in our briefing documents  
5 that we have not seen a relationship between  
6 alefacept treatment and the occurrence of  
7 opportunistic infections or atypical infections.

8 DR. TAN: Of the 2 million patients with  
9 psoriasis, how many of them would be as severe a  
10 psoriasis as you defined?

11 DR. VAISHNAW: Of the 2 million patients,  
12 how many would be classified as moderate to severe

13 DR. TAN: Yes.

14 DR. VAISHNAW: I am not an expert on this.  
15 Dr. Lebwohl will correct me, but I believe of the 2  
16 million or so in the U.S., probably 20 percent are  
17 moderate to severe.

18 DR. LEBWOHL: The number from the survey  
19 of the Psoriasis Foundation was 7 million psoriasis  
20 patients and someone had a number of 30 percent.  
21 Certainly, there are a minimum of half a million  
22 and probably about a million severe psoriasis  
23 patients.

24 DR. DRAKE: Dr. Swerlick, finally.

25 DR. SWERLICK: Thank you. A comment about

1 some confusion in definitions. It is easy to get  
2 confused as returning to baseline as opposed to  
3 returning to normal.

4 DR. VAISHNAW: Yes.

5 DR. SWERLICK: In terms of looking at T-cell  
6 counts, I think we should try to be really  
7 explicit about sort of defining that. The reason I  
8 raise that has to do with the next series of  
9 questions I have. Do we really know if there is  
10 any difference between normal CD4 counts and normal  
11 memory-cell counts in psoriatics versus normal  
12 individuals or individuals with other inflammatory  
13 skin diseases?

14 DR. VAISHNAW: Shall I take that question?

15 DR. SWERLICK: Yes. Anybody.

16 DR. VAISHNAW: We are privileged to have  
17 the largest database on this topic so I guess I  
18 have to answer this. What we have found is that if  
19 we look at the entire cohort of alefacept-treated  
20 chronic plaque psoriasis patients at our disposal  
21 for analysis, there is a minor elevation in the CD4  
22 and CD8 memory counts versus the healthy volunteer  
23 database that we have.

24 There are lots of caveats to that kind of  
25 comparison, clearly. It is not an order of



1 magnitude. It is maybe a 5 to 10 percent  
2 elevation. It reaches statistical significance but  
3 we do detect that. The issue hasn't been addressed  
4 in the literature as yet.

5 Dr. Krueger?

6 DR. KRUEGER: I would like to comment also  
7 because I think you raise a very important point,  
8 that return to normal and return to baseline may be  
9 different kinds of considerations. From study of  
10 psoriasis patients outside of this study, there  
11 have been two kinds of expansions of T-cells that  
12 have been found in the peripheral blood of  
13 psoriasis patients.

14 One is that there is a higher proportion  
15 of CD25-positive T-cells. Those are proliferative  
16 T-cells. One might conclude, therefore, that if  
17 those were reduced, there was some reduction, they  
18 are about 10 percent elevated over normal, that you  
19 could say that a 10 percent reduction might, in  
20 fact, bring these people back down to normal.

21 The second thing is there is an expansion  
22 of Type 1 T-cells, so psoriasis is a disease of  
23 immune deviation. Again, there is about a twofold  
24 elevation of Type 1 T-cells in psoriasis patients  
25 compared to normals.

1           So, in my view, if you take both of these  
2 sets out, you might, in fact, derive sort of a  
3 normal set for these patients that might have a  
4 reduced number from their baseline.

5           DR. VAISHNAW: Thank you, Dr. Krueger.

6           DR. BONVINI: Can I ask a question to Dr.  
7 Krueger?

8           DR. DRAKE: We are not done with you

9           DR. BONVINI: Sorry, Dr. Krueger. Your  
10 CD25-positive T-cells were affected to CD25  
11 negative by alefacept? In other words, binding  
12 appears to be identical as far as I understood. I  
13 was wondering if actually the susceptibility to the  
14 two subsets is identical.

15           DR. KRUEGER: CD25-positive T-cells tend  
16 to be CD2 high. Therefore, they are affected  
17 selectively by this drug, if that answers the  
18 question

19           DR. BONVINI: Can you repeat that?

20           DR. KRUEGER: I said CD25-positive T-cells, the  
21 activated T-cell group which tends to be  
22 memory T-cells, have high levels of expression of  
23 CD2 and therefore they are selectively reduced by  
24 alefacept

25           DR. BONVINI: Comparing CD25, the high

1 level of expression of CD25 and the low level of  
2 expression in memory cells.

3 DR. KRUEGER: Yes. In fact, in peripheral  
4 blood, there are about 20 percent of circulating T-cells  
5 that are CD25-positive. The other 80 percent  
6 of CD25-negative.

7 DR. VAISHNAW: Just to finish that point,  
8 I think neither Dr. Marzella nor myself included  
9 these data. This was addressed in one of the  
10 earlier Biogen studies, the issue of CD25-positive  
11 cells. Indeed, the findings that Dr. Krueger is  
12 reporting from his study were corroborated by the  
13 findings in ours that, as expected, CD25 are  
14 preferentially targeted.

15 DR. DRAKE: Dr. Swerlick?

16 DR. SWERLICK: Is there any data looking  
17 at conventional therapies such as methotrexate or  
18 even systemic corticosteroids and their effect on  
19 lymphocyte CD4 counts? Are they equivalent to what  
20 is seen? Are they larger? Are they smaller? Is  
21 it known?

22 DR. VAISHNAW: I am not familiar with the  
23 investigations of methotrexate and its effects on  
24 CD4 T-cells in psoriasis. Again, I appeal to  
25 someone from one of our consultants because they

1 are dermatologists. They might be familiar. As I  
2 think Dr. Krueger mentioned, there was an  
3 investigation of methotrexate and its effect on  
4 memory T-cells, I believe.

5 DR. KRUEGER: I have to say, for the most  
6 part, these are not points that were taken up in  
7 the prior studies of older drugs simply because, at  
8 that time, we weren't thinking about T-cells in  
9 this disease. Subsequent studies haven't really  
10 looked at that.

11 DR. SWERLICK: One last question, and that  
12 is getting back to the studies with DTH, again, we  
13 are studying patients undergoing this therapy. Do  
14 we know what we are comparing it to? For example,  
15 if you put a series of DTH reactions on normals,  
16 what is the reproducibility? How many of those  
17 individuals change from negative to positive or  
18 positive to negative?

19 DR. VAISHNAW: To address that, I would  
20 like to bring Slide 1110 up, please.

21 [Slide.]

22 These are, I think, the data that Dr.  
23 Marzella was drawing your attention to during part  
24 of his presentation. So this is the DTH response  
25 converting from positive to negative in the Phase 2

1 IV study.

2           At the bottom, I point out an important  
3 caveat and this begins to address the issue you  
4 have raised. Less than 30 percent of patients were  
5 reactive at baseline. So this is one of the  
6 caveats when you are interpreting the data. The  
7 next point is the issue of how many people just  
8 convert from positive to negative without the  
9 influence of alefacept. Do we have any insight?

10           The response to that is yes. If we look  
11 at the placebo group here, you can see significant  
12 conversion rates to negativity. These are  
13 patients, of course, that didn't receive placebo.  
14 So I would argue that yes, you are raising some  
15 important caveats. The performance of these tests  
16 is difficult. Their clinical implications are not  
17 well understood.

18           Whilst, as Dr. Marzella said, and we  
19 acknowledge there are some trends for one or two of  
20 these, the fact that so many patients are not  
21 reactive at baseline, the fact that many normals  
22 convert to negative and the fact that for many of  
23 these antigens that are on this table, the antibody  
24 response is much more dominant than the T-cell  
25 response for protection.

1           We would have our own set of caveats for  
2 interpretation of these data but these are  
3 precisely the data that Dr. Marzella showed.

4           Slide 1111, if we could go to that.

5           [Slide.]

6           This is the same type of analysis. This  
7 is a less-conservative analysis that we also did  
8 just to see how things spun out because, for the  
9 last analysis, if you converted from positive to  
10 positive and then negative, because there were two  
11 time points at which they were reevaluated, if you  
12 were positive on one and negative on the other one,  
13 you were counted as a negative.

14           Here, this is an analysis of the data  
15 where, if you were positive at baseline and you  
16 were positive in one of the two post-treatment  
17 visits, you were counted as positive and you start  
18 seeing loss of the trend.

19           So we acknowledge what Dr. Marzella is  
20 saying, but we have had interpretation difficulties  
21 with this assay.

22           DR. DRAKE: Dr. Morison had a comment on  
23 this.

24           DR. MORISON: I would comment, anybody who  
25 has used this particular system, there is so much

1 noise in the system, I don't think the results mean  
2 anything. I am amazed you actually picked that as  
3 a means of looking. Looking at DNCB sensitization  
4 would have been much more attractive an approach  
5 than this.

6 DR. VAISHNAW: To that point, that is why  
7 I drew your attention, also, in fair balance, to  
8 the phi-X-174 study which is pioneered by Hans Ochs  
9 who is a leader in the investigation of  
10 immunodeficiency. Both Ochs' literature and many  
11 others have demonstrated that failure of response  
12 to phi-X-174 is clearly correlated with  
13 immunodeficiency.

14 DR. SEIGEL: I had a question about that,  
15 though. It looked like, from your slide, that the  
16 primary immunization to phi-X-174 was given at the  
17 time of the onset of treatment, not at the time  
18 when the patient had become lymphopenic but prior  
19 to where the lymphopenic effects of the drug had  
20 kicked in.

21 DR. VAISHNAW: I would be happy to address  
22 that, Dr. Seigel. Can we have the slide from the  
23 main presentation because this does require a  
24 clarification for Dr. Seigel.

25 [Slide.]

1           This slide was corrected within the last  
2 48 hours just to try and make it simpler. This is  
3 in error so you are quite right to point that out.  
4 Let's go and clarify for the audience the actual  
5 data.

6           If would could have the CD4 and CD8  
7 changes and their relative timing to the point of  
8 immunization, please.

9           [Slide.]

10          Here we have the conversion. So you can  
11 see, in orange, is the reduction in CD4 memory T-cell count.  
12 In blue, you see the naive T-cells  
13 which are relatively constant. You can see here is  
14 the primary challenge. It is back in the middle of  
15 the period of exposure to the drug. And here is  
16 the rechallange.

17          So this study which was designed in  
18 conjunction with the agency, was a kind of maximal  
19 test of the hypothesis that if you push the T-cell  
20 experience, will these patients mount antibody  
21 responses. Our conclusions were yes.

22          DR. DRAKE: Dr. Swerlick, are you done?

23          DR. SWERLICK: Yes.

24          DR. DRAKE: Dr. Taylor

25          DR. TAYLOR: I had two points I wanted to



1 make. One of them has already been taken care of  
2 and that has to do with the PASI score. I think it  
3 has been adequately pointed out that PASI 75 is a  
4 very, very high bar to reach and probably doesn't  
5 reflect how much clearing that occurs in patients  
6 with a PASI 75 response.

7           The other point had to do with dosing by  
8 weight. It seems to me that the company seems to  
9 be resistant to dose by weight but yet there has  
10 been some evidence here that dosing by weight may  
11 have been better in some respects. For example,  
12 some of the heavier people were underdosed and some  
13 of the lighter people had to have their dose  
14 withheld because their CD4 counts dropped too low.

15           So is it too late to dose by weight?

16           DR. VAISHNAW: Just to go to that issue.  
17 We found an evidence, just as Dr. Marzella pointed  
18 out, of diminishing response at the higher weight  
19 ranges in the IV study but not in the IM. So the  
20 IM route provides an option for patients across all  
21 weight ranges.

22           Now, in the 10 milligram group in the IM  
23 study, yes; there was also a slight loss of  
24 response at the higher weight brackets, but the 50  
25 milligram--you know, our conclusion of the data has

1 been that we don't conclusively show that kind of  
2 trend.

3           So there is a validated dose option and  
4 route for the full spectrum of patients. With  
5 respect to the IV, we acknowledge the point that  
6 has been brought up by the agency and we look  
7 forward to working with them whether we need to do  
8 further studies to determine the optimum approach  
9 in the heavier patients via the IV route.

10           DR. SEIGEL: I would just like to comment  
11 that the lack of a dose response observed in the 15  
12 milligram IM population was based on the heaviest  
13 quartile--well, not exactly quartile, but the  
14 heaviest subpopulation you saw there had a 22  
15 percent response. That was six responders out of  
16 27 patients. A confidence interval around that  
17 range could include that that true response range  
18 was well under 10 percent, not 22 percent.

19           So we do not know that there isn't a dose  
20 response on the 15, or a weight-related response on  
21 the 15. It may well be we simply don't know.

22           I would also add that in terms of is it  
23 too late, I am not sure that the agency would be  
24 comfortable recommending a higher dose than tested  
25 in heavier people because there are suggestions

1 that it may work better, but not all toxicities or  
2 efficacies vary with weight. But what certainly  
3 wouldn't be too late to do would be to look at  
4 whether the tested dose versus a somewhat higher  
5 dose, for example, in heavier people--whether a  
6 higher dose had a better efficacy-safety profile if  
7 we were interested in that. So, further study  
8 could be done.

9 DR. DRAKE: I think, Lloyd, you had a  
10 comment on this?

11 DR. KING: Just a follow up. Body weight  
12 can reflect large people who are not obese if you  
13 are thinking football players, et cetera. It also  
14 can reflect adult-onset diabetes. That is often  
15 used as the marker. Since people with diabetes are  
16 less likely to respond well to treatments for  
17 psoriasis and are likely to have increased  
18 susceptibility to infections, it seems to me that  
19 there is a surrogate marker that you may want to  
20 look at rather than just say big people.

21 To distinguish this body weight over 100  
22 kilograms predisposes to IV decreased  
23 responsiveness, I suggest that the sponsor consider  
24 using serum hemoglobin A1C as a surrogate marker  
25 for decreased responsiveness to treatment and

1 predisposition potential to infections.

2 DR. DRAKE: Thank you, Lloyd.

3 DR. VAISHNAW: Thank you for your comment.

4 DR. KING: Then I have a second comment.

5 DR. DRAKE: I have already taken you out  
6 of order. Go ahead and finish it up.

7 DR. KING: According to where you are,  
8 similar observations that all politics are local, a  
9 general assumption is that immune reaction and  
10 psoriasis are ultimately localized to the affected  
11 skin. In essence, the alefacept is targeting the  
12 entire population T-cells to deplete the terrorist  
13 T-cells that are going to target the psoriatic  
14 skin. Surrogate markers, other than just measuring  
15 just cell population, being the ultimate product  
16 would be quite helpful.

17 It seems to me that, since the sponsor has  
18 already done a preliminary study, studying  
19 psoriatic arthritis using serum C-reactive protein  
20 as a marker for inflammation, it would seem  
21 appropriate to use that signature for psoriasis not  
22 affecting the joints.

23 So C-reactive protein would be a great  
24 marker for that since it is also a marker for  
25 things like atherosclerosis and inflammation in

1 general.

2 DR. DRAKE: I am going to move to Dr. Epps  
3 in just a minute but I saw Dr. Wilkins in here  
4 earlier. This PASI thing keeps coming up. Is he  
5 still in here? There he is. John, do you have  
6 anything to add? Dr. Wilkins was kind of the FDA  
7 honcho on those October meetings on the PASI. I  
8 thought you might have something to add to what has  
9 been said.

10 DR. WILKINS: No. This is a CBER meeting.

11 DR. DRAKE: I know it is a CBER meeting.  
12 I read all these transcripts last night. I thought  
13 I had it in my head but I thought, well, I will  
14 just double-check with you, Dr. Wilkins to see if  
15 we have missed anything. All right.

16 Now that we have digressed. Dr. Epps. I  
17 am going to ask you because you haven't had a  
18 question yet and then I want to go to the people  
19 who have second rounds of questions.

20 DR. EPPS: I just have a couple of quick  
21 questions, hopefully. The drug we are referring to  
22 right now is the human fusion protein. Without  
23 revealing secrets, what does that mean?

24 DR. VAISHNAW: No secrets. The  
25 extracellular domain of LF3--

1 DR. EPPS: No; I mean is it pooled  
2 products? Is it recombinant?

3 DR. VAISHNAW: Oh; it is recombinant. It  
4 is a recombinant fusion protein produced by a  
5 mammalian cell line.

6 DR. EPPS: Okay; great. Is there any idea  
7 what the etiology to the transient neutrophilia  
8 might be?

9 DR. VAISHNAW: Dr. Marzella pointed out  
10 some findings from some of those smaller, earlier  
11 studies. In the Phase 3 studies and Phase 2  
12 studies where we have very large analyses of over  
13 1300 individuals, we failed to confirm any evidence  
14 for alefacept changing neutrophil levels. So we  
15 don't know how to consider the significance of  
16 that.

17 DR. SEIGEL: So you had measured, like, 4  
18 hour and 24 hour--I mean, that when it was seen in  
19 the first study. You measured that in the 1300  
20 patients?

21 DR. VAISHNAW: Oh, right. No; that is a  
22 point of clarification. We didn't. Those were  
23 measured at weekly intervals. But if there had  
24 been a sustained effect on neutrophils, then I  
25 would say we would probably have detected it given

1 the approach to the studies and we failed to see  
2 that.

3 DR. EPPS: In regards to the delayed type  
4 hypersensitivity and tetanus and diphtheria, have  
5 any of those patients been retested or would they  
6 respond to a booster?

7 DR. VAISHNAW: The best way to answer that  
8 is to go back to that graph that was in error, but  
9 it would make the point for us to answer your  
10 question.

11 If we could have the phi-X.

12 [Slide.]

13 What we have here is that, at the index  
14 point here, when patients are in the middle of  
15 dosing, they had had challenge with phi-X-174.  
16 Then, six weeks later, they are being rechallenged.  
17 So it is the surrogate for a booster that we would  
18 do with a conventional immunization. You can see  
19 that there is a brisk rise which parallels the  
20 changes in the control group.

21 The other thing to point out is that the  
22 IgG content in both groups is identical which is  
23 reassuring regarding the integrity of the memory  
24 cells to help the B-cells despite the action of  
25 alefacept

1           What you are looking at here on the left  
2 is the percentage of patients that had IgG greater  
3 than 30 percent in their phi-X-174 response. You  
4 can see control and alefacept are identical. Then  
5 these patients went on to have further challenges  
6 in the follow-up period and that is the third and  
7 fourth. We didn't do that in the control group.  
8 When they had the third and fourth challenges, they  
9 did boost their responses further and the responses  
10 were in a logarithmic scale on the last.

11           The ultimate responses at the fourth  
12 challenge were exactly what is reported in the  
13 literature for this antigen for which there is a  
14 lot of existing information.

15           With respect to the booster with tetanus,  
16 we also identified that tetanus immunization in  
17 this same study was associated with a twofold rise  
18 in both control and alefacept groups as predefined  
19 in the study.

20           DR. EPPS: Lastly, according to your  
21 protocol, you had a four-week washout period for  
22 systemic immunosuppressants. Do you think that  
23 that may be too brief and, perhaps, the prolonged  
24 depression in the CD4 counts may be due to a  
25 confounding factor or some kind of a synergy there?



1 DR. VAISHNAW: That is an issue we  
2 analyzed by looking at patients that had or had not  
3 had systemic agents or UV prior to the onset of the  
4 immunotherapy with alefacept. You don't find any  
5 significant changes in the pharmacodynamic profile  
6 in those that are coming off those agents and then  
7 going on to alefacept versus those that are not  
8 coming off those agents.

9 For the same reasons that I think you are  
10 intimating, we also looked at the safety profile by  
11 that type of analysis and we found no difference if  
12 patients had previously been exposed to  
13 immunotherapies versus if they had.

14 DR. EPPS: So there may be suppression  
15 regardless of whether or not they had been on it.

16 DR. VAISHNAW: In other words, the changes  
17 that we are witnessing and discussing today are the  
18 effects of alefacept rather than a combination of  
19 effects from previous agents and alefacept.

20 DR. DRAKE: Dr. Marzella, you had a  
21 comment?

22 DR. MARZELLA: I wanted to follow up on  
23 the question of neutrophilia because potentially it  
24 is a signal that alefacept may be inducing some  
25 activation of inflammatory or chemotactic factors.

1 One reason that I think that it was striking how  
2 elevated it was in the Phase 1 studies.

3           The other point that is relevant, as has  
4 been pointed out, a lot of the patients in the  
5 studies have a great deal of cardiovascular risk  
6 factors. So there is a high proportion of  
7 cardiovascular events--well, I shouldn't say a high  
8 proportion, but I was struck looking at the  
9 listing, by how many patients had cardiovascular  
10 events.

11           So I think it is reasonable to ask whether  
12 there is some potential relationship and to look  
13 further into this issue of what is the potential  
14 significance of the neutrophilia.

15           I know that it is not associated with--I  
16 didn't notice any drops in platelet counts. There  
17 was no fever. But I think it is potentially  
18 something that might be followed up.

19           DR. VAISHNAW: I take your comments--

20           DR. DRAKE: Dr. Stevens.

21           DR. STEVENS: I have a number of  
22 questions. Just a follow-up to that last one. Do  
23 neutrophils express the appropriate FC receptor to  
24 bind this molecule?

25           DR. VAISHNAW: You know, I am not an

1 expert on that. The answer is yes. I am getting a  
2 nod from my scientific colleague here. I don't  
3 know about the expression levels and whether they  
4 can support the kind of mechanism that we are  
5 describing.

6 DR. SEIGEL: I was just going to  
7 interject. That also speaks to part of our concern  
8 about safety. I think we agree with the company  
9 that, in this experience, we haven't seen any  
10 signal of the types of opportunistic infections you  
11 would find with T-cell depletion. But the immune  
12 system is complex. CD2 exists on CD8 cells, CD4  
13 cells. It exists on some B-cell precursors and  
14 some other cells in the immune system.

15 LFA exists on some of those cells. FC  
16 receptors exist on a broad variety of cells. All  
17 of those cells interact with each other and the  
18 cytokines that the CD4 cells make interact and  
19 activate all of those cells.

20 So there exists at least as theoretical  
21 possibilities that any aspect of immune--or  
22 inflammation can be influence. If the finding of a  
23 neutrophilia, somewhat transient, but highlights  
24 that, I think, as an issue.

25 DR. STEVENS: That brings me to another

1 one of my questions which is can you educate me on  
2 the role of CD2 in T-cell ontogeny. We are going  
3 to be asked to consider the use of this in  
4 children, perhaps young people. Can you tell us  
5 whether CD2 is important in the development of T-cell  
6 responses during young childhood and  
7 childhood, role in thymic development, et cetera?

8 DR. VAISHNAW: Now you really have me at a  
9 weakness. Either Dr. Krueger or--Jim, do you want  
10 to come up?

11 DR. STEVENS: I won't ask you to do math.

12 DR. KRUEGER: There aren't good human data  
13 on that but there have been knockout mice made with  
14 the CD2 deficiency. Those mice develop T-cells  
15 normally. The immune abnormality that exists, if  
16 you will, in these animals is that they appear to  
17 be about tenfold less susceptible to a given  
18 concentration of antigen, and that is we think CD2  
19 dials up, or dials down, the threshold at which T-cells  
20 become antigen-activated.

21 So I think, from that, and I will admit  
22 that that is not completely reassuring data for  
23 humans since there may be some differences in  
24 development. But, to the first step, it says that  
25 there should be a developmental problem. What