

FOOD AND DRUG ADMINISTRATION

NINETY-SEVENTH MEETING OF THE  
CARDIOVASCULAR AND RENAL DRUG ADVISORY COMMITTEE

8:01 a.m.

Friday, July 19, 2002

Versailles Ballroom  
Holiday Inn - Bethesda  
8120 Wisconsin Avenue  
Bethesda, Maryland

## ATTENDEES

## COMMITTEE MEMBERS:

JEFFREY BORER, M.D., Chairman  
Director, Division of Pathophysiology  
Weill Medical College  
Cornell University  
525 East 68th Street, Room F467  
New York, New York 10021

JAYNE E. PETERSON, R.P.H., J.D., Acting Executive Secretary  
Advisors and Consultants Staff  
Center for Drug Evaluation and Research  
Food and Drug Administration  
5630 Fishers Lane, Room 1093  
Rockville, Maryland 20857

PAUL ARMSTRONG, M.D.  
Professor, Department of Medicine  
University of Alberta  
251 Medical Science Building  
Edmonton, Alberta, Canada T6C37

MICHAEL F. ARTMAN, M.D.  
Professor of Pediatrics  
Pediatric Cardiology  
New York University Medical Center  
530 First Avenue, FPO Suite 9-V  
New York, New York 10016

BLASE A. CARABELLO, M.D.  
Professor of Medicine  
Houston Veterans Affairs Medical Center  
Medical Service (111)  
2002 Holcombe Boulevard  
Houston, Texas 77030

SUSANNA L. CUNNINGHAM, PH.D.  
Consumer Representative  
Professor, Department of Biobehavioral Nursing  
School of Nursing, Box 357266  
Seattle, Washington 98195-7266

## ATTENDEES (Continued)

## COMMITTEE MEMBERS: (Continued)

THOMAS FLEMING, PH.D.  
Professor and Chair  
Department of Biostatistics  
University of Washington  
Box 357232  
Seattle, Washington 98195-7232

STEVEN NISSEN, M.D., F.A.C.C.  
Vice Chairman, Department of Cardiology  
Professor of Medicine  
Ohio State University  
The Cleveland Clinic Foundation  
9500 Euclid Avenue, F15  
Cleveland, Ohio 44195

## MEETING GUEST (NONVOTING):

THOMAS G. PICKERING, M.D., D.PHIL.  
Professor of Medicine  
Director, Integrative and Behavioral  
Cardiovascular Health Program and  
Hypertension Section  
Michael and Zena A. Wiener Cardiovascular Institute  
Mount Sinai School of Medicine  
One Gustave L. Levy Place  
New York, New York, 10029-6574

## FOOD AND DRUG ADMINISTRATION STAFF:

ROBERT TEMPLE, M.D.  
DOUGLAS THROCKMORTON, M.D.  
ANNE TRONTELLE

## BRISTOL-MYERS SQUIBB REPRESENTATIVES:

HENRY BLACK, M.D.  
CHARLES H. HENNEKENS, M.D., PH.D.  
ALLEN KAPLAN, M.D.  
ELLIOTT LEVY, M.D.  
MILTON PACKER, M.D.  
MICHAEL WEBER, M.D.  
ANTHONY WACLAWSKI, PH.D.

## C O N T E N T S

NDA 21-188, Vanlev (omapatrilat)  
 Bristol-Myers Squibb Company,  
 Proposed for the Treatment of Hypertension

\* \* \*

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT By Ms. Jayne Peterson	6
BRISTOL-MYERS SQUIBB PRESENTATION: Introduction By Dr. Anthony Waclawski	9
Clinical Efficacy Data By Dr. Elliott Levy	15
Angioedema: Clinical Overview By Dr. Allen Kaplan	71
Clinical Safety Data (Continued) By Dr. Elliott Levy	85
OPEN PUBLIC HEARING	103
BRISTOL-MYERS SQUIBB PRESENTATION: (Continued) OVERTURE Trial Review By Dr. Milton Packer	141
Clinician's Perspective By Dr. Henry Black	161
Conclusion By Dr. Anthony Waclawski	170
COMMITTEE DISCUSSION AND REVIEW	171

## P R O C E E D I N G S

(8:01 a.m.)

1  
2  
3 DR. BORER: It's not quite 8:01, so everybody  
4 has had some extra time. We'll begin this morning's  
5 session which is consideration of NDA 21-188, Vanlev,  
6 sponsored by Bristol-Myers Squibb.

7 The committee is slightly restructured today  
8 because of conflict of two members. So, we'll introduce  
9 the active members, including our nonvoting member guest.  
10 Before we do that, let me ask you please to turn off your  
11 cell phones, if they happen to be on.

12 Why don't we start on this side. Tom.

13 DR. PICKERING: I'm Tom Pickering from the  
14 Cardiovascular Institute at Mount Sinai Medical Center in  
15 New York.

16 DR. CUNNINGHAM: I'm Susanna Cunningham from  
17 the University of Washington in Seattle.

18 DR. CARABELLO: I'm Blase Carabello from the  
19 Houston VA and from the Baylor College of Medicine.

20 DR. NISSEN: Steve Nissen with the Cleveland  
21 Clinic School of Medicine.

22 DR. ARMSTRONG: Paul Armstrong from the  
23 University of Alberta.

24 DR. BORER: I'm Jeff Borer, Weill Medical  
25 College at Cornell University in New York City.

1 MS. PETERSON: I'm Jayne Peterson. I'm the

2 DR. FLEMING: Tom Fleming, University of acting  
3 Executive Secretary of the Advisory Committee. Washington,  
4 Seattle.

5 DR. THROCKMORTON: Doug Throckmorton. I'm the  
6 Director of the Cardio-Renal Division in the FDA.

7 DR. BORER: We'll have our additional member  
8 introduce himself when he comes in.

9 Jayne, will you please present the conflict of  
10 interest statement?

11 MS. PETERSON: Thank you.

12 The following announcement addresses conflict  
13 of interest with regard to this meeting and is made a part  
14 of the record to preclude even the appearance of such at  
15 this meeting.

16 Based on the submitted agenda for the meeting  
17 and all financial interests reported by the committee  
18 participants, it has been determined that all interests in  
19 firms regulated by the Center for Drug Evaluation and  
20 Research which have been reported by the participants  
21 present no potential for an appearance of a conflict of  
22 interest at this meeting with the following exceptions.

23 Dr. Jeffrey Borer has been granted a waiver  
24 under 18 U.S.C. 208(b)(3) for his potential consulting for  
25 the sponsor on a competitor to Vanlev on unrelated matters.

1 Potentially he could receive less than \$10,001 a year.

2 Dr. Susanna Cunningham has been granted waivers  
3 under 18 U.S.C. 208(b)(3) and 21 U.S.C. 355(n)(4),  
4 amendment of section 505 of the Food and Drug  
5 Administration Modernization Act, for ownership of stock in  
6 a competitor to Vanlev. The stock is valued between  
7 \$25,000 and \$50,000.

8 Dr. Thomas Fleming has been granted a waiver  
9 under 18 U.S.C. 208(b)(3) for his participation on two data  
10 safety monitoring committees for a competitor and the  
11 parent of a competitor to Vanlev on unrelated matters. He  
12 receives less than \$10,000 per year for each activity.

13 A copy of these waiver statements may be  
14 obtained by submitting a written request to the agency's  
15 Freedom of Information Office, room 12A-30 of the Parklawn  
16 Building.

17 We would also like to disclose for the record,  
18 because of her reported interest, Dr. Beverly Lorell, a  
19 committee member, is excluded from participating in all  
20 official matters concerning new drug application 21-188,  
21 Vanlev, omapatrilat, sponsored by Bristol-Myers Squibb  
22 proposed for the treatment of hypertension.

23 With respect to FDA's invited guest, Dr.  
24 Pickering has a reported interest that we believe should be  
25 made public to allow the participants to objectively

1 evaluate his comments. Dr. Pickering is listed as a Vanlev  
2 consultant for Bristol-Myers Squibb and was paid in 2001.  
3 He has received a research grant from Bristol-Myers Squibb  
4 in 2001 for analyzing their data on 24-hour blood pressure.  
5 He has done nothing for the company in 2002.

6 In the event that the discussions involve any  
7 other products or firms not already on the agenda for which  
8 an FDA participant has a financial interest, the  
9 participants are aware of the need to exclude themselves  
10 from such involvement and their exclusion will be noted for  
11 the record.

12 With respect to all other participants, we ask  
13 in the interest of fairness that they address any current  
14 or previous financial involvement with any firm whose  
15 products they may wish to comment upon.

16 Thank you. Dr. Borer.

17 DR. BORER: Mike, will you introduce yourself  
18 to the company?

19 DR. ARTMAN: I'm Mike Artman. I'm at New York  
20 University School of Medicine.

21 And I would just like the record to show that  
22 Dr. Borer's clock, according to the U.S. atomic clock, is  
23 about 3 minutes fast. Thank you.

24 (Laughter.)

25 DR. BORER: Well, it means we get through 3



1 minutes earlier.

2 Let's begin the sponsor's presentation then, if  
3 we can. Dr. Waclawski.

4 DR. WACLAWSKI: Thank you, Dr. Borer. Good  
5 morning to you, members of the advisory committee, FDA,  
6 ladies and gentlemen.

7 I'm Anthony Waclawski with the Regulatory  
8 Sciences Group at Bristol-Myers Squibb. It's my pleasure  
9 to take a few minutes today and introduce our presentation.

10 The purpose of our presentation today is to  
11 discuss the data that is relevant to the use of omapatrilat  
12 in hypertension, specifically in patients with hypertension  
13 that is difficult to control with other agents.

14 Omapatrilat is a vasoactive ACE inhibitor. It  
15 is the first agent in this new class of antihypertensive  
16 agents to be discussed by this committee.

17 As background, I will briefly review the  
18 regulatory history of the application and then give you an  
19 overview of this morning's presentation.

20 The original NDA was filed in December of 1999.

21 This NDA was based on an extensive preclinical and  
22 clinical development program. The clinical studies were  
23 mainly conducted as placebo-controlled or active-  
24 controlled, forced-titration studies.

25 In April of 2000, Bristol-Myers Squibb withdrew

1 the NDA. This was in response to questions raised by the  
2 FDA regarding the comparative incidence and severity of  
3 angioedema with omapatrilat compared to existing agents.

4 In August of 2000, the 6-month, 25,000-patient  
5 OCTAVE study was initiated. OCTAVE stands for omapatrilat  
6 cardiovascular treatment assessment versus enalapril. This  
7 study was conducted to more clearly define the efficacy and  
8 safety of omapatrilat compared to the ACE inhibitor  
9 enalapril.

10 In December of 2001, based upon the review and  
11 analysis of the results of the OCTAVE study, the NDA for  
12 omapatrilat for the treatment of hypertension was  
13 resubmitted. The resubmitted NDA included data from  
14 approximately 19,000 subjects treated with omapatrilat,  
15 making it several times larger than recent NDAs submitted  
16 for hypertension. The size and scope of the omapatrilat  
17 NDA allowed for the characterization of the safety and  
18 efficacy of omapatrilat in a broad range of patients.

19 In addition, although not part of the NDA for  
20 hypertension, omapatrilat has been studied in an extensive  
21 heart failure program, including the recently completed  
22 OVERTURE study. There's a question today about OVERTURE  
23 and its implications for hypertension on the list of  
24 questions today.

25 With that background, I will now provide an

1 overview of our presentation.

2           First, in terms of efficacy, data will be  
3 presented to demonstrate that omapatrilat is an effective  
4 antihypertensive agent, more effective as monotherapy than  
5 lisinopril, losartan, or amlodipine. In addition, data  
6 from the OCTAVE study will be presented. These data  
7 demonstrate that an omapatrilat-based regimen is more  
8 effective than an enalapril-based regimen in a broad range  
9 of patients under conditions that closely mimic clinical  
10 practice.

11           In terms of safety, data from the OCTAVE study  
12 will be presented that demonstrate that patients treated  
13 with omapatrilat experience angioedema about three times  
14 more frequently than those patients treated with enalapril.  
15 In OCTAVE, life-threatening angioedema occurred in patients  
16 treated with omapatrilat at a rate of approximately 2 per  
17 12,000 patients. In OCTAVE, no patients treated with  
18 enalapril experienced life-threatening angioedema.

19           In terms of benefit and risk, these data, taken  
20 together, present difficult and complex questions about  
21 benefit and risk. How should one evaluate a compound that  
22 may offer superior benefit when it also carries an  
23 increased risk of a potentially life-threatening adverse  
24 event? How should the expected benefit be estimated? What  
25 level of risk is acceptable? And in what patients is

1 perhaps the benefit-to-risk favorable? Data will be  
2 presented today to help address these issues.

3 Let me tell you about the approach that we have  
4 taken.

5 Since the filing of our NDA in December of last  
6 year, we have performed numerous additional statistical  
7 analyses of the OCTAVE data and have had extensive  
8 consultations with medical and regulatory experts and the  
9 FDA aimed at helping us to answer these questions.

10 In light of the risk of angioedema, we have  
11 looked for ways to maximize the benefit and minimize the  
12 risk. Maximizing the benefit means to target the use of  
13 omapatrilat to those patients that are most likely to  
14 benefit from therapy. These patients would have an  
15 increased cardiovascular risk and would have hypertension  
16 that is difficult to control with available therapies.  
17 Data will be presented today which demonstrate that  
18 omapatrilat provides substantial blood pressure reductions  
19 in these patients.

20 Regarding the management of risk, we have  
21 initiated discussions with the FDA about how to manage the  
22 risk of angioedema. We have thus far focused on the  
23 identification of the risk factors of angioedema and on the  
24 use of patient education about angioedema to help minimize  
25 the risk of severe outcomes.

1           You have in your briefing book an FDA review of  
2 our proposed risk management plan. The review points out  
3 that risk management will not likely reduce the risk of  
4 angioedema with omapatrilat to that of an ACE inhibitor.  
5 We agree with this, and this is not the objective of the  
6 plan. Rather, the objective is to minimize the risk of  
7 life-threatening angioedema using education. The review  
8 acknowledges that this might be possible, and we are  
9 continuing to work with FDA on this plan. We are confident  
10 that if omapatrilat is approved on the basis of the  
11 clinical data, that we can find a mutually acceptable plan  
12 with the FDA.

13           I will now come back to the target population  
14 and be a little bit more specific since our presentation  
15 today is focused on these patients.

16           We'll present data that supports the use of  
17 omapatrilat in patients that can be described with two  
18 broad criteria. These patients will have comorbid  
19 conditions or characteristics associated with high  
20 cardiovascular risk, such as a history of cardiovascular  
21 disease, patients with target organ damage, those with  
22 three or more cardiac risk factors, or patients with  
23 diabetes or renal disease. They would also have  
24 hypertension that is difficult to control with existing  
25 agents.

1           As you'll see from our presentation today,  
2 black patients and patients who smoke are at a higher risk  
3 of angioedema. Use of omapatrilat in these patients must  
4 be accompanied by particular caution.

5           This is the target population. We will present  
6 data today that supports the use of omapatrilat in these  
7 patients. When evaluating these data, we recognize that  
8 the advisory committee and the FDA will rely upon their  
9 scientific judgment when considering how these data may  
10 support a recommendation for the approval of omapatrilat.  
11 We've been working through these issues for some time and  
12 are looking forward to your deliberations.

13           Bristol-Myers Squibb has invited several  
14 consultants to the meeting today. They are Drs. Black,  
15 Hennekens, Kaplan, Packer, Neaton, and Weber. These  
16 experts are here to facilitate the advisory committee  
17 discussions and deliberations.

18           Finally, the agenda for the presentation is as  
19 follows. Dr. Levy, who leads the clinical development  
20 program for omapatrilat at Bristol-Myers Squibb, will  
21 present the clinical efficacy data. Dr. Kaplan, from the  
22 University of South Carolina, an expert in angioedema and a  
23 member of the OCTAVE angioedema endpoint adjudication  
24 committee, will provide a short background on this event.  
25 Dr. Levy will then return to present the safety data and

1 the benefit-risk summary.

2 I should note that although Dr. Hennekens was  
3 listed on the agenda that may be in your briefing package,  
4 he will not make a presentation today on risk-benefit, but  
5 he is here to answer any questions.

6 There is also a question about OVERTURE. We  
7 have asked Dr. Packer to come and make a short presentation  
8 about OVERTURE. This is also a small change from your  
9 agenda.

10 Dr. Black will follow Dr. Packer and he will  
11 provide a clinician's perspective. I will then return and  
12 conclude our presentation.

13 That ends the introduction. I would now like  
14 to introduce Dr. Elliott Levy who will present the clinical  
15 efficacy data.

16 DR. LEVY: Dr. Borer and members of the  
17 committee, thank you for your attention. My name is  
18 Elliott Levy, and I lead the omapatrilat clinical  
19 development team.

20 Before discussing the efficacy of omapatrilat,  
21 I'd like to reemphasize a point made by Dr. Waclawski in  
22 his introduction. Bristol-Myers Squibb is asking the  
23 advisory committee to consider omapatrilat for use in  
24 patients who have established cardiovascular disease or  
25 other characteristics associated with similarly high

1 cardiovascular risk and whose blood pressure is difficult  
2 to control with existing therapies. In this population,  
3 the benefit of omapatrilat treatment strongly outweighs the  
4 risk of angioedema.

5 I'll present efficacy data this morning in the  
6 following order. In four placebo-controlled trials,  
7 including approximately 2,400 subjects, omapatrilat was  
8 shown to reduce systolic and diastolic blood pressure in  
9 dose-dependent fashion.

10 In six active-controlled trials involving  
11 approximately 2,700 subjects, the maximum intended dose of  
12 omapatrilat, 80 milligrams, was shown to reduce blood  
13 pressure more effectively than the maximum labeled dose of  
14 the widely used antihypertensives lisinopril, amlodipine,  
15 and losartan.

16 In OCTAVE, which included about 2,500 subjects,  
17 an omapatrilat-based regimen was shown to reduce blood  
18 pressure more effectively than one based on enalapril.  
19 Omapatrilat was also shown to reduce blood pressure  
20 effectively in the proposed target population: patients  
21 with high cardiovascular risk and difficult-to-control  
22 hypertension.

23 In four placebo-controlled, randomized, double-  
24 blind, dose-ranging studies, omapatrilat at doses of 10 to  
25 80 milligrams was shown to reduce systolic and diastolic



1 blood pressure in dose-dependent fashion. At the proposed  
2 starting dose of 10 milligrams, omapatrilat produced  
3 statistically significant reductions in blood pressure  
4 relative to placebo. At the maximum intended dose of 80  
5 milligrams, omapatrilat reduced systolic blood pressure by  
6 about 16 millimeters of mercury relative to placebo and 19  
7 millimeters of mercury overall.

8                   These changes in blood pressure were  
9 substantially larger than those historically reported with  
10 existing agents, and based on these findings a series of  
11 six active-controlled, randomized, double-blind trials were  
12 performed in which omapatrilat 80 milligrams was directly  
13 compared to the maximal recommended dose for the widely  
14 used antihypertensive agents amlodipine, lisinopril, and  
15 losartan. For clarity, I'll present the systolic blood  
16 pressure results in these studies. The results for  
17 diastolic blood pressure were similar.

18                   In three of these studies presented here,  
19 efficacy was assessed by measurement of seated blood  
20 pressure in the physician's office using standard cuff  
21 methodology at the time of trough blood levels, so about 24  
22 hours after administration of the previous dose.  
23 Omapatrilat produced statistically significant reductions  
24 in blood pressure relative to amlodipine, lisinopril, and  
25 losartan, ranging from 3 millimeters of mercury systolic

1 relative to amlodipine on the left-hand side, and moving  
2 right, 5 millimeters of mercury relative to lisinopril, and  
3 7 millimeters of mercury relative to losartan.

4           You may have noted that in one of these studies  
5 conducted versus lisinopril, reductions in blood pressure  
6 were smaller than observed elsewhere. This study was  
7 performed in African Americans in whom the response to  
8 drugs that inhibit the renin-angiotensin system is known to  
9 be diminished. As expected, the response to both  
10 omapatrilat and lisinopril was reduced in this study, but  
11 systolic blood pressure was reduced about 5 millimeters of  
12 mercury more with omapatrilat than with lisinopril.

13           In three other studies displayed here, efficacy  
14 was assessed by ambulatory blood pressure monitoring.  
15 Ambulatory blood pressure has been shown to correlate more  
16 closely with target organ damage than does office blood  
17 pressure. And ambulatory blood pressure also captures the  
18 effect of drug on blood pressure over 24 hours during  
19 normal daily activities, rather than at a single time point  
20 in the physician's office.

21           In these studies, omapatrilat was also shown to  
22 reduce blood pressure more effectively than maximal  
23 recommended doses of amlodipine, lisinopril, or losartan.  
24 Here the differences ranged from about 5 to 6 millimeters  
25 of mercury relative to amlodipine to about 7 millimeters of

1 mercury relative to lisinopril and 8 to 9 millimeters of  
2 mercury relative to losartan. These differences between  
3 omapatrilat and comparator were somewhat greater than  
4 observed in the office blood pressure studies previously  
5 presented, which is the opposite of what one might expect  
6 since ambulatory pressures tend to be lower than office  
7 blood pressures and to vary over a smaller range.

8           The course of blood pressure reduction over 24  
9 hours is illustrated in this representative tracing from  
10 the amlodipine comparison study. At every time point over  
11 24 hours, omapatrilat reduced blood pressure more than  
12 amlodipine, as illustrated by the bottom curves. Similar  
13 results were observed in ambulatory blood pressure trials  
14 conducted versus lisinopril and losartan.

15           In sum, in these active-controlled trials,  
16 omapatrilat at 80 milligrams produced greater reductions in  
17 blood pressure than the maximum recommended doses of  
18 amlodipine, lisinopril, and losartan. A major objective of  
19 OCTAVE was to determine whether omapatrilat would be  
20 superior to another agent in conditions similar to those  
21 encountered in clinical practice where an antihypertensive  
22 therapy is titrated electively to reach blood pressure  
23 target and supplemented by other agents as needed.

24           OCTAVE used a simple protocol of a large sample  
25 size and few exclusion criteria so that the efficacy and

1 safety of omapatrilat could be assessed in a variety of  
2 demographic and clinical subgroups.

3 In OCTAVE, 25,000 hypertensive patients were  
4 randomized in equal number to treatment with omapatrilat  
5 beginning at 10 milligrams or enalapril beginning at 5  
6 milligrams. After an initial fourth titration step at week  
7 2, physicians were instructed to titrate patients as needed  
8 to reach blood pressure target at weeks 4 and 6. At week  
9 8, the end of the study drug titration phase, the dose of  
10 study medication was fixed, and investigators were  
11 instructed to add other antihypertensive agents as needed  
12 in order to reach blood pressure target at weeks 8 and 16.

13 The dose range selected for omapatrilat reflected the  
14 intended clinical dose range, while the enalapril dose  
15 regimen was selected in accordance with the label and  
16 customary clinical practice.

17 For assessment of efficacy, subjects were  
18 assigned at randomization to one of three prespecified  
19 study groups, each representing a potential manner of use  
20 of omapatrilat. Patients not receiving antihypertensive  
21 therapy at enrollment, about 9,000 patients, were assigned  
22 to study group 1 and received omapatrilat or enalapril as  
23 initial therapy for hypertension.

24 Patients receiving antihypertensive therapy at  
25 enrollment but not controlled were assigned to study groups

1 2 or 3. Those with mildly elevated blood pressure,  
2 systolic blood pressures of 140 to 159, or diastolics of 90  
3 to 99, were assigned to study group 2 and received  
4 omapatrilat or enalapril as replacement for existing  
5 therapies, all of which were discontinued at randomization.

6 About 11,000 patients were assigned to this group.

7 Study group 3 patients included those with more  
8 markedly uncontrolled blood pressure at randomization,  
9 systolic blood pressure of 160 to 179 or diastolic pressure  
10 of 100 to 109, and whose baseline regimen did not include  
11 an ACE inhibitor. These patients received omapatrilat or  
12 enalapril in addition to existing therapies which were  
13 continued beyond randomization. About 5,000 patients were  
14 assigned to this study group.

15 Two efficacy objectives were specified as co-  
16 primary study endpoints. The first, change in systolic  
17 blood pressure from baseline to week 8, reflected the  
18 effect of study drug on blood pressure, titrated electively  
19 as needed to reach target. The second co-primary efficacy  
20 objective, the use of new adjunctive antihypertensive  
21 therapy between weeks 8 and 24, reflected the extent to  
22 which a more effective monotherapy might reduce the need  
23 for additional antihypertensive therapy.

24 Important safety objectives included the  
25 assessment of the incidence of adverse events, as well as

1 the incidence and severity of angioedema. These will be  
2 discussed in more detail in the safety portion of the talk.

3           The study results at week 8, the end of the  
4 study drug titration period, are summarized here. If  
5 omapatrilat had greater inherent efficacy, then one might  
6 expect that subjects randomized to enalapril would be more  
7 likely to be titrated upward in order to reach blood  
8 pressure target than subjects randomized to omapatrilat,  
9 and this in fact was observed. As shown on the right-hand  
10 panel of this slide, subjects randomized to enalapril were  
11 more likely to be titrated to top dose of study drug than  
12 subjects randomized to omapatrilat, and this was true  
13 whether study drug was used as initial therapy for  
14 hypertension in study group 1, as replacement for existing  
15 therapy in study group 2, or in addition to existing  
16 therapy as in study group 3.

17           Between 33 and 52 percent of patients  
18 randomized to enalapril were titrated to 40 milligrams, the  
19 maximal dose. This pattern of therapy with robust doses of  
20 enalapril is considerably more aggressive than that  
21 encountered in clinical practice. Despite greater use of  
22 maximal study therapy in patients randomized to enalapril,  
23 those randomized to omapatrilat had greater reductions in  
24 systolic blood pressure at week 8, as shown in the left-  
25 hand panel. The difference in systolic blood pressure

1 reduction of about 3 to 4 millimeters of mercury was highly  
2 consistent whether patients received study drug as initial  
3 therapy, as replacement, or add-on therapy.

4           You might note that the blood pressure  
5 reductions with both study drugs were smaller in group 2  
6 than in groups 1 and 3 because group 2 subjects  
7 discontinued all prior antihypertensive therapy at  
8 enrollment. Their blood pressure changes reflect both the  
9 antihypertensive effect of study drug and the effect of  
10 withdrawal of other active therapies.

11           The results at week 24, the end of the study,  
12 are summarized here. It was hypothesized that if  
13 omapatrilat reduced systolic blood pressure more than  
14 enalapril at week 8, it would also reduce the use of other  
15 antihypertensive agents from weeks 9 through 24, and this  
16 was observed. As summarized on the right-hand panel,  
17 subjects randomized to omapatrilat were significantly less  
18 likely to receive additional antihypertensive therapy than  
19 subjects randomized to enalapril. Despite receiving less  
20 top-dose study drug and less adjunctive therapy, subjects  
21 randomized to omapatrilat had consistently greater  
22 reductions in systolic blood pressure at week 24 as shown  
23 in the right-hand panel, about 3 millimeters of mercury  
24 more than subjects randomized to enalapril.

25           Now, these study findings were highly

1 consistent across patient subgroups. OCTAVE included about  
2 7,000 patients over the age of 65, 2,000 over the age of  
3 75, and 2,500 black patients. Omapatrilat reduced systolic  
4 blood pressure about 3 millimeters of mercury more than  
5 enalapril at study's end in each major demographic subgroup  
6 as shown on the right-hand column of this slide. Not  
7 surprisingly, reductions in blood pressure with both  
8 omapatrilat and enalapril were smaller in black patients  
9 than in others, but nevertheless blood pressure was reduced  
10 about 4 millimeters of mercury more with omapatrilat than  
11 with enalapril in these subjects.

12           OCTAVE also included a large number of patients  
13 with comorbid characteristics or other features associated  
14 with increased risk of cardiovascular disease. About 3,300  
15 patients with diabetes and 2,300 patients with established  
16 cardiovascular disease were studied in OCTAVE. Omapatrilat  
17 produced consistently greater reductions in systolic blood  
18 pressure than enalapril, on the order of 3 to 5 millimeters  
19 of mercury, as shown on the right-hand side of this chart,  
20 in patients with severe hypertension, those with diabetes,  
21 atherosclerotic disease, isolated systolic hypertension,  
22 renal disease, or heart failure.

23           In summary, OCTAVE demonstrated greater blood  
24 pressure reduction with an omapatrilat-based regimen than  
25 with an enalapril-based regimen despite more use of top-



1 dose enalapril and more use of adjunctive antihypertensive  
2 therapy with enalapril.

3           The results of OCTAVE were highly consistent,  
4 regardless of patient demographics or comorbidity and  
5 regardless of the manner in which study drug was used.

6           Lastly, the greater blood pressure reduction  
7 observed with omapatrilat at week 8, the end of the study  
8 drug titration period, was preserved to the end of the  
9 trial despite the use of adjunctive therapy in order to  
10 reach a common blood pressure target in all patients.

11           The advisory committee has been asked to  
12 consider why the efficacy advantage observed at week 8 in  
13 OCTAVE was preserved at week 24 and whether this suggests  
14 that an omapatrilat-based regimen provides a reduction in  
15 blood pressure that cannot be achieved with a regimen based  
16 on enalapril or existing therapies.

17           OCTAVE provides a unique data set with which to  
18 answer this question. While we acknowledge that in many  
19 patients hypertension can be readily controlled with  
20 enalapril or other existing treatments, OCTAVE suggests --  
21 and other clinical trials confirm -- that hypertension is  
22 difficult to control in many patients, even with multi-drug  
23 regimens. Therefore, for many patients the question is not  
24 whether omapatrilat can be used in place of a combination  
25 regimen, but whether omapatrilat should be used as part of

1 a combination regimen. The results of OCTAVE strongly  
2 confirm that a combination regimen which includes  
3 omapatrilat reduces blood pressure to a greater extent than  
4 a combination regimen containing enalapril because  
5 omapatrilat is a more efficacious antihypertensive agent.

6           The effect that greater drug efficacy can have  
7 on regimen efficacy can be most clearly appreciated in  
8 those most likely to require a multi-drug antihypertensive  
9 regimen, namely those whose blood pressure is difficult to  
10 control with single agents. In this presentation, the  
11 blood pressure changes at week 24 are summarized for study  
12 group 1 subjects stratified according to their baseline  
13 severity of hypertension; that is, from left to right, mild  
14 or JNC VI stage I, moderate or JNC VI stage II, severe or  
15 JNC VI stage III.

16           The difference between omapatrilat and  
17 enalapril at week 24 is present in all three groups, but it  
18 is most apparent in those with most severe hypertension at  
19 baseline in whom, as shown on the right-hand panel of the  
20 slide, the rate of use of adjunctive therapy was also the  
21 greatest. This suggests that the benefit of a more  
22 efficacious antihypertensive agent might be greatest in  
23 those most likely to require combination therapy, those  
24 with hypertension that is difficult to control.

25           Another representative group of patients with

1 difficult-to-control hypertension is those who remained  
2 significantly above blood pressure goal in spite of  
3 treatment with existing therapies. In data from patients  
4 randomized to OCTAVE study group 3 who continued to have  
5 JNC VI stage II hypertension in spite of treatment with two  
6 or more antihypertensives or three or more  
7 antihypertensives at baseline are shown on this slide. In  
8 these patients, the addition of omapatrilat provided  
9 significantly greater blood pressure reduction compared to  
10 the addition of enalapril, demonstrating the benefit of  
11 adding a more effect agent.

12           The FDA review has raised the question that the  
13 efficacy difference between omapatrilat and enalapril may  
14 be easily overcome with greater use of adjunctive therapy.

15 I would like to make two important points here.

16           First, many of these difficult-to-control  
17 patients are already on multiple treatments and have  
18 limited options for additional therapy.

19           Second, many of these patients remain  
20 significantly above goal even after adding enalapril or  
21 omapatrilat, as illustrated here in these 700 patients in  
22 whom the rate of control with enalapril on top of three  
23 baseline meds is only 28 percent at the end of the study,  
24 and even with omapatrilat only 42 percent reached target.  
25 If there is opportunity to add more treatment, if there are

1 options, it would occur in both patients treated with  
2 omapatrilat and those treated with enalapril. And while  
3 the use of adjunctive therapy would increase with both  
4 drugs, the blood pressure reduction in the regimen with  
5 more effective components would still be greater.

6           Hence, in patients with difficult-to-control  
7 hypertension, a regimen containing omapatrilat would be  
8 expected to provide persistent benefit compared to a  
9 regimen using less effective agents due to the greater  
10 antihypertensive efficacy of omapatrilat.

11           To maximize the benefit this drug has to offer,  
12 we are focusing on patients with high cardiovascular risk  
13 and hypertension that is difficult to control with existing  
14 agents, and I'd like to provide you with some more data in  
15 patients not achieving blood pressure goal on current  
16 therapies.

17           I'll now present data collected in another  
18 group of patients with hypertension that's difficult to  
19 control with existing agents, namely those who are  
20 resistant to ACE inhibitor therapy. This group of patients  
21 is of particular interest since ACE inhibitors are widely  
22 used to treat hypertension and since omapatrilat acts in  
23 part through ACE inhibition. I'll review data from two  
24 sources, a study conducted specifically in ACE inhibitor  
25 resistant patients, study -73, and the large number of such

1 patients from OCTAVE.

2                   This slide summarizes the design of study -73,  
3 conducted in patients who remained above blood pressure  
4 target despite aggressive ACE inhibitor therapy. Subjects  
5 with systolic blood pressure of at least 140 millimeters of  
6 mercury or diastolic pressure of at least 90 millimeters of  
7 mercury despite therapy with an ACE inhibitor at maximal or  
8 near maximal dose for at least a month were enrolled and,  
9 after a 2-week stabilization period, randomized to  
10 treatment with either omapatrilat starting at 20 milligrams  
11 and up-titrated to 80 milligrams, or lisinopril starting  
12 with 10 milligrams and up-titrated to 40 milligrams.

13                   The lisinopril arm was intended to reproduce  
14 under blinded conditions the potential effects of continued  
15 therapy with maximal ACE inhibitor. All patients were  
16 treated with top doses of omapatrilat or lisinopril for 4  
17 weeks prior to the final evaluation. Ambulatory blood  
18 pressure was used as the primary method for the assessment  
19 of treatment effect.

20                   At study's end, 24-hour ambulatory systolic  
21 blood pressure was reduced 8.8 millimeters of mercury more  
22 with omapatrilat than with lisinopril. Blood pressure was  
23 also reduced more with omapatrilat than with lisinopril at  
24 each time point during 24-hour ambulatory blood pressure  
25 monitoring. While the differences between omapatrilat and

1 lisinopril were greatest during the daytime hours, a  
2 difference of 7 millimeters of mercury persisted at trough,  
3 24 hours post dose administration.

4           The results of this study indicate that  
5 patients resistant to ACE inhibition are not equally  
6 resistant to treatment with omapatrilat and suggest that  
7 omapatrilat can be used as an alternative to ACE inhibitors  
8 to provide substantial additional blood pressure reduction  
9 in patients failing to reach target with an ACE inhibitor.

10           Of course, subjects treated with an ACE  
11 inhibitor alone could achieve additional blood pressure  
12 reduction through addition of a second or third agent.  
13 This study evaluated not only patients uncontrolled on ACE  
14 inhibitor monotherapy, but also those uncontrolled on ACE  
15 inhibitor as part of a combination antihypertensive  
16 regimen. In such subjects, the ACE inhibitor was  
17 discontinued at randomization while other antihypertensive  
18 medications were continued without alteration in dose.

19           As shown here, reductions in blood pressure  
20 were highly consistent whether subjects entered the study  
21 on ACE inhibitor monotherapy, as shown in the left-hand  
22 bars, or on an ACE inhibitor as part of a combination  
23 antihypertensive regimen, as shown in the right-hand bars.

24           Numerically the reductions in systolic blood pressure  
25 relative to enalapril were greater in those who entered the

1 study on an ACE inhibitor-containing regimen than those who  
2 entered the study on an ACE inhibitor monotherapy, 11.5  
3 versus 7.6 millimeters of mercury.

4 Now, OCTAVE also included over 4,000 subjects  
5 whose enrollment blood pressure remained above target  
6 despite therapy with an ACE inhibitor or ACE inhibitor-  
7 containing regimens. In these patients, prior treatments  
8 were discontinued at study entry and patients were  
9 randomized to either omapatrilat or enalapril.

10 Blood pressure was reduced consistently more  
11 with omapatrilat than with enalapril whether patients were  
12 receiving an ACE inhibitor alone at randomization or as  
13 part of a regimen containing one or more additional  
14 antihypertensives. Numerically the greatest reductions  
15 relative to enalapril of about 6 millimeters of mercury  
16 were observed in those receiving an ACE inhibitor plus two  
17 or more antihypertensive medications at randomization.

18 Now, the proposed target indication also  
19 includes patients with difficult-to-control hypertension  
20 who have comorbid conditions and other characteristics that  
21 put them at increased risk of cardiovascular events. As  
22 representative data for this population, the results from  
23 OCTAVE in subjects with diabetes and blood pressure above  
24 target at enrollment despite ACE inhibitor therapy are  
25 summarized here. About 1,000 patients are included in this

1 analysis.

2                   Omapatrilat reduced blood pressure  
3 significantly more than enalapril in these subjects whether  
4 they had been treated with an ACE inhibitor alone at  
5 randomization as shown in the left-hand bars or with an ACE  
6 inhibitor-containing antihypertensive regimen in the middle  
7 and right-hand bars. Reductions in blood pressure with  
8 omapatrilat relative to enalapril ranged from about 5 up to  
9 9 millimeters of mercury, and the greatest reduction was  
10 again observed in those receiving the most intensive  
11 antihypertensive regimen at baseline.

12                   In summary, a large clinical development  
13 program has demonstrated that an omapatrilat-based regimen  
14 reduced blood pressure more than the regimens containing  
15 enalapril. This blood pressure advantage was consistent  
16 across patient subgroups regardless of the manner of the  
17 use of the study drug. And OCTAVE further suggested that  
18 the blood pressure advantage observed with omapatrilat in  
19 clinical trials can be maintained under clinical use  
20 conditions.

21                   Lastly, data from OCTAVE, as well as data from  
22 other trials, indicate that in patients that cannot readily  
23 achieve blood pressure target with existing drugs,  
24 omapatrilat provides further blood pressure reduction  
25 that's not otherwise available.



1                   Now, let's go on to safety. In a few minutes,  
2 I'm going to ask Dr. Kaplan to come to the podium to  
3 present an overview of angioedema.

4                   DR. BORER: I'm sorry. Just before you do  
5 that, because these are a lot of data and there will be a  
6 lot of questions, maybe if it's okay we can stop here and  
7 ask questions to clarify the efficacy data, and then we'll  
8 move on to the safety and do the same thing.

9                   Does anybody on the committee have substantive  
10 questions about the data? Tom.

11                  DR. PICKERING: Yes. I have one general  
12 question. It's well known that ACE inhibitors'  
13 effectiveness is increased by sodium depletion or diuretic  
14 treatment, and I don't think in any part of your  
15 presentation you specifically referred to the use of  
16 concomitant diuretics. I don't think I've seen any head-  
17 to-head comparison between omapatrilat and an ACE  
18 inhibitor-diuretic combination, which many of us use in  
19 clinical practice. Do you have such data?

20                  DR. LEVY: If I could refer to my backup deck  
21 for a moment. Thank you. Could I have slide HP-8?

22                  What we've done here is summarize the blood  
23 pressure reductions at study end in patients who received a  
24 variety of additional therapies after week 8. On the left-  
25 hand panel are displayed the findings in those who received

1 hydrochlorothiazide in addition to either omapatrilat or  
2 enalapril, as well as those who received a variety of other  
3 antihypertensive agents. As you can see, both omapatrilat  
4 and enalapril have additional efficacy when supplemented by  
5 hydrochlorothiazide, but the blood pressure reduction with  
6 omapatrilat remains greater. And the same is true really  
7 regardless of the antihypertensive agent or class which is  
8 added on top of omapatrilat or enalapril.

9 DR. PICKERING: You are saying that no study  
10 has been done with a randomized direct comparison between  
11 omapatrilat and ACE inhibitor-diuretic combination. Is  
12 that correct?

13 DR. LEVY: Yes. We're not proposing that the  
14 drug be used in patients who can readily be controlled with  
15 an ACE inhibitor-diuretic combination. And the patients  
16 I've shown you are patients who are typically already  
17 treated with combination therapy in whom the option of  
18 adding a diuretic to an ACE inhibitor is no longer  
19 available.

20 DR. BORER: Are there other substantive issues?  
21 Bob.

22 DR. TEMPLE: This is to some extent the same  
23 question. But on slide 25 where you're looking at ACE  
24 inhibitor plus two or more antihypertensive meds, what  
25 would those antihypertensive meds have been? I ask because

1 it matters. For example, if they're all on beta blockers,  
2 you don't really expect too much more. The effectiveness  
3 overlaps. Were they all on diuretics, as they presumably  
4 should have been? What were they on?

5 DR. LEVY: The majority of these patients were  
6 on diuretics, and then, of course, the third med was a  
7 variety of medications, in some cases a calcium channel  
8 blocker, in some cases a beta blocker.

9 DR. TEMPLE: Okay, but again I ask because the  
10 question that I'm sure will come up repeatedly always is  
11 this extra 3 millimeters or 10 millimeters or whatever it  
12 is -- could you have done it just as easily by adding  
13 amlodipine? So, all of these things raise that question.  
14 I'm just trying to direct it there early because I think  
15 that's going to come up repeatedly.

16 So, those people would have mostly been -- it's  
17 not that many, but 169 of them -- on at least a diuretic,  
18 do you think? Do you know exactly?

19 DR. LEVY: Can we go back a slide? Again, this  
20 is a cut of a cut, but the previous slide, slide 24, is of  
21 a larger number of patients who were on an ACE inhibitor at  
22 randomization and failed to reach target. As you can see,  
23 there was almost 600 in the group on two or more  
24 antihypertensive meds. And yes, these patients are in  
25 general receiving an ACE inhibitor, in most cases plus a

1 thiazide diuretic and then a calcium channel blocker or a  
2 beta blocker.

3 DR. TEMPLE: You don't have a precise breakdown  
4 of that.

5 DR. LEVY: We can provide you with that  
6 information later, if you'd like.

7 DR. TEMPLE: Okay.

8 DR. BORER: Paul.

9 DR. ARMSTRONG: My question is in the same  
10 area. Just to pursue this, if we're going to get more data  
11 to see later, not only would I be interested in the types  
12 of adjunctive therapies that were added in instances where  
13 one or other choice of therapy in OCTAVE was perceived to  
14 be inadequate, but the doses of those agents. In other  
15 words, were the doses of those agents pushed to equal  
16 intensity in the instance where it was perceived that the  
17 primary therapy had failed?

18 DR. LEVY: Certainly there's a wealth of data  
19 on that question. Let me provide you with the one patient  
20 subgroup where the data is most clearly defined. As  
21 mentioned, this was a simple trial. The case report form  
22 was simple, and the amount of information about study drug  
23 dosing is therefore limited. But for a few certain drugs,  
24 we do have specific dosing information, and perhaps I can  
25 show you some information there that will illustrate what

1 happens when omapatrilat is used rather than enalapril in  
2 patients who were receiving high-dose, aggressive  
3 antihypertensive regimens. I think they're representative  
4 of the whole study, but for this particular subgroup, we  
5 have very detailed information about dosing.

6                   These would be our slides comparing the  
7 efficacy of omapatrilat and enalapril at week 24 in study  
8 group 3 subjects who entered the trial on a two-drug  
9 regimen, including amlodipine and hydrochlorothiazide.

10                   DR. BORER: While you're pulling that up and  
11 looking for slides, Steve is our committee reviewer, and  
12 he'll have a number of questions I'm sure. But I had a  
13 specific question on the same issue and that was from your  
14 slide number 23 where the addition of lisinopril actually  
15 caused no change, an average .6 millimeter of mercury  
16 increase in blood pressure, when added on to other therapy.

17                   And I too wanted to know what the other drugs were, what  
18 their doses were, how you would explain that, what the  
19 population was. Were there some vagaries there that could  
20 explain the absolute lack of any activity of the ACE  
21 inhibitor in that population? So, while you're looking all  
22 this up, go back to your slide 23 also, if you would.

23                   DR. LEVY: All right. Let me answer this  
24 question first and then I'll return to your question.

25                   Again, in this group we have the most specific

1 information about study drug dosing and the doses of  
2 adjunctive therapy. Now, these are patients who entered  
3 the trial uncontrolled on two or more antihypertensive  
4 agents, which included amlodipine or hydrochlorothiazide,  
5 and for those two drugs we have the dosing information.  
6 These patients at baseline had blood pressures that  
7 remained at JNC VI stage II, systolic pressure of 160 to  
8 169 or diastolic of 100 to 109. And their mean systolic  
9 pressures were about 166.

10           These patients were receiving a minimum of  
11 hydrochlorothiazide and amlodipine. The mean dose of  
12 amlodipine was 7 milligrams. The mean dose of  
13 hydrochlorothiazide was 20 milligrams. So, the patients  
14 were about split between amlodipine 5 and 10 and  
15 hydrochlorothiazide 12.5 and 25. About 40 percent of them  
16 were also receiving a beta blocker, and 10 percent were  
17 also receiving an angiotensin receptor blocker. So, over  
18 half of these patients were actually receiving three drugs  
19 at randomization.

20           They then received, in addition to their  
21 existing therapy, omapatrilat or enalapril. As I  
22 mentioned, these drugs were used very aggressively in the  
23 course of the trial. Over 60 percent of these patients  
24 were titrated to enalapril 40 milligrams, which is a dose  
25 that's considerably higher than that generally used in

1 clinical practice, and then some number of these patients  
2 received a fifth or a sixth antihypertensive agent in the  
3 course of the trial.

4           So, these represent really an extraordinarily  
5 aggressively treated group of patients in terms of the  
6 number of drugs and the dosing of those drugs. And there's  
7 still an advantage in both systolic and diastolic blood  
8 pressure reduction at the end of the study. So, I present  
9 these as representative. I happen to have the most  
10 detailed dosing information for these patients, but they're  
11 presented to you simply because you asked a question about  
12 dosing.

13           DR. BORER: Do you have any idea why 7  
14 milligrams and 20 milligrams was the average? It's  
15 certainly not the maximum labeled dose of amlodipine, and  
16 the thiazide dose, though, one can go way up the scale.  
17 One might choose not to because of safety issues, but 20  
18 milligrams is kind of low. So, why is it that those  
19 adjunctive therapies or those initial therapies were  
20 limited in those patients? Do we have any idea at all?

21           DR. LEVY: Well, you know, in practice  
22 physicians tend to prefer the use of low-dose therapies,  
23 particularly for drugs that do have dose-related toxicity.  
24 Amlodipine has a much higher incidence of peripheral edema  
25 at 10 milligrams than at 5 milligrams. That may have been

1 a factor in physicians' choice of 5 milligrams in some half  
2 of these patients, and thiazide diuretics also have a dose-  
3 related adverse effects that may have influenced the  
4 selection of study dose. But these are actually relatively  
5 high doses compared to those encountered in usual practice.

6 DR. BORER: Right, but that's not really the  
7 point. What's encountered in usual practice may be  
8 reasonable or it may be unreasonable, and I know that there  
9 are many reasons people may do things. That doesn't make  
10 them rational or right. The question is, do we actually  
11 have information about why people weren't given the higher  
12 doses? Maybe you don't, and I'm not suggesting you had to  
13 have such information but I'm just asking if you do.

14 DR. LEVY: No, we don't.

15 DR. BORER: Before you go on with Paul's issue,  
16 can you go back to your slide 23? Do you know anything  
17 about that group that received lisinopril on top of  
18 something else?

19 DR. LEVY: Again, this isn't OCTAVE. This is a  
20 trial that was specifically conducted in patients who were  
21 resistant to ACE inhibitor therapy. In this study patients  
22 who were on combination regimens discontinued the ACE  
23 inhibitor at randomization but continued all other  
24 medications. They were already on maximal or near maximal  
25 ACE inhibitor therapy at randomization. So, that meant



1    lisinopril 20 milligrams, enalapril 20 milligrams.  What  
2    you see there reflects the replacement of their prior ACE  
3    inhibitor with study ACE inhibitor, which was titrated to  
4    40 milligrams.

5                   DR. BORER:  Do you have any information about  
6    the characteristics of that population that would explain  
7    their relative resistance just for our edification?

8                   DR. LEVY:  Well, there were more black patients  
9    represented in this study and a slightly higher incidence  
10   of diabetics, characteristics which might be associated  
11   with diminished response to drugs which inhibit the renin-  
12   angiotensin system.  But it was actually a quite  
13   representative hypertensive population.

14                   DR. BORER:  Paul, have you completed?

15                   DR. ARMSTRONG:  Yes.

16                   DR. BORER:  Steve.

17                   DR. NISSEN:  First of all, I really want to  
18   compliment BMS on one of the most extraordinary development  
19   programs for a hypertensive drug.  The number of patients  
20   studied, the robustness of the data is really quite  
21   extraordinary.  I think there a lot of insights, obviously,  
22   to gain from a 25,000-patient study.

23                   I also wanted to say I really appreciated the  
24   review from Drs. Lawrence, Stockbridge, and Throckmorton.  
25   I think we had a really comprehensive package.  So, we've

1 got a lot of information and I want to go through a little  
2 bit of it.

3 I wanted to begin by asking something about  
4 mode of action, and the question I want to get at is why  
5 does this agent have greater antihypertensive efficacy.  
6 I'll offer you a hypothesis, and I want to know whether  
7 there's any data to support it.

8 The hypothesis is that by increasing levels of  
9 natriuretic peptides, that there's a weak diuretic effect  
10 from the drug. So, what we're looking at here is something  
11 that looks like the combination of an ACE inhibitor with a  
12 very weak diuretic. As we all know, when you add a little  
13 bit of diuretic to an ACE inhibitor, you get a lot of bang  
14 for the buck. You get a lot of blood pressure reduction,  
15 even 6.25 milligrams of hydrochlorothiazide will add a few  
16 millimeters of blood pressure reduction to ACE.

17 Is that really what we're seeing here that we  
18 have in a single compound a drug that's combining a little  
19 bit of diuretic effect with an inhibition of the renin-  
20 angiotensin system? And any of your consultants, if you  
21 could shed some light on this, I would be appreciative.

22 DR. LEVY: If I can just make a few comments.  
23 That's an excellent question. Certainly when we began  
24 developing the drug, it was a major question. In our  
25 clinical pharmacology program, in which subjects were

1 actually studied in clinical research units and their  
2 intakes and outputs could be carefully measured, there was  
3 no evidence of a natriuretic or diuretic effect with  
4 omapatrilat at doses well above and below those studied.

5           In our hypertension development program, as  
6 I've shown you, patients who received a diuretic in  
7 addition to omapatrilat, experienced the same incremental  
8 reductions in blood pressure that one sees when adding a  
9 diuretic to an ACE inhibitor, suggesting that its  
10 additional antihypertensive effect is not mediated through  
11 diuresis. It appears to be the vasodilator effect of the  
12 natriuretic peptide that contributes to the  
13 antihypertensive effects of this drug. In particular, the  
14 drug may have a unique central vasodilatory effect on the  
15 large conduit vessels.

16           DR. NISSEN: You did formal salt balance  
17 studies and that sort of thing. Are those available for  
18 us? Because I think that would be very interesting to see  
19 is, in that first week after you start the drug, what  
20 happens to salt balance, not later on, but as I understand  
21 diuretics, what you see is an initial fall in sodium and  
22 then it returns to normal again. I'd be very interested in  
23 seeing any salt balance studies that you have.

24           The reason it's relevant I guess is let's  
25 suppose that that's right, that this is a drug that has

1 weak diuretic properties. Then it still might be true that  
2 adding additional, say, hydrochlorothiazide to the regimen  
3 would produce additional blood pressure reductions. I  
4 mean, if you go from 6.25 to 12.5 to 25 milligrams of  
5 hydrochlorothiazide, you see additional efficacy. It's  
6 highly relevant in my view because it speaks to Tom  
7 Pickering's question, which is, is the real comparator for  
8 omapatrilat ACE plus a little bit of diuretic? Is that  
9 really what we're talking about as a comparator?

10 DR. LEVY: Well, of course, we're not  
11 recommending that the drug be used in patients who can be  
12 controlled with ACE plus a little bit of diuretic. We're  
13 proposing it be used in patients who can't be controlled  
14 with an ACE-diuretic combination, and there's evidence that  
15 it provides substantial incremental benefit in those  
16 patients.

17 So, with regard to the mechanistic  
18 considerations, with a thiazide-diuretic, one would see a  
19 brisk diuresis within hours of administration of the drug,  
20 an excretion of 200 to 300 millimoles of salt. We don't  
21 see anything like that with early dose administration.

22 DR. NISSEN: So, there are salt balance studies  
23 that you can provide us to take a look at?

24 DR. LEVY: There are studies conducted in which  
25 urinary sodium excretion is measured over the first hours

1 of dosing and the first 24 hours of dosing, a time period  
2 in which the effect of either a thiazide or a loop diuretic  
3 would be unmistakable. And we don't see anything at all.

4 DR. NISSEN: Okay.

5 I wonder if you could bring up slide 11. There  
6 are some things I didn't understand here, and I really want  
7 to explore it.

8 Let's look at the add-on group, or group 3.  
9 Now, the entry criteria for group 3 was what entry  
10 criteria? What did you have to have to be in group 3?

11 DR. LEVY: These patients had to be  
12 uncontrolled on antihypertensive therapy with blood  
13 pressures at JNC VI stage II, or a systolic blood pressure  
14 of 160 to 179 or a diastolic blood pressure of 100 to 109.  
15 These patients at randomization continued their existing  
16 antihypertensive therapies and added omapatrilat or  
17 enalapril.

18 DR. NISSEN: I thought that's what I heard, and  
19 then I was confused because the baseline blood pressures in  
20 this group are actually lower than the minimum requirement  
21 to get in that arm of the trial. When I read this last  
22 night, I just couldn't understand how that could possibly  
23 happen.

24 DR. LEVY: Well, that's a very good question.  
25 Remember, patients could enter the trial by satisfying

1 either the systolic blood pressure criteria of 160 to 179  
2 or the diastolic blood pressure criteria of 100 to 109.

3           Now, you made a very important observation.  
4 The systolic pressure is 166 which is within the target  
5 range, while the diastolic pressure is below. That  
6 reflects the difficulty in achieving systolic blood  
7 pressure control in populations. Failure to control  
8 systolic blood pressure is the primary reason for  
9 difficult-to-control hypertension. And Dr. Black is going  
10 to address this issue in more detail at the end of this  
11 talk. So, it's not a defect the study design. It really  
12 reflects the extraordinary difficulty that physicians have  
13 in bringing systolic blood pressure under control with  
14 existing medications.

15           DR. NISSEN: Well, let me tell you what I'm  
16 concerned about. Again, we're trying to tease out the  
17 group that might benefit here. So, this group 3 was going  
18 to be people who were just refractory. They couldn't be  
19 controlled on existing medications. When I see a group  
20 that's 166 over 97, it seems a lot less refractory to me  
21 than the entry criteria would look like. My guess is  
22 sometimes when you do a trial of 25,000 patients in less  
23 than a year, you've got to get patients in the trial, and  
24 so investigators tend to be a little more aggressive and  
25 maybe initial blood pressures were a little bit lower than

1 you wanted them to be. So, I'm not sure how refractory  
2 that group 3 is. It does color my interpretation of the  
3 data when I see that the average blood pressures are pretty  
4 low and really below the targets in that group. Do you  
5 follow me?

6 DR. LEVY: Perhaps I'll ask Dr. Black to  
7 comment at this point.

8 DR. BLACK: Yes, thanks very much, Elliott.

9 Steve, I just want to say that I don't think  
10 those are really low at all. That's the world through a  
11 diastolic window, not through a systolic window. The  
12 problem we have, as I'll show you a little bit later, is  
13 not that we can't control diastolic pressure. It's that we  
14 can't control systolic pressure. In fact, arteries get  
15 stiffer in diastolic falls if you leave people untreated.  
16 So, pulse pressure widens and I think that's the group  
17 you're looking at.

18 DR. NISSEN: Well, the reason this is germane  
19 is you've said several times that you want to target this  
20 drug at those people that are very, very difficult to  
21 control with conventional regimens. What I see is in  
22 OCTAVE, a 25,000-patient trial, in each of the three arms  
23 the blood pressures are not extraordinarily elevated. So,  
24 I know you have some people in OCTAVE that were very, very  
25 high, but I'm interested in understanding whether there is,

1 in fact, a target population identified here that would be  
2 optimally benefitted. It's harder when the average blood  
3 pressures in the trial are not as high as one might have  
4 expected.

5 DR. LEVY: Let me return to that point. Again,  
6 in these study group 3 patients, the systolic pressure at  
7 baseline is at least 27 millimeters of mercury above target  
8 on treatment. In those with diabetes, renal failure, heart  
9 failure where the treatment target is 130 millimeters of  
10 mercury, the blood pressure is 37 millimeters above target.

11 If a patient walked into your office untreated with those  
12 pressures, you might be able to bring them down with one,  
13 two, or three medications. If a patient was already on two  
14 or three medications, the opportunity to reach target is  
15 very, very limited.

16 Again, we had patients in this group -- and  
17 I've shown you the results -- patients who were on two  
18 drugs at randomization, patients who were on three drugs at  
19 randomization. On three drugs at randomization with blood  
20 pressures in this range, the addition of very high-dose  
21 enalapril, making them on a four-drug regimen, plus other  
22 drugs, you still only get 28 percent of them to target.

23 DR. NISSEN: I agree that group is certainly a  
24 target group.

25 But I did want to look at the group that's



1 really much more severe. I know you did a study, 137-049.

2 I'm sure you have those slides. I'd like to see that  
3 study because I think it helps us here.

4 DR. LEVY: Sure. Perhaps I could just begin by  
5 going back to the slide from our core deck showing the  
6 results in patients with severe hypertension in OCTAVE.

7 DR. NISSEN: Sure.

8 DR. LEVY: If I could have the table displaying  
9 the results by comorbidity. That's slide 16. Again, for  
10 patients who entered the trial off therapy, we could assess  
11 their underlying blood pressure. That's study group 1.  
12 And 1,000 of those patients had severe hypertension. If  
13 you were to include, as we did by design, those who entered  
14 the study on treatment on at least two antihypertensive  
15 medications, then the number with severe hypertension goes  
16 up to about 7,000. So, it's a very large experience. And  
17 the confidence intervals around the estimate of treatment  
18 effect are very narrow.

19 Now, in 1998 and 1999, we conducted an  
20 exploratory study in patients with severe hypertension.  
21 That study included about 160 patients, about two-thirds of  
22 whom were on omapatrilat and a third on enalapril. That  
23 study was designed to determine whether the drug  
24 effectively reduced blood pressure in patients with severe  
25 hypertension, and it did. It did not demonstrate a

1 statistically significant difference between the groups,  
2 and it was not intended or powered to do so.

3 DR. NISSEN: Do you have a slide with the data?

4 DR. LEVY: Sure. We can look for that and come  
5 to it a little bit later.

6 DR. NISSEN: I'd just like to see it because as  
7 I recall, the entry criteria for that -- there it is.  
8 That's the study.

9 DR. LEVY: This was a little bit different  
10 study population. The focus in registrational trials in  
11 hypertension has been on diastolic blood pressure, even  
12 though that's not the critical variable of the population.  
13 So, this study looked at patients with diastolic pressures  
14 of 115 to 130 off treatment. It's actually a very narrow  
15 segment of the severe hypertensive population.

16 DR. NISSEN: Okay, but nonetheless, these are  
17 pretty severe. So, it obviously does send us a signal that  
18 we'd like to see. Show us what happened with this group.

19 DR. LEVY: Can we see the primary efficacy  
20 results in this study? These are results at week 10.  
21 These are regimen comparisons. Virtually every patient was  
22 on multiple drugs by this time, many on three drugs, and  
23 blood pressure was reduced with both drugs. It's reduced  
24 about a millimeter of mercury more with omapatrilat than  
25 with enalapril in systolic blood pressure and about 2

1 millimeters of mercury more diastolic with omapatrilat than  
2 with enalapril.

3 DR. NISSEN: Did that result surprise you?

4 DR. LEVY: No. It's a small study and it was  
5 designed to compare regimen versus regimen. It wasn't  
6 designed to determine if omapatrilat reduced blood pressure  
7 more than enalapril. In fact, there was no planned  
8 statistical comparison in this study and it wasn't powered  
9 to make one.

10 DR. NISSEN: All right, fair enough.

11 DR. TEMPLE: Before you leave that, that's a  
12 pretty large antihypertensive study. It's not a small  
13 study.

14 DR. LEVY: I don't think that 60 patients in  
15 the enalapril arm is very large. In any case, it's a lot  
16 less than the 1,000 we have in OCTAVE.

17 DR. NISSEN: Actually there is a little  
18 discrepancy, Bob. In the FDA briefing package, the  
19 endpoints are shown, but they're actually opposite to that.  
20 They show actually that there was a little bit greater  
21 efficacy with enalapril than omapatrilat. I'm not sure  
22 which is right.

23 DR. THROCKMORTON: In this study?

24 DR. NISSEN: Yes, I think so. I'll pull it.

25 DR. THROCKMORTON: I don't think I included

1 this particular study review from the original efficacy.  
2 I'm looking at my original package, and I don't remember  
3 doing that because that study, as Elliott said, when we  
4 looked at it originally, was very small and had no  
5 statistical plan even associated with it. So, we did  
6 relatively less with it. But we can double check that.

7 DR. NISSEN: Fair enough.

8 I'm exploring with you because obviously one of  
9 the things that we're trying to weigh here is risk versus  
10 benefit.

11 DR. BORER: Excuse me just one second. There  
12 is a mention of numbers and they do appear to be in the  
13 opposite direction.

14 DR. NISSEN: I thought so.

15 DR. BORER: Severe hypertension in CV137-049.  
16 These pages don't have numbers on them, so I can't tell you  
17 where in the review it is. But it does say that the change  
18 from baseline seated diastolic blood pressure was similar  
19 for the two groups, minus 26 for omapatrilat and minus 29  
20 for enalapril.

21 DR. NISSEN: So, they're reversed from what's  
22 in there. I understand the limits of the statistical  
23 comparison here. Your point is well taken.

24 Let me tell you what I'm trying to explore with  
25 you. We're trying to weigh here risk and benefit, and

1 obviously showing that in the very severe hypertensive, you  
2 can get them a much better chance to get them to goal has a  
3 real impact on our thinking about the relative risk and  
4 benefit of a drug. So, I was interested in 137-049, and I  
5 wanted to look at it with you because we just had one  
6 paragraph about it in our briefing book. And I wanted to  
7 understand what was done there, and I understand it wasn't  
8 a huge study. It doesn't compare to the 25,000 patients in  
9 OCTAVE, but I wanted to at least understand what it was all  
10 about.

11                 Now, the next issue I wanted to go into -- and,  
12 Jeff, I won't take much longer because I think we want to  
13 move on -- is you compared to once-a-day enalapril. We had  
14 a rather extensive discussion yesterday on the issue of  
15 once-a-day versus twice-a-day drug dosage.

16                 Now, the differences were about 3 over 2  
17 millimeters, something like that, between once-a-day  
18 omapatrilat and once-a-day enalapril. One of the questions  
19 that I needed to have answered was, what might we have  
20 expected if the enalapril had been given as 20 milligrams  
21 b.i.d.? Remember now, we're going to try to calculate a  
22 benefit versus a risk. So, the differences between those  
23 two regimens is very, very important. What would the  
24 difference have been if we had given enalapril 20 b.i.d.  
25 rather than, say, 40 milligrams once a day? Any

1 information about that?

2 DR. LEVY: That's a very good question. I  
3 really can't speculate about that. We didn't do a study  
4 versus b.i.d. enalapril. We chose an enalapril dose  
5 regimen that reflects the way physicians give chronic  
6 therapy to patients in practice, which is once a day.

7 Now, we do have a variety of studies against  
8 other agents, studies in which the optimum effect of  
9 omapatrilat was compared to the optimum effect of those  
10 agents, and that includes comparisons with not only  
11 lisinopril and losartan, but also with amlodipine which is  
12 an extremely long-lived, once-a-day drug. There again  
13 there is superior efficacy.

14 DR. NISSEN: Well, let me tell you what  
15 triggered me to ask the question. Since you're going to  
16 present OVERTURE and I don't want to presage that, it's  
17 interesting that in OVERTURE you gave the enalapril b.i.d.  
18 and in the hypertensive patients, there was exactly the  
19 same blood pressure reduction between omapatrilat and  
20 enalapril given b.i.d., 12.6 and 12.7 millimeters. So, I  
21 was left saying, gee, what if OCTAVE had done that? Could  
22 that have completely erased the blood pressure differences  
23 between the two regimens?

24 DR. LEVY: Again, it's hard to imagine it would  
25 do that in patients whose blood pressure remains

1 uncontrolled. It's difficult to control with regimens like  
2 twice-a-day enalapril or twice-a-day enalapril plus a  
3 thiazide diuretic patients who need more therapy.

4 DR. NISSEN: Michael, you look like you have  
5 some thoughts about that.

6 DR. WEBER: I was going to suggest, Steve, that  
7 we take a look at the ABPM data because, in fact, that does  
8 show pretty good 24-hour efficacy for the ACE inhibitors as  
9 well. Do you have the ABPM data with the lisinopril study?  
10 Slightly different than enalapril.

11 DR. NISSEN: Wasn't lisinopril a bit longer-  
12 acting?

13 DR. WEBER: Yes. Do we have ABPM data for  
14 enalapril in the resistant --

15 DR. TEMPLE: Lisinopril I think is labeled for  
16 once-a-day only because it's got a very long half-life.

17 DR. NISSEN: So, I guess the lisinopril  
18 ambulatory blood pressure data I wouldn't consider  
19 relevant.

20 You know, it's really an important question,  
21 and I know I'm kind of being a stickler here. But if I'm  
22 going to calculate the potential benefit versus the  
23 potential risk, I've got to know how much the difference  
24 between enalapril and omapatrilat is. If enalapril is  
25 given in an optimal way, that might be b.i.d.

1 DR. PACKER: Steve, I think you're asking a  
2 very important point. I was in the audience yesterday and  
3 I know the committee was discussing what constitutes a fair  
4 comparison. Would it be appropriate to compare a once-a-  
5 day drug which is being proposed for once-a-day use against  
6 a drug which is most commonly used and includes a labeling  
7 for once-a-day use.

8 Having said that, there is an extensive  
9 experience with the comparison of once-a-day omapatrilat to  
10 twice-a-day enalapril in OVERTURE. I'll be reviewing  
11 OVERTURE, but I just wanted to address the question about  
12 blood pressure.

13 OVERTURE was a heart failure trial, not a  
14 hypertension trial. I think it would be fair to say that  
15 hypertension specialists tend to pay more attention to how  
16 they measure blood pressure than heart failure specialists  
17 who tend to think of blood pressure as being a general  
18 phenomenon and generally estimated. That creates a lot of  
19 noise in clinical trials.

20 Second is that the blood pressure measurements  
21 were made at trough in OVERTURE before the next dose of the  
22 drug, and there are considerable data from another heart  
23 failure trial called the IMPRESS study comparing  
24 omapatrilat once a day with lisinopril once a day, which is  
25 also approved once a day for heart failure, showing that,



1 yes, the blood pressures with omapatrilat and lisinopril  
2 come together at trough, but there's a huge difference  
3 during the day. Therefore, if you look at the cumulative  
4 effect over 24 hours, there's still a major difference  
5 between omapatrilat and the comparator ACE inhibitor. We  
6 couldn't document that in OVERTURE because we only have  
7 trough blood pressures.

8 DR. NISSEN: Would it be safe to say, Michael,  
9 whoever -- let me ask you this. Would it be safe to say  
10 that a regimen of 20 milligrams b.i.d. of enalapril might  
11 reduce blood pressure over the 24-hour period more  
12 effectively than 40 milligrams once a day? Would it likely  
13 narrow that gap of 3 over 2 millimeters or would it not?

14 DR. WEBER: It probably could, but I can't be  
15 certain of that, Steve, because certainly there have been  
16 plenty of other trials with enalapril given once a day  
17 where, in fact, I thought it did rather well throughout the  
18 24-hour period. In fact, our experience with ABPM would  
19 suggest that enalapril may be fractionally better twice a  
20 day, just as you could say the same with losartan. In  
21 fact, we know that would be true. But still, we're talking  
22 about a very, very minimal advantage.

23 DR. NISSEN: 1 or 2 millimeters?

24 DR. WEBER: 0 to 1, .5 to 1.

25 DR. NISSEN: I guess the answer is we really

1 don't know. Is that a fair answer?

2 DR. WEBER: Yes.

3 The other thing too is omapatrilat is a long-  
4 acting drug. It gives you 24-hour efficacy, but you might  
5 have noticed from the ABPM data that towards the end of the  
6 dosing interval its advantage compared with the ACE  
7 inhibitor is getting less. You could argue that  
8 omapatrilat twice a day would be significantly better than  
9 omapatrilat once a day as well. So, I'm not sure how far  
10 we would want to take this particular argument.

11 DR. NISSEN: Well, I guess I wouldn't buy that  
12 necessarily, Michael, and the reason I wouldn't is that I'm  
13 a clinician and I've got a choice. I can give an agent  
14 with a more adverse safety profile once a day and take a  
15 risk of angioedema, or I can give a drug that's got a  
16 better safety profile twice a day. That's a very relevant  
17 consideration regarding approvability because if I could  
18 get the same blood pressure reduction by giving a safer  
19 agent twice a day, it would be hard to argue in favor of  
20 the less safe agent once a day I think.

21 DR. WEBER: Yes, but let me remind you of the  
22 patients who are resistant to ACE inhibitor, the study that  
23 Elliott showed before. The difference was really quite  
24 considerable between omapatrilat and enalapril in that  
25 setting, and I don't think giving enalapril twice a day

1 there would have really compensated for those kinds of  
2 millimeters of mercury.

3 DR. NISSEN: I have two other brief questions,  
4 Jeffrey, if you don't mind. A couple of interesting  
5 things.

6 I was very struck by your slide number 6, if  
7 you want to show that. There's an interesting question  
8 that it raises. So, in 037 you were studying African  
9 Americans, and in 030 the comparison was amlodipine. So,  
10 given the fact that lisinopril didn't work as well in  
11 African Americans -- and neither did omapatrilat -- I'd be  
12 interested in whether you have any comparative data  
13 comparing omapatrilat to amlodipine in African Americans.  
14 Did you do any of those comparisons?

15 DR. LEVY: Well, there were small subset  
16 comparisons within each of these trials that are done, and  
17 about 10 percent of the subjects in each of the trials in  
18 unselected populations tend to be African Americans. In  
19 general, all those subgroup cuts are very consistent with  
20 the overall study results. There's a superior efficacy for  
21 omapatrilat.

22 DR. NISSEN: I seem to remember somewhere in  
23 Dr. Throckmorton's review some studies where that  
24 comparison was made where, in fact, in that subgroup  
25 omapatrilat actually produced less effect than amlodipine

1 in the African Americans. I'm not surprised by that, but  
2 it's an interesting issue about choice of drugs in  
3 patients. There the risk-benefit really does shift quite a  
4 bit.

5 Doug, didn't you review that somewhere? Do you  
6 have that, Jeff?

7 DR. BORER: I think the statement is correct  
8 that in general the results look qualitatively similar by  
9 race. There may be a little bit more effect in non-black  
10 than black, but the results are qualitatively similar.

11 DR. LEVY: If I could comment, though, it's not  
12 our intention that omapatrilat should be used in patients  
13 who can readily be controlled with a safer agent.  
14 Particularly in black patients, we surely are not  
15 suggesting this drug should be used in place of a  
16 dihydropyridine calcium channel blocker in a patient who  
17 could be controlled on those drugs.

18 DR. NISSEN: I have one more brief question.  
19 The other questions I have on efficacy really relate to the  
20 issue of target organ protection, but I think I'm going to  
21 wait on those, Jeff, until after we hear from Henry and so  
22 on.

23 So, the one final question I had was on your  
24 slide number 3. I want to make sure I understand the entry  
25 criteria. So, this is the group you're proposing the drug

1 is most likely to benefit. Was this criteria of presence  
2 of cardiovascular disease an entry criteria for OCTAVE?

3 DR. LEVY: It was not an exclusion criteria.

4 DR. NISSEN: But it wasn't necessarily an  
5 explicit one.

6 DR. LEVY: Well, I've shown you about 2,300  
7 subjects had a history of MI or stroke or overt  
8 atherosclerotic disease at baseline. Heart failure was a  
9 small number, but there's of course a much larger number in  
10 the OVERTURE study.

11 DR. NISSEN: I want to come back to this later,  
12 but I do want to know subsequently. Since this is the  
13 population you're suggesting we should target with this  
14 drug, I will want to know more about studies done in such  
15 subgroups because, obviously, if you want to use a group in  
16 a subgroup, you've got to know a lot about it. So, I'll be  
17 interested later to hear about those people with known  
18 target organ damage, those people with post-MI, those  
19 people with three or more cardiovascular risk factors  
20 because, again, looking at risk-benefit, we need  
21 information about those groups if those are going to be the  
22 target groups that we're going to want to treat.

23 DR. LEVY: Right.

24 DR. BORER: Two final questions that I have for  
25 you. Again, you may not have specific information about

1 this, and if so, you don't. But why were patients who were  
2 not adequately controlled stopped at two drugs? You had a  
3 number of patients who were given one additional drug, two  
4 additional drugs, or three additional drugs. And if they  
5 were not adequately controlled with two drugs, still a fair  
6 number continued on two drugs. Why was that or am I  
7 misunderstanding?

8 DR. LEVY: I'm not sure I understand the  
9 question. If you could point to a specific slide.

10 DR. BORER: Why if somebody's blood pressure  
11 isn't controlled would you not add additional drugs to try  
12 to control them? Was there something in the protocol that  
13 would have precluded that? Was there some suggestion in  
14 the selection algorithm that would have influenced that?  
15 I mean, if somebody's blood pressure isn't controlled, in  
16 general you'd want to continue to push the dose or push the  
17 number of drugs until you get it controlled. But I  
18 inferred from your slide -- and I'm sorry I didn't write  
19 down the slide number -- that a number of patients were  
20 given one additional drug or two additional drugs and still  
21 weren't controlled but continued on that regimen rather  
22 than being given an additional drug.

23 DR. LEVY: You don't know the slide?

24 DR. BORER: No.

25 DR. LEVY: I think there may be a

1 misunderstanding, but I'll try to clarify that.

2 DR. BORER: I can probably find it easily  
3 enough here.

4 DR. LEVY: What I'd like to see is the slide  
5 from the core deck -- not this slide. Bear with me for a  
6 moment.

7 DR. PICKERING: I think it may be the protocol  
8 design. There were only two visits after week 8 -- is that  
9 right -- at which they could add additional drugs.

10 DR. LEVY: Let me first go to slide 20 in the  
11 core deck. I don't know if there's a misconception here.  
12 The number of meds. Those are the medications which the  
13 patient was receiving at study entry. Now, of course,  
14 there was no restriction on the number of medications that  
15 a patient could receive during the study.

16 And your point is a good one, though. If  
17 patients remain uncontrolled, physicians will continue to  
18 add drugs, and that's a very important point. They would  
19 do that. Obviously, most of these patients are not  
20 reaching target at the end of the study regardless of  
21 therapy. So, physicians would add drugs to both  
22 omapatrilat and enalapril.

23 DR. BORER: But did they? What I'm asking you  
24 is were there patients whose blood pressure didn't meet the  
25 target who were not on three drugs or more?

1 DR. LEVY: Yes.

2 DR. BORER: And why was that?

3 DR. LEVY: This is a 24-week trial. There are  
4 a discrete number of opportunities to add adjunctive  
5 therapy. Not every patient was brought to a three-drug  
6 regimen. Not every patient could be.

7 DR. PICKERING: Again, I think it was only  
8 weeks 8 and 16 that they had the opportunity to do that, so  
9 there was a limit to how many additional drugs you'd be  
10 able to add or dose-titrations you'd be able to do.

11 DR. LEVY: I think the larger question, though,  
12 is what can be accomplished with addition of a fourth, a  
13 fifth, or a sixth drug in patients who are multi-drug  
14 resistant. Maybe Dr. Black can speak to this question.

15 DR. BLACK: If I may, Jeff. This a practice-  
16 based study. You can, when you're doing a protocol, just  
17 encourage. You can't force necessarily a lot of physicians  
18 -- and there were lots of physicians in this -- to continue  
19 to add drugs. I'll show you some data late from our  
20 CONVINCING trial about what people used and where we ended  
21 up, another practice-based study with a fairly strict  
22 protocol, but we could not, in fact, insist that people  
23 went on. I think it's much like the question of why 7  
24 milligrams of amlodipine and 20 of hydrochlorothiazide. I  
25 think people in practice dealing with individuals won't



1 necessarily go to the top dose.

2 DR. BORER: No, I understand, and that's a very  
3 reasonable response.

4 My point only is that the fact that people  
5 don't -- and this is not a value judgment here, but you're  
6 proposing a very extensive and intensive education effort  
7 -- it's laudable; it's wonderful -- to try to make sure  
8 that pharmacists, patients, and doctors all know about the  
9 risks and minimize their impact, and I think that's  
10 wonderful. I'm just wondering if that same kind of  
11 intensive effort were used with regard to managing high  
12 blood pressure in the first place, we wouldn't have so many  
13 people on 7 milligrams or 20 milligrams of adjunctive drug  
14 and might have better blood pressure control.

15 And that's not your responsibility or anything  
16 like that, but I don't think we should judge the results  
17 here based on the fact that, well, this is a practice-based  
18 study and doctors don't always do what would be done in an  
19 academic medical center. That may not be the appropriate  
20 conclusion from all this.

21 But I'm sorry. Go ahead.

22 DR. BLACK: Yes. I think you reflect the  
23 frustration we had when we wrote the Joint National  
24 Committee report in 1997, looked at data on how poor  
25 control was in spite of a 25-year history of a very

1 effective program. We did increase things. We've stopped  
2 and we need a much more aggressive physician and patient  
3 and pharmacist education program to improve control in  
4 general. We're not at all happy with 27 percent. NHANES  
5 IV looks as if we've improved things extremely little in  
6 spite of our awareness from JNC VI that this wasn't getting  
7 anywhere. We made some adjustments in JNC VI to try to  
8 make that more obvious, concentrate less on what drugs  
9 people use, but getting to a goal.

10 DR. BORER: Steve.

11 DR. NISSEN: Jeff, just to answer, I did find  
12 the comparisons that I was looking for with African  
13 Americans. If you want to see it, it's FDA table 7.12G.3.  
14 And I can't give you a page number, because there aren't  
15 any page numbers on there. But John Lawrence did the  
16 analysis.

17 What it shows is is that in the study 137-030,  
18 which was the amlodipine comparison, in black females  
19 omapatrilat was 7.9 millimeters worse than amlodipine with  
20 a p value of .01, and in black males it was 1 millimeter  
21 worse with no significant p value. So, there does appear,  
22 in fact, to be a racial difference, at least in the  
23 amlodipine comparisons, with omapatrilat being nominally  
24 worse in African Americans, but better in white males and  
25 females. So, it's a consideration here that I think

1 probably needs to be out and discussed because obviously  
2 it's exactly that population where the risks of angioedema  
3 are the greatest.

4 DR. BORER: Okay, if there are no more  
5 questions, thank you that was very informative.

6 DR. FLEMING: On slide A-5, you're defining  
7 this configured target population. Can you show us --  
8 because this, in essence, now is going to create a focal  
9 data set, I assume, from your perspective -- the population  
10 that meets these criteria in OCTAVE, baseline  
11 characteristics for the two arms and what the actual  
12 results were in terms of blood pressure control, as well as  
13 what the differences are in overall clinical endpoints in  
14 this group of patients in OCTAVE?

15 DR. LEVY: We've not prepared a pooled analysis  
16 in which all these patients are put together. I've shown  
17 you data regarding efficacy in patients with severe  
18 hypertension and data in patients with diabetes whose blood  
19 pressure is difficult to control with existing agents. We  
20 have data on efficacy in some of these other populations,  
21 which I'd be happy to show you as well.

22 DR. FLEMING: This is your target group that  
23 you're going to request be viewed as a group in which we  
24 will, hopefully, have a favorable benefit-to-risk.  
25 Correct? So that basically is it correct to say you would

1 like to label the drug with this as the target indication?

2 DR. LEVY: The label is something that will be  
3 developed through discussions with the FDA. This is the  
4 intended target population.

5 DR. FLEMING: So, after the break, could you  
6 provide us, for this subpopulation of the trial, what the  
7 primary analysis would show for blood pressure control,  
8 differences in clinical events, and comparability at  
9 baseline?

10 DR. LEVY: Just to be clear, we've not done a  
11 pooled analysis in which we select all patients.

12 DR. FLEMING: I'm asking could you do so.

13 DR. LEVY: I don't know if we can do that  
14 between now and the break.

15 DR. FLEMING: Not between now and the break.  
16 Could you sometime after the break prepare that?

17 DR. LEVY: We'll certainly do our best.

18 DR. FLEMING: Have you not done this at all?

19 DR. LEVY: We have not prepared a pooled  
20 analysis of all these patients. I'll consult with the  
21 team. We'll do the best we can.

22 DR. BORER: What about each group individually?  
23 You've got four groups. Do you have data on each of the  
24 four groups?

25 DR. LEVY: Yes. I've shown you patients with

1 severe hypertension who are represented here. I've shown  
2 you data for those with diabetes. There's data for other  
3 patient populations as well. I'd be happy to walk through  
4 all of that in detail. We have an enormous database. But  
5 as I say, we haven't put them all together.

6 DR. BORER: Would you accept that, Tom, looking  
7 at each subgroup individually?

8 DR. FLEMING: It's perplexing to me that we've  
9 done a major trial here. We're recognizing that risk was  
10 in excess of what we had anticipated. We make the logical  
11 conclusion that it might be that there is an important  
12 subgroup for which benefit could be particularly  
13 substantial. So, we define that subgroup, and we propose  
14 that this group be what we focus on as a retrospectively  
15 defined subgroup. And yet, we're not able to show what the  
16 overall benefit is and what the risk is in that subgroup.  
17 I'm assuming we can define whether or not the 25,000  
18 patients individually would fit into this subgroup, so we  
19 ought to have been able to, in a fairly straightforward  
20 fashion, define what would be the primary efficacy outcomes  
21 and the safety outcomes in the subgroup.

22 DR. BORER: Doug?

23 DR. THROCKMORTON: Jeff, a minor thing. I  
24 looked back at the study 049, which was the relatively  
25 smaller study on resistant populations, and in fact, those

1 two numbers that are in your briefing document are  
2 reversed. Again, I wouldn't make terribly large amounts  
3 out of them, but for what it was worth, the directionality  
4 was not different. That is, omapatrilat had the  
5 directionality towards a greater reduction than enalapril  
6 in that trial, which is the opposite of what's in the  
7 briefing document.

8 DR. BORER: Why don't we go ahead with the  
9 safety data and we'll come back to some of these efficacy  
10 issues later in the presentation.

11 DR. LEVY: In a moment, I'm going to ask Dr.  
12 Kaplan to come up to provide you with an overview of  
13 angioedema, but before I do, I'd just like to briefly  
14 provide a summary of the safety database.

15 The safety of the drug was characterized, as  
16 you know, in an extensive clinical development program,  
17 including about 35,000 hypertensive patients, 19,000 of  
18 whom were treated with omapatrilat. This, as you know,  
19 represents about 5 to 10 times the experience typically  
20 described in a hypertension new drug application. Large  
21 numbers of subjects were exposed to each of the proposed  
22 target doses. 13,000 were exposed for more than 3 months  
23 and about 1,500 for more than a year.

24 This extensive experience has provided an  
25 unusually clear profile of the safety of the drug. The

1 overall incidence adverse events, serious adverse events,  
2 and discontinuation due to adverse events has been shown to  
3 be comparable for omapatrilat and enalapril. The risk of  
4 angioedema has also been clearly characterized and shown to  
5 be three times higher than with enalapril.

6           Because of the importance of angioedema in the  
7 assessment of omapatrilat, I'm going to ask Dr. Kaplan to  
8 come to the podium now. Dr. Kaplan is an angioedema expert  
9 who will provide a brief presentation on the pathogenesis  
10 and clinical spectrum of this entity before I return to  
11 complete the safety presentation. Dr. Kaplan.

12           DR. KAPLAN: Thank you very much, Dr. Borer,  
13 members of the advisory panel, and guests. It's a pleasure  
14 to be here today. What I'm going to try to do is give you  
15 a little overview about what angioedema is and what are  
16 some of the agents and circumstances in which it occurs.

17           I'm Professor of Medicine at the Medical  
18 University of South Carolina. I'm a clinical allergist, so  
19 I see angioedema all the time. And my research for 30  
20 years involves the mechanisms of formation and destruction  
21 of bradykinin, which is directly germane to the drug that  
22 we are discussing today.

23           Now, angioedema is due to dilatation of small  
24 venules in the deep dermis of the skin. It's caused by a  
25 variety of vasoactive substances, but the vessels dilate,

1 leak fluid, and cause swelling. And that's the common  
2 denominator of angioedema.

3           It has a predilection for various sites in the  
4 body, the most common of which are typically the face,  
5 particularly where tissues have low turgor. The most  
6 common site is the lip, but it often involves the eyelids,  
7 with periorbital edema, the cheek with an asymmetric  
8 swelling of the face. It can affect the tongue and it can  
9 affect the pharynx. When people have pharyngeal swelling,  
10 they will feel as if they are choking, even though their  
11 airway is not compromised. They will have difficulty  
12 swallowing and difficulty eating. On occasion angioedema  
13 will affect lower down and hit the larynx, and particularly  
14 we're concerned about vocal chord edema because then you're  
15 at risk of asphyxiating. It's uncommon but, nevertheless,  
16 there's a finite percentage who will have it. Other sites  
17 of angioedema are hands, feet, and genitals.

18           Among the common etiologies that we see of  
19 angioedema solo, without hives and without other  
20 manifestations, are a hereditary disease known as  
21 hereditary angioedema because the patients are deficient in  
22 a blood protein known as C1 inhibitor. In the absence of  
23 that C1 inhibitor, they overproduce bradykinin and that has  
24 now been proven to be the cause of the swelling and the  
25 hereditary disorder.



1                   Similarly, the most common cause of angioedema  
2 that is exogenous -- that is, drug induced -- currently are  
3 ACE inhibitors. When you inhibit the angiotensin-  
4 converting enzyme, you not only prevent the conversion of  
5 angiotensin I to angiotensin II, but you're inhibiting one  
6 of three enzymes that are involved in the degradation of  
7 bradykinin. Therefore, by inhibiting degradation,  
8 bradykinin levels will tend to rise.

9                   I should add that of those three enzymes are  
10 ACE, a plasma carboxypeptidase that is called  
11 carboxypeptidase N, and neutral endopeptidase. This drug  
12 inhibits two out the three, and that does distinguish it  
13 from ACE inhibitors because, given that information, the  
14 likelihood of bradykinin levels rising even more than you  
15 would see with an ACE inhibitor is at least theoretically  
16 possible and could account both for efficacy, as well as  
17 side effect.

18                   Anaphylaxis and angioedema are different, and  
19 the reason I'll make a few particular comments about that  
20 is because they're often confused, and when patients  
21 present to the emergency room with angioedema, they often  
22 are treated for the other entity.

23                   Angioedema, when it is due, let's say, to  
24 bradykinin in particular -- and that is in the hereditary  
25 deficiency, in the drug-induced -- typically evolves over

1 several hours. An average might be 2 to 4 hours. But  
2 particularly severe cases may be more rapid and progress  
3 within an hour or two. We are not, however, talking a few  
4 minutes as is the case with anaphylaxis.

5           If you have facial swelling, in particular, I  
6 want to point out that patients typically are keenly aware  
7 of this even if they've never experienced it before in  
8 their life. A little lip swelling, a little eye swelling,  
9 just a little tongue swelling has people complaining early  
10 on. It's important because if we're going to talk about  
11 education, then it's important to have patient awareness  
12 early on to know that something is going wrong and be  
13 prepared for that eventuality.

14           How do you treat angioedema if it is due to  
15 bradykinin? Well, there are very few things that work and  
16 none of them are specific. People are often given  
17 antihistamines. That's, of course, worthless. They're  
18 given steroids, almost equally worthless, and it takes five  
19 hours for them to work. Epinephrine will work because it's  
20 nonspecific. It will constrict the vessels that are  
21 leaking and it will retard the angioedema from continuing.

22       It will not take it away. It is just gradually then  
23 reabsorbed. So, the goal is to stop progression.

24           It is important also to note that the one that  
25 we're really worried about is laryngeal edema because it's

1 the only one that causes airway compromise. I don't think  
2 I've ever seen a case of laryngeal edema that occurred solo  
3 without some other angioedema manifestation occurring with  
4 it. Usually lip swelling starts it. You may get tongue  
5 swelling, some pharyngeal swelling, and then the person  
6 complains of respiratory distress, first usually  
7 hoarseness, and then if it progresses, stridor.

8           In this last slide, I'm contrasting anaphylaxis  
9 with a drug-induced or hereditary angioedema, meaning the  
10 bradykinin-induced process. Anaphylaxis can occur in  
11 minutes. Infuse somebody with penicillin who's allergic to  
12 it, be stung by a bee while you're gardening and you're  
13 allergic to bee venom, and within a minute or two symptoms  
14 can begin, are often with generalized pruritus, followed by  
15 urticaria, angioedema, and then other manifestations. The  
16 patient will also often complain of like something really  
17 bad is about to happen, and we call it an impending sense  
18 of doom, if you will. But angioedema of the sort we're  
19 talking about doesn't evolve in quite that way.

20           In addition to the cutaneous manifestations,  
21 the key to anaphylaxis is that you now have cardiovascular  
22 manifestations and the hypotension and shock. That does  
23 not occur in the hereditary angioedema, nor does it occur  
24 in the drug-induced swelling.

25           Anaphylaxis can cause two syndromes, if you

1 will, with regard to respiratory embarrassment: classical  
2 asthma where the person starts to wheeze and really has  
3 difficulty expiring; and laryngeal edema. Laryngeal edema  
4 is theoretically common to both. Bradykinin can do that  
5 solo. You don't get asthma in patients with this, but as  
6 you know, you get cough with ACE inhibitors.

7                   To our knowledge, bradykinin is the only  
8 mediator of the angioedema that we are talking about  
9 whereas in anaphylaxis you release histamine, leukotrienes,  
10 platelet-activating factor, an array of cytokines, and just  
11 multiple vasoactive factors.

12                   The treatment for anaphylaxis is, of course,  
13 epinephrine. Anaphylaxis tends to rebound. You can have  
14 somebody that has anaphylaxed, but they're in the  
15 emergency room and they're making it. You've given them  
16 treatment, they start to feel better. You can be seduced  
17 to think that they're okay and stop treatment, and then  
18 five hours later, the syndrome may come back, not quite as  
19 bad, but it's there. Steroids stop that which is why it  
20 should be given, but it's not the first thing that you do.

21                   They also need to receive IV fluids and, of course, IV  
22 antihistamine such as Benadryl which does counteract the  
23 histamine.

24                   In the drug-induced, if they receive all of  
25 these things, the only one that does anything is the

1 epinephrine. Therefore, as you'll probably see, many  
2 patients that recover, even in an emergency room setting  
3 because they have gone there, who do not receive  
4 epinephrine but received all those other drugs, have  
5 spontaneously resolved without any treatment.

6 Thank you.

7 DR. BORER: Does anyone have any questions?

8 Yes.

9 DR. NISSEN: Given what you said, there's an  
10 obvious strategy here for risk limitation that I had wanted  
11 to explore with you. If you had a drug that you knew had  
12 the potential to produce this, would it be prudent to give  
13 these patients an Epi-Pen? I know many of my patients who  
14 have had reactions to bee stings and so on carry that  
15 around. Could the sponsor here mitigate against this by  
16 giving every patient who is given omapatrilat an Epi-Pen so  
17 that they could self-inject with epinephrine if they get  
18 stridor?

19 DR. KAPLAN: Number one, of course, it would be  
20 a possibility which would theoretically be helpful and, if  
21 you had a reaction, would certainly tend to stop it.

22 There you have to balance. Now, the patient  
23 population that you're dealing with, if we're going to talk  
24 about the use of this drug in the most severe hypertensive  
25 who may have heart disease, arrhythmias, and who knows what

1 else, now having them self-administer epinephrine has some  
2 risk associated with it. You might not want to willy-nilly  
3 give it to everybody, and if you're going to do it at all,  
4 it's either all or none. Therefore, you'd have to somehow  
5 rationalize how many people would have the side effect of  
6 the epinephrine that was worse than what was happening to  
7 them. Perhaps it would be -- I'm just giving you the  
8 counter-argument -- better to select out those who have the  
9 most severe swelling, get them to the emergency room  
10 promptly and let some physician make a decision as to  
11 whether it's appropriate to give epinephrine or not. But I  
12 think it is a point well taken, and it is at least one of  
13 the things that could be considered.

14 DR. NISSEN: Suppose a patient is -- let's say,  
15 African Americans who had, I think, about a 1 in 18 or 1 in  
16 19 chance of developing angioedema in OCTAVE. Would that  
17 be a high enough risk group that you might think about it?

18 DR. KAPLAN: Yes.

19 DR. NISSEN: And smokers again, it was about 1  
20 out of every 27 smokers got angioedema. That might also be  
21 a good target population.

22 DR. KAPLAN: Yes. And I wouldn't argue the  
23 point with you. My only concern would be that I'm sure  
24 among the smokers and the black hypertensives are people  
25 with some of the most complicated other things that are

1 cardiac that you would have to deal with.

2 DR. BORER: Let's go Tom and then Susanna and  
3 then Paul. Tom.

4 DR. PICKERING: Thank you. I wondered if  
5 anything is known about C1 inhibitor deficiency in African  
6 Americans as compared with whites.

7 DR. KAPLAN: A C1 inhibitor deficiency is  
8 slightly less statistically of African Americans than in  
9 caucasians. Of course, it's rare to start with. That's in  
10 the hereditary disorder. There's a second form that is  
11 acquired and there the incidence is equal. It relates  
12 mainly to lymphoma. There are some people with lymphoma  
13 who express tumor antigens to which you make antibody. So,  
14 you have an immune complex and you fix-complement, and you  
15 can do so in massive fashion. You can fix so much of the  
16 first component of complement that the C1 inhibitor, which  
17 is the inactivator now binds to the activated first  
18 component and gets consumed. If the level of C1 inhibitor  
19 drops below 25 percent of normal, you're now at risk for  
20 having angioedema. So, the acquired form in lymphoma is a  
21 second type -- a third, if you will -- of bradykinin-  
22 induced angioedema, and there the incidence would be  
23 proportional to the incidence of the lymphoma in the  
24 population.

25 DR. CUNNINGHAM: I was wondering what you know

1 about why smokers and African Americans are at greater risk  
2 for angioedema.

3 DR. KAPLAN: Knowing what I do about  
4 bradykinin, I certainly have thought about it and I could  
5 not answer the question. I don't know. Particularly the  
6 smokers. There are some data comparing blacks and whites  
7 with regard to end organ responsiveness to bradykinin with  
8 some interesting data that might explain that, at least in  
9 part, but there's nothing on smoking.

10 DR. BORER: Paul and then Doug.

11 DR. ARMSTRONG: Dr. Kaplan, first of all, thank  
12 you for contributing to my continuing medical education.

13 I'm interested in your thoughts about the  
14 epidemiology of angioedema in the general population,  
15 especially in the aging general population. I'm interested  
16 in your comments about the frequency of new onset allergy  
17 in the aging population such as, for example, fish or  
18 medicines or pollens, and the implications of those  
19 phenomenon in a patient taking a medicine that would  
20 inhibit bradykinin.

21 DR. KAPLAN: The incidence of a food allergy  
22 goes down in an aging population and therefore allergic  
23 urticaria and angioedema due to a food allergy is actually  
24 lower.

25 The most common disorder that we see that is



1 not related to a specific allergen -- somebody walks into  
2 your office and says I've had hives and swelling for five  
3 months. I have no idea what's going on. I saw my  
4 internist, and they find nothing wrong with me. That turns  
5 out to be an autoimmune disorder due to, in part, at least  
6 in half the people, of a circulating antibody to the IgE  
7 receptor. So, the antibody cross links the IgE receptor  
8 just as if you had an allergen and they have waves of  
9 urticaria and angioedema that can last months to years.  
10 That is common throughout the population in all age groups,  
11 but I think in terms of allergy per se, even though it's  
12 going up in incidence in our population, it's almost all  
13 hayfever and asthma. It's not allergic urticaria or  
14 angioedema, and foods, in particular, goes down as we age.

15 DR. ARMSTRONG: So, a patient who develops late  
16 allergy for whatever reason who's taking an agent that  
17 inhibits bradykinin is no more likely to develop  
18 angioedema?

19 DR. KAPLAN: That's a tough question, but it  
20 has to be focused now only on an allergen for which  
21 angioedema is one of the manifestations. In other words,  
22 if you have hay fever and asthma, it's no more or less  
23 likely to be affected by an ACE inhibitor, nor will the  
24 allergen cause angioedema per se just because you're on the  
25 drug. On the other hand, if you give me a circumstance in

1    which angioedema might otherwise occur anyway and you are  
2    on an ACE inhibitor, you'd be more likely to get it, even  
3    though the pathogenesis then would be multifactorial.

4                   DR. ARMSTRONG:  Do you think that the exclusion  
5    criteria in OCTAVE -- and there was some exclusion criteria  
6    associated with a history -- I was looking for exactly the  
7    criteria.  I can't find them at hand, but what I'm trying  
8    to get at is how effective the exclusion criteria in OCTAVE  
9    precluded a higher incidence of angioedema in a  
10   hypertensive treated population then would otherwise have  
11   been the case, if you follow my drift.

12                   DR. KAPLAN:  I know there's no way of  
13   predicting, which is ideally what you'd like to do, as to  
14   who will have angioedema to any of these drugs.  I'm sure  
15   there's an explanation.  It could be some subtle, genetic  
16   polymorphism in ACE or other things that are involved with  
17   bradykinin, but we just don't know.  So, I'm not sure  
18   whether I can be more specific in answering your question.

19    Others involved with the study might be able to chime in  
20   because I'm not that close to it.

21                   DR. ARMSTRONG:  There's a statement about any  
22   drug-induced rash of any kind would have been an exclusion  
23   criteria in OCTAVE, for example.

24                   DR. LEVY:  I'd like to clarify that because  
25   that's not correct.  Patients with a history of multiple

1 drug sensitivities with a history of drug rash to two or  
2 more drug classes were excluded with the study, not  
3 patients with a history of a rash to any medication.

4 DR. ARMSTRONG: Do we know how many patients  
5 were excluded for that reason, Dr. Levy?

6 DR. LEVY: There's no way to know.

7 DR. KAPLAN: I could comment on that. There is  
8 a syndrome not well understood, a multiple drug  
9 hypersensitivity syndrome. A patient comes in and gives  
10 you a list of 10 medications. They get rashes to all of  
11 them. They go from one antibiotic to another,  
12 phenobarbital, an antihypertensive, and it cuts across  
13 classes of compounds and so on. It's reasonable in a study  
14 to eliminate them because they always come in and react to  
15 something, and you're just going to get into trouble.

16 DR. BORER: Doug.

17 DR. THROCKMORTON: Just one quick question.  
18 The statistical reviewer from the FDA appropriately pointed  
19 out that the number of cases of angioedema in this data set  
20 offers an unparalleled opportunity to look at angioedema  
21 and did some modeling as far as risks and things like that.

22 I wonder if you could comment -- and you may be talking  
23 about this later, in which case it can come up later. Is  
24 there anything about the angioedema that you saw in this  
25 data set that suggests that it's of a sort that's different

1 than the kinds of angioedema that you've been talking about  
2 up to now?

3 DR. KAPLAN: It's a very important question,  
4 and the details you'll hear in a few minutes by Dr. Levy.  
5 The differences are quantitative but not qualitative. A  
6 severe patient on enalapril looks like a severe patient on  
7 omapatrilat. A mild patient looks like a -- I could not  
8 qualitatively -- and as a member of the review group, we  
9 tried to determine who has angioedema, is it drug-related,  
10 blah, blah, blah. I see angioedema due to ACE inhibitors  
11 all the time. That I could not distinguish. So, it's not  
12 qualitatively different, but it may be quantitatively  
13 different.

14 DR. BORER: Can I just follow that up? Because  
15 I was struck by the model also in reviewing this and I was  
16 going to ask the question later, but I think you're the  
17 right guy to ask.

18 When I looked at that model, my inference was  
19 if only we knew how, we could identify the people at risk.  
20 It was a three-group fit that best fit the curve. I'm  
21 inferring from what you said earlier, that we have no  
22 basis --

23 DR. KAPLAN: No marker.

24 DR. BORER: -- to identify risk. I don't know  
25 if any work is going on within the company to try to do

1 that. I assume there is, but right now there is no basis.

2 Is that correct?

3 DR. LEVY: Let me just mention that we've had  
4 some ongoing work in that area, and perhaps once you've  
5 seen the safety data, we can share some of that work with  
6 you.

7 DR. BORER: Tom.

8 DR. PICKERING: As a follow-up to Dr.  
9 Throckmorton's question, is there any suggestion that the  
10 rate of progression of symptoms might be different in the  
11 omapatrilat than enalapril patients?

12 DR. KAPLAN: I don't think so. I think that  
13 when you see the data, the number that were considered  
14 "severe" was greater, but in terms of rate of progression,  
15 they looked exactly like what I'm used to seeing with any  
16 ACE inhibitor.

17 DR. BORER: Why don't we go ahead then. Thank  
18 you very much, Dr. Kaplan.

19 DR. LEVY: I'd like to thank Dr. Kaplan for  
20 that very interesting presentation and go on and describe  
21 for you in more detail the safety and particularly the  
22 problem of angioedema with omapatrilat.

23 Because the procedures used to assess  
24 angioedema in studies prior to OCTAVE and in OCTAVE were  
25 different, I'll describe the findings separately.

1           In studies prior to OCTAVE, angioedema was  
2 reported using standard procedures for reporting adverse  
3 events. The investigator typically provided a brief text  
4 description of the event which was then assigned a  
5 diagnostic code for the purpose of tabulation. The  
6 diagnostic codes were assigned using a dictionary based on  
7 the International Classification of Disease, or ICD-9.  
8 These procedures for reporting and classifying angioedema,  
9 which were identical to those used for the classification  
10 of all other adverse events, introduced certain  
11 limitations.

12           The ICD-9 based coding system assigned  
13 potential angioedema events to several different coding  
14 terms, depending on the actual verbatim text provided by  
15 the investigator. The most commonly used terms are  
16 "angioedema" and "head and neck edema." And while the term  
17 "angioedema" appeared to be quite specific for the event  
18 angioedema, the term "head and neck edema" was not  
19 specific, and the adverse event reports themselves didn't  
20 provide sufficient additional detail to further assess  
21 these potential cases.

22           The findings of studies conducted prior to  
23 OCTAVE are summarized here. A total of 44 cases of  
24 angioedema were reported. An additional 40 cases of head  
25 and neck edema were reported, which may have been

1 angioedema. These are shown on the right. 4 subjects  
2 experienced angioedema with airway compromise which  
3 required mechanical airway protection, and it was these  
4 findings reported in the prior new drug application which  
5 prompted the FDA to ask if the incidence and severity of  
6 angioedema were greater with omapatrilat than that  
7 historically reported with ACE inhibitors.

8           In reviewing these data, we observed that the  
9 rate of angioedema appeared to be lower in subjects who  
10 began treatment with a dose of omapatrilat of less than 20  
11 milligrams compared to those who began treatment with a  
12 dose of 20 milligrams or greater, in this case .45 versus  
13 1.35 percent. Moreover, all four cases in which angioedema  
14 resulted in airway compromise occurred in subjects who  
15 began treatment with a 20 milligram starting dose, shown  
16 here. This analysis suggested that the incidence and  
17 severity of angioedema, particularly angioedema with airway  
18 compromise, might be reduced if patients were to begin  
19 therapy with a lower starting dose of omapatrilat.

20           The four cases of angioedema with airway  
21 compromise observed prior to OCTAVE are summarized here.  
22 All occurred in patients who had begun therapy with a 20  
23 milligram starting dose. Two occurred on the first day of  
24 treatment, one on day 6 and one on day 11. All occurred  
25 while patients were receiving treatment with omapatrilat 20

1 milligrams prior to any dose titration. None of these  
2 cases presented in a fulminant manner; however, all  
3 required mechanical airway protection prior to resolution  
4 and all patients recovered without residual sequelae.

5           In the presentation that follows, I'm going to  
6 identify black race and current smoking as the two major  
7 risk factors for angioedema associated with omapatrilat,  
8 and one or both of these risk factors was present in 3 of  
9 the 4 subjects who experienced angioedema with airway  
10 compromise prior to OCTAVE.

11           Based on the observation that the incidence of  
12 angioedema appeared to be lower in patients who had begun  
13 therapy with doses of omapatrilat less than 20 milligrams,  
14 OCTAVE was designed in part to determine whether the  
15 incidence and severity of angioedema with omapatrilat could  
16 be reduced to a level comparable to that seen with ACE  
17 inhibitors if the starting dose of omapatrilat were reduced  
18 to 10 milligrams. Enalapril was chosen as a representative  
19 ACE inhibitor. And of note, the study wasn't designed to  
20 directly compare the incidence of angioedema with  
21 omapatrilat at starting doses of 10 and 20 milligrams.

22           Because of the difficulty encountered in  
23 previous studies in the accurate classification and  
24 counting of potential angioedema events, a special  
25 evaluation process was created for OCTAVE. Investigators



1 were actively solicited to report all potential angioedema  
2 events using a special case report form page, and then  
3 detailed follow-up information on each potential case was  
4 collected on a structured questionnaire to ensure a  
5 consistent and complete database. Potential angioedema  
6 cases were adjudicated by an expert committee without  
7 knowledge of treatment assignment. The analyses that  
8 follow are based on cases confirmed as angioedema by that  
9 expert committee.

10               As you know, angioedema occurred in 274  
11 omapatrilat treated subjects, or 2.17 percent, as compared  
12 to 86 enalapril treated subjects, or .68 percent. And the  
13 relative risk of angioedema with omapatrilat versus  
14 enalapril was 3.17.

15               Corresponding to the scientific hypothesis that  
16 reduction in the omapatrilat starting dose would result in  
17 a rate of angioedema comparable to that of enalapril, a  
18 statistical hypothesis was prespecified in which a  
19 significant increase in the incidence of angioedema with  
20 omapatrilat relative to enalapril would be excluded if the  
21 upper bound of the 95 percent confidence interval for  
22 relative risk was less than 2. And clearly, this  
23 hypothesis was not confirmed, but nevertheless a fairly  
24 precise estimate of the relative risk of angioedema with  
25 omapatrilat relative to enalapril was provided with

1 reasonably narrow confidence limits.

2           An important secondary objective of OCTAVE was  
3 the assessment of the severity, as well as the incidence,  
4 of angioedema. Because no established classification  
5 systems for angioedema severity existed, a classification  
6 system was created for OCTAVE. Since it's not possible to  
7 obtain direct assessment of severity as these events occur,  
8 this system utilized treatment rendered as a proxy for  
9 severity.

10           The assignment of subjects to severity classes  
11 was performed by the event adjudication committee as part  
12 of their blinded review of angioedema cases. In this  
13 system, subjects receiving no treatment were assigned to  
14 severity class I, as were subjects treated only with  
15 antihistamines. Subjects treated with corticosteroids or  
16 epinephrine but not hospitalized were assigned to severity  
17 class II. Those who were hospitalized but did not require  
18 mechanical airway protection were assigned to severity  
19 class III, while subjects who required mechanical airway  
20 protection or subjects with fatal airway compromise were  
21 assigned to class IV.

22           It became apparent early in the trial that  
23 hospitalized patients were not consistently more ill than  
24 nonhospitalized patients treated with steroids or  
25 epinephrine and that at times patients were hospitalized

1 for observation or other reasons. As a result, we asked  
2 the adjudication committee to identify patients  
3 hospitalized with serious angioedema by determining if  
4 airway compromise was present and assigning patients to  
5 class IIIa or class IIIb accordingly.

6           As you know, in OCTAVE angioedema ranged in  
7 severity from mild and self-limited to life-threatening.  
8 No deaths occurred from angioedema in OCTAVE. The majority  
9 of patients, about 60 percent, who experienced angioedema  
10 with omapatrilat received no treatment or antihistamines  
11 only and were assigned to severity class I. One subject  
12 treated with omapatrilat experienced angioedema with airway  
13 compromise requiring mechanical airway protection and was  
14 assigned to severity class IV. A second omapatrilat  
15 treated subject experienced anaphylaxis with associated  
16 angioedema and transient airway compromise which resolved  
17 without mechanical airway protection, and this subject was  
18 assigned to severity class IIIb. No enalapril treated  
19 subjects angioedema with airway compromise. 17 omapatrilat  
20 treated patients and 2 enalapril treated patients were  
21 hospitalized for angioedema without airway compromise.

22           Analysis of the relationship between severity  
23 class and treatment group showed that patients who  
24 developed angioedema on omapatrilat had higher severity  
25 classes indicative of a more intensive treatment pattern

1 than those on enalapril. And in our review of the clinical  
2 manifestations of angioedema, we found that an appreciable  
3 difference between omapatrilat and enalapril events was the  
4 somewhat more frequent occurrence of tongue swelling and  
5 associated symptoms of difficulty speaking or swallowing  
6 with omapatrilat. And the more frequent occurrence of this  
7 highly symptomatic presentation may have led to this more  
8 intensive pattern of treatment.

9           Of greatest concern, of course, were the cases  
10 in which angioedema resulted in airway compromise. The  
11 rates of angioedema with airway compromise in OCTAVE and in  
12 all omapatrilat studies including OCTAVE are summarized in  
13 this slide. In OCTAVE, 2 patients, or 1.6 per 10,000  
14 treated, experienced angioedema with airway compromise. If  
15 one places 95 percent confidence intervals around this  
16 rate, an upper confidence limit of 5.7 is seen, suggesting  
17 a rate of 6 per 10,000 as a worst case estimate. If one  
18 were to include all cases of airway compromise observed  
19 with omapatrilat, regardless of starting dose, a point  
20 estimate for the rate of angioedema with airway compromise  
21 would be 3.2 per 10,000 and the upper bound of the 95  
22 percent confidence limit 7.0.

23           Now, it should be noted that the rate of  
24 angioedema with airway compromise observed in OCTAVE with  
25 the 10 milligram starting dose was distinctly different

1 from the rate of angioedema observed in prior studies with  
2 the 20 milligram starting dose. In OCTAVE, angioedema with  
3 airway compromise occurred in about 1 per 6,000 treated.  
4 In prior studies with the 20 milligram starting dose,  
5 angioedema occurred in about 1 in 600 treated.

6           While not definitive and not a direct  
7 comparison, these data do suggest that the rate of life-  
8 threatening angioedema is lower with the 10 milligram  
9 starting dose and that the estimate of angioedema risk  
10 obtained from OCTAVE is perhaps the most relevant estimate  
11 for considerations of benefit and risk based on the  
12 recommended dosing. But whether one uses the OCTAVE  
13 estimate or the estimate from the entire clinical  
14 development program, the worst case estimate that runs 6 to  
15 7 per 10,000 is not meaningfully different.

16           The two cases of angioedema with airway  
17 compromise that occurred in OCTAVE are summarized here.  
18 The first occurred in a white female who developed edema of  
19 the eyelids, lip, and neck, difficulty speaking and  
20 swallowing, hoarseness, hypotension, and cyanosis within 15  
21 minutes of the first dose of omapatrilat. This  
22 presentation with systemic manifestations, including  
23 cardiovascular collapse, as well as angioedema, within  
24 minutes of exposure to the drug is characteristic of  
25 anaphylaxis and was diagnosed as anaphylaxis by the

1 treating physicians. No other cases of anaphylaxis have  
2 been reported in the omapatrilat clinical development  
3 program. This subject was treated with epinephrine and  
4 recovered promptly. She was admitted to the hospital for  
5 observation and discharged the following day with no  
6 complaints.

7           A second case occurred in a black female during  
8 the 10th week of treatment with omapatrilat. She had been  
9 treated with omapatrilat 80 milligrams for about 4 weeks  
10 prior to the event without difficulty or dose interruption.

11 Over a period of several hours, she developed diffuse and  
12 massive swelling of the face and oropharynx, as well as  
13 difficulty speaking and swallowing. She presented to the  
14 hospital emergency room within 2 hours of onset of symptoms  
15 and, about 3 hours after symptom onset, underwent  
16 tracheostomy for mechanical airway protection, and  
17 subsequently recovered completely.

18           Of note, both cases of angioedema with airway  
19 compromise in OCTAVE occurred in subjects with major risk  
20 factors for angioedema.

21           Now, 17 other omapatrilat treated patients and  
22 2 enalapril treated patients were hospitalized for  
23 treatment of angioedema. Upon review by the adjudication  
24 committee, none of these patients were felt to have airway  
25 compromise. As discussed previously, many of these

1 patients had highly symptomatic and visible presentations  
2 of angioedema, including tongue and lip swelling, and in  
3 many cases angioedema was not the sole consideration in the  
4 decision to admit to hospital. None of these subjects had  
5 progression of their symptoms while in the hospital. 14  
6 were discharged after 1 day, and 3 after 2 days in  
7 hospital. Thus, while the number of omapatrilat treated  
8 patients hospitalized for angioedema substantially exceeds  
9 the number of enalapril treated patients hospitalized for  
10 angioedema, the level of severity of these cases appears to  
11 be low.

12           Now, the rate of progression of angioedema,  
13 once it begins, is an important question. One case of  
14 anaphylaxis with associated angioedema was observed in  
15 OCTAVE, and this case progressed within a matter of minutes  
16 to a life-threatening condition. In general, as you've  
17 heard, angioedema that occurs outside of the syndrome of  
18 anaphylaxis progresses over hours rather than minutes.

19           To determine whether the rate of progression of  
20 potentially serious angioedema in OCTAVE with omapatrilat  
21 was consistent with that described for angioedema in other  
22 settings, we examined those cases that were considered  
23 serious enough to receive treatment with epinephrine or  
24 corticosteroids. We then characterized the length of time  
25 between the onset of symptoms and the receipt of treatment,

1 and since no treatment was received during that period, any  
2 progression would reflect the natural course of the  
3 episode. Other than the two cases with airway compromise  
4 discussed before, no patient had progression of angioedema  
5 to airway compromise.

6           And while about 20 percent of angioedema events  
7 treated with epinephrine or corticosteroids occurred in the  
8 doctor's office and therefore received immediate or near  
9 immediate medical attention, about 80 percent occurred  
10 outside of the physician's office. Of these, about two-  
11 thirds were associated with an elapsed time of at least an  
12 hour between the onset of symptoms and the patient's  
13 arrival at medical facilities, while in a substantial  
14 proportion of patients, more than 6 hours elapsed between  
15 the onset of symptoms and the patient arriving at medical  
16 facilities. The lack of rapid progression to airway  
17 compromise during the period from onset of symptoms to  
18 presentation at a medical facility is consistent with a  
19 rate of progression of the underlying disease measured in  
20 hours and not minutes.

21           A related question is whether angioedema with  
22 omapatrilat is sufficiently symptomatic and characteristic  
23 to be recognizable by the patient and prompt them to seek  
24 medical attention. In general, angioedema that might  
25 result in airway compromise is a highly symptomatic event



1 with visible and diffuse swelling. In OCTAVE, both  
2 patients who presented with angioedema and airway  
3 compromise were highly symptomatic with diffuse visible  
4 swelling and a constellation of other symptoms.

5           We examined the clinical presentation in all  
6 other cases to determine if there were any patients who  
7 presented with angioedema and potential airway compromise  
8 in an occult rather than clinically overt fashion. Perhaps  
9 the most worrisome presentation would be the patient who  
10 presented with nonspecific throat discomfort and no other  
11 signs or symptoms. In OCTAVE there were no patients who  
12 presented in this fashion. Every patient with angioedema  
13 had a clinically overt presentation with visible swelling.

14 Many had accompanying functional complaints, such as  
15 difficulty swallowing or difficulty handling oral  
16 secretions attributable to the swelling, and no patients  
17 with angioedema had nonspecific lower airway complaints  
18 such as stridor, dyspnea, or hoarseness alone.

19           The time course of angioedema with omapatrilat  
20 is illustrated here. The risk is greatest during the  
21 initiation of therapy. 88 cases, about one-third of all  
22 cases, of angioedema with omapatrilat occurred on the first  
23 day of treatment, as opposed to only 3 cases on the first  
24 day of treatment with enalapril. Many of these occurred  
25 within 2 hours of administration of the first dose.

1 Nevertheless, angioedema continued to occur although at  
2 much lower rates through the trial. In the last weeks of  
3 the trial, the rate of angioedema was low with both drugs,  
4 though still about twice with omapatrilat compared to  
5 enalapril. Based on the observed incidence of angioedema  
6 in the last weeks of OCTAVE, one might predict that the  
7 rate of angioedema of any degree of severity during chronic  
8 treatment to be about 1 or 1.2 percent per year.

9           Now, data from studies prior to OCTAVE  
10 identified two potential risk factors for developing  
11 angioedema with omapatrilat: black race, which has also  
12 been described as a risk factor for ACE inhibitor-  
13 associated angioedema, and smoking.

14           An exploratory analysis of the OCTAVE data was  
15 performed to determine the effect of demographic  
16 characteristics, comorbidities, treatment history, and  
17 personal habits on the risk of angioedema with omapatrilat.

18    The results of these analyses are summarized in this  
19 figure. On the left, is the multivariate relative risk of  
20 angioedema with omapatrilat in subjects with the stated  
21 characteristic compared to those without those  
22 characteristics. For example, the relative risk for  
23 angioedema in omapatrilat patients who currently smoke is  
24 2.58 times that seen in patients who never smoke. On the  
25 right side is the observed incidence of angioedema in

1 patients with these stated characteristics.

2           These analyses confirmed the importance of  
3 black race and smoking as risk factors for developing  
4 angioedema with omapatrilat. These two characteristics  
5 were associated with at least a doubling in risk of  
6 angioedema shown here, and the observed incidence of  
7 angioedema in these patients was 5.5 percent in black  
8 patients and 3.9 percent in current smokers.

9           Several other characteristics shown here, not  
10 identified as potential risk factors in the prior database,  
11 were found to be associated with either modest increases or  
12 modest decreases in the risk of angioedema. Of note, while  
13 it was expected that a history of treatment with and  
14 tolerance of ACE inhibitors might be associated with  
15 decreased risk of angioedema, this was not observed.

16           In sum, through an extensive clinical  
17 development program, the safety of omapatrilat has been  
18 very well characterized. This program has identified an  
19 incremental risk of angioedema relative to ACE inhibitor  
20 treatment which must be weighed against the potential  
21 benefit of greater blood pressure reduction.

22           With omapatrilat, as in other clinical  
23 settings, angioedema has a wide spectrum of severity.  
24 Current smokers and black patients have been shown to have  
25 a substantially higher risk.

1           In OCTAVE, the rate of life-threatening  
2 angioedema was 1.6 per 10,000 patients treated, and the  
3 upper bound of the 95 percent confidence interval for this  
4 estimate was about 6 per 10,000.

5           With omapatrilat, as in other clinical  
6 settings, angioedema was a symptomatic event with a  
7 characteristic presentation. In those with severe  
8 symptoms, the rate of progression was rapid but not  
9 fulminant, and all patients who developed angioedema with  
10 omapatrilat were successfully treated.

11           Bristol-Myers Squibb has proposed a risk  
12 management plan for omapatrilat that would minimize the  
13 risk of life-threatening angioedema through a comprehensive  
14 system of education. As I've noted, angioedema is a  
15 condition with clinical features which facilitate its  
16 management through education. It has a symptomatic and  
17 recognizable clinical presentation, rapid but not fulminant  
18 progression, and effective therapy can help to prevent poor  
19 outcomes.

20           The objective of the plan is to ensure a  
21 favorable benefit-risk ratio for patients taking  
22 omapatrilat. The cornerstone of the plan is a multifaceted  
23 and comprehensive program of education for prescribers,  
24 pharmacists, and patients. The approved labeling and other  
25 educational modalities will be used to educate physicians