

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE
QUALITY OF LIFE SUBCOMMITTEE
OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

1333 '00 FEB 25 P3:27

8:05 a.m.

Thursday, February 10, 2000

Ramada Inn
8400 Wisconsin Avenue
Bethesda, Maryland

ASSOCIATED REPORTERS OF WASHINGTON
1523 North Carolina Avenue, N.E.
Washington, D.C. 20002
(202) 543-4809

ATTENDEES

SUBCOMMITTEE MEMBERS:

DAVID CELLA, PH.D., Chairman
Director
Center on Outcomes Research and Education
Northwestern University Medical School
1000 Central Street, Suite 101
Evanston, Illinois 60201

KAREN M. TEMPLETON-SOMERS, PH.D., Executive Secretary
Advisors & Consultants Staff, HFD-21
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

KAY DICKERSIN, PH.D., Ad Hoc Member
Associate Professor
Department of Community Health
Brown University School of Medicine
169 Angell Street
Department of Community Health, Box G-S2
Providence, Rhode Island 02912

NAN LAIRD, PH.D., Ad Hoc Member (by teleconference)
Chair, Department of Biostatistics
Harvard School of Public Health
677 Huntington Avenue
Boston, Massachusetts 02115

CAROL MOINPOUR, PH.D., Ad Hoc Member
Associate Member
Division of Public Health Sciences
Southwest Oncology Group
Fred Hutchinson Cancer Research Center
1124 Columbia Street, MP-557
Seattle, Washington 98104

LILLIAN NAIL, PH.D., Ad Hoc Member
Professor
College of Nursing
University of Utah
10 South 2000 East Front
Salt Lake City, Utah 84112-5880

ATTENDEES (Continued)

SUBCOMMITTEE MEMBERS: (Continued)

STACY R. NERENSTONE, M.D., ODAC Member
Associate Clinical Professor
Oncology Associates, P.C.
Helen & Harry Gray Cancer Center
Hartford Hospital
85 Retreat Avenue
Hartford, Connecticut 06106

DONALD PATRICK, PH.D., MSPH, Ad Hoc Member
Professor
School of Public Health and Community Medicine
University of Washington
Department of Public Health Services
1959 NE Pacific Street
H-689 Health Sciences Building
Seattle, Washington 98195-7660

JODY L. PELUSI, F.N.P., PH.D., ODAC Member
Consumer Representative
Cancer Program Coordinator
Maryvale Hospital
102 W. Campbell Avenue
Phoenix, Arizona 85031

RICHARD L. SCHILSKY, M.D., ODAC Member
Associate Dean for Clinical Research
Biological Sciences Division
University of Chicago
The University of Chicago Medical Center
5841 South Maryland Avenue, MC1140
Chicago, Illinois 60637

JEFF A. SLOAN, PH.D., Ad Hoc Member
Lead Statistician
Cancer Center Statistics - Plummer 440
Mayo Clinic
200 First Street SW
Rochester, Minnesota 55905

ATTENDEES (Continued)

SUBCOMMITTEE GUEST:

DIANE FAIRCLOUGH, DR.P.H.
Center for Research Methodology & Biometrics
AMC Cancer Research Center
1600 Pierce Street
Lakewood, Colorado 80214

FOOD AND DRUG ADMINISTRATION STAFF:

JULIE BEITZ, M.D.
GANG CHEN, PH.D. (PM session)
JUDY CHIAO, M.D. (AM session)
CLAIRE GNECCO, PH.D. (PM session)
ROBERT JUSTICE, M.D.
RICHARD PAZDUR, M.D.
ROBERT TEMPLE, M.D.
GRANT WILLIAMS, M.D. (AM session)

ALSO PRESENT:

DR. RICK BERZON, Boehringer-Ingelheim
DR. WILLIAM LI, The Angiogenesis Foundation
KATHERINE MEADE, National Prostate Cancer Coalition
WILLIAM ROSEN, Cure for Lymphoma
GEORGEA SACHER, Colorectal Cancer Network
PAULA SIMPER, Pancreatic Cancer Action Network
SUSAN WEINER, The Children's Cause, Inc.
RICHARD WILLKE, Pharmacia & Upjohn

C O N T E N T S

AGENDA ITEM	PAGE
CALL TO ORDER AND OPENING REMARKS by Dr. David Cella	7
INTRODUCTION OF THE COMMITTEE	10
CONFLICT OF INTEREST STATEMENT by Dr. Karen Templeton-Somers	12
ODAC PERSPECTIVE ON THE NEED FOR THIS SUBCOMMITTEE - by Dr. Richard Schilsky	13
OPEN PUBLIC HEARING PRESENTATIONS	
by Paula Simper	19
by Katherine Meade	24
by Dr. William Li	29
by Georgea Sacher	35
by Margaret Volpe (letter)	39
DEFINITIONAL ISSUES ACROSS THE CONTINUUM OF PATIENT-CENTERED OUTCOMES	
- Disease symptoms & treatment side effects	
- Function & well-being	
- Dimensions of health	
- Overall health-related quality of life/health status	
Presenter: Dr. Carol Moinpour	43
Discussant: Dr. Donald Patrick	51
CLINICAL SIGNIFICANCE/CLINICAL INTERPRETATION	
- Meaningful change in group comparisons	
- Meaningful change in individual measurement	
- Clinical interpretability of questions & Summary Scores	
Presenter: Dr. Jeff Sloan	86
Discussant: Dr. Stacy Nerenstone	101
OPEN PUBLIC HEARING PRESENTATIONS	
by Dr. Rick Berzon	151
by Susan Weiner	153
by Leonard Rosen	155

C O N T E N T S (Continued)

AGENDA ITEM	PAGE
DATA ANALYSIS	
- Handling missing data	
- Longitudinal modeling	
- Data aggregation/multiple comparisons	
Presenter: Dr. Diane Fairclough	158
Discussant: Dr. Nan Laird	169
FUTURE PLANS FOR THE SUBCOMMITTEE	226
- When should one study HRQL?	
- Study design	
- Questionnaire validity & acceptable modifications	
- Post-marketing & ancillary studies	
- Reporting & labeling	

P R O C E E D I N G S

(8:05 a.m.)

1
2
3 DR. CELLA: Good morning, everyone, and welcome
4 to the first of an as-yet unknown number of meetings of the
5 Quality of life Subcommittee of the Oncologic Drugs
6 Advisory Committee, convened at the request of the Food and
7 Drug Administration.

8 I'm David Cella and I'm Chairman of the
9 subcommittee. In just a moment, I'll ask the members
10 around the table to introduce themselves, but first I'd
11 like to say a few words of introduction really meant to
12 establish and clarify the current purpose of this
13 subcommittee, at least as I understand it. We will hear
14 more about that from Dr. Schilsky in a moment. I want to
15 include in there the ultimate objective of the
16 subcommittee, but also the specific goals for today.

17 The ultimate goal of the subcommittee is to
18 advise and assist ODAC in its review of quality of life and
19 other patient-centered, patient-reported outcomes that are
20 submitted in support of applications for oncology drug
21 approval.

22 Today's goal is not to conclude with the
23 provision of this advice. The task that we're asked to do
24 requires more than one meeting, and all of us around the
25 table are well aware of that.

1 Today's goal is to clearly define the key
2 issues to be addressed so we can accomplish our charge and
3 set in place a procedure for moving our subcommittee as
4 rapidly as is reasonable toward that charge.

5 I met with members of the Food and Drug
6 Administration about a month ago, maybe a little more, to
7 come up with the agenda for this first meeting. In that
8 meeting, we agreed that there are three key areas that we
9 need to focus on.

10 First, it's not meant to be a complete list.
11 It's also not meant to be a mutually exclusive list,
12 meaning there's overlap even across these three areas.

13 These three areas are definitional issues
14 across the continuum of patient-centered outcomes, clinical
15 significance and clinical interpretation of data, and
16 analysis of data. Three of our subcommittee members, Dr.
17 Carol Moinpour, Dr. Jeff Sloan, and Dr. Diane Fairclough,
18 have kindly and generously agreed to stick their necks out
19 and go as far as they could, within reason, to propose
20 draft recommendations or at least suggest what are the key
21 issues that need to be honed in upon to come up with those
22 draft recommendations.

23 It is the goal of this subcommittee to have
24 clear and concrete recommendations, as specific as is
25 reasonable. But again, I don't anticipate that we'll be

1 voting or deciding upon any of these recommendations today.

2 Our next meeting is likely to be in June.

3 There will be activity between this meeting and that
4 meeting, and the specific date we hope to have pinned down
5 by the end of today.

6 Discussing those three presentations that I
7 just outlined will be Dr. Donald Patrick discussing Dr.
8 Moinpour's brief presentation on definitional issues, Dr.
9 Stacy Nerenstone discussing Dr. Sloan's presentation on
10 clinical significance and clinical interpretation, and Dr.
11 Nan Laird by teleconference discussing Dr. Fairclough's
12 presentation on data analysis.

13 At the risk of alienating myself from my
14 colleagues, I told them that I want them to speak for 10
15 minutes, which is really not enough time for any of these
16 presentations, but in the interest of having as much time
17 for discussion as possible, I persisted with that and said
18 I would not get antsy until they hit 15 minutes, so giving
19 them a little leeway, but I do want to be able to preserve
20 ample time for discussion of these important issues.

21 At the end of the day, we will also discuss
22 under the agenda heading called Future Plans for the
23 Subcommittee several other issues that are listed on the
24 agenda, as well as those that come up through the course of
25 the day.

1 So, with that introduction, I'd now like to ask
2 the committee members and the members of the Food and Drug
3 Administration around the table to please introduce
4 themselves. Why don't we start with Dr. Fairclough here
5 and come around the U?

6 DR. FAIRCLOUGH: I'm Diane Fairclough and I'm
7 learning how to use the mike.

8 (Laughter.)

9 DR. FAIRCLOUGH: I'm a biostatistician. I've
10 been looking at outcomes in pediatric and adult cancer for
11 pretty much all of my professional career. We finally
12 labeled it as quality of life, but it has been something
13 that has been important I think.

14 DR. NAIL: I'm Lillian Nail. I'm a professor
15 at the College of Nursing at the University of Utah. My
16 research is on coping with cancer treatment. One of the
17 primary reasons I'm here is because I'm a two-time cancer
18 survivor.

19 DR. PATRICK: I'm Donald Patrick and I'm a
20 professor and head of the social and behavioral sciences
21 program at the University of Washington School of Public
22 Health in Seattle. I do health status and quality of life
23 assessments and disease-specific and generic instruments.

24 DR. NERENSTONE: I'm Stacy Nerenstone, medical
25 oncologist, a clinician from Hartford, and I sit on the

1 Quality of Life of the Gynecologic Oncology Group and I'm
2 part of ODAC.

3 DR. PELUSI: I'm Jody Pelusi. I'm the consumer
4 rep, and I am an oncology nurse.

5 DR. SLOAN: I'm Jeff Sloan, also trying to
6 figure out how to work a microphone, from the Mayo Clinic,
7 a statistician by training. I've done a lot of work in
8 quality of life research, especially in the area of nursing
9 research and measurement issues.

10 DR. DICKERSIN: I'm Kay Dickersin. I'm an
11 epidemiologist at Brown University. I'm also a 13-year
12 breast cancer survivor, and I've worked quite a bit in
13 breast cancer advocacy.

14 DR. SCHILSKY: I'm Rich Schilsky. I'm a
15 medical oncologist at the University of Chicago. I'm the
16 current Chair of ODAC.

17 DR. CELLA: I'm David Cella, professor of
18 psychiatry and behavioral science at Northwestern and
19 Director of the Center on Outcomes, Research and Education
20 at Evanston Northwestern Health Care.

21 DR. TEMPLETON-SOMERS: Karen Somers, Executive
22 Secretary of ODAC, FDA.

23 DR. MOINPOUR: I'm Carol Moinpour, a
24 psychologist with the Southwest Oncology Group Statistical
25 Center in Seattle and I coordinate quality of life

1 assessments and our prevention and treatment trials.

2 DR. CHIAO: I'm Judy Chiao, medical reviewer in
3 the Division of Oncology Drug Products.

4 DR. WILLIAMS: I'm Grant Williams, the medical
5 team leader, FDA.

6 DR. BEITZ: Julie Beitz, medical team leader,
7 FDA.

8 DR. PAZDUR: Richard Pazdur, Director, Division
9 of Oncology Drug Products, FDA.

10 DR. CELLA: Thank you, everyone, and again
11 welcome and thank you for coming.

12 Dr. Somers is going to read a conflict of
13 interest statement now.

14 DR. TEMPLETON-SOMERS: The following
15 announcement addresses the issue of conflict of interest
16 with regard to this meeting and is made a part of the
17 record to preclude even the appearance of such at this
18 meeting.

19 Since the subcommittee's discussion of issues
20 related to the study of quality of life for patients
21 enrolled in cancer trials will not have a unique impact on
22 any particular firm or product, but rather may have
23 widespread implications with respect to all firms
24 conducting research of drugs for the treatment of cancer,
25 in accordance with 18 U.S.C., section 208(b)(3), general

1 matters waivers have been granted to all committee
2 participants which permit them to participate fully in
3 today's discussions. A copy of these waiver statements may
4 be obtained by submitting a written request to the agency's
5 Freedom of Information Office, room 12-A30 of the Parklawn
6 Building.

7 In the event that the discussions involve any
8 products or firms not on the agenda for which an FDA
9 participant has a financial interest, the participants are
10 aware of the need to exclude themselves from such
11 involvement, and their exclusion will be noted for the
12 record.

13 Thank you. I think that is a record short
14 conflict of interest for an ODAC meeting.

15 DR. CELLA: Now I'm pleased to reintroduce Dr.
16 Schilsky to you. Dr. Schilsky chairs the parent committee
17 to this subcommittee, the Oncologic Drugs Advisory
18 Committee, and he'll inform us on the ODAC perspective on
19 the need for the subcommittee. Rich?

20 DR. SCHILSKY: Thanks, David. I didn't prepare
21 a very formal presentation, but I have given some thought
22 to the issues that this subcommittee will be discussing.

23 I think it's very timely that this subcommittee
24 has been formed. We grapple with issues with respect to
25 the quality of life components in applications that are

1 reviewed at ODAC all the time, and they are both enormously
2 important and enormously complicated and sometimes very
3 frustrating. I thought I would just briefly review some of
4 the types of issues that we have concerns about in the
5 parent committee.

6 Unfortunately, to some extent, it's relatively
7 uncommon that studies of new drugs in oncology provide
8 unambiguous evidence of a survival benefit. So, in trying
9 to assess clinical benefit for patients who are enrolled in
10 oncology drug studies, quality of life is becoming an
11 increasingly important component of those types of
12 applications and as a means of assessing clinical benefit
13 for patients who are receiving one kind of therapy or
14 another.

15 We've really not had as a sitting member of
16 ODAC, at least during my time on the committee, I guess
17 someone who is an acknowledged expert in quality of life
18 research, although clearly we have ad hoc consultants on a
19 variety of issues, as appropriate, during committee
20 meetings.

21 We've all recognized that there are multiple
22 dimensions to this thing we call quality of life. There
23 are multiple assessment tools and multiple scales within
24 those tools that are used as measures of quality of life.
25 I think many of us who are clinical oncologists wonder

1 sometimes about the clinical relevance of changes in
2 various scores on all of these scales that are often
3 presented to us. What is the clinical relevance of a 2-
4 point change or a 10-point change? How does that really
5 translate into something that is a clinical parameter that
6 we're more accustomed to dealing with in patients?

7 Because of the multiplicity of assessment tools
8 and scales and scores, we run into difficulties with
9 assessment of huge masses of data. It's not infrequent, of
10 course, that sponsors come before the committee, having
11 carefully selected elements of the data set to present to
12 the committee, which inevitably are those elements that
13 have the most positive outcomes with respect to the effects
14 of their product. Rarely do we see all of the other
15 elements of the assessment that may not be as positive.
16 And it's difficult to determine sometimes whether the
17 positive outcomes are truly positive or just sort of random
18 associations that occur when you have such large numbers of
19 endpoints that can be evaluated.

20 That's all further complicated by issues that
21 are frequently raised by statisticians both on the
22 committee and the FDA statisticians with respect to the
23 need to adjust significance levels for multiplicity
24 analyses in terms of what exactly is a statistically
25 significant difference when you're looking at multiple

1 endpoints.

2 Things are further complicated by loss of data
3 over time and the fact that that easily introduces bias
4 into the evaluation of samples. Patients who are not doing
5 well drop out early. Patients who are doing well stay on
6 longer. They're the ones who complete quality of life
7 assessments on a longitudinal basis, and so there's a
8 tendency for the population to become somewhat skewed over
9 time toward those people who are doing better anyway.

10 Another issue that I find particularly
11 troubling is that it's relatively uncommon that the quality
12 of life assessments that are presented as part of drug
13 applications are actually hypothesis driven. More often
14 they're purely descriptive and oftentimes they represent
15 secondary and tertiary objectives in a protocol, so that
16 the clinical trial design is often based upon the usual
17 efficacy endpoints of survival or time to progression and
18 it's those efficacy endpoints that tend to drive the sample
19 size for the study.

20 Frequently then what happens is that as a
21 secondary or tertiary objective, there are descriptive
22 quality of life analyses added in often without any
23 specific hypothesis being proposed by the investigators as
24 to what quality of life changes might be expected to occur
25 based upon whatever the treatment program is. As a result,

1 I think frequently the quality of life analyses are
2 actually grossly underpowered because the sample size is
3 really not adequate to detect statistically significant
4 changes in multiple quality of life parameters.

5 So, that's another issue that I find
6 particularly troubling because it seems to me that quality
7 of life research should be subject to the same rigor and
8 hypothesis-driven basis as all other elements of clinical
9 research. I think we need to specifically address that in
10 this subcommittee.

11 I also think it will be important for us to
12 really talk about the need, if you will, for sort of global
13 quality of life assessments as opposed to, for example,
14 just evaluation of symptom improvement. Many clinical
15 oncologists, I think, are pretty comfortable with
16 evaluating symptoms like pain and nausea and also getting a
17 fairly accurate estimate of performance status of patients.
18 I think an important question for us to grapple with is
19 what additional benefit comes from doing a more global
20 quality of life assessment, above and beyond that which can
21 be obtained from a careful analysis of patient symptoms and
22 status.

23 It would be helpful I think to the committee --
24 in fact, to the investigator community -- if we could
25 ultimately define a limited number of parameters or

1 assessment tools that provide consistently reliable
2 measures, perhaps across multiple tumor types in patient
3 populations, so that we don't have to be confronted all the
4 time with multiple scales that are developed for specific
5 tumors or specific stages of tumors or specific symptom
6 subsets within tumors. It becomes really remarkably
7 complex and an overwhelming task I think for many people to
8 evaluate such enormous data sets and derive clinically
9 meaningful results.

10 So, I would just conclude by saying that I
11 think, as David said, an important ultimate goal for this
12 subcommittee would be to provide guidance to the
13 investigator community, provide guidance to industry, as
14 well as to FDA, as to how to optimally design studies that
15 will provide informative, reliable, consistent results that
16 we can use in really assessing whether a new drug, a new
17 therapeutic regimen provides true clinical benefit to
18 patients.

19 So, I will stop there.

20 DR. CELLA: Thank you, Dr. Schilsky. That's
21 very helpful, and you've raised several questions that I'm
22 sure we'll return to over the course of the day. I'll try
23 to bring us back to some of those as the discussion
24 proceeds.

25 At this point now we would like to move to the

1 open public hearing part of the meeting. There are seven
2 people who have requested time to express opinion or
3 perspective, and the first is Paula Simper from the
4 Pancreatic Cancer Action Network. Ms. Simper?

5 MS. SIMPER: Good morning. Thank you very
6 much. My name is Paula Simper and I am here to represent
7 what is a newly formed advocacy group for pancreatic
8 cancer.

9 I'm sure you're all aware about pancreatic
10 cancer being one of those cancers that's a deadly one.
11 Just to share a little bit as far as who and what, it's a
12 cancer that affects 29,000 people a year, approximately.
13 It's the fourth leading cause of cancer death for both men
14 and women in this country. It's a disease that usually
15 strikes very silently and has the highest mortality rate of
16 all cancers at 99 percent. Most of the patients do not
17 live beyond a year, and their quality of life is very poor.
18 It's poor because the disease itself is very aggressive,
19 and so it's the effects of the cancer itself, but their
20 quality of life is also poor because, unfortunately, there
21 are very, very few treatments available for pancreatic
22 cancer. So, it's a little bit of insult to injury in terms
23 of having anything available to them.

24 There is no cure at this point. There is no
25 early detection. So, they're left with a very terrible set

1 of circumstances to deal with.

2 Therefore, quality of life becomes extremely
3 important for this particular community because, as many of
4 the doctors have said to us, their patients say to them,
5 well, I realize I have this prognosis, I realize that it's
6 terminal, but can we try and make it as nice as possible
7 while we go through this journey where we know where the
8 end target is. One of the biggest struggles that the
9 doctors are dealing with is how to help these people
10 maintain their dignity in what is a really difficult
11 situation and dealing with the end stages of their disease
12 to try and maintain some sense of quality of life. In many
13 instances, these people are given a diagnosis and then
14 three to four months later, that's it. So, that time that
15 they have shrinks and shrinks and they need good quality
16 time for that.

17 Why do we need to be doing this? Because we
18 have a population that is increasingly aging and we're
19 going to be dealing with a lot of people that are going to
20 demand, and we demand good quality of life.

21 Quality of life, if we can include this as an
22 endpoint, will allow a more comprehensive approach. It
23 includes being able to have a physical and a mental
24 component to all of the care, and not just looking
25 necessarily always at this clinical benefit and outcome.

1 There are studies that have shown where certain
2 aspects of improving things such as pain management can
3 affect survival outcome. There was a study done by Dr.
4 Keith Lillemoe, one of the surgeons at Johns Hopkins,
5 talking about pain management subsequent to whipple
6 surgeries. His study clearly demonstrated increased
7 survival. So, I think that if we look at the big picture,
8 there can be benefits derived from that.

9 We have an obligation and a responsibility to
10 provide good quality of life the best that we can.

11 The questionnaires and the measurement
12 assessments that have been developed over the past several
13 years are improving. They need to get better, but they are
14 improving.

15 So, what we need to do at this point is we need
16 to look at what the barriers are or what the obstacles are
17 to achieve better quality of life assessment and how to
18 include that in the overall picture. We need to find the
19 weak spots such as the areas that tend to skew the data
20 like missing data and things like that. We need to figure
21 out how to fix that so that you can fix those problems.

22 People talk about the increased burden of the
23 investigators having to have additional costs and
24 monitoring and all these things that go with that. That
25 may be so, but I think what needs to happen is there needs

1 to be training and there needs to be a system set up in
2 place that these people are given the support, the
3 clinicians and the data managers. They need the support to
4 be able to provide the data. I think they all work very
5 hard at what they're trying to do, but sometimes there is a
6 lack of support, tools, and resources that allow them to do
7 their jobs correctly.

8 Then what we need to do is the data needs to be
9 reliable. It needs to be valid. The way that that can be
10 accomplished is in the beginning a really good system has
11 to be set up where you establish good criteria, solid
12 criteria, meaningful criteria, and then you take that and
13 then you develop that into the analysis methods that you
14 need.

15 So, how we do this is taking advantage of
16 technology available today. Much of the data that's
17 collected and much of the methods that are used are really
18 very old-fashioned. They need to be brought forward and
19 they need to take advantage of the technology. We have a
20 lot of technology at our fingertips. Yes, sometimes
21 systems that are this large are slow to respond, but we
22 need to use the technology available to us because then, in
23 turn, the results will generate themselves down the road.

24 We have to innovate. We have to strategize
25 better on how to analyze the data and then how to use the

1 data.

2 When a cancer diagnosis is given, in many of
3 the instances, fortunately due to good science and due to
4 clinical trials and due to these things, cancer is not
5 always a death sentence. It is oftentimes an acute disease
6 that can become a chronic disease, which is good progress.

7 In the case of pancreatic cancer, though, we
8 don't have that progress at this point. We have a
9 diagnosis and then we have death. So, either one, whether
10 it's going from acute to chronic or diagnosis to death, it
11 really doesn't matter because all types deserve quality of
12 life because just because you have a diagnosis doesn't mean
13 that you should lose hope and it doesn't mean that you have
14 to suffer from poor quality of life.

15 So, on behalf of our community, we would
16 greatly encourage you to strongly consider including this
17 because I guess the way that we look at it is, yes, there
18 is a thing as the primary endpoint and all the clinical
19 definitions of everything, but there's really more than one
20 way to skin a cat. So, what we need to look at is how
21 offer the best. Particularly to those with this type of
22 disease, it's a tremendous burden.

23 Thank you very much.

24 DR. CELLA: Thank you very much for your
25 perspective and your advice and support.

1 The next speaker will be Katherine Meade from
2 the National Prostate Cancer Coalition.

3 MS. MEADE: Thank you, Dr. Cella and
4 distinguished panel members.

5 I'm here before you today because in August of
6 1998 my husband died. He was suffering initially from
7 prostate cancer and then a second primary cancer in his
8 lung. We were very lucky because, throughout the entire
9 course of his illness, he never spent one night in the
10 hospital. I was his primary caregiver and also his partner
11 in dealing with his disease. We went to the doctor
12 appointments together and researched the disease together,
13 got involved in support groups together. From my own
14 experience and from what I have learned from others in
15 similar situations, at least with prostate cancer, it
16 becomes a family disease. I feel as if I have studied
17 enough to be given some sort of honorary masters degree in
18 prostate cancer.

19 After Bill's death, I found it difficult to
20 pull away from the many friends I had made in the prostate
21 cancer community. I continue to be involved as an advocate
22 for various issues that impact the patient and his family.

23 Throughout the years of my involvement, quality
24 of life has always been a major issue for most of the
25 people dealing with the disease. When you first get the

1 diagnosis of cancer, you function in a fog, pulling
2 together information on treatment options. You focus so
3 heavily on a cure. You want the disease to be gone. As
4 you adjust and learn to live with the disease, you often
5 see a shift so that quality of life issues become more and
6 more important. I think that this is especially true since
7 often the patient lives for many years and because
8 treatments have such severe and life altering side effects.

9 Let me share with you some of the life altering
10 side effects men with cancer have to deal with: impotence,
11 incontinence, hot flashes, fatigue, bloating, loss of
12 appetite, loss of libido, depression, distractibility,
13 memory problems, irritability, and the one I hear the most
14 jokes about, the growth of breasts. These are just some of
15 the changes that men and their families face together when
16 they are burdened with this disease. There must be a
17 solution to improve the quality of life that is so
18 diminished during this experience.

19 Patients and their families try all the
20 standard treatments for these side effects and often resort
21 to dietary changes, to herbal and vitamin or other
22 supplementary treatments. These are often related to
23 controlling the side effects more than actually treating
24 the disease. Very rarely are men sorry that they had
25 treatment, but soon alleviation of the side effects takes

1 up much more of the conversation than curing the disease.
2 If you listen to the chatter at support groups, often
3 people are discussing what others take for hot flashes, or
4 if they know anything that will strengthen your bones, or
5 the one that is talked about more quietly, is there
6 anything to take for impotence.

7 The men and their families most often learn to
8 live with depression, irritability, distractibility, or
9 memory problems. It is less often discussed, but now I'm
10 beginning to hear more conversations about control of these
11 side effects that impact so subtly the family's quality of
12 life.

13 More research has been done recently on
14 prostate cancer, and survivors are reading and awaiting a
15 medication that will give them an alternative to the
16 standard hormone therapy that is given commonly to men who
17 are or may have metastasized cancer. As alternatives as
18 are developed, I beg the scientists and researchers to look
19 at the side effects and find a way that they can be
20 minimized without impacting the effectiveness of the
21 treatment. In addition, if there are medications that will
22 help alleviate some of the side effects listed above, it
23 must be realized that while they may not kill cancer cells,
24 they will make the time the patient and his family have
25 left much more meaningful. They will not be distracted by

1 side effects that take away from their quality of life.
2 Patients and their doctors are constantly balancing between
3 a cure and quality of life. Anything that the FDA can do
4 to make the tools available to do that more easily would be
5 very, very valuable to the patient and his family.

6 Since so many of the side effects for prostate
7 cancer patients are similar to problems experienced by
8 menopausal women, is it possible that drugs used to treat
9 these women can be tested on men with prostate cancer also?
10 Just recently there was a new drug mentioned for building
11 bones in women with osteoporosis. Would it be possible for
12 the FDA, when testing new drugs of these types, to
13 investigate whether they might be useful also by men
14 dealing with prostate cancer?

15 During end stage disease, the issues are often
16 similar to the side effects that are dealt with during the
17 early stages of the disease, but they may become gradually
18 more severe. In addition, general weakness is added to the
19 list of problems, along with a loss of taste and the
20 general enjoyment of food. Another complaint that is
21 relatively common is neuropathy and the discomfort that can
22 accompany it. As the bones weaken from combined hormone
23 therapy and from metastasized cancer, pain increases and
24 breaks in the bone occur. Often these breaks are not
25 caught immediately and the patients are in severe pain.

1 The other problems such as fatigue, loss of
2 appetite, irritability, loss of memory, incontinence, and
3 fecal incontinence become more and more of an issue. The
4 focus at this time seems to switch to pain relief. This is
5 an issue that has received much press in recent months. We
6 were lucky. Our doctor was skilled in understanding how to
7 prescribe pain medications, and eventually we dealt with
8 the hospice people who understood pain medication better
9 than any other professionals that we dealt with through the
10 entire course of Bill's illness.

11 My observation in this area for improvement is
12 outside of the direct control of this group, but what I
13 experienced was that education needs to be done in the
14 patient community, as well as in the physician community.
15 Too often people are under-medicated or over-medicated, and
16 with a skilled practitioner, most people I have known can
17 have their pain controlled and still be aware of what is
18 happening around them.

19 Prior to coming here today, I spoke with Dr.
20 William Nelson at Johns Hopkins. He told me that what I
21 needed to do was to speak to you from my experience and to
22 make myself available to you if you have any questions. As
23 he said to me, new drugs can improve the overall quality of
24 the time the patient has with his family. They can provide
25 more good days and that is so important to the patients and

1 their families. I have good memories even of the last days
2 when Bill felt most comfortable and his pain was under
3 control. Death is not easy but it does not have to be a
4 horrible situation, and medications and quality of life
5 issues play a major role in giving people with cancer the
6 ability to live their lives to the fullest with the time
7 that they have left and to die in peace with dignity.

8 Thank you for giving me your time.

9 DR. CELLA: I'm sure I speak for the rest of
10 the subcommittee when I say these are very moving and
11 powerful presentations. Thank you very much. You begin
12 with a very personal statement but really speak for the
13 many, many people.

14 Dr. William Li from The Angiogenesis
15 Foundation.

16 DR. LI: Well, thank you, Dr. Cella, and good
17 morning. I'm Dr. William Li, the President and Medical
18 Director of The Angiogenesis Foundation.

19 The Angiogenesis Foundation is a nonprofit
20 organization whose mission is to facilitate the development
21 and application of new angiogenesis-based medicines. And
22 for the past five years, we've served as an information
23 clearinghouse in the field, a research and education
24 institute, and as a think tank for drug development. We've
25 also been studying how to optimize clinical development of

1 angiogenesis modulating drugs, both inhibitors and
2 stimulators, including the identification of appropriate
3 clinical trial endpoints. Today I've come to bring this
4 ODAC subcommittee our views on quality of life as an
5 efficacy standard for the approval of new cancer drugs.

6 Angiogenesis, the growth of new blood vessels,
7 is a biological process used by tumors to recruit their own
8 private blood supply. Antiangiogenic therapy is a new
9 approach to treating cancer aimed at inhibiting the
10 vascular endothelial cells that support tumor growth.
11 Antiangiogenic drugs represent a new class of cancer agents
12 known as cytostatic agents which prevent tumor expansion
13 and stabilize disease. This paradigm shift away from the
14 wholesale destruction of proliferating cells with highly
15 toxic agents requires new tools for evaluating agents in
16 clinical trials.

17 For example, reduction of tumor size, a
18 classical benchmark for tumor response to cytotoxic drugs,
19 may not be the best primary endpoint for cytostatic agents.
20 Instead, stable disease may be a more realistic and
21 desirable endpoint. Tumor mass may stabilize with
22 antiangiogenic drugs and suppression of metastases may
23 require chronic or lifetime therapy. Therefore, clinical
24 trials of cytostatic agents should focus on patient
25 survival, time to progression, and importantly quality of

1 life as markers for efficacy.

2 Another change brought about by antiangiogenic
3 therapies is that quality of life measures may be in
4 concordance with therapeutic benefit. Antiangiogenic drugs
5 as a class are generally well-tolerated and maximally
6 tolerated doses of a drug may not be required for the
7 optimal biological effect. Now, without the well-known
8 toxicities of traditional chemotherapy, a cancer patient's
9 perception of quality of life may be more aligned with the
10 benefits of stabilized disease, such as preserved function
11 and enhanced sense of well-being.

12 Investigators and sponsors of antiangiogenic
13 drug development for oncology acknowledged the need to
14 obtain quality of life data. As of February 1, 2000, there
15 are now 30 antiangiogenic drugs in phase I clinical trials
16 for cancer, 25 agents in phase II, and 10 agents in phase
17 III. Most studies include some type of quality of life
18 assessment, but there is no standard instrument being
19 applied across the board to allow for comparison or for
20 benchmarking.

21 A standardized, scientifically designed,
22 uniformly implemented quality of life instrument would be
23 invaluable in our field for three major reasons. First,
24 quality of life is a critical measure of the anticipated
25 biological outcome of cytostatic agents. Second, quality

1 of life standards would allow the comparison of the
2 benefits of different classes of agents, such as
3 antiangiogenic drugs versus cytotoxic chemotherapies. And
4 third, quality of life standards would allow for the
5 comparison of one agent against another within their same
6 therapeutic class.

7 Now, with these rationales in mind, The
8 Angiogenesis Foundation would like to make five
9 recommendations to this ODAC subcommittee.

10 First, we believe that quality of life is an
11 appropriate standard endpoint for new cancer drug trials
12 because, in addition to the biological reasons previously
13 mentioned, patients prioritize quality of life in their
14 cancer care.

15 Second, quality of life instruments must
16 generate data that share the characteristics of an
17 objective endpoint, that is, reliability, reproducibility,
18 validity, responsiveness, and sensitivity, because of the
19 inherently subjective nature of symptoms. Additionally,
20 the placebo effect must also be carefully studied.

21 Third, no single quality of life instrument is
22 likely to be applicable for all types of cancers due to
23 their different locations or to all populations of cancer
24 patients due to different ages. Multiple instruments are
25 likely, therefore, to be needed to take these differences

1 | into account, as well as to take into account different
2 | types of study goals.

3 | Fourth, we recommend that quality of life
4 | instruments contain patient preference-based measures. By
5 | this, I mean that the data must reflect the practical
6 | considerations of a patient's life and be interpretable in
7 | terms of a patient-centered frame of reference.

8 | Fifth, absolute rigor is required in the
9 | collection of data from all patients. One of our expert
10 | panelists performed a case study, based on available data
11 | from an angiogenesis clinical trial, in which 10 percent,
12 | 20 percent, and 30 percent of patients were removed as
13 | "data dropouts" to reflect a real-life scenario in which
14 | the sickest patients are those most likely to miss follow-
15 | up visits. As data from the worst patients were deleted,
16 | the quality of life measures appeared improved erroneously;
17 | whereas, in fact, this type of non-random censoring leads
18 | to false conclusions. Therefore, meticulous attention is
19 | needed for collecting data from every study patient, a step
20 | which is more likely to be followed if quality of life
21 | measures are part of an efficacy standard.

22 | Finally, quality of life data can serve as a
23 | determinant for cost effectiveness of new cancer therapies.
24 | Like all new medicines, the first antiangiogenic drugs will
25 | be expensive due to the enormous cost of pharmaceutical

1 research and development. Rigorous quality of life data,
2 showing preserved function, decreased hospitalization, less
3 work missed, and improved emotional well-being, among other
4 parameters, will eventually be used in our health care
5 system to justify the expense of new forms of therapy.

6 In closing, The Angiogenesis Foundation
7 strongly recommends that quality of life be included as an
8 efficacy standard for the approval of new cancer drugs.
9 New cytostatic strategies, such as antiangiogenic therapy,
10 are changing the paradigm for treating cancer from an acute
11 treatment with toxic agents to a chronic treatment with
12 better or well-tolerated drugs. Patients, oncologists, and
13 industry sponsors await guidance from the FDA about which
14 quality of life measures will be acceptable for use in
15 order to speed the approval of safe and effective new
16 drugs.

17 Thank you.

18 DR. CELLA: Thank you, Dr. Li. If you'd like
19 to make your written comments -- I notice you have them
20 written, it seems -- available to the committee -- oh, I
21 didn't see them. Thank you very much. I guess they're in
22 the folder.

23 Georgea Sacher.

24 MS. SACHER: Sacher.

25 DR. CELLA: Sacher. Excuse me. I apologize.

1 Georgea Sacher from the Colorectal Cancer Network.

2 MS. SACHER: I've been called worse.

3 DR. CELLA: You've been called worse. Thank
4 you. I feel better now.

5 (Laughter.)

6 MS. SACHER: Good morning, and I want to thank
7 you very much for including our new organization, CCN,
8 Colorectal Cancer Network. We're new on the map. So,
9 thank you all of ODAC.

10 I am a survivor of third stage colorectal
11 cancer. So, I'm not only glad to be here; I'm glad to be
12 anywhere.

13 (Laughter.)

14 MS. SACHER: But I was diagnosed in May of
15 1996, so I'm approaching my fourth year. I don't think I
16 ever had the attitude, though, that I was going to die. I
17 just felt it wasn't my time yet. So, I was a real fighter
18 and an advocate.

19 My main speech is not about drugs, so I have to
20 put a little plug in. Mostly I go out for CCN and speak
21 about colon screening. So, I want to say one thing a
22 little different from the others in that colorectal cancer
23 can be diagnosed early and sometimes prevented with the
24 proper screening and early screening. So, there is where
25 it's a little different from like pancreatic. We always

1 | say no symptoms are a symptom. I did put some brochures
2 | out on screening, my favorite subject.

3 | But meanwhile, someone like myself did get
4 | third stage, and then there are a lot of people I meet that
5 | have the fourth stage. Of course, we're mostly concerned
6 | about them and their kind of quality of life.

7 | I must say they didn't bring me here today, but
8 | I must say the CCN has been funded by Pharmacia & Upjohn,
9 | and Genentech recently gave us funds too.

10 | We're a little bit unique. Besides advocating
11 | colorectal cancer and awareness to the public, we're the
12 | only ones so far that have support groups. We're starting
13 | to get it nationally. The main one now is in Kensington
14 | and I'm going to start one in Virginia.

15 | It's so important. I know when I was
16 | diagnosed, I had nowhere to go. If you had an ostomy, you
17 | had a support group; otherwise, forget it. And that
18 | shouldn't be. So, we have support groups not only for the
19 | patient, but also for the caregiver because they have
20 | different issues.

21 | CCN feels that the present standard treatments
22 | for colorectal cancer are quite disabling and too
23 | restrictive. Most people get 5-FU and leucovorin. I got
24 | the jackpot. I got 5-FU, leucovorin, and levamisole. So,
25 | I don't know if I got more side effects from that because I

1 | didn't have the other problems. But whatever I got was on
2 | a random study.

3 | Anyway most of us experience the nausea and the
4 | vomiting and sometimes have to go to the hospital because
5 | you're so dehydrated, the skin cracking and sometimes
6 | bleeding. That's another one of the side effects of the
7 | cancer treatment for colorectal cancer. I have seen people
8 | where the white blood cells were so bad, and then you're
9 | being set up for infections when your white cells are so
10 | low.

11 | So, to get back to someone that might have the
12 | fourth stage, which we have several in our support groups,
13 | we don't know who's going to live or who's going to die.
14 | That's up to God. On the other hand, when you do have
15 | fourth stage, you are fearing death. So, we're worried
16 | especially for them about the quality of their life and
17 | what drugs can be helpful to them. It's the old issue:
18 | harm versus benefit. I always feel like the way we're
19 | killing cancer is like poison killing poison, and I think
20 | we need to get away from that and add to drugs that will
21 | promote comfort not torture. Particularly keep in mind
22 | when you're making your decision, somebody might be on
23 | their last year of life, so we want to make it as palatable
24 | and as comfortable as possible. I believe that AIDS
25 | patients, especially toward the end, have had palatable

1 | treatments, so we want that for cancer patients too.

2 | So, we're all looking forward to finding some
3 | kind of solution for the quality of life in your drug
4 | program so people are not completely dehydrated.

5 | Along with all these side effects can come
6 | depression, and you get into family issues and the whole
7 | thing. So, all I can do is stand here and say, on behalf
8 | of CCN, we please encourage you to find some drugs that
9 | will save lives and not destroy them.

10 | Thank you very much for having us be
11 | represented.

12 | DR. CELLA: Thank you, Ms. Sacher. We're glad
13 | you're here too. I noticed on your letterhead you have a
14 | club called the Semicolon Club.

15 | (Laughter.)

16 | MS. SACHER: Right. That's our support group.

17 | DR. CELLA: A great name.

18 | MS. SACHER: Yes.

19 | DR. CELLA: Next is Jan Maryak from the
20 | American Federation for Urologic Diseases. Is Ms. Maryak
21 | or Mr. Maryak here?

22 | (No response.)

23 | DR. CELLA: We can check back at 1 o'clock
24 | again after lunch.

25 | Nancy Roach? Is Nancy Roach here?

1 (No response.)

2 DR. CELLA: All right. We'll check back for
3 Ms. Roach after lunch as well.

4 Dr. Somers will read Margaret Volpe's letter.

5 DR. TEMPLETON-SOMERS: "Dear Committee Members:
6 Thank you for allowing me to submit this statement to the
7 committee. As a breast cancer survivor who has
8 participated in a clinical trial, I am extremely interested
9 in quality of life issues impacting patients undergoing
10 cancer treatment. The points I am about to make come from
11 my own experience, as well as from women whom I have
12 counseled as part of my volunteer activities for a breast
13 cancer support organization.

14 "It is imperative that patients be provided
15 with information regarding all possible side effects and
16 the severity to be expected of these side effects prior to
17 beginning either treatment or a clinical trial. Not only
18 is time to progression or disease free survival an
19 important measurement of efficacy of a new drug, but the
20 patient's quality of life both during treatment and
21 following treatment must be considered. A drug may so
22 destroy normal cells that daily activities are curtailed.

23 "I believe the following points must be
24 considered in measuring the efficacy of new cancer drugs,
25 or for patients involved in clinical trials.

1 "1) Cardiotoxicity: Not only should deaths be
2 measured, but the amount of possible damage to the heart
3 muscle should also be measured, and patients so informed.
4 Not only can cardiotoxicity limit a patient's daily
5 activities, but someone who is very active physically may
6 choose to forego a drug that might prevent them from
7 permanently participating in their hobbies.

8 "2) Neuropathy: Many of us are told we might
9 have some nerve damage, but the degree of damage should be
10 measured. Quality of life is definitely impacted when a
11 side effect of treatment is hearing loss and so much damage
12 to nerves in the legs that a previously ambulatory patient
13 must now resort to a walker or wheelchair.

14 "3) Anemia/fatigue: Severe anemia may result
15 from some treatments. The length of time it takes the
16 average patient to recover from anemia should be measured,
17 and the patient so informed. Even though transfusions of
18 packed red cells may be administered, as well as Procrit,
19 the resultant fatigue can be overwhelming.

20 "4) Neutropenia: Severity of neutropenia must
21 be considered. Physicians should be encouraged to make
22 wider use of G-CSF, in order for patients to complete
23 treatment more quickly, with less chance of low white
24 counts and fewer infections. This would improve patients'
25 quality of life.

1 "5) Low platelet counts: Some treatments may
2 cause very low platelet counts, which may require a long
3 recovery time. Currently there is no drug available to
4 raise platelet counts. Quality of life is definitely
5 impacted, as the patient has to be extremely careful to
6 prevent bleeding.

7 "6) Pain: Not only is there pain associated
8 with some of the above points, but after awhile, the
9 patient is tired of all the needle pricks, gastrointestinal
10 problems, and being poked and prodded. The length of time
11 required for a course of treatment and any attendant pain
12 must be measured.

13 "In summary, I strongly believe quality of life
14 issues must be considered when new drugs are reviewed for
15 approval as new cancer treatment. I urge you to consider
16 the points listed above when new drugs are in the approval
17 process.

18 "Thank you very much, Margaret Volpe."

19 And Mrs. Volpe's letter and Dr. Li's letter,
20 which I did receive ahead of time, are available for
21 viewing in the notebook at the table at the registration
22 desk for those of you in the audience who would like to see
23 them.

24 Thank you.

25 DR. CELLA: Well, that concludes this first

1 open public hearing session. I just want to make a brief
2 statement, just a reaction to all of the presentations.
3 Really, what do you say, subcommittee? Could we ask for
4 more support? Could we ask for more clear-cut, universal
5 demand that we do something to help the FDA in moving
6 forward in this area? There was nothing but strong
7 enthusiasm for this.

8 Admittedly, the term "quality of life" is a bit
9 like apple pie in that it's hard to construe a negative
10 argument, but I can assure you that those of us who are
11 involved in this work, there are plenty of negative
12 arguments that are available for discussion and probably
13 will come up today for why it should not be included or
14 perhaps may not be believed by some to be ready for
15 inclusion in formal evaluation in drug approvals.

16 So, are there any comments that any other
17 subcommittee members might like to make or anyone from the
18 FDA before we proceed with the next session?

19 (No response.)

20 DR. CELLA: Okay, well, we're right on
21 schedule. That's nice. Thank you again to all the
22 speakers for your encouragement and your direction.

23 Now we'll move to the first of the three
24 primary discussion areas in the meeting, and Dr. Moinpour
25 will discuss definitional issues across the continuum of

1 patient-centered outcomes. As I mentioned, it will be
2 roughly a 10-minute presentation really to lay out the
3 issues. Then Dr. Patrick will offer a brief discussion,
4 and then we'll have plenty of open time for a subcommittee
5 discussion.

6 Carol.

7 DR. MOINPOUR: Thank you, Dr. Cella. I'm
8 pleased to be here and be a member of the Quality of Life
9 Subcommittee.

10 I've been asked to summarize how quality of
11 life is defined, and I'm going to address this in terms of
12 four issues.

13 One is that quality of life itself is a
14 subjective construct in that we believe in most cases that
15 the patient perception is the critical issue.

16 We also talk about whether or not quality of
17 life is just health-related or whether it is a broader
18 construct, and I'll be expanding on that. We, in general,
19 in cancer clinical trials have primarily talked about
20 quality of life really as measuring health status.

21 Also, the issue of how comprehensive does
22 quality of life have to be in terms of how broad the
23 impacts are on the patient. Which domains or dimensions
24 are relevant?

25 Then the last point is something I'm not going

1 to spend a lot of time on, but the quality of life field
2 has been criticized for not being theory driven in the
3 sense that the explanation of the impacts on quality of
4 life are not based on psychological or sociological
5 theories, that predicted relationships among quality of
6 life domains and hypotheses about the impact of treatment
7 don't come from psychological theories. I'll talk just a
8 little bit about that issue, and this is related to Dr.
9 Schilsky's point about the need for hypotheses.

10 I'm sticking my neck out and making specific
11 proposals for the work of the committee in the future.

12 The first one is, I think, pretty easy, that we
13 do believe that the expert, with respect to patient benefit
14 in new oncologic drugs or applications for different uses
15 of drugs, is the patient him or herself. That person, the
16 patient, is best equipped to evaluate claims about the
17 impact of treatment. The subcommittee, however, may need
18 to address when and if proxy ratings given by either family
19 members or health care providers are appropriate.

20 Now, the quality of life versus health-related
21 quality of life issue. I believe that in this field,
22 particularly in cancer clinical trials, we have come to the
23 conclusion that it's just not feasible to measure the
24 myriad of non-medical influences, and we all know there are
25 many on what affects our life. So, there is I think a

1 | general consensus that we should restrict the measurement
2 | of quality of life in cancer clinical trials to the quality
3 | of life domains, the domains of functioning, that are
4 | likely to be affected by medical intervention. A term to
5 | describe that is health-related quality of life, which Dr.
6 | Patrick who's on our committee is associated with use of
7 | that term.

8 | Now, there is what we can call an attribution
9 | problem when you try to get patients to just indicate the
10 | impacts on their life that are resulting from treatment.
11 | So, the question is can people actually separate the
12 | various sources of impacts from treatment and other
13 | influences in their life. So, actually what we do is not
14 | actually technically ask them to do that. We ask them to
15 | report about their current status, and in that way, we're
16 | not asking them to do this attribution.

17 | To some degree, randomization in phase III
18 | trials addresses the unmeasured factors.

19 | Now, the third point on this slide is the issue
20 | of can we combine the quality of life with quantity of
21 | life, with survival. This is an active research stream and
22 | an active research issue that addresses quality adjusted
23 | life-years or qualities or other indices or those
24 | incorporating utilities that summarize how duration of life
25 | is modified by how well you live, involving impairments,

1 functional status, perceptions, and opportunity, all of
2 which is influenced by disease, injury, or treatment, and
3 policy. This is a definition that was offered by Drs.
4 Patrick and Erickson in 1993.

5 At issue -- and I think a good discussion for
6 the work of the committee over the coming months -- is how
7 well the utility concept incorporates patient perception
8 and how comprehensive the utility rating is.

9 So, the proposal, with respect to quality of
10 life versus health-related quality of life, is that we do
11 in fact restrict quality of life assessments to health-
12 related quality of life, that we ask patients to report
13 their current status, and we ask the industry to try to
14 address known covariates in the analysis when this is
15 possible, and that health-related quality of life can
16 include duration of life. It's a complicated question
17 deserving our attention, but it is a fruitful area for
18 research.

19 Now what I'd like to do is just talk a little
20 bit about this comprehensive health-related quality of
21 life, what domains or functions have people looked at when
22 they've been assessing this area. This table is really a
23 summary because there are many researchers and many
24 questionnaires other than what I have mentioned here.
25 Actually I abbreviated Dr. Neil Aaronson's name to fit on

1 | the slide, and Dr. Cella's work with the FACT. Then the
2 | NCI stands for a report of a meeting that the NCI had in
3 | 1990 for assessing quality of life in cancer clinical
4 | trials and the recommendations that came out of that
5 | workshop. And then Dr. Leidy and his colleagues just
6 | published in January a very nice paper evaluating the
7 | validity of quality of life claims for labeling and
8 | promotion.

9 | So, what I have done is put X's in the areas
10 | that people seem to say these are really the important
11 | areas of quality of life. Usually physical, psychological,
12 | and social, and symptoms, and then there's a functional or
13 | role functioning component that has also been addressed.

14 | Symptoms I want to come back to because we
15 | think this is a very important issue in measuring
16 | comprehensive quality of life.

17 | And then global. There's a lot of discussion
18 | about whether or not there needs to be a separate global
19 | measure of quality of life other than the total score.

20 | This slide shows the many different kinds of
21 | domains that could be assessed in any kind of quality of
22 | life instrument. You see that it's much broader than what
23 | I had on my previous slide. Notice like the family well-
24 | being is something that is addressed in a number of cancer
25 | clinical trials, and Mrs. Meade's presentation certainly

1 | pointed up the importance of the burden on the family, the
2 | impact on the family. It is a longer list than what I just
3 | showed previously.

4 | Inclusion of symptoms is important because the
5 | symptoms help corroborate the physician-rated toxicities
6 | that are always included in clinical trials. They document
7 | palliation in advanced stage disease in particular. We
8 | need to not just look at symptoms, but to examine the reach
9 | of the improvement or deterioration in symptoms with
10 | respect to the general functioning mentioned on the
11 | previous slides.

12 | Then with respect to why would we want to
13 | measure -- and addressing Dr. Schilsky's comment earlier
14 | about why would we want to look at these broader domains of
15 | functioning, we believe that if you have information, aside
16 | from symptoms, that you can have more specific information
17 | about how treatment affects patients, that the information
18 | informs patients and physicians about the risk/benefit
19 | tradeoffs associated with treatment.

20 | Then I think a very important point is, aside
21 | from providing outcome information, the broader quality of
22 | life assessment can identify ways to improve cancer
23 | treatments. And we have several examples of that
24 | happening. Dr. Sugarbaker and Barofsky -- that's the
25 | famous one that everyone talks about where the trial with

1 soft tissue sarcoma involved changes in radiation treatment
2 with resultant improvement in quality of life. And Dr.
3 Harvey Schipper has talked about reducing the frequency of
4 chemotherapy cycles requiring hospital or outpatient clinic
5 visits to reduce the impact on patients or functioning,
6 just having to come so many times to the hospital. And the
7 Volpe letter addressed the issue of the length of
8 treatment.

9 So, the proposal for what should be included in
10 a health-related quality of life assessment would be that
11 those assessments include psychological, physical, and
12 social functioning of the patient. There is some
13 discussion that needs to occur with respect to the need for
14 a separate assessment of overall global quality of life
15 versus just the total score. And then the measure should
16 also include symptoms, but the symptoms should not just be
17 reported in terms of those data by themselves, but that
18 there should be an attempt to document the effect of change
19 in symptoms on these other domains at the top of the slide.

20 And then some more about symptoms. Symptom
21 status is not a manifestation of patient health-related
22 quality of life, that symptom outcomes alone should not be
23 called health-related quality of life. Symptom outcomes
24 alone could be appropriate in a phase II, single
25 institution or maybe a supplemental submission. And

1 symptom outcomes can also be designated primary, but by
2 themselves, we're saying they do not reflect health-related
3 quality of life, or I am proposing for the committee that
4 would be the case.

5 Clinical issues, by and large, need to drive
6 the content of the symptom measures. That is, you may have
7 a set of items that are not measuring a unified construct
8 and may show poor reliability but, from a clinical
9 standpoint, are very important to monitor in a particular
10 trial, particularly when different treatment arms have
11 different toxicities associated with them. So, I think the
12 symptom measure has to be driven by the clinical issues.

13 Now, on the issue of the role of theory,
14 psychological or social science theories usually are not
15 driving health-related quality of life assessment design.
16 A psychometric theory has certainly done this in terms of
17 measurement, but researchers such as Dr. Sonja Hunt have
18 really taken the quality of life research field to task for
19 not having actual psychological theories driving how we
20 sort of present the construct of the impact on quality of
21 life.

22 But I believe that what we've operated from
23 primarily is that the first obligation is to look at what
24 we expect from the treatment in affecting health-related
25 quality of life for the patient, the issues that are

1 critical to evaluating that treatment. This can suggest
2 broad impacts on the patient as well as symptoms and
3 toxicity. The rationale for the treatment doesn't usually
4 take into account the effect on these broader areas of
5 quality of life, of patient functioning.

6 So, I think I'm going to stop there. How did I
7 do?

8 DR. CELLA: You did great. Thank you very
9 much, Dr. Moinpour. Carol was kind enough to list a few
10 important additional slides in the packet that are there
11 for discussion later or for clarification, but let's move
12 now to Dr. Patrick's discussion.

13 DR. PATRICK: Thank you, Carol, for giving us
14 such a nice start to the definitional issues. It was
15 wonderful.

16 I think Carol made a number of very important
17 points. I want to say that part of our problem is the use
18 of this umbrella term of quality of life. It often
19 actually is used as a synonym for patients' self-report,
20 and it can refer to almost anything that comes from the
21 patient and we call it quality of life.

22 Symptoms have been on the horizon for many
23 decades as being an important part in the evaluation of
24 cancer therapies, as has functional status. More recently,
25 we've had some theoretical development around needs-based

1 theories and what this constitutes, and can quality of life
2 be a reflection of what the patient or groups of patients
3 view as being universal needs in relation to their disease
4 and the treatment. I think we saw, even with a very small
5 number of presentations from outside, that this varies
6 widely and it depends very much on the different cancer and
7 also on the different treatments.

8 But perceptions have been sort of the bedrock
9 of quality of life research in the last 5 to 10 years. We
10 have some problems here because what are symptoms?
11 Symptoms are perceptions, and how does symptoms overlap
12 with quality of life?

13 Finally, I always like to put opportunity in
14 there because it is a modifier of all of the rest of the
15 self-report. By opportunity, I mean coping strategies, as
16 well as the disadvantages around labeling and all of the
17 opportunity that may be limited or the disadvantage that
18 may be accrued by having the disease or the treatment. We
19 have a big conundrum in that patients come to the disease
20 and the treatment with various abilities that are already
21 pre-established, various function, and various perceptions
22 and differences in symptoms. So, this gives us a problem
23 in that is it an individual phenomenon, and if it is an
24 individual phenomenon, then how would we aggregate across
25 individual definitions that may be specific to individuals?

1 So, one of the things I wanted to raise, when
2 we do our discussion, is what part of this is an individual
3 and what part of this is a uniform definition? I think Dr.
4 Schilsky and many of us would like to have a single measure
5 that cut across tumor sites and treatments. Let's just
6 throw that out. There may be global assessments that can
7 do that and can compare, but we know that for
8 responsiveness and for sensitivity to change and even for
9 interpretation, we're going to need something that's more
10 specific. The question is how specific.

11 So, are these things alternative concepts or
12 different concepts? Years ago when I started thinking
13 about health-related quality of life, basically I think it
14 was in response to concerns that patients call quality of
15 life, and then the industry started calling it quality of
16 life. But we've had a perfectly good term that has been
17 around for at least several decades called health status
18 that included death, disease, disability, discomfort, and
19 dissatisfaction. This was known when I came into the field
20 over 30 years ago.

21 Functional status, or the performance of social
22 roles and activities, is often called quality of life. But
23 function and perceptions are not the same thing. So, two
24 people with the same level of function may have widely
25 different perceptions. So, we have difficulty in relating

1 that.

2 Well-being is a concept that is, for example,
3 in the short form, a 36-item instrument, probably the most
4 widely used health status measure across disease
5 categories. It says functional status and well-being.
6 These are feelings of wellness or feelings in general.

7 Now, the bugaboo is that quality of life has
8 also an equally long history of people outside of the
9 health field to include the environment, adequate housing,
10 income, respect, love, freedom, spirituality, meaning and
11 purpose and the kinds of domains that Carol put on her
12 larger list rather than the traditional World Health
13 Organization driven physical, psychological, and social. I
14 think it's clear that when cancer occurs, many of these
15 broader concerns come into play, and it's a question of
16 whether it's the disease or how the treatment is affecting
17 the entire situation for the individual.

18 So, we tend to want to work on those aspects of
19 quality of life that are attributed by the patient to
20 health and the importance of health. And Carol has already
21 brought up that how well can this attribution work with
22 different individuals having a different perspective.

23 These concepts are intertwined, and most
24 aspects of life and life-threatening illness get involved
25 and in some other chronic diseases. So, the broader term

1 quality of life is in fact relevant in some cases. I think
2 we heard that in the urge for palliative concerns at the
3 end of life.

4 Patients and clinicians also use language that
5 mixes these concepts: getting up at night to urinate.
6 Getting up at night is function. The urge to go is a
7 symptom. I may concentrate a little bit more on symptoms
8 because that is one of the major concerns of the agency in
9 how is quality of life different from symptoms.

10 Well, symptoms may be mixed up in I think three
11 major ways. The first I have on the slide here. It may be
12 mixed with signs in the sense that the subjective phenomena
13 may not be seen, heard, or measured. We tend to think of
14 symptoms as primarily things that cannot be observed.
15 That's why it's part of quality. I'm very fond of saying
16 if you can see it, it isn't quality of life.

17 Symptoms may be mixed up with signs. Symptoms
18 may also be mixed up with functional status, and finally
19 symptoms may be mixed up with well-being. So, if you
20 analyze carefully the concepts that are contained in the
21 instruments, you will see a pretty horrendous mishmash of
22 functional status, symptoms, wellness or well-being, and
23 sometimes quality of life.

24 This in a sense has done a disservice to the
25 field in the sense that if we want conceptual clarity,

1 first of all, we need to be distinguishing between health
2 status and quality of life, and both are useful, although
3 different, in distinguishing proximal and distal impacts of
4 treatment in the disease. We should stop calling
5 functional status quality of life or symptoms quality of
6 life or recognize that when we use this label, it is a kit
7 bag of different concepts, but that they are not equal.
8 Therefore, our best chances of sorting out our
9 relationships is to label the concepts carefully. Try to
10 be looking at the domains of instruments around the
11 different concepts and then looking at their relationships.
12 So, if symptoms increase or decrease, how does that affect
13 perceptions of well-being or perceptions of functional
14 status?

15 This isn't as easy as it might seem in my
16 saying it in that many instruments have been driven by what
17 patients or clinicians say, rightfully so, but the concepts
18 are mixed. But if you look at many of our measures, you
19 will find that there might be five symptoms, two statements
20 of function. They are then aggregated and put into a
21 global score, which means it's almost impossible to sort
22 out what is the relationship, even if we had a theory. If
23 symptoms go up, does functional status change or does
24 wellness change?

25 So, I would plead that in our analyses that

1 | until we combine concepts, we keep them separate, and that
2 | symptoms not be put into functional status instruments or
3 | into instruments that are purely quality of life
4 | perceptions. And it will be only through the distinctions
5 | and through some theoretical driven process.

6 | Now, in my own work, I have this vision that
7 | the condition or the treatment changes the patient's
8 | disease or the patient's condition. That can be best
9 | reflected by a proximal type of measure, such as symptoms.
10 | But if symptoms change, you're going to see in many cases a
11 | big disconnect between the relationship of the symptom
12 | change, to functional status change, or to change in
13 | wellness perceptions. In some cases it will be tighter.
14 | In some cases it will be looser.

15 | So, our analysis must allow us to be able to do
16 | this within a particular tumor or within a particular
17 | treatment regimen so that a nausea symptom, for example,
18 | which is widespread amongst chemotherapy, can be looked at
19 | and the treatments that may change that nausea, which is a
20 | perception and vomiting a sign. Then we can look at
21 | whether function is, indeed, improved in relation. It's
22 | possible that the treatments are not operating through
23 | symptoms and may be working simultaneously across the
24 | different domains.

25 | I think we have several suggestions of

1 relationship. It may work far less linearly as symptoms,
2 functional status, perceptions, and opportunities. In
3 fact, the work in the field of disability, through the
4 international classification of impairments, activities,
5 and participation, would say this is not at all linear, but
6 often it is and sometimes it isn't. Identifying those
7 cases will be important.

8 That's really all I want to say for the
9 discussion.

10 DR. CELLA: Well, thank you, Dr. Patrick.

11 We have a number of proposals and challenges
12 set in front of us with those two presentations. I'd like
13 to start us off by asking you to pull out the Points to
14 Consider document that's somewhere in your folders. There
15 are many places we could begin, but let me start by asking
16 you to look at this first page on Points to Consider.

17 I'd like to be able, in the time that we have
18 allowed, to at least go through Carol's specific proposals
19 that she presented and want to be able to be sure to
20 discuss the implications of Donald's suggested strategy in
21 terms of what that will imply for, shall we say, the
22 dismantling of existing questionnaires and reanalysis,
23 although I don't anticipate we'll get to too much of that
24 today, but I'd like to chart a direction on that particular
25 suggestion of Donald's because it's obviously very key in

1 terms of giving advice to the agency about how to deal with
2 these questionnaires that come in that have symptoms mixed
3 with functions and mixed with global perceptions. So, we
4 certainly want to return to that. He made a general
5 suggestion and commented that there are lots of details to
6 be worked out. It's not as easy as it sounds.

7 So, starting with the Points to Consider, this
8 first item, to what extent do disease-related symptoms
9 overlap with health-related quality of life outcomes. I'd
10 like to start with that as a conceptual point, not so much
11 a technical matter at this point in terms of how to deal
12 with existing questionnaires.

13 I'd like to also subtext this question with Dr.
14 Schilsky's -- as I heard his question, he was asking what's
15 the value added to measuring symptoms. To put it another
16 way, if we measure symptoms well enough, is there any need
17 to measure anything else, and how can we help reviewers of
18 these data, who don't specialize in this kind of data, to
19 understand that there is a need and what that is? So,
20 that's the sort of value-added spin on this more general
21 question about the overlap.

22 So, I open it up for comment.

23 DR. DICKERSIN: Could I ask a question for
24 information? I'm worried that some symptoms, or what could
25 be classified as symptoms, fall through the cracks, that

1 the data actually aren't collected because it's stuff that
2 patients are very concerned about and maybe doctors are
3 less concerned about or the data hasn't been presented in
4 papers before, so it hasn't been brought to the forefront.

5 I'll just use breast cancer as an example.
6 Patients are very concerned about, when their lymph nodes
7 are removed, the edema in their arms. They're concerned
8 about some of the arm motion problems over the long term
9 because of scar tissue from the dissection of the axilla
10 and menopausal symptoms. These are the kinds of things
11 that aren't typically recorded when you're looking at the
12 side effects of drugs. Yet, they're things that really do
13 have to do with the quality of life if you can't open a car
14 door for your kids as you reach across or whatever.

15 So, I'm just wondering what about these things
16 that to me seem to fall through the cracks. We may not be
17 collecting data on them, and yet they're very related.

18 DR. MOINPOUR: David, can I say something to
19 that?

20 That's one of the values I see for the quality
21 of life questionnaires that exist right now, is that many
22 of them have been developed through a process that involves
23 discussion with not only clinicians but patients in terms
24 of identifying the items that need to be in the
25 questionnaires. So, they are, for that reason, I think

1 broader than the toxicities that are rated routinely in
2 clinical trials, but that doesn't mean that they still
3 include all of the issues that you may be --

4 DR. DICKERSIN: I'll give you another example,
5 and I'm not sure if it's a symptom or a quality of life
6 because I just really don't know as much about it. In some
7 of the trials comparing lumpectomy with mastectomy, they
8 looked at women's psychological response, and there's no
9 difference in depression in these women. And yet, clearly
10 there must be. I mean, you talk to women. There
11 absolutely is an effect on women's body image. They have
12 shown that. Where is the middle ground between body image
13 and depression? Is that a symptom or is it quality of
14 life? I don't know.

15 DR. SCHILSKY: Kay, I think what you're
16 bringing up is several aspects. There are disease-related
17 symptoms, and within that broader category, there are those
18 symptoms that one might expect to be impacted by a
19 treatment or not. So, for example, if one is evaluating a
20 new therapy for breast cancer, one might expect that some
21 symptoms of breast cancer might be improved if the
22 treatment is successful, but lymphedema probably won't be
23 improved because that's related to an anatomical structural
24 defect that is the result of the surgery and probably will
25 not be improved regardless of what other intervention,

1 unless it's a specific lymphedema directed intervention,
2 but no matter what other intervention for the breast cancer
3 is used, the lymphedema may not improve. I think in
4 consideration of the baseline status of the patients, one
5 has to take into account what could be expected to improve
6 and what might not be expected to improve among the
7 disease-related symptoms.

8 Then, of course, there are the treatment-
9 related symptoms that we commonly refer to as side effects.
10 So, that's a separate category.

11 DR. DICKERSIN: That's lymphedema. It's not a
12 disease-related.

13 DR. SCHILSKY: Yes. Well, but again, I'm
14 trying to keep this in the context of what this
15 subcommittee is trying to do. ODAC evaluates drugs, and I
16 think in the context of evaluating new drug applications,
17 we have to think about what are the symptoms of the disease
18 that could be improved by a therapy, what are the side
19 effects of the treatment that result from the therapy, and
20 then there are the other aspects of the patient's
21 functional status or quality of life that you might not
22 expect to be improved regardless of the specific therapy
23 that's being employed.

24 DR. CELLA: Stacy.

25 DR. NERENSTONE: I think we sort of have to

1 start somewhere, and I think the clinicians' discomfort
2 with quality of life in general is because it is, by its
3 very nature, unmeasurable. But I do think you have to
4 start somewhere, and I think starting with symptoms is
5 going to be important. But I also think you have to, if
6 you relate back to what Dr. Patrick was saying, look at
7 signs. So, it's not only nausea, but if you want a
8 separate scale, you also have to look at vomiting. It's
9 not only pain, but you may want to look at fractures. So,
10 I think you need both the symptoms and the signs to really
11 validate what you have at the beginning and what you have
12 at the end of your treatment because ultimately you're
13 going to have a before and after.

14 But I also think that it's very important for
15 us to understand the limitations of both symptoms and signs
16 because they can be ameliorated by such a myriad of other
17 things, such as pain control. You're started on a new
18 drug, but you also start on a new pain medication, and your
19 pain gets better. Likewise nausea control, we have many
20 better drugs now and it depends on how aggressive your
21 oncologist may be with those medications.

22 So, I think we have to start somewhere, and I
23 think that's what we're stuck with. But I think you have
24 to clearly define what you're looking at and you also have
25 to define the ancillary medications that may be used and

1 | impact on these, as well as your study medication.

2 | DR. CELLA: Donald.

3 | DR. PATRICK: I'll try to think on my feet here
4 | just for a minute.

5 | I think that's very useful, Stacy.

6 | The issue might come up and let me try to spin
7 | an example. Urinary incontinence is one that I know well,
8 | and since that was brought up with prostate cancer.

9 | We will have actual signs of leakage that can
10 | be seen and actually, some might say, can be measured
11 | through pad tests or whatever. There is the symptom, which
12 | would be the perception that I need to void. There will be
13 | functional status impacts in that I do not go outside
14 | unless there's a toilet nearby, a classic item that's been
15 | around forever. Then finally, there might be something in
16 | the needs-based model that is a perception that would be I
17 | have to be careful with what I drink because of my leakage
18 | in terms of a needs-based driven type of a model. All of
19 | these might be influenced by treatment.

20 | I sometimes feel we're in danger of the
21 | proximal/distal -- assuming this is linear. But when we're
22 | evaluating a new medication, we would love it if it
23 | impacted all of those things and if we got a consistent,
24 | well, gee, I don't have to be so careful about what I
25 | drink. I can go out without worrying I'm going to have an

1 accident. I don't have as much of an urgency with my
2 voiding, and my actual incontinence episodes are reduced.
3 Now, that would be the bang-up treatment.

4 So, I want to respond if you only did symptoms,
5 you wouldn't get all of those other impacts. Now, the
6 symptoms I think are very important to patients, but so is
7 all of the restriction on their life and their ability to
8 live with the condition and with the effects of the
9 treatment. So, you're just simply not going to capture
10 what's important to patients by only concentrating on
11 symptoms.

12 DR. CELLA: Well, I think we need to clarify
13 something here, and my clarification may reflect, just as
14 your input has reflected, a perspective, and that's always
15 a risk. A different perspective, which is that when we're
16 talking in this venue about symptoms versus quality of
17 life, I don't think that at large we're talking about the
18 distinctions that you've just laid out, which I think are
19 useful and important illustrations. I think we're talking
20 about a community that tends to view all of that that you
21 just laid out as kind of in the symptom domain. That is to
22 say, that if the FDA received an application that had an
23 index that listed 10 items that had the 5 you just went
24 through plus 5 others relating to incontinence, they would
25 perceive that as an incontinence symptom index. They would

1 | be comfortable labeling that. I'm not saying one is right
2 | or wrong, but they would be comfortable labeling it as
3 | such.

4 | The question they're asking, as I understand
5 | it, is not should all those things be measured, because I
6 | think that that's a given, and I may be jumping the gun
7 | there. But the question is what about perceptions of your
8 | family life and your level of depression and other kinds of
9 | things that might not be incontinence specific in that
10 | setting.

11 | So, even this discussion here illustrates the
12 | Venn diagram complexity of definitions. So, I completely
13 | agree with you that we need some clarity and I think this
14 | group needs to move to some kind of a clarity.

15 | DR. PATRICK: But you're making a distinction
16 | just between disease-specific and generic here in that last
17 | comment. I did not understand that we were talking about
18 | that, quality of life as generic.

19 | DR. CELLA: What I'm trying to say is that the
20 | level of discussion that you're introducing is still within
21 | an arena -- correct me if I'm wrong, but I believe that the
22 | FDA and the ODAC membership would sort of comfortably view
23 | as incontinence symptoms and incontinence symptom-related
24 | problems and would be comfortable with a total score on a
25 | 10-item index that asked people about their perceptions,

1 | their functional limitations because of incontinence, the
2 | actual measure of incontinence. There's a comfort level
3 | there.

4 | DR. PATRICK: So, they're calling functional
5 | status symptoms.

6 | DR. CELLA: What I'm trying to do is lay out
7 | the challenge here which is to reach beyond that. I think
8 | we need to deal with what you've suggested, Donald, in your
9 | discussion which is what your illustration kind of helps us
10 | with an example through. But at this level, this first
11 | level, we're really talking about what about the things
12 | that are outside of the disease-specific or treatment-
13 | specific problems.

14 | DR. PAZDUR: If I can just make a point. I
15 | think what you're saying is excellent, but I'd like to make
16 | a comment. We have to walk before we run a marathon here.
17 | And I'd like to emphasize do we even know really, when we
18 | talk about symptoms, say, for a common disease such as lung
19 | cancer or colon cancer, specifically what symptoms we're
20 | even talking about. How well have those symptoms been
21 | defined? We've had years of clinical practice. We know
22 | how drugs affect tumors in terms of response rates, but if
23 | somebody asked, Rich, if you gave 5-FU to a patient with
24 | colon cancer, what is the symptomatic benefit of that drug,
25 | even though that drug has been around for 40 years, one

1 | could not answer that question.

2 | So, even when we take a look at what symptoms a
3 | disease has, I think there's a lot of confusion about that.
4 | We have some kind of vague idea that we could patch
5 | together, but what percentage this occurs in a patient as
6 | they progress during their course of disease I don't think
7 | is well defined.

8 | I really applaud you for your efforts, but this
9 | is an effort that needs to walk and then do a marathon.

10 | DR. SCHILSKY: Just a follow-up to that, Rick,
11 | I think as most people are aware, one of the conundrums we
12 | face in evaluating symptoms or relief of symptoms is that
13 | the way the eligibility criteria for many clinical trials
14 | are structured skews the patient population towards those
15 | who are asymptomatic or minimally symptomatic to even be an
16 | eligible participant in the trial. So, frequently we'll
17 | find that the great majority of the patients enrolled in
18 | the trial have no symptoms, otherwise they wouldn't be
19 | eligible for the trial. And therefore, it's almost
20 | impossible to assess symptomatic relief.

21 | DR. PAZDUR: And the corollary to that is when
22 | patients come off of trials, because they do enter with
23 | performance status 0 or 1, they're usually coming off
24 | because of radiographic progression rather than symptomatic
25 | progression. So, this whole issue and how we grapple with

1 symptoms when patients are entering trials with excellent
2 performance status and relatively asymptomatic and then
3 asking us what is the clinical benefit of a drug -- but I
4 just wanted to address the problem even with symptoms.

5 DR. CELLA: Right. These issues here are
6 important. They're in the original introductory document
7 that Dr. Beitz put together about whether we should
8 recommend enriching trials, for example, with symptomatic
9 patients and how to deal with these endpoints when they're
10 asymptomatic patients primarily. But I think most
11 optimistically that's an afternoon discussion and maybe
12 even a June discussion or an interim discussion.

13 Jody, you had your hand up a while back.

14 DR. PELUSI: Yes. As this discussion
15 progresses, I don't want us to forget also the cultural
16 issues because, as we start to look at symptoms -- and
17 let's take the example of Ms. Simper when she was talking
18 about pain in pancreatic cancer. What we see at ODAC is a
19 slide that says pain, and my question becomes, do we just
20 ask people if they have pain? In the setting where I work,
21 I can't even use the word pain. It's not even appropriate
22 to ask. I have to ask about are you able to be a wife, are
23 you able to do your daily work. So, I think even the
24 symptoms sometimes that we get at and the definitions, we
25 have to really look at the cultural implications of how

1 we're asking those questions and collecting that data as
2 well.

3 So, if we can just remember that because I
4 think one of our biggest issues is trying to recruit more
5 minorities, more under-served people into our clinical
6 trials, and this is going to become another issue. While
7 we're looking at this, I think we better start to look at
8 that as well as we accrue more people.

9 DR. CELLA: Could you let us know where you
10 work to give us a context for your comment about not being
11 able to ask directly about pain?

12 DR. PELUSI: I'm from Arizona and I do rural
13 clinics for people who don't have access to our
14 metropolitan areas in the oncology realm.

15 DR. CELLA: Thank you.

16 Carol.

17 DR. MOINPOUR: I wanted to clarify one point
18 about my proposal on not measuring just symptoms, but also
19 providing some data for the broader health-related quality
20 of life domains. I'm not proposing that an application
21 would need to show effect in all those areas, but just that
22 the information is very important for evaluating even the
23 symptom data to know what happens in the other areas, the
24 broader areas of quality of life. So, because we don't
25 have a large database in this field, we may learn that in

1 fact symptoms really aren't particularly affected by a new
2 drug, but that maybe emotional functioning or physical
3 functioning is affected.

4 So, it's really providing the information so
5 that we can have a more thorough evaluation of the effects
6 of the treatment and indicating where we see improvement
7 and where we don't or where we see deterioration in the
8 case of treatment-related side effects. But I wasn't
9 suggesting that all those areas had to show an effect of
10 treatment.

11 DR. CELLA: Jeff.

12 DR. SLOAN: I just wanted to return back to the
13 question that you posed, David, in terms to what extent do
14 disease-related symptoms overlap with health-related
15 quality of life outcomes. It seems, as I think we're all
16 wrestling here to a certain extent with the subject matter,
17 part of the issue I think here is we're trying to explain
18 the complexity of human endeavor in a very simple and
19 almost a taxonomic way, and that's very difficult.

20 If we go back to that bowel function example,
21 for example, when we studied bowel function in a recent
22 trial, there were all of those aspects in terms of -- the
23 number of stools, of course, is the gold standard. We all
24 know that, as we heard this morning by the patient
25 advocates in particular, the number of stools is not

1 necessarily the most important outcome, and having to get
2 up at night is not necessarily the most important outcome.
3 It's whether or not the patient is perceiving that as a
4 problem. A lot of folks get up during the night, bring in
5 the cat, take out the cat, whatever because this is just
6 part of their daily routine.

7 However, I think what you're talking about in
8 particular, David, is separate to talk about quality of
9 life as the other aspects of is this disease or treatment
10 impacting things beyond basic symptomatology so that we can
11 say my quality of life is actually affected as well. Yes,
12 I'm having problems actually because I have to get up at
13 night six times and I'm not getting any sleep and it's
14 messing up my day. Maybe that's the aspect that we're
15 talking about.

16 But in saying that, then I think the answer to
17 that question, long-winded though it may be, is a simple
18 yes, in that they are irreparably intertwined. And I'm not
19 sure that separating these things is really achievable as
20 much as just identifying my perspective, which I'll throw
21 out, that one of the problems in terms of quality of life
22 is that it has become a gestalt umbrella concept, and I
23 think for most folks, certainly from what I heard from the
24 patients this morning and their representatives, was the
25 patient perspective is that quality of life is a gestalt

1 | thing and that we can measure symptoms and these others.
2 | And it's important, in terms of definitional, to recognize
3 | whether it's a separate thing from functional status and
4 | these others or it's just part of the overall umbrella.
5 | I'm not sure what the answer is, but perhaps that's a place
6 | to start to decide which one of those things it is.

7 | DR. CELLA: Dr. Williams?

8 | DR. WILLIAMS: From a reviewer's perspective,
9 | you might keep in mind the kind of questions that arise
10 | when we're evaluating these scales. I think very important
11 | to us would be when do these rise to the trustability that
12 | we would put them in the label or when would they be a
13 | primary endpoint. Are they in such a form that we could
14 | describe them and express to the patient what they mean?

15 | Some of the discussion sounds like these are
16 | investigative tools or maybe they'll lead to further
17 | investigations and we'll maybe focus on what's causing this
18 | change in global score. But I think at this point in time
19 | one of the frustrations is really not knowing what to do
20 | with all this data and should you put it in the label. So,
21 | we're a little more comfortable with putting symptoms
22 | because we know what that means and we can express them.
23 | But how to express a change in a global score from five
24 | different scales that's been summed together and there's a
25 | delta of 2, that's a real problem for us.

1 DR. CELLA: Any other comments? Donald.

2 DR. PATRICK: I still think we're making a
3 mistake if we confound the type of instrument with the
4 concept. Global sounds to me like you're talking about it
5 as generic, and I could see evaluating a drug without a
6 single generic instrument involved. So, I don't believe
7 that condition-specific instruments should be labeled
8 symptom indexes. I don't quite understand why that is the
9 issue. If we're meaning global as how does it affect your
10 ability to work, not attributed to the condition or its
11 treatment, I mean these concepts run across within disease-
12 specific and in generic instruments.

13 So, I'm not quite clear on the question. Is
14 the question should we be evaluating drugs using non-
15 condition-specific instruments?

16 DR. CELLA: The question has nothing to do with
17 the instruments yet. I'm trying to just get a general
18 conceptual sense of a possible consensus or where the
19 committee is. It's not instrument related right now.

20 DR. PATRICK: Well, when you say that they
21 consider I don't go outside unless there's a toilet nearby
22 as a symptom --

23 DR. CELLA: No. What I was saying was that I
24 believe that when it comes to the reviewer, the non-expert
25 reviewer, if you will, which is where ODAC has told us they

1 are -- Dr. Schilsky acknowledged that there are no quality
2 of life experts on the committee, and yet they look at
3 these data. They're people who know statistics and who
4 know medicine and oncology, but all the different
5 questionnaires are a confusing morass.

6 What I was trying to point out was that your
7 definition of symptoms is narrower than theirs. We may
8 need to deal with that first, but I was just trying to say
9 that the outside observer's definition of what would be
10 called a symptom is I believe broader than your
11 presentation suggests that yours is. And you may be right.
12 It's not a debate about what's right or not.

13 DR. PATRICK: I just want us to define global
14 because this is very confusing terminology. If we really
15 mean generic, not attributable to the condition --

16 DR. CELLA: I think in Carol's presentation --
17 you can speak for yourself, but I believe global was
18 intended to be a single rating of an overall quality of
19 life.

20 DR. PATRICK: That's how I understand it as
21 well.

22 DR. WILLIAMS: I don't believe that we've been
23 tied up with the definition of symptom. I think we've
24 looked at individual scales that may have had symptoms and
25 signs or whatever you want to call them and thought that

1 | this appears to be a scale that represents a clinical
2 | finding. But I'm not aware that we've had a debate about
3 | what a symptom is.

4 | DR. CELLA: I accept that as a given.

5 | Rich.

6 | DR. SCHILSKY: David, I guess a couple of other
7 | questions maybe to come back to something I said earlier.

8 | I think where we have a lot of difficulty in
9 | ODAC, being mostly clinical oncologists around the table,
10 | is most of us are comfortable evaluating symptoms -- call
11 | it symptoms and signs, if you wish. Most of us are
12 | comfortable evaluating those. Most of us are comfortable
13 | evaluating some functional status, what we typically call
14 | performance status. Beyond those elements, it's a little
15 | bit unclear as to what the value added is of other measures
16 | once you get beyond symptom assessment and functional
17 | status.

18 | I think the other aspect that we find very
19 | confusing is, in a sense, the multiplicity of asking the
20 | question. In other words, do we really need 10 ways of
21 | asking people if they're incontinent? Can we ask it one
22 | way or two ways? And if you ask it 10 ways, which of the
23 | 10 is the most reliable indicator of whether they're
24 | actually incontinent? So, that's where things get very
25 | confusing to people on the committee.

1 DR. CELLA: Well, embedded in this discussion,
2 there are two positions on the table. I'd like to lay them
3 out and get a reaction, and it's not even necessary to say
4 whose they are because I might not state them right. So, I
5 can assume them as mine if others think that that's not
6 really what their position is.

7 One position is that there are certain
8 circumstances in which a good symptom profile, however
9 defined, is enough data to receive. Another position is
10 that if that's all you measure, if that's all you receive,
11 then you may miss important problems that aren't being
12 measured by the symptom profile.

13 We don't need to necessarily take a position
14 one way or the other, but these perspectives have at least
15 been hinted at, one more or less formally presented by
16 Carol. One of them is that, okay, if you only measure
17 symptoms, then you may miss something and we can detail
18 what that is. The other is that there are circumstances in
19 which a submission of data that is symptom focused, in
20 fact, exclusively symptom focused, is in certain
21 circumstances adequate and appropriate. Can we get some
22 discussion about that?

23 DR. PAZDUR: Do you want to define symptom?

24 DR. CELLA: That's been a little bit difficult.
25 Well, I'll try again.

1 Carol.

2 DR. MOINPOUR: Well, that's a good question and
3 I was going to mention that just a few minutes ago. I
4 believe we do have to make this distinction between disease
5 and treatment-related symptoms. I would even say that we
6 should ask an applicant to provide data on those disease-
7 related symptoms that are currently known in the literature
8 to be associated with a particular site of cancer, and then
9 maybe that would mean, in terms of disease-related
10 symptoms, where the new drug is supposed to alleviate them,
11 that there would have to be a requirement for a sufficient
12 number of patients who are symptomatic.

13 But then the second area -- and this is where
14 people may not have as much prior information to know --
15 would be the treatment-related symptoms, and that there
16 should always be a set of items that deal with, as best can
17 be identified, what the treatment toxicities are associated
18 with that particular agent. There we'd be looking for
19 harm.

20 Then what to me is of value then of the other
21 domains of quality of life is you see how far the harm or
22 the improvement extends from the symptom area.

23 DR. CELLA: Dr. Dickersin.

24 DR. DICKERSIN: This probably shows how little
25 I know about all this. But I actually was hearing the

1 position slightly differently, which is that maybe -- and I
2 could have this wrong -- what ODAC wants is information to
3 some extent about the symptoms and how to interpret
4 symptoms. Yet, the people working in the quality of life
5 field actually have a more sophisticated, detailed --
6 whatever the right word is -- way of looking at this that
7 really goes well beyond symptoms into a different
8 definition of quality of life.

9 So, maybe it's very good that we're all working
10 together so that ODAC is being informed -- I'm sure being
11 informed and this is very helpful to me as a trialist
12 actually using quality of life outcomes -- how they should
13 be separated. Everything I'm hearing just rings such a
14 bell, and yet I might have, in the beginning, joined
15 symptoms and quality of life myself. I'm still worried
16 about things falling through the cracks. But maybe it's
17 not that we're coming from two separate places, but that
18 there's a lot of education that's going on.

19 DR. SCHILSKY: I would just say my own view of
20 sort of the purpose and the role of this subcommittee
21 should be to remain focused on the issues that will be
22 valuable to FDA, ODAC, and the investigator community in
23 the design of clinical trials that will ultimately support
24 the approval of a new drug. I don't feel that the purpose
25 of this committee should be a broad discussion of

1 validation of the whole field of quality of life research.
2 That's much broader than I view the mission of this
3 committee.

4 From the ODAC perspective, I think Carol's
5 suggestion is outstanding. I think that the committee
6 would feel very comfortable with receiving data that says,
7 okay, for this disease and this population of patients,
8 these are the five most common symptoms that commonly occur
9 related to the disease, and here's documentation as to what
10 happens to those symptoms over time during treatment. Here
11 are the 5 or 10 most common side effects known to be
12 associated with the therapy, and here's documentation of
13 what happens and how frequent those symptoms are over the
14 course of therapy. I think if we had information like that
15 provided completely and unambiguously, it would be
16 enormously valuable to the committee.

17 DR. CELLA: Lillian.

18 DR. NAIL: I wanted to take perhaps an ill-
19 considered shot at putting the two positions closer
20 together. It's very clear that the largest variance in
21 function and emotional distress is driven by symptoms, and
22 those may be symptoms of the illness, a combination of side
23 effects of different treatments. And the example Diane
24 gave is an excellent one where we have women with breast
25 cancer, problems with strange sensations in the arm,

1 functional limitations in the arm, which is the downstream
2 of arm problems. But they're getting other treatments that
3 cause other problems that are going to vacillate along the
4 treatment continuum.

5 However, Diane's original question, do we know
6 what all the symptoms are, part of the unaccounted variance
7 in changes in function and distress is probably due to
8 symptoms we haven't recognized. That's one reason why it's
9 important to look at some of the other indicators. The
10 symptoms we've identified alone won't do it.

11 There are several good examples, but one of the
12 most recent ones is cognitive changes that are really
13 affecting people in the work domain and because we haven't
14 consistently asked about it, we've missed that entire
15 domain. So, we really have to have that other piece.

16 The other thing is there are things that you
17 can do to improve quality of life that are not directed at
18 symptom management, and those influence the symptom
19 appraisal process and helping people have an accurate
20 cognitive schema about what those side effects and symptoms
21 are so that they can plan their life around it. So,
22 there's another piece of the variance that could be
23 explained by inaccurate or unfortunate appraisal processes
24 and lack of information ahead of time. So, I think we need
25 to look at it as looking at symptoms, looking at the

1 | impact, and recognizing that we don't know everything about
2 | what drives impact.

3 | DR. CELLA: It's 10 o'clock. I don't want to
4 | quit immediately, but I do want to close down so we can
5 | take a break and remain on schedule. Are there any other
6 | comments that people have? Carol?

7 | DR. MOINPOUR: I'd want to make one more
8 | comment.

9 | I feel very strongly about the need for the
10 | domains, additional to symptoms, just based on an
11 | experience in one trial that we did where symptoms were not
12 | associated with a very significant effect on emotional
13 | functioning, and when we looked at the patients who were
14 | symptomatic, this was not the explanation for deterioration
15 | in emotional functioning. Yet, this finding, as strange as
16 | it was and not directly hypothesized by us in our protocol,
17 | was consistent with clinicians' experiences on a small
18 | scale. So, it did not seem that strange to clinicians
19 | looking at the data.

20 | So, there's a case where symptoms really
21 | weren't necessarily affected in the trial, but one of the
22 | broader domains of health-related quality of life, in this
23 | case emotional functioning, was. So, if we would not have
24 | measured that, we would have missed that whole effect. So,
25 | I just think that's why I feel very strongly about the

1 | comprehensiveness of the assessment, keeping it reasonable
2 | to health-related and restricted to areas affected by
3 | treatment.

4 | DR. CELLA: Thank you, Carol.

5 | I would like to spend a couple of minutes
6 | seeing if there is comfort, agreement on a few basic points
7 | that tie in Carol's presentation, Donald's presentation,
8 | and the discussion.

9 | I'll start with Carol's first proposal actually
10 | which is that the expert regarding what she termed patient
11 | benefit is the patient. Is there a sufficient comfort
12 | level to -- is there anyone that would be uncomfortable
13 | with that position?

14 | (No response.)

15 | DR. CELLA: Part of this is just wanting to
16 | close with some good, solid consensus and to give me a
17 | sound bite for the end of the meeting.

18 | (Laughter.)

19 | DR. CELLA: The expert is the patient. This is
20 | a nice thing we can close with.

21 | Although I'd like to get a little bit more of a
22 | stretch here. No single measure will emerge. That doesn't
23 | mean that we don't have a responsibility here to simplify
24 | and codify in a coherent way for the FDA and for ODAC how
25 | to deal with all these data. Is it obvious to all of us

1 that, given the complexity of this issue and the need for
2 responsiveness, as Donald pointed out, that we know already
3 that there's not going to be a single, one-size-fits-all
4 approach?

5 (No response.)

6 DR. CELLA: So, we know a couple things.

7 Finally -- and this is one if there's any
8 discomfort, because we haven't clearly defined the term and
9 we need time to do that, but that symptoms are a reasonable
10 place to start and even to focus, in some circumstances,
11 one's primary analysis. Discomfort with that?

12 These are three sort of general concluding
13 points that at least get us started. There's a lot of work
14 to be done that we will engage Carol and Donald and others
15 in.

16 DR. PATRICK: I just would urge you not to
17 neglect the last part of Carol's statement in that you need
18 to look at the symptoms that are both benefits and harms
19 down the line and the advantage that there will be cases in
20 which functional status may be affected where symptoms are
21 not. That's useful information in evaluating the medicine.
22 So, I agree, David, but it's not all in the symptoms. It's
23 a useful place to start, but it isn't everything.

24 DR. MOINPOUR: I just wasn't quite comfortable
25 because that is all you talked about in that last

1 | statement, just symptoms.

2 | DR. CELLA: Yes. That's why I tried to say
3 | start and focus a primary analysis plan. But I was trying
4 | to come short with a simple statement to come short of
5 | saying that it's enough to look at completely. I'm not
6 | trying to steer away from that, but I will modify that, not
7 | now, because I think we need to take a break. I'll bring
8 | it back.

9 | DR. SLOAN: David, would it be fair to say that
10 | symptoms are a necessary part but not a sufficient,
11 | complete in a QOL investigation, let's say, or answering
12 | the QOL component of the trial?

13 | DR. CELLA: Actually that reminds me, Jeff, of
14 | the whole issue of QOL. I didn't mean to -- and I can see
15 | that I did -- imply that that would be considered a quality
16 | of life submission. That's an important labeling issue and
17 | I'd like to be really clear that if somebody went that way,
18 | it probably would not be, based upon Carol's presentation,
19 | Donald's endorsement, and the parent committee position, a
20 | quality of life submission. So, that brings up a different
21 | issue. Carol wisely selected patient benefit on that first
22 | proposal to avoid perhaps that conceptual issue.

23 | I think we'll take a break and call that enough
24 | for this session. We'll obviously revisit some of these
25 | issues. Thanks.

1 (Recess.)

2 DR. CELLA: Now we move to the session on
3 clinical significance and clinical interpretation so we'll
4 be able to interpret the information that we made so very
5 clear in the last session.

6 (Laughter.)

7 DR. CELLA: Dr. Jeff Sloan from the Mayo Clinic
8 is going to present, and Jeff, if you could be sure to talk
9 into the microphone and try to not turn your head too much,
10 then we will pick you up better on the audio.

11 DR. SLOAN: Well, first of all, I want to thank
12 David for inviting me to stick my neck out here. I'll be
13 looking for him to save it if I get into too much trouble.

14 I think everything even we've talked about this
15 morning seems to underline this idea of clinical
16 significance in the comments, particularly from the folks
17 from NIH are saying. So, what does it mean? I think
18 that's a question that I've heard more than I care to, but
19 it is probably the most unnerving question with respect to
20 quality of life measurement.

21 I'm going to throw out, as Carol did earlier,
22 some ideas, some proposals, which hopefully will be points
23 of discussion as opposed to, yes, this is what I absolutely
24 think we should do and this is the only way we should go.
25 But hopefully these will be useful suggestions.

1 One way to start this is we say, okay, why is
2 this so difficult? Perhaps this is obvious, but I thought
3 it was worth some recapitulation, at least a little bit at
4 the beginning. As people said, it is an intangible
5 construct for the most part, and in some ways can be
6 thought of as a gestalt, multi-dimensional entity within
7 the psychosocial realm of how are you doing basically. We
8 can all say how we're doing in general, but exactly how do
9 you tangibly and quantitatively measure that?

10 There is an analogy that I wanted to bring to
11 bear here, which may or may not have great relevance.
12 Hopefully it does, otherwise I wouldn't have included it.
13 But 100 years ago, the blood pressure cuff was being tested
14 in a not dissimilar fashion the way we're talking about
15 assessing tools for measuring quality of life
16 instrumentation today. The clinical significance of what
17 scores meant, what those anomalous blood pressure scores,
18 the numerator and denominator, systolic and diastolic, what
19 do these things mean was not known, which is kind of an
20 interesting shift in time to think about.

21 One of the questions facing folks at that time
22 was what do we use as a gold standard. How do we know that
23 a shift in blood pressure is clinically significant? At
24 that point in time, they figured it was important to tie it
25 to a clinical outcome. Yes, it is 100 years ago. It was

1 | thought that massage therapy was the gold standard in terms
2 | of assessing for, let's say, clinically impacting blood
3 | pressure. It was a known or a given, assumed, that if you
4 | gave people a massage and it was more, let's say, regularly
5 | or routinely accepted, especially in Britain, that M.D.s
6 | would administer massage therapy on a regular basis, and
7 | they were the only people that should be administering
8 | massage therapy because, my goodness, these are clinicians.
9 | This is an important treatment to be given. Interesting
10 | how times have changed. But massage therapy was used as
11 | the gold standard to assess whether or not you could pick
12 | up changes by the blood pressure cuff and the scores
13 | changing.

14 | Now, the present guidelines for -- that should
15 | be BP, not BO. I apologize for the typo.

16 | (Laughter.)

17 | DR. SLOAN: I mean, there are guidelines for
18 | the clinical significance of BO, I'm sure.

19 | (Laughter.)

20 | DR. SLOAN: If nothing else, David, I'll inject
21 | some humor into the morning. You always have to have
22 | somebody for comic relief. Right?

23 | But the key question is, as we discuss what is
24 | a clinically important shift in quality of life measures,
25 | can we all say we know definitively what a clinically

1 significant shift in blood pressure scores is in all
2 settings across all situations for all patients? I think
3 the answer to that is still, 100 years later, it is still
4 open to discussion to a certain degree.

5 We know a lot more about blood pressure scores
6 now than we did 100 years ago, but if we can assume then it
7 takes 100 years to figure something as simple as blood
8 pressure scores' clinical significance, then maybe we need
9 to keep in mind that it's going to not necessarily be
10 achievable to know all things about every aspect of quality
11 of life in terms of the clinical significance. But we have
12 to do something.

13 Well, the first thing I'd like to point out,
14 hopefully as David requested, sticking my neck out, as a
15 statistician, I guess I'd like to stomp my foot a little
16 bit and talk about, first of all, what I believe that
17 clinical significance is not. In some ways defining things
18 by saying what it is not can help.

19 One thing it is not is statistical significance
20 and is often linked to clinical significance. Just because
21 you got a p value that is less than .05 doesn't mean that
22 you have a clinically significant outcome, and maybe that's
23 obvious. But to bring that home, I'd like to use another
24 example.

25 In a particular study we did recently, we had

1 the health status questionnaire, which is a rather lengthy
2 questionnaire dealing with all aspects of quality of life,
3 before and after scores on 1,300 people. In presenting the
4 data to our clinical folks, the discussion centered around
5 the idea of, wow, look at all those significant p values.
6 Yes, they're all statistically significant p values.
7 They're all less than .0001 because we've got 1,300 folks.
8 So, we could distinguish between a score of 12 and 13 on
9 every domain, translated onto a 0 to 100 scale. That
10 doesn't mean that a person's or a group's health status
11 really changed to such a degree that is clinically
12 significant.

13 It's the conundrum within the statistical
14 world, if you will, more observations is good, the bigger
15 sample size, the better, to a point. With 1,300 folks we
16 can prove just about anything is statistically significant.
17 Without a priori determination of what we're going to say
18 is an important clinical outcome, a clinically significant
19 outcome, p values are totally meaningless. I think too
20 often a statistical significance is actually used as the
21 benchmark. Well, it must be good because p is less than
22 .05. And hopefully, we will go beyond that.

23 One way of attacking this is to look at a
24 general classification system for methods that are
25 assessing clinical significance. There are a number of

1 ways that I think in the literature -- as Donald had
2 mentioned earlier, this stuff has been around for a little
3 while. So, the idea of assessing clinical significance is
4 not new. So, we can talk, however, about, in terms of an
5 FDA, let's say, submission, categorizing the type of
6 clinical significance or the method for assessing clinical
7 significance that can be put into some very broad
8 categories.

9 If the world were perfect, every tool developer
10 would be able to specify a shift of X units on my tool is
11 clinically significant. Some tool developers have done
12 some good work in that area and made some recommendations.
13 That's one way, I think, of assessing clinical
14 significance, and if we can assume that the tool developer
15 took a sound scientific and measured experiential
16 trajectory in developing the instrumentation, then that's
17 not an unreasonable way of saying a priori the tool
18 developer says the shift of 5 units is clinically important
19 for groups in my assessment tool. We can believe that.

20 Another way, I think which is probably the most
21 common way, is investigator defined. What I've got here
22 are all the acronyms for the various methods. I won't go
23 into any detail. I will just list them briefly. Yes,
24 there's the effect size approach, looking at how many
25 standard deviations apart the scores have moved; the

1 standard error of measurement, looking at a similar related
2 effect to the effect size only in terms of talking about
3 the standard error rather than standard deviations; the
4 ERES, or empirical rule effect size. This is a method that
5 we kind of pulled together over the years in relation to
6 some other people's work as well, the idea being can we
7 talk about effect sizes as being -- as changes of being
8 small, medium, or large in a general classification
9 taxonomy like that. And then R squared, talking about the
10 idea of if a certain amount of the variance is accounted
11 for by a particular instrument, then this must be a
12 clinically significant shift in terms of a prognostic
13 variables approach. All of these need to be defined by the
14 investigator, though, ahead of the game, a priori.

15 There is also some other work in this area in
16 terms of classification of clinical significance, a
17 posteriori methods asking the patient after the fact has
18 your quality of life changed a significant amount or not.
19 The most commonly recognized issues here or approaches, I
20 should say, are the MCID, minimally clinically important
21 difference, and minimal important difference, the work of
22 Drs. Jaeschke and Osoba up in Canada, where we basically
23 say, okay, the QOL scores changed. What were the changes
24 in the QOL scores for people who told us after the fact
25 that, yes, my quality of life changed substantially, and

1 | then taking an average value, for example, and saying that
2 | represents then a clinically observable or the patients can
3 | perceive that size of a difference.

4 | The fourth method, as I mentioned, which is
5 | more common, I just want to throw it out again to
6 | reemphasize that this is probably the weakest approach
7 | where people happen to find parsimoniously a significant p
8 | value and a posteriori say, ah, QOL has changed because we
9 | have a significant p value. Again, people do this, so I
10 | had to figure this is one classification, if you will.

11 | And then a fifth way we see oftentimes, which
12 | again I want to raise as a little bit of a straw man per
13 | se, where we anchor the quality of life scores to a
14 | clinical outcome. For example, we ask people about their
15 | ability to walk and so on and then ask them about their
16 | quality of life. Well, to me there's a little bit of
17 | circularity there, a little bit of redundancy. If what we
18 | want to look at is a person's ability to walk and what we
19 | think that the drug will impact is their ability to walk,
20 | then asking them about their quality of life as well as a
21 | surrogate endpoint may be redundant.

22 | There are three subtopics that I want to deal
23 | with relatively briefly in which every one of these five
24 | approaches can be applied.

25 | Typically we want to talk about comparing

1 groups. What is a meaningful change in group comparison?
2 This is often done by looking at just simple summary
3 statistics such as means and medians and the usual t-test
4 and Wilcoxon procedures there. That's probably the gold
5 standard right now. Whether it's an acceptable gold
6 standard or not I think is open to discussion, but that's
7 basically what people are doing and probably what folks are
8 seeing in terms of application.

9 Some other things that I think can be thrown
10 into this approach as well is to actually look at the
11 difference in the proportion of patients that achieve a
12 particular endpoint, the proportion of patients who
13 actually are, for example, no longer depressed as a result
14 of some administration of a treatment, no longer
15 experiencing neuropathy.

16 As well, there are for some scales, for
17 example, the well-known symptom distress scale, which has
18 been around for quite a while by McCorkle and Young. They
19 defined a priori through their work that a score on the
20 scale of greater than 30 would indicate that a patient was
21 experiencing sufficient or substantial, I should say,
22 symptom distress and so just looking at what proportion of
23 patients are by that definition actually distressed.

24 Another way we can look at things is talking
25 about the difference in regression coefficients. That's

1 often done as well. We've got some statistical issues
2 there, not the least of which over time, whether a change
3 in slope is actually representative of individual patients,
4 which is a segue into the next aspect of discussing
5 clinically significant change, talking about, okay, how do
6 we look at an individual patient and say, yes, their
7 quality of life has changed on some particular domain.

8 Again, this is where I think the tool
9 developers can be a great help in terms of the most well-
10 developed tools will have norms, certain percentiles and
11 percentages that may be applied, and if a priori an
12 investigator can define a clinically significant shift as a
13 shift in the norm of a certain amount because this
14 represents a shifting of the overall population, then that
15 seems a reasonable way to go.

16 The number of categories that a score shifts on
17 an individual item is also another way of looking at
18 individual comparison, again a priori defined by the
19 investigator. How many folks have actually shifted from
20 mild to moderate? How many folks have actually shifted
21 from moderate to severe? If a person sees a one-category
22 shift, is that clinically important? And defining it in a
23 very simplistic way I think will help to intrinsically
24 include a meaningful clinical significance in that
25 approach.

1 Another way of doing it is a more statistical
2 approach where you look at, for every group comparison
3 method, there are ways of adjusting the results for group
4 comparison methods down to individual methods. Jacob Cohen
5 is I guess the first person that I can think of that wrote
6 this a long time ago talking about the root 2 approach
7 where he showed that you can adjust the power estimates for
8 the two sample t-tests to a paired comparisons experiment
9 just by multiplying all the power estimates by the square
10 root of 2. That doesn't apply in general, of course, but
11 it gives you the flavor of the idea of, yes, we could
12 actually statistically just say, well, let's take what's
13 good for the groups and adjust that accordingly using that
14 statistical rule. I'm not sure how palatable that is in a
15 generic situation, but it is one possibility.

16 Finally, I think perhaps this is the most
17 important aspect for clinicians in particular. Okay, I
18 have a patient. I give them a 30-item QOL questionnaire.
19 I see a whole bunch of numbers that I have from before and
20 what they have now. What actually should I do, could I do,
21 would I do if I observed changes in this patient? What is
22 going to be the clinical trigger for me?

23 Again, I think this has to rest in the hands of
24 the clinicians rather than the QOL investigators in terms
25 of if they can a priori, in consultation with QOL

1 | investigators, say, okay, this is going to be clinically
2 | important. This would cause me to intervene with a
3 | patient.

4 | For example, taking the functional living index
5 | of cancer example, this was basically the rationale behind
6 | its early development. The idea was it was to serve as a
7 | mechanism for clinic referral where each one of the 22
8 | items in the FLIC was intended as a clinical trigger.
9 | Take, for example, there's one question that asks how much
10 | are you thinking about your cancer, from not at all to all
11 | the time. And if people were scoring anywhere from 5 to 7
12 | on that 0 to 7-point scale, we would say that was
13 | indicative that a clinical referral to psychological or
14 | sociological interventions need to be considered at least.
15 | That was the intent.

16 | As you can imagine, though, that is not a
17 | simple thing, and I think a lot of work needs to be done in
18 | that particular area. Again, it has to be a collaborative
19 | process between clinicians and QOL developers.

20 | One other thing that I did want to mention that
21 | I have seen -- and it's not a new idea at all, and it seems
22 | to becoming standard in the literature, and I think that
23 | might be something that we could perhaps recommend as a
24 | committee -- is that all of these things, if we can assume,
25 | do have some sort of dimensional component to them and we

1 | can identify the dimensional component which each
2 | particular tool is defined, then we should be able to talk
3 | about things on a 0 to 100 dimension, if you will, and
4 | allow for an easy interpretability both for clinicians'
5 | understanding and for interpretability across domains.

6 | In terms of clinical significance, I want to
7 | throw out another idea. This one I'm probably going to get
8 | chewed over the most for, but that's okay. As I mentioned,
9 | I'll blame David for it. So, that's fine.

10 | Some of the work that we've been doing in
11 | looking at clinical significance really, in bringing
12 | together all of the literature, is trying to equate the
13 | methods. What is both interesting, puzzling, but very
14 | satisfying and almost comforting is to see that all the
15 | methods of approaching clinical significance kind of say
16 | the same thing. They will vary slightly in terms of the
17 | absolute number of points or way in which these scores may
18 | be interpreted as changing, but as a general rule of thumb,
19 | if a set of scores have changed by a half a standard
20 | deviation, then that, throughout all the different ways of
21 | approaching things, is really a minimally required shift
22 | for people to say, we have something, we have seen
23 | something, independent of sample size, independent of the
24 | tool or the dimension being looked upon.

25 | Perhaps this is too simplistic, but at least

1 | it's kind of saying, well, if it moves this much, all
2 | right, I'm going to believe it. Then there's something
3 | here. We don't know if it moved this much, but if we saw
4 | it move this much. We saw the elephant, so it's definitely
5 | not a duck. It's bigger than a duck.

6 | Now, if this again an unpalatable approach for
7 | things, protocol-specific modifications, and I think as Dr.
8 | Schilsky mentioned, justified from data and documented
9 | evidence can easily be applied to this, whereby we say,
10 | maybe here we can allow for a little bit more precision, so
11 | a quarter standard deviation is going to be a shift or,
12 | alternatively, three-quarters.

13 | Is this too simplistic? I don't know. I'm
14 | kind of simpleminded, so I kind of like it.

15 | Another way to talk about these things is,
16 | okay, if we don't like this hard and fast half a standard
17 | deviation is the most important, I think what is appealing,
18 | certainly to the clinical colleagues with whom I've dealt
19 | over the years, this idea talking about small, medium,
20 | large. Just like talking about pain, it's hard to define,
21 | but we all know what it is.

22 | There was some very good work done on a well-
23 | established tool, the Cleeland brief pain inventory
24 | measured on a scale from 0 to 10, which demonstrated that
25 | anything from 0 to 3 was basically very little pain.

1 Anything from 4 to 6 was moderate pain or the patient was
2 saying, I could use some help here. And anything more than
3 6, from 7 to 10, on that scale was I'm out of control. I
4 really need some pain medication.

5 So, it boils down to again this classification
6 of the worm, the duck, the elephant. If we can identify
7 differences between the worm, the duck, and the elephant,
8 then -- isn't this a wonderfully intellectual conversation?

9 (Laughter.)

10 DR. SLOAN: At least if we can see those things
11 and people can understand things in that terminology, worm,
12 duck, and elephant, I think that will, hopefully, bring the
13 discussion down to a level where clinicians and the lay
14 public will feel comfortable talking about this thing
15 because I do firmly believe that patients can tell, just as
16 in that pain example, which was validated psychometrically
17 in a very sound, scientific manner, that if you ask a
18 patient is your pain the size of a worm, a duck, or an
19 elephant, they can tell you. The clinical implications for
20 a patient having pain the size of a worm or a duck or an
21 elephant are obvious to clinicians. They know how to deal
22 with those things.

23 I always end any discussion of quality of life
24 with the most important aspect. I think it was brought
25 through this morning in terms of clinical significance.