

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

UROLOGY SUBCOMMITTEE
OF THE
ADVISORY COMMITTEE FOR REPRODUCTIVE HEALTH DRUGS

This transcript has not been edited
or corrected, but appears as received
from the commercial transcribing
service. Accordingly the Food and
Drug Administration makes no
representation as to its accuracy.

080 '00 APR 25 110:17

9:03 a.m.

Monday, April 10, 2000

Versailles Ballroom
Holiday Inn
8120 Wisconsin Avenue
Bethesda, Maryland

ATTENDEES

SUBCOMMITTEE MEMBERS:

RICARDO AZZIZ, M.D., M.P.H.
Professor of Obstetrics and Gynecology
Department of Obstetrics and Gynecology
University of Alabama at Birmingham
Old Hillman Building, Room 549
618 South 20th Street
Birmingham, Alabama 35233-7333

JAYNE PETERSON, R.Ph., J.D., Executive Secretary
Advisors and Consultants Staff, HFD-21
Center for Drug Evaluation and Research
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

MICHAEL F. GREENE, M.D., Chairman
Associate Professor of Obstetrics,
Gynecology and Reproductive Biology
Department of Obstetrics and Gynecology
Massachusetts General Hospital
Boston, Massachusetts 02114

JULIA SCOTT, R.N., Consumer Representative
President
National Black Women's Health Project
600 Pennsylvania Avenue, S.E., Suite 310
Washington, D.C. 20003

VOTING SGE CONSULTANTS:

ROBERT CALIFF, M.D.
Professor of Medicine/Director
Duke Clinical Research Center
Duke University Medical Center
2024 West Main Street, Box 31123
Durham, North Carolina 27707

RALPH D'AGOSTINO, PH.D.
Professor of Math, Statistics and Public Health
Boston University
111 Cummington Street
Boston, Massachusetts 02215

ATTENDEES (Continued)

VOTING SGE CONSULTANTS: (Continued)

CRAIG DONATUCCI, M.D.
Assistant Professor of Urology
Department of Surgery, Division of Urology
Duke University Medical Center
Room 1108A Green
Trent Street, Box 3274
Durham, North Carolina 27710

THOMAS GRABOYS, M.D.
Brigham and Women's Hospital
Harvard University
Director, Lown Cardiovascular Center
21 Longwood Avenue
Brookline, Massachusetts 02146

PHILLIP HANNO, M.D.
Fellow, Disease Management, Managed Care,
Quality Assurance
Division of Urology
First Floor Rhoads Pavilion
Hospital of the University of Pennsylvania
3400 Spruce Street
Philadelphia, Pennsylvania 19104

STEPHEN C. JACOBS, M.D.
Professor and Chairman
Division of Urology
University of Maryland, School of Medicine
22 South Greene Street
Baltimore, Maryland 21201

PETER KOWEY, M.D.
Chief, Division of Cardiovascular Diseases
The Lankenau Hospital and Medical Research Center
Lankenau Medical Office Building East
100 Lancaster Avenue West of City Line, Suite 556
Wynnewood, Pennsylvania 29096

MARGUERITE LIPPERT, M.D.
Associate Professor of Urology
Department of Urology
University of Virginia Health System
Lee Street, Room 2556A, Box 422
Charlottesville, Virginia 22908

ATTENDEES (Continued)

VOTING SGE CONSULTANTS: (Continued)

MICHAEL P. O'LEARY, M.D., M.P.H.

Associate Professor of Surgery

Harvard Medical School

45 Francis Street

Boston, Massachusetts 02115

LEONORE TIEFER, PH.D.

Associate Clinical Professor of Psychiatry

Department of Psychiatry

Albert Einstein College of Medicine

111 East 210 Street

Bronx, New York 10467

FOOD AND DRUG ADMINISTRATION STAFF:

MARK HIRSCH, M.D.

VENKATESWAR JARUGULA, PH.D.

MARIANNE MANN, M.D.

VICTOR RACZKOWSKI, M.D.

DANIEL SHAMES, M.D.

TAP HOLDINGS, INC. REPRESENTATIVES:

BARBARA BOPP, PH.D.

SUSAN BUTTLER, M.S.

ANTHONY EDMONDS, M.S.

TIMOTHY FAGAN, M.D.

JAMES FRESTON, M.D, PH.D.

JEREMY HEATON, M.D.

DENNIS JENNINGS, PH.D.

RONALD LEWIS, M.D.

JOEL MORGANROTH, M.D.

C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Ms. Jayne Peterson	9
TAP HOLDINGS PRESENTATION:	
INTRODUCTION by Dr. James Freston	10
PHARMACOKINETICS AND METABOLISM by Dr. Barbara Bopp	20
ERECTILE DYSFUNCTION TREATMENTS AND SUMMARY OF EFFICACY by Dr. Jeremy Heaton	27
SUMMARY OF SAFETY by Dr. James Freston by Dr. Timothy Fagan	54 57
BENEFIT-RISK ASSESSMENT AND SUMMARY by Dr. James Freston	74
FDA PRESENTATION:	
OVERVIEW by Dr. Daniel Shames	86
PHARMACOKINETICS AND DRUG-ALCOHOL INTERACTIONS by Dr. Venkateswar Jarugula	93
CLINICAL SAFETY AND EFFICACY by Dr. Mark Hirsch	102
DRUG-ANTIHYPERTENSIVE INTERACTIONS AND SUMMARY by Dr. Marianne Mann	116
OPEN PUBLIC HEARING PRESENTATION by Mr. Donald L. Vieth	129
SUBCOMMITTEE DISCUSSION OF QUESTIONS	180

P R O C E E D I N G S

(9:03 a.m.)

1
2
3 DR. AZZIZ: Good morning. Let us begin our
4 meeting of the Urology Subcommittee for the Advisory
5 Committee for Reproductive Health Drugs. The topic today
6 will be the safety and efficacy of Uprima, which is NDA
7 21-118, presented by TAP Holdings.

8 I would like to introduce a few people or at
9 least discuss how we are going to outline today's meeting.
10 You may have the agenda. We will have a little bit of a
11 change in the agenda this morning. The presentations by
12 TAP Holdings, which will begin at 9:10, will be extended to
13 11 o'clock, another 30 minutes. We will have an additional
14 question and answer period in the middle of the
15 presentations, and I will make sure that we don't run over.

16 I wanted to make sure that we understood that
17 today we need to stay on time. I will make sure that we do
18 so by simply interrupting the speaker and asking him to
19 finish. Hopefully that won't be necessary.

20 Before I ask all the FDA staff and committee
21 members to introduce themselves, I wanted to thank Dr.
22 Marianne Mann for very good work in presenting the data,
23 which is quite complex, in a legible fashion, which is
24 something that we committee members really do need.

25 Another point is during the comments in the

1 session, I would ask you to please identify yourself before
2 making your comment. This is obviously being transcribed,
3 and it is very difficult to figure out who is talking if
4 you do not do so.

5 So, without further ado, I would like to have
6 introductions beginning in that corner.

7 DR. RACZKOWSKI: Good morning. I'm Victor
8 Raczkowski with the FDA. I'm the Deputy Director in the
9 Office of Drug Evaluation III, and that's the office that
10 oversees the Division of Reproductive and Urological Drugs.

11 DR. MANN: I'm Marianne Mann, and I'm the
12 Deputy Director of the Division of Reproductive and
13 Urologic Drugs.

14 DR. SHAMES: I'm Dan Shames. I'm the team
15 leader for Urologic Drugs.

16 DR. HIRSCH: Mark Hirsch, medical officer.

17 DR. JARUGULA: Venkateswar Jarugula,
18 pharmacokinetics reviewer.

19 DR. AZZIZ: Dr. Jacobs will be here.

20 DR. O'LEARY: I'm Michael O'Leary. I'm on the
21 faculty of the Harvard Medical School and a urologist at
22 Brigham and Women's Hospital in Boston.

23 DR. DONATUCCI: Craig Donatucci. I'm a
24 urologist from Duke University.

25 DR. LIPPERT: Marguerite Lippert. I'm a

1 urologist at the University of Virginia.

2 MS. PETERSON: I'm Jayne Peterson. I'm the
3 Executive Secretary of the subcommittee with FDA.

4 DR. AZZIZ: Ricardo Azziz. I'm a professor of
5 obstetrics and gynecology and medicine at the University of
6 Alabama at Birmingham, and I'm chairing the committee.

7 DR. KOWEY: Peter Kowey. I'm a professor of
8 medicine at Jefferson Medical College in Philadelphia and a
9 cardiology consultant to the committee.

10 DR. GRABOYS: Tom Graboys. I'm a cardiologist
11 at the Brigham and Women's Hospital and Director of the
12 Lown Cardiovascular Center.

13 DR. D'AGOSTINO: Ralph D'Agostino, Boston
14 University, biostatistician.

15 MS. SCOTT: Julia Scott, registered nurse, and
16 I'm the consumer representative on the panel.

17 DR. TIEFER: Leonore Tiefer. I'm a clinical
18 psychologist in the Department of Psychiatry at New York
19 University Medical Center and Albert Einstein College of
20 Medicine and a sex therapist and sex researcher.

21 DR. GREENE: I'm Mike Greene. I'm an
22 obstetrician/gynecologist at Massachusetts General Hospital
23 and Harvard Medical School.

24 DR. HANNO: Phil Hanno. I'm a urologist at the
25 University of Pennsylvania in Philadelphia.

1 DR. AZZIZ: I would like to have Jayne
2 Peterson, Executive Secretary of the committee, present the
3 conflict of interest statement please.

4 MS. PETERSON: The following announcement
5 addresses the issue of conflict of interest with regard to
6 this meeting and is made a part of the record to preclude
7 even the appearance of such at this meeting.

8 Based on the submitted agenda for the meeting
9 and all financial interests reported by the participants,
10 it has been determined that all interests in firms
11 regulated by the Center for Drug Evaluation and Research,
12 which have been reported by the participants, present no
13 potential for a conflict of interest at this meeting with
14 the following exceptions.

15 In accordance with 18 U.S.C. 208, full waivers
16 have been granted to Dr. Lippert, Dr. Jacobs, Dr.
17 D'Agostino, Dr. Kowey, and to Julia Scott. A copy of these
18 waiver statements may be obtained by submitting a written
19 request to the FDA's Freedom of Information Office, Room
20 12A-30 of the Parklawn Building.

21 Further, we would like to disclose that Drs.
22 Califf, Kowey, and Donatucci have involvements which do not
23 constitute a financial interest in the particular matter
24 within the meaning of 18 U.S.C. 208, but which may create
25 the appearance of a conflict. The agency has determined,

1 notwithstanding these interests, that the interest of the
2 government in the participation of Drs. Califf, Kowey, and
3 Donatucci outweighs the appearance of the conflict.
4 Therefore, they may participate fully in all matters
5 concerning Uprima.

6 In the event that the discussions involve any
7 other products or firms not already on the agenda for which
8 a participant has a financial interest, the participants
9 are aware of the need to exclude themselves from such
10 involvement and their exclusion will be noted for the
11 record.

12 With respect to all other participants, we ask
13 in the interest of fairness that they address any current
14 or previous involvement with any firm whose products they
15 may wish to comment upon.

16 DR. AZZIZ: Without further ado, to stay on
17 time -- it is 9:10 -- I would like to have TAP begin their
18 presentation, if you would please. As I noted before, we
19 have extended the time allotted to them by 30 minutes, till
20 11 o'clock. After the presentation by Dr. Heaton on
21 erectile dysfunction treatments and summary of efficacy, we
22 will take 10 minutes for questions and answers, and then we
23 will proceed.

24 Thank you.

25 DR. FRESTON: Mr. Chairman, ladies and

1 gentlemen, good morning. I'm Dr. Jim Freston from the
2 University of Connecticut Health Center. I serve as a
3 scientific advisor to TAP in the development of Uprima and
4 for other drugs in their pipeline, and I am pleased to be
5 able to moderate their presentations today and to present
6 some of the data.

7 Others who will join me in presenting are shown
8 on this slide. They include Dr. Barbara Bopp of the Drug
9 Metabolism and Pharmacology Division, Dr. Jeremy Heaton,
10 Professor of Urology at Queens in Kingston, Ontario; and
11 Dr. Timothy Fagan, Professor of Medicine and Associate
12 Professor of Pharmacology at the University of Arizona.
13 Dr. Heaton is an expert in erectile dysfunction, and Dr.
14 Fagan is a specialist in cardiovascular clinical
15 pharmacology.

16 A number of TAP officials and scientists are
17 here to support this presentation and can be called upon if
18 needed, and they are listed here. We will introduce them,
19 as needed, along the way.

20 We also have some other ED experts who are
21 serving as consultants and have done so over the course of
22 time in developing this compound. They are shown here. It
23 includes Drs. Carson, Dula, Lewis, and Melman, as well as
24 Dr. Ray Rosen in the Department of Psychiatry at Robert
25 Wood Johnson. His presence is important to us because he

1 helped developed the IIEF, a survey instrument for sexual
2 function, which we'll be referring to today. Dr. Addison
3 Taylor is another professor of medicine, a specialist in
4 cardiovascular and clinical pharmacology from Baylor, and
5 Dr. Joel Morganroth from Philadelphia is a cardiologist and
6 a specialist in electrophysiology and the interpretation of
7 EKGs and something called Holter monitors that we will be
8 discussing today. And finally, Dr. Gary Koch is a senior
9 statistician from Chapel Hill.

10 Our proposed agenda is set out here. After my
11 introductory remarks, we will turn to the pharmacokinetics
12 and metabolism of the compound, and then we'll get right
13 into the state of the art of ED treatments and the efficacy
14 data. As Dr. Azziz mentioned, we'll take a 10-minute break
15 there and then pursue the safety assessment, and then I'll
16 try to summarize on time.

17 The FDA briefing document highlighted a number
18 of areas for your focus and concentration, and we have
19 tried to list them here. Some comparisons were drawn in
20 the FDA briefing document to other approved ED therapies,
21 and we found that that worked very well. It does provide a
22 context for Uprima on both the safety and efficacy side and
23 a point of reference as well. So, we'll be bringing in
24 some of those comparisons too to assist in your
25 deliberations.

1 You were asked to answer the question are the
2 Uprima ED patients representative of those with ED in the
3 general population, and we will address that
4 comprehensively along the way.

5 The question was raised about the extent of
6 pharmacokinetic variability. We will deal with that as
7 well as the clinical relevance of the 2 milligram dose.

8 The efficacy in diabetes is of interest to all
9 of us and we'll address that head on.

10 Also, a question was raised about why the
11 patients dropped out of the long-term safety despite
12 continuation of efficacy in most instances, a very
13 important question. We'll deal with that.

14 But the main event today, if you will,
15 obviously is in the area of hemodynamics. We're all aware
16 that in recent years there has been quite a lot of interest
17 in cardiovascular events, including deaths in patients with
18 erectile dysfunction who have had increased sexual activity
19 in the context of using ED drugs. So, we'll be spending a
20 lot of time discussing that and its relationship to nitrate
21 usage in this population and specifically in those who take
22 Uprima.

23 And we will round out by discussing the nature
24 of the experience when patients take Uprima at the same
25 time as they consume alcohol.

1 Let us begin with the definition of erectile
2 dysfunction. This is the one that we have used. It is the
3 NIH definition and it highlights that ED is the inability
4 to attain and/or maintain penile erection sufficient for
5 satisfactory sexual performance. In recent years, WHO has
6 also incorporated these features and added the concept of
7 chronicity up to 3 months and variability. ED can come and
8 go.

9 A bit about the epidemiology and demographics
10 of ED. We have two major surveys that we can draw on for
11 help in this regard. One is the U.S. National Health and
12 Social Life Survey which was conducted in 1992 in 1,410 men
13 and women between ages 18 and 49. I'm sorry. It's 1,410
14 men in this study of men and women, and they correlated ED
15 to other diagnoses -- I will come to that in a moment --
16 and projected that ED was probably present in about 10
17 percent of men in this age bracket.

18 The other major study was the so-called
19 Massachusetts Male Aging Study, MMAS, which actually
20 antedated the previous study but was recently updated as
21 well. This was a cross-sectional study of 1,300 men, a
22 slightly older group. They found an ED rate of 52 percent.
23 10 percent of ED was complete, but most of it was moderate,
24 with some minimal. They extrapolated to the U.S.
25 population and concluded that probably 30 million men in

1 | the U.S. suffer from ED, and they correlated ED with age,
2 | health status, and emotional status as well.

3 | This shows the relationship of ED to age from
4 | the MMAS, and you can see that the incidence certainly does
5 | march up into the elder years. The increase is mostly in
6 | the moderate severity and the complete severity, with
7 | minimal remaining stable.

8 | Now, although we all associate ED with age,
9 | it's important to point out that the incidence starts to
10 | tick up additionally because of the co-morbidities that
11 | creep in in the elder years. And the other point to be
12 | made is that, yes, even though it is more common in elders,
13 | there is plenty of it in middle age.

14 | These are the associated diseases:
15 | hypertension, diabetes, heart disease, concomitant use of
16 | medications, depression, and psychological factors.

17 | This shows the distribution of patients in some
18 | of these subgroups in the Uprima studies. The duration of
19 | ED was 4.5 years. We'll come back to that important
20 | duration.

21 | Hypertension. 31 percent of our patients were
22 | hypertensives versus 33 percent in MMAS.

23 | Coronary artery disease, 16 and 16. We defined
24 | coronary artery disease as patients who had previous
25 | angina, previous myocardial infarction, or a

1 revascularization procedure in the past, either
2 angioplasty, placement of a stint, or bypass surgery.

3 So, you can see that our populations are
4 looking very much like the general population in MMAS with
5 ED.

6 Turning now to apomorphine itself. This is a
7 drug, a USP drug. It has been around for quite a while.
8 In fact, it was first used as a pharmacologic agent in
9 1869, and there are now over 1,100 literature citations
10 using doses all the way from 0.2 milligrams to 1,500
11 milligrams, and approximately 8,000 patients have been
12 studied in clinical trials around the world, in addition to
13 the 3,000 in the Uprima trials.

14 It's approved in 12 countries for various
15 indications, but mostly for Parkinson's disease which
16 requires daily administration. The usual doses are 3 to 30
17 milligrams subcutaneously. Why Parkinson's disease?
18 Because apomorphine has dopaminergic properties and they
19 were recognized as long ago as 1967. In fact, the
20 mechanism of its erectogenic effect is directly related to
21 this property. Specifically, apomorphine activates
22 dopamine receptors in the hypothalamus and limbic neural
23 pathways, and that's how it works in erections.

24 Now, what about Uprima itself? It's
25 reformulated apomorphine. It has been put into a

1 | sublingual preparation. It, of course, has a unique
2 | central mechanism of action and, because of its sublingual
3 | formulation, a rapid and quite predictable onset of action.
4 | And we'll show you data to support the fact that it's
5 | effective for ED in a wide spectrum of organic and
6 | nonorganic etiologies and severities.

7 | There's good news on the safety side. Again,
8 | we are all aware of the heightened interest in
9 | cardiovascular events in this population of patients.
10 | We're pleased to report that in extensive Uprima trials,
11 | there have been no deaths, nor have there been any
12 | myocardial infarctions or cerebrovascular accidents related
13 | to the drug, and no priapisms. Nausea was the most
14 | frequent adverse event, and syncope was the most
15 | significant. And we'll spend time on both of these.

16 | The proposed indication for Uprima is for the
17 | treatment of erectile dysfunction in these doses, 2, 3 and
18 | 4 milligrams. The agency has asked us to present data at
19 | higher doses above the recommended dose, and we're pleased
20 | to do so today.

21 | Above 4 milligrams, there's very little gain in
22 | efficacy, but there are more side effects. Therefore,
23 | we're recommending the doses 2 to 4.

24 | Now, what's the rationale for proposing
25 | approval of Uprima at this time? That is set out on this

1 and the following slide. As I pointed out, ED is
2 associated with a number of diseases and conditions. Drugs
3 with different mechanisms of action are particularly useful
4 in diseases that have multiple pathogenic pathways. Think
5 of hypertension, think of depression, Hypertension is a
6 particularly good example. Multiple pathogenic mechanisms.
7 In the beginning we had reserpine, hydrochlorothiazide.
8 Then we got methyldopa. Then we added alpha-1 antagonists
9 and beta blockers, and then calcium channel blockers, ACE
10 inhibitors, and more recently the AT-2 inhibitors. Each
11 class of drugs in its time advanced the field and more
12 patients were able to have their hypertension controlled.
13 Today, as you know, we can treat effectively hypertension
14 in any patient, and in fact, with this array of different
15 drugs, we can even tease out the etiologies of hypertension
16 in some patients.

17 In contrast, the field of ED therapy is in its
18 infancy. We've only got three drugs that are approved.
19 One requires injections into the penis. One requires
20 insertion of a pellet into the urethra. There's only one
21 that's available orally. All of them work by a peripheral
22 mechanism, and all have a unique set of adverse events.
23 And there's no one drug that's effective for all patients.
24 Treatment, moreover, is strongly influenced by couple and
25 physician choice. So, a new drug with a different

1 mechanism of action ought to have considerable potential in
2 this setting.

3 Uprima has a unique central mechanism of
4 action, a novel delivery system, and a rapid onset. And
5 we've studied it in 27 clinical trials, and we'll show you
6 data to support our contention and conclusion that it's
7 safe and effective treatment for ED in patients with and
8 without organic disease.

9 This shows the scope of the 27 trials divided
10 by classical FDA development phases, I, II, and III, and
11 we've lumped I, II, and III down here. We've looked at the
12 pharmacokinetics and metabolic rate, including in elders
13 and those with renal and hepatic impairment. We've also
14 looked at interactions with two antiemetics. We have done
15 careful prospective studies in populations with these
16 conditions: patients taking anti-hypertensives, five
17 different classes, as well as short and long-acting
18 nitrates.

19 We have looked prospectively in diabetics, in
20 those who have consumed substantial amounts of alcohol
21 quickly in conjunction with larger than recommended doses
22 of Uprima, and we have a small group of patients with
23 prostatectomy and spinal cord injury in whom we have just
24 addressed safety issues, not efficacy issues.

25 The efficacy conclusions are based primarily on

1 three well-controlled cross-over studies that are unique in
2 that they allow the patient to serve as his own control.
3 In addition, there's a dose optimization parallel study in
4 which patients participate in two phases. They adjusted
5 the dose until they found the dose that was effective for
6 them, and then they continued for the second phase, the so-
7 called maintenance phase, at that dose, and we've drawn
8 valuable information from that trial.

9 In addition, there are five long-term open-
10 label studies and there are two first dose administered at
11 home studies: one we'll be discussing today; the other, a
12 larger study has just only recently been filed with the
13 agency. We'll not be discussing that today because the
14 agency has not had the opportunity to review those data.

15 I'd now like to turn the podium over to my
16 colleague, Dr. Barbara Bopp, to go over the
17 pharmacokinetics and metabolism.

18 DR. BOPP: Although apomorphine is synthesized
19 from morphine by an acid catalyzed rearrangement process,
20 the final chemical structure of apomorphine bears little
21 resemblance to that of its precursor morphine. Apomorphine
22 is not scheduled by the DEA. It was, indeed, specifically
23 excluded from the list of opiate substances in the schedule
24 2.

25 Apomorphine is a relatively plainer molecule,

1 and if you examine its structure, it contains the
2 dihydroxyphenethyl amine moiety that is common to dopamine
3 and the other catecholamines.

4 Apomorphine was formulated as a sublingual
5 tablet for use in the treatment of erectile dysfunction.
6 This formulation was selected because it provided a means
7 to obtain rapid absorption of the compound into the
8 systemic circulation, thereby avoiding the first pass
9 metabolism that had limited the usefulness of orally
10 administered apomorphine for many, many years. Another
11 potential advantage of the sublingual formulation is that
12 it would minimize any possible effect of food on the
13 absorption of apomorphine.

14 Depicted on this slide are the mean plasma
15 concentration time profiles from the 2, 4, 5, and 6
16 milligram Uprima tablets that were administered to a group
17 of 24 healthy young males in a crossover study, and you can
18 see that the goal of rapid absorption of the compound into
19 the systemic circulation was indeed achieved. Initially
20 there is a very short lag time of about 5 to 7 minutes,
21 which corresponds to the time necessary for the tablet to
22 disintegrate and dissolve in the subject's mouth.
23 Thereafter the plasma concentrations increase very rapidly
24 and after T_{max} , the apomorphine concentrations in the
25 plasma also decrease very rapidly and fall to approximately

1 10 to 20 percent of their maximal levels by 4 to 6 hours
2 after dosing. Thereafter, there is a somewhat slower
3 terminal elimination phase which only occurs at very low
4 apomorphine concentrations.

5 This slide summarizes the pharmacokinetic
6 parameters obtained in the study I was just describing and
7 also include those from a 1 milligram subcutaneous dose,
8 which was included as a reference. Tmax, the time of peak
9 plasma concentrations, averaged about 40 to 45 minutes with
10 all of the sublingual doses compared to 20 minutes with the
11 subcutaneous dose.

12 The peak plasma concentration, Cmax, averaged
13 .7 nanogram per ml in the 2 milligram dose and increased to
14 1.9 nanograms per ml in the 6 milligram dose. Coefficients
15 of variation, inter-subject variation for Cmax ranged from
16 about 50 to 80 percent.

17 AUC also increased with the dose, with somewhat
18 smaller coefficients of variation, about 40 to 50 percent.

19 Half-life was about 2 to 3 hours and was 2 to 3
20 hours and was similar with both routes of administration.

21 The next slide further illustrates the dose
22 proportionality in the pharmacokinetics of Uprima. You can
23 see that both Cmax and AUC increase in a linear and dose
24 proportional manner. Compared to the subcutaneous dose,
25 the bioavailability of apomorphine from Uprima was

1 | estimated to be 16 to 18 percent across all doses. The 3
2 | milligram dose, which is proposed for marketing, was not
3 | included in this study but does fall within the range for
4 | which dose proportionality has been established.

5 | Some concern has been expressed about the
6 | variability in the pharmacokinetics of apomorphine. This
7 | slide attempts to give a little different perspective on
8 | that variability and presents a frequency distribution of
9 | the log normalized Cmax values from almost 250 subjects who
10 | received the 6 milligram dose of Uprima in our phase I
11 | studies. The higher dose is used in this presentation
12 | because that was the dose used in many of the phase I
13 | studies which were conducted early in the development of
14 | Uprima when we were evaluating the higher doses. A similar
15 | picture of variability could be found for the lower doses
16 | as well.

17 | Mean Cmax in this population was 1.6 nanograms
18 | per ml. The median was 1.5 nanograms per ml.
19 | Approximately 65 percent of the subjects in this group had
20 | Cmax falling in these two bars between 1 and 2.7 nanograms
21 | per ml. You can also see that more of the variability in
22 | the Cmax values was associated with the low concentrations
23 | rather than the high concentrations.

24 | Since the elderly are an important subgroup of
25 | patients with erectile dysfunction, we compared the

1 pharmacokinetics of Uprima in a group of 48 healthy elderly
2 subjects, 64 to 82 years of age, compared to a group of
3 younger male subjects 19 to 40 years of age. As you can
4 see, the mean plasma concentration time curves in the two
5 groups were reasonably similar. However, there were some
6 minor changes.

7 Tmax was increased from about 45 minutes in the
8 young subjects to 60 minutes in the elderly subjects, and
9 Cmax was decreased by about 20 percent. Both of those
10 changes were statistically significant. AUC, the area
11 under the plasma concentration time curve, was increased
12 about 10 percent in the elderly. That difference was not
13 statistically significant. The upper bound of the 95
14 percent confidence intervals for the relative
15 bioavailability suggested that a 30 percent increase in AUC
16 was possible in the elderly. Half-lives were not different
17 in the two groups. Since all of these changes in the
18 pharmacokinetics of apomorphine are relatively small, no
19 dosage adjustment should be needed for Uprima in elderly
20 subjects.

21 The next slide summarizes some of the other
22 aspects of the pharmacokinetics of apomorphine. It has a
23 relatively large volume of distribution, suggesting
24 extensive distribution into the tissues. This is
25 consistent with its physical chemical properties as a

1 lipophilic basic compound.

2 Apomorphine is approximately 85 to 90 percent
3 bound to the plasma proteins. This is true over a wide
4 concentration range, far exceeding the therapeutic
5 concentrations. It is primarily bound to albumin, with
6 relatively little binding to alpha-1 acid glycoprotein.

7 There is minimal renal excretion of the parent
8 drug and the compound is rapidly cleared by hepatic
9 metabolism.

10 This slide illustrates the metabolic pathways
11 for apomorphine. The compound, after sublingual
12 administration, is predominantly metabolized by conjugation
13 with either glucuronic acid or sulfate. Together these two
14 pathways account for approximately 75 percent of the dose.
15 Sulfation appears to predominate over glucuronidation, and
16 apomorphine sulfate is the major metabolite found in the
17 plasma and in the urine. It is not expected that either of
18 these conjugates would have any pharmacological activity.

19 Apomorphine can also undergo N-demethylation,
20 leading to the formation of norapomorphine which can then
21 be conjugated with glucuronic acid or sulfation in a manner
22 analogous to apomorphine. In vitro binding studies have
23 suggested that norapomorphine has much lower affinity at
24 the dopamine receptors than apomorphine itself. Unlike the
25 catecholamines, methylation at the hydroxy groups is not a

1 significant pathway for apomorphine.

2 As you would expect, the formation of
3 norapomorphine is mediated by the cytochrome P450 system.
4 However, we must keep in mind that this is a relatively
5 minor metabolic pathway and accounts for only about 20
6 percent of the dose.

7 We did a series of in vitro studies to
8 characterize which of the cytochrome P450 isoforms were
9 involved in the metabolism of apomorphine. Several
10 isoforms can N-demethylate apomorphine, and the in vitro
11 studies suggested that 1A2, 3A, and 2C19 were probably the
12 principal isoforms involved in the N-demethylation of
13 apomorphine.

14 We also did studies to evaluate the potential
15 of apomorphine to inhibit the cytochrome P450 system, and
16 indeed apomorphine can inhibit 1A2, 3A, and 2D6, but this
17 inhibition was only seen at concentrations that were 1,000-
18 fold higher than the Cmax from Uprima. Overall, the
19 results of these in vitro studies combined with the
20 extensive conjugation of apomorphine would suggest that it
21 would be a very low potential for interactions of
22 apomorphine with the cytochrome P450 enzyme system.

23 We also did a couple of specific drug
24 interaction studies that Dr. Freston mentioned. Neither of
25 the two antiemetics studied, Zofran or Compazine, had any

1 effect on the pharmacokinetics of apomorphine. The ethanol
2 interaction studies will be discussed later by Dr. Fagan.

3 Finally, a very brief conclusion. After the
4 administration of Uprima, apomorphine is rapidly absorbed
5 and is also rapidly cleared from the plasma.

6 There is variability in the pharmacokinetics of
7 apomorphine, but the clinical relevance of that variability
8 can only be assessed through the safety and efficacy
9 studies, which will be discussed later in this
10 presentation.

11 No dosage adjustment is needed for the
12 administration of apomorphine in the elderly.

13 Apomorphine is primarily metabolized by
14 conjugation and has a relatively low potential for any
15 interactions with the cytochrome P450 system.

16 Thank you. Dr. Heaton will now continue our
17 discussion

18 DR. HEATON: Thank you. Good morning.

19 The current basis of management of erectile
20 dysfunction stresses the importance of individualization in
21 diagnosis and treatment. There is a significant imperative
22 to make consideration of the partner and the environment in
23 which the sexual interaction takes place. Management
24 includes, first of all, the importance of lifestyle
25 modification and education, and current treatment

1 emphasizes non-invasive therapies first -- and there is
2 only one oral agent available -- and also the importance of
3 patient and partner choice of therapy.

4 Uprima works by a central mechanism. It works
5 through known pathways. It is a dopaminergic agent
6 affecting serotonin and oxytocin, as well as nitric oxide
7 pathways, starting in the hypothalamus and, importantly,
8 progressing down the spinal cord where it induces normal
9 response in the peripheral mechanisms.

10 In a summary of efficacy, the major issue is
11 clinical considerations, and this extends further than mere
12 rigidity and erection. It extends to the necessity to
13 enable intercourse, to have a reasonable timing and onset
14 of action, and to comply with the requirement that this
15 must suit the choice of the couple and the physician.

16 Measurement of erectile dysfunction in clinical
17 trials is difficult because there is no standard physical
18 measurement. There is no accepted means of determining
19 etiology in most cases of patients. In the past we have
20 had the use of duplex ultrasound, pharmacotesting, and
21 Nocturnal Penile Tumescence, but these are not used
22 currently and routinely in clinical practice. We have,
23 however, in this series of studies made good use of
24 Nocturnal Penile Tumescence on recommendation of the
25 agency.

1 In the later studies here, we have employed the
2 International Index of Erectile Function, which you will
3 see as an acronym IIEF several times, and the Brief Sexual
4 Function Inventory, BSFI, which are validated clinical
5 trial instruments that were introduced after the beginning
6 of the Uprima program.

7 It's important to recognize that Uprima
8 endpoints were determined after each dose administration,
9 in other words, on every attempt, and these were evaluated
10 from the home-use questionnaires. Primarily the data
11 points looked at were the erection firm enough for
12 intercourse based on the patient response, the erection
13 firm enough for intercourse based on the partner response,
14 and the intercourse rate based on patient and/or partner
15 responses.

16 There's a significant advantage in using home-
17 use questionnaires versus a retrospective questionnaire, in
18 that this makes a direct assessment of efficacy at each
19 dosing attempt. It does not require the patient to recall
20 attempts after a 4-week period. It also does not involve
21 averaging the function over a 4-week period.

22 The issue of how representative this patient
23 population is can be examined by means of looking at the
24 inclusion criteria into the studies. Heterosexual males
25 aged 18 to 70 were admitted. An essential ingredient was

1 | the patient's partner consent and agreement to go through
2 | with the study, and the partner herself was studied in
3 | addition.

4 | The presence of erectile dysfunction was
5 | confirmed by the principal investigator and also was pinned
6 | by the ability to attain and maintain an erection firm
7 | enough for intercourse in more than 50 percent of attempts
8 | in the 3 months prior to study.

9 | There should be some documentation that a
10 | patient was physically capable of attaining some sort of
11 | erection, as documented by an ability to attain an erection
12 | sufficient for intercourse on some occasion during 3 months
13 | whether by masturbation, morning erection, or nocturnal
14 | erection.

15 | Nocturnal erections were tested with NPT
16 | testing, Nocturnal Penile Tumescence testing, having a
17 | threshold of only 55 percent rigidity on 1 of 2 nights for
18 | 10 minutes, which is significantly below that required for
19 | normal NPT performance.

20 | Patients were excluded if they had uncontrolled
21 | diseases.

22 | They should have clinically acceptable pre-
23 | study laboratory values, including hormonal values.

24 | Diabetic patients were explicitly included, but
25 | they should not have had diabetic instability as evidenced

1 | by serum glucoses above 250 or recent episodes of
2 | ketoacidosis.

3 | Similarly, hypertensive patients explicitly
4 | were included, as long as they had not had blood pressures
5 | over 180 or diastolics over 100.

6 | Smokers were included but only at a low rate of
7 | smoking because of the potential for smoking to mask the
8 | nausea adverse event.

9 | Patients were excluded with a history of
10 | allergic reaction to morphine, and they were also excluded
11 | if they had any history of pharmacotherapy concurrently or
12 | within 3 months prior to the study.

13 | The term "no major organic component" may be
14 | confusing, but this is what was used in the mid-1990's.
15 | We're more knowledgeable now, but explicitly this term was
16 | coined to exclude prostatectomies, spinal cord injury,
17 | Parkinson's disease, multiple sclerosis, where you know
18 | there's no chance of pharmacotherapy having a reasonable
19 | effect. And penile prosthesis and penile deformity for the
20 | same reason. Also, it is logical not to treat patients
21 | with end-stage and unstable disease, so these two were
22 | excluded from the trials.

23 | So, what kind of patient was admitted with
24 | these inclusion criteria? The patients were 55 years old.
25 | They had a weight of about 200 pounds, and they had had a

1 duration of erectile dysfunction of an average of 4.8
2 years. The racial split is shown for you there.

3 This is a representative patient population,
4 and we'll demonstrate, by looking at subgroups with organic
5 disease, baseline erectile dysfunction severity, RigiScan
6 values, and the duration of ED.

7 The major subgroups represented within these
8 trial patients included hypertension in 31 percent;
9 coronary artery disease, 16 percent; and diabetes and the
10 other listed organic diseases that were found to be
11 coexistent with the patient's erectile dysfunction.

12 If we look at the baseline severity based on a
13 psychometric scale, the IIEF, and look at all the phase III
14 studies and classify them according to a classification
15 system that has been published, patients were found to have
16 severe grades of ED in 39.3 percent, moderate in 35.4
17 percent, with a small minority having mild degrees of
18 erectile dysfunction.

19 How does this population admitted to the
20 studies compare with other studies both of drugs and in the
21 general population? In fact, we see that the duration of
22 erectile dysfunction is comparable to what has been seen in
23 other clinical studies. We see that the medical conditions
24 represented are almost exactly overlapping, whether you
25 look at a population study, the MMAS, or previous well-

1 | conducted clinical studies, the Viagra studies.

2 | This is a bar graph of the NPT data on
3 | admission to the study. The RAU units is a measure of how
4 | much erectile activity occurred, and we're looking at tip
5 | rigidity units here. In the light blue, normal subjects
6 | are shown with their average degrees of RAUs, and in the
7 | yellow, the Uprima subjects are shown. The Uprima subjects
8 | never achieved the same degree of RAUs as the average
9 | patients in the normal population, and if we look at a
10 | second cut of the similar data from the RigiScans, we find
11 | again that the Uprima patients have a significantly
12 | different profile of their rigidities at the time they're
13 | admitted to these studies. These are two different
14 | populations, in other words. The Uprima patients have
15 | significantly less rigidity than the normal populations.

16 | We would conclude, therefore, from looking at
17 | the patients admitted to these studies, that this is a
18 | representative population because it is reflective of the
19 | ED population as a whole. It includes both organic and
20 | non-organic co-morbidities. It's clearly defined and is
21 | relevant to clinical practice. It's consistent with well-
22 | conducted previous clinical studies. It's consistent with
23 | the MMAS. It included patients with varying degrees of
24 | severity. The RigiScans were clearly abnormal, and the
25 | patient population studied does support the proposed

1 indication.

2 Let's look at some of the efficacy endpoints.
3 Remember, this is applied on every attempt. The primary
4 endpoint is the answer to the question, did you attain and
5 maintain an erection firm enough for intercourse? The
6 major secondary endpoints include the percentage of
7 partners who answered yes to the same question, the percent
8 of patients and partners who respond yes to the question
9 about whether they were able to achieve intercourse, and
10 time to erection. Additional psychometric data is
11 available from the patient Brief Sexual Function Inventory
12 and the partner Brief Sexual Function Inventory, as well as
13 the patient IIEF.

14 These endpoints, therefore, are consistently
15 stated. They are clear, they are relevant to clinical
16 practice and human use, and they are rigorously applied.

17 We'll first look at the crossover studies in
18 regard to efficacy looking at the primary endpoint. Then
19 we'll look at some subgroup analyses and the validated
20 questionnaires.

21 This is a complex diagram, but it represents a
22 schematic of the crossover studies that were utilized in
23 the phase III Uprima studies. Patients were admitted at
24 baseline. They were randomized to one of assigned doses.
25 Within those assigned dose streams, halfway along they

1 | would crossover and take placebo or conversely take the
2 | drug. Every patient, therefore, was exposed to drug.
3 | Every patient was exposed to placebo. And each line of
4 | drug has its own placebo for direct comparison.

5 | This crossover design is important. It's a
6 | very rigorous design. It was suggested by the FDA and
7 | provides a very powerful tool. It allows patients to be
8 | their own control. All patients are exposed to study drug,
9 | and it's an appropriate design for stable chronic diseases.

10 | This is the first of a series of equivalent bar
11 | graphs that you're going to see, and I'll walk you through
12 | this slowly. This is the combined data from the phase III
13 | crossover studies. The percentage of yes responses to the
14 | question, is the erection firm enough for intercourse, is
15 | found in the y axis. The yellow bars are baseline scores
16 | at around 25 to 27 percent. The white bars are placebo
17 | scores at around 33, 32 percent, and with statistical
18 | significance at the p .001 level at both 2 milligrams and 4
19 | milligrams, 45.6 percent respond yes and 54.4 percent
20 | respond yes at 4 milligrams.

21 | If we look at perhaps the most important
22 | outcome for a patient, did the attempt actually result in
23 | intercourse, the numbers are equivalent. The baseline
24 | levels are there for you to see. A small increase in
25 | placebo and the Uprima effect is visible with clinical and

1 | statistical significance. We've not broken down these data
2 | into individual trials because the data are exactly
3 | representative across all the trials and correspond to the
4 | combined data.

5 | If we compare what this means in terms of
6 | intercourse rates with available published data in the oral
7 | field, we find that the levels of placebo response in the
8 | Uprima trials compare very equivalently with that seen in
9 | the Viagra trials. We see at 2 milligrams, the lowest dose
10 | of Uprima, we have a very comparable figure with what has
11 | been seen in the phase III studies published with Viagra.
12 | Similarly, at the higher applied-for dose, 51 percent of
13 | patients were able to achieve intercourse on a per-attempt
14 | basis. That's 51 percent of attempts would result in
15 | successful intercourse.

16 | What about the partner responses which were an
17 | essential and integral part of the Uprima studies from the
18 | very first? They are unique to the series of studies that
19 | have been done on Uprima. A particular scale was
20 | developed, the partner BSFI. This was utilized and
21 | validated within these studies. Obviously, partner consent
22 | and participation were required, and I've alluded to that.
23 | It stresses the point that all along this has been
24 | recognized as a couple's issue.

25 | The partner responded to the first data point

1 exactly similarly to the patients themselves, with a
2 baseline level that's flat across doses, a small increase
3 for placebo, and a statistically and clinically significant
4 improvement for both 2 and 4 milligrams.

5 If you ask about whether this attempt resulted
6 in intercourse, the patients respond in exactly the same
7 way. In fact, it is very important to note that there was
8 98 percent concordance between the patient's response and
9 the partner's response. They all agreed about what was
10 going on. Men did not lie about their response rates.

11 We can do many primary endpoint subgroup
12 analyses and we have some analyses available comparing
13 patients with substantial organic diseases as co-
14 morbidities and non-organic co-morbidities, hypertensive
15 patients, diabetic patients, patients with coronary artery
16 disease, patients who had also got benign prostatic
17 hyperplasia, patients who used alcohol, smokers, the older
18 groups, and with all degrees of ED severity. I'll show you
19 only a few of these because these data are substantially
20 overlapping.

21 This is the documented organic disease
22 subgroup, and if you will recall the numbers that I showed
23 you on a previous bar graph -- and recognize that the
24 baseline level is exactly equivalent -- the Uprima result
25 is just slightly reduced numerically but still has full

1 | statistical and clinical significance.

2 | Similarly in the subgroup of the older
3 | patients, those over the age of 65 with a slightly reduced
4 | n, they come in with an equivalent baseline level and they
5 | are able to have significant improvement in erections firm
6 | enough for intercourse.

7 | The severity of erectile dysfunction is an
8 | important issue, and analyses were performed in a number of
9 | ways to identify that Uprima does have good activity in all
10 | levels of severity. We studied severity cuts by IIEF
11 | criteria. We looked at patients who had had absolutely no
12 | success at having intercourse during baseline, and we also
13 | looked at the most severely abnormal RigiScans to see what
14 | their clinical responses were.

15 | This is the definition of severity based on the
16 | IIEF, and if we look at the most severe subgroup of this,
17 | those who had severe erectile dysfunction, which was fully
18 | 39 percent of the patients, baseline levels are as you
19 | would expect, extremely low, a small increase for placebo,
20 | statistical and clinical significance of both 2 and 4
21 | milligram levels with Uprima.

22 | Every patient was assessed for their baseline
23 | response, and some patients had absolutely no erectile
24 | ability during their baseline period. These are the
25 | patients we're showing here. So, you'll see no yellow bars

1 | because the number is 0. However, taking this most
2 | significantly incapable group, you can see that both 2 and
3 | 4 milligrams had a clinical and statistical improvement in
4 | erections.

5 | Taking a maximum tip RAU units on the RigiScan,
6 | less than 9.5 is a published way of identifying those with
7 | most severe degrees of erectile dysfunction using the
8 | RigiScan measure. This group of patients actually looks
9 | very similar to what we've seen before with statistical
10 | significance and clinical significance of both 2 and 4
11 | milligram levels for improvements with Uprima.

12 | We, therefore, feel that Uprima is demonstrated
13 | to be effective in patients with severe erectile
14 | dysfunction as evaluated by IIEF or baseline success rates
15 | or with those with profoundly abnormal NPTs. We've also
16 | shown that Uprima is effective in patients with mild or
17 | moderate ED.

18 | There are other endpoints and some of these are
19 | very important. We're going to look at some home-use
20 | endpoints and some validated questionnaires.

21 | The timing of erection is a critical factor in
22 | patients' appreciation of the treatment they're receiving.
23 | In this figure, which you may take a little while to
24 | digest, you'll find that Uprima acts in the same time frame
25 | as does placebo. The important thing is the erection is

1 firm enough for intercourse only 33.8 percent of the time
2 on placebo and it is effective 54.4 percent of the time on
3 4 milligrams of Uprima. This is a natural time course in
4 the context of the clinical trial, and these numbers are
5 not dose related.

6 The patients' assessment of the treatment
7 success was based on having success in more than 50 percent
8 of attempts, and by these criteria, both the 2 and 4
9 milligrams, as many as 60 percent of patients were deemed a
10 treatment success.

11 If we look at the percent of patients with mean
12 intercourse attempts achieving satisfaction over 3, which
13 is mostly satisfied, on their home diaries on a per attempt
14 basis and who had an improvement over baseline, we see
15 again an improvement that is clinical and relevant at both
16 2 and 4 milligrams.

17 If we look at the partners' response in exactly
18 the same context, the numbers are very similar.

19 And if we look at the 4-point improvement in
20 erectile function domain of the IIEF, which has been
21 regarded possibly as the most statistically evident way of
22 proving clinical validity, we see that the numbers are
23 exactly overlapping what we have seen almost in all other
24 methods of measurement and to an appropriate statistical
25 value.

1 So, the phase III crossover studies show
2 clinical significance at all dose strengths and in all
3 subgroups whether you look at patients with coexisting
4 organic disease or no evidence of coexisting organic
5 disease or the subgroups of hypertension and so on.

6 There is robustness of the Uprima efficacy
7 results demonstrated by the fact that this persists across
8 a variety of home-use efficacy endpoints and is confirmed
9 by the results of validated questionnaires.

10 There was an issue potentially about the
11 clinical relevance of 2 milligrams. This is statistically
12 superior compared with placebo in all phase III crossover
13 studies for the primary endpoint and virtually all
14 secondary endpoints.

15 It shows a 4-point improvement on the IIEF
16 erectile function domain in 45 percent compared to only 27
17 percent of placebo.

18 It's statistically significant compared with
19 placebo in patients with moderate to severe ED, as well as
20 patients with a variety of organic diseases.

21 And intercourse rates, most importantly,
22 increase from a placebo rate of 29 percent to 42 percent
23 for Uprima at 2 milligram, which is a 13 percent increase,
24 which compares favorably with the 16 percent increase seen
25 with Viagra at its lowest dose.

1 Let's briefly look at the efficacy in diabetic
2 patients. The diabetic patient subgroups were in a
3 separate trial, crossover design, similar to that which
4 you've seen previously, were studied at 4 and 5 milligrams.
5 This was, as a whole, a more severely affected group than
6 the previous combined studies, with 61 percent filling in
7 the severe erectile dysfunction category.

8 If you look at the 4 milligram and the combined
9 groups, both statistical and clinical significance is
10 obtained with the effect of Uprima, and it's interesting to
11 note that in this group of patients, the baseline level of
12 function for the erections firm enough for intercourse is
13 as you would expect, significantly lower.

14 If we look at the diabetics who took part in
15 the phase III crossover studies and look at them as a
16 subgroup, the efficacy of 2 milligrams and 4 milligrams is
17 displayed here, again in a similar pattern to that which
18 you've seen previously.

19 In conclusion, about efficacy in diabetic
20 patients, this is similar to the results seen in other
21 clinical studies where efficacy in diabetic patients is
22 lower than that seen in the general population. The
23 crossover study specifically suffered from a randomization
24 imbalance, but statistically significant results were noted
25 in the 4 milligram arm and both dosing groups combined from

1 the specific diabetes crossover study.

2 In the diabetic patients, who were naturally
3 enrolled in the phase III crossover studies, efficacy
4 improved approximately 10 to 20 percent over placebo in all
5 dose strengths.

6 There was one parallel design study that I
7 should report on here which is a slightly different
8 structure from that we've seen previously. Obviously, the
9 absence of crossover within each arm is there. So, there
10 was a fixed dose at 5 milligrams, 6 milligrams, and a
11 voluntary titration phase where patients were allowed to
12 adjust their dose upwards.

13 These are the data from this study and
14 obviously the subgroups will not have their own placebo
15 group. But the placebo and baseline for the study as a
16 whole is exactly as we have been seeing.

17 If we look at the dose optimization efficacy on
18 the primary efficacy outcome, which is erections firm
19 enough for intercourse, we see an overall of 53.9, and if
20 we truncate it to the applied for doses of 2 and 4
21 milligrams, the efficacy is seen at 47.6 percent.

22 If we take the partners' view of exactly the
23 same situation, the partners ratify that at 2 and 4
24 milligrams in the dose optimization structure, they're able
25 to obtain erections at 48 percent.

1 And if we look at whether the attempt resulted
2 in intercourse, this is inevitably a few percentage points
3 lower, but nonetheless the statistical and clinical
4 significance is fully maintained.

5 How about the long-term studies? An important
6 issue of discontinuations. Obviously, the long-term
7 studies were designed primarily to collect safety
8 information, and within these studies, a number of factors
9 clearly contributed to patient discontinuation such as lack
10 of efficacy, which we would expect, adverse events, which
11 we would expect. But there were the additional factors of
12 the approval of new compounds, the arrival of new compounds
13 on clinical prescription in the marketplace, and in
14 particular Muse and Viagra both came out during this
15 period.

16 These studies were long and had very stringent
17 patient requirements because, you remember, all these data
18 are acquired on a per-attempt diary completion, and there
19 were, in addition, competing investigational studies.

20 Nonetheless, this is the complete data set for
21 all doses for the 6-month progress, and you'll see that by
22 the end of 6 months, 83.5 percent of the time patients had
23 erections firm enough for intercourse. The n value has
24 decreased from 1,000 to 426.

25 This shows that in short-term studies we know

1 that treatment success can be achieved in 50 to 60 percent
2 of patients, and yet 80 percent of patients actually
3 entered into long-term studies. So, we're going to expect
4 a 20 to 30 percent dropout based on patients enrolled who
5 had no efficacy.

6 Also, dropout rates were significantly
7 influenced by adverse events, the approval of Viagra and
8 other competing trials, and the burden of patient
9 inconvenience associated with frequent visits. Despite
10 this, over 42 percent of patients reached the 6-month time
11 point in the long-term studies and demonstrated sustained
12 and reliable efficacy.

13 So, if we look at all doses and look only at
14 those patients arriving out at the last data point and
15 project back, what their level of success was is
16 demonstrated here. At the conclusion of the 6 months, they
17 are achieving reliable per-attempt erections firm enough
18 for intercourse at the 83.5 percent level. And if we limit
19 this to 2 and 4 milligrams, which are the applied-for
20 doses, this number shows that patients who are successful
21 on 2 and 4 will maintain a very satisfactory level of
22 function, around 88, 89 percent repeated attempts at
23 intercourse, having erections firm enough for intercourse,
24 on every occasion.

25 So, efficacy in the long-term studies

1 demonstrates that patients remain in long-term studies and
2 will have sustained and reliable responses with erections
3 in more than 80 percent of attempts.

4 Patients obtaining efficacy in long-term
5 studies are similar to all Uprima patients if you look at
6 their baseline success rates.

7 The overall efficacy conclusions can be stated
8 thus. The clinical trials included patients who are
9 representative of the general ED population. They were
10 similar to those done in community studies and similar to
11 those done in other clinical trials.

12 Uprima at 2 and 4 milligrams has been shown to
13 be statistically and clinically significantly better than
14 placebo in large scale controlled studies.

15 At 2 and 4 milligrams, Uprima has demonstrated
16 a clinically relevant improvement in IIEF erectile function
17 domain, which is a 4-point increase, in comparison with
18 placebo.

19 The Uprima partner efficacy data has been shown
20 to be almost identical to the patient efficacy data, with a
21 98 percent concordance.

22 And patients remaining in the long-term studies
23 have substantial and reliable responses with erections in
24 more than 80 percent of attempts.

25 It has been demonstrated that Uprima is

1 effective in all subsets of patients and they have been
2 identified to you before.

3 Uprima efficacy has also been demonstrated in
4 all severities of ED.

5 Uprima has been shown to have a rapid and
6 natural onset of action.

7 And it has been demonstrated to have
8 significance in all satisfaction and erectile function
9 indices in the psychometric scales that you have listed.

10 Thank you for your attention.

11 DR. AZZIZ: Let's go ahead and take 10 minutes
12 for questions.

13 DR. FRESTON: Mr. Chairman, I wonder if I could
14 make an explanation to the committee about a change in
15 plans. We have obviously deleted several slides from the
16 projector that are before you. We wanted you to have a
17 full set, but you can catch up to where the speaker is
18 simply by flicking forward.

19 DR. AZZIZ: Thank you.

20 Questions from the panel, please. Dr.
21 D'Agostino. And please identify yourself as you ask
22 questions.

23 DR. D'AGOSTINO: Ralph D'Agostino.

24 Can I ask a question about the subsets of
25 individuals, the diabetics, hypertensives, and so forth?

1 | Given the entry criteria of the NPT scale, you do get these
2 | individuals but you get them -- for example, the diabetics.
3 | Do you get them at a more favorable position? You put the
4 | Viagra data up there. I'm just trying to see how I should
5 | evaluate it. It's not diabetics as one would necessarily
6 | recruit in a study, but it's diabetics who have a favorable
7 | NPT score. Can you say something about that potential
8 | confounder in terms of interpreting some of these results?

9 | DR. FRESTON: I think the first point to make
10 | here is that we would not want you to compare our data
11 | against Viagra's in diabetics or anybody else. These are
12 | separate studies. That's just for your point of reference.
13 | We want you to compare the data versus placebo and
14 | baseline.

15 | DR. D'AGOSTINO: Well, but still in terms of
16 | trying to understand what these rates mean, you
17 | unfortunately gave me the Viagra comparison. But forget
18 | the Viagra comparison. In terms of am I trying to evaluate
19 | what's going on in these subsets and trying to interpret
20 | them, given this added feature of the entry criteria. Can
21 | you tell me something about how I should look at that data?

22 | DR. FRESTON: Yes. We'd like to call on Susan
23 | Buttler right there who can clarify that.

24 | MS. BUTTLER: Hi. I'm Susan Buttler from TAP.
25 | There might be some confusion that wasn't quite

1 conveyed to you in the 804 study which was our diabetes
2 study. The specific study done in diabetics did not have
3 NPT testing as a facet of it. It was not part of the
4 inclusion/exclusion criteria. So, hopefully that addresses
5 your concern.

6 DR. D'AGOSTINO: Well, I still have those
7 subsets in the other studies, and I'm just trying to get a
8 sense. I mean, it's going to lead, obviously, to the
9 safety question also, what are these individuals like and
10 what are you really measuring in terms of the ability of
11 the drug.

12 DR. FAGAN: The exclusion criteria excluded
13 people with systolics over 180 and diastolics over 100.
14 The diabetics were excluded with fasting glucose, I think,
15 over 240. So, only the most uncontrolled patients were
16 excluded, ones that you wouldn't want to clinically treat
17 with this drug anyway.

18 In addition, if you look at the 804 study at
19 the baseline success rate of about 10 percent, you know
20 that in fact you were getting patients with quite severe
21 erectile dysfunction and severe diabetes.

22 DR. FRESTON: Our calculations indicate that
23 our exclusion criteria excluded about 10 percent of
24 patients with hypertension who failed to meet these entry
25 criteria and about 5 percent of diabetics.

1 DR. GRABOYS: Yes, Graboys, Boston.

2 Two subsets that maybe you will be able to
3 expand upon. One is the African American population
4 because, as I saw the numbers, you're looking at a
5 relatively small subset. And the second is the elderly
6 patient population because in cardiology we're seeing a lot
7 of these folks come in who are 76, 75, 80, 82, 83, and if
8 you have any other information on that, I think it would be
9 helpful.

10 DR. FRESTON: Well, it's been particularly
11 difficult to recruit African Americans to ED studies.
12 We're not the only ones who have had trouble. We don't
13 understand it entirely, but it appears to be something
14 cultural within that population. We can't get them in. We
15 tried.

16 With respect to the elders, we had a cutoff
17 because in keeping with the development of most drugs, one
18 likes to span about 90 percent of the target population and
19 then later go and focus on other minorities, be they
20 children, not for this drug but for other drugs, or very
21 elders.

22 Now, the data we do have above age 60, for
23 example, shows enduring efficacy at that age and higher
24 versus the younger.

25 DR. GRABOYS: 65 to 70, right? Because the

1 cutoff was 70.

2 DR. FRESTON: Yes.

3 DR. FAGAN: When we get some of the safety data
4 in the specific interaction trials and patients on multiple
5 cardiovascular drugs with a mean age of 67, that will
6 probably be of some use.

7 DR. TIEFER: Dr. Tiefer.

8 Did you have any corroboration by the partner
9 about these inclusion criteria? For example, when you
10 required that a patient be able to have an erection
11 sufficient for intercourse during the preceding 3 months,
12 this was on the patient's report alone? And how often was
13 it just a morning erection or a masturbatory erection?

14 DR. HEATON: This was on the patient's report
15 and we noted in the studies, that there was extremely good
16 corroboration in the patients and the partners in all of
17 these studies. Susan Buttler has further information.

18 MS. BUTTLER: In all of our clinical trials, we
19 involve patients and partners, and partners were required
20 to come in not only for the informed consent process, but
21 also to address the issue that you're talking about,
22 whether or not the patient's data was corroborated. And it
23 was in their medical histories and the information that was
24 documented at the site.

25 In addition, if you look at the baseline

1 | performance of patients, we knew what our patients were at
2 | baseline both on what the patient said at baseline and the
3 | partner's data. We probably did point out to you, but I'll
4 | mention it again. 98 percent of the patients' data and
5 | partner data correlated very well, so it was a high rate of
6 | correlation.

7 | DR. TIEFER: Well, I understood that those were
8 | the data during the drug trial, but I wondered did you ask
9 | the partner about the preexisting situation and whether the
10 | patient was capable of erection, intercourse, masturbation,
11 | what, during the previous 3 months.

12 | MS. BUTTLER: The primary information would
13 | have come from the patients, but the patients and partners
14 | were required to be at the visit to be assessed to be
15 | included in the trial. Whether or not partners would have
16 | disputed the patient's information in front of their
17 | partner, I can't really expand on, but we did make every
18 | effort we could to corroborate that data.

19 | DR. KOWEY: I actually do not want to wander
20 | too far from my expertise, but I have a few questions about
21 | design.

22 | One problem I have -- and the agency brought up
23 | in the briefing booklet -- was that patients should have
24 | naturally been unblinded from their therapy. The question
25 | is, when you're using a subjective questionnaire, can you

1 | give us some idea of how much you thought there was
2 | unblinding in this study? I mean, from your perspective.
3 | There's no way to answer this question definitively,
4 | obviously, and I'm not sure there was unblinding. But it
5 | is an issue when you're using a drug that produces a fairly
6 | high incidence of nausea. So, could you answer?

7 | DR. FRESTON: Let me start on that first.
8 | First, it was a very low incidence of nausea. 98 percent
9 | of the patients at 2 milligrams had no nausea at all, and
10 | I'll show you those figures. So, nausea was usually not
11 | present.

12 | Moreover, we looked at the efficacy data in
13 | patients who experienced no nausea and it's the same as in
14 | those who experienced nausea. So, we don't think the
15 | presence of nausea, or any other effect, led to unblinding.

16 | DR. KOWEY: That's 2 percent that didn't get
17 | nausea? That's not what that says.

18 | DR. FRESTON: This excludes patients who had no
19 | nausea. So, we're just looking at the efficacy in patients
20 | who had no nausea, and you can see that it still stands up.

21 | DR. AZZIZ: Dr. D'Agostino?

22 | DR. D'AGOSTINO: I had a similar question. In
23 | terms of looking at the data, given the way you presented
24 | the data, I'm presuming that there was no interaction
25 | between the first and second period of the crossover. I'm

1 asking this, though I'm saying it in an affirmative way.
2 But then the results for the first period and second period
3 would be the same. So, even if the blinding was broken, it
4 didn't sort of carry itself into the second period from
5 people thinking they were on treatment, or now thinking
6 they might be on placebo. Is that all true?

7 DR. FRESTON: Anthony Edmonds, statistician,
8 will answer that right behind us.

9 MR. EDMONDS: I'm Anthony Edmonds from TAP.
10 Yes, we looked at sequence effects, and there
11 was no evidence of carryover effects in the studies.

12 We also did an analysis of the first period
13 only, if you would like to pull that slide. The results
14 from this analysis are very similar to what we've seen
15 before, so that even if there were a concern about this,
16 these data are very consistent with the data that we've
17 already presented to you overall.

18 DR. AZZIZ: Let's go ahead and continue so that
19 we can give the sponsor sufficient time to present. We
20 will have plenty of opportunity to ask questions, of
21 course, in our deliberation later on as well.

22 DR. FRESTON: Thank you, Mr. Chairman. Let me
23 now continue with the safety assessment.

24 In this safety assessment, I would like to
25 provide an overview of the treatment exposures, the

1 dimensions of the exposure, and then we'll go on to address
2 the overview of adverse events. We'll then concentrate on
3 syncope, and obviously we're going to spend the most time
4 in this very important area of hemodynamic effects. We'll
5 take up syncope as part of that and we'll show you the
6 results from some specialized prospective studies in
7 diabetics where we had them hooked up to monitors and
8 stressed them, and similarly with patients on
9 antihypertensives, nitrates, and those given Uprima with
10 alcohol. Then I'll come back to nausea and close with some
11 additional safety issues.

12 Now, let me remind you of the database from
13 which the safety assessment has been drawn. We have
14 information on over 3,000 patients at all doses. I'll show
15 you data at the 2 and 4 milligram dose drawn from just
16 under 2,000 patients. It's important to realize that in
17 this kind of treatment, every dose administration is a
18 separate treatment event with its own efficacy parameters
19 and its own potential for adverse reactions. Thus, we're
20 going to be talking about 75,000 treatment exposures at all
21 doses and 35,531 at 2 and 4 milligrams.

22 We have 461 patients who have been treated for
23 at least 6 months and 127 treated for at least a year.

24 This shows the age distribution, coming back to
25 your concern. Yes, there are not as many elders in there

1 as we'd like, but we have quite a few between 61 and 70.

2 Now, with respect to this population, I'll
3 remind you again that it's very similar to the Viagra
4 population and quite relevant to the ED population at
5 large.

6 Most of the patients in the Uprima trials were
7 taking other medications. In fact, 90.6 were taking other
8 medications, and I've shown the different classes, major
9 classes here. You can see that they are mostly drugs for
10 cardiovascular diseases.

11 This shows the list of the adverse events that
12 occurred in more than 5 percent of patients, more than 5
13 percent of patients, not more than 5 percent of dosage
14 administrations, which is another issue we'll come to. The
15 AEs in order are shown here.

16 The first point to make is that there is a
17 dose-response relationship. At the recommended doses, for
18 example, nausea, 15.5 percent of patients experience that,
19 and at higher than recommended doses, it was higher.

20 The second point I want to bring out is that
21 I've highlighted certain of these AEs because they tend to
22 track together. They, in fact, form a cluster of AEs which
23 serve as a useful prodrome for heralding the potential of
24 syncope, and we'll come back to that.

25 And we'll do it right now. Dr. Fagan.

1 DR. FAGAN: I'd like to address four of the
2 points raised by the agency.

3 First is syncope. Syncope is a sudden,
4 transient loss of consciousness with lost of postural tone
5 associated with spontaneous recovery as soon as the patient
6 is supine. Published estimates are that syncope may occur
7 in up to 40 percent of the population during their lifetime
8 from a variety of causes.

9 There are cardiogenic causes of syncope which
10 are primarily due to arrhythmias. We're more interested
11 here in non-cardiogenic. Most common to this is vasovagal.
12 Published estimates range from 50 to 80 percent of all
13 syncope. It's biphasic. There's an initial phase of
14 apprehension, anxiety, and increased heart rate, followed
15 by a vasodepressor phase, with decreased heart rate, blood
16 pressure, cardiac output leading to a faint or syncope.
17 There's usually prompt resolution when the patient is
18 supine. It's self-limiting, and usually accommodation
19 occurs so that a stimulus that may produce vasovagal
20 syncope one time usually doesn't. 60 to 85 percent of
21 people who have syncope never have another episode. There
22 are other types of noncardiogenic syncope, but they
23 represent a small percentage and aren't really relevant
24 here today.

25 Why do we think that the syncope with Uprima is

1 noncardiogenic? There are a number of reasons. It appears
2 to be vasovagal because of the timing and the pattern of
3 the syncope. There's a typical prodrome. There's an
4 absence of association with evidence of cardiovascular
5 disease, and we have data from Holter monitors.

6 We did a total of 1,702 Holter recordings
7 beginning before and continuing for several hours after the
8 doses of Uprima. This was in a total of 344 subjects and
9 patients. We included in this patients in the diabetic
10 study, patients in the nitrate and antihypertensive
11 interaction studies, and patients in the alcohol
12 interaction studies. Basically what we saw was a similar
13 incidence of arrhythmias in the patients when they received
14 Uprima compared to when they received placebo or prior to
15 receiving Uprima.

16 What's the overall syncope in the Uprima
17 studies? I'll make two points here. One is that it's
18 obviously dose related, going from .2 percent at 2
19 milligrams to 2.1 percent at 6 milligrams. There appears
20 to be some reduction when the dose is optimized, although
21 it certainly isn't eliminated.

22 We looked at a number of demographic
23 characteristics to try and see who might have syncope and
24 who might not. There were no associations with that long
25 list of concurrent medications that Dr. Freston showed you.

1 | There were prodromal symptoms. They're listed here, and
2 | any one or more of those is considered to represent the
3 | prodrome and would be things that you would warn the
4 | patient about, about possible impending syncope.

5 | How well does it work? Well, whether you look
6 | at all doses or just 2 and 4 milligrams, 85 percent of the
7 | patients of the syncopal episodes were associated with the
8 | prodrome at that administration. Whereas, with 2 and 4
9 | milligrams, less than 2 percent of administrations without
10 | syncope had the prodrome. So, about 85 percent had one or
11 | more prodromal symptoms and rarely did the prodromal
12 | symptoms come without syncope. So, it distinguishes quite
13 | well.

14 | Of the nearly 2,000 patients who received 2 and
15 | 4 doses of Uprima, we had 13 episodes of syncope. That's
16 | about 1 per every 2,700 doses. What does that mean? Well,
17 | let's say the average couple who chooses to use Uprima uses
18 | it twice a week. That's 100 times a year. So, that couple
19 | can expect 1 episode of syncope in 27 years.

20 | Now, if you go back to the development of this
21 | drug, the early syncopal events were unexpected, and
22 | because they were unexpected, there were some strong
23 | reactions on the part of the physicians. There were some
24 | interventions and there were two injuries associated with
25 | these syncopal episodes. However, since that time, with

1 education of the patients and the physicians basically
2 telling them to lie down if they have any of the prodrome,
3 there have been many fewer interventions and no serious
4 injuries. And there were no sequelae in the two injuries
5 that occurred early on.

6 The incidence of syncope is 0.6 percent of
7 patients having syncope at some time if the dose is
8 optimized to 4 milligrams. It's 1 in 2,700 doses at 2 or 4
9 milligrams. 85 percent of the patients will have the
10 prodrome which will warn them of the possibility of
11 syncope, and all of the syncopal episodes occurred within 1
12 hour of dosing. So, past that time, they should be
13 relatively safe.

14 In the context of the usage and instructions to
15 remain recumbent, we should have a further reduction in the
16 risk of syncope, and that's been evaluated in a large study
17 with nearly 1,000 patients that was submitted to the FDA
18 but has not yet had a chance to be fully evaluated.

19 Now, in conclusion, the syncope question. The
20 patients who have a syncopal event will have a vasovagal
21 prodrome to warn them. Most of them will be recumbent,
22 which means that they won't have syncope in the vast
23 majority of circumstances. They will be accompanied by a
24 sympathetic partner, and they're unlikely to be doing
25 anything dangerous like driving a car or operating heavy

1 machinery.

2 For context, let's think about a few other
3 marketed drugs that have the same or higher rates of
4 associated syncope, drugs that are used for non-life-
5 threatening situations: bupropion, used for depression and
6 smoking cessation; alpha blockers for BPH; and Muse for
7 erectile dysfunction.

8 How about hypotension? The mean maximum
9 decrease may not be familiar to everyone here. Basically
10 this is a way of looking at the worst case as far as
11 decreases in blood pressure. You take each individual
12 patient across multiple time points, find the one point
13 where they had the greatest decrease in pressure. You do
14 that for each patient and average those numbers. Obviously
15 then the averaged maximum decreases are going to be much
16 more than you'll see on the average at any point in time.

17 Placebo then will tell you what the random
18 variation of blood pressure is in the absence of any
19 pharmacologic intervention. Now, those of us who do a lot
20 of ambulatory blood pressure monitoring or have patients
21 who bring in lots of home blood pressures, know that
22 there's a great deal of variability throughout the day in
23 response to meals, anxiety, cold, smoking, and that this is
24 something that is really not any problem for the patient,
25 although many times they're surprised when they see how

1 much they vary.

2 This is the mean maximum decrease in blood
3 pressure from the third phase III crossover study looking
4 at supine and standing blood pressure and at the
5 recommended doses of 2 and 4 milligrams. There are no
6 statistically significant differences between placebo and
7 Uprima, and in fact, there are totally clinically
8 insignificant differences as well.

9 If we look over time with 2 milligrams, there's
10 no difference.

11 If we look at 4 milligrams, there's no
12 difference, supine or standing.

13 How does this look compared to Viagra? This is
14 as good as a comparison as we can do, but there are some
15 differences. The prime thing here is that we're looking at
16 2 hours spread out on this scale with Uprima, and the first
17 2 hours with Viagra just fall in here. Basically we see no
18 statistically significant decrease in these 150 or so
19 patients with 4 milligrams of Uprima, and numerically it's
20 only about 4 millimeters of mercury, whereas we have about
21 twice that decrease with the maximum recommended dose of
22 sildenafil.

23 How often did hypotension occur? You're going
24 to get a chance to see some of these episodes in detail.
25 They're quite infrequent at 2 milligrams, and when the dose

1 | was optimized as high as 5 milligrams, there were no
2 | events. When you optimize up to 6 milligrams, 2.5 percent
3 | of the time. None of these patients had injury. None had
4 | sequelae, and the only thing that they had to do was lie
5 | down for a period of time, which was variable.

6 | In addition, these events are essentially all
7 | vasovagal. It's not that this drug is a direct vasodilator
8 | and decreases everybody's pressure. In most people, it
9 | does absolutely nothing, and only the ones who have this
10 | vasovagal effect have a decrease in pressure. In fact, 52
11 | of the 53 who had hypotension had the prodrome. So, the
12 | prodrome predicts hypotension just as it predicts syncope.

13 | In summary, we didn't see anything at 2. We
14 | didn't see anything at 4. I didn't show you 5 milligrams
15 | in the interest of time. There were statistically but not
16 | clinically significant mean decreases. The decreases you
17 | see with the highest marketed dose of Viagra is about twice
18 | what you see in the supine position, both supine, with the
19 | highest proposed dose of Uprima.

20 | Less than 5 percent of patients reported
21 | hypotension overall, and 98 percent of them had a prodromal
22 | warning.

23 | What about safety in diabetics? These are
24 | patients who have high incidence of cardiovascular disease.
25 | It's just as likely that you'll have a myocardial

1 infarction if you have diabetes and no known coronary
2 disease as if you've already had a myocardial infarction.
3 We also know that their autonomic nervous systems are not
4 all that they might be in a younger, healthier patient, and
5 therefore, conceivably these patients could be at higher
6 risk.

7 But in point of fact, what do we see as far as
8 the mean maximum decrease in blood pressure with Uprima
9 compared to placebo? Absolutely no difference. As you'll
10 see later, this and other higher risk subgroups actually
11 have numerically less adverse events than younger,
12 healthier patients, and you'll see that in detail a little
13 bit later.

14 So, the adverse event profile, which I haven't
15 shown you, was very similar to what we see in all the phase
16 III trials in all the patients. Remember that this was
17 done at 4 and 5 milligrams, so half the patients were
18 higher than a recommended dose. When we looked at the
19 Holter monitors, we saw no abnormalities that could be
20 attributed to Uprima, and there were 3 of 205 patients who
21 had syncope.

22 With regard to nitrates, well, we all know that
23 in the field of ED that there is certainly concern with
24 nitrates and with other hemodynamic interactions. Because
25 of this, a trial was designed to look at pretty much the

1 | worst possible case. We took patients with significant
2 | coronary disease -- I'll show you some of the other things
3 | -- and then subjected them to a much worse situation than
4 | they might otherwise be subjected to clinically. So, at 5
5 | milligrams of Uprima, above the recommended dose, 162
6 | patients. We did our pressures with a Dinamap so we
7 | wouldn't miss any, if anything exciting happened. We did
8 | Holter monitors in all these patients and recorded adverse
9 | events.

10 | How was this tougher than the normal situation?
11 | It's a higher than recommended dose of Uprima. There were
12 | multiple concurrent drugs. 18 of the 20 patients on short-
13 | acting nitrates were on at least one other drug that could
14 | lower blood pressure and about 16 or 17 were on two or more
15 | drugs that could also lower blood pressure. In the long-
16 | acting nitrate group, it was similar. And then we made
17 | them stand up and down and stand up and down and up and
18 | down, which as we know being in the standing position is
19 | where you get the blood pressure drops, it's where you get
20 | the symptoms. And the treatment is to stay lying down.
21 | So, we did exactly what you should tell your patients not
22 | to do just to see how bad we could make it.

23 | They were an older group, average 67 years, a
24 | variety of other agents. Some of the short-acting patients
25 | were on not only antihypertensives but long-acting nitrates

1 at the same time, and they had just a few other things
2 wrong with them: hypertension, previous myocardial
3 infarctions, previous revascularization, stroke, diabetes,
4 congestive heart failure, and atrial fibrillation. So, I
5 would submit that these are about as sick a patient as you
6 want to prescribe a drug for ED.

7 Mean maximum decrease in pressure. Basically
8 the only place we see a statistically significant
9 difference is in the standing position with either the
10 short- or long-acting nitrates.

11 When we look at the time course, we of course
12 see this very nice response to sublingual nitroglycerin,
13 which is very obvious. There's no statistically greater
14 decrease in the combination of nitroglycerin and Uprima
15 which is shown in the magenta here. The nitroglycerin was
16 dosed at this point in time; Uprima was dosed here. So
17 that we could see the maximum pharmacodynamic effects based
18 on when we knew that the Cmax would coincide.

19 When we look at the long-acting nitrates, we
20 see that there is in fact some statistically significant
21 difference. If you remember how much variation we saw just
22 with placebo, about plus or minus 9 millimeters of mercury
23 from baseline in healthier patients, then you see that
24 that's about how much of a decrease, although obviously
25 this is systematic and not random, but it's within the

1 range that we often see clinically. There was a
2 significant decrease in diastolic, but it's a matter of
3 about 3 or 4 millimeters of mercury. And there's no
4 statistical or clinical decrease in blood pressure past 1
5 hour.

6 Now, how does that compare to Viagra? These
7 are obviously two different studies, and there are some
8 differences. In fact, this comparison is loaded in favor
9 of Viagra. How so? We have higher than a recommended dose
10 of Uprima. We have a mean middle recommended dose of
11 Viagra. These patients are standing. The Viagra patients
12 were sitting. The timing is a little bit different. You
13 just saw this. And we know that out here is where Uprima
14 was dosed, nitroglycerin here, and there's the decrease.
15 Viagra was dosed here, sublingual nitroglycerin here. You
16 see that the decrease due to nitroglycerin is pretty much
17 identical in the two studies, but there's a much greater
18 decrease in systolic pressure of about 27, 28, 30
19 millimeters of mercury with the combination of sublingual
20 nitroglycerin and Viagra.

21 The other thing you'll notice is that by 1
22 hour, the pressure with sublingual nitroglycerin -- this is
23 actually an hour and a half after Uprima -- that it's back
24 to baseline, whereas here we're still very much below
25 baseline and don't really seem to be increasing very fast,

1 | although we don't really have that data.

2 | If we look at diastolic blood pressure, we see
3 | exactly the same thing. No difference from placebo with
4 | Uprima. Big difference with Viagra. And a decrease about
5 | as 6 times as large with the combination there as with this
6 | combination.

7 | If we look at the long-acting nitrates --
8 | again, you've seen this graph before -- you know that a few
9 | of these points are statistically significant but they're
10 | not very large. You see that there's a much larger --
11 | nearly 45 millimeter mercury -- decrease when Viagra is
12 | given to patients receiving a long-acting nitrate. Here
13 | again, we're using a higher than recommended dose of Uprima
14 | and a median dose of Viagra. The doses of long-acting
15 | nitrates were not identical, but they were quite similar
16 | overall.

17 | If we look at diastolic blood pressure, we see
18 | exactly the same thing: 3 or 4 millimeters of mercury
19 | here, gone in an hour and a half; 23 millimeters of mercury
20 | here and still persisting after a couple hours.

21 | So, in conclusion, as far as nitrate and Uprima
22 | interaction, we had no syncopal events. We had no Holter
23 | changes that we could attribute to Uprima. We saw them on
24 | nitroglycerin alone. We saw them before Uprima, but
25 | nothing that was specifically with Uprima and not at other

1 times.

2 The blood pressure decreases with Viagra were
3 considerably larger and longer in duration than those with
4 Uprima.

5 The patients who did have clinically
6 significant decreases in blood pressure also had prodromal
7 symptoms. So, again, they would have been warned and, in
8 fact, had no syncope.

9 We believe that with adequate patient
10 instruction, basically reinforcing what you tell everyone,
11 that Uprima can be administered to patients taking nitrates
12 given some degree of clinical judgment.

13 Now, fully 30 percent of the ED population can
14 be expected to be taking antihypertensive drugs, and we can
15 think of certainly pharmacodynamic interactions. Drugs
16 that lower blood pressure, given together, usually lower it
17 further. So, the same trial included five different
18 classes of antihypertensives. I'm not going to show you
19 the time course because nothing happened. There's no
20 statistically or clinically significant differences here
21 except this statistically significant difference which is
22 because on placebo there was abnormally low variation in
23 that group.

24 So, in conclusion regarding the
25 antihypertensives, there's no clinically significant mean

1 | changes from baseline in blood pressure or heart rate with
2 | the five different classes. The adverse events, which I
3 | haven't shown you, were similar to other Uprima I, II, and
4 | III studies. There were no Holter monitor changes which we
5 | could attribute to Uprima except some that were
6 | attributable to a vasovagal effect, sinus pauses, rare
7 | instance, secondary heart block. Those were occasionally
8 | associated with adverse symptoms and sometimes were totally
9 | asymptomatic.

10 | Only 1 of the 122 patients had syncope. That
11 | patient was on a beta blocker. Again, that's not a higher
12 | incidence than we see with 5 milligrams in the other
13 | trials.

14 | What about alcohol? In an early phase III
15 | trial, there was a syncopal event in a patient who drank a
16 | whole lot. He liked lots of things, different kinds of
17 | hard liquor, beer, all at once, and because of this
18 | syncopal event, it was thought that it would be appropriate
19 | to conduct some alcohol interaction studies.

20 | In the first study, we used a relatively high
21 | dose of Uprima, 5 milligrams, and a relatively high dose of
22 | ethanol. This corresponds to about 4 to 6 ounces of 80
23 | proof hard liquor depending on body weight of the person.

24 | There were two serious adverse events. They
25 | were serious adverse events by virtue of being admitted to

1 the hospital. They were not serious because they had
2 infarctions or strokes or any sequelae, but they made that
3 definition because they were hospitalized.

4 But because of this, it was decided to start
5 out with a little lower dose of ethanol. So, 6 milligrams
6 Uprima, 0.1 gram per kilogram of ethanol. No SAEs. There
7 were 4 patients who had nausea and pallor and were given
8 oxygen as a precaution, but they were not hypoxemic.

9 Further trials were then conducted with 6
10 milligrams of Uprima and .3 and .6 grams of ethanol. I'm
11 going to skip the .3. But the design was exactly the same,
12 double-blind, randomized, all the good things. There were
13 three periods. In each period, the patient on all 3 days
14 got Uprima or a placebo tablet, and on the last day they
15 got either ethanol beverage or a placebo beverage.

16 Now, the other thing that's different about
17 this third day is that only on the third day, when they got
18 the combination, they also stood up and down, stood up and
19 down, and had bloods drawn. That didn't happen on days 1
20 and 2 when we were looking at Uprima alone. So, when we
21 see some increased adverse events, there's really a
22 confounder here.

23 Again, we wanted to see what was the worst
24 thing that could happen. So, we had a higher than
25 recommended dose of Uprima. We had a high dose of ethanol.

1 It was consumed within a short period of time. We stood
2 them up and laid them down and stood them up. We drew
3 blood frequently. Also we confirmed that the time of peak
4 alcohol concentration was also the time of peak apomorphine
5 concentration.

6 What do we see? We see that only in the
7 standing position do we see any differences between ethanol
8 alone and ethanol plus Uprima. Those of us who have dealt
9 with this know that ethanol in fact is a vasodilator and
10 does lower blood pressure to some extent.

11 This is the low dose study and I won't bother
12 with it.

13 With the higher dose study, we do have during
14 the first hour some decreases in blood pressure on Uprima
15 plus ethanol that are greater than ethanol alone or Uprima
16 alone. But again, this only extends out to the first hour.
17 It's relatively small decreases in pressure. Again, the
18 ones that really cause this decrease are the ones that have
19 vasovagal events and hypotension related to that. The ones
20 that don't have those events don't really decrease, except
21 of course, you can see that ethanol -- because this is the
22 two ethanol groups -- does lower blood pressure and that
23 does persist for some period of time.

24 If we look at diastolic blood pressures, we see
25 exactly the same thing. Supine we see nothing. Standing

1 we do see a few millimeters of mercury difference.

2 Adverse events. We could go into a lot of
3 detail here and you'll hear more in the FDA presentation.
4 It's true that the adverse events were higher with the
5 combination, but this was also the day that they didn't get
6 to just lie supine the whole time. They had to be stood up
7 frequently and they got needles stuck in their arms
8 frequently.

9 So, what were the instructions to the patients
10 in the clinical trials? The instructions were what you see
11 here. They should limit themselves -- it says minimal.
12 I'm not sure that is minimal, but this is the amount they
13 were told to limit themselves to during the 6 hours prior
14 to study medication, not prior to 6 hours, but during that
15 6 hours.

16 What do we see if we look at patients in the
17 crossover trials who have 1 or more drinks a day versus
18 people who don't drink at all? Is there a difference in
19 adverse events? No.

20 So, in conclusion, the subjects were stressed
21 with high doses of Uprima, high doses of alcohol, standing
22 up and down, and getting stuck with needles. There were
23 mean decreases in standing blood pressure greater with
24 Uprima plus ethanol than ethanol alone during the first 60
25 minutes, but not beyond that. There was an increased

1 incidence of adverse events. There were some probably
2 clinically insignificant changes in pharmacokinetic
3 parameters, and when we look at the phase II and III
4 trials, we don't see any differences in adverse events,
5 including syncope.

6 There were no Holter monitor changes due to
7 Uprima except some that were due to vasovagal effects.
8 They went along with the symptoms, and there were no
9 increased vasovagal changes with the combination compared
10 to Uprima alone. So, that didn't appear to be enhanced by
11 adding the alcohol at all.

12 Now, Dr. Freston is going to wrap it up.

13 DR. FRESTON: Thank you, Dr. Fagan. We're just
14 going to discuss a few extra AE considerations, adverse
15 event considerations, and then summarize.

16 This shows the frequency of AEs shown along the
17 left again as a function of dose, 2, 4, 5, and 6
18 milligrams. Again, I'll draw your attention to the fact
19 that nausea was the most common AE and there was a dose
20 response for it and virtually all of the other AEs, which
21 of course is the main reason why we're recommending 2 and 4
22 milligrams, particularly with dose titration.

23 About nausea, we had the opportunity, after
24 each dosing, to ask patients if they experienced nausea and
25 we did. If they did, we asked them to grade it mild,

1 moderate, or severe.

2 We draw your attention to the 2 milligram
3 dosing. Nausea was very uncommon, occurring in only about
4 2 percent of all patients treated at that dose. If we look
5 at the patients who experienced severe nausea, there were
6 none in the 2 milligram dose.

7 Let's look now at the 4 milligram dose. Again,
8 80 percent of the patients had no nausea at all. If we
9 concentrate on those having severe nausea, it turns out to
10 be 0.2 percent.

11 If we analyze the data with respect to what are
12 the chances of having nausea with any given treatment
13 episode, I remind you that there are about 35,000 treatment
14 episodes at the 2 and 4 milligrams. The incidence of
15 nausea per administration was 2.2. Using the theme that
16 Dr. Fagan just sounded about what does this mean in the
17 real world of 2 treatments per week for a year, that means
18 that there would be about 2 episodes of nausea in a year at
19 the 2 and 4 milligram dose and they would be mild.
20 Incidentally, we did the same analysis for vomiting. The
21 incidence there is .2 percent. In other words, there might
22 be 1 episode of vomiting in 5 years.

23 Now, the effect of nausea also wore off with
24 the repeated administrations. We can see that here and in
25 other ways. This shows the incidence of nausea with the

1 first dose and with successive doses. We've included only
2 those patients who took at least 8 doses or had at least 8
3 treatment episodes. So, you can see after the first couple
4 of episodes, the chance of having nausea on any successive
5 dose is well under 3 percent.

6 So, to summarize the nausea, there was no
7 impact on efficacy. We looked at the data in patients with
8 nausea and those without. It was the same. We showed you
9 those data earlier. It was mostly mild. There was
10 infrequent antiemetic use. I've provided that in your
11 handout. It's not in the slide to save time. Very few
12 patients were discontinued because of this, and the
13 incidence declines with continued use.

14 Now, the FDA briefing document addressed
15 patients and described them in detail who had suffered
16 adverse events, and they were described whether or not they
17 related to the drug, unrelated, or due in fact to placebo.
18 They were all described there. For the most part, they
19 involve syncope and hypotension, and Dr. Fagan has already
20 dealt with those.

21 I would like now to briefly address the serious
22 adverse events, the SAEs, and the reasons for premature
23 termination.

24 I'd like to clear up a misunderstanding. In
25 your briefing document and I believe on one of the slides

1 that I saw from the agency this morning, it says that the
2 definition of SAEs was changed during the trials. That's
3 not in fact true, and we apologize for that
4 misunderstanding. This is the definition of AEs that was
5 used by TAP throughout all of the Uprima trials. It
6 includes all of these facets and conforms to the FDA and
7 ICH SAE definition.

8 What happened was in some of the reports and
9 summaries, certain parts of this definition were not
10 mentioned, but I can assure you that all of the SAEs were,
11 in fact, reported to the agency.

12 Now, what were they? Well, the agency briefing
13 document described 49 patients with SAEs. However, a
14 number of these were described twice and even three times.
15 If we back those out of the calculation, we find that there
16 were 30 patients who may have had SAEs. The agency felt
17 that 21 of these were possibly related to the drug. The
18 investigators themselves thought just 15 were, leaving 6
19 unresolved, and I'd like to just run through those very
20 briefly so that you can understand the nature of these
21 disputed cases.

22 The first is a 59-year-old man who had taken 4
23 doses at 2 milligrams. One day after taking his fourth
24 dose, he suffered an MI for which he was hospitalized. The
25 investigator felt that since this drug was long gone from

1 his body and he had an MI a day later, that that was
2 unrelated to the drug. We agree.

3 The next case was a 68-year-old man who had
4 taken 10 doses of 2 milligrams. 12 to 18 hours later, he
5 developed unstable angina, was hospitalized, worked up,
6 treated appropriately. He had had angina for some time,
7 and in fact had had an MI 8 months before. He completed
8 the study and in fact took another dose. The investigator
9 felt that that was unrelated. We agree.

10 The next case is a 59-year-old who, after his
11 second dose -- and we're not sure when he took his second
12 dose. It seemed to have been sometime within the previous
13 3 days or so, but we're not positive. In any case, the
14 patient was a passenger in an automobile that was involved
15 in an accident, and he was banged up by the accident and
16 discontinued from the study because of the disability
17 related to the accident, not of course to Uprima.

18 A 56-year-old person had a 4 milligram dose, 4
19 days later developed a classical case of viral
20 gastroenteritis. It was treated appropriately.

21 A 51-year-old person after the ninth dose, 4
22 and a half hours, developed lightheadedness, nausea in the
23 context of a febrile illness and an episode of bigeminy.
24 That patient was hospitalized. He had previous episodes of
25 bigeminy before, and the febrile illness resolved. And the

1 | investigator felt that that was unrelated to the drug.

2 | And finally, a 49-year-old person who had taken
3 | 15 milligrams at the 5 milligram dose had diaphoresis,
4 | dizziness, nausea, and syncope 90 minutes after taking the
5 | dose. He was taken to the hospital, in fact had
6 | hypoglycemia for completely inexplicable reasons. Haven't
7 | seen it before, haven't seen it since.

8 | Now, these are the cases then that we described
9 | in the NDA. There are 15 cases of serious adverse events
10 | conforming to that specific definition that I set out.
11 | Three of those occurred at the 2 and 4 milligram dose, and
12 | all of those were the syncope cases. You can see that the
13 | remaining 12 occurred at the above recommended doses.

14 | Incidentally, for your reference, there were
15 | also a lot more SAEs that were reported that were not
16 | associated with the drug.

17 | Turning now to the final issue of premature
18 | terminations from the study, this shows the reasons why
19 | patients discontinued prematurely. The reasons are listed
20 | along the left. You can see that there was no single
21 | overwhelming reason for discontinuing. In fact, the cases
22 | were distributed among all of these different reasons,
23 | including adverse events, noncompliance, lack of efficacy,
24 | patient request, and partner request, and so on.

25 | Let me draw your attention here. There was one

1 discontinuation due to an adverse event at the 2 milligram
2 dose, that is 1 percent. There are four cases. And 5
3 percent of the whole were discontinued because of an
4 adverse event in the 4 milligram dose. So, these were
5 unusual at the recommended doses.

6 We're now looking at the discontinuations for
7 adverse events specifically to see what the causes were.
8 Nausea was the most common AE, obviously. You can see that
9 very few patients were discontinued because of nausea.
10 Obviously, very few in addition were discontinued because
11 of syncope.

12 Now, we looked at subsets of all the patients,
13 the special populations with respect to their AE profile,
14 and we found no differences between them.

15 We also looked at subgroups in detail
16 particularly hypertension, diabetes, and patients with
17 coronary artery disease. Now, this is a little bit
18 complicated, but it's a very important slide so I'd like to
19 walk you through it.

20 We show the AEs down the left. Here we show
21 the patients with hypertension and compared against those
22 without hypertension. So, let's just focus on that for a
23 moment. We show the n's and the percentages. You can see
24 that those with hypertension didn't have more nausea than
25 those without. And that holds as we go right down the

1 column.

2 Going now to diabetes, those with diabetes had
3 11.7 percent nausea. Those without had 15.9 percent.

4 Coronary artery disease. Those with CAD, 15
5 percent had nausea, 15.4 percent did not.

6 In other words, we consistently don't see any
7 more AEs in these special groups and numerically sometimes
8 less.

9 Now, we have tried our best to address the
10 issues raised by the agency in which they requested your
11 special attention. I am now going to skip forward. I've
12 provided in your slide booklet a summary of each issue. We
13 have covered all of them, but they're there for your
14 reference so you can get to them quickly during your
15 discussion period. They are set out like this. Each issue
16 is followed by a one-page summary of what we've presented
17 to you today, and it goes right on through the efficacy in
18 diabetics, the key points, why patients were discontinued
19 in long-term studies. The hemodynamic data are summarized
20 for you there for your convenience. The nitrate
21 interaction data, the summaries are there for you, and
22 finally the information you need about the alcohol
23 interaction story.

24 So, Mr. Chairman, ladies and gentlemen, I'd
25 just like to summarize.

1 Erectile dysfunction is a common condition with
2 multiple etiologies and important health consequences
3 according to the NIH. It's associated with a number of
4 diseases and conditions, as we have described.

5 Drugs with different modes of action are useful
6 in this situation to deal with different mechanisms of
7 action. Current therapies are obviously limited. There's
8 no single drug that works for all patients. Each drug has
9 its own unique adverse event profile and there's no ideal
10 treatment for any patient. And all of the drugs work by a
11 peripheral mechanism.

12 Treatment is strongly influenced by couple and
13 physician choices.

14 So, new drugs with a different mechanism of
15 action ought to be of potential benefit in this setting.
16 Uprima does, in fact, act through a unique central
17 mechanism. Its efficacy has been evaluated using
18 consistent and relevant endpoints after each attempt, plus
19 unique supporting partner data and utilization of RigiScan
20 data.

21 The Uprima trials represent, therefore, a
22 significant advance in the state of the art of ED clinical
23 trials. The efficacy of Uprima 2 and 4 milligrams was
24 demonstrated in all the studies with all endpoints in
25 patients with all of those different concomitant diseases

1 and in patients with no known organic disease.

2 Both patient population and successful
3 intercourse rates were similar to those seen in the Viagra
4 trials.

5 The safety of Uprima has been evaluated in 27
6 studies involving over 3,000 patients and over 75,000
7 doses. The duration of treatment has exceeded 1 year in
8 127 patients, and 461 have been treated beyond 6 months at
9 the time of the NDA. Of course, we have much more data
10 now.

11 The AE profile was similar in patients with all
12 of these concomitant diseases.

13 Uprima can be taken with alcohol, provided
14 patients don't exceed the recommended levels. Uprima can
15 be taken with nitrates, using the recommended patient
16 instructions.

17 There were no pharmacologic interactions
18 between Uprima and five different classes of
19 antihypertensive drugs.

20 There were no deaths or major illnesses like
21 MIs or CVAs.

22 Nausea was the most frequent adverse event
23 occurring in 15.5 percent of patients at the 2 and 4
24 milligram dose and in just 2.2 percent of treatment
25 administrations. Accommodation occurred to nausea.

1 Syncope occurred in 0.8 percent of patients at
2 2 and 4 milligrams and only 0.4 percent of treatment
3 administrations and was minimized by titration of dose.
4 The syncope was nearly always associated with a prodrome or
5 early warning system.

6 In conclusion, Mr. Chairman, ladies and
7 gentlemen, Uprima is a safe and effective treatment for ED
8 in patients with and without known organic disease.

9 With respect to risk-benefit, at the 2
10 milligram dose adverse events were in fact rare and
11 efficacy was demonstrated in all phase III studies. At the
12 4 milligram dose, also there were few adverse events, and
13 the efficacy was particularly robust. And there were no
14 deaths, MIs, CVAs. Therefore, the risk-benefit is clearly
15 in favor of Uprima.

16 Uprima is a useful and needed addition to the
17 treatment of ED because it has a unique central mechanism
18 of action, a novel delivery system, given sublingually,
19 which allows it to work rapidly and consistently in about a
20 half an hour.

21 Patients, couples, and physicians will have
22 another choice of a safe and effective noninvasive drug
23 with a different mechanism of action.

24 Thank you.

25 DR. AZZIZ: Thank you very much.

1 Since we are a little bit past time, I'd like
2 to hold questions until we return, and then we'll have the
3 FDA staff presentation. Let's take a break. Thank you.
4 Let's just make it 15 minutes.

5 (Recess.)

6 DR. AZZIZ: I'd like to restart.

7 A point of information. We're simply going to
8 move up the lunch time by 30 minutes, meaning we'll have
9 lunch starting at 12:30 or as soon as there's time, and
10 then reconvene at 1:30. So, we'll still have one hour for
11 lunch.

12 Before we begin, Dr. Raczkowski would like to
13 make a few comments.

14 DR. RACZKOWSKI: Good afternoon. I'm Dr.
15 Victor Raczkowski from the FDA.

16 Both Dr. Freston and Dr. Fagan in their
17 presentations made a number of direct comparisons of Uprima
18 with other agents for erectile dysfunction. They made
19 these comparisons numerically in terms of both safety and
20 efficacy.

21 FDA believes that such data are very difficult
22 to interpret because no direct comparison trials have been
23 performed between Uprima and other agents. There's the
24 potential that different patient populations have been
25 studied, and oftentimes these were based on small studies.

1 So, what we are asking the advisory committee
2 to do today is to focus on the safety and efficacy data
3 that is before you for Uprima on its own merits. You will
4 notice that none of the FDA slides or any of the FDA
5 questions have references to comparisons with other
6 treatments for erectile dysfunction.

7 DR. AZZIZ: Thank you.

8 I think we're going to start out with Dr.
9 Shames.

10 DR. SHAMES: Welcome to the first meeting of
11 the Urologic Subcommittee. Thank you for the work you have
12 already done and perhaps the more difficult work you're
13 about to do.

14 My name is Dan Shames. I'm the team leader for
15 Urologic Drugs in the Division of Reproductive and Urologic
16 Drug Products.

17 During my brief presentation, I will mention
18 the FDA presentations you will hear this morning. I will
19 make a few remarks regarding the etiology, diagnosis, and
20 treatment of ED, and I will then offer you six points to
21 consider while listening to the FDA presentations.

22 The FDA presentations and presenters are Dr.
23 Jarugula, who will discuss pharmacokinetics and drug
24 alcohol interactions. He is from the Clinical
25 Pharmaceuticals and Clinical Pharmacology Section.

1 Dr. Hirsch, who is a urologic medical officer,
2 will discuss clinical safety and efficacy.

3 Dr. Mann will discuss drug-antihypertensive
4 interactions and also summarize.

5 Historically the etiology of erectile
6 dysfunction has been classified as either psychogenic or
7 organic. We now recognize that this classification system
8 is oversimplified and that many patients have a combination
9 of psychogenic and organic factors to explain their
10 erectile dysfunction.

11 The Nocturnal Penile Tumescence test, or NPT,
12 evaluates erections that occur during REM sleep. This
13 test, although somewhat controversial, has been used to
14 assure that no major end organ or penile pathology exists
15 which may prevent development of a normal erection. It is
16 thought that men who have erectile dysfunction from a
17 variety of causes, such as peripheral vascular disease,
18 will have diminished nocturnal penile tumescence activity.

19 In the majorities of the studies submitted by
20 the study sponsor, a normal NPT or at least erectile
21 activity and therefore the potential for normal erections
22 was required for study entry. The men with erectile
23 dysfunction entering into the study were thought by the
24 study sponsor to have no major end organ disease and no
25 major organic etiologic component of their erectile

1 dysfunction. This subpopulation of ED patients with normal
2 NPTs does not represent the general ED population.

3 Dr. Heaton did an excellent job of reviewing ED
4 in general. I just would like to reiterate at this point,
5 we have two products on the market, intracorporeal,
6 intraurethral treatment, and in 1998 an oral treatment was
7 approved.

8 I would now like to place for your
9 consideration six points to use while you're reviewing our
10 presentations.

11 The first point to consider relates to the
12 select population studied in these trials. Patients with
13 no major organic component who were in generally good
14 health were included. Patients were excluded from the
15 trials if they had any significant medical conditions that
16 could adversely affect their health. These patients in
17 most of the trials had NPTs which demonstrated erections.
18 They must have had erections within the last 3 months, and
19 they must have had up to 50 percent successes during the
20 baseline period.

21 You should next consider the interaction with
22 alcohol and apomorphine. Apomorphine has been used as a
23 behavior altering agent in alcoholics most likely due to
24 its emetic properties. Patients in these trials were
25 cautioned to limit alcohol intake "to a minimum" for the 6

1 | hours prior to dosing. In addition, you should pay
2 | attention to the alcohol interaction remarks that will be
3 | made by Dr. Jarugula.

4 | The next point we would like you to consider
5 | is, are these trials appropriate to predict real-life
6 | simulation? We know that clinical trials never simulate
7 | real life, but we believe that the design of the trials
8 | here performed may have resulted in an underestimation of
9 | the adverse events that would be observed in the general ED
10 | population.

11 | The treatment periods were generally 1 month in
12 | duration, which is a relatively short period in which
13 | patients were exposed to the drug. The first dose and
14 | increases in doses were administered in the office. This
15 | meant that there was opportunity to treat adverse events,
16 | perhaps preventing more serious consequences. Remember,
17 | the sponsor proposes at-home dosing if Uprima is approved
18 | for marketing.

19 | Food intake was restricted in the 1 hour right
20 | before dosing. No large meals were allowed during that
21 | period of time. This may have reduced the incidence of
22 | nausea and vomiting.

23 | Alcohol intake was restricted, as mentioned, to
24 | a minimum within 6 hours of dosing.

25 | Various inclusion and exclusion criteria may

1 have defined a healthier subpopulation compared to the
2 general ED population. This might indicate that a larger
3 proportion of adverse events would be expected from the
4 post-marketing population compared to the clinical trial
5 population.

6 3 milligrams was not studied in these trials.
7 Therefore, you must conclude that both 2 and 4 milligrams
8 are safe and effective to allow marketing of all three
9 doses as proposed by the sponsor.

10 5 and 6 milligrams were dropped from
11 development by the sponsor because of a "less acceptable
12 risk-benefit profile." The highest dose that the sponsor
13 wants to market is 4 milligrams, and as you will hear from
14 Dr. Jarugula, Uprima has wide pharmacokinetic variability
15 causing concern about the risk-benefit profile of 4
16 milligrams in some patients.

17 Please evaluate whether statistical differences
18 expressed for efficacy parameters will translate into
19 important meaningful differences for patients.

20 Please evaluate the clinical relevance of the
21 effect size. For example, if the proportion of patients
22 that reach an endpoint is 32 percent in the placebo arm and
23 46 percent in the Uprima arm, the effect size, or the
24 improvement due to Uprima, is a difference between the two,
25 or 12 percent.

1 How well do patients accept Uprima doses over
2 longer-term periods? In longer trials, what proportion of
3 patients remain at 2 milligrams when given the choice of
4 dose? And how many patients discontinued treatment at
5 higher doses?

6 The sponsor proposes to market this drug to all
7 ED patients, yet study primarily patients with ED of
8 nonorganic etiology. The sponsor, however, did a moderate
9 sized trial in patients with diabetes. Please pay
10 particular attention to the effect size in this trial.

11 This particular issue was mentioned by Dr.
12 Freston, and I'm going to proceed to explain the issue
13 because this is what had to deal with in the NDA and we can
14 sort it out perhaps in the discussion period.

15 The definition of serious adverse events, it
16 appeared, was changed in mid-development of the drug. The
17 more inclusive definition was used for the first 20 percent
18 of the patients and includes the phrase that is highlighted
19 in yellow. To paraphrase, a serious adverse event is "any
20 untoward medical occurrence that results in death, is life-
21 threatening, requires hospitalization or prolongation of
22 hospitalization, results in persistent disability and
23 incapacity, or events that require intervention to prevent
24 impairment or damage." The less inclusive definition,
25 which removed the "intervention" phrase, was used, as far

1 as we can determine in 80 percent of the patients studied.

2 Because of the change, we believe there would
3 be consequences as to how events were reported. For
4 example, a patient that experienced hypotension and
5 bradycardia in the physician's office required treatment
6 with IV fluids and oxygen and then recovered was not
7 considered to have experienced a serious adverse event if
8 the yellow phrase was removed.

9 The FDA calculated both syncope and reports of
10 hypotension as important adverse events, and for this
11 reason, some figures regarding proportion of important
12 adverse events in various trials and at various doses may
13 differ between FDA and the sponsor.

14 Regarding reports of hypotension in the NDA,
15 the FDA found that there were approximately 140 patients
16 who had hypotension reported as adverse events in the total
17 patient database of 3,035. Some were not included as
18 serious adverse events. Some were not included in the main
19 body of the report but were found in the appendices.

20 Regarding reports of hypotension in the
21 sponsor's briefing document, which you received, the FDA
22 calculated that only 2 of the 140 patients who had
23 hypotension reported adverse events were mentioned in the
24 sponsor's briefing document sent to the committee. These
25 two cases were defined by the sponsor as serious adverse

1 events.

2 As mentioned, the FDA considers hypotension
3 important in the evaluation of the risk-benefit profile of
4 Uprima. Our analysis of syncope and hypotension will be
5 shown to the committee during our presentations.

6 Finally, we would like to ask you to hold all
7 questions until the end of all of our presentations. Now
8 Dr. Jarugula will discuss the pharmacokinetics and alcohol
9 interaction.

10 DR. JARUGULA: Thank you, Dr. Shames.

11 I'm Venkateswar Jarugula, pharmacokinetic
12 reviewer with the Division of Reproductive and Urologic
13 Drug Products.

14 Now I am going to present on pharmacokinetics
15 and drug-alcohol interactions of Uprima. Over the next 20
16 minutes, I'm going to briefly discuss the general
17 pharmacokinetic features of Uprima, the pharmacokinetics of
18 Uprima in special populations, particularly in subjects
19 with hepatic or renal impairment, and then I'll discuss a
20 little bit about pharmacokinetic variability observed in
21 one of the phase I trials for Uprima. Then I will discuss
22 in detail the pharmacodynamic aspects of the drug-alcohol
23 interaction studies that were submitted in the NDA.
24 Finally, I will summarize my comments.

25 The general pharmacokinetics of the drug.

1 Apomorphine is rapidly absorbed from Uprima tablets
2 following sublingual administration, with maximum plasma
3 concentrations occurring in about 40 to 60 minutes, and it
4 is rapidly cleared from the circulation with a terminal
5 phase half-life of about 2 to 3 hours.

6 Apomorphine is extensively metabolized by liver
7 mainly via glucuronidation and sulfation. Apomorphine
8 sulfate is the major metabolite that is found in plasma.
9 This metabolite is a conjugate and it is not believed to be
10 pharmacologically active.

11 Following radiolabeled administration,
12 apomorphine accounted for only less than 1 percent of the
13 total radioactivity circulating in plasma, indicating again
14 the extensive metabolism of this drug.

15 Pharmacokinetics of Uprima was investigated in
16 subjects with hepatic impairment or renal impairment. The
17 subjects were classified as mild, moderate, or severe
18 hepatic impairment based on their scores, and subjects with
19 renal impairment were classified as mild, moderate, or
20 severe based on their serum creatinine clearance values.

21 As we can see here, in subjects with hepatic
22 impairment, there was a significant increase in mean peak
23 plasma levels, the Cmax, and also a significant increase in
24 area under the plasma concentration curve, which is a
25 measure of the systemic exposure of the drug.

1 In subjects with renal impairment, although
2 there was no significant change in the Cmax, there was
3 about 52 to 67 percent increase in the area under the
4 plasma concentration curve in moderate to severely renally
5 impaired patients.

6 This slide summarizes the PK variability noted
7 for two PK parameters, the Cmax and the AUC, from a single-
8 dose crossover PK study. This study looked at doses 2
9 milligrams, 4, 5, and 6 milligrams in a crossover fashion.
10 Listed here are the range of values that are observed for
11 Cmax and AUC. As one can note, the range of values for
12 these PK parameters, particularly for Cmax, are quite
13 overlapping at the higher doses, 4, 5, and 6 milligrams.
14 As was mentioned before by the sponsor, the percent
15 variability for Cmax ranged from 40 to 80 percent in this
16 study. The range of variability that was noted for the
17 Cmax here makes it particularly difficult to distinguish
18 doses of Uprima that are so close together, 4, 5, and 6
19 milligrams.

20 The pharmacokinetic and pharmacodynamic
21 correlation of Uprima. Based on the analysis of data from
22 the phase I studies from which the data blood levels were
23 available, no significant correlation between the Cmax,
24 AUC, and blood pressure changes was noted. However, the
25 significant adverse events, such as syncope and

1 | hypotension, occurred in the phase I studies usually at the
2 | time of maximum plasma concentrations, and these events
3 | occurred in the subjects when they had Cmax values that
4 | were relatively higher than their group averages,
5 | indicating that the Cmax may be an important PK parameter.

6 | The safety may be difficult to predict based on
7 | the dose of Uprima due to the variability that was noted in
8 | Cmax, as was shown in the previous slide.

9 | Now I will turn my attention to the alcohol
10 | interaction studies. Four alcohol-drug interaction studies
11 | were conducted and were submitted in the NDA. These
12 | studies have looked at the interaction of Uprima at 5 or 6
13 | milligram doses and alcohol doses ranging from .15 grams
14 | per kilogram to .6 grams per kilogram.

15 | Among these studies, study M97-762 looked at
16 | the interaction of Uprima at a low dose of alcohol, .15
17 | gram per kilogram, and this study did not reveal any
18 | significant pharmacodynamic or pharmacokinetic interaction.

19 | In all of the studies, there was no significant
20 | pharmacokinetic interaction noted except for the fact that
21 | at the highest alcohol dose, there was about a 23 percent
22 | increase in mean Cmax values.

23 | Let me briefly explain how Uprima and alcohol
24 | were dosed in these studies, except for the low-dose
25 | alcohol study. Just to give an idea, .15 gram per kilogram

1 alcohol dose is approximately equivalent to 1 ounce of
2 vodka based on a 70 kilogram body weight. Alcohol was
3 administered as vodka diluted in 450 ml orange juice and it
4 was ingested over a 30-minute period, and then Uprima was
5 administered 1 hour after start of the alcohol ingestion.

6 While this may be a feasible method of dosing
7 of alcohol to look at the interaction, it should be noted
8 that in real life the timing of Uprima dosing in relation
9 to the alcohol consumption or the amount of alcohol
10 consumed by the patients may be variable. It should also
11 be noted that phase III clinical trials restricted alcohol
12 intake to a minimum for 6 hours prior to drug
13 administration.

14 Study M97-745 looked at the interaction between
15 5 milligram Uprima and .6 grams per kilogram alcohol dose,
16 the highest alcohol dose studied in these studies. This
17 study was terminated due to significant adverse events
18 noted in two of the subjects that participated in the
19 study. There were no definite conclusions because of the
20 premature termination of the study and because none of the
21 subjects received both alcohol and placebo beverage.

22 This slide summarizes the significant adverse
23 events that led to the premature termination of the study.
24 There were 2 subjects who experienced these events.

25 One subject, after experiencing significant

1 vomiting and diaphoresis, lost consciousness for 1 minute
2 at approximately 40 minutes after dosing with 5 milligram
3 Uprima. This subject did not receive any ethanol as per
4 the protocol. He had hypotension. His blood pressure was
5 71/37 and his pulse was 41. He was administered with IV
6 fluids, oxygen, and followed by .5 milligram atropine.

7 A second subject experienced hypotension. His
8 blood pressure was 55/38 at 30 minutes following Uprima
9 dosing. This subject also received ethanol prior to Uprima
10 dosing. He was found to have the second highest Cmax of
11 Uprima and also the highest ethanol level in his group,
12 indicating that there could be a pharmacodynamic
13 interaction between Uprima and alcohol.

14 Additionally, 2 other subjects also experienced
15 prolonged hypotension in this study.

16 Study M98-838 looked at the interaction between
17 6 milligram Uprima and .3 gram per kilogram alcohol. .3
18 gram per kilogram alcohol dose is approximately equivalent
19 to 2 ounces of vodka in this study.

20 Shown here are the mean maximum drop in blood
21 pressure from baseline following each treatment, Uprima
22 alone, ethanol alone, and Uprima plus ethanol combination.
23 As can be noted here, the mean maximum drops in standing
24 systolic and standing diastolic for the combination Uprima
25 and ethanol were higher than either Uprima alone or ethanol

1 | alone, with the difference with the ethanol arm being
2 | statistically significantly different from the combination
3 | arm.

4 | Similarly, there were higher orthostatic
5 | changes both in systolic and diastolic for the combination,
6 | the difference with the ethanol being statistically
7 | significant here.

8 | This slide summarizes the incidence of
9 | treatment emergent adverse events that are
10 | pharmacologically related to apomorphine. As can be noted
11 | here, the combination of Uprima and ethanol resulted in a
12 | higher incidence of adverse events when compared to Uprima
13 | alone or ethanol alone. Particularly the incidence of
14 | dizziness, vomiting, and hypotension are increased twofold
15 | in the combination when compared to the Uprima arm alone.

16 | To summarize the effects of Uprima with alcohol
17 | at .3 gram per kilogram dose, which is equivalent to 2
18 | shots, 2 ounces, of vodka, there is a trend toward a
19 | greater drop in blood pressure with the combination. There
20 | was also higher incidence of abnormally low blood pressure
21 | values and there was also a greater sedative effect with
22 | the combination. The results of these two bullets are not
23 | shown here. However, the results were included in the
24 | briefing package for the committee.

25 | As mentioned earlier, an increase in the

1 incidence of adverse events was also noted for the
2 combination at this dose of alcohol.

3 Study 98-891 looked at the interaction between
4 6 milligram Uprima and .6 gram per kilogram alcohol dose,
5 which is equivalent to 4 ounces of vodka, again based on a
6 70 kilogram body weight.

7 The mean maximum drops in blood pressure from
8 baseline are shown here. The mean maximum drops in
9 standing diastolic and supine systolic are significantly
10 different with standing diastolic being statistically
11 significantly different from Uprima alone or ethanol alone.
12 And that for supine systolic is only statistically
13 significant from Uprima alone.

14 This slide summarizes the incidence of
15 treatment emergent adverse events. Again, the combination
16 of Uprima and ethanol resulted in a much higher incidence
17 of adverse events compared to Uprima alone or ethanol
18 alone. Particularly the incidence of dizziness, pallor,
19 and hypotension are about two- to threefold higher in the
20 combination arm.

21 Shown here is the incidence of abnormally low
22 blood pressure values. Abnormally low blood pressure
23 values are defined as less than 80 millimeters mercury for
24 systolic and less than 40 millimeters mercury for
25 diastolic. As can be seen here, the combination of the