

1 | beers, two glasses of wine, or one shot of hard liquor, and
2 | obviously, just as in the real world, there were people in
3 | these trials who did that. When you look at the alcohol
4 | users versus the non-users in the crossover trials, there
5 | really was no difference in adverse events.

6 | Again, in the interaction trials, we got back
7 | up to the same dose of alcohol that that very first trial
8 | was, with an even higher dose of Uprima. The very first
9 | trial was 5 milligrams of Uprima, .6 grams per kilogram of
10 | alcohol. The 891 study was 6 milligrams of Uprima and .6
11 | grams per kilogram of alcohol.

12 | DR. AZZIZ: But that study was discontinued,
13 | was it not?

14 | DR. FAGAN: No, no. The one that was
15 | discontinued was 5 milligrams and 6 grams per kilogram of
16 | alcohol.

17 | DR. AZZIZ: The first one.

18 | DR. FAGAN: Right. The final trial was 6 --
19 | not 5, but 6 -- milligrams of Uprima and .6 grams per
20 | kilogram of alcohol. Same dose.

21 | Again, we were making these people stand up
22 | repeatedly when the advice to these people is don't stand
23 | up in the clinical situation.

24 | DR. AZZIZ: And what were the data for that
25 | study where they stood up and all this kind of stuff?

1 DR. FAGAN: That's coming.

2 But again, in their use at home, the alcohol
3 users were no different.

4 Yes, when they had them together, everything
5 was more common with the combination. There's no doubt
6 about that. But again, remember, on the day that we were
7 standing them up and sticking needles in them, that did not
8 happen on the days when they got Uprima alone. And when
9 you analyze that, you can see that that is a portion of the
10 increase. Certainly a portion of the increase is related
11 to the alcohol. Maybe we'll teach people not to drink when
12 they take Uprima.

13 DR. AZZIZ: Yes, but they're supposed to be
14 having sex.

15 DR. TIEFER: Thank you, Dr. Azziz.

16 DR. AZZIZ: They're going to be doing sort of
17 up and down and things like that. So, I'm sorry.

18 (Laughter.)

19 DR. AZZIZ: This data is probably accurate for
20 somebody who does drink four vodkas and takes Uprima.

21 DR. FAGAN: And stands up and down and gets
22 needles stuck in them.

23 DR. AZZIZ: Or has sex, yes.

24 DR. TIEFER: And moves, tends to move.

25 DR. AZZIZ: Thank you. Thank you very much.

1 That's good.

2 Any other questions in this regard?

3 DR. LIPPERT: If you look at their purported
4 instructions to patients at the end of the red book, the
5 last page, what they're actually recommending is that
6 Uprima can be taken following moderate alcohol ingestion.
7 I guess if we're going to talk about labeling in the 4
8 milligram dose, I do have some concerns.

9 I think the most common drug that will be used
10 with this is not Viagra, but is alcohol. Just as alcohol
11 alters one's perception of what is moderate, once you start
12 drinking, maybe that will change. Those who drive may not
13 make good decisions. I just think that the labeling should
14 show that there is a greater risk than they would suggest
15 with alcohol ingestion.

16 DR. AZZIZ: Any other comments before we vote
17 on question 2 and then elaborate on our labeling
18 recommendations?

19 (No response.)

20 DR. AZZIZ: Let's go ahead and vote then.
21 Again, the vote is do the data presented support an
22 acceptable risk-benefit profile for the 2 milligram dose of
23 Uprima, and then we'll elaborate.

24 DR. JACOBS: Jacobs, yes.

25 DR. O'LEARY: O'Leary, yes.

1 DR. DONATUCCI: Donatucci, yes.

2 DR. LIPPERT: Lippert, yes.

3 DR. CALIFF: I'm going to abstain until the 4
4 milligram dose discussion because I see the two as linked.

5 DR. AZZIZ: Azziz, yes.

6 DR. KOWEY: I'm going to do the same thing Rob
7 did, abstain till we hear the 4 milligram discussion.

8 DR. D'AGOSTINO: D'Agostino, yes.

9 DR. AZZIZ: Dr. Graboys I think had to leave.
10 So, we have one abstention.

11 MS. SCOTT: Scott. I'm going to abstain until
12 I hear the 4 milligram.

13 DR. TIEFER: Tiefer, no.

14 DR. GREENE: Greene, yes.

15 DR. HANNO: Hanno. I'm going abstain till the
16 4 milligram.

17 DR. AZZIZ: I'm not sure that abstention was
18 actually an option. This is a new thing. I'd rather have
19 the committee members not go abstaining because we do need
20 to give an answer, a yes or a no, for this.

21 DR. KOWEY: We will give you an answer.

22 DR. HANNO: If you're saying on its own --

23 DR. AZZIZ: On its own.

24 DR. HANNO: If we want to do the 4 milligram
25 dose by itself, then I would probably say no on its own, if

1 | we weren't considering any other dose.

2 | DR. AZZIZ: I think we need to have a little
3 | clarification here. All of a sudden, this run of
4 | abstentions bothers me. Mary?

5 | DR. MANN: I think we do wish for you to look
6 | at the two doses individually in part because, as we
7 | pointed out, the approvability of the 3 milligram dose is
8 | certainly contingent on each of these doses individually
9 | being found to be safe and effective. I can understand the
10 | committee wanting to have more discussion on the total
11 | risks and benefits of the 4 milligram dose perhaps before
12 | answering the 2, although we do ask, as you answer the 2
13 | milligram dose, specifically just deal with the data
14 | relevant to that particular dose.

15 | DR. KOWEY: Two comments, though, Mary. One is
16 | I don't know how in the hell we're going to approve a 3
17 | milligram dose just off the bat because we have nothing to
18 | see for 3 milligrams.

19 | DR. MANN: Absolutely, and we wish to clarify
20 | that. From our standpoint, both the 2 and 4 would have to
21 | have acceptable risk-benefit profiles. If they were both
22 | found to be acceptable, then the 3 would be implicitly
23 | approved based on the dose responsiveness noted and the
24 | dose proportionality noted.

25 | DR. KOWEY: That's up to you, but if it were up

1 to me, I would say no because there's probably going to be
2 an intermediate risk at 3 milligrams that I don't what that
3 risk is. So, I couldn't approve a 3 milligram without
4 knowing what that risk was, number one.

5 Number two, to approve a 2 milligram dose
6 without a 4 milligram dose is a bit of absurdity because
7 nobody really envisions too many people staying at 2
8 milligrams. You would never market 2 milligrams without
9 having 4 milligrams.

10 DR. MANN: Right. I think part of the
11 confusion is arising from the need for dose titration,
12 which a lot of you are focusing on starting with 2 and
13 working your way up to 4.

14 For this particular question, we ask that you
15 solely address the data that you've seen with the 2
16 milligram dose alone and say do you think the risk-benefit
17 profile for that data is acceptable or not. We understand
18 that when you get to the 4 milligram dose, you're going to
19 be talking about the dose titration aspects, as well as the
20 4 milligram data. Maybe that will make it clearer.

21 So, for this particular question, we are asking
22 not the role of the 2 milligram dose as part of dose
23 titration. We are asking you to address the safety and the
24 efficacy data for the 2 milligram dose given as itself.

25 Does that help?

1 DR. AZZIZ: I want to remind the committee
2 again we are simply advisory positions. The FDA makes
3 their own administrative decisions after this. All we're
4 here is to say yea or nay for the 2, yea or nay for the 4.
5 If that appears to be yea for both, then maybe the 3
6 milligram dose, but that's not going to be up to us.

7 So, I'd like to ask, Marianne, do you want to
8 revote? Like I said, you did not get people's
9 understanding, and I'd like to go back.

10 DR. MANN: Yes, and I think if we all clarify,
11 as we address this question, we are not talking about the
12 approvability of the 2 milligram dose as part of a dose
13 titration scheme up to 4. We are talking solely about the
14 risk-benefit profile of the 2 milligram dose alone.

15 DR. AZZIZ: Questions?

16 DR. DONATUCCI: Yes. I just have a point of
17 order. If we're going to revote this whole question, is it
18 possible to go to question 3 first? This is just in order.
19 Does it matter?

20 DR. MANN: It's up to the Chair. I think we're
21 okay with that.

22 DR. AZZIZ: No. I want to stay with this
23 question. We've just discussed the 2 milligram dose.
24 Let's vote on the 2 milligram dose and move on. Thank you.

25 Dr. Jacobs, your vote.

1 DR. JACOBS: Yes.

2 DR. AZZIZ: Dr. O'Leary.

3 DR. O'LEARY: Yes.

4 DR. AZZIZ: Dr. Donatucci.

5 DR. DONATUCCI: Yes.

6 DR. LIPPERT: Lippert, yes.

7 DR. CALIFF: I guess if somebody wanted to
8 bring forward a 2 milligram dose alone, I'd have to vote
9 yes. Yes.

10 DR. AZZIZ: Azziz, yes.

11 DR. KOWEY: Yes.

12 DR. D'AGOSTINO: D'Agostino, yes.

13 MS. SCOTT: Scott, yes.

14 DR. TIEFER: Tiefer, no.

15 DR. GREENE: Greene, yes.

16 DR. HANNO: Hanno, no.

17 DR. AZZIZ: Thank you.

18 The yeas have them. Please let's elaborate and
19 give some labeling recommendations and other concerns that
20 we have to the agency so they can utilize this later on.
21 Any comments for starters?

22 DR. JACOBS: It's unproven for use in people
23 with no erectile function, MS, spinal cord injury, and
24 Parkinson's disease, and it should be used with minimal
25 alcohol intake.

1 DR. AZZIZ: I'd like to stress in the labeling
2 I think it's minimizing the interaction with alcohol in
3 both the patient instructions and the potential labeling.
4 It has to be very clear that this should be no more than
5 one drink or two drinks. Moderate drinking for my patients
6 often means a six-pack a night, only a six-pack.

7 (Laughter.)

8 DR. AZZIZ: So, that is of no help. That has
9 to be very clear, and potentially in a block in the label,
10 in a separate block.

11 Dr. Donatucci.

12 DR. DONATUCCI: I'd just like to say I think
13 it's also important to, in block labels, mention the
14 syncopal aspects of the drug. It's not certainly the only
15 drug we use for this condition that does that. Already
16 when we treat patients with other agents, we have to
17 discuss those questions with the patient. But I think it's
18 important that that be emphasized and physician education.

19 DR. MANN: Can I just clarify? When you say
20 putting this in a separate block, are you implying a boxed
21 warning, a warning with a box around it? I just wanted to
22 get clarity there.

23 DR. AZZIZ: Yes. I would prefer a warning with
24 a box because very often neither prescribing physicians nor
25 patients ever look at this, and it's only the boxes that

1 they look at.

2 DR. MANN: So, for both alcohol and I heard a
3 recommendation for syncopal events.

4 DR. AZZIZ: That's our recommendation.
5 Correct.

6 Dr. Greene.

7 DR. GREENE: I was going to, along those lines,
8 suggest, given some of the vignettes, in that boxed label,
9 with respect to syncope specifically, saying something
10 about driving a car or operating machinery, that sort of
11 thing.

12 DR. AZZIZ: I thought you meant the
13 descriptions of the patients.

14 (Laughter.)

15 DR. AZZIZ: Dr. Califf.

16 DR. CALIFF: I think this will be even more
17 pertinent if the 4 milligram dose is approvable. Several
18 drugs have recently been approved that have required a
19 patient leaflet which goes beyond the label. Typically I
20 find that less than 1 percent of physicians have seen a
21 label in the last year. So, I think it's highly unlikely
22 that putting anything in the label is going to make any
23 difference. In fact, the cisapride experience pointed that
24 out. Even writing letters to physicians is not going to
25 change the information that's passed on to patients. So,

1 I'd like to see it go a step beyond, as we'll get into in
2 the 4 milligram discussions.

3 This drug is clearly going to kill some people
4 and it's going to be most likely be people who have severe
5 coronary disease and have hypotension and syncope. I think
6 it's really incumbent upon us to try to figure out what to
7 do about the risk that's going to be unleashed at any dose.

8 DR. KOWEY: I guess I'm the token EP person who
9 takes care of syncope day in and day out. For those people
10 on the committee that don't see a lot of patients with
11 syncope, it's a devastating disease. It's an absolutely
12 devastating disease. We haven't gotten to the 4 milligram
13 discussion. I voted yes for the 2 milligrams because we're
14 dealing with a relatively low incidence. The incidence is
15 much higher at 4 milligrams, and that's why I'm sort of
16 reserving a lot of my comments, as Rob just said, for the
17 subsequent discussion of 4 milligrams. Because I'm sitting
18 here silently doesn't mean that I'm taking this lightly.

19 Rob is right. There will be some people who
20 will probably lose their lives because they'll pass out at
21 the top of a flight of stairs or they'll be operating a car
22 at the time that it happens. So, it's a very, very serious
23 adverse event.

24 I also want to just say that having known that
25 there was syncope present in a substantial portion of this

1 patient population, I'm flabbergasted that there was no
2 attempt in the clinical trials to define hypotension. I
3 mean, how could we have an incidence of syncope this high
4 and then let the investigators tell you when they had
5 hypotension? I think that's close to being irresponsible.
6 I think that there should have been protocol-defined
7 endpoints to define serious hypotension when you have a
8 drug that causes syncope because of a vasodilator response.
9 I'm really unhappy about this because we're now left with
10 this free-floating anxiety about hypotension without being
11 able to really nail down the number.

12 DR. AZZIZ: If you can respond please, make it
13 brief --

14 DR. FAGAN: I showed you a trial with 450
15 patients that had blood pressures measured at frequent
16 intervals.

17 DR. KOWEY: No, that's what I said. Let me
18 clarify exactly what I said. There clearly were patients
19 in your clinical trials who had SAEs that were hypotension.
20 When you have a drug that causes syncope, prospectively you
21 can't not define what a serious adverse event is for
22 hypotension. You can't say to the investigator you figure
23 it out, tell me when you think somebody got hypotensive.
24 You need to tell the investigator, when the blood pressure
25 hits this number, you got to register that as an SAE so we

1 can quantitate it.

2 Clearly some of the cases that Mark presented
3 that were not coded as syncope were coded as hypotension
4 were as serious as the syncope. I think Mark said that
5 during his presentation. So, we're going to have a very
6 difficult time now with this because we don't have that
7 quantification.

8 That's different than measuring blood pressure.
9 The vast majority of people in your studies didn't get
10 hypotensive. I agree with you. You're right, but there
11 were some people who became not only a little hypotensive,
12 they became very hypotensive and some became syncopal, and
13 it's a continuum. We don't know those numbers because they
14 weren't prospectively defined, and it's a problem for us.

15 DR. FAGAN: We have it in 450 patients and most
16 of the doses of the 75,000 doses were taken at home. So,
17 you can't really get blood pressures there.

18 DR. AZZIZ: Thank you.

19 DR. RACZKOWSKI: Dr. Azziz?

20 DR. AZZIZ: Yes.

21 DR. RACZKOWSKI: I just want to follow up a
22 little bit on what Dr. Califf said, and I'm interested in
23 getting some additional advice from the committee on this.
24 We've had a number of drugs recently withdrawn from the
25 market despite labeling changes and despite black boxes.

1 | Dr. Califf mentioned cisapride. There has also been Durac.
2 | There's been Posicor. I wonder what recommendations, that
3 | you could consider in your deliberations, the committee
4 | would have in terms of trying to ensure that labeling
5 | recommendations are actually followed.

6 | DR. AZZIZ: Are actually followed? What do you
7 | mean, they're actually followed?

8 | DR. RACZKOWSKI: Well, if we put a black box in
9 | saying that you should use minimal alcohol, how do we
10 | ensure that that will have an actual impact on patient
11 | care?

12 | DR. AZZIZ: Thank you. When you said actually
13 | followed, I thought the sponsor printing it. Okay.

14 | I think we will because that involves education
15 | and other programs, and we'll do that.

16 | Dr. Califf.

17 | DR. CALIFF: I was just going to say I don't
18 | think any of us actually know empirically now what does
19 | work. The sponsors obviously will write down what you tell
20 | them to write down. That's almost 100 percent. Physicians
21 | reading what's written down or following the instructions
22 | -- there's actually no empirical base of research that I
23 | know that tells us what does work. But at least I'm
24 | enamored now with patient activation as a concept,
25 | requiring that when the thing is dispensed, that the

1 patient actually get a readable document that says, here's
2 what you need to be worried about. It ought to at least
3 have some impact. I'm not sure it will, but it might.

4 DR. AZZIZ: Dr. O'Leary.

5 DR. O'LEARY: I'm just trying to think of other
6 instances of this in urology. We know that when Viagra was
7 approved, it was very clear that it was contraindicated in
8 association with nitrates. That was very clear. I think
9 every urologist knew it, and we told patients that if they
10 took Viagra with nitrates, they would die. And that was
11 basically what I told patients. Now, that didn't stop some
12 people from doing it, and I had a number of patients who
13 lied to me about whether or not they were on nitrates. And
14 then I found out subsequently from their pharmacist who
15 called me and said you just wrote a prescription for
16 someone who's on nitrates. So, I don't think we can
17 prevent patients from doing what they will do.

18 But I think proper labeling and education of
19 the physicians who are prescribing it -- I don't know. Let
20 me ask some of the other urologists here who routinely
21 write for this drug.

22 DR. LIPPERT: When Viagra first came out, I was
23 very disappointed that there was no patient handout. I had
24 to write my own. There was one eventually that came out.
25 I'm pleased there actually is a template here for a patient

1 | handout. Whether or not it will actually be available at
2 | the time the drug is approved, I don't know. With Viagra,
3 | everybody gets a handout, everybody gets a lecture. I
4 | think this is got to be the same for this drug.

5 | DR. GREENE: Ricardo, if I may.

6 | DR. AZZIZ: Yes.

7 | DR. GREENE: There are two models out there for
8 | drugs that have a very high risk associated with their use
9 | where the companies have gone to extreme measures to advise
10 | potential users of those risks, and those are Accutane and
11 | thalidomide. Both of those drugs are prescribable.
12 | They're prescribable, in the case of Accutane, by any
13 | physician. In the case of thalidomide, there is a very
14 | extensive program of not only patient education, but also
15 | the doctors who prescribe it have to register with the
16 | company, and the pharmacists who dispense it have to
17 | register with the company. Now, that is a model that would
18 | be very, very difficult to implement for many drugs, but at
19 | least there are those two models out there, the Accutane
20 | model and the thalidomide model, where drugs have
21 | substantial risks associated with their use in the wrong
22 | setting, that the companies have been fairly successful in
23 | preventing rampant misuse, let's put it that way.

24 | DR. AZZIZ: Before we go on to try to describe
25 | some of these things, which I think all of us will have

1 | ideas for the FDA, I'd like just to remind you that we do
2 | need to have the third question answered because,
3 | obviously, that will really determine where we're going.
4 | So, let's move on to number 3, and then following that, we
5 | can elaborate as much as we'd like because that is what
6 | we're paid highly to be here to do.

7 | (Laughter.)

8 | DR. AZZIZ: So, do the data presented support
9 | an acceptable risk-benefit profile for the 4 milligram dose
10 | of Uprima? That is the question on the table now.

11 | Comments about specifically this before we
12 | vote. Dr. Califf.

13 | DR. CALIFF: I guess my opening feeling is that
14 | the studies have met the specific criteria that I would
15 | regard as being acceptable. That is there's a clinical
16 | benefit defined in a tangible way that we can believe in.
17 | It's highly statistically significant, so there is a
18 | benefit.

19 | There's also a risk. Peter I think has more
20 | eloquently than I could described the frustration over the
21 | difference between an average drop in blood pressure, for
22 | example, an idiosyncratic clinical events, which really do
23 | need to be prespecified and recorded as part of phase III
24 | clinical trials. We're just stuck with that and I don't
25 | think we can do much about it.

1 But I think given the patient who's not
2 drinking and not on a bunch of cardiac meds and doesn't
3 have three vessel disease and is not going to drive a car
4 for the next hour or so, I would say this meets my
5 criteria.

6 DR. AZZIZ: Any other comments before we vote?
7 Dr. Greene.

8 DR. GREENE: There are two questions that I
9 consider in voting on this. One is what is the disease
10 that we're treating. Although as important as this is,
11 it's not cancer. This is not the cure for cancer, and this
12 may be very important for some people, but it's not cancer.
13 That is my first thought.

14 The second thought is that this is a medication
15 which is going to be given, if you will, or taken at home,
16 not directly or immediately under the supervision of a
17 physician. This isn't like a medication that's
18 administered to an in-patient in the hospital. So, when I
19 think about the risk-benefit ratio for a cancer
20 chemotherapeutic agent, you're treating a life-threatening
21 disease and it's being administered in a hospital setting,
22 let's say, under direct physician supervision.

23 So, I think those are two issues that I think
24 about as I think about voting on this question. The ratio
25 that we would demand for safety I think is different for

1 | this than it would be, let's say, for a cancer
2 | chemotherapeutic agent.

3 | DR. AZZIZ: Dr. Hanno?

4 | DR. HANNO: I think that, as Dr. Jacobs has
5 | pointed out a few times, there should be and the
6 | indications should reflect the population that the drug was
7 | studied in.

8 | I think that the 4 milligram dose does seem to
9 | have some efficacy.

10 | I'm a little worried about the alcohol. When
11 | you give people permission to drink alcohol, sometimes
12 | they're not going to stop on their own or they're going to
13 | lose sight of what they're drinking. That seems to be a
14 | potential risk factor, and I'm wondering whether that needs
15 | to be addressed in the label and perhaps alcohol should be
16 | something that's contraindicated, or at least it should be
17 | stated that it's a known risk factor for severe side
18 | effects and not give somebody a safe level of alcohol to
19 | drink, but rather try and stop people from drinking alcohol
20 | with this.

21 | Then I don't know whether we should consider a
22 | warning on a label about eating prior to taking this drug.
23 | In some cases it might make it less palatable, but I think
24 | that it's something to consider given the data on the
25 | nausea.

1 DR. AZZIZ: Dr. O'Leary?

2 DR. O'LEARY: I agree with Dr. Hanno. Patients
3 are, I think, pretty used to asking, can I take this drug
4 with alcohol, and I'm not sure why often they ask that.
5 But I would favor the label saying this drug should not be
6 taken with alcohol, just the way a whole bunch of other
7 drugs that we commonly prescribe for people are labeled.
8 Then that would take away the concern to some degree. I
9 mean, people are still going to drink and take it anyway,
10 but if the label clearly said, it's not recommended that
11 you have any alcohol when you're taking this drug.

12 DR. AZZIZ: Dr. Jacobs.

13 DR. JACOBS: The acceptable risk:benefit
14 profile. The risk is about what many other drugs out there
15 are. For hypertension, it's very similar in its risk. Its
16 benefit profile may be underestimated because any urologist
17 has guys in the office when you say you can't have Viagra,
18 you'll have a heart attack and die, they'll just look back
19 at you and say, that's all right. I don't mind. Give me
20 the pill. And that's very common. Whereas, maybe
21 everybody in this room doesn't value erections as greatly
22 as some of these patients, there's a large number of
23 patients out there where this is their raison d'etre, and
24 that's the only reason they're on earth.

25 DR. AZZIZ: Any other comments before we vote

1 on the risk-benefit ratio for the 4 milligram dose?

2 (No response.)

3 DR. AZZIZ: Let's go ahead and go around the
4 table then. Again, do the data presented support an
5 acceptable risk-benefit profile for the 4 milligram dose of
6 Uprima?

7 Dr. Jacobs.

8 DR. JACOBS: Yes.

9 DR. O'LEARY: O'Leary, yes.

10 DR. DONATUCCI: Donatucci, yes.

11 DR. LIPPERT: Lippert, yes.

12 DR. CALIFF: Califf, yes.

13 DR. AZZIZ: Azziz, yes.

14 DR. KOWEY: Kowey, yes.

15 DR. D'AGOSTINO: D'Agostino, yes.

16 MS. SCOTT: Scott, no.

17 DR. TIEFER: Tiefer, no.

18 DR. GREENE: Greene, no.

19 DR. HANNO: Hanno, yes.

20 DR. AZZIZ: Thank you.

21 Now we need to elaborate and give our
22 recommendations to the FDA.

23 DR. KOWEY: As Dr. Azziz has said several times
24 today, our role is as an advisor. So, nothing that we tell
25 you is binding obviously. If you came back and told me

1 several months from now that you decided not to approve
2 this drug, it would not break my heart because I think
3 there are two ways to handle this kind of a problem. One
4 way is to not approve the drug. Period. And the other way
5 is to approve it and then label the hell out of it. I
6 voted yes with the proviso that you understand that there's
7 got to be a tremendous amount of work done on labeling for
8 this drug.

9 I favor a black box warning in bold letters
10 that says, that if you take this drug, you may pass out and
11 if you pass out, you may injure yourself and you may injure
12 yourself severely.

13 I also very, very adamantly favor a patient
14 package insert which is carefully constructed along the
15 lines of what was done for dofetamide and also for Betapace
16 AF, the last two drugs from the Cardio-Renal Advisory
17 Committee that I know about that I was involved with that
18 have detailed instructions given to the patient about what
19 drugs to avoid, what situations to avoid, and how to
20 maximize the safety of the drug, and what kinds of things
21 they can get into trouble with, drug interactions, et
22 cetera.

23 I don't want anybody to interpret that this is
24 an approval that is sort of like "see you later." This is
25 an approval with the stipulation that there's a tremendous

1 amount of work to do because, as I said earlier, the
2 complications that have been seen with this drug are
3 frightening complications. And it's also been said by
4 people at this end of the table we're not treating cancer.

5 So, as important a drug as it is -- and I said
6 yes because I was listening to these guys who take care of
7 these patients who would like to see this drug available.
8 And I agree that they're a desperate lot of patients and
9 they do need to have that drug, and I'd like to see it on
10 the market. That's why I voted yes. But don't take that
11 to mean that I don't have grave concerns about the safety
12 of this drug, and if it's not communicated properly to the
13 physicians, what's going to happen is you're going to run
14 into the same withdrawal problems that you had with other
15 drugs that somebody else mentioned earlier. So, I feel
16 very strongly about that.

17 DR. AZZIZ: Dr. Tiefer.

18 DR. TIEFER: I just wanted to say a word about
19 the secondary effects on the partner. This is one reason I
20 voted no because when I think of a sexual problem, I know
21 this is not the typical medical model way, but I think of
22 it as a couple's problem not a person's problem. In my
23 experience, the drugs, the treatments that the patient
24 individually chooses with his physician have serious
25 ramifications on the partner's well-being, for the good

1 | sometimes, for the not so good other times.

2 | When I heard what Dr. Jacobs said that the guy
3 | comes in and says, this is my raison d'etre and I'll die if
4 | you don't give it to me, I know that the partner is
5 | thinking, having talked to many of these partners, at that
6 | very moment. She's thinking, God, I hope the doctor
7 | doesn't give him anything because the last thing in the
8 | world I need is to worry about him. In addition to him
9 | taking his this, that, and the other medication, and going
10 | for these tests and so on and so forth, now he's going to
11 | be taking something else which has a little black boxes,
12 | and I, the wife, am just really frightened about this.
13 | It's not to say that she doesn't want to have sex and want
14 | her husband to have the pleasure of sex. But this stressor
15 | -- she's more up front about that.

16 | So, I just feel that we need to take into
17 | account the consequences of this on the other people, the
18 | less visible people who are involved with this, and that
19 | it's not a matter of such great urgency that we need to
20 | approve a drug that's going to have lots of black boxes,
21 | which for the wives are just terrifying.

22 | DR. AZZIZ: Thank you.

23 | Dr. Lippert?

24 | DR. LIPPERT: Again, I'm repeating myself, but
25 | when Viagra came out, there was no patient handout. I had

1 | to write my own. I'm a urologist, but there were community
2 | primary care physicians who were just calling my office
3 | begging me for a copy. My chairman said, no way, you'll be
4 | legally responsible. I really don't think this drug should
5 | come out without an available handout for patients that's
6 | available when the drug comes out to primary care
7 | physicians, to all physicians. I feel strongly about that.

8 | DR. AZZIZ: Dr. Califf?

9 | DR. CALIFF: This is also somewhat repetitive,
10 | but I think just to get down to what I think the most
11 | critical issue is, if we look at the recent messes that
12 | we've had, what tends to happen is that the real bad things
13 | happen to people who have a confluence of multiple risk
14 | factors at the same time, and the people that are going to
15 | die with this drug are people on multiple antihypertensives
16 | or people with severe coronary disease who are perhaps
17 | unlucky.

18 | But at least I would argue that the label ought
19 | to be very restrictive to start with and then the company
20 | ought to have a chance to work sort of backwards into those
21 | populations in a little bit more detail because I'm not
22 | satisfied with the studies yet related to alcohol, for
23 | example, or to multiple antihypertensives at the same time
24 | or to nitrates.

25 | I'd like to see some pretty explicit material

1 | that would be given to patients. Admittedly a lot of them
2 | wouldn't pay attention to it or they might go ahead, but
3 | then at least they would do so under informed conditions.
4 | I don't think the fact that some people don't have good
5 | common sense should disallow others who would use the
6 | instructions the opportunity to have access to the
7 | treatment.

8 | DR. AZZIZ: Dr. Hanno.

9 | DR. HANNO: I would agree that the label, if
10 | this drug is approved, needs to be extremely restrictive,
11 | and later as time goes on and more studies are done, if it
12 | turns out to not merit that, then that's fine. It could be
13 | changed. I think it's going to be used by a lot of non-
14 | urologists, primarily family care, primary care people, and
15 | the word has to get out that this is not a benign drug,
16 | that it has significant safety concerns. I'm sort of
17 | guiding what I'm saying with Dr. Kowey, what he was saying
18 | in terms of the danger that's implicit in approving the
19 | drug.

20 | DR. AZZIZ: Julia?

21 | MS. SCOTT: Yes. I remain very concerned that
22 | the population of African Americans is so low in this
23 | study. I think we all know that this is a population that
24 | has the highest risk of those diseases, diabetes and
25 | hypertension and cardiovascular disease. So, I'm concerned

1 | that we don't know enough about how this drug might act in
2 | African Americans. We also know they have the least access
3 | to health care. So, some of these incidents that have been
4 | described as kind of minor could actually be very major for
5 | that population.

6 | I'm also concerned that if this drug does get
7 | approved, that there be a registry or something that keeps
8 | track of people so that we can track some of these results
9 | because it would be a shame to wait until we start having
10 | deaths. I'm very concerned about the side effects,
11 | especially in the higher than the 2 milligram. Actually
12 | I'm concerned about the 2 milligram too, but I think
13 | they're more pronounced in the 4 milligram.

14 | So, I would hope that if the FDA approves this
15 | drug, that there is a restricted label, that there is some
16 | kind of physician training, because again it's not going to
17 | be just urologists who have a better feel for the
18 | appropriateness of this, as well as the patient insert,
19 | that it clearly state the problems related to alcohol
20 | intake as have been described earlier.

21 | DR. LIPPERT: Excuse me. A patient insert
22 | won't work if it comes in the box because I don't have the
23 | box in my office. So, there actually has to be a patient
24 | handout separate from an insert because I'm not a
25 | pharmacist.

1 DR. AZZIZ: That is one thing. I have a
2 question for Dr. Mann because before we get into
3 recommending things such as educational programs and
4 brochures and so on, how much really can we recommend? We
5 can do that, but if it has no impact.

6 DR. RACZKOWSKI: From what I've heard, there
7 are two ways that it could be ensured that patients get the
8 information about the labeling. One is by recommending a
9 unit-of-dose distribution of the drug, which means that
10 whenever the drug is distributed a package insert goes
11 along with it to the patient. Another option is to use the
12 patient package insert or a med guide, which are different
13 things, and to have them not only as part of the formal
14 labeling of the product, but also distributed by
15 pharmacists to patients when they fill prescriptions.

16 DR. AZZIZ: But you can't regulate physician
17 education, can you?

18 DR. RACZKOWSKI: No. As a condition for
19 approval, we could demand educational programs, yes.

20 DR. AZZIZ: Very well. That's what I wanted to
21 make sure before we went off into this tangent.

22 Comments? Dr. Mann.

23 DR. MANN: We heard a fair number of specific
24 comments about the alcohol interaction, anywhere from a
25 contraindication to a black box warning being suggested.

1 | We also heard your strong comments about warning at least
2 | in a black box fashion about syncopal events.

3 | In terms of the nitrate interaction, I would
4 | like the panel, if they could, to discuss a little bit more
5 | specifically where in the label they think that information
6 | might go. I don't mean for you to fully understand
7 | labeling, but in general, labeling things range anywhere
8 | from a contraindication statement, which is very strong,
9 | meaning absolutely never give this drug with nitrates, to
10 | the potential for another black box warning in this regard,
11 | or a warning all the way down to a precaution.

12 | The current label proposed by the sponsor, on
13 | page 178 of our red binder that we've presented to you, the
14 | first paragraph under Precautions precautions people
15 | against concomitant nitrate use. I'd just like the panel,
16 | if they could, to discuss if they feel that is adequate or
17 | if they have a different opinion on how to warn about
18 | nitrate interactions.

19 | DR. AZZIZ: I'd like the two urologists on the
20 | panel to begin. They've been very quiet. I mean the two
21 | cardiologists have been very quiet. So, perhaps if could
22 | get your feedback initially. Dr. Califf.

23 | DR. CALIFF: My initial feeling would be that
24 | it should be a contraindication for the time being. There
25 | was a nitrate study. I'm having trouble remembering all

1 | the details. Maybe we should look at that data one more
2 | time.

3 | DR. KOWEY: My recollection was that for the
4 | short-acting drugs, the means were really not any different
5 | than for the long-acting. There was a statistical
6 | difference, but it didn't look all that clinically
7 | important.

8 | DR. MANN: That's correct.

9 | DR. KOWEY: But there were, as you pointed out,
10 | a number of outliers and exceptions.

11 | I agree with Rob. I think it probably ought to
12 | be a contraindication until this is perhaps ferreted out a
13 | little bit better and there's more experience. I don't
14 | really think that that should be a major problem
15 | clinically. I don't see why that would be a problem.

16 | DR. CALIFF: The combination of syncope and
17 | nitrates in any form is pretty scary because it's not
18 | uncommon to see syncope caused by nitrates.

19 | DR. KOWEY: Well, let me just remind everybody
20 | that when we do tilt table testing, if we tilt somebody and
21 | they don't go out, and they don't have syncope on a tilt
22 | table, one of the ways of provoking it is by giving them
23 | nitroglycerin, so for cardioneurogenic syncope, vasovagal
24 | syncope, which is what this is. So, there's a perfect
25 | rationale to say since this drug causes vasovagal syncope,

1 that you shouldn't be taking nitrates if you're going to
2 take this drug. So, I don't have any problem at all with
3 the contraindication statement.

4 DR. AZZIZ: Again, we can black box it or
5 contraindicate it. Any other comments, please?

6 DR. JACOBS: The data they presented on
7 nitrates on this sure didn't show much of a blood pressure
8 drop with the 5 milligram Uprima dose with nitrates.
9 Nothing as dramatic as the sildenafil drug that's already
10 out there. This is one of the benefits of this drug
11 presumably.

12 DR. CALIFF: Well, here's the rub. It's just
13 like what Peter was talking about with regard to syncope
14 and hypotension in general. A mean difference in blood
15 pressure is not the same as event rates, which require
16 fairly large studies to detect. So, if you have an
17 occasional patient, 1 or 2 out of 100, where there's going
18 to be hypotension due to the nitrates per se and you add on
19 top of that, it could really be a mess.

20 DR. JACOBS: Why don't you just say no sex for
21 nitrate patients then? I mean, sex probably lowers your
22 blood pressure or raises it one way or the other.

23 DR. CALIFF: Well, there is an alternative,
24 which is to do larger studies that really measure event
25 rates and not just mean blood pressures in a small

1 population. That is quite a reasonable alternative. I
2 think there are a few million people who might end up
3 taking this drug.

4 DR. O'LEARY: That's I think what Dr. Graboys
5 had suggested before he left, or at least that was my
6 interpretation.

7 Let me just ask you cardiologists a question.
8 I'm puzzled. I understand what nitrates and sildenafil do
9 in combination, and that's a direct drug effect. But
10 you're not suggesting that this drug has some direct effect
11 with nitrates. It's simply that the drugs in
12 combination --

13 DR. KOWEY: No. I'm suggesting that there is a
14 central mechanism for cardioneurogenic syncope. We know
15 that. That's been well worked out. We know that nitrates
16 do something centrally -- centrally, not necessarily on the
17 periphery -- which potentiates the tendency to a vasovagal
18 episode such that, as I said, in somebody that has a tilt
19 table test, if they don't become syncopal with the tilt and
20 they've had spontaneous neurocardiogenic syncope, you give
21 them nitroglycerin and retilt them, and you see the
22 neurocardiogenic response. So, it's not just an additive
23 effect on blood pressure. There is a specific trigger that
24 nitroglycerins seem to cause to provoke a vasovagal
25 reaction.

1 And that's what this is. This is not the same
2 thing as Viagra. I don't think this is the same mechanism
3 of hypotension.

4 DR. O'LEARY: We know it's not.

5 DR. KOWEY: Viagra is a vasodilator. This is
6 not acting by a vasodilatory mechanism. It's acting by a
7 mechanism which provokes a vasovagal response. And
8 nitroglycerin potentiates that synergistic effect. That's
9 why it's perfectly reasonable in my mind until we have more
10 information to say no nitrates.

11 DR. AZZIZ: Very well.

12 Any other comments about the nitrate issue?

13 Marianne.

14 DR. MANN: Thank you for those comments and if
15 there are any others, please let me know.

16 DR. AZZIZ: Just maybe a couple more.

17 DR. MANN: Actually I had one other issue for
18 the panel to address. Many of the syncopal events that
19 were noted in the sponsor's application occurred with the
20 first dose of study drug or with an increase in study drug
21 dosage and were given in the physician's office to the
22 patients.

23 Another potential labeling recommendation that
24 we could take under consideration would be the requirement
25 for all initial doses to be given in a physician's office

1 | under physician supervision. I'd like the panel to address
2 | that potential idea for labeling as well, if you don't
3 | mind. Thanks.

4 | DR. AZZIZ: Dr. O'Leary?

5 | DR. O'LEARY: We have experience with this with
6 | another already approved drug, and that's intraurethral
7 | prostaglandin. When that was first approved, it was
8 | recommended -- I'm not sure it was part of the labeling,
9 | but it was recommended that patients be dosed in the
10 | office. I don't routinely and I don't think most
11 | urologists routinely do that anymore, aside from the fact
12 | that we don't prescribe very much anymore because the
13 | efficacy is not great.

14 | I can see some potential problems in doing
15 | that, simply that the volume of patients that are likely to
16 | come forward to request this may be fairly substantial.

17 | DR. MANN: Thank you for that comment.
18 | Actually for the intraurethral injections, they are
19 | recommended in labeling to be done in the physician's
20 | office. Perhaps this is one lesson learned as to how far
21 | we can go with labeling recommendations to assure patient
22 | safety, to assure that our directions are truly followed in
23 | real life, and that was a very relevant comment you made in
24 | that regard.

25 | DR. DONATUCCI: Can I make a comment?

1 There is a second part, of course. While we
2 stopped doing it in the office, every time we give the
3 patient a prescription for that, they are carefully
4 instructed about the possibility of syncope and what to do.
5 But I would hazard to say probably that particular product
6 that we're discussing right now, the transurethral
7 alprostadil, is a product used primarily by urologists who
8 are familiar with that.

9 DR. AZZIZ: Dr. Lippert?

10 DR. LIPPERT: I just want to say I'm still
11 following the recommendations, and I still check blood
12 pressures when I give the first dose of Muse in the office.

13 DR. O'LEARY: Maybe you could talk to that
14 other agency --

15 DR. AZZIZ: To come and look at Dr.
16 O'Leary's --

17 (Laughter.)

18 DR. O'LEARY: No, no. I'm suggesting one of
19 the reasons why we stopped titrating in the office is
20 because that other agency up the road, the Health Care
21 Financing Administration, stopped paying for it.

22 DR. AZZIZ: It's a very good point.

23 I just have a couple comments. I'm not the
24 urologist. But a recommendation to have those doses in the
25 office doesn't necessarily guarantee very much. All the

1 crashing and burning we saw were in physician's offices.
2 In a busy practice, I don't know what it guarantees other
3 than somebody can code the person faster perhaps, maybe.

4 The second thing is we do need to come back to
5 the issue of education. Dr. Lippert says stuff in the box
6 isn't read, and so the company, if they're going to market
7 this, needs to produce a brochure both for the patient and
8 for the partner because I think it's an important issue.
9 This is what you need to expect from your partner. And
10 given to the patients. They need to be in the physician's
11 office, given to the patients, a colorful brochure.
12 Otherwise, it just isn't going to get read. Whether this
13 is signed for when they get the drug or something of that
14 nature, that's perfectly fine.

15 DR. TIEFER: One of the other things that's
16 more popular over time are videos that are being given to
17 patients along with drugs by urologists. This has been
18 quite common over the last few years. You have the
19 opportunity to put a little sex education in the video
20 along with the drug education.

21 DR. AZZIZ: Then we'd have all the 15-year-old
22 boys standing for the video.

23 (Laughter.)

24 DR. TIEFER: No. Sex education is not XXX
25 movies, unfortunately, Dr. Azziz.

1 (Laughter.)

2 DR. AZZIZ: Dr. Greene.

3 DR. GREENE: Two comments. One is that with
4 respect to that, I'm not sure how long would you advise the
5 patient remain in the doctor's office? Because some of the
6 adverse events literally were on the way home from the
7 doctor's office after what appeared to be an uneventful
8 first administration. So, I don't know. How long do you
9 tell the patient to stay in the doctor's office? It might
10 be safer to tell the patient to go home and taking it lying
11 down in bed. So, I'm not sure.

12 One other last comment from the voice of doom.
13 In other medications that have been removed from the market
14 because of adverse events -- such things as cisapride was
15 mentioned, fen/phen, recently troglitazone -- at least in
16 those occasions, the problems with the medications were not
17 obvious and did not occur in the studies of the drugs
18 initially. As Dr. Califf mentioned, showing a modest
19 decrease on average is not the same as finding out what
20 percentage of people will have a very dramatic fall in
21 their blood pressure. Studying only 3,000 patients is not
22 going to tell you about a lethal problem in 1 in every
23 10,000 patients. So, that's my last concern from the voice
24 of doom.

25 DR. KOWEY: This problem of safety, obviously,

1 | in the cardiovascular end of things has become so important
2 | lately. We've thought a lot about sample sizes and
3 | detection thresholds and where you get enough people in the
4 | study to really make yourself sure. Unfortunately, I guess
5 | at the end of the day, there's always a leap of faith when
6 | a drug is approved it's going to be used in about 100 times
7 | more people tomorrow than it was used in all the clinical
8 | trials. So, I don't know if there's any way to really do
9 | that.

10 | I just want to add my comment about office
11 | initiation. I don't think it's necessary. In fact, I
12 | agree completely. I think it's probably safer, for this
13 | particular kind of a drug, if you do what Dr. Donatucci
14 | suggested, tell the patient what to do when they get home.
15 | It's probably much, much more effective.

16 | DR. O'LEARY: Dr. Azziz?

17 | DR. AZZIZ: Dr. O'Leary.

18 | DR. O'LEARY: One other comment. I don't want
19 | to be a voice of doom necessarily, but this drug will be
20 | given in a population of men who have a significant risk of
21 | sudden death to begin with just because of their age. So,
22 | let's be real clear that some of the patients who take this
23 | drug are going to die. Now, that's the reality. Now,
24 | whether that's due to the drug or not is something
25 | different. From what I've seen, it doesn't suggest to me

1 | that there's anything that this drug will do to directly
2 | contribute to death. Is that fair? I just want to make
3 | sure that we're all clear about that. Some of these men
4 | are going to die.

5 | MS. SCOTT: Well, we're all going to die.

6 | DR. O'LEARY: Right.

7 | DR. KOWEY: The fact that there weren't any
8 | deaths in the program is good. That's good. That's a very
9 | good thing, in fact, in 3,000 patients that got the drug.
10 | There had to be some people in there that had pretty bad
11 | vascular disease. Had to be. Nobody died and nobody, in
12 | fact, had a cardiac arrest and was resuscitated. Nobody
13 | had symptoms that sounded like VT. So, all that's good.
14 | But it still doesn't answer the ultimate question of where
15 | your detection threshold is and what you'll tolerate.

16 | DR. AZZIZ: I think what you're hearing,
17 | Marianne, is that we'd like some post-marketing
18 | surveillance data, whatever you all think is necessary,
19 | because while the committee seems to have recommended this,
20 | having been on a number of these, the committee is pretty
21 | uncomfortable with the safety profile. We're going to need
22 | more data on nitrates and heart disease and diabetics and
23 | alcoholics and so on and so forth that we don't have the
24 | data now sufficiently.

25 | DR. MANN: Would such data in a wider

1 population of patients studied be more useful to you pre-
2 marketing or post-marketing? Could you comment on that?

3 DR. AZZIZ: That's a tricky question. Often
4 you will not get the experience with the patients unless
5 you actually market the drug, as we found out with lots of
6 drugs. You can never market in my opinion a drug that has
7 no side effects. It just doesn't exist. If you take a
8 larger number of patients, eventually somebody is going to
9 die. So, that's my bias.

10 Dr. Califf?

11 DR. CALIFF: I finally found a handout on the
12 nitrate studies. There were 20 on short-acting nitrates
13 and 20 patients on long-acting nitrates. To me, this is
14 totally inadequate, completely and utterly inadequate. And
15 it's true that if you don't study things, you won't see
16 them. So, in terms of pre-marketing data, I don't think
17 it's impossible to get pre-marketing data. We just need
18 studies that are done in the patient populations in whom
19 the drug is going to be used after it gets on the market,
20 probably with larger numbers. But that's not going to
21 change what happened today.

22 The problem I think with the post-marketing
23 surveillance issue is it's pretty hard to come up with a
24 non-randomized, post-marketing scheme that's going to help
25 you in this situation because, as Dr. O'Leary pointed out,

1 a lot of these people are going to die in proximity to
2 taking the drug, totally not due to the drug but due to the
3 underlying disease. So, in absence of randomization, I
4 don't know how you draw inferences from post-marketing
5 rates.

6 DR. AZZIZ: In answer to your question about
7 pre- and post-marketing data, as Dr. Califf just brought
8 up, the alcohol issue has been looked at fairly well in
9 some studies, not perfectly, but fairly well. The nitrate
10 studies are basically insufficient and inadequate, and
11 unless we can simply contraindicate nitrates, period,
12 anything else that needs to be brought up needs to be
13 restudied before this drug is marketed.

14 Julia?

15 MS. SCOTT: Also, I think the pre-marketing is
16 necessary for African Americans. I just think the number
17 is just too low and too many studies have shown differences
18 among African Americans in terms of side effects and the
19 like. So, I do think you need to study that.

20 DR. AZZIZ: Any further comments?

21 DR. TIEFER: I just want to say one more point
22 about sublingual training. Nobody has mentioned this.

23 DR. AZZIZ: Training.

24 DR. TIEFER: I just thought it was interesting.
25 In their initial trials, they discovered that if they

1 | didn't offer the patients some training in the use of a
2 | sublingual drug, that the drug wasn't absorbed properly,
3 | wasn't utilized properly. So, somewhere along the line,
4 | this has to be part of this patient information.

5 | DR. AZZIZ: Very good.

6 | Any other comments?

7 | (No response.)

8 | DR. AZZIZ: I think Dr. Mann has some closing
9 | comments. Correct?

10 | DR. MANN: Yes, I do. I just want to thank you
11 | all for your participation today.

12 | In addition, I want to draw attention to two
13 | committee members who have served us for many years and
14 | done extremely well. That is Julia Scott, our patient
15 | advocate, and our Chair himself, Dr. Ricardo Azziz. I want
16 | to assure you both that we very much valued your input and
17 | expertise these past few years on our advisory panel. We
18 | do not throw your recommendations into the closet.

19 | (Laughter.)

20 | DR. MANN: We actually consider them very
21 | highly, and although we do not pay you very much, we know,
22 | our heartfelt thanks hopefully will suffice somewhat.

23 | We also have a small plaque to give you both
24 | before you leave, and we just want to give you a round of
25 | applause for all of your participation.

1 (Applause.)

2 DR. AZZIZ: Thank you very much and thanks to
3 everybody for staying long.

4 (Whereupon, at 3:55 p.m., the subcommittee was
5 adjourned.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25