

1 Then we have post intervention deaths, and then we
2 have documented arrhythmia, and then dying either due
3 to bradyarrhythmia or tachyrrhythmia. Then we have a
4 bunch of other categories for cardiovascular, but
5 those are the ones that would be related to --

6 DR. Di MARCO: So someone found asleep
7 dead in bed, how were they classified?

8 DR. DAGENAIS: Well, as unexpected
9 cardiovascular death.

10 DR. Di MARCO: Well, in your table is that
11 an MI?

12 DR. DAGENAIS: It was unexpected. I mean
13 it was classified as unexpected cardiovascular death.

14 DR. Di MARCO: Well, in the slide that was
15 shown it says cardiovascular. It says MI/heart
16 failure, documented arrhythmia and other
17 cardiovascular.

18 DR. DAGENAIS: It was among the
19 cardiovascular deaths.

20 DR. YUSUF: It would be in the other.
21 That is the point.

22 DR. Di MARCO: I thought you said all of

1 those were post procedure, and most of those --

2 DR. DAGENAIS: All those were post
3 procedure.

4 DR. YUSUF: Sorry. Tell me what it is.
5 It was an MI? Okay. It was an MI.

6 DR. Di MARCO: So a lot of the MIs were
7 really sudden deaths.

8 DR. YUSUF: Yes, and for what it's worth,
9 John, there is a significant reduction in sudden
10 deaths, which patterns the reduction in cardiac
11 arrest.

12 ACTING CHAIRMAN CALIFF: John, I can't
13 resist as the Chair asking you this. Can you really
14 tell a sudden death from an MI death in someone that's
15 having --

16 DR. Di MARCO: I think that's a terrible
17 misclassification. You know, I mean you can call it
18 an MI, but it's -- I can't take the same statement
19 that this reduces what I think is a myocardial
20 infarction. It may reduce a whole mess of
21 cardiovascular events, but sudden at night most of the
22 time is an arrhythmia that probably may be

1 ischemically mediated, but may not.

2 ACTING CHAIRMAN CALIFF: Do you have any
3 further questions?

4 DR. Di MARCO: No.

5 ACTING CHAIRMAN CALIFF: Ileana.

6 DR. PINA: Salim, thank you for a very
7 nice presentation. I want to go back to the heart
8 failure issue, and I know it's been brought up now
9 several times, but I think in your initial definition
10 what you've excluded were patients who had
11 demonstrated congestion as opposed to the broad
12 spectrum of heart failure, and you did SOLVD, and you
13 know how we found some of the prevention patients was
14 by going through the FO lab and trying to find
15 myocardial infarctions so we could pick up the low
16 EFs.

17 I am quite surprised at seeing this many
18 patients with reasonable rejection fractions of 59.
19 Were those rejection fractions taken at any time or
20 was it after an event or --

21 DR. YUSUF: Those were taken --

22 DR. PINA: -- anyone in the chart that had

1 a --

2 DR. YUSUF: Those were taken at any time
3 before randomization, and they shouldn't have been
4 after that was done a further event and no further EFs
5 that were below that.

6 DR. PINA: And in the concomitant
7 medications, there seems to have been an increase in
8 the use of several agents. Diuretics went up, and it
9 looked like they went up in placebo more than in the
10 ramipril group. Was that significant and were those
11 the patients that developed the heart failure?

12 DR. YUSUF: I know it is significant, but
13 I think it includes the people that developed the
14 heart failure, and it also includes some
15 hypertensives. It's both.

16 DR. PINA: So some of those diuretics may
17 have been hydroflorothiazide?

18 DR. YUSUF: Yes.

19 DR. PINA: There was also a very
20 significant increase in HMG co-reductase use, almost
21 half of the patients. Was there a region, a
22 geographical region difference in that where people

1 encouraged to do secondary risk modification factors
2 during the trial?

3 DR. YUSUF: At the very beginning of the
4 trial we encouraged people to use the best possible
5 therapies in their opinion, and as you can see, it was
6 pretty good given we started in '93. That's when we
7 started.

8 The increase in HMG co -- in lipid
9 lowering therapies was worldwide. We saw that
10 increase in every region. I don't remember the
11 absolute numbers by region, Ileana, but I remember at
12 one of our meetings discussing a worldwide increase
13 and showing it by region.

14 DR. PINA: I have no more questions.

15 ACTING CHAIRMAN CALIFF: Dr. Graboys.

16 DR. GRABOYS: I have no questions at this
17 time.

18 ACTING CHAIRMAN CALIFF: We're moving
19 along nicely here.

20 DR. MOLITCH: I don't know whether you
21 would like to bring up the issues of the development
22 of diabetes in this group in people who did not have

1 diabetes.

2 ACTING CHAIRMAN CALIFF: I think it would
3 be better to hold on that until we have the diabetes
4 presentation.

5 DR. YUSUF: If you want, I could deal with
6 that because that's not going to be dealt with by
7 Hertzal, if you want.

8 ACTING CHAIRMAN CALIFF: I'd still rather
9 handle diabetes all at one and you can come to the
10 microphone when needed during that section.

11 DR. YUSUF: Okay. Happy to do that then.

12 DR. MOLITCH: I'll pass then.

13 DR. BAKRIS: I just have a quick question.
14 Looking through all of the data here, this is more of
15 a curiosity, but did you look at heart rate
16 differences between the groups and look at the impact
17 of heart rate? Was there even a difference?

18 I didn't see that data, and there are
19 certainly some small studies that suggest ACE
20 inhibitors do affect that, but I'm just curious.

21 DR. YUSUF: No. As you know, heart rate
22 was balanced at entry, which I showed you, and I know

1 in the secure study we looked at heart rate and there
2 was no impact of treatment. I don't know if we looked
3 at it in the whole population, but in the 700 we did.

4 ACTING CHAIRMAN CALIFF: Okay. I have a
5 couple of questions, and actually I'm hoping to
6 engender a little interaction here with Bob and Ray on
7 these issues because I think everything at least that
8 I thought was important has been brought up, and I
9 think it would be useful to have a little bit of FDA
10 questioning on a couple of these.

11 The first is the race issue, and I think
12 it seems like we're in a bit of a dilemma here
13 because, on the one hand, we can say there aren't
14 enough black people in the trial or any of the trials
15 to say anything meaningful. On the other hand, it's
16 14 percent of the U.S. population and even more than
17 14 percent of the population at risk for the problem
18 that we're discussing.

19 And so it brings up a fundamental question
20 of what is the FDA position on studies that come in
21 without an adequate representation of minorities, and
22 how is the FDA looking at this.

1 DR. LIPICKY: Okay. I don't have a good
2 answer for you. In general, I guess, I would be
3 entirely in tune with the notion that in this study,
4 it doesn't appear as though there is a signal that is
5 worth worrying about, which is the usual kind of thing
6 that happens. and we kind of take the point of view
7 that if things are consistent across most subgroups
8 and you don't have anything to worry about in one
9 subgroup that doesn't look consistent, then you
10 shouldn't worry. So that's my answer, number one.

11 And I guess my answer, number two, is that
12 the question of blacks and their responsiveness to ACE
13 inhibitors irrespective of what it is that you look at
14 is really pretty muddy, and I suppose the nicest or
15 the one area where people would be most in agreement
16 is that blacks don't respond as well in terms of
17 decreasing blood pressure, and there, indeed, those
18 are subgroup analysis that if you look at any one
19 study or any one development program, I think you
20 should hardly believe that conclusion from the one
21 development program where it seem to be there in more
22 than one.

1 And to give you an example of how FDA
2 thinks, in spite of one development program which
3 clearly show in that development program that there
4 was no blood pressure difference in the blacks, that
5 drug is labeled it doesn't work as well in blacks.

6 So I don't think we have the answer to
7 these questions or know how to address them or
8 actually be able to do a good job of doing that, and
9 I think it's a topic that might be worthy of more
10 general discussion when, indeed, there is a larger
11 group of data to look at because I don't know how you
12 tell when there's a signal you should pay attention
13 to.

14 ACTING CHAIRMAN CALIFF: Well, this will
15 certainly be a topic of discussion during the panel
16 portion this afternoon.

17 I wanted to give Salim a chance to make a
18 statement in relation to the trial after hearing the
19 FDA position.

20 Bob, I don't know if you have anything
21 further.

22 DR. TEMPLE: Well, there isn't any doubt

1 that the description of the study results will point
2 out that there is a dearth of black patients in it.
3 It's worth thinking about history. There aren't a lot
4 of blacks in the 4F study. There weren't any blacks
5 in the Scandinavian timilof (phonetic) study.

6 To the extent that a large trial becomes
7 difficult to repeat is carried out in parts of the
8 world that don't have an appropriate distribution, a
9 distribution relevant to the United States, we are to
10 a degree stuck.

11 We have one experience that we feel funny
12 about. When the first Canadian trials, mostly
13 Canadian, partly Texas, supported the use of aspirin
14 in patients with TIA, there were very few women in the
15 trials, and to the extent that there were any women,
16 they didn't seem to be showing any benefit. So for
17 many years the drug was labeled as for use in males.

18 And I think a lot of people questioned
19 whether that was the right thing to do, whether the
20 absence of data should have led to that conclusion,
21 and you have something of the same question here, and
22 there is obviously no perfect answer.

1 But one of the things I'd be interested in
2 throwing out is whether people believe that you could
3 mount a trial of this kind in a black population since
4 there are no data. It really brings to a head the
5 question of data versus likelihood and a wide variety
6 of other things.

7 Can I ask a question, too?

8 ACTING CHAIRMAN CALIFF: Sure.

9 DR. TEMPLE: This may not matter a great
10 deal, but were the individual components of the
11 combined endpoint explicitly said to be secondary
12 endpoint or co-primaries or something?

13 DR. YUSUF: Yes, they were.

14 DR. TEMPLE: Okay. My presumption --
15 maybe other people will talk about this -- the
16 labeling is not perfectly clear as to whether the
17 proposed claim is that it treats each component of the
18 combined endpoint or the combined endpoint itself.
19 Which did you have in mind, you and the company?

20 DR. YUSUF: You're asking me?

21 DR. TEMPLE: I'm asking anybody who will
22 -- you're at the mic. So yeah.

1 DR. YUSUF: I must confess lack of high
2 level of intelligence on labeling issues. I think I
3 can tell you how I would interpret the data. I'd
4 interpret the data we have clear evidence in each
5 component, you know, and so I would see when I give a
6 talk I would tell people. I'd show the data exactly
7 like this.

8 Now, how that affects labeling, Bob, you
9 know better than I do, but clearly we have each
10 component significantly different.

11 DR. TEMPLE: Okay. Well, we'll need to
12 make sure the labeling conveys what people want it to
13 convey.

14 Do I understand there was one case of
15 fatal angioedema?

16 DR. YUSUF: Let me tell you what happened.
17 The first thing was this was a patient that the center
18 did not report his angioedema, just reported it as a
19 sudden death or something like that.

20 When you had concerns about another
21 product and we were preparing for this, we got a call
22 from the medical reviewer, I think, saying were there

1 any fatal cases or ventilated cases of angioedema, and
2 we went through all of them.

3 And Janice then came up with one death
4 where she did not tell me what the allocation was and
5 said, "This is the description," and the description
6 was an individual in a given remote hospital who had
7 a short history of shortness of breath and a 24 hour
8 history of -- right, right -- so that case, shortness
9 of breath of only 24 hours, the clinicians did not
10 report heart failure, and this is the report from the
11 wife, not even from the family, and we said we think
12 there may be one case. So that's the history of that
13 case.

14 DR. TEMPLE: How far into treatment was
15 that patient?

16 DR. YUSUF: That's interesting. It was at
17 30 days, and it happened when we went from the five
18 milligram dose to the ten milligram dose. It did not
19 happen early.

20 The other thing is, you know, because of
21 these issues I looked median time to angioneurotic
22 edema in our eight, and it was something like 48 days

1 or 48 or 50 days. It wasn't clustering early, which
2 was what I hoped we would see.

3 DR. TEMPLE: Two more short questions.
4 One just relating to the comment Dr. Di Marco made.
5 There may be debate about whether a death was caused
6 by an MI, but I believe you would say that the
7 definition of MI that you used for non-fatal MIs was
8 fairly rigorous, and that's what the claim is.

9 The claim is not for fatal MIs. It's
10 cardiovascular deaths. It's for MIs, presumably non-
11 fatal, over all cardiovascular deaths and stroke.

12 I guess finally I just want to make the
13 observation that this is the first trial I know where
14 a robot was responsible for the conduct of the study.
15 Now, do you know what I mean?

16 DR. YUSUF: Yeah, I've never been
17 called --

18 DR. TEMPLE: C3PO is the managing --

19 DR. YUSUF: I know.

20 DR. TEMPLE: I thought that was cute.

21 DR. YUSUF: It's an honor to be called a
22 robot.

1 The second thing that you don't know --

2 DR. TEMPLE: Do you know my reference?

3 DR. YUSUF: I know the C3PO.

4 DR. TEMPLE: I don't want to be --

5 DR. YUSUF: It was deliberate.

6 DR. TEMPLE: I don't want to be obscure.

7 Right. I figured it was.

8 DR. YUSUF: The other thing that you don't
9 know is that we tried to get a randomization number,
10 1-800-dot, dot, R2D2, but they wouldn't give it to us.

11 (Laughter.)

12 ACTING CHAIRMAN CALIFF: Dr. Lipicky, you
13 have questions?

14 DR. LIPICKY: Just a couple of questions.
15 One is do you think the angioedema results rebut the
16 notion that angioedema is more common in blacks?

17 DR. YUSUF: Oh, our numbers are so small,
18 just like on the efficacy subgroup, I would say --

19 DR. LIPICKY: Yeah, okay.

20 DR. YUSUF: -- we have -- they're so small
21 that --

22 DR. LIPICKY: So it's just really a

1 testament for the few numbers of blacks --

2 DR. YUSUF: That's right.

3 DR. LIPICKY: -- that exist and not to say
4 that blacks are not more sensitive than whites.

5 DR. YUSUF: I mean, if I did a subgroup on
6 the angioedema and I -- of which we did -- and there
7 was, say, two cases in the placebo and zero in the
8 active, it still is not helpful in telling us -- I
9 know where you're going -- you know, about the blacks
10 having more angioedema. I don't think we can say
11 anything from 144.

12 DR. LIPICKY: Right, and then the next
13 question is: do you think or is it true that I should
14 believe that none of the patients enrolled in the
15 trial were symptomatic?

16 DR. YUSUF: It is true that you should
17 believe that their physicians told us they weren't
18 symptomatic.

19 DR. LIPICKY: Okay, fine.

20 (Laughter.)

21 DR. YUSUF: Right.

22 DR. LIPICKY: Then the last question. The

1 last question is this sort of large, simple trial, do
2 you think that any of the questions that you've been
3 asked to respond to are a reflection of that or the
4 ability to answer any of the questions that were asked
5 just now are a reflection of that?

6 DR. YUSUF: I don't think so.

7 DR. LIPICKY: Nor do I. I just wanted to
8 know what your interpretation was.

9 DR. YUSUF: Yeah.

10 DR. LIPICKY: And I suppose this study
11 cost around 200 million?

12 DR. YUSUF: I wish it did, but it didn't.
13 It cost about less than ten percent of that.

14 DR. LIPICKY: Okay.

15 ACTING CHAIRMAN CALIFF: Well, let me ask
16 the remainder of my questions then, which are oriented
17 towards these general issues. The first one, and Ray
18 may want to make a comment on this, too, you used
19 words like "compelling," "convincing," et cetera, to
20 describe your P value from a single trial.

21 We've had some recent experiences in
22 cardiovascular disease where apparently compelling,

1 convincing results from smaller studies have been
2 overruled by follow-up studies that tried to replicate
3 the Elite 1 and 2, for example, or Praise 1 and 2.

4 As a general comment, what is it about
5 this P value or this result? What's your mathematical
6 threshold?

7 DR. YUSUF: Do you want me to?

8 ACTING CHAIRMAN CALIFF: This is your
9 chance to address it because we'll certainly need to
10 address it as a panel when you're excluded from the
11 discussion.

12 DR. YUSUF: First, the Praise examples you
13 give, the main results were no value of significant --
14 it wasn't significant. So it's really not analogous.

15 But let's think of Westner (phonetic), you
16 know, because that might -- you know, the first set of
17 Westner neuron (phonetic) studies. It was the first
18 trial. It was on a composite endpoint, which is heart
19 failure. That's true, but there were too many
20 inconsistencies within the trial, you know, that
21 those relationships within the trial were not what
22 you'd expect.

1 Plus, as a plus the PDE inhibitors we were
2 all concerned about at that stage because we had data
3 with Milrinault (phonetic) that was harmful, and of
4 course, you can spin a story, but this is different.

5 The thing with the HOPE data are two or
6 three things. Coming into this there was already
7 evidence that MI was prevented based on SOLVD and
8 SAVE. Of course, it didn't meet regulated criteria,
9 and there was still some debate.

10 We had data from other studies that lives
11 were being saved. We knew its safety profile. So
12 there's a body of evidence on which HOPE is built. So
13 HOPE is not in isolation.

14 And then we get the meta analysis that's
15 coming out of the Lancet in two weeks' time. The
16 relative risk reduction and MI reduction is identical
17 to what you get from the previous trials from a meta
18 analysis. So there is external data backing it.

19 The second thing is internally there is a
20 consistent story, say, between two halves of the
21 study, the Y2ME and the non-Y2ME part. It's like two
22 random halves are over three standard deviations. So

1 the whole thing is five standard deviations on the
2 primary.

3 Then when you take other endpoints, which
4 are not part of the primary, like revascularization,
5 it fits in with it. So you get a nice, warm, fuzzy
6 feeling that something good is happening overall.

7 So I think it's the external supporting
8 data, the overwhelming nature of the zed value, which
9 is five standard -- nearly five, 4.89 to 4.9 in the
10 primary, clear results of some of the secondary. All
11 of that put together, I think, make a compelling case.

12 ACTING CHAIRMAN CALIFF: So, I mean, all
13 of that is warm and fuzzy, but if fundamentally you
14 have a trial with a P value, it's been said if it's
15 mortality, less than .05 is okay in previous
16 cardiorenal meetings, but now we have some examples of
17 a few that are less than .05 in the first trial and
18 nothing in the second trial.

19 Is .001 something that we should think
20 about or --

21 DR. YUSUF: I think you and I may be in
22 the same camp on this issue. I really believe we

1 shouldn't interpret P values in isolation. We should
2 interpret the totality of the evidence, look at the
3 internal coherence of the data, the external coherence
4 of the data.

5 Let me give you an example. Let us say
6 that we had a P value of 0.045 in a given trial, and
7 that one total, total mortality, and that was a
8 primary endpoint.

9 That would not be enough for me. I would
10 try to say, like if it was an anti-platelet agent, I
11 would expect to see all of the effect on
12 cardiovascular.

13 But suppose we see there is very little
14 effect on cardiovascular, but that P of 0.045 is
15 coming from non-cardiovascular. To me that's not
16 plausible. The second thing I'd like is at external
17 data, like are there supportive trials.

18 For instance, I would disbelieve a trial
19 of an 0.04 if there are no external trial data, if
20 internally it's not coherent, and there aren't
21 supportive data on related endpoint.

22 So to me the P value is only one part of

1 the equation, and I know you think that way, too. At
2 least I think so, and so I think any given P value at
3 some stage we'll make a mistake if you only use the P
4 value. I don't know what others fee.

5 ACTING CHAIRMAN CALIFF: Right. Ray?

6 DR. LIPICKY: Let's say that HOPE for the
7 combined primary endpoint had a P value of .035, not
8 less than .001, and all of the things that you say are
9 still true. That is, there's external data, and all
10 of the internal data would have lined up the same way
11 as they do now with one or two exceptions.

12 Would you consider that to have been
13 compelling?

14 DR. YUSUF: I wouldn't certainly use the
15 word "compelling," but I would say the HOPE results
16 indicate a significant reduction in mortality which is
17 totally supported by external data, and therefore, i
18 believe the concept that these agents reduce events --

19 DR. LIPICKY: Except that it is an
20 advocacy for treating asymptomatic people who doctors
21 think are bad.

22 DR. YUSUF: But that's where, you know, we

1 make other judgments. It's not just the P value that
2 helps you with your judgment. If you prevented a
3 major event, then it's worth -- that is important
4 enough, then it's worthwhile.

5 If there are side effects, that may
6 detract from it. If there is a beneficial effect on
7 a lesser -- on something that's not stated on your
8 primary, but is a secondary endpoint, less severe or
9 maybe even more severe but less frequent, a similar
10 effect?

11 Look at the totality of the data.

12 DR. LIPICKY: I agree, and it's sort of
13 hard to dissociate oneself from what you saw, but
14 let's say you had only studied \$1,500 patients. You
15 know, you had a P value of .035 for your parameter
16 endpoint. I think that I would say, "Very
17 interesting," but not believe it.

18 DR. YUSUF: If that --

19 DR. LIPICKY: Even with all of the other
20 things that are true, you know, all of the other
21 external data and everything else.

22 DR. YUSUF: Well, it all depends on how

1 much that external data are. If the external data
2 using the same drug or very similar drugs is three
3 zeros on the P value and the same endpoint, I would
4 say I could probably over a drink persuade you to
5 believe it, but to approve it, I don't know.

6 DR. LIPICKY: Two drinks.

7 (Laughter.)

8 ACTING CHAIRMAN CALIFF: Speaking of
9 external data, you know that there are several other
10 trials that are ongoing looking at --

11 DR. YUSUF: Sure.

12 ACTING CHAIRMAN CALIFF: And this is a big
13 change in indication when we're talking now about
14 people with normal left ventricular function who are
15 at risk of coronary disease, as I'm sure we'll get
16 into with Dr. Furberg's presentation. How should the
17 committee consider the fact that those other trials
18 have not been stopped?

19 You used words like "compelling" to
20 describe this one trial, and yet there are obviously
21 safety committees of other trials that are saying
22 maybe it's not quite so compelling.

1 DR. YUSUF: Well, I think we'd be foolish
2 to guess what data they've seen. You know, I just
3 don't know, and I think that would be really hazardous
4 to try to make the decision based on data none of us
5 have seen. I don't know, and I think we can only make
6 decisions based on what we have, Rob. We can't make
7 even educated guesses based on --

8 ACTING CHAIRMAN CALIFF: Udho, do you have
9 a comment on this?

10 DR. THADANI: Yeah. I think you mentioned
11 the consistency of data. I think you're right to a
12 certain point, but it's driven by the MI consistent
13 with cardiovascular death in the HOPE trial because
14 I'm really surprised -- no, and stroke, heart
15 endpoints -- I'm really surprised that unstable angina
16 -- because most of the patients with MI, we are doing
17 unstable angina, non-Q MIs, as you see so hard to
18 differentiate. The hospitalizations are really driven
19 by those more than (unintelligible) infarcts now, and
20 I'm really surprised that in a plaque rupture
21 hypothesis of the ASS-2, according to Victor it's not
22 holding here; there were 10,000 patients and there's

1 no difference within placebo either in unstable angina
2 or need for hospitalization for unstable angina.

3 So you're driven by heart endpoints I'm
4 not arguing. I think you showed great data.
5 Revascularization, I think you could argue the
6 threshold is different. Somebody got chest pain. He
7 gets angioplasty. It's more biased than other
8 endpoints.

9 So why do you think there's a dilemma
10 here?

11 DR. YUSUF: I think this is an interesting
12 issue. First, it could be that unstable angina is a
13 pretty nonspecific endpoint, especially in the North
14 American context where anybody with any chest pain
15 comes in.

16 And when we said new ECV pic. changes
17 (phonetic), a little squiggle on the T wave is
18 considered that.

19 The second thing is there is another good
20 example of this apparent paradox, and that's aspirin.
21 We have no doubt that aspirin prevents MI. We all
22 agree, but if you pulled the same data where you can

1 get it for unstable angina, we did this because of a
2 trial we were designing. There is absolutely no
3 effect on refractory angina or rehospitalization with
4 angina.

5 DR. THADANI: So I think you can't say
6 it's very nonspecific because if you look at outcomes
7 in unstable angina, all the trials, including your
8 doing the CURE trial and every basis based on the
9 assumption the prognosis is not as good as people have
10 believed, and I think clinicians can tell obviously
11 are wrong. Sometimes patients don't have coronary
12 disease because they have unstable angina.

13 But I think it would be nice if the
14 hospitalization for unstable angina went in the right
15 direction. It would be great.

16 DR. YUSUF: I agree.

17 DR. THADANI: I'm just very confused with
18 that.

19 ACTING CHAIRMAN CALIFF: But, you know,
20 we've all agreed to that.

21 DR. THADANI: But the question is is MI is
22 really determined by your changes on annual visit

1 because only two ECGs were done, one at baseline --

2 DR. YUSUF: No, no, no.

3 DR. THADANI: -- one at two years, and one
4 at endpoint. That's what the protocol says.

5 DR. YUSUF: Wait a minute, wait a minute,
6 wait a minute. MIs were based on clinical MIs.
7 That's the MIs.

8 DR. THADANI: But also silent MIs are on
9 it.

10 DR. YUSUF: No, silent MI is not part of
11 the data. We have data on silent MIs, and --

12 DR. THADANI: It says in there --

13 DR. YUSUF: Sorry. We have data on silent
14 MIs, and I can show a slide, and there is a numerical
15 trend identical to the clinical MIs, but it's not part
16 of the primary endpoint.

17 DR. THADANI: So in the patient who is not
18 hospitalized, he has Q wave if he's countered, right?

19 DR. YUSUF: Not in this, but that's a
20 separate set of MIs which you haven't seen.

21 DR. THADANI: So you could have missed
22 several MIs in patients in diabetics who have no

1 symptoms because they got short of breath and they
2 got --

3 ACTING CHAIRMAN CALIFF: All right, Udho.
4 He said -- he said he has the silent MIs. Would you
5 like to see the data?

6 DR. THADANI: I think so because I think
7 the whole --

8 ACTING CHAIRMAN CALIFF: Okay.

9 DR. THADANI: The definition is that
10 cardiovascular and then MIs and he says MIs have to be
11 symptomatic, and we know diabetic patients don't have
12 symptoms, and the way you do it here, (unintelligible)
13 of MI doing by the FDA, it says silent MIs is a
14 composite MI endpoint.

15 So why is there difference here? That
16 means you're dissecting the data here.

17 DR. YUSUF: I can't control everything the
18 FDA does.

19 DR. THADANI: No.

20 DR. YUSUF: But when we have --

21 DR. THADANI: -- your protocol.

22 DR. YUSUF: Slide 35, please.

1 DR. THADANI: I'm looking on page --

2 DR. YUSUF: Would you like to look at
3 this?

4 DR. THADANI: Yeah, sure. -- page 1351 in
5 your MI definition. "Silent QAMI is composite of the
6 A, B, C, D criteria," which is new QAs. That I would
7 presume you have to do ECGs often enough to account
8 for it.

9 DR. YUSUF: But it's not, Udho, in what I
10 showed you.

11 ACTING CHAIRMAN CALIFF: Right. I think
12 you've been clear. I mean the slide here shows that
13 there's a trend for silent MIs and you add them all
14 together.

15 DR. YUSUF: Could I just show you? If you
16 add silent MIs, it becomes stronger.

17 DR. THADANI: Okay.

18 DR. YUSUF: These are the data on clinical
19 MIs, which is what we've, you know -- which is what is
20 in our main papers. This is silent MIs, and you will
21 see it's the same directional effect.

22 ACTING CHAIRMAN CALIFF: I might

1 parenthetically add there's several other large
2 studies now showing very little contribution of silent
3 MI in clinical trial populations, the HERS (phonetic)
4 trial, in particular.

5 DR. YUSUF: And that's why we did not --

6 DR. THADANI: And this is by CK, CKMP, or
7 triple MIs now?

8 DR. YUSUF: Which one? Silent MIs?

9 DR. THADANI: Any MIs, whether enzymes.

10 DR. YUSUF: Oh, there is about two pages
11 of criteria.

12 DR. THADANI: So you have that.

13 DR. YUSUF: Yeah, we needed two out of
14 three criteria.

15 DR. THADANI: And sine I had a chance to
16 ask, I would come back to your question now for
17 cancer. I think the cancer rate was a bit higher. I
18 know this didn't come out, but the cancer rate is
19 higher in the active treatment on the placebo number-
20 wise, and yet you say you did not ask the physicians
21 to report cancer. Is this --

22 DR. YUSUF: Wait a minute. What you're

1 seeing from the FDA is not a reliable analysis I'm
2 sorry to say.

3 DR. THADANI: What is reliable then?

4 DR. YUSUF: Okay. What I --

5 (Laughter.)

6 DR. YUSUF: Let's come to this. What the
7 FDA has done --

8 ACTING CHAIRMAN CALIFF: Salim, I think
9 it's important to go through this carefully because
10 actually it was going to be my last question. The
11 adverse events versus what you collected on the case
12 report form.

13 DR. YUSUF: That's right. I think Rob hit
14 it on the head. Cancers that the FDA reported is from
15 the AE database. The AE database does not include all
16 cancers because we only asked people to report SAEs if
17 they met certain criteria, and if something was part
18 of the natural history of the disease and occurs in a
19 60 or 70 year old, it's not counted.

20 Now, I'll tell you what the total number
21 of cancers were. There were 401 in the placebo group,
22 and there was 383 in the ramipril group, and since,

1 Paul, you were interested in GI, Paul, there were 72
2 in the placebo group, and there were 69 in the
3 ramipril group. So that's the total cancers.

4 ACTING CHAIRMAN CALIFF: And, Salim, again
5 to clarify, the reason you know those are the right
6 number of cancers is that you had a page on the case
7 report form that asked about cancer?

8 DR. YUSUF: No, we didn't. I'll tell you
9 what happened.

10 ACTING CHAIRMAN CALIFF: How did you
11 collect the cancer data if it was not on the adverse
12 events?

13 DR. YUSUF: Yes, we did at the end, but
14 not to start with for a certain reason which I cannot
15 disclose because one part of the trial is going on.
16 Okay?

17 We decided we'd better get a better handle
18 on the cancers, and when we started to look at it, we
19 realized cancers were being reported haphazardly
20 because we didn't have a cancer form.

21 So at the last visit we had a specific
22 form where we asked them to fill it out on every

1 patient. So we capture it because they could go back
2 to the notes and get any cancers, and that's how the
3 numbers I've told you are from.

4 So the SAE report, to be fair to the FDA,
5 they took it out of that component which doesn't
6 capture everything.

7 ACTING CHAIRMAN CALIFF: Okay. I just had
8 two more points, and then we can -- if we're going to
9 finish today, we're going to have to move along, I
10 think, and my hope would be we're scheduled for lunch
11 at one. My hope would be that we can get through the
12 renal protection presentation and most of the
13 questions by one, then take a lunch break, come back
14 and do the diabetes, and then move into the panel
15 discussion.

16 So anyway, just a clear statement from you
17 on this because it's very -- we're going to discuss in
18 this trial, and I think you've been clear about it
19 already.

20 You don't think that trials that are
21 internationally done should routinely look for
22 interactions according to region of enrollment?

1 DR. YUSUF: I actually think if there is
2 no prestated hypothesis before you start off with,
3 then you shouldn't look, and if you look, you should
4 generally place more emphasis on the overall analysis
5 because that has been a data derived analysis, and
6 just like any data derived subgroup analysis, it's
7 fraught with all kinds of problems. A data derived
8 regional analysis is fraught with the same
9 methodological problems.

10 On the other hand, if we have good reason
11 to believe there may be different reasons, and we've
12 stated in the protocol, then we are obliged to do two
13 things. One is to insure we have reasonable power to
14 pick up those interactions, and then to test for
15 heterogeneity at the end of it.

16 In most trials that I've been involved
17 with and most people do, these are not issues. So I
18 think in such circumstances really one should base the
19 results on the totality of the data and not by any
20 subgroup analysis.

21 DR. FLEMING: On that issue, Salim, do you
22 think you've consistently followed that guideline in

1 your reporting of results, i.e., that you have
2 recognized that if it wasn't pre stated or if there
3 wasn't a biological rationale to in advance justify
4 that you essentially have given little credence to
5 results?

6 DR. YUSUF: I know I'm walking into a trap
7 here, Tom.

8 (Laughter.)

9 DR. FLEMING: Okay. Then let me tell you
10 what the trap is.

11 DR. YUSUF: Let me answer your question.
12 On subgroups, there are different things that we are
13 looking at. I'm talking about subgroups, and
14 regarding subgroups the answer is yes. That's the way
15 I have consistently looked at the data on subgroups.

16 DR. FLEMING: Well, certainly subgroups
17 are one type of analysis that presents substantial
18 risk of being misled because of multiplicity of
19 testing, but it's certainly not the only one, and,
20 yes, the trap was just to pull out one example, new
21 diagnosis of diabetes.

22 DR. YUSUF: I knew what you were going to

1 put up.

2 DR. FLEMING: You know, it's of interest
3 to me that those results are being presented, and in
4 your formal presentation there was nothing stated
5 about subgroup analyses by aspirin, subgroup analyses
6 by country, subgroup analyses by race. So it's
7 just -- it's trying to get a sense of what is, in
8 fact, that --

9 DR. YUSUF: Can I just explain to you what
10 our position on the -- or my position at least is --
11 on the development of new diabetes?

12 I think it is an interesting hypothesis
13 that requires testing, and we've designed a trial to
14 test that.

15 ACTING CHAIRMAN CALIFF: Okay.

16 DR. YUSUF: So I hope that's consistent
17 with fair methodological thinking.

18 ACTING CHAIRMAN CALIFF: We'll come back
19 to this, I'm sure.

20 I just had two more questions. The
21 factorial design, and again, I'm interested here in
22 whether there's any interaction between you and Bob

1 and Ray in this regard.

2 It's commonly believed and stated that
3 when you're doing studies for an indication with the
4 FDA that you can't do a factorial design because it's
5 two experimental therapies being given together,
6 obviously you've done it here. But one is a vitamin
7 which is not regulated by the FDA, and the other is a
8 drug.

9 Would you say the factorial design is a
10 better way to do things, given the number of potential
11 treatments that we have today? And is there a down
12 side to it that you've seen?

13 DR. YUSUF: Asking me or --

14 ACTING CHAIRMAN CALIFF: Yeah.

15 DR. YUSUF: Who, me?

16 ACTING CHAIRMAN CALIFF: Why don't you
17 start? I'd just be interested in hearing from Drs.
18 Temple and Lipicky.

19 DR. YUSUF: Well, I tend to be a big
20 believer in factual design for several reasons. One
21 is doing these trials is really tough and expensive,
22 and if you can evaluate more than one treatment in

1 that trial effectively, one should do it as long as
2 there is no postulate for a negative interaction or
3 bad side effects or anything or the fact that the
4 mechanisms may overlap. You know, you've got to
5 choose your questions carefully.

6 So I tend to say -- in my writings I
7 always say -- do a factorial design unless you have
8 good reasons not to do so. That's the way I believe,
9 and I think 75 percent of the trials I've been
10 involved with have been factorial designs.

11 Now, there are obviously certain issues
12 with factorial designs, but in one way you can think
13 of your second factor as background therapy. For
14 instance, let us assume in this population aspirin was
15 not indicated or capitolbril (phonetic) was not
16 indicated, and we have two options. We could let
17 aspirin float in as background therapy. If there is
18 an interaction, you'll still get the interaction. If
19 there's no interaction, you've lost nothing. If there
20 is, you learn about aspirin, and you learn about the
21 interaction.

22 So I think unless there is a strong a

1 priori reason not to do a factorial design, the
2 starting point should be let's consider a factorial
3 design.

4 ACTING CHAIRMAN CALIFF: Rob or Ray, do
5 you have guidance on factorial design?

6 DR. TEMPLE: Well, we've certainly never
7 given to my best knowledge advice that they should not
8 be carried out. This factorial design is where both
9 components were directed at the same endpoint.

10 For what it's worth, the approval of
11 aspirin and thrombolysis was -- the use of those two
12 together and the knowledge of how they interact was a
13 very important outcome of one of the ISO studies. So,
14 I mean, there's a time honored tradition.

15 We've occasionally been asked what about
16 throwing in a completely separate randomization not
17 even for the main endpoints, something completely
18 different, and when we've been asked, we've said
19 that's a really good idea, but companies have been
20 nervous that there might be some odd interaction that
21 would bite them and have been reluctant to do it, but
22 it's not because we've advised against it, although

1 it's perhaps true that an unwelcomed interaction could
2 be troublesome.

3 Personally I'd like to see a wide variety
4 of alternative medicines tested in the context of, you
5 know, good studies where the resources to study those
6 interventions would not be available. So I think we
7 think they're good.

8 ACTING CHAIRMAN CALIFF: Isn't it true
9 that such an interaction could still wreck a study
10 even if it wasn't part of the randomization?

11 DR. TEMPLE: You can imagine outcomes --
12 oh, I see. Sure. Look at the discussion we just had
13 of aspirin. That's interaction.

14 ACTING CHAIRMAN CALIFF: Right. Okay.
15 Ray.

16 DR. LIPICKY: Not to let the opportunity
17 go, we like factorial trials and try to talk people
18 into studying more than one dose that way frequently,
19 not because marketing thinks it's a good idea, but
20 because it makes sense medically.

21 ACTING CHAIRMAN CALIFF: Okay. My last
22 question has to do with the monitoring, auditing of

1 this trial, and you obviously didn't reconcile your
2 adverse events database with the main database because
3 you have different numbers. At least from my
4 experience about half of the cost of a trial is spent
5 on chasing down details and adverse events databases,
6 and I just wanted to get a sense from you and from Bob
7 and Ray about what the advantages might have been of
8 spending more time on the details of the adverse
9 events.

10 There appears to be a consultation going
11 on here.

12 DR. LIPICKY: I can give you my answer to
13 that, and that would be none.

14 DR. TEMPLE: There have been a lot of
15 discussions about what necessary monitoring is, as Rob
16 is undoubtedly familiar with. The good clinical
17 practice document that came out of ICH and is now our
18 guidance also says that monitoring, the amount of
19 monitoring and, indeed, the presence of any on site
20 monitoring at all is something you have to figure out
21 depending on the circumstances of the trial.

22 So in the present case with the mortality

1 endpoint being at least part of it and some of the
2 other endpoints fairly hard, you might conclude that
3 not a great deal of on site monitoring is necessary
4 because you can check centrally whether the rules are
5 being followed, and we take an open minded attitude
6 toward that.

7 It certainly is true that the cost of
8 trials go up enormously if you do what would be called
9 conventional drug company on site monitoring. So I
10 think we're open minded about it.

11 If all of the elements are subjective and
12 you're worried about blind breaking, things like that,
13 there might be reasons to be on site and find out more
14 about it, but you can make a case that it's less
15 necessary when the endpoints are hard, easily
16 verifiable, and which at least some of these are.

17 ACTING CHAIRMAN CALIFF: So what was done
18 in this trial? What was the auditing procedure?

19 DR. YUSUF: It was modest and sensible,
20 and what we did was randomization was central and we
21 controlled it. So we knew that was integral. All
22 primary and prestated secondary endpoints, they had to

1 fax in supporting data. So we knew it actually
2 happened.

3 So those were the two things that were 100
4 percent audited.

5 The second thing is a random ten percent
6 of the patients were reviewed. The charts were
7 reviewed by the company's staff, and at the end of the
8 study every center was visited once at least by the
9 monitors.

10 So compared to some trials it was much
11 more minimal, and I think there were three factors
12 that were driving this minimalistic approach. One is
13 it was a low cost trial. Second is there were at
14 least some enlightened people in the company who
15 backed us, which was very important. Otherwise we
16 could not have done this trial.

17 And the third one is I believed
18 methodologically all along monitoring was a waste of
19 money at least to the extreme that it went. But what
20 we also did was what Bob said. We monitored for
21 cause.

22 When we looked at data and we found sloppy

1 data or lots of missing data or suddenly in a given
2 region or center the event rates are markedly
3 different, we then got people to go out and check
4 those.

5 So we did central monitoring very
6 carefully, and then when we had a suspicion something
7 was wrong, we sent people out, and in fact, in all
8 those cases all we found was sloppiness, not fraud or
9 anything like that.

10 ACTING CHAIRMAN CALIFF: So to be clear,
11 it's frequently said that 100 percent monitoring has
12 to be done because the FDA requires it, but in this
13 case, there's ten percent, and it's okay with the FDA?

14 DR. LIPICKY: Yes.

15 DR. TEMPLE: Well, a lot of what Salim
16 described wouldn't even be called monitoring. It's
17 auditing, which is not the same thing at all. The
18 issue is on site monitoring.

19 We had a workshop under the auspices of
20 the Institute of Medicine on this, and you know, as
21 everybody knows, typical drug trials, you visit every
22 four weeks and go over and do stuff, and we had a lot

1 of the discussions of this while writing the
2 international guideline, and there was an initial
3 inclination to say that that's what monitoring means.
4 You go every four weeks out to the site and visit
5 every site.

6 What I said and what Jay Siegel, who was
7 there with us, is that not trial that's ever shown
8 anything really important has ever been monitored like
9 that, so that it didn't really seem like it could be
10 an absolute necessity.

11 Most NIH trials aren't monitored that way.
12 Most cancer cooperative group trials, you know, the
13 typical study, none of those trials get that kind of
14 monitoring. So the guidance is quite flexible, and
15 for a large outcome trial it's virtually inconceivable
16 that you can go to every site every four weeks. So
17 it's written quite flexibly.

18 I think the idea is that it should be part
19 of the plan. There should be a reason for choosing
20 the monitoring and follow-up and auditing arrangements
21 that you have and that we're prepared to look at
22 anything reasonable. It's a good idea to think about

1 it ahead of time, however.

2 ACTING CHAIRMAN CALIFF: Great. Okay.
3 Well, Tom, last comment.

4 DR. FLEMING: Very brief. I just wanted
5 to concur with Salim's advocacy for factorial designs,
6 particularly in those settings as you point out where
7 it's not anticipated that there will be negative
8 synergy and the interactions that would, in
9 particular, cause you to have to reduce the dose in
10 the cell in which both interventions are delivered.

11 Point of information. You had referred to
12 an upcoming Lancet publication that was a meta
13 analysis. What are the other -- in fact, is this a
14 meta analysis in a setting that is specific to HOPE?

15 DR. YUSUF: No, no, no. It's really the
16 pre-HOPE trials. It just took one and a half years to
17 get reviewed and published.

18 DR. FLEMING: And which of the studies are
19 included in that?

20 DR. YUSUF: It is the two SOLVD trials,
21 SAVE, TRACE, and AIRE, and it's about what level,
22 12,000 or so patients, and it's got about two, 3,000

1 deaths, another four, 5,000 non-fatal events.

2 I'd be happy to send you a copy.

3 DR. FLEMING: Could this be a source of
4 the types of studies to look at, for example, the race
5 issue in larger numbers?

6 DR. YUSUF: It's not in the paper. We
7 tried to do it, Tom, but what we found was there was
8 so few people who were non-white in these trials.
9 That would have been the best place to look at it, and
10 you're absolutely right in your instincts.

11 DR. THADANI: Salim, if I may ask you the
12 last question here, given the constant different
13 practices of medicine, especially cardiovascular
14 coronary disease in the States, Europe, Canada -- if
15 you work in three places it's different -- and given
16 some concern regarding that most of the population is
17 coming from Canada, there might be differences in race
18 and also numbers are small in U.S. and elsewhere.

19 Why don't you when you design the trial --
20 I realize there are difficulties -- limit the number
21 of patients who can go from each country? So you
22 reach 1,200 patients, 1,400. I know trials are

1 difficult, but then we won't be arguing with what
2 you're doing today because then we'd be more sure that
3 when you analyze the data for the variability, either
4 its race or region will be more consistent.

5 Why don't we do that? I know in small
6 trials, say, if you're doing a stable angina exercise,
7 we won't let a center -- it reaches 12 patients and
8 they cut off. Otherwise in the end his results are
9 biased just from that sample.

10 I'd like your comment on that.

11 DR. YUSUF: I think it is impractical
12 because you can never predict exactly what the
13 recruitment is going to be in different parts of the
14 world, and then what will happen is your slowest
15 recruiting region will delay your entire program.

16 The other part is the cost of doing the
17 trial varies by regions, and in the end you have only
18 so much money, and the main thing you're interested in
19 is getting a clear overall result. So you want that
20 money to go really far and Ray made the point this is
21 for the size of the study and the duration of the
22 study not a generously funded study.

1 The third point is let me take a
2 methodological issue here with you on the variations
3 in treatment. Let us say --

4 ACTING CHAIRMAN CALIFF: Be brief.

5 DR. YUSUF: Very brief.

6 Let us -- the thing that you raised
7 earlier on, Udho, was perhaps revascularization rates
8 differ in different parts of the world. Well, the
9 best way then to do is to take the patients who had
10 revascularization and then look at the treatment
11 effect in them, and in those who didn't have
12 revascularization and look at the treatment effect in
13 them, and then if you see an interaction, your
14 hypothesis would be supported, but if you didn't see
15 an interaction by previous CABG surgery or PTCA, then
16 you'd say, "Well, that's not supported."

17 And, in fact, in HOPE we've done that
18 analysis. I haven't brought it here, but there's
19 about 35 percent of the people have previous
20 revascularization. Get them into the trial, and the
21 relative risk reductions are identical.

22 So when you say, yes, practice patterns

1 may vary by region, then you take each of those
2 practice patterns and do the subgroup analysis by
3 those practice patterns to understand it, and we've
4 done it, and there is no heterogeneity.

5 ACTING CHAIRMAN CALIFF: Okay. Let's move
6 along now to the renal protective issue.

7 DR. YUSUF: I'm also standing as the
8 moderator. So if you don't mind, I'll introduce the
9 next speaker.

10 ACTING CHAIRMAN CALIFF: That will be
11 fine.

12 DR. YUSUF: The next speaker is Dr.
13 Hertzl Gerstein -- no, it's Dr. Barry Brenner from
14 Harvard, who will give us a perspective on renal
15 disease that will assist us in interpreting the next
16 speaker's presentations.

17 DR. BRENNER: Thank you, Dr. Yusuf.

18 Ladies and gentlemen, I feel a little bit
19 as you do when you attend an elegant banquet. There's
20 usually a separation at the beginning of the meal and
21 the main course by some sorbet, and I think I've been
22 asked to provide the sorbet this morning or this

1 afternoon after this lengthy discussion and simply to
2 introduce the subject of chronic renal disease.

3 Now, as many of you know, chronic renal
4 disease is a category of many etiologies, but they all
5 have in common one feature, and that is that renal
6 disease of a chronic nature is inexorably progressive.
7 Nobody gets better on their own with chronic renal
8 disease. They only get worse.

9 There is a progressive march from mild to
10 advanced renal disease that is true whether the renal
11 disease is congenital, hereditary, metabolic as in
12 diabetes, inflammatory as in arthritis, or due to any
13 other etiology.

14 My first slide shows you the multiplicity
15 of causes of end stage renal disease. At one point in
16 time, 1992, the United States renal data registry
17 provided this information, and what you see is that in
18 1992 there were roughly three populations making up
19 the whole of end stage renal disease. This is renal
20 disease that has advanced to the point where for life
21 to continue the patient requires either renal
22 replacement therapy in the form of hemodialysis or

1 renal transplant patient.

2 In these patients, a third have their
3 renal failure due to diabetes, roughly a third due to
4 hypertension, and a roughly a third due to all other
5 causes.

6 In 1972, when the United States Congress
7 took the bold step of insuring totally the cost of
8 care for patients with end stage renal disease,
9 roughly 20,000 Americans qualified in the first year,
10 and the cost in that first year was \$270 million.

11 Now, in 1992, 20-some years later, 300,000
12 Americans are being maintained on chronic renal
13 replacement therapy, and the cost was \$15 billion.

14 The latest figures we have are for 1998,
15 and now we learn that there are nearly 500,000
16 Americans on renal replacement therapy, and the cost
17 at least in 1999 from the most recent estimate is
18 crossing the \$20 billion mark.

19 So the problem with chronic renal disease,
20 even though we have a life support system called
21 dialysis, is not being met because the growth of this
22 population and the cost of incurring this kind of care

1 is so great that there has to be an emphasis on
2 providing some regard to the chronic renal disease
3 paradigm.

4 Now, another element that's shown here is
5 that in 1992 the number of diabetics who made up the
6 whole of end stage renal disease was roughly a third
7 of the total. In 1972, it was less than ten percent,
8 and in 1999, again where we have the latest figures,
9 44 percent of the total are diabetics, and of course,
10 Type 2 adult onset diabetics are the dominant
11 population here, more than 90 percent being Type 2.

12 Now, in the year 2015 what should this pie
13 chart look like? By everyone's best guess, diabetes
14 will represent 80 or 90 percent of the total, and
15 that's because our population is aging, and our
16 population is growing in size. I'm talking about
17 this. And more and more people are living to the
18 development of Type 2 diabetes, and equally
19 importantly, are living 20 years with their disease.

20 So that the complications of advanced
21 microvascular disease, including end stage renal
22 disease, are beginning to accumulate.

1 The time line for progressive nephropathy
2 in diabetes is very well described. It is well
3 recognized from many natural history studies that it
4 takes approximately 25 to 30 years for the kidney to
5 fail. So a fatal myocardial infarction three years
6 after the onset of Type 2 diabetes will occur in an
7 individual who has relatively normal renal function.

8 On the other hand, postponing fatal stroke
9 or fatal MI will allow roughly 40 percent of the
10 diabetic population to travel this road toward end
11 stage renal therapy.

12 In the initial years, there is already
13 abnormalities of the kidney if one looks
14 histologically, but by usual function tests very
15 little abnormality is detected. There's not protein
16 in the urine, not even scant quantities of protein,
17 the so-called micro albuminuria, and function studies
18 are, by and large, normal.

19 In a five to 15 year interval there is now
20 evidence that blood vessels everywhere in the body are
21 beginning to leak plasma proteins. The leak is
22 relatively small at first, but can be measured, and

1 any number of compartments have been measured.

2 For example, the leak of albumin occurs
3 into the retinal field and can be detected with
4 fluorescein labeled albumin.

5 The leak of albumin also occurs across
6 capillaries into interstitial fluid around muscle
7 capillaries, for example, but it's difficult to
8 sample.

9 The largest volume of interstitial fluid
10 is urine. Urine is the interstitial fluid that
11 surrounds the glomerular capillary bed. The
12 glomerular bed, like all other beds in the diabetic,
13 are under higher pressures from the very start of the
14 hyperglycemic state, in part, because of the overload
15 of volume and the increase in flow through the
16 microvascular bed in diabetes, Type 1 and Type 2.

17 The leak of albumin, this transcapillary
18 escape of albumin, occurs into the fluid surrounding
19 the glomerular capillaries as it does across all
20 capillaries, but recall that the fluid that crosses
21 the glomerular capillary eventuates as final urine and
22 is an easy and readily available fluid source in which

1 to measure this escape phenomenon.

2 This process is already evident early in
3 the course of diabetes. After five or so years, many
4 patients with diabetes will develop micro albuminuria,
5 and as it turns out, those individuals who develop
6 micro albuminuria are the ones who will, if left
7 unchecked and live long enough, develop end stage
8 renal failure.

9 Micro albuminuria in many, many studies
10 has been shown to predict all of the bad events that
11 fall on patients with diabetes with respect to the
12 kidney, but also with respect to other risks.

13 So the more protein in the urine, the
14 shorter the life span. In diabetics, the more protein
15 in the urine, the greater the cardiovascular event
16 rates. That means myocardial event rate, coronary
17 artery disease event rate, peripheral vascular disease
18 event rates, retinopathy, neuropathy, the entire gamut
19 of microvascular complications increases in risk as
20 the expression of the proteinuria rises.

21 The proteinuria is not just a renal
22 problem. It is a hallmark of a larger syndrome of

1 microvascular leakage which is taking place
2 everywhere, and these other organs that I just
3 enunciated are affected by that abnormality.

4 This diagram indicates something about how
5 clinical trials are done. When it is recognized that
6 in order to convince people about the protective
7 effect of a particular drug, you will expect to have
8 studies that are done near the end stage of renal
9 failure because within a five or ten year interval and
10 as short as a three year interval, the number of
11 events like loss of renal function totally or fatal
12 MIs, all cause mortality will accumulate in this later
13 interval.

14 Very different would be a trial where the
15 initial enrollment is in HOPE, and you'll hear the
16 data include data of patients with diabetes who have
17 not had any detectable abnormality of renal function.
18 They have not yet proteinuria, micro albuminuria, and
19 they include some patients who do have micro
20 albuminuria.

21 To wait until those patients develop end
22 stage renal failure would require a study of some 20

1 years, not a popular study for most of the fellows who
2 come to my laboratory to want to undertake nor the
3 investigators who want to undertake nor the funding
4 sources want to take on.

5 Very different are the endpoints that
6 accumulate along this line for cardiovascular events.
7 Myocardial infarction is occurring everywhere along
8 this window, and of course, the patients enrolled in
9 HOPE are showing the myocardial infarctions and other
10 all causes of primary outcome event that were
11 documented by Dr. Yusuf. But renal failure won't
12 occur for another 20 years.

13 The challenge to people working on the
14 kidney has been to try and identify which other
15 factors might serve as risk for mortality and for
16 cardiovascular complications. In diabetics, in
17 particular, glycemic control has been proven, and
18 those studies of glycemic control have been done
19 primarily at the very early stages of diabetes.

20 And going back again to that line diagram,
21 the DCCT trial was done in here. Some of the patients
22 were normal albuminuric, and some were micro

1 albuminuric, and the endpoints were to cross the next
2 milestone. For the normal albuminuric did they become
3 micro albuminuric? For the micro albuminuric, did
4 they develop overt nephropathy, which is simply
5 defined as reaching a level of protein in the urine of
6 dip stick positive sensitivity, a half a gram a day or
7 more?

8 So the milestones here are short in DCCT,
9 short of an endpoint of renal failure. Yet the
10 glycemic control story is now universally accepted.
11 The better the control, the less likelihood the normal
12 albuminuric will develop micro or the micro
13 albuminuric will develop overt nephropathy.

14 So this time line governs a great deal of
15 what we think about when we try and identify these
16 early events that might predict delayed renal failure.

17 In addition to glycemic control in the
18 diabetic, we include proteinuria, and we include
19 hypertension as the hallmark risk factor. Control of
20 their of these delays progression to the next
21 milestone, but no study has taken the control of blood
22 pressure, the control of blood glucose or the control

1 or proteinuria at the earliest stages and followed the
2 patient all the way through because, as I said, the
3 demand of time of 20 years.

4 You heard from Dr. Yusuf today, and you
5 know from your own experience that the initial studies
6 of cardio protection with ACE inhibitors congregated
7 in patients who had the most advanced heart disease,
8 and then only over time with demonstration of efficacy
9 in the most advanced populations did trials begin
10 working at earlier and earlier stages in the evolution
11 of heart disease, now to the point not only of not
12 asymptomatic left ventricular dysfunction, but now
13 with no left ventricular dysfunction as you heard in
14 HOPE.

15 The same pattern is emerging in the
16 nephropathy field. The initial renal protective
17 studies in insulin dependent diabetes were done at the
18 late stage where you could affect mortality, expect
19 mortality and renal death to occur, and in both
20 studies, the insulin dependent diabetic, efficacy of
21 ACE inhibition was established, was demonstrated. And
22 the Lewis trial received an FDA approval.

1 Currently three trials are in progress,
2 not with ACE inhibitors, but with angiotensin receptor
3 antagonists, and they are in the population that had
4 never been studied in detail in this late phase, the
5 non-insulin dependent population, and no data are
6 expected from these trials for another year or two.

7 We see small trials accumulating in the
8 incipient phase and three published trials completed
9 in the preclinical stage. In the aggregate, eight
10 trials in non-insulin dependent diabetes with micro
11 albuminuria, and five in insulin dependent diabetics
12 where the enrollment criteria in this micro
13 albuminuria and the event is protection of protein in
14 the urine at an overt level, that is, more than a half
15 a gram a day.

16 These trials have been published, have not
17 received FDA attention, to my knowledge, and now we
18 have data that you will hear again in non-insulin
19 dependent diabetes from the HOPE study with more
20 patients in this HOPE database than in the aggregate
21 of this A trial population already studied.

22 And HOPE also addresses the earliest phase

1 of all, patients who are normal albuminuric at
2 enrollment and where the endpoint is the detection of
3 micro albuminuria. Does ramipril protect or not?

4 And, again, the number of patients in HOPE
5 in this NIDDM trial -- it's primarily NIDDM. There
6 are only a few IDDMs in the database -- exceed those
7 already studied.

8 So I think what we have from HOPE, and I
9 was not an investigator included in the study, is an
10 opportunity to have more aggregate information looking
11 at trends of protection that are possible with ACE
12 inhibition, and to see whether the accumulation of
13 experience warrants recommendations for general
14 acceptance by the physicians in our communities.

15 Thank you very much.

16 ACTING CHAIRMAN CALIFF: Are there
17 questions for Dr. Brenner?

18 DR. THADANI: In the progression of
19 disease, is there a difference between insulin
20 dependent and non-insulin? You know, you showed the
21 chart from zero to five, five to ten, or is that data
22 really driven from insulin dependent diabetics?

1 of all, patients who are normal albuminuric at
2 enrollment and where the endpoint is the detection of
3 micro albuminuria. Does ramipril protect or not?

4 And, again, the number of patients in HOPE
5 in this NIDDM trial -- it's primarily NIDDM. There
6 are only a few IDDMs in the database -- exceed those
7 already studied.

8 So I think what we have from HOPE, and I
9 was not an investigator included in the study, is an
10 opportunity to have more aggregate information looking
11 at trends of protection that are possible with ACE
12 inhibition, and to see whether the accumulation of
13 experience warrants recommendations for general
14 acceptance by the physicians in our communities.

15 Thank you very much.

16 ACTING CHAIRMAN CALIFF: Are there
17 questions for Dr. Brenner?

18 DR. THADANI: In the progression of
19 disease, is there a difference between insulin
20 dependent and non-insulin? You know, you showed the
21 chart from zero to five, five to ten, or is that data
22 really driven from insulin dependent diabetics?

1 DR. BRENNER: The natural history of Type
2 1 and Type 2 diabetes by most experts today is
3 considered the same. There was a time when it was
4 thought that insulin dependent diabetics had a greater
5 risk of nephropathy than non-insulin dependent
6 diabetics, but that has been shown clearly not to be
7 the case when young, non-insulin dependent diabetics
8 are now included and have the likelihood of living 20
9 years with their disease.

10 Before, it was a 50 year old with NIDDM
11 who died three, five, seven years later with an MI,
12 didn't have renal disease, and was, therefore, leading
13 people to think no nephropathy. But now we know that
14 if you study, for example, the American indigenous
15 population around the Hela Reservation or the Canadian
16 Inuit population or the aboriginals in Australia, and
17 in some other populations of young, relatively young
18 onset, maturity onset diabetes with that 20 years of
19 life the time line for the development of nephropathy
20 superimposes exactly on Type 2 or Type 1.

21 DR. THADANI: What about the question of
22 it won't come in this HOPE trial, but glycemc

1 controls, especially with the hemoglobin glyceemic --

2 DR. BRENNER: I think there --

3 DR. THADANI: Were there a difference in
4 the progression if you control it better or you don't?

5 DR. BRENNER: There are fewer NIDDM
6 glyceemia trials, and nothing as rigorous as DCCT,
7 which was done in IDDM. IDDM carried out at the
8 earliest stages of nephropathy clearly influenced
9 recommendations about renal protection, but it's
10 largely been extrapolation from the IDDM study to the
11 NIDDM population. There's no clear, rigorous trial,
12 to my knowledge.

13 ACTING CHAIRMAN CALIFF: Other questions
14 for Dr. Brenner? Yes, Dr. Fleming.

15 DR. FLEMING: This issue of valid
16 surrogates for diabetic nephropathy, albumen excretion
17 rates and albumen creatinine rations, and you did show
18 us this plot that looked at the relationship of
19 proteinuria and mortality showing quite a thinning out
20 in survival differences by five years. The question:
21 how much is this the causal agent? How much is this
22 a marker for other differences that are, in fact,

1 causal?

2 Was there any expiration in those data to
3 try to understand --

4 DR. BRENNER: Not in that study.

5 DR. FLEMING: -- imbalances?

6 DR. BRENNER: Not in that study. That
7 study in insulin dependent diabetics is one of about
8 eight that shows a similar pattern, that the more the
9 proteinuria, the greater the mortality.

10 DR. FLEMING: The correlation is
11 undoubtedly real. What's the strength of evidence for
12 this being a causal factor?

13 DR. BRENNER: I don't think the
14 proteinuria is a cause. The proteinuria tells you
15 that the patient has a transcapillary leak. It's easy
16 to find when you measure urine. It's hard to find
17 when you look at that leak in the organ because you're
18 not accessing the interstitial.

19 So the question is: what's wrong with the
20 blood vessel wall?

21 It turns out in diabetes to be, from my
22 viewpoint, rather simple. The pressures in all

1 capillaries are higher than normal within three days
2 of the onset of diabetes. As soon as you have
3 hyperglycemia, you retain salt and water because in
4 order to reabsorb glucose, the renal tubule does the
5 reabsorption of the glucose in co-transport with
6 sodium. So there is extracellular volume expansion.

7 And within a few days, you can measure a
8 30 percent increase in cardiac output. I think Dr.
9 Borer did that study. You can find an increase in
10 retinal flow, muscle forearm flows, and renal blood
11 flow. All of these organs are over profused, and when
12 the pressures are measured in peripheral capillaries,
13 they double.

14 And when we measured them for the first
15 time in the glomerular capillaries in diabetes, they
16 went up like 35, 40 percent.

17 The singular benefit of ACE inhibition was
18 to restore those glomerular pressures to normal, more
19 so than any other class of drugs at the time that the
20 studies were done. And that motivated the Lewis trial
21 and what have you.

22 ACTING CHAIRMAN CALIFF: You seem to be

1 making the case that albumen in the urine is a valid
2 surrogate for the ultimate permanent loss of renal
3 function. I think that's what Dr. Fleming was getting
4 at, and I'm just wondering whether there's adequate
5 evidence by the criteria that have been developed for
6 validating surrogates that we can really accept.

7 DR. FLEMING: And just because that's
8 leading into where I'm headed with the next question,
9 which is if you have a patient with pre-clinical
10 nephropathy or incipient, early incipient nephropathy,
11 which as you've noted is patients in the HOPE trial,
12 how do we know what level of change you need to see in
13 proteinuria for as long a period of time as we're
14 following for you to have even a moderately reliable
15 sense that that, in fact, is going to influence long
16 term occurrence of --

17 DR. BRENNER: Long term recurrence?

18 DR. FLEMING: Well, the long term clinical
19 course of renal failure.

20 DR. BRENNER: The renal outlook, the
21 prognosis for the kidney.

22 DR. FLEMING: Right.

1 DR. BRENNER: What we have is clear
2 evidence in patients who have macro proteinuria and
3 where the macro proteinuria has been reduced. Studies
4 in diabetic and in non-diabetic alike, the more you
5 reduce the level of albumen in the first year of ACE
6 inhibitor therapy, the greater is the slowing of the
7 rate of loss of GFR in the subsequent three year
8 period.

9 In other words, the more the proteinuria
10 are based with the therapy, the more the trend down in
11 renal function is stopped.

12 DR. FLEMING: But that's still not
13 evidence to validate a surrogate, and secondly, that's
14 a more proximal event to the actual occurrence of
15 renal failure than where we are here, and it's much
16 more of a reach.

17 DR. BRENNER: But it's a look at a later
18 stage, and --

19 DR. FLEMING: But still it --

20 DR. BRENNER: But the answer to your
21 question is we don't have the data.

22 ACTING CHAIRMAN CALIFF: Dr. Lipicky.

1 DR. LIPICKY: In the slide that you showed
2 with the time line and the Lewis paper --

3 DR. BRENNER: Yes.

4 DR. LIPICKY: -- of those studies that are
5 in the late stages of development, the Lewis study
6 certainly did measure clinical endpoints, such as the
7 need for dialysis, and things on that order --

8 DR. BRENNER: Yes.

9 DR. LIPICKY: -- which were affected by
10 treatment significantly. How many -- where does the
11 REAM (phonetic) study --

12 DR. BRENNER: The RAIN (phonetic) trial is
13 a study in non-diabetic patients.

14 DR. LIPICKY: I see. Okay. So --

15 DR. BRENNER: And it has the same
16 endpoints as Lewis.

17 DR. LIPICKY: So much of these other
18 studies that are in this --

19 DR. BRENNER: No, everything here is for
20 diabetes. I didn't --

21 DR. LIPICKY: Right.

22 DR. BRENNER: -- address the non-diabetic

1 study.

2 DR. LIPICKY: So which of these studies,
3 in fact, have clinically relevant endpoints in
4 addition to Lewis?

5 DR. BRENNER: Only Lewis.

6 DR. LIPICKY: Only Lewis.

7 DR. BRENNER: In what has been reported to
8 date.

9 DR. LIPICKY: Right. So that --

10 DR. BRENNER: In all of these other trials
11 that you see where they are used with angiotensin
12 suscepta (phonetic) blockers, I believe -- I know for
13 sure RENALE (phonetic) because I chair it -- IDNT,
14 which is the abisarten (phonetic) diabetic nephropathy
15 trial, has the same hard endpoints that was in Lewis.
16 In fact, Lewis is the director of that trial.

17 And I believe ABCD-V2 has the same hard
18 endpoints.

19 DR. LIPICKY: So at the moment with
20 respect to the story that you're evolving, there is
21 one trial in diabetics that actually --

22 DR. BRENNER: One trial.

1 DR. LIPICKY: -- would lend support to the
2 notion that seems to be the notion that most
3 nephrologists have.

4 DR. BRENNER: I don't know what you mean
5 by that. Let me -- let me, I think, say what you were
6 thinking. Lewis is the only study in diabetes,
7 published study in diabetes, that has the hard
8 endpoints of renal death and all cause mortality, in
9 addition to having doubling of serum creatinine or
10 changes in proteinuria or less -- let's say less
11 rigorous measures of renal function.

12 DR. LIPICKY: Right.

13 DR. BRENNER: Okay, and the only other
14 trial that has that in all of nephrology is the RAIN
15 trial with Altace in non-diabetic patients.

16 DR. LIPICKY: And those endpoints were --

17 DR. BRENNER: The same.

18 DR. LIPICKY: -- thought of afterwards,
19 right? They weren't part of the prospective trial.

20 DR. BRENNER: I think they are part of the
21 prospective trial.

22 DR. LIPICKY: Were they?

1 DR. BRENNER: To my knowledge.

2 DR. LIPICKY: But they weren't part of the
3 original publication, were they?

4 DR. BRENNER: They were.

5 DR. LIPICKY: They were. Okay.

6 DR. BRENNER: It was composite endpoint of
7 the things I --

8 DR. LIPICKY: Okay, fine. So then there
9 are two trials in all of kidney disease --

10 DR. BRENNER: Yes.

11 DR. LIPICKY: -- that might support the
12 notion that you're forwarding.

13 DR. BRENNER: Yes.

14 DR. LIPICKY: Okay.

15 DR. BRENNER: There are also some other
16 experiences that are worth mentioning. There are some
17 repeat biopsy trials which show that the reduction in
18 proteinuria is matched by some lessening of the
19 histologic changes. In some cases, in the kidney
20 transplant, for example, where the biopsy is often
21 carried out quite frequently to monitor so-called
22 rejection episodes, there is clear regression of

1 lesion with these kinds of therapies.

2 So that adds to the sense of confidence we
3 have that early studies on that time line are
4 pertinent to predicting late events, late being loss
5 of renal function or all cause mortality.

6 DR. THADANI: If I may ask on two minor
7 points.

8 DR. BRENNER: Yes.

9 DR. THADANI: When you're assessing
10 proteinuria or micro albuminuria, protein intake has
11 got a lot of influence. If you eat more protein, do
12 you leave more protein?

13 DR. BRENNER: Not in a normal subject.

14 DR. THADANI: In the patients with
15 diuretics?

16 DR. BRENNER: Insofar as their eating more
17 protein will raise their renal blood flow.

18 DR. THADANI: So it will increase the
19 micro proteinuria, right?

20 DR. BRENNER: It may provoke a slight --

21 DR. THADANI: So if you don't control the
22 dietary intake, how confident could you be that on a

1 given day or one year later your variation in the
2 total 24 hour urinary --

3 DR. BRENNER: Well, to the extent that you
4 have a randomization --

5 DR. THADANI: I realize, but with the
6 sample size you get away, but if you don't have the
7 samples, that would be one issue possible. You have
8 a heavy meal with a lot of -- you know.

9 DR. BRENNER: These protein measurements
10 are typically made on first morning specimens.

11 DR. THADANI: I suppose --

12 DR. BRENNER: And whatever meal effect
13 you're contemplating is largely dissipated.

14 DR. THADANI: Even if you have a heavy
15 meal the night before?

16 DR. BRENNER: Even if you had dinner the
17 way I did last night.

18 DR. THADANI: Okay.

19 (Laughter.)

20 ACTING CHAIRMAN CALIFF: All right, Udho.
21 Last question. We have a hungry audience here.

22 DR. THADANI: The last question is in the

1 Lancet article they define three different -- one is
2 the micro endoneuria. The other one is the ratio of
3 the creatinine --

4 DR. BRENNER: Which article?

5 DR. THADANI: I think the renal --

6 DR. BRENNER: The HOPE study?

7 DR. THADANI: Yeah.

8 DR. BRENNER: I don't want to get into
9 that.

10 DR. THADANI: No, no.

11 DR. BRENNER: Somebody else will.

12 DR. THADANI: I just want to say what's
13 your idea of measuring just the albumen. Was it the
14 ratio of albumen to creatinine? Which is more
15 relevant in your judgment to assess the significance
16 of progression?

17 DR. BRENNER: I would say a change in any
18 of them as long as you try and stay with the same --

19 DR. THADANI: So you define it for?

20 DR. BRENNER: Yes.

21 ACTING CHAIRMAN CALIFF: Okay. Thank you.

22 And with what we'll take a 45 minute break

1 for lunch and try to start at about ten till two.

2 (Whereupon, at 1:08 p.m., the meeting was
3 recessed for lunch, to reconvene at 1:50 p.m., the
4 same day.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (2:00 p.m.)

3 ACTING CHAIRMAN CALIFF: If everyone could
4 take their seat, we'd like to get started.5 I think we had a good morning covering the
6 general issues in the trial and the cardiovascular
7 outcomes, and we've now had the introduction to the
8 renal outcomes. It's time now to turn to the diabetes
9 sub-study.10 Our goal will be to try to get through the
11 sponsor portion in the next hour, and then that will
12 leave us two hours for deliberations.13 DR. YUSUF: Sure. Our next speaker is Dr.
14 Hertzler Gerstein, who was the principal investigator
15 of the diabetes component of the HOPE study. He will
16 be discussing the results on the main endpoints
17 amongst diabetics, and also on the nephropathy issue.18 DR. GERSTEIN: Mr. Chairman, ladies and
19 gentlemen, it is my pleasure to present the results of
20 the HOPE study as they pertain to individuals in the
21 study who had diabetes.

22 I will first discuss the cardiovascular

1 outcomes in the diabetic subgroup in the first half of
2 my presentation. In the second half of the
3 presentation, I will discuss the nephropathy, the
4 renal outcomes in the diabetic subgroup.

5 Diabetes is well known to be a strong risk
6 factor for cardiovascular disease. Individuals with
7 diabetes are two to four times more likely to die of
8 cardiovascular causes than those without diabetes.

9 Middle aged individuals with diabetes and
10 other risk factors have an annual risk of a
11 cardiovascular event of four to five percent.

12 Evidence from other studies show that ACE
13 inhibitors reduce end stage renal disease in people
14 who have Type 1 diabetes, as well as diabetic
15 nephropathy, and it is clear that this reduction may
16 also be due to a cardiovascular benefit in addition to
17 the renal benefit, and there's also evidence that ACE
18 inhibitors may have favorable metabolic effects.

19 It is important to state that the HOPE
20 study prespecified diabetes as a separate subgroup for
21 separate analysis of the primary outcome, and in fact,
22 at the time that this study was planned, there was a

1 plan to recruit up to 4,000 individuals with diabetes
2 in the study, which would have given us, assuming an
3 event rate of five percent per year, 90 percent power
4 to detect an 18 percent reduction in the
5 cardiovascular primary outcome.

6 We were very successful, and as I'll show
7 you, we recruited at least one in three participants
8 with diabetes. So this was a prespecified subgroup.

9 You've already seen this slide, and this
10 is the same inclusion and exclusion criteria for those
11 with diabetes as those without, with the exception
12 that individuals with diabetes could have been
13 recruited to the study if they'd had a previous
14 cardiovascular disease as in the other individuals or
15 if they had at least one other cardiovascular risk
16 factor, and that would be a systolic greater than 160
17 or a diastolic greater than 90 or on therapy;
18 cholesterol greater than 5.2 millimeters per liter;
19 HDL less than 0.9; smoker; or micro albuminuria, and
20 I'll show you that micro albuminuria or small amount
21 os albumen in the urine at the time was felt to be a
22 strong cardiovascular risk factor and now clearly is

1 a very strong cardiovascular risk factor, and we'll
2 discuss that later on.

3 Just to remind you that individuals with
4 diabetes and, indeed, individuals in the whole study
5 were excluded if they also had dipstick positive
6 proteinuria. So in light of the previous presentation
7 before lunch, we excluded anybody who would have been
8 called overt nephropathy or well on their way to end
9 stage renal disease, and for the diabetes group and,
10 again, for the group as a whole, this was a study of
11 those with either no proteinuria or just micro
12 albuminuria, and I'll come back to that in the second
13 part of the presentation.

14 The same outcomes apply to the diabetic
15 subgroup as they apply to the group as a whole.
16 Clearly, the exact same primary outcome and the exact
17 same secondary outcomes. I'm just going to highlight
18 for the second part of the presentation that one of
19 the secondary outcomes was overt nephropathy, and this
20 was an outcome that we had discussed fully in a
21 previous sub-study which was funded in 1994, and I'll
22 talk about that later on, the micro HOPE sub-study.

1 Okay. Now I'm going to present the
2 baseline characteristics for the individuals in the
3 study with diabetes, and this just applies to those
4 with diabetes. As you can see, about 36 to 37 percent
5 of all the diabetic subjects in the study were women,
6 which is one of the largest studies of women with
7 diabetes for cardiovascular outcomes, and the average
8 age was 65.

9 The duration of diabetes was about 11
10 years in the study, and it's important to note that
11 the diabetes therapy were balanced across groups as
12 were the other baseline characteristics.

13 These are the baseline risk factors.
14 Approximately 56 percent of the individuals with
15 diabetes had a history of hypertension at the time of
16 randomization. The other important thing to note on
17 this slide in addition to the balance across groups is
18 the fact that one third, 1,119 individuals with
19 diabetes were enrolled in the study because they had
20 at least one other risk factor, but did not have a
21 previous cardiovascular disease as defined in the
22 protocol. So that was one third of the total group of

1 individuals with diabetes.

2 The blood pressure at the time of
3 randomization was about 142 over 80 for the
4 individuals with diabetes, and as you can see, there
5 was a fair bit of abdominal obesity, about 100
6 centimeters for the waist circumference, and the
7 ankle/brachial index was about .97.

8 With regards to concomitant drug use,
9 these were balanced across the two groups.
10 Approximately 20 percent of the individuals with
11 diabetes were taking diuretics, 28 percent on beta
12 blockers, and slightly greater than 40 percent on
13 calcium channel blockers, 20 percent on cholesterol
14 lowering drugs.

15 Finally, with regards to baseline
16 biochemistry, you can see that the glycated hemoglobin
17 in the study was about 7.4 percent, which is typical
18 of what glycemic control would have been in the United
19 States at around the time the study was being
20 conducted. These people had essentially normal renal
21 function at the time that they were recruited into the
22 study, again, emphasizing the fact for later on that

1 these were very early in any course towards later
2 renal disease. They had normal function at the time
3 of randomization.

4 One third of the people with diabetes in
5 the study, 1,140 individuals had micro albuminuria,
6 defined as an albumen to creatinine ratio greater than
7 or equal to two in a dipstick negative population.

8 These are the results for the individuals
9 with diabetes, randomized to ramipril or placebo.
10 This is the slide showing the primary outcome of
11 cardiovascular death, myocardial infarction, or
12 stroke, and as you can see, ramipril reduced the risk
13 of this primary outcome by 25 percent, and the result
14 was fairly clear at the beginning and continued as the
15 study progressed over the four and a half years.

16 In addition to reducing the primary
17 outcome, ramipril effectively reduced each component
18 of the primary outcome. This is the data for
19 cardiovascular death. There was a 37 percent
20 reduction in the cardiovascular death on ramipril
21 compared to placebo. There was a 22 percent reduction
22 in myocardial infarction on ramipril compared to

1 placebo, and there was a 33 percent reduction in
2 stroke in individuals randomized to ramipril compared
3 to placebo in just the diabetic subgroup of the study.

4 We then looked to insure that the results
5 were consistent across various subgroups of the
6 diabetes subgroup, and the next slide will show these
7 subgroups, and I'll go through this a little bit
8 slower since the slide may be a little difficult to
9 read.

10 These are those with micro albuminuria at
11 baseline and those without micro albuminuria at
12 baseline, and as you can see, there was a very
13 consistent relative risk reduction for both groups.
14 The interaction P value was 0.34 showing no reason to
15 suspect any heterogeneity.

16 These next two lines are those individuals
17 with a history of a previous cardiovascular event, and
18 these were those 1,119 individuals without previous
19 cardiovascular disease, and as you can see, one thing
20 that we did find was that those without previous
21 cardiovascular disease had a lower event rate than we
22 expected.

1 We had planned that this group would have
2 an event rate about 18 to 20 percent, and we were
3 somewhat surprised to that it was lower than we
4 expected at 9.9 percent. So we had much less ability
5 to detect a difference. The group with diabetes and
6 a cardiovascular event in the past had a 24 percent
7 placebo rate.

8 There was no evidence whatsoever of a
9 difference between the results for the two groups.
10 The interaction P value was close to unity at 0.65,
11 showing the results were consistent whether or not
12 there was a history of cardiovascular disease as
13 defined in the protocol.

14 The next four lines relate to the anti-
15 hyperglycemic agents used by the individuals with
16 diabetes in the study, and regardless of whether they
17 were taking dietary therapy alone, oral agents,
18 insulin or combinations, the results were consistent
19 with an interaction P value of 0.51.

20 As has already been mentioned, we had very
21 few individuals with Type 2 diabetes, about 2.3
22 percent of the total -- pardon me -- Type 1 diabetes.

1 Two, point, three percent of the total had Type 1.
2 Ninety-seven, point, seven percent had Type 2
3 diabetes. However, the results were again consistent.
4 There's a point estimate for Type 1, the point
5 estimate for Type 2. Large confidence intervals
6 because of a few numbers. No evidence of interaction.

7 The next slide shows the results in the
8 diabetes subgroup for a total mortality. As in the
9 group as a whole, there was a clear benefit of
10 ramipril on total mortality showing a 24 percent risk
11 reduction with ramipril compared to placebo.

12 I don't have a slide showing the
13 revascularization benefit, but there was also in the
14 diabetic subgroup a 17 percent relative risk reduction
15 in revascularization with a P value of 0.031.

16 Finally, as in the group as a whole, the
17 question arises as to whether or not the effect of
18 ramipril in the study was due to its blood pressure
19 lowering effect or whether it was due to another
20 effect, and we tried to analyze that by that have been
21 described for the group as a whole, but we did a
22 simple, multivariate regression, Cox regression

1 analysis in which we asked the question: after
2 controlling for the mean change in blood pressure
3 during the course of the study, to what degree does
4 ramipril prevent the composite outcome of myocardial
5 infarction, stroke, or cardiovascular death. And you
6 see that there's the exact same relative risk
7 reduction, same relative risk with essentially the
8 same confidence interval even after controlling for
9 the mean change in systolic and diastolic blood
10 pressure.

11 And if there's questions later, I have
12 time dependent changes on another slide which I can
13 show, suggesting that the effect was over and above
14 that related to blood pressure lowering.

15 Now, that is the first part of the
16 presentation related to the effect of ramipril on the
17 cardiovascular endpoints that were in the HOPE study.

18 The second part of the presentation, I
19 will discuss the renal outcomes, and that will be what
20 we're talking about now.

21 As many of you know, there is evidence
22 that ACE inhibitors may reduce progression of early

1 renal disease in individuals with diabetes, and
2 indeed, even late renal disease individuals with
3 diabetes. Dr. Brenner has already shown some studies
4 that show that ACE inhibitors work in the late stages
5 of renal disease.

6 At the time that the study, that the HOPE
7 study began, as I mentioned, we also submitted and
8 received approval for a sub-study called the micro
9 HOPE sub-study, which stands for micro albuminuria,
10 cardiovascular, and renal outcomes in the HOPE study,
11 and the purpose of the micro HOPE sub-study was to
12 assess the effect of ramipril on albuminuria in HOPE
13 study participants.

14 The methods of the micro HOPE study were
15 subsequently published in a paper in 1996.

16 I want to remind the committee at this
17 time that in the HOPE study participants with high
18 degrees of proteinuria at baseline were excluded on
19 the basis of a simple urine protein dipstick. So,
20 again, this is a group of individuals that were early
21 in that line of progression of renal disease that was
22 described earlier on.

1 In this substudy and in the protocol and
2 in the diabetes care paper, we prospectively defined
3 micro albuminuria as a urinary albumen excretion rate
4 of 20 to 200 micrograms per minute, and if you do the
5 urine collection for 24 hours, this is exactly
6 equivalent to a 24 hour urine collection of 30 to 300
7 milligrams of albumen per day.

8 We also prospectively defined micro
9 albuminuria to be present if the albumen to creatinine
10 ratio was greater than two milligrams per millimole in
11 a first morning urine collection, and you divide by
12 creatinine in these because you need to control for
13 the volume of the urine.

14 Now, in the sub-study, we stated that we
15 would define diabetic nephropathy on the basis of the
16 gold standard test for diabetic nephropathy, and I'll
17 show that in the next slide, the gold standard being
18 a 24 hour urine collection for either albumen or
19 protein.

20 We also stated, however, and we published
21 that, that we would screen using two possible ways of
22 screening for this gold standard. We would screen

1 centrally at baseline, one year, and study end, and in
2 fact, we did, using a central measurement of first
3 warning albumen to creatinine ratio, and this was done
4 in four different laboratories around the world. Most
5 of them in Europe and North America were all done in
6 Canada and the U.K.

7 We stratified the results for the lab in
8 which it was measured, and there was no evidence of
9 heterogeneity across the different labs.

10 At the other visits, those that were not
11 at baseline, one year, and study end, we stated that
12 we would do a less accurate screen for diabetic
13 nephropathy with a urine dipstick, and just to remind
14 the committee, this is a qualitative test where a
15 nurse research assistant dips the color reagent into
16 urine and compares the color on the bottom to see if
17 it's one plus or two plus or trace, et cetera.

18 And for the purposes of triggering a 24
19 hour urine collection, we stated that either a first
20 warning albumen to creatinine ratio at baseline, one
21 year, and study end or at the other visits, a urine
22 dipstick being greater than or equal to one plus would

1 trigger the 24 hour urine gold standard measurement.
2 And these are the diagnostic
3 characteristics of those two tests. You see the
4 albumen to creatinine ration measured centrally is by
5 far much more accurate with the sensitivity and the
6 specificity of 93 and 98 percent, whereas because of
7 the qualitative nature and other problems with it, the
8 dip stick has only got about 70 percent sensitivity
9 and about 90 percent specificity.

10 We defined diabetic nephropathy
11 prospectively in the study as a 24 hour urine
12 collection for albumen which was greater than 300
13 milligrams in 24 hours or if it was an excretion rate,
14 greater than 200 micrograms per minute, and as I've
15 said, those are exactly equivalent.

16 We also said because the urines were
17 actually measured locally, the 24 hour urines were
18 measured locally, that if the local lab couldn't
19 measure an albumen, we would also accept a 24 hour
20 urine total protein, which includes albumen as well as
21 some other proteins, of greater than 500 milligrams
22 per day, and these are the currently accepted

1 definitions of diabetic nephropathy today, and they
2 were also in 1993, 1994, and we published this in the
3 methods paper.

4 So that was the prospectively defined gold
5 standard for diabetic nephropathy that we used. Now,
6 as has already been presented, this study was ended
7 early, and because it was ended early, we were not
8 able to collect all of the 24 hour urines that we
9 needed to collect because -- for two reasons.

10 One, people were coming in quicker, and
11 also a lot of the local labs were not able or did not
12 go and collect the 24 hour urine collections.

13 Therefore, prior to analyzing the results
14 for nephropathy and prior to doing the analysis, we
15 thought that we would be very appropriate to include
16 the most sensitive and the most specific screening
17 test that we used as well, and so the final definition
18 that was reported in the Lancet paper was as follows.

19 The exact same gold standard, but we said
20 if there was no gold standard available, but it should
21 have been done because the albumen to creatinine ratio
22 said it should have been done, that we included an

1 albumen to creatinine ratio greater than 36 as well.
2 So what was used in the Lancet paper was a 24 hour
3 urine collection being positive, and only if one is
4 not available, an albumen to creatinine ratio greater
5 than 36 in the first morning urine.

6 Before I show the results of the effect of
7 ramipril on the renal outcome, I just would like to
8 emphasize the point that was made by Dr. Brenner
9 earlier regarding the importance of micro albuminuria
10 as a risk factor for cardiovascular disease and for
11 cardiovascular outcomes.

12 This is epidemiologic data drawn directly
13 from the HOPE study, and this is just the subgroup of
14 individuals with diabetes who are on placebo
15 throughout the study. This is the primary outcome of
16 MI, stroke, or cardiovascular death.

17 And as you can see -- and remember only a
18 third of the patients with diabetes and micro
19 albuminuria, two thirds did not have micro
20 albuminuria. As you can see, and I'll walk you
21 through this, close to 30 percent of those with micro
22 albuminuria at baseline had the primary outcome, and

1 about half of the, 15.3 percent of those with no micro
2 albuminuria at baseline had the primary outcome.

3 The relative risk for an MI or stroke or
4 cardiovascular death, if you just have micro
5 albuminuria, after adjusting for age and gender and
6 smoking and hypertension and hyperlipidemia and waist
7 to hip ratio and creatinine and the duration of
8 diabetes, the use of diabetes agents and the glycated
9 hemoglobin, even after adjusting for all of those
10 things in a Cox analysis was 1.84.

11 Very similar thing seen for all cause
12 mortality. The adjusted relative risk was 1.85. For
13 diabetic nephropathy, as you find in the Lancet paper,
14 which as you know is a progression from micro
15 albuminuria to nephropathy or normal albuminuria to
16 diabetic nephropathy, for those with micro albuminuria
17 at baseline, they were 17 times more likely to develop
18 diabetic nephropathy than those without micro
19 albuminuria at baseline.

20 Now, I'm going to show you the effect of
21 ramipril on the renal outcome of diabetic nephropathy.
22 I will first show you the first line are the results

1 according to the previously specified and defined
2 definition that was published. Just using the 24 hour
3 urine measurement alone, just using the 24 hours urine
4 measurement alone, there was a 20 percent relative
5 risk reduction that just did not make the nominal P
6 value of 0.05.

7 When we included the 24 hour urine
8 collection, plus in the absence of a 24 hour urine
9 collection, an albumen to creatinine ratio greater
10 than 36, it's important to note that there were a lot
11 more events, 48 more events in both groups, and
12 clearly we see that there's a 22 percent reduction in
13 the development of overt nephropathy.

14 If one broadens it further and explores
15 the data by including in the absence of a 24 hour
16 urine collect an albumen to creatinine ratio, and in
17 the absence of that, dipstick positive proteinuria,
18 that less accurate test that I described, you still
19 have a very consistent result of a 20 percent
20 reduction.

21 And the point to note in this slide is
22 regardless of how you define overt nephropathy, we see

1 the same result essentially, but a 20 to 22 percent
2 risk reduction in the development of overt nephropathy
3 as the study progresses.

4 When one measures -- when one analyzes
5 albuminuria as a continuous variable, this is a
6 centrally measured, first morning, albumen to
7 creatinine ratio. We measured it centrally at
8 baseline, one year, and study end, as I've already
9 described.

10 You can see that the effect of ramipril is
11 apparent immediately within a year's time and
12 continues as the study progresses.

13 With the last few slides, we thought it
14 would be useful for the committee to see what the
15 effect of ramipril was in those both with and without
16 diabetes. In other words, the whole HOPE study
17 population as a whole. We haven't made a big point of
18 it, but I want to remind the committee that 30 percent
19 of individuals with diabetes had micro albuminuria at
20 baseline, but 15 percent of those without diabetes
21 also had micro albuminuria at baseline.

22 So when we looked at what the effect of

1 ramipril is in the group as a whole, what we see is
2 that all patients, 20 percent of individuals who had
3 no micro albuminuria, who were normal albuminuric at
4 baseline went on to develop micro albuminuria, and 23
5 percent of those on placebo went on to develop micro
6 albuminuria with a relative risk reduction of about
7 nine percent, and this was consistent in those with
8 diabetes and those without diabetes.

9 The next slide shows the progression from
10 no albuminuria to either micro albuminuria or overt
11 nephropathy for the group as a whole, and that is the
12 same type of finding. Twenty-one percent went from no
13 micro albuminuria at all to any albuminuria compared
14 to 24 percent on placebo with a relative risk
15 reduction of about ten percent, again, consistent
16 across the groups with no heterogeneity.

17 Finally, another way to look at that is
18 the progression from either no micro albuminuria to
19 any albuminuria or from micro albuminuria to
20 nephropathy, which is the last slide. So this is the
21 progression from one stage to the next stage, and you
22 see that there is 20 percent progressed on ramipril

1 compared to 22 percent on placebo, with a reduction of
2 12 percent, again, consistent across the groups.

3 In summary, ladies and gentlemen, I
4 believe that in the diabetes subgroup of the HOPE
5 study we've shown that in people with diabetes who are
6 at risk for cardiovascular disease, the addition of
7 ramipril to other effective therapies reduces
8 cardiovascular death, strokes, and myocardial
9 infarction, total mortality, revascularization, and
10 diabetic nephropathy.

11 The effect was independent of the effect
12 on blood pressure of ramipril, and the only
13 substantial adverse effect is as has been described in
14 the group as a whole, was a five percent excess of
15 cough.

16 Thanks for your attention

17 ACTING CHAIRMAN CALIFF: Okay. So what we
18 want to do here would be to address questions related
19 to diabetes, renal function, in particular, and maybe
20 we'll reverse direction and start on the far right-
21 hand side with questions or comments.

22 DR. BAKRIS: A very nice presentation.

1 You know, I just wanted to ask your opinion about
2 something.

3 The next to last slide, just before the
4 summary slide, I was looking at the impact on the
5 diabetic and the non-diabetic, and one could make the
6 argument looking at that data that, in fact, you had
7 more of an effect if you didn't have diabetes than if
8 you had diabetes, and I just wanted to get your
9 thoughts on that.

10 DR. GERSTEIN: I think it's essentially a
11 subgroup analysis. The results were heterogeneous or
12 -- pardon me -- there was no heterogeneity with the
13 result. I don't really think that it means much other
14 than just the play of chance. I think the result were
15 consistent across the groups. It's clearly something
16 that has not been explored in the literature. The
17 whole role of micro albuminuria and the benefits or
18 risks, et cetera, in nondiabetic people is a
19 relatively new area of investigation.

20 DR. BAKRIS: Right. The other related
21 question, and I didn't see this, and I know you
22 excluded people with frank proteinuria de novo, but