

1 DR. WOFYSY: David Wofsy.

2 I have a couple of questions about the
3 radiographs. We've seen two different ways of looking at
4 the data. So, in the Centocor presentation, we saw a flat
5 line in the patients treated with infliximab showing no
6 deterioration in the scores. In the FDA presentation, we
7 saw that, by certain criteria, close to 50 percent of
8 people had progression. I'm trying to determine whether
9 these are inconsistent with one another or entirely
10 consistent.

11 That is to say, one interpretation of a flat
12 line, if you look at patients, is that there will be sort
13 of a noise scattering around it, half of the people above
14 the line and half of the people below the line. If you
15 define the half of the people above the line as
16 progressors, then you get 50 percent progression, but
17 really what you're looking at is noise. So, that's the
18 question. Is this noise?

19 DR. SIEGEL: Let me take that.

20 The data are consistent. I think we would all
21 agree that that flat line that you looked at, which was a
22 median, does stay close to 0. Depending on which analysis,
23 it could be plus or minus a half here or there. The reason
24 that you'll see, if you look at a 0 cut point, 50 versus 80
25 progressing is, in fact, because there is scatter around

1 the median.

2 That then raises the question, though, you
3 raised. Is that noise or is it real?

4 B if you look at the least detectable
5 difference, which is a very conservative way for defining
6 progression -- when you say "conservative," you better
7 qualify it, for defining progression. If people progressed
8 by less than 8.6, they're not counted as progressors. So,
9 there only 6 or 7 percent versus 31 progressed.

10 But it's not at all conservative for defining
11 nonprogressors. The conservative way for defining
12 nonprogressors would be to count as a nonprogressor only
13 people who improved by 8.6 perhaps, because those are only
14 ones you can be sure didn't have a small amount of
15 progression. There, as you see, the two curves are close
16 to 100 percent progressors. There are very few on either
17 arm. A few I think on the infliximab arm. It doesn't
18 amount to a lot.

19 So, if you look at an individual patient who
20 progressed by less than 8.6, it could be real or it could
21 be noise, but one would guess, on average, that if you look
22 at mean rates and you look at the 0 point, those 30 versus
23 80 that had higher numbers probably represent some
24 significant element of reality, that smaller amounts of
25 progression that you couldn't be sure exist in an

1 individual patient are occurring in some subset of patients
2 on both arms.

3 DR. SIMON: We're going to come back to this in
4 our discussion in a little bit.

5 DR. SIEGEL: It does link closely to the issue
6 of the use of the word "prevention." In a group median you
7 don't see any change, but there's scatter around, some of
8 which is statistical and some is real.

9 DR. ELASHOFF: I'd like clarification of the
10 FDA analysis of the HAQ because the slide says nothing
11 about looking at change, but presumably you must have
12 looked at change since some of the scores were negative.
13 That's the number one part.

14 The number two part is to clarify the
15 difference between the way you dealt with missing data and
16 the way the company dealt with missing data.

17 DR. MATTHEWS: Yes, it is a change from
18 baseline to week 54. So, it's a landmark analysis. The
19 way that we handled data, although there's always some
20 difficulty with that, if a patient had a missing data point
21 at week 54, we carried their last value at the last visit
22 where there was a calculation because it occurred at
23 various time points throughout the trial. We carried that
24 value forward.

25 As you heard from the sponsor, they did their

1 analysis for the area under the curve a little bit
2 differently. My understanding is that they assigned them a
3 change of 0, and in order to bring the missing data points
4 -- and they also didn't do a range. They brought people
5 who had a worsening of their HAQ score up to 0 as well.
6 They assigned it a point of 0. So, it's a little bit
7 different. Well, actually very different.

8 DR. WHITE: I just want to go over the first
9 question again. If we could go to your slide, Dr. Mills,
10 the last one that you showed. I just want to make sure I
11 understand the data. In this slide, radiographic
12 progression is defined based upon change from a particular
13 cutoff value. So, if you go to the 8.6 at the top of this
14 graph, then given the smallest detectable difference at
15 8.6, if the patients have a change of 8.6, the smallest
16 detectable difference, then that would define progression.
17 So, that slide of the curve defines progression and means
18 that's 30 versus 8 percent.

19 Now, if you go to the other side of the curve,
20 that defines not progression, given the smallest detectable
21 difference?

22 DR. MILLS: What you're identifying across is
23 that this is simply a demonstration of multiple cutoff
24 points from 8.6 to a negative 8.6. We're not changing
25 anything as we go across. So, if you used a negative 8.6,

1 | virtually all of the patients in both groups, the all
2 | infliximab patient group, as well as the
3 | methotrexate/placebo arm, are defined and stated to have
4 | evidence of radiographic progression.

5 | DR. WHITE: Right. I'm just trying to make
6 | sure I understand this. So, given the smallest detectable
7 | difference of 8.6, then a reading of 0 might not be
8 | different from negative 8.6. That's the smallest
9 | detectable difference. So, you would have to be minus 8.6
10 | or beyond to be not progressing.

11 | DR. SIEGEL: Let me try to reword this again.
12 | All points on this curve and those that would extend out
13 | from it potentially can be looked at to divide progressors
14 | from nonprogressors. You can look at the first curve and
15 | say -- and I think this is what you were asking -- those
16 | that we are rather certain on an individual basis
17 | progressed is 30 percent versus 6 percent. If you look at
18 | the other end, those that we're rather certain on a
19 | individual basis did not progress, it's 0 percent versus --
20 | I don't know -- 2 or 3 percent. But that leaves 70 percent
21 | on one arm and 90 percent on the other arm who changed,
22 | most of whom changed. A significant number may have stayed
23 | exactly the same, but many of them changed, but changed by
24 | less than 8.6.

25 | My personal bias is that looking at 0, if you

1 | really want to dichotomize the population, gives you the
2 | best guess at proportions who change. But the variability
3 | in the data on an individual basis makes it harder to make
4 | firmer statements than that.

5 | I don't think it would be right to say, though,
6 | for example, when you say that defines the numbers who
7 | progress, that 6 percent defines the numbers who progress
8 | on infliximab, but defines the numbers who progressed
9 | enough that we're sure that it's real, but a lot progressed
10 | by 2, 3, 4, 5 -- not a lot, but several did -- where it
11 | could be statistical but it could be real as well.

12 | DR. SIMON: One more.

13 | DR. FIRESTEIN: Thanks. Gary Firestein.

14 | I would appreciate it if you could clarify some
15 | of the issues on malignancy in the safety database. There
16 | are a couple of ways of looking at this, and one that we've
17 | heard about is how the number of malignancies that have
18 | occurred in the treated group compare with expected number
19 | of malignancies based on historical databases.

20 | But what I'm not sure we have heard about is
21 | how the number of malignancies that have occurred in the
22 | treated groups compares with the placebo group, whether
23 | that is statistically different, or if you look at
24 | subgroups, specifically the higher dose groups, whether or
25 | not there is a trend or there is statistical difference

1 among the groups at this point.

2 DR. MATTHEWS: Well, I think at this point the
3 numbers are just too small to make that comparison. They
4 did occur in the higher dosing regimens which sort of makes
5 you think perhaps that might be a potential risk factor.
6 But again, it's just too small. The occurrences were just
7 too rare in this database.

8 DR. ELASHOFF: If you use a statistical test
9 where you dose response across the five groups, then you do
10 have statistical significance. If you just compare the
11 five groups not paying any attention to dose, then I think
12 it's like .07, something like that. I actually ran those
13 with 0, 0, 0, 2, and 3 as the numbers in the cells.

14 DR. FIRESTEIN: So, there is statistical
15 significance if one analyzed it in that way. Okay, thank
16 you.

17 DR. SIEGEL: I'm not exactly sure on this
18 question, but the data were presented both at 30 week and
19 at 54 week, and the five cases would be, I guess, at 54
20 week. It gets somewhat confounded by differential loss to
21 follow-up, so that there are more patients in the placebo
22 arm who were lost to follow-up.

23 DR. SIMON: Thank you.

24 I'd like to take the chairman's prerogative at
25 this time and switch the agenda around slightly. We have

1 four questions to answer. We have patient open statements
2 to take. I'd like to take a 15-minute break at this point,
3 come back, do the open forum, and then answer questions,
4 and then go to lunch. After lunch, we have at 2:30 an open
5 session for discussion with the FDA about x-ray outcomes.
6 So, at this time we're going to take a 15-minute break and
7 reconvene here at 11 o'clock.

8 (Recess.)

9 DR. SIMON: So, just to review with everybody
10 again, what we're going to do now is the open patient
11 forum. Then we are going to attempt to discuss the
12 questions that are provided by the people on the FDA, and
13 then we are going to do that hopefully leaving time for an
14 adequate lunch and then for us to all reconvene for the
15 afternoon session.

16 So, without further ado, I would like to
17 recognize the open public hearing and to ask Mary Armitage
18 to approach the microphone please for her 5-minute
19 presentation.

20 MS. ARMITAGE: Good morning, everybody. My
21 name is Mary Armitage and I live in a town called Richfield
22 in the southwest corner of Connecticut. I retired from a
23 job as an accounts payable clerk at a small company called
24 the Institute of Children's Literature just over two years
25 ago, and I know spend every other week looking after my two

1 | grandchildren and sharing them with their other
2 | grandmother.

3 | I have no financial associations with Centocor
4 | or Johnson and Johnson. I'm just a grateful patient who
5 | wished to add my voice on behalf of Remicade. I'd also
6 | like to add that I am one of the 428 original patients of
7 | the ATTRACT trial.

8 | I was diagnosed with rheumatoid arthritis
9 | approximately 10 years ago. I was originally treated with
10 | standard anti-inflammatory drugs such as Placquenil and
11 | Clinoril. Over the years I also took nonprescription
12 | medicines such as glucosamine and chondroitin and something
13 | called Oxygen for Life, anything to try and fight this
14 | insidious disease.

15 | I was, of course, very concerned about the
16 | progression of RA and how it affected my everyday life. My
17 | hobby and my passion for the past 18 years has been tap
18 | dancing, and I voiced my concerns to my physician. The RA
19 | had attacked my ankle and I had been forced to wear an
20 | ankle brace and was only able to wear the flat, sensible
21 | shoes. My doctor advised me to stop dancing and find a
22 | less damaging hobby. I said that that was not an option
23 | and that I had come to him for help to enable me to keep
24 | dancing and walking.

25 | With each successive flare-up, the arthritis



1 | would be worse and it spread to my knees and arms. My neck
2 | was so compromised that sleeping was difficult and my neck
3 | had to be supported. Driving was also difficult, having to
4 | turn my head. Dancing was also becoming increasingly hard
5 | to do and many times I was forced to sit and take notes.
6 | Once in a while I would have cortisone shots just so I
7 | could get on my show.

8 | I was fatigued, depressed, and very concerned
9 | about the future, as was my family, because there didn't
10 | seem to be any hope. My husband feared that I would be in
11 | a wheelchair sometime in the very near future.

12 | I was taking methotrexate but this time, one of
13 | the strongest drugs used for RA. But this also had failed
14 | to control the disease of seemingly the progression of the
15 | damage being done to my joints.

16 | I was also on prednisone for several months but
17 | really hated the thought of being on such a destructive
18 | drug, as I have heard of the long-term side effects of this
19 | drug and felt that I had reached the end of the line in
20 | drugs used for the treatment of RA. At my request, my
21 | doctor took me off the prednisone and within weeks the RA
22 | was back.

23 | At this time my doctor asked me if I could
24 | participate in a research project for infliximab. It had
25 | already been in testing and preliminary results were

1 encouraging. It was a difficult decision to make and I
2 naturally was quite hesitant, but I had read all the
3 literature and decided I didn't have many alternatives as
4 so far as the disease had not progressed to the point where
5 there had been any total destruction of my joints, all
6 luckier than many RA patients. So, I agreed and then kept
7 my fingers crossed that I wouldn't be given the placebo.

8 In November 1997, I had my first infusion in my
9 doctor's office among friendly and familiar faces. Over
10 that time I went back for my second infusion. Two weeks
11 later, my morning stiffness had disappeared completely. I
12 knew immediately that I was not on a placebo. Maybe I am
13 one of the fortunate ones taking this drug, but I have
14 never felt better and have not suffered any side effects,
15 nor have I had any recurrence of the RA symptoms. I am now
16 back to dancing as well as I was before the RA started,
17 which is something I never thought could happen.

18 After learning about the hearings that were to
19 take place this week, I felt obliged to appear before this
20 committee and tell my story in the hope that others can
21 benefit from my experience because that is what Remicade
22 has given to me and my family. Hope for the future.

23 Thank you very much.

24 DR. SIMON: We'd like to thank you for your
25 comments and we applaud you on your fortitude.

1 Can we please call to the microphone Regina
2 VanDervort? I don't know if I pronounced it correctly, so
3 I apologize.

4 MS. VANDERVORT: You did pretty good. Thank
5 you.

6 Good morning. My name is Regina VanDervort.
7 Thank you for allowing me to speak about my experience with
8 Remicade. I come as an individual not associated with any
9 business.

10 I'm a 40-year-old with chronic acute rheumatoid
11 arthritis. I was diagnosed 15 years ago. I've had several
12 surgeries and quite a struggle with it. Over the years,
13 I've tried most of the NSAIDs, including also gold
14 injections, Plaquenil, a lot of prednisone. I have pretty
15 bad osteoporosis from it. At the time it was my only
16 solution.

17 Seven years ago, I began methotrexate with good
18 results. Two years ago, however, it seemed to lose its
19 effectiveness. My doctor at the time added Plaquenil and
20 Celebrex to it, but my arthritis continued to worsen until
21 I had to quit my job as a surgical tech. Soon I needed
22 help bathing and dressing and even help turning over in
23 bed.

24 At this time I sought help from the doctors at
25 Johns Hopkins. They added high dose prednisone which at

1 | least helped me sleep more than the four hours a night that
2 | I had been.

3 | In July of '99, I started Enbrel. Six months
4 | of this therapy yielded little results. I still needed
5 | knee braces, wrist braces. I couldn't lift a cup of coffee
6 | or climb a flight of stairs.

7 | In January of 2000, I began Remicade therapy.
8 | Five days after my second infusion, I had an excellent
9 | response. Morning stiffness was completely gone. My
10 | energy level soared. Strength and joint function
11 | dramatically increased. This level remained for about
12 | three weeks and then it dropped just a little.

13 | I've had five treatments so far, and my current
14 | level of functioning has stabilized and is very acceptable.
15 | I can take care of myself and my home. Last week I went on
16 | vacation with my husband and teenage daughters, and I
17 | actually hiked a mile a day for several days in a row.

18 | During the Enbrel and Remicade therapy, I've
19 | remained on the same supplemental drugs, methotrexate,
20 | Plaquenil, Celebrex, and prednisone. Recently due to my
21 | Remicade response, I've been able to cut the prednisone
22 | dose in half. I hear people grouping Enbrel and Remicade
23 | together. I know they have a similar action, but in my own
24 | personal experience, I responded very differently to these
25 | two drugs. Therefore, I am extremely grateful that I had

1 the opportunity to get the Remicade treatments.

2 I sincerely hope that the FDA, Centocor, the
3 insurance companies, and doctors will work together to make
4 Remicade available and affordable to many others like me
5 who need an effective option to fight rheumatoid arthritis.

6 Thank you.

7 DR. SIMON: We congratulate you on your
8 response, and we thank you for your observations.
9 Hopefully, all of us will work together to make access to
10 therapy a very reasonable alternative.

11 At this point, we'd like to ask if there are
12 any other people or persons that have any comments to make
13 in this open public hearing?

14 (No response.)

15 DR. SIMON: If not, we will then move on.

16 The next session is going to talk about the
17 questions that have been provided by the FDA. There are a
18 couple of guidelines that I'd like to review first.
19 Specifically that's relating to voting. The gentlemen on
20 my left, unfortunately, although we are delighted that
21 you're here and we look forward to open and honest and
22 energetic discussion, can't vote. So, oh, well.

23 Secondly, I'd like to encourage everyone, as we
24 discuss these rather lengthy questions, to take advantage
25 of the expertise around us, including the company, to

1 ensure that we get answers to issues that might be coming
2 up as we discuss some of the questions. Also, don't forget
3 that we also have a large amount of expertise over on the
4 FDA side that we'd like to take advantage of as well.

5 So, I'd like to draw everybody's attention to
6 question number 1. Question number one has to do with the
7 database itself, the size, the completeness, the numbers,
8 the dropouts, what that dropout rate, for whatever reason,
9 might do to our interpretation. We've heard both the
10 company and the FDA present discussions that, in fact,
11 highlight different aspects of that various different
12 dropout rate and the implications of that.

13 There is a summary here that states that a
14 total of 340 patients received Remicade in the ATTRACT
15 study. Radiographic data from pre- and post-treatment
16 x-ray films were unavailable in 16 percent of these
17 patients. 10 patients were ultimately unevaluable for
18 analyses of radiographic outcome because of a history of
19 prior foot surgery. Despite these study limitations, a
20 number of analyses clearly support the robustness of the
21 data with regard to structural outcome measures. I would
22 assume that almost all of us -- I am sure all of us -- are
23 impressed with the robustness of the changes that we
24 observe.

25 Now, what we'd like to know is a discussion on

1 | the size and completeness of the database that we have been
2 | exposed to, and do we believe that the database is of
3 | sufficient size and, more importantly, quality to allow a
4 | determination to be made about the benefits of Remicade on
5 | radiographic progression in the patient population?

6 | I'd like to point out two things about this.
7 | One is that this is a patient population that has failed
8 | methotrexate therapy, number one. Number two is the issue
9 | of progression. We've already had some discussion about
10 | progression, and it is critical for us to discuss this
11 | further because of what the sponsor has requested, which is
12 | the change in the label to reflect not necessarily a delay
13 | in progression, but actually a halting or lack of
14 | progression at all. Although that may be perceived to be
15 | splitting hairs, one just has to think about the
16 | possibilities of advertisements associated with a label
17 | that allows people to believe perhaps that we halt
18 | progression of disease. And it has to be in enough
19 | patients to make us feel comfortable that in fact that's
20 | true. This we will come back to again in the second
21 | question.

22 | So, going back to this, do we believe that the
23 | data set provides enough information, given the dropouts
24 | and other vagaries of the data, to make us feel comfortable
25 | about the delay in progression of disease? Or

1 | alternatively, we're not impressed with the vagaries of the
2 | data set and it's really not an important issue. We're
3 | very impressed with the robustness of the data, and we
4 | should move on to the second question.

5 | DR. WHITE: I'm never one to be shy.

6 | My concern with it, Lee, has to do with the
7 | issue of quality and it has to do with the issue of
8 | blinding. I remain concerned that, despite reassurances
9 | that the radiologists couldn't read soft tissue swelling
10 | and have a sense of who was on drug or not, I think it's
11 | still possible that the radiologists might have been
12 | unblinded to who was on treatment and who was not. And I
13 | think that might have skewed the data. When I think of the
14 | range of 8.6 in terms of what's interpretable as a
15 | significant difference and that the, quote, confidence
16 | intervals, if you look at them that way, might be as small
17 | as 0 on one end of the scale, if you were to throw into
18 | that some unblinding of patients, it gives me cause for
19 | concern.

20 | DR. SIMON: Well, we have a large number,
21 | relatively speaking of radiologists or people interested in
22 | radiographic progression in our midst, some of whom have
23 | spent their lives doing this. Recognizing that there are
24 | limits to technology, we also have to recognize what is the
25 | best technology we have available to us right now.

1 Would someone care to comment from the table in
2 the front?

3 DR. SCHWEITZER: Mark Schweitzer, radiologist.

4 I can understand a concern about seeing the
5 soft tissue swelling and also even periarticular
6 osteoporosis, and maybe that may go away and that's not
7 something that was graded, but it may be something that
8 someone perceives or even doesn't perceive consciously but
9 affects the unconscious interpretation.

10 But I still think having the three x-rays
11 together and not knowing the date of the x-rays, I think
12 that there's probably some perception of a soft tissue
13 swelling, but I don't think it would have unblinded them to
14 a degree to make the data not usable in my experience.

15 DR. WINALSKI: Carl Winalski.

16 Basically you have three choices. You can
17 either read all of the data together knowing the
18 chronological order, and that will bias you towards
19 progression of disease, or you can have them completely
20 blinded and read completely separately, which will bias you
21 towards showing no progression of disease. And this is
22 kind of in between. There's no way to do them as a set and
23 bias towards progression, which would vote against the
24 claim they want to make, without having the potential of
25 this unblinding due to soft tissue swelling.

1 So, I think it's getting back to the noise of
2 the data. The 8.6 for the minimally detectable difference
3 is what's seen in other studies. So, for me the data is as
4 good as it can get, if you will.

5 DR. SIMON: Could you comment on the minimally
6 detectable difference versus the minimally clinically
7 important difference and whether or not you as a
8 radiologist think differently about those?

9 DR. WINALSKI: I do. I think the minimally
10 detectable difference is a measurement thing where you're
11 going to compare your data. For a minimally clinically
12 important difference, I don't think that has been defined,
13 and I think there are so many variables in what causes
14 patients' symptoms that a radiographic test is not going to
15 be able to do that.

16 DR. SIMON: Since we're graced with the
17 individual who did all the seminal work in the field of
18 x-ray analysis, I'd like to ask Dr. Sharp just to make a
19 comment about how he handles swelling on an x-ray as it
20 relates to blinding of the x-ray system.

21 DR. SHARP: I never pay any attention.

22 (Laughter.)

23 DR. SHARP: I think that the quality of films
24 has to be consistently extraordinarily good for this to be
25 a factor. I think basically I don't look for soft tissue

1 swelling. Now, occasionally I observe it when it's pretty
2 obvious, but I don't think it would unblind it. I can't
3 imagine the circumstances that it would.

4 The films being randomized as to sequence and
5 blinded as to sequence, plus the observer being blinded to
6 treatment, assures that you've got the most objective read
7 you can.

8 Now, in "the old days," if we saw definite
9 progression in three or four joints, we knew which film was
10 the first and which was the second. Since we've got more
11 effective drugs where at least we have to consider the
12 hypothesis that there can be healing, one has to keep in
13 mind that even though you see a difference, if one film is
14 worse than another, it does not necessarily give you the
15 time sequence. I think anybody approaching a set of films
16 today would be a little bit on rocky ground to assume that
17 were the case.

18 I'll comment about the minimal detectable
19 difference and the minimal clinical difference while I'm
20 up. I think that minimal detectable difference is a
21 conservative statistical measure of error. I happen to
22 believe that any real progression in radiographic damage in
23 a patient is clinically important.

24 There are a lot of people who say, well, you've
25 got one new erosion over a year's time. My patient is

1 about the same, so is it really clinically important? If
2 you have a machinist as a patient who loses one finger in
3 an accident, depending on which finger it is, he may have
4 very little change in his function. If he loses his whole
5 hand or all fingers on one hand, he's got a real problem.
6 Now, over time we anticipate that if you're having one
7 erosion or two erosions in a year, in 10 years you're going
8 to have 10 erosions or 20 erosions, and by then it becomes
9 really important.

10 So, basically we're looking at what I call a
11 footprint of the disease. The inflammation is the disease,
12 but we're looking at an erosion, which is the consequence
13 of inflammation over a period of time, and we're trying to
14 predict what's going to happen over an extended period.

15 DR. SIMON: Since you brought up error and
16 since part of that error, when you have two individual
17 readers reading independently, that's going to be part of
18 that. But isn't there error also in the sense of the
19 extent of deformity and physical disease that patients
20 manifest in the ability to reproducibly perform exactly the
21 same kind of x-ray each time in setting it up? And if
22 that's the case, how does one take into consideration that
23 error, particularly in studies that go over one or two
24 years which might in fact infer change over that period of
25 time?

1 DR. SHARP: Change in position of a hand, with
2 or without deformity, is a problem in comparing films, and
3 I think only experience will teach you how to deal with it.
4 I think some people are more conservative; some people are
5 less conservative. I tend to, when I look at two films,
6 ask myself could a change in position or extent of exposure
7 or development of film, whatever, quality of film, account
8 for this difference, and if I think it is, then I'm much
9 more cautious about scoring a difference.

10 DR. SIMON: Our guest experts, do you have a
11 comment about this?

12 DR. SCHWEITZER: Yes, I want to make several
13 comments.

14 First off, usually they do standardize, and in
15 a protocol, they standardize the film and the development
16 and the screen. I usually believe they use a template for
17 each patient, specific for each patient, to get rid of the
18 error from potential changes in positioning, albeit if they
19 have subluxations that wax and wane, then those templates
20 don't work. And I understand that.

21 Getting back to Dr. White's question, I think
22 also part of potential unblinding beyond the soft tissue
23 swelling is seeing both hands and both feet together
24 because you can kind of develop a gestalt for if the
25 patient is progressing or not because you have a fair

1 amount of data there to look at. Kind of a pure model is
2 just looking at one hand at maybe all three time points and
3 being blinded for the time points, but just one hand by
4 itself because then there's less chance of the other hand
5 seeing some improvement and then looking more carefully for
6 changes in the contralateral hand.

7 In reference to the first question about the
8 clinical relevance of the x-ray findings, I kind of look at
9 it as two different things. I would love x-rays to be
10 clinically relevant and all imaging studies to be
11 clinically relevant all the time, but the reality is that
12 they're not. It's an anatomic way of looking at a person,
13 and it is related to their function. It's related to their
14 symptoms. It's related to their signs and the laboratory
15 data, but it is kind of an independent function of what
16 their anatomy is doing. In some cases, usually it does lag
17 other clinical findings, and it's kind of interesting that
18 in this situation it may not lag the clinical findings or
19 it apparently does not lag.

20 DR. KATONA: My question is for the FDA
21 colleagues. I just would like to introduce one more
22 question to all this puzzle.

23 The proposed label indication reads as
24 prevention of structural damage. We're living in the 21st
25 century. Even if we accept that there is a change and a

1 significant difference of radiological appearance, to me as
2 a rheumatologist, that does not mean that there is no
3 structural damage. By the time you see something at the
4 x-ray, a lot of structural damage occurred, and we've all
5 seen MRI scans and other modalities.

6 I think this might be a moot point today, but
7 at one point we need to discuss that structural damage
8 might be better served if we would say radiological damage
9 or somehow better define it because, to me as a patient, it
10 would be very reassuring taking a drug and say that
11 everything is going to stay as it is. I just don't believe
12 that there is any drug on the current market which will do
13 that.

14 DR. SIMON: Could we ask our MRI local expert
15 to comment on the benefits, Carl, of MRI over x-ray at this
16 technological development stage?

17 DR. WINALSKI: I would say at this
18 technological development stage that MR is in its infancy
19 compared to the radiologic and x-ray data. The error bars
20 that we have on that for determining structural damage,
21 though perhaps MR will be more sensitive for detecting
22 early change or pre-erosion change, I have not seen
23 longitudinal data to show that the MR findings do predict
24 or herald true erosions and true bone destruction.

25 So, at this point I think it would be early to

1 | be throwing MR into the mix, but hopefully there will be
2 | studies to bring it in because it's quite clear that you do
3 | see MR signal changes in the bone that are not evidenced by
4 | radiographs. I have heard some anecdotal data that some of
5 | those resolve without becoming erosions radiographically,
6 | but I think all of that is yet to be shown.

7 | DR. MILLS: Lee, several things in terms of
8 | what I've been listening to. First of all, I've reviewed
9 | all of the x-rays in this study. I could not pick up the
10 | soft tissue change to be concerned that you would break any
11 | blind here.

12 | I think, though, that Dr. Sharp's comment
13 | should be taken very carefully and listened to, which is
14 | that when you're looking at a series of x-rays at random
15 | time points and you see in one evidence of erosion and in
16 | another you don't see it, you begin to start to smooth your
17 | findings a bit in terms of raising the concern as to am I
18 | looking at a time point or am I looking at a resolution.

19 | Here we find that some of the evidence is
20 | represented as negative values for some of these patient
21 | responses. You have to be careful that some of this may be
22 | noise in terms of the interpretation at multiple different
23 | time points without knowledge of those time points. As a
24 | result, you may feel that you cannot see an erosion. You
25 | may feel that there is no erosion, but we also know that

1 | looking at just standard posterior and anterior radiographs
2 | in two dimensions may disguise an erosion change, and you
3 | may not pick it up. So, again, you may have some softening
4 | and smoothing of this data. Some of the negative numbers
5 | that are being presented here are actually possibly related
6 | to this phenomenon of looking at random time points. As a
7 | result, you may be missing some of the findings, which if
8 | you were looking at them in a structured time point
9 | evaluation, you would identify.

10 | Remember there was a comment about Dr. van der
11 | Heijde's own article stating that once an erosion, always
12 | an erosion. At this time, as we're looking at these, we're
13 | looking at them in a different time sequence, and Dr. Sharp
14 | said in the old days, which were only about two years
15 | ago --

16 | (Laughter.)

17 | DR. MILLS: -- indeed, an erosion was always
18 | there. So, if we didn't see it on the radiograph, we
19 | declared it still there. Now we're saying it may not be
20 | there, and part of this phenomenon you may be seeing is
21 | this miss in terms of the positioning and the use of only a
22 | two-dimensional radiographic evaluation.

23 | I hope that the MRI will get us there, but
24 | indeed we don't have the data to be able to support it
25 | right now. So, we're limited in terms of our evaluation

1 | model.

2 | The other point was in terms of radiographic
3 | change. We have soft tissue, we have cartilage, and we
4 | have bone. For all the world, we can see the bone. I just
5 | told you you can't see the soft tissue, and the cartilage
6 | we kind of intuitively discuss. So, be careful in terms of
7 | how much you want to put in terms of this information
8 | because, having looked at the x-rays, I can sure see the
9 | bone, but I sure couldn't see the soft tissue, and I was
10 | intuitively talking about cartilage.

11 | Thanks.

12 | DR. SHERRER: Hi. Yvonne Sherrer.

13 | I just wanted to comment on that because those
14 | are my thoughts as well, as Dr. Katona and you just
15 | mentioned. As a clinician, you can see structural damage
16 | apart from what you see on bones. There are tendon
17 | ruptures and so forth. We see that there's somewhat of a
18 | difference in the data in terms of the response looking at
19 | x-rays versus the clinical response because some of these
20 | patients only had an ACR20 response, which means they
21 | continued to have swelling and pain, and yet apparently
22 | those same patients did not go on to have progression in
23 | terms of bony changes.

24 | Now, what does that continued inflammation for
25 | those patients mean to them and to me as a physician in

1 terms of my approach? To me, the way this is worded, it
2 would suggest that I didn't have to be worried about
3 structural damage in those patients who have ongoing
4 inflammation, and yet intuitively I know that I probably
5 do. So, that's why I would want some clarification here.

6 DR. SIMON: As chairman, I always tend to be
7 sensitive to where the discussion is going, and we've moved
8 into the discussion on number 2. I'm happy to do that. I
9 just want to make sure that we all have gotten out our
10 feelings about number 1 and have resolved it. We're not
11 going to be taking a vote on number 1.

12 Before we go on to talk about Dr. Sherrer's
13 comments, which I think are very appropriate, do we feel by
14 consensus, just to settle the issue, that there's enough
15 data here in this robust analysis to make us feel
16 comfortable about discussing number 2, that in fact, there
17 may be some issues regarding progression of x-ray damage?
18 Is there anybody who is feeling that there isn't enough
19 data here to achieve that?

20 (No response.)

21 DR. SIMON: Seeing no response, I will assume
22 we can go on to number 2. Is that okay?

23 DR. SCHWIETERMAN: Yes, that's fine.

24 DR. SIMON: Question number 2 is the crux of
25 the day to a certain degree, although there are issues

1 otherwise. There are several different things that are
2 inherent to this question. It's important to recognize
3 that to date we have several different therapeutic options
4 that have been approved just in the last 18 months that
5 have received a label suggesting a delay in progression of
6 damage. This is the first time we are being asked to say
7 or imply that there is no progression of damage with this
8 therapeutic approach.

9 In that context, we have multiple different
10 levels to consider, one of which is the scientific
11 evidence. Is there evidence that shows there is no
12 progression of disease over the time course studied? Is
13 that no progression of disease in one year truly indicative
14 of disease that lasts for a long time, since we all know
15 that this is not a cure, and thus is this one window of
16 opportunity and observation going to be reflective of 20
17 more years of Remicade therapy?

18 I think the third issue is partly related to
19 the technology, partly related to our ability to reproduce
20 the data, and partly related to the exact trial that we are
21 discussing. Is this patient population actually
22 extrapolatable to a degree to any other patient population?
23 They're not asking for that. However, in our making a
24 decision one way or the other, that will be done
25 regardless. And is this patient population truly different

1 | than patients who respond to methotrexate early on?

2 | In that, I'd like to make one request. I am
3 | still confused about medians versus means, and since the
4 | data is expressed as medians and means, and the FDA seems
5 | to put more emphasis on means, at least the way it was
6 | presented -- it may not be correct -- and the sponsor is
7 | putting much, much more weight on medians, I just need to
8 | have some statistical analysis here to help me with that.

9 | DR. SIEGEL: Actually I think the numbers that
10 | George read off most of those slides were also medians. I
11 | think we're in agreement. We put the means on the slides.

12 | But the data are significantly skewed. There
13 | are some very large numbers, like some 61's. I think it
14 | was the highest number of progression. When you only have
15 | some 60 some odd people in the group and one of them is a
16 | 61 progression, one person influences the mean by a whole
17 | unit. So, if you're looking at a central tendency for this
18 | sort of skewed data, median is probably more informative.

19 | I think also the actual analyses were
20 | nonparametric. Right? So, median makes more sense in the
21 | setting of a nonparametric analysis. We're actually in
22 | agreement there.

23 | DR. SIMON: But given the range of change in
24 | each of the patient populations in each therapeutic group,
25 | lack of progression would imply that all, most, some don't

1 progress? How do you take that?

2 DR. SIEGEL: That's a different question
3 certainly from the one I answered --

4 (Laughter.)

5 DR. SIEGEL: -- and not the one I understood.
6 But I think it's an important question. It's a focus both
7 of this discussion and of the discussion this afternoon.

8 I guess I would simply say, regarding your
9 background comments, that I would slightly correct and say
10 this isn't the first time we've been asked for a claim of
11 prevention. Working together with this committee in the
12 old days --

13 DR. SIMON: Was that four weeks ago?

14 DR. SIEGEL: -- the guidance document described
15 such a claim and described under it issues such as slowing
16 x-ray progression. But I think as you know, at a prior
17 meeting, as we discussed what prevention means, there was
18 some concern around the question you just asked. Does
19 prevention carry the implication that nobody is
20 progressing, that it isn't happening, that it won't happen,
21 whatever? What are the implications of the use of the
22 word? I'm not going to answer that question because we're
23 asking it today.

24 DR. SIMON: Dr. Elashoff.

25 DR. ELASHOFF: Apropos of that question, the

1 data show that whatever changes we're looking at in the
2 ones that are significant are significantly less in the
3 treated groups than they are in the placebo group. There
4 has been no analysis shown which addresses the question of
5 whether in any particular group there is "progression" or
6 lack of progression on the median or for individuals. So,
7 they are entirely two separate things.

8 You can show that there's less for the placebo
9 group based on the analyses presented. The whole question
10 about whether there's some or none then has all these
11 details of how one would address that question. Would it
12 be on the mean? Would it be on the median? Would it be on
13 percentages of people? Then you have all kinds of cut
14 point issues. As a statistician, I don't like to get into
15 cut points at all. So, that's my comment on that.

16 DR. SIMON: But didn't we hear from George that
17 there were more than 40 percent, but not 50 percent, that
18 did progress in each arm?

19 DR. MILLS: You're referring to the sensitivity
20 analysis where we selected. Again, in that we're making an
21 adjustment in the data set. The numbers across the board
22 were approximately in the 40 percent range. I can pull
23 that slide back up if you'd like to have them bring that
24 up. It's the sensitivity analysis. It's the percent
25 progression, the fourth one that we had there.

1 DR. SIEGEL: Well, I guess you put it in your
2 sensitivity section. But, yes, if you're talking about the
3 percentage of people who had a higher score at 54 weeks
4 than at 0 weeks, right. That was between 40 and 50 percent
5 on the Enbrel arm.

6 (Laughter.)

7 DR. SIMON: Infliximab arm.

8 DR. SIEGEL: Thank you. Sorry.

9 DR. SIMON: David?

10 DR. WOFSY: I'll identify myself again. I'm
11 David Wofsy. And I identify myself because I'm really
12 asking a question that comes from someone who's new to
13 these proceedings. It is to some extent a semantic
14 question.

15 The indication about signs and symptoms is
16 given to an agent despite the fact that a third of the
17 people don't have improvement in signs and symptoms. So,
18 how does that apply to consideration of an indication to
19 prevent bony erosions or structural damage, however you
20 want to word it, if some people in fact have it prevented
21 and others don't? Wouldn't that be the same as some people
22 having responses in signs and symptoms and others not?

23 DR. SIEGEL: I think that's a very good
24 question. It's true that it's probably true -- it's true
25 to my knowledge -- that virtually no drug does what it's

1 intended or hoped to do in all patients. We give a lot of
2 claims based on -- the question has to do, though, with
3 whether the word "prevention" per se -- I think the
4 question that was raised and discussed with this committee
5 -- carries, perhaps not in any statistical or clinical
6 trial sense, an implication to the consumer or the
7 physician that there is an absolute effect.

8 As we were discussing this earlier, I noted,
9 for example, that we have drugs that in treating heart
10 attacks reduce mortality. So, there's a significant
11 difference. But still people die, and we don't say that
12 they prevent mortality due to heart attacks. One might be
13 concerned that if you said they prevented mortality that
14 people would think that if they took that drug, they'd have
15 no chance of dying. There we say "reduce."

16 DR. SIMON: Bill?

17 DR. SCHWIETERMAN: Go ahead, Harlan.

18 DR. SIMON: Could you identify yourself?

19 DR. WEISMAN: Yes. It's Harlan Weisman and I'm
20 from Centocor.

21 As a frame of reference -- and I intend nothing
22 more than that. Jay, this is something that you and I
23 discussed earlier. I'm reading from the package insert or
24 the prescribing information for Actinel, which is a drug
25 intended for use in patients with osteoporosis. Let me

1 just read two of the indications in the package insert.

2 Postmenopausal osteoporosis. "Actinel is
3 indicated for the treatment and prevention of osteoporosis
4 in postmenopausal women." That's one indication.

5 The other one is glucocorticoid-induced
6 osteoporosis. The reading is "Actinel is indicated for the
7 prevention and treatment of glucocorticoid-induced
8 osteoporosis in men and women," and then it goes on to
9 describe the population.

10 Just to clarify from the sponsor, it was never
11 our intent to claim that Remicade works in all patients
12 either for treating signs and symptoms or for prevention of
13 structural damage.

14 In fact, Dr. Harriman tried to make a very
15 clear point of what our operating assumptions were here.
16 We looked at the guidelines. We designed a clinical trial
17 to obtain indications according to those guidelines by
18 defining three very clear clinical endpoints that you've
19 seen. One of them was structural damage. We used the
20 guidelines. We defined the primary endpoint, and we
21 defined very clearly what the criteria were for assessing
22 whether that was a positive result or not in concordance
23 with discussions with a learned body of experts, who were
24 our steering and chairman of the trial, as well as with the
25 FDA.

1 I have to give credit to the FDA because many
2 of the people at the table over there substantially
3 contributed to the design of the ATTRACT trial and
4 substantially contributed to the endpoint definitions, all
5 of which we decided, before the fact of the trial and
6 before the fact of the analysis, would constitute a
7 positive trial, constitute demonstration of efficacy for
8 the indication we were seeking.

9 The language we are seeking seems to be in
10 accord with other language that has been used for other
11 products such as osteoporosis, which does have analogies to
12 rheumatoid arthritis because we're talking about x-ray
13 evidence or at least density.

14 DR. SIMON: We applaud your hard work.
15 However, I'd like to point out that the technology is very
16 different in the two fields. Consensus has been achieved
17 in the osteoporosis field about those particular areas. I
18 would argue that we have achieved consensus upon applying
19 this technology to either the idea of prevention as opposed
20 to delay, as well as to healing. I think that that's where
21 the rub lies.

22 Your observations have well outpaced our
23 ability to develop a technology that helps us understand
24 this. This has implications regarding a minus score, which
25 is interpreted by some to mean something very positive, and

1 by others to not know what it means as a minus score.
2 That's very different than in the osteoporosis field.

3 DR. SIEGEL: I should say with regard to this
4 issue, though, of examples of how the language has been
5 used in other labels, that the agency does not uncommonly
6 label vaccines as for the use and prevention of disease,
7 and for any of a number of those, there are some case
8 occurrence rate in the vaccine-treated arm, and the lack of
9 absolute prevention has not inhibited the use of that term,
10 although most of them have a very high rate of reduction
11 compared to control.

12 DR. FIRESTEIN: I think the points made on
13 prevention versus delay are very important. Setting aside
14 the statistical arguments, the main concern that I have has
15 to do with the natural history of rheumatoid arthritis and
16 the duration of this disease and what one year or even two
17 years means in terms of truly preventing versus delaying
18 structural damage. If one looks at the very interesting
19 and exquisite data set from Dr. Wolfe, which was shown
20 earlier, you see a 20-year evolution of this disease with
21 regard to structural damage. There's not even a little
22 tick mark at 1 year. It's too early to say that.

23 Also, there are a number of studies that were
24 published in the last couple of years looking at
25 radiographic evidence of damage on individual patients over

1 a 10- to 20-year history and how variable that can be.

2 The long and the short of it is that we're
3 really looking at a very narrow snapshot and that 1 year of
4 a lack of progression doesn't necessarily mean prevention
5 of progression. It means just that, that within the first
6 year of a 20-year disease, you're not seeing radiographic
7 changes but certainly on the order of 3 to 5 years are
8 needed to make some definitive statement about that.

9 DR. SIMON: Carl?

10 DR. WINALSKI: With regard to changes on
11 radiographs, the measurement even for a single joint is an
12 average of all sorts of changes around the joint. If you
13 watch one erosion disappear either because of positioning
14 or because it really did heal, but the formation of a new
15 erosion, that joint may not have progressed
16 radiographically. I think that's an important difference
17 in trying to say it's preventing structural damage. If you
18 go a long with what Dr. Sharp said and every new erosion is
19 like losing a finger and eventually you lose the use of the
20 joint, then the appearance of a new erosion, even with the
21 regression of another erosion, to me means that there has
22 been some structural damage. So, I think perhaps we should
23 be talking about radiographically measurable or
24 radiographic progression rather than actual structural
25 damage.

1 DR. WHITE: I would like to make additional
2 comments along the same lines that we're hearing. I think
3 it's very important to convey in the change of wording
4 exactly what was observed and to not convey more than was
5 actually observed. I agree about the issue of time.
6 Prevent is defined by how much time you have to follow
7 them. You can prevent for a year, but you may not prevent
8 for five years.

9 So, I don't have real problems using "prevent"
10 in language, but I would feel more comfortable if a time
11 period were included in that kind of a prevent statement
12 because that's the truth and that conveys what was actually
13 observed and is less likely to be open for
14 misinterpretation.

15 I would also feel more comfortable if, rather
16 than a global term, whatever is more appropriate were used
17 in the wording. "Prevent radiographic progression" for a
18 year might more accurately what was observed than "prevent
19 structural damage."

20 DR. SCHWIETERMAN: This has been a very helpful
21 discussion. I'd just like to point out that actually we
22 spend weeks and weeks and weeks of time at the agency over
23 the appropriateness of particular label claims, recognizing
24 that words have a great deal of impact. So, I'm not sure
25 to what extent diminishing returns will come in here, but

1 suffice it to say that the fact that the RA guidance
2 document was written at a time before any of these agents
3 had been developed is somewhat culpable here.

4 The second part is that, in fact, the word
5 "prevent" has a great deal of charge, cachet, because there
6 are a number of competing products in this and because --
7 and I think this is really the underlying issue here --
8 it's really not erosions and x-ray damage we're talking
9 about. It's functional disability in the long term that
10 we're trying to prevent. To the extent that the prevention
11 claim at all connotes a long-term benefit -- and perhaps
12 that was Dr. Firestein's comment -- that can or cannot be
13 misleading.

14 I think all those things need to be considered
15 in the label when we write this. I just want to make the
16 point that we need not necessarily resolve what this word
17 ought to be now but, rather, to point out the pros and cons
18 of it.

19 DR. SIMON: In discussing this further, I'd
20 like to go on to the first highlighted question. We are
21 talking about a modification of the total Sharp score,
22 which has components, erosions and joint space narrowing.
23 We've heard allusions today that the biology of damage
24 related to erosions and the biology of damage related to
25 joint space narrowing may be slightly different or may be

1 significantly different in how it's carried out, the
2 cytokines and other chemicals that are associated with it,
3 as well as whether or not it progresses exactly the same in
4 each person or in the same disease.

5 So, the question has to be now that we've seen
6 such data, is it still important to have a measure be a
7 total joint score, a total Sharp score or its modification,
8 or do we also want to consider important aspects of
9 components of the total Sharp score or its modification,
10 such that could someone present data on statistical
11 improvement in erosions but yet have no statistical
12 improvement in joint space narrowing? And that would be
13 statistically important, but because the joint space
14 narrowing is not important, perhaps the total score is not
15 important statistically but yet they still have important
16 changes in erosions.

17 How do you all feel about the component in a
18 secondary analysis of the components of the total score?

19 DR. ELASHOFF: Relevant to that, I would like
20 to ask if either the company or FDA has information on the
21 individual correlations between erosion and joint space
22 narrowing scores or between changes in these two scores
23 across patients because how highly correlated they are
24 ought to be relevant to whether you want to break them down
25 or not.

1 DR. SIEGEL: We've seen in another setting with
2 a different drug, that I won't get too specific about,
3 which hopefully will remain nameless --

4 (Laughter.)

5 DR. SIEGEL: This time, right. Exactly.

6 Some apparent differential, in comparison
7 between treatment arms -- you know, whether they're
8 statistically significant interactions I can't speak to,
9 but what would appear to be a difference, as treatment arms
10 were compared, in relative effects on joint space narrowing
11 versus erosion. So, at least those data would suggest
12 whether it's a result of the particular patient, the stage
13 of the disease, the mechanism of the drug, or whatever,
14 there might well be dissociation of the two.

15 DR. ELASHOFF: But the question of what the
16 correlation is on an individual basis is answerable and
17 should be answerable in connection with this kind of
18 question.

19 DR. SIMON: I suspect we have someone to
20 present something like that.

21 DR. HARRIMAN: We would like to just say that
22 we're going to try and get that data for you and hopefully
23 we'll have that as soon as possible, perhaps after the
24 break.

25 But in the meantime, I think Dr. Wolfe might

1 have something that he would like to say in this regard.

2 DR. SIMON: The group recognizes Dr. Wolfe.

3 DR. WOLFE: They are very highly correlated, of
4 course. In looking not at this particular data set but the
5 one that you saw on the slide, we had the opportunity to
6 compare both the Larsen and the Sharp measures. With
7 appropriate standardization, they are correlated at about
8 .9 something. My remembrance is that the correlation is
9 about .8 for the two separate measures here.

10 They do separate out. We've looked at this in
11 terms of doing a rash analysis and plotting all of these
12 points. They are separate in that sense. They contribute
13 additional information.

14 The reliability of the measure is much higher.
15 It depends on the size of the data set, but reliability of
16 the Sharp score in the set that we presented previously is
17 well over .9. The reliability coefficients for each
18 component individually is significantly less than that.
19 So, there's an advantage of using both of them together.

20 DR. SIMON: Thank you, Dr. Wolfe.

21 Gary?

22 DR. FIRESTEIN: With regard to this specific
23 question on the secondary analyses and the various
24 components, first of all, you're quite right. The current
25 thinking is that there are distinct but overlapping

1 mechanisms for bone destruction versus cartilage
2 destruction, and it's not worth going into the basic
3 science of that now. Professor Maini presented a little
4 bit of that.

5 But we don't really understand which ones are
6 more important with regard to later functional disability.
7 One can try to guess, for instance, in knees which are not
8 looked at in this analysis. But in knees erosions are
9 nearly as important as joint space narrowing or loss of
10 cartilage. In hands it may be erosions with ligamentous
11 laxity that cause deformities.

12 So, until we have some notion in terms of how
13 each specific component of the radiographic scores impact
14 functionality, it's hard to say that we should just be
15 looking at the total score or whether we should continue to
16 ask people to look at individual components. So, I think
17 for future analysis, we still have to look at that.

18 Then there are these very interesting questions
19 in terms of why in other studies with drugs that might have
20 similar mechanisms, you would get different types of
21 results. And I don't have an answer for that at all.

22 Finally, the issue of how patients cannot have
23 clinical improvement but still have evidence for lack of
24 progression on radiographs is on the surface surprising,
25 but actually there's a very long and distinguished history,

1 | looking at the distinct inflammatory and destructive
2 | processes in rheumatoid arthritis, going all the way back
3 | to corticosteroids in the '50s through nonsteroidals and
4 | many other agents.

5 | I think really the results of this study
6 | underscore that, and that is that you can make people feel
7 | better and not have an effect on their radiographic
8 | progression, or now you can potentially improve outcomes in
9 | terms of radiographic progression but not make them feel
10 | better.

11 | DR. SIMON: So, I guess then the information is
12 | as we've discussed it. Bill, is more to be gotten out of
13 | this particular question for you?

14 | DR. SCHWIETERMAN: If there's no consensus on
15 | this, that is to say, that there's no data really to
16 | support these things, perhaps that's the answer.

17 | But we have, with different products, looked at
18 | different outcome measures and so forth and have, in fact,
19 | by virtue of those outcome measures, incorporated them into
20 | our analyses of the overall safety and efficacy of this
21 | particular product. Is it my understanding from this
22 | committee that that's something that's worthwhile doing, or
23 | is that something that we really shouldn't be broaching
24 | given the fact that the data aren't there?

25 | DR. SIMON: Looking to discover and learn, I

1 | would highly recommend creating labels. Until we
2 | understand what is going on, I would not. I could imagine,
3 | in the not too distant future, a targeted therapy that may
4 | actually target erosions. It may have no effect on joint
5 | space narrowing. Maybe. And under those circumstances,
6 | you would cripple the ability to develop that drug if you
7 | weren't willing to look at the secondary analysis in that
8 | regard.

9 | DR. SIEGEL: You said secondary, but I guess
10 | part of this question would be if that sponsor came to us
11 | and said they want their primary analysis to be erosions,
12 | because that's what they're targeting, that's their
13 | treatment targets, would this committee find that
14 | problematic when we then presented the data to get a claim
15 | based on that as the primary analysis? That's part of
16 | what's in this question I guess. Or joint space narrowing
17 | or any other.

18 | DR. SIMON: Dr. Katona, do you have a comment?

19 | DR. KATONA: I think the discussion was going
20 | that we really do not know clinically which one is more
21 | relevant, how does it correlate with symptoms. Until we
22 | do, absolutely we really need to look at both, as well as
23 | it's nice to look at the two together. So, at the current
24 | time that our knowledge is, I think it's absolutely
25 | important that the agency request both.

1 DR. SIEGEL: Well, yes, we'll get both. And
2 either can be prespecified as primary? Is that what you're
3 saying? Since we don't know which is important. Or are we
4 saying the total should be or it doesn't matter?

5 DR. SIMON: Why don't we expect that, if it
6 ever happens, it would be incumbent upon that particular
7 sponsor to demonstrate the functional correlative outcome
8 related to their particular intervention. In that manner,
9 we actually may advance both regulatory science and real
10 science.

11 DR. FIRESTEIN: But that's a much more
12 difficult proposition because that's a 5- to 10-year study
13 as opposed to a 1- to 2-year study.

14 DR. SIMON: The peanut gallery has a comment
15 over here?

16 DR. JOHNSON: A backdrop to this whole
17 conversation is what does an x-ray assertion mean. Period.
18 I think when we put together the document -- it may have
19 been five years ago, but I think it still holds, that we
20 don't know for sure what they mean. That's why we wanted a
21 clinical correlate to go with it. So, these are all kind
22 of dependent claims. They're contingent claims. So, I
23 think that should be kept in mind. I think that's the
24 point that a number of people have been making, as a matter
25 of fact.

1 DR. SIMON: But, Kent, that also raises the
2 issue, as per the sponsor's comments before it, my first
3 question. If then we're going to link these outcomes, then
4 the functional outcome needs to be linked in a way that's
5 doable, and we've accrued more and more data on several
6 products that in fact functional outcome changes can be
7 measured in a shorter period of time than we previously
8 thought. Now, whether or not these functional outcome
9 data, like the x-ray data, are only just snapshots of
10 outcome really remains to be answered.

11 DR. SIEGEL: Well, in that regard, Kent of
12 course is right. These are contingent claims, but as the
13 guidance document is written, they're not contingent on
14 long-term functional or disability outcomes. They are
15 contingent on clinical benefit outcomes, which is to say
16 our current approach is we would not give somebody a claim
17 based solely on radiographic changes if it weren't
18 accompanied by evidence of clinical benefit. However, we
19 will approve a drug, as has happened with drugs in this
20 field, based on signs and symptoms data and then look at
21 the radiographic claims without having what you're asking
22 about the long-term functional disability data in hand.
23 That's where we are at present, in any case.

24 DR. SCHWEITZER: Also, trying to think about
25 the future with MR imaging or ultrasound or even

1 scintigraphic imaging, you may have a claim for decrease in
2 effusions, decrease in synovial proliferation. That may,
3 in turn, be a better marker than the x-ray.

4 DR. SIMON: Or not.

5 DR. SCHWEITZER: I'm kind of a splitter in that
6 way. I think erosions and narrowing really should be
7 separate. I think everything should be separate because
8 there may be some agents that affect joint fluid, some
9 agents that affect synovium, some that affect erosions
10 directly. I think I'm kind of a splitter in looking at
11 each individually.

12 DR. SIMON: Last comment. Carl?

13 DR. WINALSKI: I was just curious because it
14 seems to me that the drugs that have been approved for
15 osteoporosis, getting back to that analogy, are being done
16 just on a radiographic, or is that also to show that you
17 have decreased fractures?

18 DR. SIMON: Both. It depends on the claim that
19 they go for, and there are stringent criteria for both
20 x-ray fracture, which is arguable but, nonetheless,
21 stringent, and clinical fracture, as well as densitometric
22 change, which actually has a World Health Organization
23 imprimatur of diagnosis. So, prevention of osteoporosis is
24 based on not achieving a densitometric diagnosis of
25 osteoporosis. So, that's where that all comes from.

1 DR. SIEGEL: From a legal and policy
2 perspective, the agency does not absolutely require
3 clinical benefit to approve a drug. We will approve a drug
4 on a surrogate endpoint that is validated to predict
5 clinical benefit, sometimes rigorously, sometimes based on
6 historical data and presumption. A lot of, say,
7 antihypertensive drugs are approved on blood pressure
8 rather than on stroke and mortality data.

9 We will also, in certain cases, especially for
10 new and improved therapies for serious diseases, give an
11 accelerated approval based on a surrogate that's not fully
12 validated but reasonably likely to predict benefit.

13 So, as you go to other diseases, from the
14 perspective of the law and the policies and the regulations
15 that guide the agency, you have to look separately at the
16 extent to which, say, if you're talking about osteoporosis,
17 a radiographic change is felt to be predictive of and an
18 effect on it is felt to be validated to be predictive of a
19 clinical change. And that can differ from indication to
20 indication.

21 DR. SIMON: Yvonne, last comment.

22 DR. SHERRER: In terms of comparing prevention
23 with osteoporosis versus in this setting -- and maybe we as
24 clinicians interpret that wrong. As I relate to
25 osteoporosis and/or infections, you're preventing the

1 development of disease in somebody who does not have
2 disease given the right exposures or the risk factors.
3 Whereas, here you have people who already have disease.
4 You're not taking a healthy person and preventing them from
5 developing disease. You're saying in somebody who already
6 has disease, you're preventing that disease from
7 progressing, which seems to me is saying something
8 different.

9 DR. SIMON: So, in saying something different,
10 what clinical trials would this committee like to see to
11 help us understand that further? What advice can we give
12 the FDA about future data accumulation in this area to
13 clear this up?

14 DR. WINALSKI: So then my understanding is at
15 this point radiographic progression is not a surrogate
16 endpoint for preventing clinical symptoms or signs. If
17 that's the case, then it seems to me we need a long-term
18 study showing whether or not the addition of more and more
19 erosions leads to joint disability.

20 DR. SIEGEL: Well, question 4 in this set will
21 ask you to address for us to some extent whether
22 radiographic progression in the absence of clinical benefit
23 can be taken to support a claim, which would imply that it
24 could be accepted as a surrogate.

25 But, yes, your statement I think correctly

1 reflects where we are, where we have been, what our
2 guidance says and the way we've been practicing.
3 Radiographic changes alone would not get a drug approved
4 that is not approved.

5 DR. SIMON: But we'd like to extend that a
6 little bit farther. It's not clinical benefit in the
7 context of what you're measuring; it's signs and symptoms.
8 Clinical benefit is yet to be defined. Although we
9 presumptively think about that as a functional benefit over
10 time, signs and symptoms may or may not reflect that.

11 DR. SIEGEL: Let me say that the way I was
12 using the word "clinical benefit" -- and this is more a
13 semantic thing -- would include signs and symptoms. If
14 somebody has less pain, they've benefitted, but that's more
15 semantics than science.

16 DR. SIMON: Dr. Katona?

17 DR. KATONA: I think we are discussing two
18 different things at least. We're discussing how to design
19 the trials, but you're also discussing the labeling. I
20 think what came out of that labeling for one class of drug
21 and the terminology might be very different than for our
22 drugs. I think to change the labeling or the philosophy
23 about labeling -- what do we call prevention -- I think
24 that might be a much quicker thing what we could fix
25 because we have to be very fair to the sponsors. I think

1 they have to use the technology, whatever is available
2 today, and I think we need to help you to design the
3 labeling, but we just can't pick up something what works
4 for osteoporosis and it doesn't work for us. And these are
5 chronic diseases. I just would like to underline what Dr.
6 Sherrer was saying, that this is a very different clinical
7 setup, what we're dealing with.

8 DR. SIMON: Bill?

9 DR. SCHWIETERMAN: I think that was well said.
10 The first part of the question is actually most of the
11 afternoon's discussion, and unfortunately or fortunately or
12 inevitably, we're getting into a mixture of where do we go
13 from here with these radiographic outcome claims, including
14 the ones that are on paper, albeit it perhaps poorly
15 written on paper, and new ones coming down the road.

16 Again, I would just reiterate to this
17 committee, we need not do the labeling at this particular
18 meeting because there are more considerations than simply
19 the science here. There are precedents involved. There is
20 the context of the labeling itself, which isn't to say we
21 should stymie this conversation. I don't want to
22 necessarily go all the way with that.

23 DR. SIMON: We prepared to be able to help each
24 other in that regard, as this meeting went on, to make sure
25 that we wouldn't get stuck in certain areas.

1 I'd like to recognize Dr. Wolfe for a minute.

2 DR. WOLFE: Yes, just for a minute.

3 Again, using some of the patients you've seen
4 presented previously from our data set, we'll present, at
5 the ACR meeting this fall, long-term outcomes based on the
6 rates of radiographic progression in which we show that the
7 rate of radiographic progression is significantly
8 associated with the rate of work disability and total
9 income of individuals, after controlling for all of the
10 variables. It seems to me that it's important to
11 understand that when you're looking at radiographic
12 progression, you're looking forward to preventing some
13 event that occurs in the future, and that's an important
14 functional outcome that has now, I think, been shown.

15 DR. SIMON: Thank you, Dr. Wolfe.

16 Since we're going to discuss this afternoon
17 other ways to study this issue, I'd like to go to the one
18 question that I'm advised we're actually going to take a
19 vote on. That's the third part of this number 2 where
20 they're going to actually ask you to put up or shut up.
21 I'm allowed to paraphrase this, so that's what I'm going to
22 do.

23 Do the data support the sponsor's claim that
24 Remicade prevents progression of structural damage in
25 patients with rheumatoid arthritis? Now, remember, there

1 | are many caveats to the patient, because this is a specific
2 | patient population.

3 | And the second part of this then is, to what
4 | degree, if any, can that benefit be extrapolated with this
5 | data set to patients with either earlier onset disease,
6 | less severe disease, or disease-modifying responsive
7 | diseases? Thus, patients that were not studied in this
8 | trial.

9 | So, let's ask the question first. Do the data
10 | that you have seen and we have now grappled with support
11 | the claim for preventing progression of structural damage
12 | in patients with rheumatoid arthritis?

13 | Barbara?

14 | DR. WHITE: I just would like a point of
15 | clarification from the FDA before I vote, since you wrote
16 | this question. I would like for you to give me your
17 | definition of prevent. Should we vote based on the
18 | definition that you worked out with the sponsor?

19 | DR. SCHWIETERMAN: The definition we worked out
20 | with the sponsor comes from the guidance document, and if
21 | you read the guidance document, there are two or three
22 | different ways of measuring that. So, we were viewing the
23 | prevention of structural damage claim in the context of
24 | changes in Sharp scores, but never with the specificity
25 | that I think you would like me to answer with here. The

1 sponsor is certainly acting in good faith with this.

2 I'm less interested in this being a referendum
3 on prevention or delaying because I think that therein lies
4 an afternoon's discussion, rather than in a vote on how
5 this committee feels if there has been a demonstrable
6 effect upon this agent. And we can continue to have a
7 discussion about the actual wording if you like. I just
8 don't think that this is the time to actually try to sort
9 that out.

10 DR. SIEGEL: Let me second that comment. I
11 didn't actually personally word this question, although I
12 probably looked at it.

13 (Laughter.)

14 DR. SIEGEL: I always look at the questions.
15 Did I actually word this question? Maybe I did.

16 (Laughter.)

17 DR. SIEGEL: I'm sure I looked at it. I do
18 look at questions.

19 I think in light of the discussions we've had,
20 a lot of the issues that are raised by that are addressed
21 by this discussion. I think, as Bill has pointed out,
22 there are many other issues that will go into what is in
23 the label.

24 If I understand what you're saying, I would
25 agree entirely that what we really need -- and maybe we

1 | could even reword that question there -- is a vote on
2 | whether the data support the -- well, delay wasn't even the
3 | sponsor's claim, but whether they support a claim that
4 | Remicade has a favorable effect or has demonstrated a
5 | favorable effect on progression of structural damage. Then
6 | we can integrate your advice and other factors on how to
7 | word that.

8 | DR. SIMON: Would you prefer us to restate this
9 | question?

10 | DR. SIEGEL: I think that would be better.
11 | That will make it easier for you to vote on what we need to
12 | know.

13 | DR. SIMON: That's fine. I'm entirely happy to
14 | do so.

15 | So, correct me if I'm wrong. The question then
16 | stands, in the evidence presented this morning, does the
17 | committee feel that there's enough evidence to warrant the
18 | claim that the patients did better with infliximab than
19 | they did otherwise?

20 | DR. SIEGEL: Regarding structural damage.

21 | DR. SIMON: Regarding structural damage.
22 | You'll notice that I chose an incredibly gentle word that
23 | you can then grapple with on your own.

24 | So, again, the males on the left side are not
25 | able to vote. So, we begin with Dr. Sherrer.

1 DR. SHERRER: In light of that modification,
2 yes. Yes, it does show that the patients on infliximab and
3 methotrexate did better than those on methotrexate alone.

4 DR. SIEGEL: Could I rather suggest -- I think
5 that we don't need to ask. The data show that the patients
6 did better.

7 If I might revert that wording to saying, do
8 they support a claim that Remicade had a favorable effect
9 on progression of structural damage. That would be the
10 question. With the understanding that such a claim would
11 then go into the labeling, but the wording is not fully
12 decided.

13 DR. SIMON: Only modifying one question. What
14 does "favorable" mean?

15 DR. SIEGEL: Well, it means that -- aha.

16 (Laughter.)

17 DR. SIEGEL: Simply that it goes in the right
18 direction, not to imply that we know that that has a
19 clinical implication.

20 DR. SIMON: Not to make this into tort
21 reform --

22 DR. SIEGEL: Now, you can see why Bill says we
23 spend weeks discussing the wording.

24 DR. SIMON: Then can I then restate the
25 question one more time and then really rely on the delay in

1 progression as opposed to asking the question about
2 prevention? Because in fact that's what we're saying. Is
3 there data in this data set that at least shows there was
4 delay in progression? I think that we can answer that in
5 structural progression.

6 DR. SIEGEL: If the committee is comfortable
7 with that. Some have suggested that delay is an issue
8 because we don't know what happens after the trial. Others
9 have said, however, that it's not an endpoint that directly
10 measures delay in this trial.

11 Maybe what we should say is reduced or less
12 progression. So, do the data support a claim that Remicade
13 treatment resulted in less progression of structural
14 damage? And we can take it from there.

15 DR. SIMON: I think then that requires us to
16 say less progression in a year, because that's what the
17 study was. Does the data show that? Then that's exactly
18 what Dr. Sherrer agreed it showed. It was beneficial in
19 that regard. I really would urge you to consider the use
20 of "delay" because, in fact, that's what we're talking
21 about.

22 DR. SIEGEL: Okay. I'm comfortable with that.

23 DR. SIMON: Now, we can add in "delay for a
24 year."

25 DR. WEISMAN: Wait a minute. I think the

1 sponsor is not comfortable with that. Come on. I think we
2 should have some opportunity because I think, first of all,
3 we didn't test whether the product delayed. That was not
4 the primary endpoint of the trial. It wasn't --

5 DR. SIMON: We recognize that the sponsor may
6 have issues with that, but that is a discussion that the
7 sponsors have with the FDA in the labeling discussion.

8 DR. WEISMAN: But you're modifying the
9 question, and I guess the sponsor should have at least some
10 opportunity about whether the rules have changed in the
11 last 5 minutes because of Jay's equivocation. That's what
12 I'm protesting is the equivocation of what the questions
13 are here.

14 DR. SIEGEL: I'm trying to get advice on what
15 we need advice on and trying to accommodate a lot of
16 thoughts on that. It sounds like the sponsor is concerned
17 about the word "delay" because of the wording in the
18 labeling. If that's the case and they want specific advice
19 about wording in labeling, I'm not sure that we're too
20 happy with that. But we could have --

21 DR. WEISMAN: I guess what we were saying is we
22 were happy with the vagueness.

23 DR. SIMON: Could I ask the sponsor to take his
24 turn? Thank you.

25 DR. SIEGEL: Perhaps if you're concerned about

1 "delay," we could have two separate votes, first on
2 preventing and then on delaying, and that would accommodate
3 all interests.

4 DR. SIMON: Ms. Malone?

5 MS. MALONE: I just thought the sponsor was
6 looking for something more definitive because obviously in
7 marketing, if you can say "prevent" as opposed to "delay,"
8 the consumer is going to want "prevent."

9 DR. SIMON: Barbara?

10 DR. WHITE: Again, I am going to have trouble
11 voting on either one because of really lack of firm
12 definition. I don't feel I have been provided with a firm
13 enough definition of prevent if it's different from what
14 was in the document. Or delay. I don't know how we could
15 do delay on this one because we don't have follow-up to
16 then show that it comes up. So, delay implies that it was
17 down for a while and then it comes up. We don't have those
18 data, so how could I vote on delay?

19 I know what difference I saw for a year's
20 period of time. I could vote on that.

21 DR. SIEGEL: Do you think that a 1-year study
22 should not, at least in the future, be sufficient to give a
23 claim? Because, see, we developed a guidance, in
24 consultation with this committee and others, that said 1
25 year was long enough? Are we now saying 1 year is not long

1 | enough? It's very hard to do controlled trials. You see
2 | there's missing data.

3 | DR. WHITE: I think that it's reasonable from
4 | my viewpoint to stick with the 1 year. That's what we set
5 | up. That's what the sponsor was working under. We don't
6 | have hard data right now to tell us otherwise. So, I would
7 | feel a little uncomfortable right now right here changing
8 | that definition that was set up in the absence of data that
9 | I know of that says it's wrong.

10 | DR. SIEGEL: Right.

11 | DR. SIMON: David, then Mark.

12 | DR. WOFSY: As I understand it, the labeling
13 | wording is a topic for a different time and a different
14 | group, and it seems to me that what we're talking about now
15 | -- and the word has been used before and I'd like to
16 | resurrect it -- is "reduce." We have a data set here that
17 | claims that there has been a reduction in radiographic
18 | progression of disease. That reduction may be 100 percent,
19 | which some would call prevention, and it may be less than
20 | 100 percent. We're not being asked to judge at this moment
21 | what the percent is. We're being asked does the data set
22 | support the claim that there's been a reduction. I don't
23 | see what's the problem with wording it that way.

24 | DR. SIEGEL: I'd propose reduction or has a
25 | favorable effect on progression or reduction of progression

1 as votes that would be informative to us, leaving open the
2 door for labeling.

3 DR. SIMON: Mark and then Dr. Katona.

4 DR. SCHWEITZER: To me I think the phrase
5 really is prevents the progression of structural damage.
6 Of course, they've shown in that group that the progression
7 was arrested. So, it really prevents the progression.

8 DR. SIEGEL: Or reduces the progression.

9 DR. SIMON: Dr. Katona?

10 DR. KATONA: I just would like to second what
11 was just said except with the change specifying
12 radiographic damage. So, I think for the sponsor it is
13 important that "prevents" stay in. They showed data. They
14 prevented the progression of the radiologic damage. I
15 think to me that's what the data showed and that's what I
16 feel very comfortable with. Any which other way we phrase
17 it, it's going to be very difficult.

18 DR. SIMON: Dr. Katona, I have a problem with
19 the word "prevent" because I have no idea what prevention
20 means in the context of this technology. I think we're
21 looking at numbers that are just arbitrary and constructed.
22 I'm very concerned about what the implication of prevent
23 means. I would have a very difficult time even voting on
24 that particular question, regardless of whether the sponsor
25 is unhappy or not about this particular discussion. I

1 think in fact we have to remove ourselves from what the
2 sponsor wants in this context to actually reflect on what
3 we have seen and what the numbers actually mean in
4 consensus of our profession. And I am not aware that
5 there's consensus at all that we know that these numbers
6 mean stop, and I think that's what prevent implies. I
7 don't know that we know that it stops.

8 DR. WHITE: I would disagree with you a bit on
9 that one, Lee. I think that I could feel comfortable
10 voting for a prevention claim if I had the specification
11 that it was radiographic changes rather than structural
12 damage. That gives me a bit more comfort in what I'm
13 saying, a little more restriction. We've seen the
14 statistical analysis of the data. Everybody will make
15 their own judgment of the statistical analysis of the data,
16 and whether you want to use the minimal determinable
17 difference, whatever that stands for, the SDD, or the means
18 or the medians, we all have to make our judgment of that.

19 But I think I could personally feel comfortable
20 using radiographic damage, using the term prevent in terms
21 of it meaning a statistically significant -- and what I
22 also will throw in perhaps might imply in the future
23 clinically meaningful difference -- if I only have to do it
24 for a year. That's all we're talking about, a year, based
25 on the guidelines. That's been given to us. We don't need

1 to change that.

2 DR. SIEGEL: The other thing that's given, if
3 you take it as a given in the guideline, is that there are
4 claims for structural damage that are based on x-ray
5 findings, not claims for x-ray. That would be a claim that
6 isn't mentioned in the guidance and hasn't been used before
7 for radiographic changes. It might be wise, and longer
8 studies might be wise, and dropping prevention might be
9 wise. I don't want to necessarily take anything off the
10 table. I think we're all a lot wiser than we were two or
11 three years ago.

12 DR. SIMON: How about two or three minutes ago?
13 Bill?

14 DR. SCHWIETERMAN: Well, perhaps I can't add
15 too much except that the guidance document actually is
16 somewhat inconsistent in the definition of prevention of
17 structural damage in that you can either show a slowing of
18 x-ray progression, which many people would not think would
19 connote prevention, or prevention of a maintenance-free
20 state, which is closer to I think some of the sentiments
21 that were stated here. To rely simply on the guidance
22 document and what's stated there, therefore is problematic,
23 which is why I was trying to be a little bit circumspect
24 about that, and therefore not vote on the wording of a
25 claim.

1 Nevertheless, we can take these into
2 consideration, the thoughts that people have here, when we
3 write the label. We have some latitude about the data that
4 we put into the clinical trials section and the indications
5 section and so forth about these outcome measures.

6 DR. SIMON: Carl, did you have a comment?

7 DR. WINALSKI: Two things. One is as far as
8 what they've shown, I could say either prevention as
9 measurable by radiography or reduction of structural
10 damage.

11 But one semantic thing is there is no
12 radiological damage being done here. It's radiologically
13 measured damage.

14 DR. SIMON: Thank you for that very appropriate
15 term.

16 (Laughter.)

17 DR. SIMON: Bill, Jay, you've heard a
18 significant discussion and some, I think, very strong
19 opinions one way and the other. I'm not sure that you'll
20 get any further benefit in taking a vote on this particular
21 question at this particular time.

22 DR. SIEGEL: Let me say -- we'll have more
23 discussion of this aspect of the issue later -- that I'm
24 intrigued by the fact that while some people like the term
25 "prevention" and some don't like the term "prevention,"

1 we've heard three quite different reasons among those who
2 don't like the word "prevention," some because there might
3 be progression after the 1 year on study, some because
4 there might have been some people who progressed on study
5 and it wasn't 100 percent effective, and it might imply
6 that in some whether there might be subtle progression not
7 measured in the Sharp score. So, it's interesting, just
8 from a semantic point of view, how many different ways
9 people can view the same word and its implications.

10 That said, I would say this. What we were
11 looking for in this question was not the right wording for
12 the label, but I was trying to reword it to get a consensus
13 as to whether this was, from a regulatory standard,
14 adequate data to support a labeling regarding this
15 indication.

16 Unless I'm mistaken, I'm hearing almost
17 everybody operate under the assumption that it is and
18 quibble more about what the label should say. If in fact
19 there is a general consensus that the answer is yes, there
20 ought to be some labeling about this, but we can't agree
21 what it exactly should be, then that is a significant part
22 of the advice I need. Then we can move on from there to
23 have further discussions that might help us in the
24 determination of what it should be.

25 DR. SIMON: I think that clearly from the

1 discussion I would say everybody feels that the evidence is
2 exactly as you stated it. The question is how do you
3 describe it, and that's a very different question.

4 DR. FIRESTEIN: We could vote on Dr. Wofsy's
5 rather benign way of putting it if we wanted a formal vote,
6 and that is just that there is significant -- how did you
7 say it -- reduction. And that's the question that you're
8 really asking for right now, and I think that's a fair
9 vote.

10 DR. SIMON: Would the committee agree to vote
11 on that question, and would you feel comfortable with that
12 then? Okay.

13 So, then restating that with trepidation, the
14 evidence that we have seen this morning would suggest that
15 infliximab --

16 DR. SIEGEL: Let me state it because a vote
17 that evidence suggests something doesn't really help us
18 from a regulatory point of view.

19 Do the data support a claim that -- no, because
20 then if it's a claim, then that would --

21 DR. SIMON: The evidence demonstrates that
22 there was a reduction in radiographic-measured structural
23 damage with infliximab and methotrexate as opposed to
24 methotrexate and placebo treated patients. Can you live
25 with that, Jay?

1 DR. SIEGEL: Given the consensus, I think I can
2 live without a vote or with a vote on that, yes.

3 DR. SIMON: Well, I think the committee would
4 like to take a vote. It seemed to me that's what they'd
5 like to do. So, that's the statement. Is that acceptable
6 to you?

7 Okay, so now, Dr. Sherrer.

8 DR. SHERRER: Yes.

9 DR. SIMON: Dr. Katona?

10 DR. KATONA: Yes.

11 DR. ELASHOFF: Yes.

12 DR. PUCINO: Yes.

13 DR. WHITE: Yes.

14 DR. SIMON: Yes.

15 DR. FIRESTEIN: Yes.

16 MS. MALONE: Yes.

17 DR. SIMON: Thank you, committee.

18 (Laughter.)

19 MS. MALONE: I have a question. The patient is
20 looking for the ability to function normally, and so
21 structural damage -- they're not aware all the time of
22 what's happening radiologically unless they feel the
23 effects of it. Can you tell me why you don't have the HAQ
24 scores for after 102 weeks? None of that is listed for
25 physical function.

1 DR. SIMON: You mean the HAQ scores at 102
2 weeks?

3 MS. MALONE: At 102 weeks.

4 DR. SIMON: Or the ACR20's or the function
5 outcome.

6 MS. MALONE: Yes. You don't have any of that
7 information.

8 DR. HARRIMAN: Yes. Actually Dr. St. Clair in
9 his presentation showed the HAQ data through 102 weeks.
10 Again, as I indicated in my presentation, some of this data
11 were just recently obtained because the 2-year endpoint and
12 the trial were completed just recently, and everything
13 hasn't been fully analyzed. But we have the HAQ data
14 through 102 weeks, and that was shown in Dr. St. Clair's
15 presentation. We'll show it again.

16 DR. SIMON: We can certainly pull up the slide,
17 but you have to admit that it was not the complete data.
18 It was just a smattering, a taste of that 102-week
19 functional outcome data. Is that correct?

20 DR. HARRIMAN: What the data were that were
21 presented was the change in HAQ through 102 weeks. It was
22 the primary endpoint in the study for 2 years, and
23 admittedly, all the data have not been fully analyzed. But
24 the primary endpoint for 102 weeks was the HAQ.

25 DR. SIMON: So, unfortunately, it's very

1 | difficult to interpret in that context, if you're asking
2 | for a full assessment of outcome at 102 weeks.

3 | Did that answer your question?

4 | MS. MALONE: Yes.

5 | DR. SIMON: I'd like to move on to the actual
6 | third part which has implications regarding dose. We've
7 | heard multiple times people comment on what the dose should
8 | be and we've seen data at higher dosages and have seen
9 | different responses both from an effectiveness point of
10 | view, as well as a point of view of safety. The agency
11 | would like to have some discussion about how we feel about
12 | the dosages that we saw. Is this the 3 milligrams per
13 | kilogram, 2, 4, 6, then every 8 weeks thereafter the right
14 | dose? Or should we be looking at a different dose that
15 | implies more efficacy?

16 | DR. PUCINO: Yes. I have some question. Since
17 | there's an association with the concentrations in the
18 | plasma and the clinical effects, will there be commercially
19 | available assays? And has anyone looked at correlations
20 | with plasma concentrations and adverse effects, not just a
21 | dose response, and a test for trend with those different
22 | items?

23 | DR. HARRIMAN: We're not aware of any
24 | commercial assay for assessing infliximab concentrations.
25 | As I showed in my presentation, there is a correlation

1 between the trough concentrations of infliximab with both
2 clinical parameters as well as laboratory parameters, such
3 as CRP, which allows one to look at the effects both
4 clinically and laboratory measurements with regard to the
5 trough concentrations. But there are not any commercial
6 tests available for infliximab concentrations.

7 DR. ST. CLAIR: Just one other point, though.
8 It's important to realize that patients that had
9 undetectable trough levels at 30 weeks still had clinical
10 responses. So, there's not a complete correlation of
11 trough levels and response. So, you still have the
12 opportunity to get good responses with a lower dose in some
13 patients.

14 DR. PUCINO: And that would be the concern.
15 Right now, as it looks, you're either saying to double or
16 triple the dose, and if you have someone who's already 5 to
17 10 mics per ml is that going to add more therapeutic
18 benefit?

19 In terms of a safety perspective, having assays
20 available at least for individualized patients could be
21 beneficial.

22 DR. ST. CLAIR: I think most rheumatologists
23 would not choose to use the assay but rather treat based on
24 clinical symptoms. That's, in fact, how most
25 rheumatologists decide how to treat their patients.

1 So, to me it makes more sense to start patients
2 out at the lower dose and capture what responses are going
3 to happen there. Then if the patient's response wanes
4 after maybe 14 to 30 weeks -- if you remember the PK slide
5 that Dr. Harriman showed, you could see the trough levels
6 starting to come down between 14 and 30 weeks. If that
7 patient happens to show a waning of response then, then I
8 think that's the time to increase the dose. For me, I
9 would just increase it by a vial, 100 milligrams.

10 DR. WHITE: Could I just ask Bill? That's one
11 approach, but for example, if we look at another drug,
12 cyclophosphamide, we actually don't measure drug levels,
13 but we measure something associated with it. And when we
14 don't have a benefit, if the neutrophil count is 2,000,
15 we're not likely to just add a little more because -- maybe
16 we won't have it here, we know that adding a little more,
17 in the setting of not working, might give us just really an
18 unacceptable risk-benefit ratio.

19 DR. ST. CLAIR: That's an important comment.
20 Then you have to look back to the safety database at the
21 different dosage levels. Even at the top dose, it's not
22 clear to me that there's any increase in toxicity at the
23 highest dose compared to the lowest dose. But it's just
24 medically prudent to use the lowest dose that would be
25 effective in that particular patient.

1 DR. SIMON: Dr. Elashoff?

2 DR. ELASHOFF: With regard to the safety
3 issues, I think all the adverse event data should be re-
4 analyzed looking for a dose-response trend across the five
5 groups to see if there is one significant and not using the
6 far more conservative approach of saying there isn't
7 anything if there isn't an overall effect. So, the
8 analyses have already been done. That could be added to
9 that.

10 In addition, in fact, since you have trough
11 levels on everybody, you could use logistic regression
12 kinds of approaches to see if the actual levels are
13 predictive of adverse events. So, the data that are here
14 could be examined much more carefully to address these
15 questions even before one thinks of additional trials.

16 DR. SIMON: Dr. Katona?

17 DR. KATONA: I would like to ask the sponsor
18 whether they have any pediatric data on pharmacokinetics,
19 whether the same dosing regimen applies for children, as
20 well as whether they have seen the same relationship
21 between serum levels and efficacy.

22 DR. HARRIMAN: Yes. A couple of things.

23 First of all, we have a study that is planned,
24 following discussions and a commitment to the FDA to
25 perform a study in patients with juvenile rheumatoid

1 arthritis. So, that is planned.

2 Secondly, we have performed a study in
3 pediatric patients with Crohn's disease, a pharmacokinetic
4 study, looking at different doses of Remicade from 1 to 10
5 milligrams per kilogram and have found that the
6 pharmacokinetics in those pediatric patients was similar to
7 what was observed in adult Crohn's patients.

8 So, we have both a study that will be done in
9 the future, as well as the study that has been done in the
10 Crohn's pediatric patients.

11 DR. KATONA: In the Crohn's patients, was that
12 the repeated dosage schedule or just the one dose?

13 DR. HARRIMAN: In that study that I indicated,
14 it was a single dose pharmacokinetic study looking at full
15 pharmacokinetics, but it was not multiple dosing.

16 DR. SIMON: Any other comments about this?
17 David.

18 DR. WOFSY: I guess I do with some trepidation.

19 I think there are some reasons for safety
20 concerns. Nobody claims that this agent, any more than any
21 other agent, is entirely safe, and in all likelihood,
22 higher doses will come at a higher price. I think we have
23 some evidence of that here. We have evidence in the form
24 of statistically significant, more frequent minor
25 infections, upper respiratory infections and sinusitis. To

1 me, it would be hard to make the case that this will
2 increase the risk of minor infections but not more severe
3 infections. I think the reason we don't see it
4 statistically significantly in more severe infections might
5 at this point be a reflection of the smaller numbers. In
6 those areas where we have bigger numbers, that is, minor
7 infections, we are beginning to see it. So, I think there
8 are some concerns about infection, even excluding the
9 infrequent serious opportunistic infections that have been
10 seen.

11 We heard this morning, somewhat as a surprise
12 to me, that at least looking at this in one way, these data
13 can be analyzed to show a statistically significant
14 association with malignancy.

15 Now, this is early to make those comments, and
16 that's why I speak with trepidation about this because I
17 think we're really at a very early stage of understanding.
18 If what I just said is true, will it be supported as this
19 becomes used more widely? And to what extent one should be
20 concerned about it. Barbara has made the point that we use
21 a lot of drugs like cyclophosphamide which are known to be
22 associated with strong risks of malignancy and infection,
23 much stronger apparent risks, and yet in some individuals
24 we make the decision that the benefit for that person is
25 worth the risk.

1 It seems to me at this point that's what we're
2 dealing with here. We have a dose that has been approved,
3 is reasonably safe, and is reasonably effective. We now
4 have some evidence that suggests occasionally there may be
5 somebody in whom the potential benefit of going up is worth
6 the additional risk. I think that is sort of what we're
7 dealing with now.

8 I think prudence at this stage of development
9 would certainly support the kind of approach that Dr. St.
10 Clair mentioned, sort of routinely starting at the low dose
11 and then considering whether there are special
12 circumstances in which the severity of the disease and the
13 potential benefit warrant these possible significant risks.

14 So, I don't know how that translates, but my
15 own view looking at this is, yes, higher doses look like
16 they're more often effective and maybe more potently
17 effective, but I think the whole picture at this point
18 would caution us to stay away from them in the majority of
19 instances.

20 DR. SIMON: Before we go on, I just want to
21 point out that all of that I agree with as well. The
22 dilemma, of course, is that the question inherent in this
23 discussion is should the higher dosages be labeled. Given
24 the cost of the product, without having some kind of
25 labeling, it's sometimes very hard to get the managed care

1 organizations to then allow you to use such dosages, even
2 when they're appropriate, given the risk-benefit
3 relationship. So, unfortunately, it does take us into a
4 realm that we usually don't discuss but, unfortunately,
5 will need to because of that reason.

6 DR. WOF SY: Can I respond to that, Lee, on the
7 same point I raised?

8 DR. SIMON: Yes.

9 DR. WOF SY: I don't know a great deal of what
10 precisely goes into labeling, but it would seem to me
11 labeling could take into account the things I mentioned
12 that says "in usual cases" or some such thing.

13 DR. WEISS: I just wanted to say -- and this is
14 somewhat, I guess, inherent when we get down to part (d) of
15 that question -- is that thus far the data that we have do
16 not address those patients specifically that start out at 3
17 and then are increased subsequently, should they not
18 achieve the response. So, that was part of why we asked
19 question (d), which will come up a little bit later, that
20 specific scenario that people seem to speak about and seem
21 to have some sense that it might be beneficial.

22 DR. WHITE: I would like to speak in favor of
23 what I think David said. I think given the data that we've
24 seen, that it does look like, by a variety of different
25 measures, that higher doses may have a higher likelihood of

1 | being associated with benefit. I think knowing that, we
2 | ought to give that to the practicing physician and the
3 | patients. They ought to be cautioned, but I don't think
4 | that we should not use those data that we have to benefit
5 | the patients.

6 | DR. SIMON: Gary?

7 | DR. FIRESTEIN: On the other hand, unlike with
8 | cyclophosphamide and several other drugs but like
9 | cyclosporine, for instance, we can use blood levels in
10 | order to help us make decisions about dosing.
11 | Specifically, although there's not a great correlation
12 | certainly at the higher levels between response and blood
13 | levels, there clearly is a group of patients that have
14 | nondetectable trough levels and don't have a significant
15 | response to the agent.

16 | So, I would propose that the most rational way
17 | to do it -- and rationality doesn't always come into play
18 | in clinical practice -- is that nonresponders have a trough
19 | level check, and if the trough level is low, then that
20 | provides a rationale for going to a higher level. If the
21 | trough levels are not low, then there's not much point in
22 | going to higher levels. And that those types of assays be
23 | available to the practitioners.

24 | DR. SIMON: Dr. Katona?

25 | DR. KATONA: I would like to come to the

1 question from a different point of view. Basically we
2 could look at this preparation as an immunoglobulin and
3 look at half-lives and so on. If one looks at the graphs,
4 there is a very different serum level if you give the drug
5 every 4 weeks versus every 8 weeks. Every 4 weeks gives
6 you a very nice and even distribution, and the 8 weeks
7 gives you a high peak and then it comes back. Basically
8 the 10 milligrams every 8 weeks eventually will level out
9 to the one that you were at 3 milligrams every 4 weeks.
10 The question is in the labeling.

11 To me, as a clinician knowing this background,
12 if the 3 milligrams every 8 weeks doesn't work, what would
13 make the most sense to go to 6 weeks and then 4 weeks
14 versus getting these high levels because I would be
15 wondering that if we dose high serum levels -- I wouldn't
16 worry about the low ones, but I would worry about the high
17 ones, whether those are the times when I'm inducing the
18 malignancy, those are the times when I am interfering with
19 all the defense mechanisms and have the infections and so
20 on. So, I think that would be very important to take into
21 consideration.

22 The other thing, since the trial was done at 8
23 and at 4 weeks, I wonder whether in the label you give
24 freedom to the physicians that they could use some other
25 timing in between. It doesn't necessarily have to be

1 either 8 or 4. It could be anything in between.

2 DR. SIMON: Dr. Katona, would you then make one
3 step further and say a few clinical studies that you'd like
4 to see proving your proposition?

5 DR. KATONA: I think that that's actually a
6 very, very good idea to have dosages between 3 and 10 -- we
7 might not have to go up to 10 -- as well as looking at
8 timings between 4 and 8 weeks.

9 DR. SIMON: Would these be safety or efficacy
10 or both?

11 DR. KATONA: Long-term safety. I think that's
12 something that we could collect the data. But definitely
13 efficacy. That would be number one, and long-term safety.

14 DR. SIMON: Yes?

15 DR. SIEGEL: Regarding the last two comments, I
16 would like to note that while there appears to be -- and
17 there's data to suggest it -- a correlation between dose
18 regimen and efficacy and perhaps some suggestion regarding
19 safety, that speculation about the relationship of trough
20 or peak levels is just that, a speculation. It may well be
21 attractive, but to say we know it's the ones who have a
22 lowest trough who would benefit from a higher dose, well,
23 we don't know whether they would or whether people with
24 higher troughs would benefit from a higher dose. I'm not
25 suggesting that levels wouldn't be important, simply that

1 we don't have that information.

2 But as to the last comment as to whether it
3 makes more sense to go to 3 q 4 rather than 10 q 8, I would
4 note that while both of those had the same troughs, the ACR
5 rates were substantially higher on 10 q 8 than 3 q 4. 3 q
6 8 was 42 percent. This is the ACR20. It went up to 48
7 percent on 3 q 4, but it went up to 59 percent on 10 either
8 q 4 or q 8. So, it may be the peak that's more relevant.
9 I'm not saying we know that. I'm saying we don't. We just
10 have a suggestion that giving more of this, whether it's
11 more often or at a higher dose, does seem to improve the
12 response rates.

13 DR. SIMON: So, it suggests that the sponsor,
14 if they're interested in having other dosages be approved
15 by managed care organizations, should come in to you with
16 suggestions for other studies that would answer those
17 particularly questions to allow you to label it more
18 fairly, so to speak, based on responsiveness and
19 accessibility.

20 Dr. St. Clair?

21 DR. ST. CLAIR: Let me try to shed just a
22 little bit more light on this. I think that you can assess
23 whether the patient is going to be a responder or not while
24 their trough levels are relatively high. I want to take
25 you back in your mind to the figure that Dr. Harriman

1 | showed where the patients received infusions at week 0, 2,
2 | and 6. Those trough levels went up. It was only when they
3 | went into the maintenance phase, every 8 weeks -- we're
4 | talking about 3 q 8 -- where they started to come down.
5 | Recall too that patients respond rather quickly to this
6 | drug.

7 | So, when you're taking care of the patient and
8 | you start the patient and give them the induction regimen,
9 | what in effect really happens is that you do see an initial
10 | response in the patient, if the patient is going to
11 | respond, but it's later, between that 14 to 30 weeks, where
12 | the response might wane. That's where you might want to
13 | adjust the dose upward. It may be that in that particular
14 | patient their serum levels are dropping down.

15 | I think the 1 microgram per ml, using that as a
16 | strict criteria for clinical efficacy, is taking the data
17 | way beyond what we know. There is another figure that has
18 | been shown too, but I'll just quote the data. Patients
19 | with trough levels of less than 0.1 at week 54, there were
20 | still 13 out of 28 ACR20 responders. So, I think we're
21 | getting too tight on these antibody levels.

22 | But I still think that it's important for the
23 | clinician to have the option of increasing the dose up in
24 | certain patients, as Dr. Wofsy suggested. I think the
25 | safety issue is a little bit open at this point.

1 DR. SIMON: Furthermore, I think that I'd like
2 to point out that we have to remember -- and that's the
3 fourth question here -- that we did have a discrepant
4 response rate, meaning where we saw patients who had x-ray
5 evidence of benefit -- maybe that would have been the way
6 to ask that question -- but, nonetheless, they had no
7 clinical response or minimal clinical response. So, Bill,
8 there are still people we won't be able to measure clinical
9 response in acutely and yet over time have a structural
10 response which may be important, and that may be only
11 attainable by doing a blood level perhaps. I don't yet
12 know until we do the trials.

13 DR. SIEGEL: I was just going to add to that
14 last comment, though, that yes, most patients respond
15 early. Yes, one of the issues is, as you move to that 8-
16 week dosing, so that at week 14 they've been 8 weeks
17 without a dose, you may see loss of a response in a patient
18 who had responded. But there are also patients who don't
19 respond at first who respond later, and there are more of
20 those patients in higher dose than in lower dose.

21 So, there are both questions of potentially of
22 using higher doses and dealing with people who have
23 responded and lost a response, but also -- I don't have the
24 numbers, and I don't think we've seen them presented here
25 -- of people who haven't responded, potentially looking at

1 higher doses. One of our questions was should there be a
2 study to look at whether higher doses are useful in people
3 who have not had a response at a lower dose.

4 DR. SIMON: Carl?

5 DR. WINALSKI: I was just wondering how much
6 does the addition of methotrexate add to the noise here in
7 trying to figure out the safety and how important is
8 methotrexate for the efficacy? It seems to me that if you
9 have a lot of baseline noise, it's going to take a lot more
10 patients and a lot longer to sort out the safety of just
11 one drug versus the two added together.

12 DR. SIMON: Perhaps the FDA could answer the
13 question as to why you've labeled this drug to be used with
14 methotrexate.

15 DR. SIEGEL: It's the only way it has been
16 studied. Go ahead.

17 DR. SCHWIETERMAN: It's the only way it has
18 been studied.

19 (Laughter.)

20 DR. SIEGEL: We would note that there are a lot
21 safety and efficacy issues that one could theoretically
22 hypothesize as to single use. Immunogenicity may be
23 different alone. Interactions. It could be better, it
24 could be worse. We just don't have any information.

25 DR. SIMON: If I'm not mistaken, there is a

1 study pending that's single use alone, right, that you
2 described? Right.

3 Barbara?

4 DR. MATTHEWS: I would just like to point out
5 that's how it's labeled for rheumatoid arthritis, but
6 infliximab is also licensed for patients with Crohn's
7 disease. In those cases, there's no labeling saying that
8 it has to be given in conjunction with methotrexate.

9 DR. HARRIMAN: I just wanted to let the
10 committee know about a study that we're doing. It's called
11 the ACCENT study which is in Crohn's patients, a fairly
12 large study, 579 patients. In that study, we are looking
13 at dose titration in patients who do not respond at a lower
14 dose, crossing over to a higher dose. So, there will be
15 some evidence learned from that study with regard to dose
16 titration.

17 DR. SIMON: Have we achieved the goals of your
18 number 3 series of questions? I think we've addressed each
19 of those issues.

20 DR. SCHWIETERMAN: Have you talked about (c),
21 about initially starting at higher doses? I heard Dr.
22 Wofsy and I think he made some very good points, but is
23 that the consensus of the committee that it's probably not
24 worth it at this time starting initiation of therapy at
25 those doses, rather to concentrate on treatment failures at

1 the lower doses and/or differing regimens in those
2 patients?

3 DR. WHITE: It just depends on your point of
4 view. It might be that if you started with a higher
5 induction dose, then maybe you would get better responses.

6 DR. SIMON: That may be true. I think, again,
7 it raises the question of how it's being studied and what
8 is being studied at. It's interesting to note that in
9 another product, many of us have complained that we don't
10 have dose-response curves to understand how that product
11 should be used. In this context, at least we have two
12 separate dosages given at different times that give us some
13 insight into the various different relationships of dose.
14 So, at least we have that. But I would agree with Barbara
15 that perhaps further studies in that particular realm would
16 be useful.

17 Frank?

18 DR. PUCINO: And, if in fact, 75 percent of
19 people within 2 years of diagnosis will have irreversible
20 changes, it would be nice to have these additional studies.

21 DR. SIEGEL: In response to your question, Lee,
22 as to have you given us the information that we need, let
23 me try this. Let me state what I understand to be a
24 consensus of this committee, although perhaps not
25 unanimous. If I understand it and if we all agree, then

1 we'll know that we understand the consensus, which is that
2 without again getting too highly specific about the
3 labeling, it sounds to me -- and this is perhaps the most
4 controversial part -- that most of the discussion has
5 suggested that 3 q 8 ought to be a starting a dose and that
6 labeling ought to allow for the fact that dosage might be
7 made more frequently or higher, within the ranges studied.
8 That would, therefore, lead us to present the data for all
9 the doses studied, if we did that, and then put in the
10 dosing section a range, perhaps not being too highly
11 directive as to the best mechanism for titration of the
12 dose.

13 Is that more or less what people are thinking
14 is the right thing to do? I'm seeing a lot of head nods.

15 DR. SIMON: And tacking on the fact that it's
16 possible that at higher dosages, there may be more problems
17 with safety.

18 DR. SIEGEL: In the safety section, we would
19 indicate those concerns about the infection and malignancy
20 and the theoretical concerns.

21 DR. SIMON: Would everybody on this committee
22 kind of feel comfortable with that as it was stated? Any
23 dissenters?

24 (No response.)

25 DR. SIMON: See, consensus. It's almost

1 unanimous.

2 I think we've addressed each of these
3 questions.

4 DR. SIEGEL: The other part is additional
5 studies, and I'm generally hearing that everybody thinks it
6 would be nice to know more. I haven't heard anybody say
7 that it's compelling that a particular study be done.

8 DR. SIMON: Then we'll move on to the number 4
9 question which is going to have some reflection on this
10 afternoon. For those of us who have been on this panel for
11 some time, we've had any number of different discussions
12 about separation of structure, function, and signs and
13 symptoms as outcomes.

14 In this data set, there are patients who did
15 better from an x-ray point of view than they did from a
16 signs and symptoms point of view, depending on how one
17 weighs that. I find that very interesting.

18 If that's the case and if everybody finds it
19 interesting, the question at hand is, is there any basis to
20 support a claim or -- I like this term -- "belief" -- it
21 brings us into religion and teleology which I think is
22 appropriate here -- that patients treated with Remicade who
23 do not experience improvement in their ACR20 but show
24 improvements on radiographic measurement findings, e.g., no
25 x-ray progression, have benefitted from therapy?

1 So, can we say somebody who's done better by
2 x-ray measurement, if that gets done in a clinical sense,
3 has benefitted from therapeutic intervention where they
4 don't feel better two days later or three days later?

5 Dr. Elashoff.

6 DR. ELASHOFF: I just wanted to comment that
7 ACR20 has a variety of arbitrary cut points and that if you
8 use one of the other ones, you'll get a different answer
9 here. So, making one thing yes/no is always going to make
10 it harder to agree with something else. I would just say
11 from a statistical point of view, this kind of question is
12 difficult to deal with.

13 DR. SIMON: We appreciate that.

14 David?

15 DR. WOFSY: This is a very important question,
16 but it does seem to me that this is a question that has to
17 be answered in long-term studies and not easy studies to
18 do. I would love to see the sponsor take them on, but I
19 don't know how to address this in any other way. You need
20 to have willing patients who have not had a good clinical
21 response get randomized to continue this for decades maybe.

22 As Fred Wolfe has said, it may take the 10 or
23 20 years to actually see that changes in the x-rays predict
24 hip replacements 15 years down the line or some such thing.
25 I think that's possible. I think it would be a very

1 | important observation. I don't think we have any evidence
2 | to allow us to guess that that would be the case. If we
3 | did guess that that would be the case, what we would be
4 | saying is everybody with rheumatoid arthritis should be on
5 | this as sort of background therapy.

6 | So, I think it's a very important question, and
7 | I hope some courageous patients are willing to participate
8 | in that kind of a study, to actually subject themselves to
9 | the risks of this agent without substantial, obvious,
10 | short-term clinical benefit. But I think in the absence of
11 | that kind of information, we don't have anything here to
12 | suggest that treatment for that indication would be a wise
13 | thing.

14 | DR. SIMON: Any other comments about this
15 | issue?

16 | It has actually major ramifications in
17 | osteoarthritis in particular; not that it's not important
18 | here. But it really has major ramifications in assessing
19 | outcome in osteoarthritis.

20 | Carl?

21 | DR. WINALSKI: I guess perhaps wanting to
22 | remain relevant in medicine, as a radiologist I'd like to
23 | believe that what we do detect is predicting long term what
24 | would happen. To make another perhaps flawed analogy, if
25 | you were to say, well, I don't have any proof that treating

1 | blood pressure will decrease the risk of stroke, that's
2 | also a very long-term thing, which has been looked at with
3 | cross-sectional studies. I think if you took some of the
4 | data which has been mentioned and said, do people who have
5 | bad radiologically scored disease feel worse than those
6 | that don't, I think that that's some good cross-sectional
7 | data that the radiographic progression is perhaps a reason
8 | to be treating patients.

9 | DR. SIMON: This may be poor solace as an
10 | observation to the sponsor, but this whole discussion and
11 | the importance of this discussion is predicated on the fact
12 | that you have such robust data in the context of such
13 | terrible technological outcomes, relatively speaking.
14 | They're the best we have. If we understood more about the
15 | technological outcomes and we had consensus about that, it
16 | may be as easy as it is in osteoporosis, which it isn't.
17 | It's because your data is so good that has caused us to
18 | have this kind of discussion.

19 | I'm sorry. Dr. Johnson over here has his hand
20 | up. One more comment.

21 | DR. JOHNSON: I couldn't resist this one. Just
22 | in light of the surrogate question and the blood pressure
23 | question and so on, blood pressure as a matter of fact, had
24 | a monstrous epidemiology and it still does, and it had some
25 | clear-cut interventional trials, the first of which I

1 | happened to just review. Because, lo and behold, they used
2 | the worst case scenario. They randomized people to placebo
3 | versus treatment if your diastolic was between 115 and 130.
4 | A pretty impressive maneuver. This was the first VA study.
5 | There were 27 bad outcomes out of 143 patients, 2 versus
6 | 25. If you took the 10 lost to follow-up patients and put
7 | them in the bad outcome category also or switched the
8 | outcomes, like Desiree did, it still won by .001.

9 | So, that's the two parts of the surrogate
10 | question. One is the epidemiology if and when we get it.
11 | We have some of it. But the second part is does your
12 | intervention which affects your surrogate translate into a
13 | clinical outcome, which has been proven in blood pressure,
14 | at least with some subsets of blood pressure medications.

15 | DR. WINALSKI: I had a feeling it was a flawed
16 | analogy.

17 | DR. SIMON: Dr. Katona?

18 | DR. KATONA: This question just reminded me
19 | that question number 2(c) we did not answer half of the
20 | question. I think it's somewhat related and that part of
21 | the question was that to what degree the benefits what was
22 | seen from the studies which were done on patients who had
23 | longstanding moderate or severe rheumatoid arthritis could
24 | be extrapolated to patients with early onset, less severe,
25 | and DMARD-responsive disease. I think this "prevent" word

1 is very important because I think as clinicians we're going
2 to be always confronted with this. So, I don't know
3 whether the chairman would like to discuss it now or in the
4 afternoon. I just wouldn't like to forget about it.

5 DR. SIMON: I'm very happy to discuss it now
6 for one second. In that, we need to remember that it's not
7 that these patients had long-term disease; they had
8 nonresponsive disease to methotrexate. We have other
9 studies that we're going to hear about this afternoon. So,
10 I think actually this part of the discussion would do
11 better this afternoon, if the FDA would agree.

12 So, have we achieved the point in this meeting
13 where we have answered the questions you've come to the
14 table with? And are there any other questions that you
15 might have for the committee regarding the infliximab
16 presentation?

17 DR. SCHWIETERMAN: From my standpoint, I think
18 we have answered all the questions. I don't see any other
19 heads. So, thank you very much.

20 DR. SIMON: At this time then we are going to
21 break for lunch.

22 I'd to thank the Centocor sponsor for coming in
23 and giving us such an excellent presentation. I'd like to
24 thank all the speakers.

25 We are going to return at 2 o'clock for

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

continuing our afternoon discussion. Thank you very much.

(Whereupon, at 1:08 p.m., the committee was recessed, to reconvene at 2:00 p.m., this same day.)

AFTERNOON SESSION

(2:05 p.m.)

1
2
3 DR. SIMON: I'd like to welcome everybody back
4 to our afternoon discussion. It's entitled, according to
5 official ruledom, Discussion and Consideration of Proposed
6 Radiographic Outcome Measures for Investigational Agents
7 for the Treatment of Rheumatoid Arthritis.

8 I think that it's very critical to recognize
9 that what we're going to be doing here this afternoon is
10 actually initiating the entire discussion, perhaps again to
11 some of us, about the issue of radiographic outcomes. This
12 has important implications for the guidance document in
13 rheumatoid arthritis. As a result, the discussion I hope
14 will be lively. Certainly with any evidence from this
15 morning, it should be more than lively. I would like the
16 committee to feel comfortable in discussing any issue
17 related to this.

18 We are going to have Kathleen Reedy present a
19 statement, following which we are going to have several
20 speakers. Then we have questions that are in your packet
21 for us to discuss. Kathleen?

22 MS. REEDY: The conflict of interest statement
23 for the Arthritis Advisory Committee, July 12, 2000, for
24 general discussion.

25 The following announcement addresses the issue

1 of conflict of interest with regard to this meeting and is
2 made a part of the record to preclude even the appearance
3 of such at this meeting.

4 Based on the submitted agenda for the meeting
5 and all financial interests reported by the committee
6 participants, it has been determined that all interests in
7 firms regulated by the Center for Drug Evaluation and
8 Research present no potential for an appearance of a
9 conflict of interest at this meeting with no exceptions.
10 Since the issues to be discussed by the committee during
11 this portion of the meeting will not have a unique impact
12 on any particular firm or product, but rather may have
13 widespread implications with respect to an entire class of
14 products, in accordance with 18 United States Code, section
15 208(b), each participant has been granted a waiver which
16 permits them to participate in today's discussions.

17 A copy of the waiver statements may be obtained
18 by submitting a written request to the agency's Freedom of
19 Information Office, room 12A-30 of the Parklawn Building.

20 In the event that the discussions involve any
21 other products or firms not on the agenda for which an FDA
22 participant has a financial interest, the participants are
23 aware of the need to exclude themselves from such
24 involvement, and their exclusion will be noted for the
25 record.

1 With respect to all participants, we ask in the
2 interest of fairness that they address any current or
3 previous financial involvement with any firm whose products
4 they may wish to comment upon.

5 DR. SIMON: Thank you.

6 I'd just like to establish some firm ground
7 rules for the discussion. We will certainly ask for
8 expertise to be brought in from people within the room, as
9 well as from the committee, but the discussion is
10 predominantly for the committee to discuss with the FDA
11 about the questions.

12 Dr. Schwieterman, the next speaker is not yet
13 here. There she is. I couldn't see her. Thank you.

14 So, I'd like to introduce Dr. Barbara Matthews
15 from the FDA to initiate the discussion.

16 DR. MATTHEWS: Well, in some respects I feel
17 that my presentation will now be kind of anticlimactic,
18 given this morning's discussion. However, I think at the
19 same time it will summarize and hopefully congeal the
20 points that were discussed this morning and touched upon
21 and hopefully lead into the afternoon presentation.

22 But I was asked to discuss the guidance
23 document, which is the guidance to industry, clinical
24 development of programs for drugs, devices, and biological
25 products for the treatment of rheumatoid arthritis,

1 particularly as it applies to the recent increased
2 development of new therapeutics and some of the questions
3 that have been raised to the agency as these products have
4 been undergoing development.

5 As you know, the document resulted from a
6 collective effort on the part of academics, industry, and
7 the regulatory personnel. It was published not that long
8 ago really, even though it was the last millennium. It was
9 published in February of 1999. I would say that it
10 reflects the standard of patient care and our scientific
11 knowledge as it stood in the mid- to late 1990s. However,
12 as you know, medicine is a very dynamic science and
13 consequently we need to continue to reassess the ability of
14 the guidance to meet the needs of good therapeutic
15 development.

16 What I'd like to do in this brief presentation
17 is provide some background summary of the claims section of
18 the document and then present points for present and future
19 consideration.

20 So, what are the claims or indications that are
21 discussed within this guidance document?

22 Well, first, there's the claim for the
23 reduction in the signs and symptoms of rheumatoid
24 arthritis, and for this claim, the guidance document
25 discusses the need for -- well, 6-month trials are

1 encouraged, but within known pharmacological classes,
2 trials as short as 3 months may be possible. However, the
3 need for long-term treatment or long-term trials, because
4 of the chronic nature of rheumatoid arthritis, is a
5 consistent theme throughout the document as it discusses
6 the issue of claims.

7 This section of the document also discusses the
8 landmark analysis versus analysis of the patient's response
9 over time, and it also provides examples of acceptable
10 measures, namely the ACR20 or other well-accepted
11 indicators of signs and symptoms.

12 A major clinical response is one that the
13 patient achieves an ACR70 for 6 continuous months. A
14 complete clinical response is one where the patient's
15 response is greater than an ACR70 for 6 continuous months,
16 and a remission requires a response both by ACR criteria
17 and also radiographic arrest for 6 continuous months off of
18 therapy. None of the products that we've seen recently in
19 the last 3 to 5 years have achieved either of the last
20 three claims.

21 The prevention of disability was really
22 intended to encourage long-term trials, and the guidance
23 document gives some guidance on the duration that they were
24 thinking of, namely 2 to 5 years.

25 Validated measures to be used in such trials