

1 could consist of the Health Assessment Questionnaire, which
2 you heard about this morning, and then also the Arthritis
3 Impact Measure Scale, or AIMS.

4 It's important to say too that there should be
5 no worsening on other quality of life measures in, for
6 example, the SF-36.

7 The last claim is really the purpose for this
8 afternoon's discussion and that is the prevention of
9 structural damage. For this claim, the trial should be at
10 least 1 year. In the past 3 years, we've seen a number of
11 agents that have been evaluated for their effect for the
12 structural claim, and this has generated -- I feel really
13 this is not important to say at this point -- a lot of
14 discussion both within the agency, outside of the agency,
15 and this morning.

16 Anyway, the guidance actually describes some
17 examples of outcome measures for this indication. The
18 first they discuss is slowing of x-ray progression, and
19 this would be done by a comparison from baseline to the
20 54-week or week 102 or even longer, using a Larsen or a
21 modified Sharp score.

22 The second example for the prevention claim
23 would be a prevention of new x-rays. The guidance document
24 here just simply describes the landmark comparison of
25 progressors and nonprogressors.

1 Then it also leads into some discussion of
2 other measurement tools. It describes, for example, the
3 use of the MRI, and we touched upon that this morning. The
4 document describes that some of the extrapolation for the
5 interpretation of radiographic change or lack thereof to
6 patient benefit remains undetermined.

7 However, regardless of the products that are
8 developed to show a delay or prevention of structural
9 damage, they have to be shown to have a clinical benefit,
10 either first or even under accelerated approval. The
11 guidance document discusses the development of agents, and
12 I'm going to quote it: "not intended to affect acute
13 inflammation, but are designed to prevent or slow joint
14 destruction by other means."

15 Therefore, the first indication for such a
16 product would be that they would be for slowing for
17 radiographic progression as a surrogate marker, but you
18 would need to show clinical benefit either later in the
19 trial or in a separate trial. I should point out the
20 document is hazy. In fact, it doesn't even define what is
21 meant by clinical benefit.

22 So, needless to say, in the background of what
23 we heard this morning and what I sort of inferred through
24 my background presentation, there are a number of
25 considerations regarding the document in light of these new

1 products that we've been seeing.

2 There has been continued discussion regarding
3 the most relevant outcome measure for signs and symptoms.
4 For example, should an ACR-N, whatever that would be, be
5 more useful than just the ACR20 alone? But such a
6 comparison may result in a statistical difference between
7 treatment groups where neither achieves an ACR that would
8 be greater than an ACR20. And would this, then, be
9 suggestive of clinical benefit? In other words, would we
10 accept an ACR15 versus an ACR5?

11 Another consideration is should some of the
12 individual ACR components be used instead of just the
13 ACR20? This is what is being accepted over in the European
14 Union where they accept tender and swollen joint counts and
15 HAQ in support of their claim for signs and symptoms.

16 The pros and cons of landmark and response-
17 over-time analyses continue to be discussed. For example,
18 a product with early onset of activity may achieve a
19 greater area under the curve, even though both study
20 agents, including the placebo or the control agent, may
21 achieve similar degrees of effect by the end of the trial.

22 One of the major points of discussion regarding
23 the topic of disability or the function is the effect of
24 missing data on these analyses, predominantly due to
25 dropouts where the number of patients who dropped out tend

1 to increase with time because of lack of efficacy. As you
2 recall, the guidance document is asking for long-term
3 trials for this indication. So, this leads into the
4 discussion of the length of trials in light of the
5 relatively early effects upon functional outcome measures
6 that we are seeing soon after initiation of these newer
7 modalities.

8 Then last, but certainly not least, are the
9 considerations regarding structural damage. I think the
10 one that is most prevalent among all of us at the agency
11 has been the use of the word "prevention" because, as has
12 been pointed out to us, to many people the use of the word
13 "prevention" implies that the patient population being
14 studied are disease-free. As you heard, I don't think any
15 of the products that we've seen recently have been in a
16 disease-free population.

17 Now, the document does discuss prevention of
18 erosions, but it's very vague. It just says a landmark
19 analysis between progressors and nonprogressors. So, what
20 do we mean by progressors and nonprogressors? This has
21 obviously come up this morning. Do we use the smallest
22 detectable difference or anything greater than 0? How do
23 we define this or should we define this? What role do the
24 more sensitive imaging agents or modalities have with the
25 assessment of new erosions in patients with early disease,

1 and how does it affect patients with other stages of the
2 disease?

3 In addition, with the clinical development of
4 these newer agents, we realize that the guidance actually
5 fails to discuss other structural outcome measures, for
6 example, the reduction of erosions, the healing of
7 erosions. Again, how do we measure for these effects? You
8 can't just say to do it, but give guidance on how to do it.

9 Lastly, in patients who do not experience
10 clinical benefit, is the delay in radiographic progression
11 of their disease that was seen in a subset of patients that
12 we discussed this morning a surrogate, and if so, what does
13 that surrogate mean for clinical benefit? And then the
14 natural extension, as was touched upon this morning, is how
15 do we define it, how do we study it?

16 So, in summary, actually the preceding 5 years
17 have been very exciting in the development of therapeutics
18 for the treatment of patients with rheumatoid arthritis.
19 These modalities provide clinical benefit to a great
20 proportion of patients. But in addition -- and that's the
21 point of the talk this afternoon -- these products have
22 challenged all of us to continue to discuss how best to
23 measure the clinical effect and allow further development
24 of these new agents.

25 Thank you.

1 DR. SCHWIETERMAN: I thought we'd go right to
2 Dr. Strand's talk, just to give a brief overview, and then
3 we can have a discussion, if that's okay.

4 DR. SIMON: Fine. We're calling up Dr. Vibeke
5 Strand, who is a biopharmaceutical consultant and a
6 Clinical Associate Professor at Stanford University.

7 DR. STRAND: Thank you very much, panel, for
8 the invitation, and ladies and gentlemen.

9 I have to tell you that unfortunately a virus
10 blew up my computer last night. I couldn't open any of my
11 files. So, I have to show you some traditional old slides.

12 So, what I wanted to do today and actually what
13 I've been asked to do today is to review the data with the
14 recently approved and presumably soon-to-be-reviewed
15 products that have radiographic outcomes. These include,
16 of course, several different products: the leflunomide
17 trials, which actually looked at methotrexate and
18 sulfasalazine and compared these traditional DMARDs to
19 placebo, as well as the biologic agents, infliximab that
20 you heard about this morning in great detail, etanercept,
21 and anakinra, which presumably will be reviewed sometime in
22 the fairly near future.

23 Now, I am taking the data from published
24 references, and I do have a reference list, which I'd be
25 glad to share at the end of the meeting. The slides on

1 data that have not yet been published but presented and
2 have been presented in abstract form were kindly provided
3 to me by both sponsors, as they're used for their speakers
4 bureaus. What I basically want to do is to review these
5 data sets but not, in fact, to compare them.

6 So, if we start first with the leflunomide data
7 set, I think it's an important data set because it also
8 tells us that the gold standard DMARD, methotrexate, does
9 in fact slow or delay radiographic progression, and that
10 sulfasalazine has a similar effect over the short term.
11 These are both in comparison to placebo.

12 The Sharp scoring method was utilized, and it's
13 very similar for all of the different trials that I'll be
14 reviewing.

15 X-rays were done at baseline and endpoint in
16 all of the trials. The films were read by Dr. Sharp using
17 the modified Sharp method and by Dr. Larsen using his
18 method. Those results did correlate, even though the
19 Larsen scores predominantly score only erosions.

20 There was a formal 12-month intent-to-treat
21 analysis in the 301US or ULTRA trial, and this was because
22 patients may have entered into alternate therapy or have
23 exited for active therapy, but they were recalled at 12
24 months for x-rays.

25 The clinical data was comparable in patients

1 both with and without x-rays at endpoint, and a variety of
2 sensitivity analyses were performed to account for the
3 missing data, and that has in fact been published.

4 Now, the demographics of these protocol
5 populations are interesting because they probably do
6 account for some of the differences in the radiographic
7 findings. Two of the studies were placebo-controlled, and
8 thus we have placebo to look at and understand the
9 methotrexate effects, as well as leflunomide and
10 sulfasalazine. The doses of methotrexate in the U.S. and
11 the European study were fairly comparable, although the
12 median dose was higher in the U.S. study. The
13 sulfasalazine dose was a traditional dose.

14 The U.S. study was a 12-month placebo-
15 controlled trial. The 301MN study was a 6-month controlled
16 trial, after which placebo was allowed to exit for active
17 treatment, and blinded treatment was continued for a total
18 of 2 years. The 302MN was an active-controlled trial,
19 leflunomide versus methotrexate, that was continued also
20 blinded for 2 years.

21 Now, although the disease duration looks fairly
22 similar in these two protocols, it's significantly less in
23 302MN at 3.7 years. In fact, a significant portion of
24 these patients in all three protocols have less than or
25 equal to 2 years of disease or are DMARD-naive, on the

1 order of about 33 to 50 percent. This protocol had about
2 40 percent of patients with early disease and about 40
3 percent of patients with more than 5 years of disease, a
4 bimodal population of DMARD- or methotrexate-naive patients
5 in the United States, whereas this was rather evenly
6 distributed and this protocol had an excess of patients
7 with early but very aggressive disease.

8 The mean HAQ disability indices were different
9 across the protocols: 1.3 in the U.S., 1.7 to 1.9 in the
10 sulfasalazine controlled trial, and 1.5 in the methotrexate
11 active control.

12 Now, as a benchmark only but as a means of
13 understanding what progression might have occurred just
14 during the 12-month period of time of the protocol, an
15 estimate or a predicted yearly progression was derived by
16 taking the total Sharp score at baseline and dividing it by
17 the mean disease duration. We've come up with the
18 estimates of around 3.3 to 3.7 total Sharp units in 12
19 months in the U.S. study; 5.7 to 8.1 in the MN301 study,
20 but over 6 months, this would be half that amount; and
21 about 6.5 to 6.7 in 302MN.

22 To look at this benchmark comparison and not on
23 a statistical basis, we can ask whether this would be a
24 relative estimate that would have any accuracy. If you
25 look in 301MN at the 6-month data, the predicted

1 progression is in gray at 4.1 points, total Sharp score, in
2 6 months, and the actual progression in placebo was 5.9.
3 So, if anything, this estimate was a bit of an
4 underestimate but a reasonable benchmark.

5 If you look in the U.S. study with the placebo,
6 the placebo actually did not progress as much as might have
7 been predicted, but we know that 63 percent of these
8 patients had had active therapy at the 12-month endpoint,
9 whereby about half of them had received alternate therapy
10 for a mean of about 6 months and the other half had
11 received active therapy, having left the protocol prior to
12 month 4 due to lack of efficacy.

13 Now, despite that, the statistical comparison
14 against placebo here in this U.S. study was highly
15 statistically significant for both methotrexate and for
16 leflunomide, so that even though these patients may have
17 had as much as 6 to 8 months of active therapy, a full 12
18 months of therapy made a very significant difference.

19 Again, if you look at the placebo-controlled
20 trial in the European 301MN, you can see that even with
21 6-month data there's a highly statistically significant
22 difference with both leflunomide and sulfasalazine versus
23 placebo in total Sharp scores.

24 In the 302MN study, these two numbers are
25 equivalent between methotrexate and leflunomide.

1 Very quickly, just to look at the 6-month data
2 with the 301MN study, you can see in the active therapy
3 groups when treatment was continued over a full 12 months,
4 these results were maintained and remained statistically
5 significant even against the placebo at 6 months.

6 Now, if we talk about the percent or number of
7 patients with no newly eroded joints as some type of an
8 idea of what that could be defined as, no new erosions, we
9 can see that there's a high percentage of patients, in
10 fact, in all of the treatment groups who do not have newly
11 eroded joints, and there's a fairly high percentage of
12 patients in the placebo groups that have no newly eroded
13 joints, indicating that there are a large number of
14 patients in these skewed populations who have no
15 progression, at least by erosions, in terms of involving
16 new joints.

17 If you look at the mean changes in the erosion
18 and joint space narrowing scores, one can see in the U.S.
19 study that in fact the medians are also 0 for both the
20 placebo, as well as the active therapies, and the mean
21 changes are quite low but involve both erosions and joint
22 space narrowing and are significantly less than the active
23 treatment groups than in placebo, but indicating, in fact,
24 progression in both groups, as measured by both joint space
25 narrowing and erosions. Yet approximately 50 percent of

1 patients will have had scores that are 0 or negative.

2 If we look at the 301MN study, we see some
3 similar differences although the placebo is obviously quite
4 higher. It's on the same scale of 0 to 2, but you can see
5 now that there is a median increase of 3 with the placebo
6 and 0.5 with sulfasalazine. However, in terms of the
7 erosions, there is still a large percentage of patients who
8 do not have erosions.

9 Now, the means in all of these studies,
10 including the next one, have standard deviations that
11 exceed the actual mean and the ranges range from minus, a
12 negative score, but at least two digits to a positive score
13 of as high as two digits. So, we're looking at skewed
14 populations with broad ranges of results.

15 Here we see in this study with methotrexate and
16 leflunomide that, in fact, the medians are again 0, and the
17 erosion and joint space narrowing score progression over 1
18 year are very similar and they're statistically equivalent.

19 In fact, there is a correlation with response
20 in the ACR response in the U.S. study, but in fact there's
21 a negative correlation in the 301MN study where all active
22 treatment groups actually look better in the nonresponders,
23 a minus 1.7 and a minus .4 score for leflunomide and
24 sulfasalazine in the nonresponders versus a 1.3 and 2.7 in
25 the ACR responders. In a similar fashion but opposite

1 | direction, there actually does look to to be a correlation
2 | between ACR response, although mild, because the scores are
3 | lower in the responders in the U.S. study in the two active
4 | treatment groups than in the nonresponders.

5 | In terms of coefficients of correlation,
6 | looking across all three studies, CRP was associated with
7 | response in the U.S. study but to a very low degree, .17,
8 | which was statistically significant. There was a better
9 | correlation of .22 in the 301MN study, and there was a
10 | correlation of .15 in the 302MN study. These were
11 | statistically significant although very low. Only the AUC
12 | of ACR response and the ACR20 response correlated with the
13 | US301 data in the active treatment groups, as this data
14 | shows you.

15 | If we now move on to the ERA study, we're
16 | looking at a very interesting population of early disease,
17 | and we're looking at an active controlled trial, looking at
18 | etanercept in two doses versus methotrexate. The scoring
19 | method was a modified Sharp scoring method again. X-rays
20 | were taken at baseline, 6 and 12 months. The feet were
21 | included as they were in the previous analyses, and they
22 | also looked at the Rofingen method by Rau. In this
23 | situation, there were two of six readers who read the
24 | films, and the inter-reader variability was shown to be .85
25 | as a correlation coefficient. The sequences of films were

1 | blinded as in the other study as well.

2 | It's a different demographic population.
3 | Again, it's very important to understand these patient
4 | populations because the x-ray data looks different
5 | accordingly. Mean age is low but patients have all got
6 | disease duration of approximately 1 year, and most of them
7 | are either rheumatoid factor positive or have erosions.
8 | They were included in the protocol on that basis. The
9 | baseline HAQ disability indices were 1.4 to 1.5, and prior
10 | DMARDs ranged between .5 and .6. There were similar uses
11 | of nonsteroidals and steroids.

12 | We can use as predicted yearly progression
13 | again only as a benchmark, but if we see it, it's 9.5 in
14 | the methotrexate group and the progression in the
15 | methotrexate group is 1.3.

16 | In the etanercept 10 milligram group, it's 1.4
17 | versus a predicted progression of 8.3, and in the high dose
18 | group, it's 0.8 versus a predicted progression of 8.7.
19 | These are statistically not different in the active
20 | treatment groups.

21 | When we break it down, we see that there is
22 | more of an effect on erosions in the etanercept high dose
23 | group, and by the way, these are again mean scores and the
24 | ranges are from negative numbers to positive numbers. The
25 | standard deviations exceed the mean scores and the medians

1 | in this particular 12-month data set are also 0 indicating
2 | that approximately 50 percent of patients do not appear to
3 | progress in terms of increasing a score above 0.

4 | If we look at the number of patients with no
5 | newly eroded joints at 1 year, we can see that there's a
6 | fairly high group of people and a higher percentage in the
7 | etanercept high dose. Interestingly enough, these are very
8 | well represented by the number of patients who have no
9 | erosions at baseline. In other words, those patients who
10 | have erosion scores of 0 at baseline will often not develop
11 | new erosions over a 12-month period in a protocol as we've
12 | so far observed.

13 | By the way, the clinical correlations in terms
14 | of response by x-ray and AUC of ACR-N was low but
15 | predictable, again about a 0.15. The AUC of the CRP was
16 | the best correlation with a coefficient correlation of 0.45
17 | in this data set.

18 | If we now look at ATTRACT, I'm just going to
19 | review this very quickly simply to point out the
20 | comparabilities. Again in terms of the methodologies,
21 | slightly different numbers of joints being scored, and the
22 | feet were scored from 0 to 10. There were two readers, as
23 | you had heard, baseline and 6- and 12-month data. Again,
24 | there was a large percentage of patients who had final
25 | films, and a sensitivity analysis was performed to account

1 for missing data. Again, the clinical data in the patients
2 with and without x-rays were very comparable.

3 You've seen these demographics before. This is
4 a patient population who has failed methotrexate. They
5 have aggressive disease, fairly long duration, 8.4 years
6 median duration, and mean duration of 10.4 years. They had
7 failed on average as a median 3 DMARDs, and many of them
8 had previous joint surgery. And there are high baseline
9 HAQ scores of 1.7 to 1.8.

10 If we look at the comparison here again, this
11 is the mean change in total Sharp score at week 54 by a
12 mean of 7.0 in the placebo plus methotrexate group versus
13 very significantly less and statistically significantly
14 less progression in all of the active treatment groups.
15 The estimated yearly progression was approximately 7.4,
16 although it hasn't been actively calculated.

17 If we look at the median, you can see that now
18 we can get to a 0.0 for the entire infliximab group
19 population, and the control group, the methotrexate
20 failures with placebo, have a median of 4.

21 Again, there is a disconnect, as you may want
22 to call it, between clinical responders and nonresponders,
23 or ACR20 responders and nonresponders, and those who have
24 progression by x-ray, although there is a suggestion here
25 that there is better response in the responders.

1 Now, if we move to another patient population
2 -- in fact, this study was the first study with
3 radiographic data that was placebo-controlled. It was
4 6-month data and it was originally performed using Larsen
5 scores. These have now subsequently been reread in blinded
6 fashion using the Genant modification of the Sharp scoring
7 method. In fact, the feet were not included in these
8 films. So, they had hand films scoring 28 joints for
9 erosions, 26 for joint space narrowing, a slightly
10 different grading for it and a summed total score. The
11 number of joints are less because Genant believes that this
12 modification allows it to be less error due to deformity
13 overlying shadows when you place the hands on an x-ray
14 film.

15 X-rays were done again at baseline, 24 weeks,
16 and 48 weeks. The placebo patients at 24 weeks were then
17 allowed to be re-randomized to active therapy on an open
18 label basis. However, the active treatments were continued
19 for a full 12 months blinded treatment. These were again
20 scored in pairs or triplicates, and the sequence of films
21 were again blinded.

22 This is a patient population with a mean
23 disease duration of somewhere between 3.5 and 4 years.
24 Many of them were rheumatoid factor positive. About a
25 third of them had erosions at baseline. Interestingly,

1 | there are as many as 25 to 30 percent of them who were also
2 | DMARD-naive in this population. Concomitant steroids were
3 | a little bit lower than in the U.S. protocols that I just
4 | reviewed, and concomitant nonsteroidals were very
5 | consistent. The baseline Sharp scores, using the Genant
6 | modification, ranged between about 25 and 30.

7 | If one now looks at the estimated yearly
8 | progression, again in gray bars, just as a benchmark, we
9 | can see that the placebo progression over a 6-month period
10 | of time was just about equivalent to what the estimated
11 | progression might have been. In each of the treatment
12 | groups, 30, 75, and 150 milligrams, there is statistically
13 | significantly less progression than in placebo.

14 | If we now look at the continuation data whereby
15 | the placebo group here is now continued on to active
16 | therapy and they are all summed, we can see that the first
17 | 24-week therapy in orange in each of the treatment groups
18 | is actually less in the subsequent 24 weeks, with the
19 | exception of the 30 milligram dose group. So, in other
20 | words, there's more effect over time in these treatment
21 | groups. Clearly the placebo has a very significant change
22 | between the first 24 weeks and the second 24 weeks with
23 | active therapy.

24 | Looking at it from a statistical point of view
25 | where the placebo patients are then dropped out after the

1 first 24 weeks, we can see that in the initial response,
2 the majority of the response may well be in joint space
3 narrowing, but over the second 6 months of active
4 treatment, there is also a very significant effect on
5 erosions such that the total scores are considerably
6 improved over time.

7 This is looking at all of the dose groups
8 merged with a total erosion score of 1.2 and a joint space
9 narrowing of 0.6 over the first 6 months and subsequent 0.6
10 and 0.6 over the second 6 months, indicating again that the
11 early effect is more predominant on joint space narrowing
12 which is maintained, but then erosions are also affected
13 over the second 6 months of treatment.

14 If we look at the patients who now have scores
15 of 0 in erosions, it's 42 percent for placebo, 53 percent
16 for all active, and this is at the 6-month time point; and
17 44 percent versus 59 percent in terms of 0 scores in joint
18 space narrowing at 6 months, for a total of 33 percent of
19 patients who have a score of 0 in placebo versus all the
20 IL-1ra patients, 43 percent, with scores of 0 at 6 months.

21 So, what we have, in fact, is a group of
22 studies that have looked at basically methotrexate and
23 leflunomide, sulfasalazine, placebo, placebo superimposed
24 on methotrexate failures, and placebo here again. And in
25 data not shown, we're basically seeing that the 6-month

1 information both with the MN301 study and the IL-1ra study,
2 but also with ATTRACT and with the ERA study, that very
3 significant effects are evident over 6 months' treatment in
4 radiographic outcome even though 12 months has been the
5 benchmark selected.

6 We can also look that each protocol population
7 is different in terms of disease duration and baseline
8 score, and one can use these estimated yearly progressions
9 only as a benchmark, but in fact they may be a fairly
10 reasonable benchmark of what might have been expected in a
11 group of patients just during that protocol period of time
12 who remain untreated. So, in other words, we're looking at
13 an estimate of 4.1 which was exceeded by 5.9 in placebo and
14 MN301, an estimated yearly progression of about 7.4 to 8 in
15 ATTRACT, which was just about met by the methotrexate
16 failure patients receiving placebo at 7, and the European
17 IL-1ra data where 6-month progression was expected to be
18 3.6 and was shown to be 3.5 in the placebo group.

19 What we also see is that any way you want to
20 modify the Sharp analyses, you can score them as a total
21 score of 422, 440, 348, or even 202. We are seeing
22 statistically significant differences between active
23 therapies and controls, that we have a series of data sets
24 where sensitivity analyses have nicely accounted for
25 missing data. And we have one reader in the ULTRA and

1 MN301 and 302 trials, which was confirmed by a second
2 analysis of Larsen scores, and multiple readers in each of
3 the other studies.

4 We can conclude that leflunomide and
5 methotrexate are effective against placebo and that they
6 appear to be equivalent to sulfasalazine over a 6- and 12-
7 month period of time; that infliximab, as was discussed
8 this morning, is effective in the doses and dose schedules
9 used in the ATTRACT trial against placebo superimposed in
10 patients failing methotrexate; that etanercept is effective
11 and was statistically equivalent to methotrexate in a
12 patient population with very early RA; and that anakinra
13 appears to be effective against placebo with continued
14 effect over a full 12 months of active treatment.

15 What we've learned is that each protocol
16 population is unique. Their baseline demographics in part
17 may determine what their baseline Sharp scores are. Their
18 rates of progression appear to be different, and it's not
19 very easy to predict what the outcome will be prospectively
20 before the patient population has been enrolled.

21 The estimated yearly progression may be a
22 reasonable benchmark to understand the data. It should, of
23 course, not be used for any statistical comparison.

24 We have a variety of modifications of the Sharp
25 analysis, including Sharp's modification of Sharp himself,

1 and I think he continues to keep reinventing himself, I
2 hope. But on that basis, we see that the scores can be
3 scored in different ways, that the feet can be scored on
4 scales of 0 to 5 or 0 to 10. Is it more important to have
5 more joints to assess or is it better to have fewer joints?
6 A 28-joint count may be more sensitive and less variable to
7 change than the 66-68 traditional joint count. Would we
8 find the same thing here with these various modifications?

9 I think the important thing really is that we
10 see that there is marked variability in terms of change.
11 These are very skewed populations of patients. It may be
12 appropriate to use a median, or it may be more appropriate
13 to use a mean, as one cannot differentiate from active and
14 placebo with median scores.

15 We know that a large majority of patients in
16 any of these protocols receiving placebo do not progress by
17 a variety of definitions that have been used to say no
18 progression. It's really still up in the air I think as to
19 whether we can learn more about how to treat skewed data,
20 in fact, whether we can even apply Bland/Altman to skewed
21 data to try to understand SDDs. I think what we've heard
22 so far about SDDs is that they, in fact, exceed the active
23 treatment total scores in each of the protocols that
24 they've been applied to.

25 Finally, should we be expressing the data in

1 | terms of the total Sharp score and as well looking at
2 | erosions and joint space narrowing, or should we be able to
3 | say that since they are probably biologically separate
4 | processes, that we should be able to dissociate these
5 | scores and look for changes and hope to consider that
6 | benefit to patients could be associated with significant
7 | change in one or the other and not both?

8 | Can we define healing? Can we actually even
9 | define what no progression is? We've seen several
10 | different definitions. We've seen several different
11 | definitions of no newly eroded joints. And what does that
12 | mean in the sense if we're looking at multiple joints?
13 | Some may heal and some may actually worsen. How do we
14 | actually look at a definition of healing if we're reading
15 | all of the films blinded to sequence?

16 | The correlations between ACR responses, HAQ
17 | scores, CRPs, sed rates, even AUC analyses of outcome are
18 | actually very low with radiologic responses, suggesting
19 | that we may be looking again at different processes that
20 | are all part of a very heterogeneous disease that we call
21 | rheumatoid arthritis.

22 | Finally, we still have to learn a lot about the
23 | statistical methodology that we're using. What is
24 | clinically meaningful? What is statistically significant?
25 | Can we take changes in group populations and apply them to

1 individual patients?

2 Are these sensitivity analyses the way to deal
3 with missing data, as patients will inevitably not comply
4 completely with the protocol, and we will always have at
5 least some x-rays that are missing?

6 And what do we do about variable assessment due
7 to multiple readers? Because the SDD is probably not just
8 technique dependent and inter-reader variability dependent
9 and protocol population dependent, but in fact, it reflects
10 that we are studying a very, very heterogeneous disease.

11 So, I thank you for your time and trouble in
12 listening to this rather dry set of data.

13 DR. SIMON: We'd like to thank you as well.

14 Are there any questions for Dr. Strand?

15 It does raise the issue, doesn't it, of are
16 these different patient populations? If you have a group
17 of patients that have no baseline erosions within the time
18 frame that we're talking about and those patients don't
19 also accrue new erosions without therapy, is that the same
20 patient population as a group of people that erode in the
21 time frame of the study? Is it fair to compare between
22 those two patient populations?

23 Furthermore, is a new erosion that one sees
24 because of the technique that one used, a flat versus a 3D
25 surface -- are you actually not seeing that erosion or has

1 | there been some other change taking place just in the way
2 | the x-ray was done? One can figure out any number of
3 | different circumstances.

4 | And if in the area of interest, you're looking
5 | and see no new erosions but an area that you don't study
6 | has erosions, does that mean the disease is not progressive
7 | because you just haven't looked where they might have new
8 | erosions?

9 | Bill?

10 | DR. STRAND: I'm glad that wasn't a question
11 | and I can sit down now. Is that right?

12 | DR. SIMON: Absolutely.

13 | DR. SIEGEL: After each speaker, I keep
14 | thinking we have too many questions to answer and it's only
15 | getting worse.

16 | (Laughter.)

17 | DR. SCHWIETERMAN: Well, Dr. Simon, I think we
18 | actually had a very nice discussion this morning, and I
19 | think without further ado, we might as well just plunge
20 | right into the questions.

21 | DR. SIMON: Well, in your packets is a second
22 | series of questions. Similar to this morning, they have
23 | paragraph prefaces, and I think that they really were
24 | precipitated somewhat -- although they were already written
25 | before we even got here, but they really were precipitated

1 | by the discussion we had this morning.

2 | So, you've already heard about the agency's
3 | guidance document for sponsors developing therapeutic
4 | agents, and it lists a claim for prevention of structural
5 | damage. The requirements are study of at least 1 year in
6 | duration. There have been two agents recently approved by
7 | the agency showing effects on radiographic progression in
8 | patients treated for 1 year. They were indicated for
9 | retarding or delaying structural damage and not preventing
10 | structural damage, and that was because in their patient
11 | data set, many patients -- they don't say how many -- were
12 | observed to have worsened structural damage on treatment.
13 | In this context, a prevention claim seemed inappropriate.
14 | Not too dissimilar from the discussion this morning.

15 | So, the first question is, is prevention of
16 | structural damage a viable claim in rheumatoid arthritis
17 | given that, even following treatment with very active
18 | agents, some patients are likely to have some evidence of
19 | some disease progression?

20 | So, for example, this morning we saw data that
21 | perhaps 6 percent or so still had ongoing evidence of
22 | damage. Is that enough? Does it have to be 20 percent, 50
23 | percent? What would the committee like to think about in
24 | that regard?

25 | DR. ELASHOFF: Is structural damage being

1 defined as these Sharp scores for radiographic or does it
2 have some other definition?

3 DR. SIMON: Right now, as we understand it,
4 according to the guidance document, as defined in the
5 guidance document, this form of structural damage, which
6 presumably is radiographic measurement damage, would be
7 measured by the Larsen or modified Sharp technique.

8 DR. ELASHOFF: So, that's what we're to take
9 the definition as.

10 DR. SCHWEITZER: I'd like to say two things.
11 First of all, I would again try to bring the discussion
12 against limiting it just to x-rays as a way of measuring
13 surrogate damage. I would just say some kind of anatomic
14 imaging to measure structural damage.

15 Secondly, I said before since rheumatoid is
16 probably a somewhat protean disease and there's probably
17 maybe more than one or subgroups of patients within a
18 rheumatoid population, as long as I think there is a
19 majority -- and we use the terminology "prevents
20 progression" rather than "prevents structural damage" I
21 think as long as it's a majority.

22 DR. FIRESTEIN: Again, as was discussed this
23 morning, there's virtually no drug that has 100 percent
24 efficacy in 100 percent of the patients, and the bar would
25 be too high in that sense. So, again, a reasonable

1 approach is similar to what you just described, and that is
2 that if in toto the group has delayed or prevented
3 progression, depending on what you wanted to call it, then
4 that's appropriate. But I think it would be inappropriate
5 to try to ask for 100 percent of patients or even 99
6 percent of patients to have complete arrest.

7 DR. SIMON: It's amazing because in the context
8 of signs and symptoms, we've agonized over some form of
9 composite scoring to give us a sense of objectivity, ACR20,
10 50, 70. Would we have to figure out some kind of similar
11 format for composite scoring? Would we draw a line
12 somewhere where if you only had 40 percent, that wouldn't
13 be enough, and if it was 60 or 70 percent, it would be
14 enough? How do feel about that?

15 DR. FIRESTEIN: Well, ultimately I suppose we
16 will have to draw some sort of arbitrary line, and I don't
17 know what the number is.

18 DR. SCHWIETERMAN: Lee, I think that's an
19 excellent question. To put this into perspective, the
20 point of the agency guidance document was manifold, but the
21 most important one of which was to characterize these
22 agents over the long term. To set arbitrary thresholds for
23 particular proportions or particular numbers and so forth,
24 especially when the clinical relevance of those is unknown,
25 sort of just goes against the agency's grain, so that if

1 you're at 40 percent, you get the claim, if you're at 39,
2 you don't or whatever it is.

3 That having been said, I think that the
4 guidance document has actually helped the field a great
5 deal because now we have long-term data on these particular
6 claims. So, at the risk of opening a can of worms --
7 perhaps it's open already -- what we really want in these
8 documents -- and this has come up in internal discussions
9 -- is a characterization of these agents so that physicians
10 and patients have some way of assessing their likelihood of
11 affecting multiple different outcomes without their being
12 unnecessarily arbitrary or misused words that are out
13 there.

14 I don't have any particular suggestions as to
15 what this might involve, but this is in itself the very
16 problem, if you will, with the guidance document as written
17 because we have claims that are somewhat artificial out
18 there, or at least the words are very difficult. It was
19 very easy to concoct these words in 1995 and 1996 because
20 we didn't have anything, but now that we're here, people
21 want to make claims about them.

22 Just putting it all out on the table, does the
23 committee feel that there needs to be reconsideration
24 perhaps of walking down this radiographic outcome? We
25 could talk about prevention. Then we could talk about

1 reduction. Then we can talk about the proportion that have
2 reduced by a certain amount. Then we can talk about the
3 complete elimination of erosions and so forth, none of
4 which we can readily relate to clinical outcome measures,
5 only to our sense of what that might or might not mean.

6 So, I think that this is what the problem has
7 been in our discussions here, and this is frankly what the
8 problem has been with our sponsors, is that they've acted
9 in good faith with this, yet at the same time we owe it to
10 the public to give accurate information. Does anybody have
11 any comments on that?

12 DR. SIMON: I do.

13 (Laughter.)

14 DR. SIMON: What a surprise.

15 I think that that is exactly the problem. I
16 think that putting the data in the data section of the
17 label that's referable to that particular claim is very
18 critical and it needs to be all the data. I think that
19 that evolution has been very important.

20 The dilemma, of course, one could ask, well,
21 why make any claims? Just show the data and let the
22 individual decide what to do. That, of course, doesn't
23 work in this world. So, we have to do something.

24 Unfortunately, still we're using inadequate
25 techniques to be able to answer this question.

1 But I do think that the committee probably
2 would believe -- and please join in -- that we need to
3 relook at the question. We need to rewrite the document
4 that reflects what we now know. To be able to distinguish
5 among words would be very important. We probably have more
6 possibilities than we had before that probably need to be
7 included.

8 We probably need to be very clear that if we
9 prevent, what are the various different thesaurus words
10 that would be other terms that one could use for prevent.
11 I think we also have to raise the question that in this
12 real world of nuance, in differentiating among products
13 that look very similar, the word is very important in what
14 the claim will mean. Unless we're willing to distinguish
15 among products that have very similar effects, which I'm
16 not sure we're willing to do, then we have to be very
17 careful about the words that we apply.

18 Does anybody have an issue with that?

19 (No response.)

20 DR. SIMON: Outstanding. Consensus.

21 Dr. van der Heijde

22 DR. VAN DER HEIJDE: I think an extra issue I
23 want to bring up is that we really have to look within the
24 trial because we are using randomized, controlled trials
25 exactly to half the control group. So, if you are looking

1 at only at percentage of patients nonprogressing or
2 progressing, whatever you want to use, and then you're
3 comparing that across trials, that might be very dangerous
4 because a control group is completely different. It has
5 been shown by Dr. Strand that in some trials, even in the
6 placebo group, the majority of patients were not
7 progressing, while in other trials, the majority of the
8 control group is progressing. So, if you have a reduction
9 in that type of trial, it's much stronger than in another
10 trial. I think that's important to keep in mind.

11 DR. SIMON: Do you have a proposal on how to go
12 about doing that?

13 DR. VAN DER HEIJDE: Well, if we reiterate the
14 OMERACT discussions that have been going on in May last
15 year, then we decided as a group that the primary analysis
16 should be on the group level. So, that's the first thing.
17 You have to compare the two groups, and only if there's a
18 statistically significant difference, then you go further
19 for secondary analysis.

20 If we look 10 years back, for example, in '89 I
21 published a trial comparing sulfasalazine and
22 hydroxychloroquine, and we looked only at group levels.
23 Then people accepted it with skepticism. Now we are only
24 10 years later and there has been such a big change. Now
25 we are saying, oh, yes, we know that we can reduce it, but

1 we cannot in all patients. So, there's really a change of
2 expectation.

3 So, I think the first thing you need to do is
4 the primary analysis on the group level. If you find a
5 statistically significant difference, then you want to see
6 what does this mean on an individual patient level. How
7 many patients really benefit from this therapy? Then you
8 can do it in several ways because you need to use a cutoff
9 point. That's a separate issue, how to define that.

10 But then you could apply the number needed to
11 treat concept. That can be used and it's also used for
12 other drugs. So, how many patients do you need to treat to
13 have the benefit for one patient? That takes into account
14 what's happening in the control group because then you are
15 looking for the risk reduction between the control group
16 and the active treatment group. So, you're taking that
17 into account and you can calculate a number needed to
18 treat. I think that could be very helpful comparing across
19 trials.

20 DR. SIMON: First Carl and then Dr. Emery,
21 please.

22 DR. WINALSKI: One question. I'm undecided of
23 how things should be looked at, but it seems to me that
24 with the signs and symptoms, they decide either they're a
25 responder or nonresponder. Why should radiographic

1 progression be looked at as a group rather than as a
2 responder or nonresponder, as you do with the SDD
3 measurements? And the SDD measurements also help take into
4 account how fuzzy your ruler is, if you will, with those
5 readers. But I can see one problem right away, which is it
6 raises the bar pretty high.

7 DR. SIMON: Dr. Emery?

8 DR. EMERY: Thank you.

9 I just wanted to introduce another issue if you
10 are looking forward, which is the one that we've come up
11 against both in our OMERACT group and MRI group. If you
12 are actually going to look at a comparator, which is
13 actually active disease, you have a great deal of problem
14 if you're going to look at 2-year data. Already the
15 ethical issue is such that we can't do studies anymore
16 because the correlates with CRP, for example, are felt to
17 be too good to leave patients with an active CRP. It's
18 unethical for patients and we're no longer allowed to do
19 it.

20 If you're looking forward, the only way you can
21 show differences with x-ray, because of the SDD being so
22 large, is between active and inactive therapies. If you're
23 going to ask for 2-year data, you're making it impossible
24 to get because patients drop out, get steroids, and produce
25 some of the confounding data that you've just seen.

1 So, the only way you're probably going to be
2 able to do it, we're now studying for the first time
3 longitudinal data comparing all modalities. Those studies
4 are there. We are analyzing them. You do have to use the
5 more sensitive techniques because they're the only ones
6 that have the power to show a difference between active
7 therapies. I don't think there's going to be a great deal
8 of value in devising criteria that are just going to be
9 impossible to reach.

10 We've already reached the stage you can't leave
11 patients with active disease. We have to put in our
12 consent that patients who get placebo will be out of it if
13 they're not improved within the time course of the half-
14 life of that drug because otherwise it's felt unethical.
15 Americans still seem to be able to do placebo studies. We
16 can't. I think you need to think very carefully if you
17 want patients to go into studies, that you're not harming
18 them by leaving them untreated because the data are there
19 to show that they are harmed.

20 DR. SIEGEL: Aside from where the bar is set, I
21 would agree very strongly with Dr. van der Heijde that
22 there is really good reason not to stray too far from
23 looking at aggregate rather than at number of patient
24 responder data. There's actually a number of reasons.

25 One is you can define a response that's

1 meaningful on an individual basis, an ACR20 or an SDD,
2 based on what you think is a real thing that isn't just
3 chance fluctuation or variation, but smaller responses, if
4 they're seen consistently across large numbers of patients
5 and statistically significant, are real. They may have
6 less clinical meaning, but you don't have to question
7 whether they're real. If you treated 100 patients in a
8 trial and every one of them showed a 10 percent reduction
9 in their joints, you wouldn't have any ACR20's, but you'd
10 be sure you had a drug that had an effect if in the control
11 arm none of them did is what I'm saying.

12 So, you lose certain information. Even if you
13 don't set the bar that high, just by drawing cut points you
14 lose a lot of information, just number of responders,
15 number not responding. That's part of the problem with the
16 whole concept of prevention to the extent that we think of
17 it as an absolute, that we're starting to think of the
18 proportion who do progress and who don't progress. What
19 you have in this trial, what you have in other data sets
20 are two treatment arms where there's a distribution in each
21 arm and they're different from each other.

22 That gets to the issue I think that Dr.
23 Firestein raised. If we set the numbers too high, since no
24 drug works in everybody, it becomes impossible. But what's
25 at the table is not setting a bar so high that it's

1 impossible to make a claim, but more what's the appropriate
2 nature of the claims that can be made with data, such as
3 much of the data that Dr. Strand summarized.

4 DR. SIMON: Bill, did you have another comment?

5 DR. SCHWIETERMAN: No. I just wanted to make a
6 brief comment about the U.S. mandating placebo-controlled
7 trials. I think the points were all taken about the need
8 to have equipoise in trials and not unnecessarily mandate.
9 I'm not sure the implication was that we mandated long-term
10 placebo-controlled studies. We certainly don't. Rather,
11 we look to the patient population, the standard of care,
12 and so forth.

13 With that having been said, however, I think
14 that there is a role for placebo in many of these trials,
15 and if properly designed, you can do that.

16 DR. SIMON: Dr. Sharp.

17 DR. SHARP: I want to agree with a lot of what
18 has been said, but I want to get back to the placebo issue
19 first.

20 I've been opposed to placebos for 10 years now
21 because I've been convinced that we have drugs that do
22 something. I agreed to participate in the leflunomide
23 trials because of the escape clause for the placebo-treated
24 patients. I'm not sure that we can even countenance a
25 4-month placebo with escape today now that we have more

1 | effective treatments. I don't think we'll get it by
2 | institutional review boards in this country.

3 | The ATTRACT trial has been called a placebo
4 | trial. The placebo here was a blinding mechanism in a
5 | trial of combined therapy versus monotherapy to make it
6 | possible to blind it. It wasn't a placebo in that the
7 | patients weren't getting active treatment.

8 | I think one of the main issues that face us in
9 | developing new drugs is how are we going to test them
10 | without testing them against a placebo. There are several
11 | issues involved. We've seen two trials in the last few
12 | months that have come here, and they've been designed
13 | entirely differently. I think we probably will see
14 | additional trials that are designed differently, and
15 | perhaps over a period of the next few months or years, we
16 | will arrive at some consensus as to what is the best
17 | design.

18 | I want to go back to some of the things that
19 | Vibeke covered. I think she covered the literature on
20 | therapies and radiographic analysis very thoroughly and
21 | very nicely. She pointed out the estimated progression
22 | rate or, if you will, an imputed progression rate based on
23 | historical data, which is a bit soft, was useful. But in
24 | my opinion, it's dangerous to try and use this to compare
25 | with the future course of a disease. I think you can use

1 this to compare treatment groups within the trial to show
2 that they're more or less comparable, but to take this
3 forward and say that treatment from this point on shows a
4 difference from what we've imputed in the past is very
5 dangerous and should not be done. I feel very strongly
6 about that point. I just think it's not an appropriate
7 method of analysis.

8 The other point I want to make, if I can take
9 another minute or two, is that every trial enters a
10 different population, number one.

11 Number two, everybody who reads films reads on
12 a little different scale so that you can't compare readers
13 in absolute terms. I think you can compare them generally
14 in terms of progression over time.

15 The final point I want to make is we ought to
16 be thinking rate, change over time, not absolute score.
17 Absolute score will give you a little indication of what
18 the severity of the disease is at the point of entry into
19 the trial. But in terms of comparison of effectiveness of
20 treatment, we need to be looking at rate of change. Now,
21 that's usually accounted for by having a specific period of
22 time, but I think we tend to be talking too much about
23 absolute scores and we think, well, an absolute score of 40
24 in one trial is the same as an absolute score of 40 in
25 another trial. That's not so.

1 DR. SIMON: Thank you, Dr. Sharp.

2 Bill?

3 DR. SCHWIETERMAN: Yes, thank you very much,
4 Dr. Sharp. I thought that was helpful.

5 I just wanted to make one clarification or, at
6 least, one point. The word "placebo" is often misused or,
7 at least, used for many different purposes. I think since
8 this is such a charged issue, we need to be talking about
9 denial of standard of care or not because, in fact,
10 placebos can be used on top of standard of care, as they
11 were in ATTRACT study here. The agency position is that in
12 fact equipoise has to exist in trials to begin with, and
13 standard of care cannot be denied except in those
14 circumstances where denial of that standard of care is
15 inconsequential in the end. I don't want to get into all
16 of that because I don't think we're here to discuss
17 placebo-controlled trials.

18 DR. SHARP: I don't think we disagree.

19 DR. SCHWIETERMAN: Okay. I had been asked that
20 question before. I just wanted to make that clear.

21 DR. SIEGEL: To expand a little bit on that,
22 just to be clear, placebo can be used in active-controlled
23 trials. You compare drug A to B and the people that get
24 drug A also get a placebo for B. Those are not placebo-
25 controlled trials.

1 This is a placebo-controlled trial. As Dr.
2 Sharp pointed out, some people misunderstand that to mean
3 that the control group was treated with placebo. All
4 patients in this trial got methotrexate. The comparison
5 was between getting the study active agent or a placebo.
6 When we used the term placebo-controlled in the FDA
7 regulations, that's what's meant. So, it's in that context
8 we're calling it placebo-controlled, but we understand it's
9 very different from not treating the patient.

10 DR. SHERRER: Is it really, though? Because in
11 actual practice, if a person is on methotrexate and not
12 responding, you're either going to change drug or add
13 another drug, whereas you got them on placebo. So, in a
14 sense, it is placebo because the standard of care, if you
15 have suboptimal response to a drug, is to change that
16 therapy in some way.

17 DR. SIEGEL: Well, that really addresses, at
18 least in the construct that we think of things, the target
19 population. The study by design is placebo-controlled
20 because you're randomized to get placebo. The population
21 are people who have had an inadequate response to
22 methotrexate. One can then make your point to indicate is
23 this an appropriate study or an appropriate population to
24 study or an appropriate way to study them.

25 In general, except where it will mean harm to

1 | the patient, we prefer, in studying a population that's
2 | failed to respond to a drug, that they be randomized to
3 | receive that drug again because experience has shown, like
4 | in, say, NSAIDs -- if you were to do a study where somebody
5 | didn't have a good response on NSAID and just compared
6 | another NSAID to placebo, I wouldn't be too surprised if
7 | any NSAID could be shown to work in nonresponders to other
8 | NSAIDs. But if you randomize them back to the one that
9 | they didn't have a good response to versus a new one,
10 | nobody has to my knowledge shown differences that way. So,
11 | there's a lot of inferential stuff about what you call a
12 | nonresponder.

13 | DR. SIMON: Dr. Emery, then Barbara.

14 | DR. EMERY: I perceive it as the wrong issue.
15 | The issue is active disease, and it's active disease over
16 | time. We designed the ATTRACT study several years ago.
17 | What I'm saying is ATTRACT is as good as it gets. You'll
18 | never be able to do ATTRACT again because we can't -- and I
19 | had a large number of patients in that study -- leave my
20 | patients with that degree of activity ethically anymore.

21 | So, what we mustn't do is set hurdles which
22 | we're never going to achieve. We're not ever going to have
23 | the difference we saw between the two groups in any ethical
24 | study in the future. Therefore, the x-ray changes that we
25 | see between the two groups are going to be very, very

1 small.

2 Enbrel data, which we talked about this
3 morning, two active groups. You see that effect.

4 If you have active therapy, x-ray is not
5 sensitive enough to show differences that we can ethically
6 allow to continue over a period of time that you're now
7 talking about. So, if we're talking about what we're going
8 to be setting for the next 3-5 years, we have to be
9 realistic about what we can achieve between two active
10 comparators because we can't have patients with active
11 disease which is completely predictable now.

12 DR. SIEGEL: Well, of course, this study seems
13 to have disproven that. You did show a difference between
14 an active therapy and infliximab plus that active therapy.
15 I don't know why one would presume that if a new class or a
16 new really good agent came by, you might not show that the
17 three-drug therapy is better than the two-drug therapy.

18 DR. EMERY: Because those patients now would go
19 up to 25 milligrams of methotrexate intramuscular, would
20 have two added drugs. There are many other biologic
21 therapies. There are many other combination therapies that
22 you can add. You don't leave patients with 50 milligrams
23 of methotrexate. There are not those patients anymore.

24 I'm saying this for the sake of the development
25 of new drugs and for biologics. You won't get new agents

1 otherwise.

2 DR. SIMON: Thank you, Dr. Emery.

3 Dr. White?

4 DR. WHITE: Just a couple comments because I've
5 been thinking about all the trouble I had earlier when we
6 were trying to think about "delay" versus "prevent" in the
7 discussions. It seems to me that the discussions have
8 assumed that a delay is worse than prevent. I actually
9 want to raise that question because if delay is rate, which
10 is what Dr. Sharp was talking about, that's really a
11 measurement of rate, over what time, what changes do you
12 get versus over what time, what changes do you get in
13 another group.

14 It might be more important to have a drug that
15 gave you a delay in all your patients than a drug that
16 prevented no progression in 5 percent of your patients.
17 One drug might get a prevent. One might get a delay, and
18 clinically the delay medically would be a much more
19 important issue.

20 So, from my way of thinking, just to lay it on
21 the table, I think "delay" is a grand claim, particularly
22 if it applies to most of the patients who have been
23 treated. If you're going to go for "prevent," my own
24 feeling is to me that would mean that you would want to
25 have a definition of no progression that would have to take

1 | in this error in measurement, and you would have to have no
2 | new erosions. To me that's no progression. And then you'd
3 | have to figure out how many people in each category fall
4 | into it and the reviewers would have to decide is that
5 | meaningful. You'll give us the statistics, but is that
6 | meaningful or not?

7 | DR. SIMON: George?

8 | DR. MILLS: In terms of all we've been hearing,
9 | what a wonderful situation, we're having a declining
10 | change, which is being assumed by everybody in the room
11 | now, and we're now saying we can't follow them for very
12 | long. I would like to draw back Dr. Sharp to the
13 | microphone and say exactly from the standpoint here of this
14 | time, this rate, how long would you like to be having us
15 | evaluate these patient studies knowing that, indeed, that
16 | variance, that difference is going to be smaller and
17 | smaller with the patient populations, as these drugs come
18 | available? It looks like to me we need relatively long
19 | studies to find a small change.

20 | DR. SHARP: The statisticians can probably
21 | model this for you and give you a better answer than I can.

22 | I think if you had a drug that produced almost
23 | uniform suppression of progression, instead of the amount
24 | of variation we have, we could find differences in smaller
25 | numbers of patients and perhaps in a shorter period of

1 time.

2 Now, I'm impressed with the data that Vibeke
3 showed that there were a number of studies. We showed
4 striking differences between an active drug and placebo or
5 some comparator in 6 months. I must say that 20 years ago
6 I thought it took 2 years to do a study. 10 years ago, I
7 thought it took 1 year. Now I'm convinced that you can do
8 it in 6 months. We haven't pushed it back to 3 or 4
9 months. The MRI people are telling us that maybe we can,
10 but they haven't proven it yet.

11 The duration of a trial I think really depends
12 on the magnitude of change that you expect to induce and
13 how consistent that change is going to be. Again, back to
14 the point that Dr. Emery was making, if we're comparing
15 treatments and we're looking at best treatment available
16 today to compare the new agent against, our best
17 combination of treatment to compare the new agent against,
18 we've got to look at how consistent is that best treatment
19 compared to what we're hoping to do. It's probably
20 worthwhile to have a variety of treatments that are
21 equivalent because some patients react one way or another
22 to one drug and can't take drug A and can take drug B.

23 We don't yet understand exactly what failure to
24 therapy really means. There are many ways of looking at
25 what failure is. And we don't yet know whether switching

1 from drug A to drug B really is the appropriate thing to do
2 when we have "failure". We haven't really defined failure.
3 It may well be, but it's worthwhile switching patients that
4 have failed, but we haven't proven that yet.

5 I'm sort of wandering I'm afraid. Have I
6 answered your question?

7 DR. MILLS: You've answered the question the
8 way I expected it. From the standpoint here, it's to draw
9 out from you that, indeed, the time interval may shorten
10 because we may be looking at more soft tissue changes, more
11 cartilage changes, and you're alluding to potentially
12 having to use a different monitoring device, such as MRI,
13 versus standard posterior/anterior radiographs for
14 sensitivity to pick up these changes. So, we need another
15 modality, it sounds like, to be able to assist us in
16 looking at these trial intervals over duration, especially
17 when there's a very small amount of change you're
18 suggesting.

19 DR. SHARP: Well, personally I think that in
20 the next decade at some point, we're going to be looking at
21 synovitis in terms of a predictor of what happens
22 structurally. Again, we haven't proven that the technology
23 can be used in an organized fashion to prove that.

24 I think in a schematic, one ought to think of
25 the process of rheumatoid arthritis, which is inflammation,

1 producing the outcome, which is damaged bone and cartilage
2 and ligaments and tendons and so forth and that, all
3 together, producing disability. Now, if we can stop the
4 inflammation and we have a way of proving it, if we really
5 know what's going on in the histopathology of the disease
6 -- and I think we do -- and if we can measure that
7 accurately, then we ought to be able to measure synovitis,
8 show that it goes away, and know that we're preventing
9 disability and deformities and structural damage.

10 DR. SIMON: David?

11 DR. WOFSY: I'd like to take a shot at viewing
12 this from the public perspective. The reason for having
13 different designations for a guidance document laying out
14 different possibilities that one could delay or one could
15 prevent is because one would be preferable to the other and
16 you'd be giving people useful information to distinguish
7 between drugs.

My own view is that when this document was in
composition and there were no drugs that had demonstrated
delay or prevention, there was sort of a basis for thinking
out that kind of a distinction. But now here we are. We
a document that theoretically could lead to drug A
aid to prevent, drug B being said to delay, people
distinctions between them on that basis, even though
s' absolutely no compelling evidence in any way to say

1 that they would be different. That would mislead the
2 public.

3 So, it seems to me, now that we really are
4 dealing with agents that have this effect, we have to
5 revisit that point. Do these guidance criteria really
6 imply a meaningful difference to the public, communicating
7 information that's real? It seems to me that what we are
8 now dealing with in real life is that they don't.

9 The only way to do that, for some of the
10 reasons that have just been described, the sort of small
11 differences, the difficulty doing placebo-controlled
12 trials, is if somebody thinks they have a drug that in fact
13 is better than someone else's drug in the degree to which
14 it will affect structural damage, there needs to be a head-
15 to-head comparison. I think what we've heard, all of this
16 discussion of the last 20 minutes says that without a head-
17 to-head comparison, we will never be able to distinguish
18 between these agents that have now been shown to have an
19 effect on structural damage. We'll never be able to
20 distinguish between them. If we get sucked into using
21 language that distinguishes between them, the language
22 won't reflect the reality.

23 DR. FIRESTEIN: Yes. I just wanted to come
24 back to one point that Dr. Sharp made about understanding
25 the pathogenesis, the disease, and us looking earlier and

1 earlier, and then somehow measuring synovitis. Again, I
2 remind people that the pathogenesis of bone and cartilage
3 destruction is extremely complex, and I think it is well
4 beyond our current technology, in imaging especially, to be
5 able to say that the synovium shrinks or that we somehow
6 can image synovitis and that will be useful as a surrogate
7 marker, for instance, for later radiographic damage.

8 There are many ways that we can make joints
9 smaller, with anti-inflammatories, for instance, that have
10 no effect on the progression of structural damage. Some of
11 the mechanisms in very late disease for joint destruction
12 can be different from the mechanisms in early disease in
13 terms of structural instability. They can contribute to it
14 and other mechanisms of joint destruction.

15 So, I think we have to be careful we don't go
16 along a pathway where we believe that we can learn as much
17 as we need to know in 3 or 4 months by doing MRIs to look
18 at the bulk of the synovium, for instance, how big that is
19 and how much we shrink it down.

20 DR. SIMON: In extending that, it's very
21 important to remember that years ago there was actually a
22 large study done. There was a group of patients with
23 rheumatoid arthritis that by pathology don't have very much
24 synovitis but have equally just as much destruction, and
25 that was considered a fibrotic form of the inflammatory

1 process. So, measuring by volume synovial pannus in that
2 particular patient population would be misleading because,
3 in fact, their destructive potential was just as great.

4 Is that the same disease? Well, we don't know.
5 We use the same criteria to define that disease, but we may
6 now actually be bordering on the final, real recognition
7 that what we call rheumatoid arthritis is a group of
8 patients with a heterogeneous process based on genes, gene
9 response, and any number of other things.

10 I presume you have one more comment to make?

11 DR. SHARP: One more comment, yes.

12 (Laughter.)

13 DR. SHARP: In response to Dr. Firestein's
14 comments. Nirvana isn't here yet. I agree.

15 You can take my remarks as where I think we
16 should be going and doing appropriate studies with the
17 expectation that we probably can develop methods. Now,
18 will we? I'm predicting we will, but it has to be done.

19 DR. WINALSKI: I would agree with both of you
20 in that I think there needs to be a disconnect between
21 synovitis and bone changes. I think that's clear from the
22 ACR20 response compared to the radiographic progression,
23 just to start. MR can measure these things right now, but
24 we don't have as tight errors as we want or we need. If
25 it's taken 20 or 30 years to get this far with the Sharp

1 and Larsen scores, I think it's going to take a long time
2 with MR as well.

3 Also, in that vein, I think that those are the
4 sorts of studies we need to be doing because I think we're
5 in the unenviable position that we now have drugs that are
6 making us need these tight error bars on our measurements.
7 I think we're at a point now where, to differentiate
8 between two excellent drugs, you either have to have a
9 long-term study, which is corporately undesirable, or you
10 need to get a better measurement.

11 DR. FIRESTEIN: The one thing I would add to
12 that is there's been a lot of discussion about how tight
13 the error bars have gotten and how much better things are,
14 but I would just remind people that the response rates,
15 even for these outstanding new agents is on the order of 60
16 to 70 percent and only about half of those meet ACR50
17 criteria. So, there is still a huge unmet medical need in
18 terms of our rheumatoid arthritis patients. I think we are
19 clearly far ahead of where we were 5 and 10 years ago, but
20 there is still a lot of room for improvement.

21 One other small point I wanted to make -- and
22 this was brought up earlier and I forgot who had mentioned
23 it, but this notion of being able to look at one's
24 progression of disease radiographically and then being able
25 to predict where they would go if they weren't treated as

1 | sort of a rough way of doing a self-controlled study. It's
2 | clear from a number of studies that have been published,
3 | looking at individual patients over time, that there is a
4 | broad variety in terms of the courses that they follow,
5 | anywhere from linear to flat progression, followed by
6 | acceleration to early erosions, followed by flat disease
7 | over a period of up to 10 years later. One has to be very
8 | careful about using that sort of retrospective analysis in
9 | a radiographic study.

10 | DR. SIMON: Which then brings us really to the
11 | specific questions. I think we really dealt with most of
12 | the things in the first part, but one of the things that
13 | we've not yet dealt with -- I personally have some very
14 | strong opinions about this, but I won't state them yet --
15 | surprise -- is, are there criteria available to select
16 | patient populations who are likely without treatment to
17 | develop erosions? What do you think?

18 | DR. FIRESTEIN: Yes.

19 | DR. SIMON: Do you want to elaborate on that?

20 | DR. FIRESTEIN: Well, I don't know what they
21 | all are. I'm hoping sometime in the next 5 to 10 years
22 | with genomics and microarray that we'll know a lot more.

23 | But certainly one can predict that seropositive
24 | patients, patients that have probably the susceptibility
25 | cassette, have nodules, are more likely to go on to have

1 erosive disease.

2 DR. SIMON: So, the assumption there is that it
3 is different diseases and that there are patients who are
4 more likely to have erosions, and thus they have
5 ishkabibble rheumatoid arthritis, and there's another group
6 of people that aren't likely to get erosions. They have a
7 different genomic background most likely, and they don't
8 have ishkabibble rheumatoid arthritis.

9 DR. FIRESTEIN: I probably wouldn't term it
10 exactly that way.

11 (Laughter.)

12 DR. FIRESTEIN: It clearly is a heterogeneous
13 disease, but within that large number of diseases that we
14 call rheumatoid arthritis, there are some broader
15 characteristics and specifically seropositive patients
16 comprise about 85 percent. If you look within certain,
17 distinct ethnic and racial groups, you can find a very high
18 percentage of patients that have the susceptibility
19 cassette in them. That really starts to lend credence to
20 the notion that within this morass there is some
21 homogeneity that you can pull out that maybe comprises the
22 majority of patients, and then there's all the people
23 around the fringe.

24 DR. SIMON: So, I'd like to move on to the
25 second question which turns the table entirely. We've been

1 | talking about no new erosions. Now the question is could
2 | you envision a circumstance, and thus envision what you'd
3 | call it, where you actually reverse erosions that are
4 | present?

5 | A rose by any other name, that suggests
6 | healing. Healing of disease then has two components to it.
7 | One is erosions which are stated here, and the other one is
8 | reconstruction of whatever it is that's associated with
9 | losing joint space, thus recreating articular cartilage,
10 | which is what makes up your joint space.

11 | So, how do people feel about the idea of is a
12 | reduction in number of existing erosions a viable claim in
13 | rheumatoid arthritis? Dr. Katona?

14 | DR. KATONA: I would like to take this
15 | opportunity to combine question number 1 and question
16 | number 2. One of the previous comments during the
17 | discussion previously which was the most appealing to me
18 | was that if we look at the field from the clinical point of
19 | view, the best hope for us would be that there would be a
20 | wide array of drugs which would not be that much
21 | differentiated by the label since I really don't think 3
22 | months, 6 months, or a year could differentiate what a drug
23 | does in a 20- or 30-year disease.

24 | So, if we say that we would set a short-term
25 | goal for us to let the companies do the best work and have

1 a relatively comparable labeling at the beginning, but then
2 ask them to require to do long-term studies and this is
3 what would come out of it, is this possible?

4 I think one day, hopefully, a drug will come
5 around where it will be possible. There is no way that
6 we're going to know anything in 6 months or in a year. And
7 anybody wants to develop a claim -- I don't know whether
8 it's wise from the agency point of view to tell them not to
9 try because maybe there will be a drug which is going to be
10 a wonderful drug and going to answer all our clinical
11 problems. But that would be my long-term view of this
12 whole process.

13 DR. SIMON: Dr. White?

14 DR. WHITE: I was really taken aback when we
15 saw Dr. Maini's slide and his animal in which he showed us
16 just that and showed us really stunning histologic
17 pictures. To me what I really wanted to hear the science
18 of was what was the science of that recovery and taking the
19 joint that was inflamed to a joint that now had cartilage
20 on it. That's what he showed us.

21 So, I think that if I were a patient, that's
22 the one I would want. I would want the healing one.
23 That's the real bottom line.

24 So, I would like to be able to have that claim
25 be possible. I would sure like to encourage companies to

1 go after that. From a patient standpoint, I couldn't
2 imagine what more you would want than to take a joint that
3 was damaged and to reverse that. I don't think it's so far
4 fetched seeing the animal model, the data that we saw.

5 DR. SIMON: Gary?

6 DR. FIRESTEIN: With regard to that model, it's
7 a very elegant model. One of the things you have to be
8 careful about is the age of the animals in that particular
9 study. I don't remember the exact age. They were, I
10 think, 3 weeks old when treatment was initiated, in that
11 general vicinity. The cartilage and mesenchymal tissue is
12 much more plastic during that period of time compared with
13 adult animals or even adult humans. So, I think there's no
14 question that one can develop new cortical bone that can be
15 involved with healing erosions in adults, but I think one
16 has to be very careful about extrapolating from neonatal
17 animal data to growing new cartilage, for instance, in
18 adult patients.

19 DR. SIMON: Yvonne?

20 DR. SHERRER: I was just going to make the
21 point that I think from a patient's point of view and a
22 clinician's point of view, you wouldn't want that erosion
23 data in a vacuum. You would want the company to show that
24 along with the "healing" of erosions, you had sustained
25 improvement in disability over time, sustained improvement

1 in pain over time, and that patients got back to excellent
2 quality of life over time. I think that's what patients
3 want to see given that you can't do to them what you could
4 do to the mice.

5 DR. SIMON: As Dr. Sharp said as he was
6 leaving, this is an issue of Nirvana. On the other hand,
7 the FDA was actually quite practical when they wrote this
8 question, and the next part of this question is really
9 related to how in the hell are you going to prove that and
10 what does it mean. So, obviously, we're not going to be
11 able to do biopsies on people to demonstrate regrowth of
12 subchondral bone, bone and cortical bone and then
13 cartilage.

14 So, the question that they want us to take an
15 extension on this -- yes, obviously we want to have drugs
16 and therapeutic interventions that cure the disease, that
17 put us back the way we were. How are we going to prove
18 that?

19 You're asking please discuss the ways in which
20 these outcomes could be measured, which imaging modalities,
21 duration of study to determine durability of effect might
22 be there. And is there a minimum number of erosions --
23 that's a very interesting idea -- compared to baseline that
24 should be healed in order to consider a product reasonably
25 likely -- reasonably likely -- to confer clinical benefit?

1 | Almost talmudic in nature.

2 | (Laughter.)

3 | DR. SIMON: So, the real question here is would
4 | an MRI study do that? And do you think that MRI could
5 | eventually do that?

6 | DR. WINALSKI: I think that the definition of
7 | an erosion is going to become critical because right now
8 | when I think of just radiographs now, is healing of an
9 | erosion restoration of a pristine subchondral bone or is it
10 | cortication of a previous erosion? What's the difference
11 | between a subchondral cyst and an erosion? That's in
12 | radiographs.

13 | When you get to MR, you now have what we
14 | roughly call bone marrow edema, which is an edema-like
15 | signal. Whether it's truly edema of the marrow or whether
16 | it's increased vascularity or exactly what it represents,
17 | we don't know. And I can imagine restoration of that
18 | marrow signal to be one thing to look for, but if you
19 | actually have loss of the cortical bone and the subchondral
20 | bone, that's I think a more difficult call on MR than it is
21 | on radiographs even.

22 | So, I think that it's going to be difficult,
23 | but I think there can be definitions set up so that
24 | everybody is talking on the same page, which is the
25 | important thing.

1 DR. SIMON: Mark?

2 DR. SCHWEITZER: Yes, I would second call. I
3 really have no doubt that once an adequate baseline
4 database for various types of arthritis, particularly
5 rheumatoid, is in the literature that MR will be the way to
6 evaluate these patients. I think we've gotten a long way
7 there, but we still have a way to go before it's an
8 adequate database.

9 But again, I'm going to be a splitter. I'm
10 going to say on MR you're going to look at a whole bunch of
11 different things. You're going to see erosion/geodes in
12 much larger numbers than we're used to seeing
13 radiographically. So, therefore, the changes are probably
14 going to be easier to discern than radiographically because
15 you're dealing with bigger numbers. You've got to look, as
16 Carl said, at the marrow edema type signal. With small
17 joints in the hand, it will be still be hard to look at
18 cartilage, but I think in maybe two years we'll be there.
19 We're going to look at joint fluid volumes. You're going
20 to look at tendons, something that we haven't looked at
21 yet, and look at synovial volumes.

22 Again, I will agree that in all situations the
23 volume of synovium itself is not the be all and end all of
24 a marker for disease, but just splitting all of these
25 different things to look at, it is certainly one thing to

1 | look at. That probably will be the only modality which
2 | we'll be able to split all these things and see what
3 | disease-modifying agents modify what aspects of the
4 | disease.

5 | DR. SIMON: So, the ultrasonographers of the
6 | joint will take issue with that.

7 | Dr. Emery?

8 | DR. EMERY: I just agree with what has been
9 | said, but the definition we've used for erosions for MRI --
10 | and we've now done a five-center validation of this -- with
11 | the weighted cappers for erosion is about .96. Very high
12 | indeed for the best films and MCPs. So, we're pretty good
13 | actually at distinguishing erosions, which actually are
14 | defined on two planes involving the cortex, clear margins,
15 | and there are clear definitions of those.

16 | Around them are these T-2 fat-suppressed
17 | edematous lesions. The problem with healing is the first
18 | thing you do with any of these therapies, methotrexate or
19 | the biologics, when you give it to them is you lose the
20 | edema. Then the hole takes much, much longer. The hole we
21 | know on ultrasound and on x-ray is what an erosion is.
22 | We've biopsied it and we've got year data on this now. But
23 | to heal that erosion takes a very long time because you're
24 | going from a very active process, because most of these are
25 | untreated when they go in, to actually getting an

1 osteoblast to form over the top of this. To date, it's
2 very difficult to look at.

3 But what is absolutely clear, if you want to
4 know if an erosion heals looking at comparative MR, x-ray,
5 ultrasound, you've got to have a three-dimensional
6 technique. Because of the variability of an individual
7 erosion, you can't tell.

8 The problems exist when you get big lesions
9 which then contract into two separate ones, how do you
10 define that when an erosion seems to get two separate areas
11 in it? But these are being worked out, and I think the
12 international collaborations in MR now are actually taking
13 things a long way forward. As I say, we've now got
14 longitudinal data which are actually scoring these which I
15 think will make a major advance.

16 DR. WOF SY: I think it's a good sign for our
17 field that we're beginning to talk about some of these
18 technologic advances, but I don't think this discussion is
19 unique to us. It's happened in every other field.

20 The important principle that's been established
21 in the last 3 years is that there are agents that can
22 affect the progression of structural damage in these
23 diseases. That wasn't known 3 years ago. Now there are
24 five drugs for which it has been proven. Maybe more. I
25 can count five quickly.

1 Now, the technology is going to change. It's
2 going to change every year just like it does with cardiac
3 cath and everything else. So, the gold standard by which
4 we judge whether something improves the joint is going to
5 be different every year. We've already proven that we
6 can't anticipate it and there's no reason to think we can
7 anticipate it today for 3 years from now any better. We'll
8 always have a different technology, and the FDA should
9 always expect state-of-the-art technology. What we got
10 today was state-of-the-art technology. I didn't hear
11 anybody fault it and I certainly wouldn't fault it. But it
12 becomes trees instead of forests at a certain point. Next
13 year it may be MRI.

14 The important point is the establishment of the
15 fundamental impact on the biology of the disease, which we
16 didn't know about before. Now I think the reason we're
17 quibbling over some of this is the hope that the FDA, by
18 choice of sort of what the latest technology is, the latest
19 study that came along, will appear to make distinctions
20 among these agents.

21 I'm hitting the same note that I hit before.
22 I'm going to try it one more time. I think that's an
23 unreasonable thing for the FDA to do. I think it's an
24 unreasonable thing for people to ask the FDA to do. At a
25 certain point, if you think your approved drug is better

1 | than their approved drug, you invest in a comparison. We
2 | have now move beyond the first question where you could do
3 | placebo-controlled trials to the second question where the
4 | head-to-head comparisons are what people need to know. You
5 | can't be turning to some other methodology to try to make
6 | those distinctions.

7 | My own view here is now you have a lot of
8 | agents that are proven their value. You will have more
9 | agents that prove their value. You want to compare them?
10 | Compare them.

11 | DR. SIEGEL: I do want to say one thing about
12 | that. I certainly agree and couldn't agree more that the
13 | only valid way to study comparisons is with a direct
14 | comparison. We certainly wouldn't think of approving any
15 | comparative claims either in the labeling or in marketing
16 | that were not appropriately based on comparative studies.

17 | But what happens in many fields in medicine --
18 | it may or may not have happened here -- is notwithstanding
19 | the fact that you can't support a difference, labeling can
20 | differ, and labeling can differ because people do different
21 | studies. They study different stages of the disease,
22 | different severities of the disease, different
23 | combinations, and different endpoints. So, the fact that
24 | you can't make a comparative claim and shouldn't doesn't
25 | mean that the labeling can or should be identical because

1 the labeling does have to reflect what has or has not been
2 shown individually for a given drug.

3 DR. SIMON: I think that Dr. Wofsy was really
4 inferring the idea that certain words are chosen based on
5 being very dynamite loaded and can be then used to
6 distinguish among products or between products. Sometimes
7 those are just that: words. And there really is no
8 difference.

9 DR. SIEGEL: Right. And we are sensitive.
10 We've seen companies go out and say we're the only company
11 who's approved for doing this.

12 DR. WOFSY: Actually I think these comments --
13 and I want to be very explicit about this -- are
14 appropriate for this afternoon's discussion, although this
15 discussion absolutely takes place sort of in the context of
16 the specific issue we were dealing with this morning and
17 knowing that it's still hanging unresolved.

18 I don't speak up to speak to what should or
19 should not be done in this case where there's a history and
20 there are agreements between the FDA and the sponsor and a
21 whole set of things that I think influence this issue. It
22 has more to do with the future and to whether or not the
23 way to deal with this problem is to make the next
24 generation guidance document with its language.

25 Really what I'm just saying is that there's I

1 | think a lesson in the dilemma we're facing today, and the
2 | lesson in part is that the next time the language is
3 | rewritten in anticipation of whether it will be MRI or who
4 | knows what technology to do it, we will probably find
5 | ourselves 3 years down the line in the same dilemma.

6 | DR. SIEGEL: Which doesn't, of course, mean
7 | that it shouldn't be done. As someone who only contributed
8 | an extremely small amount to the development of this
9 | guidance, I want to say that while we can look in
10 | retrospect and say knowing what we know now, there are
11 | things that would be written differently, I think the data
12 | would show, without drawing a clear-cut causal
13 | relationship, that the guidance at least allowed the
14 | carrying out of several extremely well-designed, useful
15 | trials in this area.

16 | That's one of the things about giving guidance.
17 | You'd like to know all the answers before you give
18 | guidance, but if wait until you know all the answers, it
19 | doesn't get you anywhere. So, we're in this cyclic thing.

20 | DR. SIMON: Dr. Wolfe?

21 | DR. WOLFE: I want to say a word in behalf of
22 | longitudinal observational studies because the world has
23 | shown that the results of randomized, controlled trials
24 | don't always work out as well in real life. There's the
25 | wonderful observation about auranofin of a number of years

1 | ago, which passed with flying colors through everything and
2 | turned out to be a drug of not much use.

3 | In addition, patients in real life don't do as
4 | well as they do in randomized trials. There are more
5 | dropouts. It's been shown over and over again.

6 | I think what really needs to be done is to take
7 | the information that one gathers from trials like this and
8 | insist that people do long-term follow-up studies with
9 | radiographs and outcomes because that's the only way you're
10 | really going to know whether these drugs work for more than
11 | the period of observation and work for more than in just a
12 | very selected population.

13 | I want to make another point about radiographs
14 | for a moment, which is that radiographic progression is a
15 | function of disease activity. It has been shown repeatedly
16 | that the higher the C-reactive protein, the more likely you
17 | are to have erosions.

18 | Now, when you come in to trials like this,
19 | people have very active disease, and they are not
20 | representative of most rheumatoid arthritis patients. In
21 | general, as I've looked across these trials, the overall
22 | activity on a percentile scale is close to 70 percent of
23 | maximum disease activity for patients with rheumatoid
24 | arthritis. You can't get ACR50's and very many ACR20's
25 | once you begin to reduce your disease activity much below

1 the median. You have to have really active disease to show
2 these changes. But most of our patients, most of the
3 people we treat are very different from the patients that
4 have been seen in all of these trials.

5 The second thing I think that we ought to
6 attempt to address sometime is treating the average
7 rheumatoid arthritis patient. The average rheumatoid
8 arthritis patient also becomes disabled and dies early.
9 One of the things we need to do is see whether we can apply
10 the methods that we're applying to very severe patients to
11 those who have less severe disease and represent the
12 majority of rheumatoid arthritis patients.

13 DR. SIMON: Dr. Katona.

14 DR. KATONA: I have had the privilege of
15 participating in the discussions now for about 2 years.
16 Going back to the very young mice, the TNF-alpha congenic
17 mice, who improved so much, I am just delighted that most
18 of the companies present a lot of pediatric data on these
19 discussions. I was somewhat disappointed today that I did
20 not hear any pediatric data presented on this particular
21 drug.

22 But I just wanted to talk with the agency about
23 what is the status of the pediatric studies. I know that
24 most of the time there is at least PK data, but future
25 plans and follow-ups as well as special emphasis. Because,

1 as you know, we have just as much problems with the kids
2 and the effects on children will last for not only 20-30
3 years, but 70 and 80 years. I really, really would like to
4 encourage both the agency, as well as the sponsors, to take
5 very seriously the pediatric population.

6 DR. WEISS: Just a real quick comment.

7 Pediatric initiatives have been extremely important at the
8 agency in actually all three centers, but in the Center for
9 Biologics and the Center for Drugs, a lot of efforts are
10 going on to encourage studies certainly in diseases that
11 also affect pediatric patients. You heard from the sponsor
12 that they are pursuing pediatric data in not only Crohn's
13 disease, which was their first approved indication for
14 infliximab, but also in JRA.

15 As is the case right now, many of those studies
16 tend to lag behind the adult data for a number of different
17 reasons, sometimes just the fact that the numbers are
18 different, sometimes the fact that it's important to know,
19 first of all, whether it works sometimes in adults, the
20 proof of efficacy, before going on and actually studying
21 pediatric patients or a more vulnerable population. So,
22 for a number of different reasons, there are oftentimes
23 delays.

24 For some very serious diseases, there
25 oftentimes are not delays and we encourage getting

1 pediatric data in HIV and other settings sometimes almost
2 simultaneously with the adult data or certainly after the
3 phase I data, but in other diseases there are delays. But
4 those studies are ongoing or in very active discussions
5 with the agency.

6 DR. SIMON: So, I think that we have gone
7 through the process of looking at the questions that you
8 asked. Was there anything else that came up during the
9 discussion that you'd like to now address, Bill or Jay?

10 DR. SCHWIETERMAN: There's plenty that came up
11 during the discussions that haven't been addressed. I
12 don't have any illusions about this being easy, but I'd
13 just like to thank you and the committee for what I think
14 has been a very helpful introduction. We plan on taking
15 this under advisement and will certainly keep this
16 committee apprised as to how we go from here.

17 DR. SIMON: I'd like to thank the committee.
18 Does anybody else have any other comments to
19 make?

20 (No response.)

21 DR. SIMON: Thank you very much for a wonderful
22 meeting.

23 We stand adjourned.

24 (Whereupon, at 3:55 p.m., the committee was
25 adjourned.)