

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

NONPRESCRIPTION DRUGS ADVISORY COMMITTEE

+ + + + +

MEETING ON
 LABELING AND REMARKETING ISSUES -
 THE TODAY SPONGE
 (NDA 18-683)

+ + + + +

WEDNESDAY
 JULY 12, 2000

+ + + + +

The Committee met at 1:00 p.m. in the Versailles II Room of the Bethesda Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, Dr. Louis Cantilena, Acting Chairman, presiding.

MEMBERS PRESENT:

LOUIS R. CANTILENA, JR. M.D., PH.D., Acting Chairman
 GEORGE A. BLEWITT, M.D., Non-voting Industry Liaison
 JAIME A. DAVIDSON, M.D., Consumer Representative
 EDWIN E. GILLIAM, PH.D., Member
 MICHAEL GREENE, M.D., Voting Consultant
 JULE A. JOHNSON, Pharm. D., Member
 EDWARD P. KRENZELOK, Pharm. D., Member
 JODI LERNER, M.D., Voting Consultant
 RICHARD A. NEILL, M.D., Member
 DONALD L. UDEN, Pharm. D., Member
 HENRY W. WILLIAMS, JR., M.D., Member
 SANDRA TITUS, PH.D., Executive Secretary

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.

ALLENDALE PHARMACEUTICALS REPRESENTATIVES:

GENE DETROYER
R.J. STAAB, PH.D.
ROBERTA GEIDNER ANTONIOTTI
ELIZABETH B. CONNELL, M.D.
MARY DELANEY, M.S.
FORREST GREENSLADE, PH.D.

PUBLIC SPEAKERS:

AMY ALLINA
ELIZABETH ARNDORFER
LIZZA GONZALES
ARMAND LIONE, PH.D.
DONNA RICHMOND
WILLIAM SMITH
R. WILLIAM SOLLER, PH.D.

I-N-D-E-X

Call to Order, Introductions 4

Conflict of Interest Statement 6

Open Public Hearing 7

Welcome 38

Allendale Pharmaceuticals Presentations

Today Sponge: A Contraceptive Option 42
for Women

Toxic Shock Syndrome and the Today Sponge 49

Relative Public Health Need for the 55
Today Sponge

Communicating Effectiveness Data for the 64
Today Sponge

Today Sponge 73

FDA Presentation

 Chronology 106

 Safety 112

 Labeling 121

Charge to Committee 148

Committee Discussion 151

Adjourn

P-R-O-C-E-E-D-I-N-G-S

(1:09 p.m.)

1
2
3 DR. CANTILENA: I would like to ask the
4 committee members to return to their seats and we'd
5 like to get started. I would like to welcome you to
6 the July 12th, 2000 meeting of the Nonprescription
7 Drugs Advisory Committee.

8 And before we get going, we'll start with
9 the usual introductions. My name is Lou Cantilena,
10 I'm head of clinical pharmacology at the Uniform
11 Services University right down the road here in
12 Bethesda. I'll be acting chair for this afternoon's
13 meeting. If I can ask all of the individuals here at
14 the table to please introduce themselves and say who
15 they are and their role today. And we'll just start
16 over there with Dr. DeLap and go around the table.

17 DR. DELAP: I'm Robert DeLap, Office
18 Director for the Office of Drug Evaluation V at the
19 FDA.

20 DR. GANLEY: I'm Charley Ganley, Director
21 of Over The Counter Drugs.

22 DR. KATZ: Linda Katz, Deputy Director for
23 Over The Counter Drugs.

24 DR. CHIN: Ling Chin, Medical Officer of
25 Over The Counter Drugs.

1 DR. KARWOSKI: Claudia Karwoski, Safety
2 Evaluator with the Office of the Post-Marketing Drug
3 Risk Assessment.

4 MS. CHANG: Gloria Chang, Pharmacist, the
5 Division of OTC Drug Products.

6 DR. NEILL: Richard Neill, NDAC Member
7 from the University of Pennsylvania.

8 DR. GREENE: I'm Dr. Michael Greene. I'm
9 the Director of Maternal Fetal Medicine, Massachusetts
10 General Hospital and I serve as the Chairman of the
11 Advisory Committee on Reproductive Drugs.

12 DR. TITUS: I'm Sandy Titus. I'm the
13 Executive Secretary for the Nonprescription Drugs
14 Advisory Committee.

15 DR. GILLIAM: Edwin Gilliam, I'm a family
16 nurse practitioner from Tucson, Arizona.

17 DR. LERNER: Hi, I'm Dr. Jodi Lerner. I'm
18 an Associate Professor of OB/GYN at Columbia
19 Presbyterian in New York and on the Reproductive Drug
20 Side Advisory Committee.

21 DR. WILLIAMS: Yes, I'm Henry Williams
22 from Howard University from Committee Health and
23 Family Practice and a member of the Advisory Committee
24 on Over The Counter Drugs.

25 DR. UDEN: I'm Don Uden from the

1 University of Minnesota and a member of the
2 Nonprescription Advisory Committee.

3 DR. JOHNSON: Julie Johnson from the
4 University of Florida College of Pharmacy and a member
5 of the Nonprescription Advisory Committee.

6 DR. BLEWITT: George Blewitt, Industry
7 Representative to the Nonprescription Drugs Advisory
8 Committee.

9 DR. KRENZELOK: Ed Krenzelok, Pittsburgh
10 Poison Center and the University of Pittsburgh Schools
11 of Pharmacy and Medicine and I'm on the NDAC.

12 DR. CANTILENA: Okay. Thank you everyone.
13 What we'd now like to do is hear from Dr. Titus, who
14 will go over the conflict of interest statement.

15 DR. TITUS: The following announcement
16 addresses the issue of conflict of interest with
17 regard to this meeting and as made a part of the
18 record to preclude it in the appearance of such at
19 this meeting.

20 Based on the submitted agenda for the
21 meeting and all financial interests reported by the
22 Committee participants, it is determined that all
23 interests and firms regulated by the Center for Drug
24 Evaluation and Research present no potential for an
25 appearance of a conflict of interest at this meeting.

1 We would like to note for the record that
2 Dr. George Blewitt is the non-voting industry
3 representative and is on the committee to represent
4 industry interests. As such, he has not been screened
5 for any conflict of interests. In the event that the
6 discussions involving any other products or firms not
7 already on the agenda for which an FDA participant has
8 a financial interest, the participants are aware of
9 the need to exclude themselves from such involvement
10 and their exclusion will be noted for the record.

11 With regard to all other participants we
12 ask in the interest of fairness that they address any
13 current or previous financial involvement with any
14 firm whose products they may wish to comment upon.

15 DR. CANTILENA: Okay. Thank you Dr.
16 Titus. What we'll now -- move to the open public
17 hearing section of the meeting and at first I'd like
18 to just announce there have been seven individuals who
19 have registered as speakers. If there is anyone else
20 who would like to make public comment, please at this
21 time, if you can step outside and contact the staff
22 and sign in. If not, if we don't hear from you in the
23 next, say fifteen minutes or so, we'll just close it
24 at seven speakers.

25 And as a reminder to the speakers, the

1 allocated time is five minutes. There's a light
2 system here that we're using and with a timer, which
3 is here. But when you see the yellow light, you have
4 one minute left. And when you see the red light, you
5 should be closing your comments at that time.

6 So, again, if there's anyone else who
7 would like to speak, please sign up outside of the
8 room with the staff from FDA and if not, we will move
9 ahead to the first speaker in the open public section,
10 which would be William Smith from SIECUS.

11 Mr. Smith.

12 MR. SMITH: Thank you. Good afternoon.
13 My name is William Smith and I'm speaking on behalf of
14 the Sexuality Information and Education Counsel of the
15 United States or SEICUS, a 36-year-old national
16 nonprofit that has been a leading voice for sexuality
17 education and the right of all individuals to make
18 informed responsible sexual choices.

19 I'm here today to urge you to approve the
20 Today Sponge for reintroduction to the United States
21 market. In advance, I want to thank you for the
22 opportunity to offer my comments today.

23 The unmet need for increased contraceptive
24 choices for women is glaringly obvious. There are
25 more than three million unintended pregnancies every

1 year in the United States. Yet, at present, there
2 remains a dirth of contraceptive choices often forcing
3 women to prioritize and choose between safety,
4 efficacy and accessibility. Different women have
5 different needs and many of those needs are not fully
6 recognized by the current selection of contraceptive
7 options.

8 The contraceptive sponge offers women
9 another choice that is in a word, unique. No other
10 product on the market offers the same option for
11 sexually active women. Further, the Today Sponge is
12 a product we believe is important one and that allows
13 women to exercise and maintain control over their own
14 reproductive health.

15 In many instances, women cannot rely on
16 their partners to make responsible decisions about
17 contraception or more seriously are faced with
18 negative or violent reactions when they either use or
19 express a desire to use contraception.

20 The Today Sponge allows women to be
21 discreet as it can remain the vagina for 24 hours. It
22 can be used for multiple acts of intercourse and is
23 generally undetectable by either partner during
24 intercourse.

25 In addition, in terms of promoting women's

1 health, the Today Sponge offers women an alternative
2 to hormonal contraception such as birth control pills.
3 Many women cannot use or choose not to use methods
4 such as birth control pills because they induce
5 significant hormonal changes in the body, which can
6 sometimes result in adverse side effects. As you
7 know, the only active ingredient in the sponge is the
8 spermicide Nonoxynol-9, which has been available for
9 40 years and has a proven safety record.

10 Finally, the Today Sponge is comfortable,
11 convenient and accessible. For these reasons, the
12 sponge was used by tens of thousands of women
13 nationwide until it was removed from the market in
14 1995 and continues to be used in other countries
15 including Canada at present.

16 An issue at this time seems to be product
17 labeling and safety. These issues are certainly of
18 great concern to SIECUS. In this case, however, as I
19 mentioned, the safety of the product has already been
20 established. The Today Sponge, if used as instructed,
21 delivers a dose of Nonoxynol-9 that remains effective
22 for multiple acts of intercourse without additional
23 dosing. The studies that indicate harm in using N-9,
24 including the one released this morning in Durban,
25 seem to result from an over-dosage of N-9 by repeated

1 dosing over numerous acts of intercourse that in some
2 cases, according to the actual study, reached nearly
3 40 times in a single day.

4 So the question then is to what is -- what
5 extent does the proposed labeling of the Today Sponge
6 help to assure proper and safe usage. It's
7 unfortunate that at present, to my knowledge, there
8 exists no uniform labeling requirements for products
9 containing N-9. Our own informal review of over-the-
10 counter contraceptives delivering N-9 including
11 inserts, foams, gels and films indicate a vast
12 disparity of guidelines for usage and safety warnings.

13 Given this to be the case, it's our
14 judgment that the Today Sponge's labeling continues to
15 instruct consumers in safe usage of the sponge. We're
16 also to understand that the outer carton of the --
17 carton of the product will contain a directive to use
18 condoms to prevent sexually transmitted disease. Well
19 this directive eases some concerns over a consumer's
20 possible mistaken belief that the contraceptive sponge
21 also serves to prevent sexually transmitted disease,
22 we suggest the inclusion of a clear, unambiguous
23 statement to this effect.

24 For example, the contraceptive product and
25 care which delivers N-9 via vaginal insert contains

1 the statement Athis product has not been shown to
2 product against HIV Aids or other sexually transmitted
3 diseases.@ This minor adjustment coupled with the
4 FDA's previous approval of the sponge's labeling under
5 the former manufacturer seems duly sufficient to
6 assume that the proposed labeling is safe, accurate
7 and ultimately geared toward helping insure proper use
8 of the product.

9 Please consider the potential role of the
10 Today Sponge in providing women with choices that will
11 improve their health and their lives. We strongly
12 urge you to support the production and distribution of
13 this product.

14 And thank you very much for your time.

15 DR. CANTILENA: Okay. Thank you, Mr.
16 Smith.

17 Are there any questions from the committee
18 members for Mr. Smith? Okay. Thank you.

19 And if the speakers would actually like to
20 come up and use the podium, that's okay, it's easier
21 for you to see the warning lights. So, if you would
22 do that, that would be great.

23 Our next speaker is, I believe, Amy Allina
24 from the National Women's Health Network.

25 MS. ALLINA: Thank you. My name is Amy

1 Allina. I'm the program and policy director of the
2 National Women's Health Network. The Network is a
3 nonprofit science-based consumer advocacy organization
4 that does not accept any financial support from
5 pharmaceutical or medical device companies. We're
6 supported by a national membership of 10,000
7 individuals and about 300 organizations.

8 Those of you who have heard the network
9 speak before about contraception might expect that we
10 would be here to advocate for the return of a woman
11 controlled barrier method of contraception that offers
12 an alternative to hormonal contraceptives and
13 accessibility of over-the-counter distribution, all of
14 which are characteristics of the sponge, the product
15 under discussion today. And you're right, we are here
16 to advocate for that.

17 As you heard from the previous speaker,
18 and as I believe you'll hear from other advocacy
19 groups today, the sponge was a popular product. It
20 was used by thousands of women who were very satisfied
21 with it and who were disappointed when it disappeared
22 in 1995. It's return to the U.S. market will benefit
23 women by expanding contraceptive choice in particular
24 the range of non-hormonal options.

25 On the other hand, those of you who have

1 heard the network speak before might also be expecting
2 that our skepticism and caution regarding drug and
3 device side-effects would lead us to raise many
4 questions about the safety of the sponge itself and
5 also about the effects in the vagina of the Nonoxynol-
6 9 that's part of the product. And in a sense you're
7 right as well. The network has raised those questions
8 and we've carefully considered the scientific data
9 that goes toward answering them.

10 In 1983, when the Today Sponge first came
11 on the U.S. market, we produced a position paper which
12 called for more research on a number of safety
13 questions, including the material used in the sponge
14 and the possible risk of Toxic Shock Syndrome.

15 In the years following the approval of the
16 sponge, the network carefully monitored both clinical
17 studies and women's experience with the product and
18 some important points emerged from the research and
19 from the actual use experience. A small percentage of
20 women reported allergic reactions while some women did
21 experience vaginal irritation when they used the
22 sponge, the vast majority of users were very satisfied
23 with the method. There were a small number of toxic
24 shock cases reported in women using the sponge.
25 Although the increase in TSS risk associated with the

1 sponge did not appear to be greater than the risk
2 associated with other vaginal barrier methods.

3 Today as the threat that that the HIV Aids
4 epidemic poses has become increasingly clear,
5 questions have been raised about the safety of the
6 Nonoxynol-9 that is in the sponge. In particular,
7 there's concern about one recent study mentioned by
8 the previous speaker that was conducted by UNAIDS,
9 which was a multi-center randomized double blind
10 placebo controlled trial of N-9 gel used by female sex
11 workers who were engaging in multiple acts of
12 intercourse daily.

13 The preliminary analysis of this trial has
14 found an association between the use of N-9 and an
15 increased risk of becoming infected with HIV. While
16 these results merit serious consideration, it is
17 important to remember that no studies involving the
18 sponge itself have produced any scientifically valid
19 evidence that the sponge, used as recommended, poses
20 a threat to women's health.

21 Additionally, it's worth noting that the
22 sponge serves as a physical barrier as well as a
23 chemical one covering the cervix which is a prime
24 location for infection.

25 The important question for this committee

1 is whether the information about the possible
2 infection risk associated with the use of Nonoxynol-9
3 in other forms and under specific conditions is
4 relevant for users of the contraceptive sponge. The
5 network is strongly committed to the principle that
6 women can be trusted with complete information and
7 that informed consumers can make responsible and good
8 healthcare decisions for themselves.

9 We've carefully reviewed the data from the
10 studies that have been conducted on Nonoxynol-9 and we
11 believe that if the preliminary results of the recent
12 trial are born out by further analysis, it would be
13 appropriate to include some information for women
14 about the possible risk in the label. That
15 information could include something like the
16 following: The sponge contains the spermicide
17 Nonoxynol-9 which can cause vaginal irritation in some
18 women. The sponge has not been demonstrated to offer
19 protection against sexually transmitted infection and
20 some studies have indicated that under extreme
21 conditions with multiple daily acts of intercourse
22 Nonoxynol-9 may slightly increase the risk of HIV
23 infection.

24 Additionally, we believe the label should
25 include information about the possible risk of toxic

1 shock and the symptoms associated with it so that
2 women can -- will be aware of the need to seek medical
3 attention should such symptoms develop.

4 In conclusion, the network wants to convey
5 two important messages. First, that the return of the
6 vaginal contraceptive sponge to the U.S. market will
7 provide women with an important additional option for
8 non-hormonal barrier contraception. And second, that
9 with adequate labeling about the emerging research on
10 Nonoxynol-9 and toxic shock, women can make informed
11 decisions about the possible risk associated with this
12 method and whether it's appropriate for them.

13 I'd be glad to answer any questions.
14 Thank you.

15 DR. CANTILENA: Okay. Thank you very much
16 for your comments.

17 Any questions? Go ahead then.

18 DR. GILLIAM: Do you feel that there
19 should be information on the label about the efficacy
20 of the product?

21 MS. ALLINA: Yes, I do.

22 DR. CANTILENA: Okay. Thank you. Further
23 questions?

24 DR. UDEN: Are we going to be able to see
25 the study that the past two speakers have referenced?

1 DR. CANTILENA: Charlie, any comment?

2 DR. GANLEY: No.

3 DR. UDEN: Okay. Thank you.

4 DR. CANTILENA: Are you curious as to why
5 you're not going to be able to see it? Because I am.
6 Is that -- is it, you know, not in file or, you know,
7 has it not been filed yet or what -- what's the issue?

8 DR. GANLEY: It's -- we don't have access
9 to the data, I guess is the best way to put it and so
10 I think until we get access to data, I think we would
11 be silent on that. And I think one of the speakers
12 will bring up other issues and -- regarding the safety
13 of N-9.

14 So, as I will address the committee later
15 I think the issue that was brought up in the citizens'
16 petitions that we had provided will be addressed by
17 the agency and so I'm not sure that that's the
18 appropriate discussion for this meeting and as the
19 meeting progresses you will get an idea what the focus
20 will be.

21 DR. CANTILENA: Okay. Thank you, Dr.
22 Ganley and any further questions? Yes, Ed?

23 DR. KRENZELOK: Do you think the
24 instructions, as they're now on the package or were on
25 the package in 1995, are adequate for a whole cross

1 section of women to be able to use the product
2 properly in terms of both insertion, removal and
3 understand how to use it adequately?

4 MS. ALLINA: I am aware that there were
5 some women who had problems with removal, but I
6 believe that the instructions are -- are quite good
7 and that women have been able to -- were able to get
8 help when they needed it. So I -- I would have to
9 say, yes, if people have ideas for improving them, I'd
10 be interested to hear them but I -- my review was that
11 they were quite good.

12 DR. CANTILENA: Okay. Well, thank you
13 very much for your comments and the responses to the
14 question. Our next speaker is Donna Richmond who is
15 from the Association of the Reproductive Health
16 Professionals.

17 Ms. Richmond.

18 MS. RICHMOND: Thank you and good
19 afternoon. My name is Donna Richmond and I'm
20 representing the Association of Reproductive Health
21 Professionals or ARHP, which is an inter-disciplinary
22 association composed of professionals who provide
23 reproductive health services or education, conduct
24 reproductive health research or influence reproductive
25 health policy.

1 ARHP founded in 1963 has a mission to
2 educate healthcare professionals, public policy makers
3 and the public. The organization fosters research and
4 advocacy to promote reproductive health. ARHP, as a
5 non-profit educational organization, firmly abides by
6 national accreditation guidelines for industry support
7 by producing credible and independent enduring
8 materials for clinicians and consumers. Our
9 association in 1999 received 70 percent of our support
10 from industry, 20 percent from private foundations and
11 10 percent from member dues and donations. And 2,000
12 Allendale Pharmaceuticals, Incorporated is one of 20
13 meeting supporters for our annual conference in
14 September.

15 The statement is written to express our
16 support for Allendale's application to re-introduce
17 the Today Sponge to the consumer market. We recognize
18 the important goal of improving reproductive health by
19 reducing the unacceptably high rate of unintended
20 pregnancy in the United States. We also recognize
21 that every woman has a unique contraceptive needs or
22 has unique contraceptive needs. To meet these needs,
23 we strongly encourage all efforts to make as many safe
24 and effective contraceptive methods available to
25 American women as possible.

1 The Today Sponge, prior to the 1995
2 removal from store shelves, provided women with a
3 valuable nonprescription option. It is a self-
4 administered, non-hormonal method that appeals to many
5 women and has been missed by both consumers and a
6 reproductive health professional community. We
7 strongly support the return of this product to the
8 American market. We feel that the approval of
9 Allendale's application by the FDA will be a positive
10 step in expanding the number and variety of
11 contraceptive options available in the United States.
12 Thank you.

13 DR. CANTILENA: Okay. Thank you, Ms.
14 Richmond.

15 Any questions from the committee members?
16 Okay. Thank you very much.

17 Our next speaker is Dr. Armand Lione from
18 the Associated Pharmacologists and Toxicologist.

19 DR. LIONE: Members of the Advisory
20 Committee, it is a pleasure to be here today to
21 comment briefly on some of the shortcomings of the
22 labeling of the Today contraceptive sponge.
23 Associated Pharmacologist and Toxicologist is the
24 organization that I'm president of. It receives
25 funding only from the production of educational

1 materials that we receive some royalties from. I'm
2 also employed by the Reproductive Toxicology Center,
3 which is here in Bethesda. I co-authored the database
4 REPROTOX.

5 As you know, the Today Sponge contains one
6 gram of the detergent Nonoxynol-9, N-9, that serves as
7 a chemical spermicide in this and other OTC
8 contraceptives. Whereas other OTC contraceptives
9 involve acute exposures to N-9, that is comparable
10 contraceptive products containing much smaller amounts
11 of N-9, are introduced into the vagina and the N-9 is
12 allowed to dissipate.

13 The Today Sponge exposes a woman to a
14 chronic source of N-9 for the entire 24 to 30 hours it
15 is in the vagina. Because the sponge is a source of
16 chronic exposure to N-9, we believe it is more likely
17 than any other OTC contraceptive to cause vaginal
18 damage and to endanger a woman's health. Available
19 research now shows that N-9 may alter the vaginal
20 environment to favor the survival of pathogenic
21 organisms and when used repeated N-9 may enhance the
22 formation of lesions in the vagina.

23 As noted in the detailed references that
24 accompany this presentation, researchers have found
25 that women typically are unaware of the lesions

1 produced by high dose exposure to N-9. There is now
2 general agreement among researchers that damage done
3 by high dosages of N-9 may increase the risk of
4 various infections including Toxic Shock Syndrome and
5 infections with the Aids virus.

6 The details of the increased risk of TSS
7 are quite clear being based on studies done by members
8 of the FDA and the Centers for Disease Control. This
9 should be stated clearly in the package label. The
10 current label does not make clear the likelihood of
11 vaginal damage caused by the repeated use of the Today
12 Sponge.

13 For example, the instructions only
14 recommend that the sponge not be used during
15 menstruation. Indirectly, this suggest that the
16 sponge may be used throughout this 21 days of each
17 month when a woman is not menstruating. In the
18 original data submitted for this product over 18 years
19 ago, five of fifteen women developed vaginal
20 irritation when they attempted to use the sponge for
21 seven consecutive days.

22 In responding to the high level of
23 irritation observed in this study, an FDA spokeswoman
24 described the repeated use of the Today Sponge as a
25 strong challenge and the irritation that occurred as

1 predictable.

2 The label for this product, therefore,
3 should warn that repeated use of the Today Sponge is
4 very likely to produce notable vaginal irritation and
5 vaginal lesions. Currently, the label only states
6 that a small number of men and woman may be sensitive
7 to the spermicide in this product and previous
8 versions of the packaging describe the spermicide as
9 gentle.

10 To summarize, additions to the label for
11 this product should warn the users that the sponge may
12 cause vaginal lesions without her awareness and these
13 lesions may increase the risk of various vaginal
14 infections including infections with the AIDS virus.
15 The package labeling should not suggest that
16 irritation is a rare side-effect caused by the
17 detergent N-9. Users must also be told the Today
18 Sponge is very likely to produce vaginal irritation if
19 worn for several consecutive days.

20 Thank you.

21 DR. CANTILENA: Okay. Thank you for your
22 comments. Any questions for Dr. Lione? Okay.

23 Then I wish to thank you and we'll move on
24 to our next public hearing speaker which is Lizza
25 Gonzales from the Alan Guttmacher Institute.

1 Ms. Gonzales.

2 MS. GONZALES: My name is Lizza Gonzales.
3 I'm reading this statement today on behalf of the Alan
4 Guttmacher Institute and the National Black Women's
5 Health Project about the importance of expanding
6 contraceptive options for women in the United States
7 and the role of the contraceptive sponge in pursuing
8 that goal. We appreciate the opportunity to provide
9 you with our comments on this topic.

10 Even a cursory examination of the
11 reproductive health indicators reveals that there are
12 unmet contraceptive needs in this country and women
13 want more choices. There are more than three million
14 unintended pregnancies every year in the United
15 States. Ten percent of sexually active fertile women
16 do not use any contraceptive method even though they
17 do not intend to become pregnant.

18 In addition, the prevalence of sexually
19 transmitting diseases, STD is increasing --
20 increasingly rapid in some groups of women. While
21 lack of access to services and education contributes
22 to unintended pregnancy in STD rates, dissatisfaction
23 with currently available contraceptive options is also
24 a significant factor in the equation.

25 Even women with access to family planning

1 services experience significant rates of unintended
2 pregnancy and STDs. More than half of the unintended
3 pregnancies in this country occur in women who are
4 using contraception. Many of the women who are not
5 using contraception have tried unsuccessfully to find
6 a method that meets their needs. The Today Sponge
7 offers women the opportunity to reclaim a previously
8 popular contraceptive technology. Before its removal
9 from the market in 1995, the sponge was used by tens
10 of thousands of women nationwide. And today continues
11 to be known and well liked.

12 When the sponge was taken off the market
13 in 1995, many women were dismayed at the loss of the
14 option. The sponge possesses specific characteristics
15 which make it a desirable option for many at varying
16 stages in their lives.

17 One very important characteristic of the
18 contraceptive sponge is its availability over-the-
19 counter. Other than the female condom the sponge is
20 the only over-the-counter contraceptive option for
21 women. Women appreciated the fact that it was
22 available without a prescription and could be
23 purchased at a corner drugstore without scheduling or
24 waiting for an appointment with a healthcare provider.
25 This convenience and accessibility are a great benefit

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 to women consumers.

2 Finally, the contraceptive sponge is a
3 barrier contraceptive method which is important for
4 those women who may be seeking an alternative to
5 hormonal contraception. We urge the FDA to approve
6 the return of this product to the U.S. And we thank
7 you for your time.

8 DR. CANTILENA: Okay. Thank you for your
9 comments. Are there questions for Ms. Gonzales?
10 Okay. Thank you very much.

11 Our next speaker is Ms. Elizabeth
12 Arndorfer from the National Abortion and Reproductive
13 Rights Organization.

14 MS. ARNDORFER: Good afternoon. Thank you
15 for holding this important meeting. My name's
16 Elizabeth Arndorfer and I'm here representing NARAL,
17 the National Abortion and Reproductive Rights Action
18 League. NARAL is a grass roots advocacy organization
19 with over 200,000 members and state affiliate network.
20 NARAL is committed to insuring women's access to the
21 full range of reproductive options including
22 preventing unintended pregnancy, bearing healthy
23 children and access to legal abortion.

24 We believe that expanding women's
25 contraceptive options is crucial to preventing

1 unintended pregnancy and abortion and improving
2 women's overall reproductive health. For this reason,
3 we support the return of Today Sponge to the market.

4 Thirty-three million women in the United
5 States are in need of contraceptive options. However,
6 the options currently on the market do not fully
7 address their needs. Nearly 50 percent of all
8 pregnancies are unintended and 54 percent of those
9 unintended pregnancies end in abortion. As a striking
10 demonstration of the inadequacy of existing
11 contraceptive options is the fact that over 50 percent
12 of unintended pregnancies, and that includes 58
13 percent of women who have abortions were using some
14 method of family planning in the month that they
15 conceived.

16 Moreover, women are dissatisfied with the
17 methods that they're using. The average woman will
18 stop using a contraceptive method nearly ten times in
19 her life. It is clear that women want and need a
20 wider range of reproductive contraceptive options.
21 The Today Sponge will provide that alternative. It
22 has several very important advantages.

23 First, as you've heard from other
24 speakers, it's over-the-counter. For women who don't
25 have insurance this is particularly important. It

1 allows them to have access to a contraceptive without
2 having to make and go to a healthcare provider. And
3 even for women who are insured, it's important to have
4 contraceptive options that are over-the-counter since
5 many insurers don't cover contraceptive.

6 Second, as we've also heard, it's
7 important because it's a non-hormonal method. And for
8 many women the medical conditions preclude them from
9 using hormonal methods or just the fact that they wish
10 to avoid some of the side-effects associated with
11 that. And finally, the contraceptive -- the Today
12 Sponge was a very popular method when it was on the
13 market and women used it. And we know that one of the
14 most important is that women like their method so that
15 they use it consistently.

16 While the sponge has important advantages,
17 it is not the right option for all women. And for
18 this reason, we fully support that women have adequate
19 and full information that they need to weigh the risks
20 and benefits of the option and also the information
21 they need to use it properly. Labeling that is
22 informative and easy to understand will allow women to
23 make an informed choice about whether the sponge is
24 appropriate for them.

25 In sum, returning the Today Sponge to the

1 U.S. market will be an important step towards
2 expanding women's contraceptive options especially
3 because of its over-the-counter availability, the
4 sponge has the potential to increase women's access to
5 contraception and help them avoid unintended pregnancy
6 and abortion. We strongly encourage you to protect
7 women's health by returning the sponge to the market.

8 Thank you very much.

9 DR. CANTILENA: Exquisite timing.
10 Exquisite timing. Thank you, Ms. Arndorfer. Any
11 questions from the committee? Okay. Thank you very
12 much.

13 Our seventh and final speaker will be Dr.
14 William Soller from CHPA.

15 DR. SOLLER: Thank you. Good afternoon.
16 My name's Dr. Bill Soller. I'm Senior Vice President
17 and Director of Science and Technology for the
18 Consumer Healthcare Products Association which we
19 appreciate CHPA. CHPA is the 119-year-old trade
20 organization representing the producers of quality
21 nonprescription medicines and dietary supplements
22 including over 200 members across the manufacturing,
23 distributing supply research testing and advertising
24 sectors of the healthcare industry. And several of
25 our members produce OTC spermicide products.

1 important to the safe and effective use of the product
2 by the consumer. And this is a very important three
3 part hurdle that there is directly on the
4 consideration of comparative effectiveness labeling.

5 First, regarding the initial hurdle of
6 scientific documentation, any decision on OTC labeling
7 requires an evaluation by FDA that the suggested
8 labeling, here related to comparative effectiveness
9 labeling of vaginal spermicide products, is supported
10 by high quality scientific evidence.

11 This means that before such labeling is
12 recommended for all OTC spermicides which are
13 currently covered under the public OTC review rule
14 making process, FDA should ask for and be open to the
15 public review and comment to evaluate the quality of
16 the scientific documentation. It also means that if
17 we're considering comparative effectiveness labeling,
18 that is new valid evidence emerges, that there's a
19 mechanism to expeditiously update that labeling in
20 order to help insure competitive fairness in the
21 market place.

22 Second, OTC labeling as a matter of
23 regulatory policy contains only essential information
24 necessary to the safe and effective use of the product
25 by the consumers. The two hurdles in the policy

1 We're here today to address the issue of
2 efficacy labeling and, therefore, the issue of
3 comparative effectiveness labeling of OTC spermicide
4 products and plan to submit comments to the relevant
5 docket and records.

6 As background, about two million women use
7 spermicide containing vaginal contraceptives. OTC
8 spermicidal contraceptives serve an important role in
9 meeting a women's choice of preferred contraception.
10 Spermicides are chosen by women who wish a safe,
11 simple readily available contraceptive method that
12 offers many benefits including self choice and use
13 without partner involvement, easy availability for
14 immediate protection whenever needed irrespective of
15 the interval between use, non-hormonal contraceptive
16 control without affecting menses and a backup to the
17 barrier method such as the condom, cervical cap or
18 diaphragm.

19 Turning to FDA's on efficacy labeling and,
20 therefore, comparative efficacy labeling on all -- all
21 OTC contraceptive products, it is a matter of long
22 standing FDA policy that decisions about drug
23 availability or label statements including warnings or
24 other information should be, and I quote,
25 scientifically documented, clinically significant and

1 clinical significance and the importance to the
2 consumer bear on this aspect of the essentiality of
3 information and labeling. And the nature of the
4 condition to be treated or preventive relates directly
5 to these considerations.

6 In the case of prevention of pregnancy and
7 the consequences of an unwanted pregnancy, the
8 uniqueness of this condition and its consequences for
9 the unborn, the mother, the father are unparalleled in
10 any self care category. The life altering of an
11 unwanted pregnancy are potentially profound.
12 Providing comparative effectiveness information to a
13 woman who is choosing a contraceptive method allows
14 her the best opportunity for self determination of
15 this unique situation. So on this background,
16 questions to consider in developing efficacy labeling
17 for OTC spermicide products are the following:

18 And that is, first, is the proposed
19 labeling consistent with FDA's long-standing policy
20 that label statements must be scientifically
21 documented, a clinical significant and important to
22 the safe and effective use of the product by the
23 consumer.

24 Secondly, is there sufficiently a large
25 database that is adequately, scientifically documented

1 to permit reasonable comparisons of product
2 effectiveness for all products with the specific
3 indications under review.

4 And, third, does the label statement
5 communicate comparative effectiveness and a consumer
6 friendly and easy to understand way and in a -- in a
7 form that is consistent with FDA's final rule on OTC
8 label content and format.

9 Hence, CHPA would support a public review
10 and comment process on the issue of efficacy and
11 specifically comparative effectiveness labeling on all
12 OTC spermicides only because pregnancy is unique in
13 the self-care category and requires special
14 consideration.

15 In the past, CHPA has supported
16 specialized labeling relating to pregnancy including,
17 for example, the OTC drug pregnancy nursing statement
18 on all OTC drug products and the recent voluntary
19 program for label statements pertaining to pregnancy
20 and nursing for dietary supplements which we recently
21 adopted and submitted as a citizen's petition to FDA.

22 However, CHPA does not support comparative
23 effectiveness labeling for other OTC indications in
24 categories of drugs given that they do not rise to the
25 level of uniqueness of the potentially life altering

1 consequences of failed pregnancy prevention. We
2 believe public comment process would be important
3 before extending comparative efficacy labeling to all
4 spermicide products because this would provide the
5 best opportunity for all stake holders to have
6 adequate time to develop input on this important
7 matter.

8 The fact that the question on efficacy
9 and, therefore, comparative efficacy labeling for all
10 OTC contraceptive products was not publically
11 available with due notice before this meeting
12 essentially makes this aspect of today's discussion in
13 public, but essentially not by or of the public as it
14 should be.

15 Thank you very much.

16 DR. CANTILENA: Thank you, Dr. Soller.
17 Any questions from the committee for Dr. Soller?

18 Yes, Ed.

19 DR. KRENZELOK: You mentioned that the
20 label should be consumer friendly presumably that it
21 should be a readable label by a large segment of the
22 population. Do you think this label for the Today
23 Sponge is consumer friendly that -- that people can
24 understand how to use it?

25 DR. SOLLER: I'm not here to comment

1 specifically on that aspect and haven't reviewed that
2 labeling.

3 As I say, going into this meeting, we had
4 anticipated that we would be monitoring this meeting,
5 have not reviewed that label and then the specific
6 question related to efficacy labeling came to our
7 attention two days ago. And as for that reason that
8 we offer these comments essentially on process.

9 DR. CANTILENA: Dr. Ganley, did you have
10 a question?

11 DR. GANLEY: No.

12 DR. CANTILENA: Oh, sorry. Thought you
13 were waiving at me. Yes, actually, Dr. Soller, I just
14 have a quick question for you. So the -- so your
15 comments are basically directed, if you will, at the
16 second question regarding efficacy information on the
17 carton.

18 DR. SOLLER: The sub-question A.

19 DR. CANTILENA: Sub-question A, right.
20 Should it be required. Okay.

21 DR. SOLLER: As it relates to all. Now as
22 you might relate that specifically to the sponge and
23 come to a determination specifically to the sponge,
24 that's one matter. As you then extend that to use the
25 word all, then I think a very different matter comes

1 to play. And what also comes to play is that basic
2 label statement because you're now thinking about
3 plying this widespread. And that is scientifically
4 documented, clinically significant important to the
5 safe and effective use.

6 I would remind you if you're dealing just
7 with efficacy for the sponge, that label statement
8 should apply as well in a non-comparative sense, the
9 scientific documentation, clinical significance
10 importance to the consumer.

11 DR. CANTILENA: Right. But in terms of
12 process, you know, correct me if I'm wrong, but
13 because this -- this is a product that is under, you
14 know, the NDA, anything that has to do with its
15 specific label is really, you know, confined to this
16 product. So your comments are, if I understand you
17 correctly, addressed to the issue of, if the committee
18 were to consider or recommend all.

19 DR. SOLLER: That's correct.

20 DR. CANTILENA: Okay. Thank you very
21 much. Any other questions for Dr. Soller? If not,
22 thank you very much.

23 DR. SOLLER: Thank you.

24 DR. CANTILENA: Okay. I think we're now
25 at the point according to the agenda, this is where

1 Dr. Ganley welcomes us so I would like to please call
2 on Dr. Ganley to speak to the Committee.

3 DR. GANLEY: Do I have a timer?

4 DR. CANTILENA: No.

5 DR. GANLEY: No. Okay. The floor drops
6 down, is that it?

7 First, I just want to thank the members of
8 the Advisory Committee for coming in for today's
9 meeting on such short notice. I would also like to
10 thank Dr. Cantilena for gracely acting as the rule of
11 chair in Dr. Brass's absence. Dr. Cantilena is a past
12 member of the Nonprescription Prescription Drug
13 Advisory Committee and is starting another term with
14 today's meeting. Dr. Brass will resume his role as
15 chair at tomorrow's meeting.

16 And I will deviate somewhat from what I
17 was going to talk about and I'm going to actually jump
18 ahead a little bit to try to focus the meeting a
19 little bit and see if this works here. And a lot of
20 these slides were based on seeing the presentation of
21 FDA, so I'm sort of jumping ahead here mainly to focus
22 the discussion.

23 And the thing that I want to just discuss
24 right now is the regulatory status of Today's Sponge.
25 Today's Sponge is an approved drug product. It was

1 voluntarily withdrawn from the market in 1995. With
2 Allendale Pharmaceuticals taking over the new drug
3 application and changing the manufacturing facility
4 for the drug product, they are required under our
5 regulations to submit a chemistry supplement providing
6 information on the manufacturing process.

7 So, I just want to point out there are
8 outstanding chemistry issues, particularly
9 manufacturing that need to be resolved by Allendale
10 before they could market.

11 I just want to also point out that this is
12 very important because good manufacturing practices
13 are essential to the designation of safe and effective
14 drugs. Okay.

15 The main focus of today's meeting is
16 really to focus on the labeling of the product. And
17 I think a lot of our interest is stemmed by a rare but
18 serious adverse event that has been reported with the
19 use of the product. And when Today's Sponge is re-
20 marketed, Toxic Shock Syndrome will likely be reported
21 with the use again.

22 So it becomes very important that the
23 product is adequately labeled. And that's not only
24 for consumers who have used this product in the past
25 so they can assess their risk, determine signs and

1 symptoms or take measures to decrease risk. But also
2 for consumers to understand whether they want to
3 purchase the product. Okay. And there are a lot of
4 consumers that will have access to the product that
5 would not have been using it in 1995 because they were
6 younger, particularly teenagers possibly.

7 And I think what you're going to hear
8 today is some diverge in opinion on the part of the
9 company and the FDA as the -- as what the appropriate
10 label should read. And I just want to point out that
11 since 1991, the FDA has made efforts to improve the
12 OTC labeling so that it is more legible and readable.
13 And this culminated in the OTC label ruling. Again,
14 you will hear about this in the FDA presentation and
15 I'm sort of jumping ahead here.

16 And we will also show that we are
17 suggesting that there are substantial changes proposed
18 in the labeling that justify us converting this to the
19 Drug Facts format at this time before re-marketing.

20 So I'm going to end my comments here and
21 allow Dr. Cantilena to resume the meeting and I'll be
22 back later to provide some further comment.

23 DR. CANTILENA: Okay. Thank you, Dr.
24 Ganley. We now have scheduled the sponsor
25 presentation from Allendale Pharmaceuticals and we'll

1 start -- we've allocated 45 minutes. So, I'd like to
2 ask the sponsor if you can please try to stay in that
3 time frame.

4 And the sponsor will be starting off with
5 Dr. Stabb, who will introduce the other speakers.

6 DR. STABB: Thank you very much. I want
7 to thank the FDA and the Advisory Committee for their
8 time in reviewing this product today.

9 I am Dr. Bob Staab. I'm from Allendale
10 Pharmaceuticals and I am Chief Scientific Officer and
11 Chairman of the company. We do welcome the
12 opportunity to discuss the issues surrounding this
13 label. We are very interested in getting the product
14 back onto the market. We're getting pressured --
15 constantly getting questions constantly of what we
16 need to do in order to get it back on.

17 There have been a lot of comments from the
18 agency with respect to the labeling. And we think
19 that there's time and our group today will take that
20 time to point out some of the pros and cons of those
21 comments. Because in the end, I do believe that the
22 agency and Allendale Pharmaceuticals and probably just
23 about everybody here is most interested in having a
24 clear understanding and to make sure the consumer has
25 a clear understanding of the pros and cons of the

1 product.

2 So we did bring some people here today,
3 people who have been very, very experienced in the
4 areas of contraception, OB/GYN, Toxic Shock Syndrome
5 and so forth. You'll be hearing from them, and the
6 first person we'll be hearing from will be Dr.
7 Connell, Professor Meritus, the OB/GYN Emory
8 University School of Medicine and she has had a lot
9 experience with Nonoxynol-9 and with the sponge. She
10 has actually sat on an advisory panel for the
11 development of the OTC monograph for Nonoxynol-9. I
12 look forward to hearing her comments.

13 Dr. Connell.

14 DR. CONNELL: Thank you very much. It's
15 a pleasure to be back. This is deja vu all over
16 again. I realized yesterday I've been coming here for
17 30 years. I don't know what that means but in any
18 event I have always had a major interest in
19 contraception and particularly this type of product.
20 And this goes back to the days when women, many
21 centuries ago, realized there's a relationship between
22 the ejaculate and babies and at that point began to
23 put wonderful things into their vaginas.

24 As you can see here, the Egyptians went to
25 the oceans for sponges and cut them up. Cleopatra

1 became well recognized for developing pessaries
2 against pregnancy and gonorrhoea. Casanova made a gold
3 ball. He reported using the same one for 15 years.

4 And then -- it's a wonderful, it's a very
5 colorful history as you go through all the plant and
6 animal pessaries that were developed over the years.
7 It makes fascinating reading. This continued on --
8 next, into the more modern era. We had all kinds of
9 liquids and chemicals and little pledgets of various
10 types with strings on them. And we -- a number of
11 years ago had the collagen sponge. It was kind of a
12 dreadful, very rough unpleasant thing that never made
13 it to the market. But it did culminate ultimately in
14 the Today Sponge that we're talking about now.

15 Now we spent, as you heard, a number of us
16 about, well most of the 1970s looking at over-the-
17 counter products and our bottom line which is
18 ultimately showed in the Federal Register we found
19 Nonoxynol-9 to be safe and effective. A number of us
20 came to the FDA in '82, at that point, the sponge was
21 approved in March of '83. It was then, of course,
22 sold as you know to Whitehall, American Home Products.

23 And I think the important thing, in
24 addition to what's already been said, is that when it
25 went off the market, there was a tremendous amount of

1 concern and I think a lot of that still persists that
2 since it was taken off the market it was dangerous.
3 And I think we still have this to be concerned about.
4 People not realizing it was still FDA approved and it
5 was not removed because it was dangerous.

6 And next, we move on through what actually
7 went on in the development of the sponge. Seven years
8 of trials, a lot of work that went on looking at very
9 aspects of labeling and packaging, many, many hours
10 looking at these things, looking at consumer data.
11 During this time the 800 number was set up and it was
12 extremely useful in terms of getting help as to what
13 to do and when to do it and how to get through to
14 consumers in an effective fashion.

15 Removal problems at that time were
16 recognized to be a major difficulty. And the removal
17 tab was changed to a different, from the braided
18 polyester to a woven loop, simply because women were
19 able to find it more easily and has helped a lot. And
20 parenthetically, the VLI experience was that when
21 women couldn't get them out, if they got to the 800
22 number, the vast majority of women, with the support
23 of some wonderful people on the 800 number, were able
24 to get their sponge out. This was a very, very useful
25 thing that occurred during all those years.

1 Next, please.

2 During all of the studies, it was quite
3 apparent that the sponge worked in three ways, a slow
4 release of Nonoxynol-9 and a reasonably low but
5 effective level, the blockage of the cervical os by
6 the sponge and in the laboratory it was found that
7 eight ejaculates in three days could be put in the
8 milieu of the sponge and leave no viable sperm. So
9 there is a lot of very good clinical and laboratory
10 data.

11 Now you heard a lot about the advantages.
12 There are many of them. I just pulled four I thought
13 were probably the most important from a consumer point
14 of view. We already know many people, many women are
15 not happy to get into the healthcare system. Not only
16 are they not happy, they're not able to and in today's
17 situation very often. And so it was always wonderful
18 to have a good option available over-the-counter.
19 Many women like the fact that it is available.

20 Historically, it was going to be the two-
21 day sponge. But the FDA said, no, thirty hour limit.
22 And that's, of course, still in the labeling. But
23 women didn't have to go back like they did with the
24 diaphragm and keep adding spermicide each time they
25 had sexual intercourse. Very easy to put in. Not

1 messy. And a lot of women and a lot of men find the
2 other vaginal products very messy, unaesthetic and
3 unattractive. And the nice thing about it is that
4 unlike the condoms, unlike the female condom, the
5 sponge can be put in in advance and this has certain
6 cosmetic aesthetic advances.

7 Next.

8 Now, clearly there are disadvantages. One
9 of the more important ones being the fact that it
10 really is less effective than IUDs and all the
11 hormonal methods. Quite clearly it does have to be
12 used each time somebody has sexual intercourse which
13 is a real turn-off to some women and some men. We
14 recognize and we saw this with our extensive review of
15 N-9 in the '70s.

16 There is local irritation, male and female
17 in a small percentage of individuals. And then the
18 issue of toxic shock which we looked at but Mary
19 Delaney will talk about in much more detail so I want
20 to skip over that. Removal problems, I think will
21 continue to be an issue. Clearly the labeling is
22 particularly important and as I already mentioned in
23 the VLI days, the use of the 800 was extremely
24 helpful.

25 I would like to just sort of look at what

1 I think are some of the more important general
2 considerations without getting into the details of the
3 chemistry. And I think the efficacy data that has
4 just been talked about is very critical. I think
5 those of us who spent a lot of time at the FDA looking
6 at labeling and efficacy issue and trying to look at
7 evidence-based medicine have always had problems
8 because there are such variability in the various
9 studies, in the various study populations over the
10 years. It has produced a lot of problems in terms of
11 how best to present efficacy data to the consumers so
12 it's easily understood. We recognized years ago there
13 were no comparative trials and I doubt will there ever
14 be good comparative trials of the various barrier
15 methods.

16 It's technically probably not an easy
17 thing to do and it may never be done. Therefore, it's
18 incumbent honest, I think, to develop language which
19 will point out to women the importance of the efficacy
20 data but give them a sense of the bottom line of the
21 efficacy data, not overwhelm them with large numbers
22 of numbers that are basically incomprehensible. So I
23 think the issue that was raised a little earlier about
24 efficacy is very, very relevant. And Forrest
25 Greenslade will talk about that in greater detail.

1 Looking at the labeling, it's, as I said,
2 something that a number of us have worked on over the
3 years. I think the written and the particularly the
4 graphics are extremely important particularly with a
5 product like this. And another consideration always,
6 is how would you pick up a problem if one developed
7 that you perhaps are not aware of or perhaps you are
8 not aware of the extent of the problem.

9 And if you review the current side-effect
10 reporting, it seems to be that this is adequate for
11 this particular reporting system would pick up
12 anything of great significance. So I think that
13 there's really not a major problem there in that area.

14 And finally, I think if you look at the
15 issues of women, pregnancy, babies, all the things
16 you've already heard about that when you look at the
17 sponge in context, there is a decidedly favorable risk
18 benefit ratio, clearly, and we saw this very markedly
19 among the teens particularly. It was a method of
20 choice for many of the reasons that you've already
21 heard, personal reasons, for medical reasons. And I
22 think all of us were disturbed when it went off the
23 market. This was an extremely valuable contraceptive
24 option that was missed. It is missed. It's still
25 misunderstood. And I have no doubt that it would be

1 very profitable for women, particularly, if the sponge
2 were to go back on the market. And, therefore, I
3 would certainly encourage the re-institution of the
4 use of the sponge.

5 Thank you.

6 I neglected my duty here. The next
7 speaker is Mary Delaney from Brigham and Women's
8 Hospital at Harvard and she will address TSS.

9 Sorry about that.

10 MS. DELANEY: Thank you and good
11 afternoon. What I would like to address this
12 afternoon is Toxic Shock Syndrome and the Today
13 contraceptive sponge.

14 Toxic Shock Syndrome was first described
15 in 1978 by Todd. And it received national attention
16 in 1980 when unexplained febrile illness associated
17 with shock, multi-organ dysfunction and high death
18 rates were reported in healthy young women. TSS is a
19 systemic disease characterized by the rapid onset of
20 fever, vomiting, diarrhea, muscle pain, rash,
21 hypotension, multiple organ system dysfunction and
22 late desquamation. The incidents of TSS has declined
23 dramatically. From six to twelve per 100,000 cases in
24 1980 to approximately less than one per 100,000 cases
25 today.

1 TSS is characterized by the time of onset.
2 There's menstrual TSS and non-menstrual TSS.
3 Menstrual TSS occurs as a result of vaginal
4 colonization with *Staphylococcus aureus* during
5 menstruation and is associated with tampon use.

6 While non-menstrual TSS results as a
7 complication of Staphylococcal infections of the skin,
8 soft tissue of the respiratory tract, following
9 obstetric and gynecologic procedures, following
10 influenza or without a known focus of infection.

11 The causative agent in TSS is toxin
12 produced by the bacterium *Staphylococcus aureus* called
13 Toxic Shock Syndrome Toxin - 1, abbreviated TSST-1.
14 *Staph aureus* is a normal member of the human micro
15 flora and is commonly isolated from the mucosal
16 services, skin and feces. To develop TSS, an
17 individual must be colonized with the toxigenic strain
18 of *Staph aureus*, conditions must ideal for toxins
19 production by the bacterium and there must be an
20 absence or insufficient level of neutralizing antibody
21 to the toxin. These factors make TSS a rare disease.
22 It has been reported that approximately ten to fifteen
23 percent of women carry *Staph aureus* vaginally and that
24 approximately one-third of these strains produce TSST-
25 1.

1 In a recent study just conducted in our
2 laboratory, we examined the colonization rates of over
3 3,000 healthy women to determine the colonization
4 rates in the nares, vagina and anus. We found that
5 overall 26 percent of all women were colonized with
6 *Staph aureus*. Nine percent were vaginal carriers,
7 eight were anal carriers and 18 percent were nasal
8 carriers. We further analyze these isolates to
9 determine if they could produce the toxin TSST-1. And
10 as you can see from the graph, there's a substantial
11 decrease in the number. There's only one percent of
12 all *Staph aureus* strains capable of producing TSST-1.

13 In addition, we looked at serum antibody
14 levels in these women and we found that 98 percent of
15 all women had protective antibody levels to the toxin.
16 There was only one subject that had vaginal -- that
17 had a vaginal micro flora with producing TSST-1 that
18 did not have the protected antibody levels.

19 In 1989, Schwartz published a case
20 controlled study using TSS surveillance records from
21 five states from January, 1986 to June, 1987 to
22 determine the rate of a non-menstrual TSS associated
23 with all barrier contraceptives. It's important to
24 note that 49 percent of all TSS cases were non-
25 menstrual. And most of these cases were associated

1 with surgical or cutaneous wounds or occurred within
2 three days post-partum. Those made up 53 percent of
3 the cases. Of the remaining cases, only 13 percent
4 were associated with barrier contraceptives including
5 the sponge. At five percent, the diaphragm, seven
6 percent and the cervical cap, one percent.

7 Schwartz went on to report that the
8 relative risk of non-menstrual TSS attributed to all
9 barrier contraceptives was 2.4 cases per 100,000 users
10 per year. And the death rate was 01.8 deaths per
11 100,000 women per year. Although this risk may be
12 elevated, the number of cases assists still small and
13 that can be seen in the next overhead.

14 In a recent publication by Hajjeh at the
15 Centers for Disease Control on the surveillance update
16 of TSS from 1979 to 1996, the years were divided into
17 three based on the epidemic years, the active
18 surveillance years and the present time. And as you
19 can see from the overhead, the cases of menstrual TSS
20 decrease over the time period and that there's an
21 increase in the non-menstrual cases. But what's
22 important to see is that the non-menstrual cases
23 related to barrier contraceptives have not changed
24 over the years.

25 More specifically, when the Today Sponge

1 was introduced in 1983, the cases still remained low.
2 And again when it was removed from the market in 1995,
3 there is not a significant difference among the rates.

4 Data obtained from the Today Sponge
5 sponsors and the FDA adverse event reporting system
6 describing the incidence of TSS cases reported to the
7 sponge so that the incidents remains low and that
8 there are no reported deaths from TSS attributable to
9 sponge use. And this data is found in Ling Chin's OTC
10 medical officer's review and Claudia Karwoski
11 memorandum.

12 Furthermore, some of the reported cases of
13 TSS had predisposing and extenuating circumstances,
14 including the wearing of the sponge for longer than
15 the recommended 30 hours, using the sponge while
16 postpartum or while menstruating.

17 In addition to the epidemia logic reports,
18 there are various in vitro studies that report on the
19 sponge and Nonoxynol-9 on the growth and production of
20 TSST-1. All three of these reports indicate that
21 *Staph aureus* is inhibited by the sponge and Nonoxynol-
22 9.

23 In summary, TSS is a rare disease caused by
24 a toxin, TSST-1, produced by the bacterium *Staph*
25 *aureus*. *Staphylococcus aureus* is not introduced into

1 the vagina by the sponge but rather it is a normal
2 component of the vagina micro flora. For disease to
3 occur, the woman must be colonized with a strain of
4 toxin producing *staph aureus* and she must lack
5 protected antibody. These are two facts which make
6 the disease rare. Tampons and barrier contraceptives
7 have been shown to have an association with the
8 occurrence of TSS, although they are not the causative
9 agents in the disease. The sponge does not pose a
10 risk any greater than that associated with tampons.

11 In fact, the cases of non-menstrual TSS
12 associated with barrier contraceptives are far fewer
13 than menstrual tampon related TSS, two percent versus
14 50 percent. And several of the reported cases of TSS
15 while using barrier contraceptives have resulted from
16 misuse of the product.

17 However small the risk of developing TSS
18 while using the sponge, it is essential to provide the
19 necessary information to the consumer regarding the
20 possibility of developing TSS, consequences associated
21 with TSS, as well as ways to decrease the small but
22 present risk while using the sponge.

23 The information contained on the carton
24 itself, as well as in the user instruction booklet,
25 adequately informs and advises the consumer of the

1 risks of developing TSS, the severity of TSS, symptoms
2 associated with the disease process, safeguards to
3 reduce the risk of developing TSS and guidelines to
4 follow if TSS-like symptoms occur. This information
5 is clearly marked as a warning on the carton and in
6 the enclosed booklet.

7 What I have up here is the currently
8 improved -- approved 1991 label as well as a proposed
9 revised 2000 label. And the arrows point to where
10 these pertinent statements are found regarding TSS.
11 The information contained on the product label
12 adequately informs the consumer for safe and effective
13 use of this product.

14 I'd like to introduce the next speaker.
15 It's Roberta Geidner Antoniotti. She's -- she will
16 present the relative public health need for the Today
17 Sponge.

18 MS. ANTONIOTTI: Good afternoon. Thank
19 you very much for this opportunity to come and really
20 talk about the public health need for improved
21 contraception with the vaginal sponge being one
22 additional option that we could look at for that.

23 I represent Planned Parenthood of
24 Maryland, which serves 16,000 patients a year in 22
25 counties in the State of Maryland. We are one of 132

1 affiliates for Planned Parenthood Federation of
2 America and our seven health centers are part of a
3 network of over 850 health centers serving over 4
4 million men and women a year with different forms of
5 reproductive health services.

6 One of the things I do want to make clear
7 is that my presentation here and my participation in
8 this as a representative of Planned Parenthood
9 Federation is not an endorsement of Allendale
10 Pharmaceuticals or of this particular product that
11 they may be able to bring to the market. But we do
12 strongly commend them for looking for ways to bring
13 additional options to women and our effort to reduce
14 the high rate of unintended pregnancy.

15 As one of the oldest and largest
16 reproductive health providers in the country, Planned
17 Parenthood Federation of America has a strong interest
18 in insuring women have easy access to safe, effective
19 and affordable contraception.

20 One of the key problems that we face in
21 assisting men and women prevent unintended pregnancy
22 is the lack of innovation and approved technology in
23 contraceptive research and methods. And one of the
24 documents that has come out in 1995, that's documented
25 very well, is the Institute of Medicine research on

1 unintended pregnancy and its affect on the well being
2 of families and children in our United States.

3 I think you can see here, as many of the
4 speakers previously documented, we have an epidemic
5 rate of unintended pregnancy in the United States
6 where close to half of all pregnancies are unintended
7 and close to 10 percent of that half are totally
8 unwanted children. We have the highest rate of any
9 unintended pregnancy of any industrialized country in
10 the world. In fact, the rate of unintended pregnancy
11 in this country in 1983 was even higher than our
12 planned pregnancies. And if you look at our rates
13 compared to Canada or Great Britain, we are at least
14 double and in some cases close to six times higher
15 than other industrialized countries.

16 Unintended pregnancy remains a serious
17 problem in the United States. Although the birth
18 control has been available for 40 years and other
19 innovations and contraception have been introduced in
20 the past several decades, most pregnancies, as I've
21 stated, are still unintended.

22 The last major introduction of a new
23 contraceptive was Depo-Provera in the early 1990s.
24 American women need safe and accessible birth control
25 and they need more options that are affordable for

1 those without health insurance or for those with
2 health insurance that do not provide coverage for
3 contraceptives that still plagues women across the
4 country.

5 In terms of Planned Parenthood, we serve
6 almost two million women every year for contraception.
7 And that is one of the relationships that we may have
8 with Allendale in the future if this product is
9 approved. It is possible because we do serve two
10 million women, as we do with many other pharmaceutical
11 companies, we will have a conversation with them about
12 how to increase access to the products that they can
13 provide to reduce unintended pregnancy.

14 Of the women that -- of that two million,
15 12.6 percent of them preferred non-prescriptive
16 barrier methods. There are important benefits to
17 contraception that can be obtained without a
18 prescription. While birth control methods such as the
19 pill and Norplant or Depo-Provera involve one or more
20 visits to a healthcare provider. The vaginal sponge
21 appealed to women with busy schedules and
22 accessibility issues because it could be bought over-
23 the-counter at a local pharmacy sometimes 24 hours a
24 day, seven days a week, where that's the only source
25 of care that they have.

1 In a recent study published in Family
2 Planning Perspectives, 19 percent of Missouri women
3 surveyed reported that it was hard to get time off of
4 work or out of school to go to a clinic. While 25
5 percent said that they would more likely to use birth
6 control pills if they were available without a
7 prescription. With easy access to contraception, the
8 key to preventing unintended pregnancy, over-the-
9 counter products makes sense.

10 In Maryland, where Planned Parenthood of
11 Maryland serves women and men with reproductive
12 healthcare, there's over 1,273,000 women of child
13 bearing age between the ages of 13 and 44. We serve
14 this population through the seven health centers that
15 we have available.

16 According to a 1995 assessment of
17 contraceptive needs and services, that was part of the
18 Allen Guttmacher Institute study of contraceptive
19 needs and services across the country, of the 664,000
20 women who need contraceptive supplies and services
21 because they're at risk of an unintended pregnancy,
22 more than a third of them are at or below 200 percent
23 of poverty, close to a quarter of a million -- a
24 little over a quarter of a million women.

25 And these women, whether they're black,

1 white, Hispanic, Korean, whatever race, culture,
2 ethnicity, they need publically funded and support
3 health services. This is the group who could benefit
4 most by the reintroduction of the vaginal sponge
5 product, a safe, affordable birth control method which
6 should be permitted from our perspective over-the-
7 counter.

8 Many of the women in this demographic will
9 not use contraception if they do not have access to a
10 viable over-the-counter method because of the
11 difficulty in accessing the public health system as
12 Dr. Connell so adequately described.

13 In Maryland alone, it is estimated that
14 only 46 percent of the women in need of publically
15 funded services are being served through the private
16 and public healthcare system and that leaves a little
17 over a 150,000 women at risk of an unintended
18 pregnancy. And you can see by county and by our major
19 city in Maryland how this impacts women across the
20 state, whether they're rural women, urban women,
21 suburban women, it affects them everywhere across our
22 state and across the country.

23 Another valuable benefit of the non-
24 prescriptive products like the vaginal sponge is its
25 affordability. As I said, may low income women cannot

1 afford to pay for prescription contraceptives such as
2 the pill or a diaphragm, yet they can remain protected
3 from unintended pregnancy by purchasing the vaginal
4 sponge, a more affordable alternative.

5 For millions of uninsured Americans, over-
6 the-counter medicine is the only means of healthcare
7 that's available to them and this includes
8 contraception. Our hope would be that we would get
9 contraceptive coverage passed at a federal level. I'm
10 going to give my one little political statement here,
11 so that all insurance companies have to provide this
12 as an option as they provide Viagra to men, we should
13 be providing contraception to women.

14 And, in fact, even women with health
15 insurance aren't always guaranteed access to
16 contraceptive care. Maryland, I'm proud to say, was
17 the first state in the country that did pass a law
18 requiring equity and prescriptive coverage.

19 The issue of privacy as well is another
20 issue that needs to be considered when it comes to
21 purchasing contraception. While obtaining a
22 prescription for birth control pills or getting
23 fitting for a diaphragm involves visiting a healthcare
24 provider and getting the necessary tests, many women
25 need the privacy and anonymity of purchasing a product

1 like the vaginal sponge if it is made available over-
2 the-counter. It's easier to use and longer lasting in
3 providing protection against pregnancy than other
4 over-the-counter methods available such as spermicides
5 and condoms.

6 We would also promote the use of condom
7 with the vaginal sponge in order to provide full
8 protection against sexually transmitted infections and
9 HIV.

10 Although the goal of Planned Parenthood
11 Federation of America and other reproductive
12 healthcare providers that we've heard from earlier is
13 to increase access to all types of contraception, we
14 understand that the unique properties of the vaginal
15 sponge fills a void that has remained empty since it
16 was taken off the market five years ago.

17 When it was discontinued in 1995, it was
18 one of the most popular contraceptive choices that did
19 not require a doctor's visit with one quarter of a
20 billion sold over 12 years nationwide. In addition to
21 its ease and affordability, women who do not engage in
22 regular intercourse found the vaginal sponge to be an
23 ideal method of contraception.

24 A survey conducted by the CDC, National
25 Center for Health Statistics, shows that births from

1 unintended pregnancy are increasing among never
2 married women. We saw that they're increasing among
3 married women as well, it's increasing among never
4 married women. A lot of the myths that unintended
5 pregnancy only affects certain categories of women is
6 totally inaccurate and I think Best Intentions has
7 very well done -- done a very good job to dispel some
8 of those myths.

9 Many of the women, though, who fall in a
10 never married category who has occasional sex could
11 use this particular method where they don't want to
12 use a method like the pill and a Norplant, Depo-
13 Provera or IUD which are more expensive and not
14 necessary when you have an occasional act of
15 intercourse.

16 According to research by James Trussell,
17 the vaginal sponge has been proven to as effective as
18 a diaphragm and the cervical cap for women who have no
19 previous births. The effectiveness of any method, of
20 course, often is more determined by the human factor
21 in appropriately and consistently using the method.

22 From the anecdotal information that I was
23 able to obtain from our medical director and associate
24 medical director, the problems that they saw in the
25 early 90s -- late 80s and early 90s with the sponge

1 with removal, more often than not, was the practice
2 of the woman and not the labeling, the instructions to
3 the woman, it was a matter of her own awareness and
4 her own ability to follow the directions that were
5 provided to her.

6 And as far as we're concerned, the use of
7 any contraceptive method is always much more effective
8 than no method at all at preventing unintended
9 pregnancy. We feel that the vaginal sponge in the 12
10 years that it was on the market was -- has proven
11 itself to be a valuable and popular method of over-
12 the-counter contraception. When it was taken off the
13 market, it meant the removal of another opportunity
14 for women to choose for themselves how best to prevent
15 an unwanted pregnancy.

16 If we are to diminish the epidemic of
17 unintended pregnancy in the United States, we must
18 provide women and men with more options, not less. At
19 Planned Parenthood we feel strongly that women should
20 be able to benefit from its ease of use, accessibility
21 and affordability. And we ask you to reinstate the
22 vaginal sponge as an over-the-counter product.

23 I'd like to introduce Dr. Forrest
24 Greenslade, who will be our next presenter.

25 DR. GREENSLADE: Good afternoon. I'm

1 really pleased to have the opportunity to speak with
2 you today. My name is Forrest Greenslade and for well
3 over forty years, I've worked on the development and
4 introduction of reproductive health technologies.

5 While working at Ortho Pharmaceuticals, I
6 served on the OTC panel for vaginal contraceptives
7 that Dr. Connell chaired. When working with the
8 Population Council, I guided the introduction
9 globally of Norplant and the Copper T380 IUD. And for
10 the last almost decade I've served as President of
11 Ipas, an international not for profit women
12 organization that confronts the issue of maternal
13 mortality.

14 The reintroduction of the Today Sponge is
15 really important. Unwanted pregnancy, as you've
16 heard, is a really serious issue for many women. No
17 contraceptive is ideal for all women and as a matter
18 of fact, no contraceptive is ideal for any one woman
19 throughout her reproductive life. Women need choices.
20 And the Today Sponge fills that niche for many, many
21 women. Women also need information by which to make
22 those choices and I'm sure that Allendale
23 Pharmaceuticals and the FDA want exactly what I want,
24 labeling, that is accurate and both consumer friendly.

25 Let me put the first overhead up. This is

1 blow up of the 1991 approved labeling. And there's
2 lots and lots of information here. And people who
3 like lots and lots of information will enjoy this
4 labeling. You can see that there was a large study,
5 it was done in the U.S., done internationally, a large
6 database of 1,800 patients. You can see that there's
7 method effectiveness and use effectiveness and they're
8 defined and you also can see that somewhere between
9 9.2 and 11 percent method effectiveness and 13 to 15.5
10 percent use effectiveness was obtained. Lots and lots
11 of data, not very consumer friendly.

12 I like actually what the FDA is proposing
13 in the proposed labeling -- the 2000 labeling. It's
14 very straightforward. It says in clinical studies
15 with the Today Sponge, about one in ten women, that 11
16 to -- 9 to 11 percent became pregnant using this
17 product correctly all the time. It also says the
18 possibility of getting pregnant increased to about one
19 in seven women, that 13 to 16 percent, when the
20 product was not used correctly.

21 Not yet, not yet.

22 Now, there's one problem with this. And
23 that's time frame. Was that one out of ten chance of
24 getting pregnant with the first intercourse? No. It
25 was a one out of ten chance during an entire year of

1 the first use of it. Was that one in seven with that
2 first intercourse? No. It was during the first year.

3 And to put this in perspective, think
4 about it, 2.5 acts of intercourse per week is the
5 average times 52 weeks times those hundred women, it
6 took about 13,000 acts of intercourse to produce those
7 ten pregnancies. This needs to have that kind of
8 perspective. And what I suggest is simply adding
9 language like, during the first year or within a year
10 or something like that. This would make this very
11 accurate, give good perspective and be very, very
12 consumer friendly.

13 Now, on a subsequent paragraph in the
14 proposed labeling, it says, no birth control product
15 can prevent pregnancy all the time and refers to a
16 table. And it's the table that's in the package, it's
17 the table that comes from contraceptive technology.
18 And to be quite honest, this is not very consumer
19 friendly at all. And as a matter of fact, there's
20 some very troubling aspects to it.

21 Now, remember, the FDA's proposed labeling
22 says, one out of ten women can be expected to be
23 pregnant within a year. But look at this labeling.
24 Therefore, the vaginal sponge for previous births, it
25 says up to 40 percent. What is a woman to believe.

1 Is it one out of ten or is it 40 percent. I needed to
2 take a pencil and a calculator to figure in what does
3 that come out to be, like one out of 22 women. What
4 is the woman to interpret from this? What else is she
5 to interpret?

6 Is she to interpret, for instance, that
7 withdrawal or natural family planning is more
8 effective than the Today Sponge, or is she to believe
9 that a spermicide, a gel, foam, suppository or film is
10 more effective than the Today Sponge? It's very hard
11 for someone just to take a look at that, especially
12 someone who's not really attuned to looking at tables
13 and really figure out what is it that she's to
14 interpret among all of those.

15 I'm actually concerned with the way in
16 which these data were collected. I'm going to just
17 read from you a sentence, the description of how these
18 data came about. One would look at these data and
19 say, oh, these were observed in a clinical trial. I
20 guess that would be my first interpretation. But this
21 is not the case. Let me just read this one sentence.

22 In the sponge/diaphragm trial, the
23 proportion becoming pregnant in the first year of
24 typical use for parous users of the diaphragm, 12.4
25 percent was marginally lower than for nulliparous

1 users. In the cap diaphragm trial, proportion
2 becoming pregnant among parous users, 29.0 percent is
3 almost double that among nulliparous users, 14.8
4 percent. Faced with this estimation, we set the
5 estimates for nulliparous users of the cervical cap
6 and sponge equal to the estimate for all users of the
7 diaphragm based on the NFSG survey, 20 percent.

8 Now, listen to this, we doubled the
9 estimates for nulliparous users for the cervical cap
10 and sponge to obtain the estimates for parous use.
11 That 40 percent is not an observed data -- piece of
12 data in a clinical trial. It is an estimate based on
13 a bunch of assumptions. Now, the writer's of this
14 book did a very good job. And we all try to do this
15 to try to interpret the data. But when compared to
16 clinical trials conducted under FDA mandated good
17 clinical practices, this 40 percent should not have
18 the weight of the one in ten that you propose in the
19 2000 labeling.

20 Now, we've wrestled with this idea for
21 years and years and years -- the other way, that's
22 okay, that's a good way too. That's fine.

23 For years we've all tried to figure how
24 does one compare studies done in various countries
25 under different conditions with totally different

1 kinds of contraception. And here's a table that was -
2 - a graphic that was generated by Parker Mauldin at
3 the Population Council several years ago. And it's --
4 it's pretty straightforward, isn't it? If you look
5 at, on the bottom periodic abstinence, spermicides,
6 diaphragm, et cetera, you can see that there's wide
7 range of effectiveness information that comes from
8 studies under use conditions. A wide range ranging
9 from somewhere between 10 and 30 percent. In the next
10 range, condoms, orals, et cetera and then in the
11 final lowest range things like injectables, surgical
12 sterilization, Norplant.

13 What is very clear from this is that with
14 those kinds of ranges to present to a potential
15 consumer, the finite difference between 40 percent and
16 20 percent as being real, as being something that they
17 should really make a judgment about their --
18 controlling their own fertility is -- is really not a
19 rational thing to do. Let me suggest something that
20 is much more in line with the user friendly approach
21 that the FDA has proposed in the previous labeling.

22 Over the years when people have asked me,
23 well, Forrest, what are the relative effectiveness of
24 different ones. I've come up with a relatively simple
25 straightforward approach. I say, if women don't use

1 any contraceptive method, more than eight out of ten
2 of them will become pregnant within a year. With
3 natural family planning or periodic abstinence, it's
4 about one to three out of ten will become pregnant.
5 It works.

6 Female barrier methods, probably one to
7 two out of ten will become pregnant. And this
8 includes things like the sponge, diaphragm, cervical
9 cap, female condom, foams, jellies, creams and films.
10 The male condom about three to fifteen out of a
11 hundred will become pregnant. The pill, either
12 combined or many, about one to five out of a hundred.
13 Provider-base methods such as the copper IUD,
14 Norplant, injectables, tubal ligation or vasectomy,
15 about one to five out of a thousand.

16 Now, these are basic relative odds that I
17 think anyone can understand. These are consistent
18 with our understanding over years and years of
19 clinical research, both on method and user
20 effectiveness.

21 And I suggest to you that these are
22 totally consistent with a rationale interpretation of
23 the table that comes from contraceptive technology.
24 And I think that these are consumer friendly and say
25 in simple straightforward terms what a woman can

1 anticipate when she picks up a product and says, will
2 this serve my needs for effectiveness or will it not.
3 She can say, well, all of these are going to give me
4 some level of protection. Obviously, the provider-
5 based methods will give me a higher level of
6 protection. The ones that I have to take, such as an
7 oral, are a little bit less than that. And all of the
8 barrier methods are a little less than that. But all
9 contraction gives me a better chance to control my own
10 fertility.

11 Now, obviously comparing effectiveness is
12 only one of the things that a woman has to decide when
13 she's trying to make a rationale choice because every
14 contraceptive technology has its own set of
15 advantages. But issues like reversibility, side-
16 effects, frequency of administration and those special
17 considerations for use are all important. There's no
18 time for dealing with that today but in the handout
19 that I left for you, I've given you my insight as to
20 what those relative things are.

21 For me, the bottom line is this, the more
22 choices that a woman has, the higher probability that
23 she will find the one that meets her needs at her
24 particular time in her reproductive life. I'm
25 delighted that she soon will have again the Today

1 contraceptive sponge. And what's most important is
2 for us to find a way to communicate to her whether or
3 not this is the best choice that meets her needs at
4 that time.

5 I'd like to pass the baton on to Dr.
6 Staab, who will summarize.

7 Thanks for your attention.

8 DR. STAAB: Yes, we can go right -- okay.
9 Just as an introduction, some people don't know
10 Allendale, so I think it's worthwhile mentioning who
11 Allendale is. We're a small start-up company. We
12 have some -- a small number of people work in
13 marketing, manufacturing and finance. And I happen to
14 be a toxicologist, I'm a board certified toxicologist.
15 We currently have no products on the market. No
16 income. However, we're accumulating debt is what
17 we're doing as our activity.

18 Just to give a small amount of evidence,
19 as to how small we are, the gentlemen who's flipping
20 the slides is the President and CEO of Allendale
21 Pharmaceuticals, Gene Detroyer. Next slide, please.

22 We come here, even though we're small,
23 with some relevant experiences though. I had worked
24 for Tambrands, the maker of Tampax tampons in the mid
25 80s during the Toxic Shock Syndrome heyday, if you

1 will, when there was big issues. Working on TSS and
2 consumer labeling. I've also worked for Schmid
3 Laboratories, London International with condoms,
4 Nonoxynol-9 and the labeling of those products. I
5 don't come to the Today Sponge for the first time. As
6 a matter of fact, even when I was at Tambrands, I ran
7 into Forrest who helped us to do an assessment as to
8 whether or not Tambrands should acquire the Today
9 Sponge when it was being sold by VLI. We did an
10 extensive review at that time. So this is not a new
11 product for me or for the people that I am associated
12 with.

13 Next slide, please, Gene.

14 And the people that we came to speak here
15 today are not seeing contraception and the issues
16 related to consumer products labeling for the first
17 time. Besides the people who have already spoken, we
18 had Dr. Onderdonk from Harvard write some background
19 information made available to the FDA and to the
20 panel. And also Dr. Mike Burnhill, V.P. of Medical
21 Affairs for Planned Parenthood Federation of America,
22 got it right.

23 Unfortunately, those two gentlemen had
24 previous commitments out of country, they are both not
25 here, although both had express an interest in being

1 and both are very supportive of the return of the
2 product and an interest in keeping a label which
3 communicates properly, simply and clearly. Again, a
4 common goal.

5 What I did, and I'm going to try and go
6 through this quickly because I think you're getting an
7 idea from where we're coming from, we're trying not to
8 clutter up a label. We trying to communicate. We
9 trying to do it simply and we're trying to get the key
10 information in the right place. The medical officer
11 who wrote the recommendations for what's needed in a
12 label put these things down as something which would
13 be needed on the outside of the label, something like
14 Toxic Shock Syndrome to address it, allergy to
15 Nonoxynol-9, post-partum advice, TSS and menstrual use
16 should be contra indicated, miscarriage and abortion,
17 see a doctor if a vaginal infection exists or occurs
18 in use.

19 Indeed, those things are in the label now,
20 maybe not the exact same words but its pretty good and
21 that's the kind of advice that the FDA and American
22 Home Products had in the 1991 label that was on the
23 market in 1995.

24 Gene, next one.

25 Also, they asked on the insert, which is

1 the insert which comes inside the box with this
2 particular OTC product, if you had TSS, avoid the use
3 of the product. That's there. Dropping down to the
4 bottom of this slide, see the doctor if infection
5 exists or if signs of infection exists. That
6 information is there. And do not use if pregnant or
7 if there are signs of pregnancy. Those things were
8 there.

9 The two dots, number one and two on this
10 particular slide are not on the label. One suggestion
11 was explain the post-partum uterus to the consumer in
12 the labeling so that they could understand whether or
13 not the sponge would adequately fit and be used and so
14 forth. Quite frankly, we think -- I think that that
15 information is probably best being B- being a
16 discussion between the health care provider, physician
17 and the potential user of the sponge, nurse
18 practitioners and physicians and so forth. These are
19 people in this case particular who have given birth.
20 More than likely they have someone that they're
21 talking to with respect to their health care.

22 And the next one is use a condom to avoid
23 STDs as something that was not on the label, we would
24 not take exception to putting that onto the label.
25 It's a -- it's probably a good recommendation today

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 considering the mortality and morbidity associated
2 with sexual transmitted disease acquisition.

3 Going again, wash hands, 30 hour maximum
4 retention time, don't use -- don't douche following
5 use, difficulty removal. If you have difficulty
6 removal, read the instructions. We have the 800 and
7 also call your doctor. Those are things that were on
8 the current label. We say let's retain them. I think
9 that there's good information. With respect to that
10 800 we've heard over and over again from Dr. Connell
11 and from people that I've spoken to American Home
12 Products in the Consumer Affairs Department where they
13 took these -- they're very capable of communicating
14 removal issues with the consumers when they have these
15 difficulties. It's a very effective way of getting in
16 touch with your consumer if they're having a problem.
17 So we are supporting them as well.

18 Again, under insert information, we're
19 asked to talk about the local effects of irritation
20 and so forth which is in the current label. And we're
21 ask to, if they're signs of infection, fever and so
22 forth, see your doctor. That's in the current label.

23 The one issue where, quite frankly, I'm
24 struggling a little is how do handle -- should we be
25 putting questions about or information about and

1 education about sexually transmitted diseases, what do
2 you do if you're using multiple partners as sexual and
3 if you have a new partner and so forth. I guess I
4 personally feel that if you tell the people that if
5 they want protection from sexually transmitted
6 diseases, to use a condom, you've addressed that
7 issue.

8 Now, I don't know how much public health
9 we could -- public health expounding that we can do in
10 a label that would be effective. I think there are
11 very effective communications that are available today
12 through clinics and through the public to get that
13 information across. This is an NDA product and since
14 it's NDA product we do send in annual reports. And
15 that 800 number that I spoke about before that was so
16 very effective in communicating with the consumers is
17 also effective to getting adverse events and reports.
18 The consumer is never afraid to pick up the phone and
19 to call 800 -- a 1-800 number. We believe that we do
20 get good information about the use of this product.
21 We don't believe there were any major increases in
22 TSS, for example. And I think Mary was very clear in
23 saying that there was not an increase in TSS.

24 Go ahead.

25 So, our post-market surveillance, we feel

1 pretty comfortable in staying with the 800 number.
2 And lastly, efficacy I'm going to stand by what
3 Forrest had said. I'm running out of time and I'm
4 getting bad glares here. But if somehow we can
5 communicate the hybrid between what the FDA is asking
6 for the one in ten failure or one in seven failure
7 rate for imperfect use along with the time frame so
8 that people know that we're talking about 1500 acts of
9 intercourse that wind up getting a failure rate
10 instead of ten people have intercourse once and you
11 wind up with a pregnancy. I think that we've come
12 together where we should be.

13 The major issue that we have is if we wind
14 up trying to put all of the usable information onto
15 the outside of this package, it is going to be
16 unreadable and it is not going to be tool for the
17 consumer to learn about the use of the product. We've
18 got minimize the amount of information that is on the
19 outside of that package.

20 For example, we don't need full directions
21 for use on the outside of the package. I think you
22 should state this is a product that has to be
23 moistened and inserted vaginally, see instructions on
24 the inside. But if we can come together to find the
25 right key information, mention TSS and so forth, I

1 think we have a way to move forward.

2 I do thank you very much. I know that I -
3 - I'd be willing take any questions that you folks
4 might have and I know the panel will as well.

5 Thank you.

6 DR. CANTILENA: Okay. Thank you very
7 much, Dr. Staab. And, if you wouldn't mind actually
8 staying at the podium and perhaps you could triage the
9 questions as they come up --

10 DR. STAAB: Sure.

11 DR. CANTILENA: -- to members of your
12 team. I'd actually like to open up this to questions
13 from the entire panel for the sponsor at this time.

14 Dr. Greene, would you like to start?

15 DR. GREENE: I just -- just point of
16 clarification, when Ms. Delaney presented her data on
17 Toxic Shock Syndrome toxin, she said at one point, I
18 believe, that one percent of strains of *Staph aureus*
19 produced TSST-1, except it looked like your graph was
20 more like one percent of the people carrying *Stap*
21 *aureus*.

22 DR. DELANEY: That was one percent of the
23 total nine percent of women that were carrying *Staph*
24 *aureus*. Of those nine percent, one percent carried
25 the TSST-1 producing *Staph aureus*.

1 DR. GREENE: Okay.

2 DR. DELANEY: That's going at the rarity
3 of the disease essentially is what the point I was
4 trying to get across.

5 DR. GREENE: Okay. So of the nine women
6 in a hundred, one of those nine?

7 DR. DELANEY: Correct.

8 DR. GREENE: Got it. Okay. And the other
9 --

10 DR. DELANEY: One of those nine percent.
11 I mean there was not just nine, there were, I believe,
12 a percentage.

13 DR. GREENE: Right. Okay. And the other
14 question I had was you mentioned a figure of the
15 incidents of anti-TSST-1 antibody, and it wasn't clear
16 to me whether the percentage that you mentioned, I
17 think you said 98 percent.

18 DR. DELANEY: 98 percent. Yes.

19 DR. GREENE: It wasn't clear to me whether
20 that was of all women studied or of the women who
21 carried *Staph aureus* or of the women who carried *Staph*
22 *aureus* with the toxin?

23 DR. DELANEY: That was of all women
24 studied.

25 DR. GREENE: Thank you.

1 DR. CANTILENA: Other questions? Dr.
2 Gilliam, did you have a question?

3 DR. GILLIAM: Just a comment, I guess, to
4 Dr. Stabb. I appreciate the comments that you've
5 made, the only, I guess, problem I have with them is
6 you're saying, you know, you're wanting this product
7 to be over-the-counter and yet you're saying there are
8 issues that they should talk with their healthcare
9 provider about. And recognizing that a lot of women
10 who possibly might use this aren't going to get
11 healthcare from a healthcare provider.

12 And so that's my concern regarding some of
13 the comments that you've made.

14 DR. STAAB: Actually, I didn't quite
15 understand your question. You were going back and
16 forth from the microphone.

17 DR. GILLIAM: You were just, in your
18 comments you were saying that, I forget the exact
19 references you were saying, but you were saying that
20 there were certain items that the user should talk
21 with their healthcare provider about. And yet this is
22 a product that you're recommending for over-the-
23 counter use and that many of the many might not go to
24 their healthcare provider to discuss these issues.
25 And that's the point I'm trying to make.

1 DR. STAAB: Currently, the FDA approved
2 label does call for getting back to your healthcare
3 provider with a whole series of questions. That's not
4 unusual. The specific ones, I believe, where I was
5 focusing, was a woman who had just a birth, just had
6 abortion, she was -- she has been speaking to a
7 healthcare provider who she can go back to. And that
8 was point that I was making there. Rather than --
9 rather than having a large amount of additional text
10 in an insert, which I think we have to realistically
11 recognize, there's just so much that consumers are
12 going to read.

13 I'd say, or the contraction of the uterus
14 and so forth following birth, she's just had a birth,
15 she can talk to her physician about it. I think those
16 issues are more appropriate to go back to the
17 physician rather than trying to do an all encompassing
18 explanation of the female physiology hoping that we're
19 going to cover that particular person.

20 DR. GILLIAM: Okay. Thank you.

21 DR. CANTILENA: Yes, Dr. Lerner?

22 DR. LERNER: Everybody keeps referring to
23 the problems with removal. Do you have any data over
24 the course of the 12 years that it was on the market,
25 what percent of women had trouble removing, what

1 percent were then sort of fixed by the telephone call,
2 and then how many of those really needed to go see
3 their physician? Because I think that's -- has very
4 important clinical implications if ten percent of the
5 women called but, you know, 197 percent of those
6 couldn't be talked through it by your 800 number and
7 then had to go to their physicians. Do you have any
8 data on that?

9 DR. STAAB: Well, you're talking about
10 several, and I don't have the numbers in front of me,
11 you're talking about several thousand complaints with
12 a quarter billion units sold. So the percentages are
13 extremely low and of those that went to see a
14 physician, it is having -- it is relatively low as a
15 complaint but having said that, it is the number one
16 consumer complaint with the Today Sponge, first time
17 users, a lot like a diaphragm, someone who's inserting
18 a diaphragm do have a certain amount of difficulty
19 with this but the 800 number has been able to minimize
20 the number of people. Once you get to the 800 number
21 it's already a complaint. So even if you help them
22 with it, they're recorded as a consumer who made a
23 complaint on the issue.

24 DR. LERNER: Just as a follow-up, I think
25 it would be interesting, I don't know that I saw it in

1 any of the background material, just the registry of
2 the complaints and, you know, I think that would just
3 be interesting information to have available.

4 DR. STAAB: That information -- that
5 information is available, is recorded. And we do
6 report on that by the way on an annual basis. That's
7 what I was saying. When we have the -- the reason
8 that we know that it is the number one complaint
9 because we do record that through our 800 numbers or
10 if people happen to write and we put that information
11 in a tabulated format for review by the agency each
12 year.

13 DR. LERNER: I suppose in some ways I have
14 a vested interest in that as a very clinically
15 oriented OB/GYN, I want to make sure that if I have,
16 you know, a thousands patients using, I'm not going to
17 have 500 walk-ins per week. And I think that we as
18 the, you know, healthcare providers are going to be
19 very interested in finding out that your numbers that
20 occur.

21 DR. STAAB: I thought maybe Dr. Connell,
22 who's got a lot of OB/GYN experience as opposed to
23 this poor lonely toxicologist might have a comment
24 about this. Thank you.

25 DR. CONNELL: I think it was difficult as

1 you might imagine to come up with an absolute figure.
2 What we have is basically what has just been stated,
3 that of all the complaints, and, you know, this is not
4 evidence-based medicine really. Of all the ones, and
5 I used to keep track of what the calls were to the 800
6 number back in the VLI days, and certainly this was
7 the number one problem, as you reasonably knew you
8 could talk most women through the removal process.

9 But I don't recall that anybody has ever
10 been to deal with the two million women and come up
11 with a figure of the various complications, then a
12 subset of removal problems. It's one of those things
13 you'd like to be able to quantify, but I don't think
14 it's true. I think you have to be a little bit global
15 in terms of the side-effects and the percentage of
16 those that are removal related.

17 So I -- it's a good question but I'm not
18 sure the data are out that that you can do anything
19 other than say, side-effects are not common but of the
20 ones that have been problems in the past, removal
21 problems rank right up front. That's the reason that
22 the loop was changed because in the very beginning
23 this polyester thing, women couldn't feel it. And if
24 you can't feel it, you know, this was a real problem.
25 But once it was changed to a braided loop, then again

1 the problems got less because they could find it and
2 it was much easier to take it out.

3 So numbers would be lovely but I don't
4 think you're going find an accurate number with the
5 scientific credibility that you might like. I think
6 we still have to go sort of globally.

7 DR. CANTILENA: Okay. Dr. Uden?

8 DR. UDEN: Dr. Staab, if you could clarify
9 some confusion for me. Dr. Greenslade presented quite
10 of bit of good information in terms of labeling,
11 suggest that wording changes in terms of time per
12 year. He didn't finish his presentation and he also
13 had quite a few additional charts back there that
14 were, I think are very interesting and can be a lot of
15 information. In your comments, you wanted to be
16 brief. The labeling to be as brief as it can be.
17 What is your stand on Dr. Greenslade's suggestions in
18 terms of those additional tables that are there? What
19 do you want included? What didn't you want included
20 with that?

21 DR. STAAB: I think Forrest will address
22 that.

23 You're referring to the tables in his
24 handout as opposed to the contraceptives. Okay.

25 DR. GREENSLADE: I was not suggesting that

1 the last set of tables that I handed out should be in
2 labeling. What I handed them out for was to simply to
3 provide background that in addition to making a
4 decision upon comparative effectiveness, women have a
5 lot of other things to think about. And it is
6 virtually impossible to provide all of the different
7 pieces of information about all of the different
8 methods in one place. But I thought for you on the
9 panel, it would be useful just to have that insight as
10 perspective to look at the comparative effectiveness
11 numbers. And they are basically my interpretation of
12 a lot of reading over a lot of years about a lot of
13 technologies.

14 DR. CANTILENA: Dr. Johnson?

15 DR. JOHNSON: I have two questions. One
16 is for Ms. Delaney, so she can move to the podium
17 while I'm asking my first question. And my first
18 question may be really belongs with the FDA. In our
19 packet, we have this, I think, proposed label and it
20 has to do with the efficacy. And it just says, no
21 birth control product can prevent pregnancy all the
22 time. See table of pregnancy rate. So, I'm a little
23 confused because that doesn't tell you anything about
24 the efficacy except that it's not a hundred percent.

25 And, again, maybe this question really

1 goes to the FDA, this issue of one out of ten over a
2 period of a year, are you proposing that goes in the
3 package insert inside or on the outside box?

4 DR. STAAB: All right. Historically, the
5 efficacy data has been in the insert with the product.
6 We take no great exception to putting a single
7 statement about the efficacy for the Today Sponge as
8 part of Drug Facts labeling as long as some of the
9 other information is compressed so that, I mean as it
10 is now, we'd have to go one and three quarters way
11 around the box with Drug Facts Labeling.

12 We're just saying there's a certain amount
13 of critical information that we should have on the
14 outside of the box. The reference that you're
15 referring to refers to the table that Forrest had
16 spoken about that was developed for technical people
17 in contraceptive technology which was fraught with
18 difficulties -- with some technical difficulties and
19 certainly readability. If you talk about sixth grade
20 reading level or something, that table -- a consumer
21 will not walk away from that table and understand what
22 they need to know about relative rates of
23 contraception.

24 For my perspective, if there was a
25 simplistic statement, verbal or numerical similar to

1 what, what I'll call the combined Forrest Greenslade
2 and FDA comment, where the various methods are
3 compared and that was scientifically accurate backed
4 up. And we would take no exception to putting that
5 into the insert.

6 DR. JOHNSON: Okay. My question for Ms.
7 Delaney is just about toxic shock in general. In the
8 materials that we received, I think, from your
9 laboratory are some data, I believe from the CDC, with
10 definite and probable cases of toxic shock with a peak
11 in 1980 of 892, down to 4 in 11 in '96 and '97.

12 My first question, is this the number of
13 cases total in the U.S. or is this per --

14 MS. DELANEY: I'm not -- which -- I'm
15 confused as what to your --

16 DR. JOHNSON: This was, I believe, part of
17 Dr. Onderdonk's materials.

18 MS. DELANEY: Oh, okay.

19 DR. JOHNSON: Right. And -- so one of my
20 questions is are these absolute numbers?

21 DR. STAAB: I can tell you the source of
22 that. I'm sorry.

23 MS. DELANEY: Okay.

24 DR. STAAB: I made that available to Andy
25 Onderdonk, that is from the Center of Disease Control,

1 an '87 summary of the total number of reported cases
2 of Toxic Shock Syndrome.

3 DR. JOHNSON: Okay.

4 DR. STAAB: A total number reported in the
5 U.S. to the Center for Disease Control.

6 DR. JOHNSON: So there were four reported
7 to the CDC in 1996, for example?

8 DR. STAAB: I don't have the table.

9 DR. JOHNSON: Well, that's what, I mean
10 that's what the table says. So, my question, which
11 hopefully you can answer, is what is the explanation
12 for this very, very dramatic drop in the incidents of
13 TSS over 15 years or so.

14 MS. DELANEY: Are you referring to the
15 menstrual. The drop in the menstrual TSS.

16 DR. JOHNSON: Well, either one. If you
17 look at menstrual or total, they've both dropped from,
18 you know, near the 1,000 mark to down to like ten.

19 MS. DELANEY: The menstrual TSS cases have
20 dropped because of the removing of the Rely Tampon
21 from the market, consumer has been advised to
22 alternate between pads and tampons, to use the tampons
23 that are most, the less absorbent that conforms to
24 their needs.

25 The cases of non-menstrual TSS cases, the

1 increase they believe because a majority are due to
2 cutaneous wounds, surgical wounds, they think it's
3 because of the new healthcare rules where people are
4 not hospitalized as long. A lot of the outpatient
5 surgeries, they think that that's why those cases are
6 increasing.

7 DR. JOHNSON: Yes, I guess from this table
8 I don't see an increase. I mean, it looks like -- it
9 looks like everything is decreasing whether you look
10 at total.

11 MS. DELANEY: I think the total cases are
12 decreasing but the percentage of menstrual -- what my
13 data was showing that the menstrual and non-menstrual
14 are now becoming close to 50 percent each as opposed
15 to before where it was 80 percent and 20 percent.

16 DR. JOHNSON: Okay. So, I guess your
17 answer is that the main reason that it's -- the TSS
18 has dropped nationwide is because of more appropriate
19 use of tampons.

20 MS. DELANEY: Exactly.

21 DR. CANTILENA: Okay. Dr. Davidson?

22 DR. DAVIDSON: You know, most of us here
23 have lived, you know, in the U.S., there's a
24 percentage that are not, you know, full literate, 47
25 percent or so may not understand clearly what the

1 label is. Did you do any field testing on your label
2 to see the comprehension from the general public?

3 DR. STAAB: Since we had acquired the
4 label, we were going to retain the label that had been
5 approved by the FDA. We did not do any additional
6 comprehension or readability on that label. Okay.

7 DR. CANTILENA: Other questions? Yes, Dr.
8 Krenzelok?

9 DR. KRENZELOK: If you'll bear with me, I
10 have a few questions and a couple of comments. I'll
11 address the last one in just a moment.

12 You're going to have an 800 number or
13 there was an 800. And it would appear that removal
14 problems can be a 24 hour a day, seven day a week
15 problem. It's not a nine to five problem. Will you
16 have this 800 line available for women, 24 hours a
17 day, seven days a week so that you can attend to those
18 needs no matter what time zone they're in or what
19 their particular predicament might be?

20 DR. STAAB: We are setting up an 800 -- we
21 have the 800 number is place right now. We are
22 setting up with a beeper system. It is not set up
23 right now, but yes, it is our intention to answer
24 questions, urgent questions, to have a triage system
25 within the phone system so that if they're worried

1 because they bought three sponges and there's only two
2 in the box, we don't want to handle that at three
3 o'clock in the morning. But urgent questions we would
4 have an opportunity to beep the healthcare
5 professional.

6 DR. KRENZELOK: Now, you also mentioned
7 that you didn't want to make the package basically a
8 compendium of every piece of information.

9 DR. STAAB: Right.

10 DR. KRENZELOK: And, as I recall, the
11 product, it comes in a small box.

12 DR. STAAB: Gene, do you have one you can
13 hold up? Just, it's outside, sorry.

14 DR. KRENZELOK: But it is, I recall --
15 there's one, I see. Okay. So, there's a box.

16 DR. STAAB: There's a three pack.

17 DR. KRENZELOK: Now, we're you intending
18 to have one package insert in there or would you have
19 a package insert with each sponge? Because the
20 package inserts would certainly have an opportunity to
21 get lost as people put these in their purse, in a
22 briefcase, in a suitcase. Would that be an intention
23 of yours?

24 DR. STAAB: It would not be out intention
25 to put an insert with each unit, rather one per

1 carton. That's been historically the way that's been
2 handled.

3 DR. KRENZELOK: Well, given the use
4 pattern of this particular type of product, it seems
5 to me that might be a reasonable thing to do. Now,
6 Dr. Greenslade talked about a variety of ways to
7 present the data in terms of pregnancy and I agree
8 this should be very consumer friendly. Peoples should
9 look at that and say, these are my odds of getting
10 pregnant.

11 One of the things you might want to
12 consider changing would be in each of those things you
13 had a different denominator, so it was --

14 DR. STAAB: One out of ten.

15 DR. KRENZELOK: Yes. One out of ten, one
16 out of a hundred, five out of a thousand, and it might
17 be good to bring those all to common denominator so
18 that people can really understand them.

19 DR. STAAB: Forrest will comment on that
20 but that's a good comment.

21 DR. GREENSLADE: Yes, I wrestled back and
22 forth with that for many years. If you make it a
23 common denominator, say a hundred, then you're talking
24 about a tenth of a woman sometimes. And that's kind
25 of confusing when you talk to people and say, well,

1 what does this mean. If you set it up to a thousand
2 women or 10,000 women, then the -- then the numbers
3 are looking kind of large. And one of the things I
4 wouldn't want to do is frighten people from
5 considering a very effective safe option that she
6 could choose.

7 And so I agree, this is a way that you
8 kind of flip a coin and say, what communicates the
9 best for most women. And whether you set it all at
10 one denominator and wrestle with the numerators or the
11 reverse is probably something that we have to talk,
12 you know, in focus group with real potential consumers
13 and get a sense what communicates best to them.

14 This was the compromise that I came up
15 with talking to a few people over the years. But I'm
16 not an expert in focus group interviewing. It's a
17 very good point.

18 DR. KRENZELOK: Thank you. As it relates
19 to probably the issue of literacy, I think was Ms.
20 Antoniotti, discussed unintended pregnancies. And I
21 was wondering if there's any kind of data that have
22 been collected showing a relationship between
23 unintended pregnancies and low literacy women, for
24 example? To see if -- what I'm getting to here in
25 just a moment is something to do with the label in

1 terms of literacy but I'm looking the population that
2 uses these is literacy an issue?

3 DR. STAAB: There -- there may very well
4 be some information. Do you have something you could
5 add on that, Roberta?

6 MS. ANTONIOTTI: Part of the -- part of
7 the information that the Institute of Medicine looked
8 at in terms of the Best Intentions research was
9 literacy levels, cultural norms, just experience with
10 reproductive health issues in terms of background.

11 So, part of the things that we look at in
12 our clinical practice in dealing with a lot of low
13 income men and women who are disadvantaged in terms of
14 educational opportunities as well as a number of
15 immigrants. I agree with Dr. Davidson that some of
16 the issues in terms of language barriers and literacy
17 levels, that's why we liked in the label the whole
18 graphic display because at least from that perspective
19 any woman can have an understanding or she has a
20 minimal knowledge of her body, how to use the product.

21 But I think Best Intentions is probably
22 one of the best resources to take a look at how
23 literacy impacts a woman's ability to get access to
24 healthcare.

25 DR. KRENZELOK: Thank you.

1 DR. STAAB: I was just going to say, I
2 think you're see with tampons, the industry that I've
3 worked and with the sponges, a liberal use of those
4 graphics for that very reason.

5 DR. GREENSLADE: Dr. Trussell and his
6 colleagues published a relatively recent paper where
7 they used survey data and stratified it according to
8 a whole bunch of different strata and one that they
9 did was socioeconomic level. And across all methods,
10 the lowest the socioeconomic level, the higher was the
11 pregnancy rate or the higher was the failure rate. So
12 I suspect there's some sequelae point that you're
13 making that people of lower socioeconomic status have
14 a lessor access to the information and to the way of
15 assimilating the information. So finding ways of
16 getting it to a simple communicative level is very,
17 very important.

18 DR. KRENZELOK: Thank you. Along those
19 lines of communication, I don't purport to be a
20 readability or label expert by any means. But I took
21 the liberty yesterday of just typing in parts of the
22 label to Microsoft Word 2000 and running a Flesch
23 Readability Score on it. And for those of you who are
24 unfamiliar with Flesch, as I was until yesterday --

25 DR. GREENSLADE: So you are a world

1 expert.

2 DR. KRENZELOK: Exactly. I'll acknowledge
3 that immediately. But they have two different scores.
4 So all you have to do is you do basically spelling and
5 grammar and then look at the options and it gives you
6 this readability score. So they have a readability
7 score basically and it's based on a 100 point scale.
8 And the higher the number, the more easily
9 comprehensible the label is or the paragraph or
10 whatever it is you're looking at is. And it said that
11 most standard documents aim for a score of
12 approximately 60 to 70.

13 Then they have something called the Flesh
14 Kincaid Grade Level Score, where they have a very
15 complex formula, basically it says that a score of
16 eight means that an eighth grader can read this. And
17 it says again that most standard documents aim for a
18 score of approximately seven to eight. So, if you
19 bear with me for just a minute, I took two parts of
20 the label, of the proposed 2000 label.

21 DR. STAAB: Of the current label?

22 DR. KRENZELOK: Of the 2000 proposed label
23 in our package. Yes, the 2000 one. And there was one
24 where it described toxic shock which I thought was
25 important for people to understand. And it said,

1 Toxic Shock Syndrome, some cases of Toxic Shock
2 Syndrome, TSS, have been reported in women using
3 barrier contraceptives including the sponge. TSS is
4 a rare but serious disease that may cause death.
5 Warning signs of TSS include fever, nausea, vomiting,
6 diarrhea, muscle pain, dizziness, faintness or a
7 sunburn-like rash on the face or body.

8 If you have any of these signs, remove the
9 sponge and get medical help right away. Now the
10 reading ease score on that was 58.1 percent. So it
11 was a little bit less than what the ideal document
12 should be. The grade level was 9.4. Now that's in
13 contrast to the very first part of the instructions
14 were -- it illustrates how to insert the sponge but
15 also describes how to insert the sponge.

16 And it says, Today vaginal contraceptive
17 sponge is inserted through the vaginal opening and
18 placed in the deepest part of the vagina just below
19 the cervix. The cervix is the bottom end of the
20 uterus. It has a small opening through which sperm
21 must travel to reach and fertilize the egg. The
22 reading ease on that was 52 percent but the grade
23 level was 11.9. So we're talking about a high school
24 senior being able to comprehend that.

25 So, again, those are two very isolated