

1 aspects of the proposed label but it seems to me that
2 we have a population that, as I've heard, is at least
3 20 percent low literacy or illiterate. And it seems
4 like that's a group that perhaps the label should be
5 downgraded to address more advantageously.

6 DR. STAAB: I think it calls for a
7 simplification also. The more we get into medical
8 explanations of various issues, the more it's going to
9 make it more complex rather than simplified. We go
10 around the world, we see some very simple labels that
11 don't get into all of the communications here. And I
12 think it's hard to take that comment without putting
13 in the graphics that associate it. I'm sure the
14 graphics aren't able to be reviewed through the
15 software problem you're referring to.

16 So it's a difficult area when you work
17 with women's healthcare from the tampon experience and
18 from the contraceptive experience, communication is
19 not an easy area. And when you change something,
20 which is one of the reason I really wanted to keep a
21 label that people were somewhat -- at least the users
22 were somewhat familiar with.

23 When you change something, you really have
24 a risk of mis-communicating in some other place in the
25 label or in that particular area.

1 But it is not an area that we can ignore
2 and it isn't an area that we shouldn't consider making
3 it as readable and simple as we can. I -- I
4 completely support your comment. Make it readable.

5 DR. CANTILENA: Okay. I just -- I just
6 have one question, if I may. When you refer to your
7 surveillance system and your 800 number, who is
8 actually going to be answering the 800. What are
9 their qualifications and how does that information
10 then get handed off, if you will, to the people who
11 are going to be tracking the adverse events?

12 DR. STAAB: Okay. Good question.
13 Currently, we've got one LPN who's worked for about 15
14 years in an OB/GYN office and we also have a nurse
15 practitioner, I believe she has a Masters who's
16 currently working at a University and at an OB/GYN
17 clinic at a local hospital.

18 Neither of those people are contract with
19 us but those of the people that we are interested in
20 right in bring on board to start this program. They
21 would not only take that information but they would
22 more than likely, at this point, being a small company
23 would also probably record the information that would
24 be the basis of the annual report down the road for
25 all these events.

1 For right now, it would be an LPN and a
2 Masters in Nursing with a license, I guess license
3 practical nurse would be her title. No, I'm sorry, a
4 nurse practitioner.

5 DR. CANTILENA: Okay. So, if it's the
6 weekend when the LPN is on, I guess, are you
7 comfortable with, you know, medical triage? When if
8 somebody calls in then they've had a hard, they've
9 been unable to remove the sponge for five days,
10 they've tried and failed and now they may have some
11 symptoms. As the sponsor, as the manufacturer, are
12 you comfortable with that level of expertise handling,
13 you know, triage and are there, you know, systems that
14 you plan on having to help that individual?

15 DR. STAAB: That's a good question. There
16 are -- there's a guidance document that was put
17 together by American Home Products originally for what
18 they -- we call the help line, the consumer help line.
19 The guidance document is pretty clear as to where you
20 start and where you stop as far as giving advice goes.
21 And there is a point where it says, see your
22 physician, get to a clinic, get to an emergency room
23 or something of that nature.

24 The people that we're choosing are -- are
25 very mature and capable of following that information

1 and quite frankly they have a very good understanding
2 of the women's healthcare issues. So, I would say,
3 yes, we are confident. But I will also say with a
4 caveat that we're going to learn over the next months
5 and years about what else we need. And we're open to
6 fore find that is, as we need to.

7 DR. LERNER: Just a piece of advice. I
8 think they should be bilingual in Spanish as well.

9 DR. STAAB: The original -- what should be
10 bilingual?

11 DR. LERNER: The help line people. The
12 800 help line.

13 DR. STAAB: We should have access to it.
14 Okay. Currently, what American Home Products had done
15 is they have access to the instructions in Spanish.
16 If someone would need it, they would make them
17 available to them. It was not put bilingually in each
18 package. Okay.

19 As far as the help line goes, we haven't
20 addressed that issue. But I think it makes sense.
21 It's a reasonable request and I think that especially
22 today and especially considering some of the inner
23 city questions that might come up, I think it's a
24 reasonable request.

25 You bet. Absolutely. Absolutely.

1 DR. CANTILENA: Yes, Dr. Gilliam?

2 DR. GILLIAM: I guess just to follow up on
3 that. Are you going to do any different packaging for
4 different areas of the country where you do have a
5 more -- a higher Hispanic population? The Southwest,
6 that kind of thing?

7 MR. DETROYER: The way today's -- the way
8 the distribution system is set up in the United States
9 today with supermarkets, drug chains and mass
10 merchants, it is very difficult to control where
11 product goes.

12 Right now our plan is English product,
13 let's say going into Walmart, who spreads it all over
14 the country. You don't have any control that this can
15 be Spanish product here and this can be English
16 product here.

17 But like American Home Products we will
18 provide Spanish literature, if it's necessary, for a
19 client and certainly for the clinic side of the
20 business that's very easy to provide.

21 DR. CANTILENA: Okay. Any further
22 questions? Very good. I guess without strong
23 objection will move to a 15 minute break and promptly
24 return in 15.

25 (GROUP TAKES RECESS)

1 DR. CANTILENA: It may not sound like it
2 but I think we're ready. We're now at the portion of
3 the agenda where we're going to hear the presentation
4 from the Food and Drug Administration. And I believe
5 in fairness Dr. Titus has allotted 45 minutes for the
6 -- for the FDA.

7 First presenter is Dr. Chin.

8 DR. CHIN: Good afternoon. We have now
9 come to the eagerly awaited FDA presentation for the
10 afternoon which will be presented by myself, Claudia
11 Karwoski, who will take about post-marketing adverse
12 events and Gloria Chang, who will address the label
13 changes that have been recommended.

14 Sorry.

15 I will provide a review of the
16 administrative record for the Today vaginal
17 contraceptive sponge over the last 20 years.

18 First, I will briefly run through some
19 administrative milestones for the NDA, some
20 information about the sponge itself and then focus on
21 labeling issues that brought about much discussion
22 between the agency and various sponsors over time.

23 The FDA for the Today Sponge was first
24 submitted in September of 1991 by VLI. It was
25 withdrawn in December because of inadequate U.S.

1 experience. It was resubmitted and finally approved
2 in April of 1983 and was marketed by VLI from 1983 to
3 1987. The NDA was transferred to Whitehall in
4 December of 1987 and Whitehall marketed the product
5 until 1993 when it suspended, then ceased production
6 due to manufacturing issues.

7 In January of 1995, Whitehall voluntarily
8 withdrew the sponge from the market. And I just want
9 to take a second here to emphasize that the NDA is
10 currently an approved NDA and was never withdrawn.
11 The product, itself, was voluntarily withdrawn from
12 the marketplace by the sponsor.

13 And, finally, in March of 1999, the
14 transfer of the NDA went from Whitehall to Allendale
15 Pharmaceuticals.

16 The Today Sponge was first approved by the
17 Division of Metabolic and Endocrine Drug Products in
18 1983. (Inaudible), FDA administrative side and the
19 Division of Reproductive and Urologic Drug Products
20 was newly formed in June of 1996 and assumed
21 administrative responsibility for the sponge NDA.

22 With the Division of OTC Drug Products
23 taking over administrative responsibilities for all
24 OTC drugs, the NDA was finally transferred over to us
25 in November of 1997.

1 Just one slide on sponge characteristics.
2 The sponge is round and very soft, made of 70 percent
3 polyurethane and 30 percent Nonoxynol-9. The sponge
4 contains a total of a thousand milligrams of N-9. A
5 loop was added for easier retrieval and later on a
6 polyester skrim was inserted to reinforce the loop
7 because of tearing at the time of removal.

8 In terms of how much N-9 is released.
9 Spermicide release studies were done and concluded
10 that an overall mean of 124 milligrams of N-9 eluted
11 from the sponge with wear time up to 48 hours.

12 I'm sorry.

13 Okay. The rest of these slides are going
14 to cover the key discussions about information that
15 should be provided in the labeling between the agency
16 and the sponsors throughout the development of the
17 Today Sponge. Some of these reflect internal
18 discussions. Others were written communications
19 between the agency and the sponsor. Because of the
20 passage of time, it was difficult to construct exactly
21 when certain labeling changes were fully implemented.

22 At first approval in April of 1983, the
23 following labeling stipulations were conveyed in the
24 approval letter to the sponsor. Effectiveness was
25 summarized as 12 months pregnancy rates per hundred

1 women. Rates were provided for different methods, the
2 sponge, the diaphragm and the foam suppository with
3 some differences noted between U.S. and international
4 studies.

5 Effectiveness for the sponge at that time
6 was considered to be in the same range as other
7 vaginal birth control methods such as the diaphragm.

8 These two statements were also to be
9 included in the labeling, that there was no evidence
10 of significant health risk associated with the use of
11 the product and that used during menstrual period was
12 not recommended.

13 Whitehall followed up with a labeling
14 supplement and FDA responded with the following
15 recommendations in December of 1983.

16 Pregnancy rates were now stated as rates
17 per hundred women for the sponge only. And a
18 distinction was made between method and use
19 effectiveness. Simply stated method effectiveness
20 meant that consumers following instructions exactly
21 and use the sponge each time that it's needed. Use
22 effectiveness meant that consumers may fail to use
23 correctly or to not use the sponge every time.
24 Existing labeling bears these pregnancy rates.

25 Other recommendations were that there

1 should be a statement that the sponge was not as
2 effective as the pill or the IUD. There should not be
3 a reference of similarity to the diaphragm. And it
4 should not be used during menses.

5 Within the first year of approval, the
6 agency was reviewing adverse events that were
7 received, especially the reports of more severe
8 illnesses and a latch cases of TSS, Toxic Shock
9 Syndrome.

10 The conclusions reached at a joint meeting
11 with the sponsor and investigators from CDC and FDA
12 staff were that severe illnesses may possibly be
13 associated with removal problems and fragmentation of
14 the sponge and post-partum use of the sponge.
15 Additional warning were considered at that time that
16 the sponge should not be used post-partum and to
17 consult a physician for removal if there's
18 fragmentation.

19 As a result of a further meeting with the
20 sponsor in 1984, FDA issued this letter of -- this
21 letter with modifications to the labeling so that it
22 should say, that -- to limit sponge use to 30 hours,
23 to delete the phrase about no significant health risk
24 and to state the association of TSS and sponge use in
25 non-menstruating women.

1 Other labeling developments. In an annual
2 report in 1987, VLI wanted to modify the instructions
3 to wet and squeeze the sponge before use. And FDA
4 found that acceptable but to quantify it a little
5 further to wet thoroughly and squeeze gently.

6 Other labeling discussed were submitted
7 and approved in August of 1990. Receipt of the final
8 product label, the FPL, occurred in August of 1991,
9 which was accepted. This final product label is the
10 one that has been in use ever since.

11 So, the Today Sponge has not been marketed
12 since it was voluntarily withdrawn by Whitehall in
13 1995. It is not currently available except for the
14 diminishing stock pile -- except for the diminishing
15 supply stock piled by Elaine in ASeinfeld@. Okay,
16 I'll restrain myself to then -- for now. Let's go
17 back.

18 The re-marketing of the Today Sponge was
19 initiated in March of 1999 with a transfer of
20 ownership of the NDA to Allendale. This re-marketing
21 required that Allendale submit a chemistry supplement
22 because of a new manufacturing sight. As part of this
23 process, OTC undertook a review of the safety update
24 as well as the existing labeling, which brings us next
25 to a review of the post-marketing adverse events by

1 Claudia Karwoski.

2 Thank you for your attention.

3 DR. KARWOSKI: Good afternoon. My name is
4 Claudia Karwoski and I'm from the Division of Drug
5 Risk Evaluation in the office of Post Marketing Drug
6 Risk Assessment.

7 The objectives of my presentation are to
8 provide an overview of the post-marketing adverse
9 events reported with the Today Sponge. To review the
10 post-marketing safety issues that have previously been
11 identified, such as Toxic Shock Syndrome and sponge
12 removal difficulty and to describe all serious cases,
13 to identify any unexpected safety issues that have not
14 previously been identified.

15 I'll begin my presentation with a
16 description of the FDA Reporting System and Toxic
17 Shock Syndrome. I'll then provide an overview of the
18 adverse events reported with the sponge. I'll
19 describe the TSS cases associated with the sponge and
20 then describe cases involving sponge removal
21 difficulty. I'll then briefly describe any unexpected
22 potentially serious cases and lastly I'll end with my
23 conclusions.

24 Adverse event reports are submitted to the
25 FDA by healthcare professionals or consumers either

1 directly through the MedWatch program or indirectly
2 through the manufacturer. The data from these reports
3 are entered into a computerized data base. The
4 agencies first data base was created in 1969 and it
5 was called the Spontaneous Reporting System. It was
6 replaced in 1997 by the Adverse Event Reporting System
7 or AERS.

8 The advantages of Spontaneous Reporting
9 System such as SRS and AERS are that they are
10 relatively simple and inexpensive. They enable us to
11 detect rare events early in the marketing of a
12 product. They also have inherent limitations. They
13 are passive and voluntary and rely on the healthcare
14 provider or consumer not only report the event but to
15 provide adequate clinical data to determine the
16 relationship between the adverse event and the
17 product. Because its voluntary it is associated with
18 substantial and to reporting. And we cannot use this
19 Spontaneous Reporting System to determine incident
20 rates of the particular events.

21 We also encountered limitation specific to
22 this review. All of the adverse event reports for the
23 sponge were entered into SRS, our old database, and
24 were coded using very broad terminology. We had no
25 specific terms for TSS for sponge removal difficulties

1 so it was challenging retrieving these cases. Each
2 report only has four surgical terms as opposed to
3 unlimited terms that are currently available in AERS.
4 And the criteria for flagging serious cases varied
5 over time.

6 We also noted in our review that the
7 documentation, follow-up and completeness of many of
8 these reports seem to decline over the years.

9 The TSS case definition requires five
10 clinical criteria: fever, hypotension, rash,
11 desquamation and abnormalities in three or more
12 organs. Those organs include gastrointestinal tract,
13 renal, hepatic, hematologic, central nervous system,
14 the mucus membranes or there may be muscular
15 involvement.

16 The Center for Disease Control considers
17 a definite case of TSS as one that fulfills all five
18 criteria. And a probable case fulfilling four of the
19 five criteria. We also consider possible TSS cases as
20 those that fulfilled three of the five criteria and
21 those that only reported TSS regardless of the
22 criteria reported.

23 As was previously described by Mary
24 Delaney, there are two types of Toxic Shock Syndrome.
25 It's considered menstrual if the symptoms occur during

1 menses or even a few days after the end of menses.
2 Non-menstrual TSS includes all other causes including
3 use of barrier contraceptives such as the diaphragm
4 and sponge, surgical and cutaneous infections and has
5 occurred in post-partum, post-abortions patients.

6 The overall incidents of non-menstrual TSS
7 is low and has remained relatively stable over the
8 past decade. Our database contains 5,930 reports with
9 over 13,000 adverse events reported in association
10 with the sponge. Each report may and often does
11 contain more than one event. The time period for
12 these reports is from approval in 1983 to 1997. The
13 patients range from 12 to 55 years of age and the
14 majority of the reports occurred in female patients.
15 The report of serious outcomes include 168 patients
16 that required hospitalization, ten that reported a
17 life threatening event and two that reported
18 disability. There were no deaths or congenital
19 anomalies reported.

20 This graph shows the top ten adverse event
21 terms reported with the Today Sponge. The red bars
22 represent the total count of each event and the green
23 bars represent those with a serious outcome reported.
24 The term with the highest frequency is cervical
25 disorder with a count of 1,072. This is followed by

1 vaginitis, unintended pregnancy, migration of implant,
2 vaginal discharge, application sight reaction,
3 pruritus, infection, menometorrhagia and unevaluable
4 reaction. The infection reports show a larger
5 proportion with a serious outcome. As will be
6 discussed later, the cervical disorder and migration
7 of implant appeared to be primarily reports involving
8 sponge removal difficulty.

9 To identify cases of TSS, we searched our
10 database for all cases from 1983 to '97 with the
11 following adverse event terms: infection, bacterial
12 infection, sepsis, acute circulatory failure and
13 hypotension. We selected for review cases that met at
14 least three of the CDC criteria or those that reported
15 TSS. These numbers may vary a little bit differently
16 than the information that was handed out about a month
17 ago.

18 One hundred fifty-two cases of 305 that we
19 review met at least three criteria or reported TSS.
20 The ages range from 16 to 42 years of age with a
21 median and mean age of 25 and 26 years. One hundred
22 eighteen patients required hospitalization. Twenty-
23 three reported the event as life threatening or the
24 patients were admitted to the ICU. Twenty-three
25 patients did not require hospitalization but were

1 treated as outpatients with antibiotics. And the
2 outcome in 11 cases was not reported.

3 The time to onset of symptoms after the
4 use of the sponge range from one to four days. The
5 total time in the vagina range from 1.5 hours to six
6 days. Twelve patients reported a vaginal time of
7 greater than 30 hours. TSS risk factors or possible
8 risk factors were identified in approximately 20
9 percent of the cases. Seventeen reported symptoms
10 within three days of the beginning or end of menses
11 and six may have been using the sponge while
12 menstruating. Sixteen were post-partum ranging from
13 four to sixteen weeks with six under six weeks post-
14 partum. Twenty-three reported difficulty removing the
15 sponge and 12 required medical assistance to remove
16 the product.

17 So of all the 152 cases, 25 met five
18 criteria and are considered definite, 27 met four
19 criteria and are considered probable and 100 met three
20 criteria or reported TSS and are considered possible
21 cases.

22 This graph show the TSS cases by the year
23 of occurrence. The red bars represent the total
24 number of TSS cases and the green bars represent the
25 probable or definite TSS cases. 1984 had the highest

1 number of TSS cases reported in association with the
2 sponge. There were 27. It also had the highest
3 proportion of cases that were considered probable or
4 definite and this 74 percent.

5 From 1985 to 1994, the numbers of reported
6 cases range from 17, I'm sorry, from 17 to 16 per year
7 with an average of 12 cases per year. The proportion
8 of probable or definite cases during those years was
9 38 percent or less. This may represent either that
10 the reports lack the document symptoms because many of
11 the earlier cases were submitted along with the CDC
12 report form or they provided medical records in
13 addition to the report form. It may also, however,
14 represent increased knowledge recognition of toxic
15 shock.

16 I'm next going to talk about sponge
17 removal difficulty. Most coded -- most were coded in
18 our database as cervical disorder and migration of
19 implant. And this represented a little over 1,800
20 reports. We chose a random sample of 10 percent of
21 these reports for review. Of the 188 cases that we
22 reviewed, 187 reported inability or difficulty in
23 removing the sponge. There was one report of
24 cervicitis.

25 Of those cases that reported difficulty in

1 removing the sponge, 116 required assistance from a
2 healthcare provider to remove this sponge. Most of
3 these, however, were earlier on and occurred -- seemed
4 to occur between 1984 and 1991. There were 12
5 patients that were able to remove the sponge after
6 instructions were provided by the 1-800 number. The
7 outcome of 59, however, were not reported. The sponge
8 insertion time was reported in 108 cases and range
9 from 30 minutes to six days. Thirty-five cases
10 reported an insertion time of greater than 30 hours.
11 Some common events that may have been related to
12 sponge removal difficulties include infection, vaginal
13 bleeding, vaginitis, urinary tract infection and pain.

14 Our review of the random sample of these
15 cases indicate that sponge removal difficulty
16 represents at least 13 percent of all of the adverse
17 events reported with the sponge. In 62 percent of the
18 cases reviewed, medical assistance was required to
19 remove this sponge. The outcome was unknown in almost
20 one-third of the cases reviewed which may suggest that
21 more aggressive follow-up by the sponsor is warranted.

22 In 19 percent of all cases reviewed,
23 sponge removal difficulty resulted in vaginal exposure
24 of greater than the recommended 30 hours. We reviewed
25 all reports that were flagged as serious to determine

1 if there were any adverse events that are unexpected
2 or not previously known to be a safety issue. The FDA
3 defined serious as cases resulting in death,
4 hospitalization, disability, those reported as life
5 threatening or those resulting as a congenital
6 anomaly.

7 We identified a total of 169 reports with
8 a serious outcome. One hundred eighteen of these were
9 the TSS cases, 51 represented other serious events, 36
10 of the 51 were non-TSS infectious adverse events and
11 15 were non-infectious events.

12 Of the non-TSS infectious events, the only
13 event that appeared to be unusual were two reports of
14 peritonitis. The -- These provided various sketchy
15 information and both cases were reported by the same
16 physician. The miscellaneous cases include
17 undiagnosed infections or reports of symptoms that may
18 have been suggestive of an infection. The other
19 events did not appear to be unusual or large in number
20 for whom the product might be used for.

21 Of the non-infectious events, there were
22 two sponge removal difficulty cases that were not
23 picked up during our random search. In one case, the
24 patient underwent an exploratory laparotomy to have
25 the sponge removed. There were two reports of

1 systemic allergic reactions requiring ER treatment and
2 overnight stay for one patient in the hospital. It's
3 not clear if these were life threatening.

4 So, in conclusion, the safety issues
5 previously identified remain a concern. TSS appears
6 to be the most serious adverse event reported with the
7 Today Sponge. Sponge removal difficulty appears to be
8 the most frequently reported event. The other
9 commonly occurring events such as vaginitis appear to
10 be minor, however, a comprehensive review was not
11 conducted.

12 Based on our review of all serious events,
13 there were no apparent additional or unexpected safety
14 issues not already identified.

15 Thank you. Next, Gloria Chang will be
16 discussing labeling.

17 MS. CHANG: Good afternoon. Guess what?
18 I'm the final speaker. By the way, I want to thank
19 Daniel Keravitch for all his help in doing these
20 slides.

21 My presentation will be covering our
22 proposed labeling for the Today Sponge. Put it down.
23 Okay. Basically, I'll start with an overview of the
24 new OTC labeling rules, then the original carton
25 labeling for the Today Sponge, proposed carton

1 labeling for the Today Sponge and the proposed changes
2 to the package insert.

3 On March 17th, 1999, the agency published
4 an OTC labeling requirements final rule, codified in
5 21 CFR 201.66. That rulemaking required standardized
6 content and format for all OTC drug products in what
7 they call drug facts format, format and content. The
8 agency believed that these labeling requirements would
9 make it easier for the consumer to recognize where to
10 find the information and also would make the labels
11 more legible and readable.

12 The drug facts labeling content
13 requirements required the following: that all
14 information would be organized under title, headings
15 and subheadings; that all drug facts label information
16 must appear on the outside of the container or wrapper
17 of the retail package or on the immediate container if
18 there was no outside container or wrapper; and that
19 the headings and subheadings appear in a specific
20 order which would allow the consumers to more quickly
21 make a decision as to whether they should use the
22 product, when to stop using the product and how to use
23 the product.

24 The standardized headings are in the
25 following order: title, which is always titled drug

1 facts; the active ingredients or ingredient and the
2 amount; the purpose; the use or uses; the warnings.
3 And under the warnings, there is a standardized order
4 for the subheadings. For example, do not use; stop
5 use and ask a doctor if.

6 Next comes the directions. After the
7 directions, there is a heading titled other
8 information. This section includes information not
9 included in the other sections, but for which the
10 agency feels is pertinent to the safe and/or effective
11 use of the product, or information that is required
12 under an OTC drug monograph.

13 The last section is the questions or
14 comment sections which provide phone numbers for
15 consumer inquiries.

16 The labeling rule requires standardized
17 formats so that there is consistency in the format for
18 all labeling. For example, there are type size
19 specifications for the headings, subheadings and text.
20 There are also bulleted format to improve readability.

21 The agency considers the labeling for OTC
22 drug products, considers the carton, which consists of
23 the principal display panel and promotional labeling
24 and the required labeling, for example, the drug facts
25 label, as part of the labeling. Also, the package

1 insert. Dan.

2 I'm going to make some comments on the
3 1991 labeling. As you can see, this part is called
4 the principal display panel, and Dan's going to focus
5 on the -- this section is the approved label which
6 contains the directions and warnings. Basically, as
7 you can see, the directions are in a paragraph format
8 which we believe is difficult to read. Also, the
9 important information is not emphasized. For example,
10 the toxic shock statement is not prominently
11 emphasized. And as you can see, they are in two
12 separate sections, this section, and also the symptoms
13 are in this section right here.

14 Further, there is no statement in the
15 labeling that addresses or informs consumers of the
16 serious nature or potential life threatening nature of
17 this, of toxic shock syndrome. Just, I think that's
18 that for that.

19 I just want to show you the other
20 information as far as the inactive and active
21 ingredients are on the other, the back panel. Can you
22 go back? Should I just click? Great.

23 We have revised the Today Sponge labeling
24 as follows. Oh, I have, is that the, did I click?
25 Oh, I'm sorry. I'll start with this one.

1 Thus, there are some reasons for the
2 labeling revisions are to remove misleading statements
3 such as reliable protection without serious risks of
4 dangerous side effects, and extremely effective.

5 Other reasons for the revisions are the,
6 to improve the order of information so there is more
7 emphasis on the warnings, in particular, the toxic
8 shock syndrome warning. Also, to add additional
9 important information such as AStop use and ask a
10 doctor if@ statements, and to improve directions and
11 other information for better understanding of the
12 safety concerns and other public health issues.

13 We have revised the Today Sponge labeling
14 as follows. First of all is its standardized order in
15 accordance with the drug facts format. And this is a
16 copy -- Helen, did you show the, you showed the copy
17 of the original label. We're going to break this down
18 to make it more readable for all of you.

19 Please note that the revisions are in red
20 font, are the new additions to the label or statements
21 which we have either moved or revised from the
22 original labeling, and the statements in the black
23 font are the statements pulled from the former
24 labeling which may have reformatted into the new drug
25 facts label.

1 I will be discussing particular sections
2 of our proposed drug facts labeling. And this section
3 is the warning section. Significant revisions were
4 made to this section. First and foremost, the TSS
5 warning is prominently displayed as the first specific
6 warning. We have added the statement TSS is a rare
7 but serious disease that may cause death. Additional
8 revisions are what you can see on the slide.

9 In addition, we've added the allergy alert
10 section to inform consumers that this product contains
11 a sulfite, and also that this product contains
12 nonoxynol-9.

13 We have also asked that the various
14 revisions in red to this section.

15 I want to focus in primarily on the Astop
16 use and ask a doctor if.@ This section was provided
17 to alert consumers so that they would know if certain
18 conditions would develop during sponge use. Note that
19 this information was not in the 1999 final printed
20 label.

21 Under the directions, we have bulleted the
22 information to make it easier for the consumer to read
23 and added additional directions. I lost my pointer.
24 We've, we've, we've moved the statement Ado not leave
25 in vagina for longer than 30 hours@ from the warnings

1 into this section and bolded for emphasis. Next.

2 Under the other information heading, we
3 added two new bulleted statements. The first is to
4 inform consumers of the availability of the pregnancy
5 rates, birth method, birth control methods table in
6 the package insert and to provide public health
7 information statement of the use of latex condoms to
8 reduce the risks of the transmission of HIV and STDs.

9 Now, I'm going to go and discuss the
10 changes to the package insert. Because of time
11 restraints, I will only be discussing some of the
12 major ones. First would be to improve the
13 presentation of the efficacy data.

14 Second is to include the pregnancy rate
15 birth control methods comparative table in the package
16 insert.

17 Third, we added, just wanted to basically
18 tell you that we added some references to reducing the
19 risk of sexually transmitted diseases in the package
20 insert. I lost the pointer. Okay.

21 Fourth, we made format and minor editorial
22 changes to the package insert. As you, as you can see
23 from the original efficacy data statement and efficacy
24 table, I think it is basically, thank you, I think
25 it's basically very confusing to a layperson. In

1 fact, I sort of got confused by reading it also.

2 What we did was we basically revised and
3 simplified the statements on the efficacy statement to
4 make it more consumer friendly, and I believe someone
5 already discussed the revised statement.

6 I'll talk a little bit about the pregnancy
7 rate table. Back in 1997, the Center for Devices and
8 Radiological Health in collaboration with CDRH, which
9 is the Center for Drug Evaluation and Research, and
10 the Office of Women's Health adapted the pregnancy
11 rate table from Trussell's Contraceptive Technology
12 basically to, to provide the consumers with
13 information to make the informed, to -- let me start
14 over. To provide consumers with information to make
15 informed choices as to the best birth control method
16 for them. At present, the table is included with all
17 prescription oral contraceptives and is a regulatory
18 guidance for other CDRH and CDER birth control
19 products.

20 In -- these are my conclusions. Current
21 labeling can be improved. The drug facts format
22 places emphasis on the warnings, such as the TSS
23 warning and the Astop use and ask a doctor if@ are
24 examples of that. And provides more readable and
25 informative information to the consumer to improve the

1 safe and effective use of the Today Sponge. Thank you
2 very much.

3 DR. CANTILENA: Okay. Thank you very much
4 for actually staying ahead of schedule.

5 MS. CHANG: And I wanted to -- right. I
6 was going to turn the podium over to Dr. Cantilena for
7 questions and comments.

8 DR. CANTILENA: I'm sorry. I beat you to
9 the punch. I apologize. Yes, actually, we are at the
10 point now where we have some time to ask the FDA
11 presenters specific questions that you may have. So
12 let me open it up to the, to the committee. Dr. Uden?

13 DR. UDEN: We've had toxic shock syndrome
14 data presented a few times here today. You have a
15 database here at the FDA. There's also a database at
16 the CDC. How many other places is, is the data, data
17 kept and has anybody compiled the data in one place so
18 that we can get a relatively good estimate of the
19 risk?

20 DR. KARWOSKI: Well, I actually don't have
21 the answers for that. Not that I'm aware of. I would
22 imagine that CDC probably has the best numbers. Ours
23 is a voluntary and a passive surveillance, and so we
24 only rely on consumers or health care providers to
25 report that information to us.

1 DR. UDEN: Are those the two major sources
2 of, other than primary literature, finding the
3 incidence of toxic shock syndrome?

4 DR. CHIN: Let me clarify that a little
5 bit more. What we have in our database that was
6 presented by Claudia is information that was
7 voluntarily submitted or regulatorily (sic) required
8 to be submitted to the FDA's spontaneous reporting
9 system or the current AER as database.

10 What CDC has is TSS is a reportable
11 disease, and so it has to be reported to CDC. And
12 they compile the statistics that you would see for a
13 lot of infectious disease. The difference in the
14 database is that what we have in terms of the adverse
15 events, it would be adverse events that were reported
16 in conjunction with the use of a sponge. It doesn't
17 mean that it causes the adverse event, but it was in
18 conjunction with the use.

19 What CDC's information has is the number
20 of TSS cases, but doesn't necessarily break it down
21 into what was used in association with the case
22 itself. So they're really separate types of numbers.

23 DR. CANTILENA: Other questions? Yes, Dr.
24 Davidson? Your microphone?

25 DR. DAVIDSON: Thank you, forgot. I have

1 a couple, you know. You reported cases of disability,
2 but you didn't say what type of disability they were.
3 Could you tell us what disability meant in the cases?

4 DR. KARWOSKI: The outcomes are very
5 subjective. That really depends on what the
6 individual that reported the event may have thought.
7 For the disability, there was, in my opinion, there
8 was no apparent disability that was caused. One of
9 them was the TSS cases. They reported life
10 threatening hospitalization and disability, but there
11 was no apparent long-term type disability associated
12 with that.

13 The second case was a disability.
14 Essentially, what the patient reported was that
15 following the use of the sponge, her and her husband
16 were not able to perform sexually as they had prior to
17 the use of it. So that was the, the second disability
18 that was reported.

19 DR. DAVIDSON: Thank you. You know, we
20 already commented before from previous presenters that
21 the highest rate was seen in 1984, okay. Do we have
22 any data of the exposure, you know, in 1984, 1985,
23 1986 of sponge use to see if there's, if it is a
24 relationship between the usage and the cases. Or it
25 was the, you know, after 1984, people were better

1 educated, you know, to draw some conclusions why it
2 really went down after 1984?

3 DR. KARWOSKI: After, actually it didn't
4 really go down. It stayed somewhat stable. There
5 were bumps throughout the years. We don't have any
6 actual usage data. All we have is some data regarding
7 the distribution of the product, so the sales of the
8 product.

9 But we don't have numbers of how many
10 people actually used the product or how frequently
11 they may have used it. So we can't really put a
12 denominator on that. But what we're somewhat sure of
13 that the use did decrease somewhat over the years, and
14 the rate of TSS, or at least, the reports that we had
15 received didn't really decline that much, at least
16 over, after 1985 to 1994, that they remained somewhat
17 stable with little, you know, small fluctuations,
18 varying, you know, throughout the years.

19 DR. DAVIDSON: From the data you
20 presented, you know, even though there were some small
21 fluctuations, the tendency was to go down. Maybe I,
22 no? Okay. Then it remained about 47 cases per year?

23 DR. KARWOSKI: Well, we had only one case
24 reported in 1995, but the product at that point was --

25 DR. DAVIDSON: But the product was

1 withdrawn, right.

2 DR. KARWOSKI: -- had stopped.

3 DR. DAVIDSON: Okay. And one final
4 question, when you report infections, and you report
5 vaginitis in different sections, the vaginitis was
6 also included in the infections or were they reported
7 totally separate for everything?

8 DR. KARWOSKI: The vaginitis in our
9 database are considered infectious type of
10 complications.

11 DR. DAVIDSON: Then it was reported twice?

12 DR. KARWOSKI: May have. There may have
13 been an infection and vaginitis at the same time.

14 DR. DAVIDSON: Thank you.

15 DR. CANTILENA: Yes, Dr. Neill?

16 DR. NEILL: I have two and a half
17 questions, the first to Dr. Karwoski. You discussed
18 the, a couple of examples of monitoring systems. Can
19 you give me an example of a system that's currently in
20 use that actively as opposed to passively monitors
21 adverse events for a currently marketed OTC product
22 that's subject to an FDA, NDA or OTC monograph?

23 DR. KARWOSKI: We don't currently know of
24 or are aware of any type of systems that would do
25 that.

1 DR. NEILL: So for other similar products
2 to this that would fall under similar FDA regulation,
3 there's not an active process in place. So if we were
4 to shortly hear a charge that were to ask us to
5 consider whether or not there should be some active
6 collection or an active process for monitoring adverse
7 events, this would be a first. Is that safe to say?

8 DR. KARWOSKI: That, this would, as far as
9 I'm aware.

10 DR. NEILL: Okay. My other questions have
11 to do with putting statements about efficacy on the
12 carton. And it's sort of a question and a half, so
13 I'll ask them together, and whoever from the FDA or
14 Allendale wants to address this, please feel free.

15 Is FDA aware of any product that's
16 similarly under an NDA or OTC monograph that has
17 efficacy labeling on the carton by virtue of it being
18 required to be there by FDA, and if so, is there
19 language in the recent Federal Register regulations
20 that require that? My understanding being that the
21 proposed label that you just reviewed includes all of
22 the bullets and components that are required.

23 And I guess my perception is that if we're
24 being asked to judge whether or not efficacy data for
25 this should be on the carton, comments about, you

1 know, having additional process hearings to make sure
2 it happens for other products aside, I'm curious to
3 know are there other products for which that's already
4 happened, that the FDA has asked that that happen?

5 DR. GANLEY: Yeah, the one that comes to
6 mind, at last on the carton is Rogaine or topical
7 minoxidil, where it's included in other information,
8 where it provides efficacy information to the consumer
9 at the point of purchase. There are other products
10 that provide efficacy information in package inserts.
11 H2 blockers for heartburn, for example, would be an
12 example.

13 DR. NEILL: Are, were those for Rogaine
14 and some of the H2 blockers required by the FDA to be
15 on the carton?

16 DR. GANLEY: Yes, they're marketed under
17 NDAs and so we, we require that. I don't believe
18 there's any monographs that would fall into that
19 category right now.

20 That doesn't say that in the future there
21 won't be, but, but from a regulatory point of view,
22 you can require someone to do that.

23 DR. CANTILENA: Yes, Dr. Blewitt?

24 DR. BLEWITT: Just two, two points I think
25 that are relevant here. First, I think that with

1 regard to comparative efficacy, that has not been
2 required. So you make, make a statement about
3 individual efficacy. You wouldn't have, at this point
4 in time, there is no, there are no comparative
5 efficacy statements required on labeling.

6 In addition, the, with regard to adverse
7 events monitoring, it seems to me that any, any
8 product that's subject of a new drug application has
9 to both monitor and submit to the agency reports of
10 adverse events that they received, so, so that is
11 required.

12 DR. GANLEY: Yeah, I just think that, I
13 got the, my impression of your question was that there
14 was some type of phase four commitment where we
15 required a company to go out actively and look for
16 cases in a population as opposed to an individual
17 passively reporting it. I think that's what your
18 question --

19 DR. NEILL: Yes, exactly. For an OTC
20 product where, as a health care provider, I'm not
21 involved except in some circumstances in a decision to
22 go and pick it up off the shelf, I'm not going to fill
23 out a MedWatch form and send it in for a patient that
24 by all rights may never see me and may only speak to
25 the, may only speak to the company. And so I'm

1 interested in active looking versus passive.

2 DR. CANTILENA: Other questions for FDA,
3 Dr. Johnson?

4 DR. JOHNSON: Yes, my question is
5 primarily directed to Dr. Chang, and that has to do
6 with the comparator table of efficacy. And I'm
7 wondering if you can comment on Dr. Greenslade's
8 comments about how that table was derived. I mean,
9 frankly, the sentence he read bothers me a little, and
10 the fact that it's in other contraceptive products
11 doesn't, doesn't necessarily make it what sounds like
12 good data.

13 MS. CHANG: I believe that the agency
14 wanted some kind of a method that, to have the
15 consumers basically make an informed choice. It's
16 generally not to, to improve that process of selecting
17 their birth control method. And it was mainly for
18 informational purposes so they can make that choice,
19 that maybe because of their condition they should not
20 use this product, because of, of the dangers of
21 getting pregnant, they should use another product that
22 may have more of a, a better pregnancy prevention
23 claim for an efficacy statement.

24 DR. JOHNSON: Well I, yes, I think it's a
25 great idea to provide information to consumers so they

1 can make decisions, but if the information is flawed
2 or is not based on real data, then I'm not sure that
3 that is useful information for a consumer.

4 MS. CHANG: I believe, and I can ask Dr.
5 Chang on that, Dr. Chin on that one, but basically,
6 Dr. Trussell, it was more of a retrospective type of
7 a data base. Is that right, Ling? Okay.

8 DR. CHIN: Let me see if I, I'll try to
9 clarify this. The Trussell table is a reference
10 table. In terms of trying to get a sense of birth
11 control rates for the different, or pregnancy rates
12 for the different birth control methods, we have to
13 come up with one reference, one table that hopefully
14 would give consumers a sense of how effective each
15 birth control method is. And I agree. If you look at
16 the methodology that was applied to how that table was
17 developed, it is definitely not consumer friendly.
18 It's above most everyone's reading of it, and, and I
19 tried to get through it. It's very difficult.

20 But the agency undertook a point of
21 reference by which information that is from a reliable
22 source and Contraceptive Technology is an accepted
23 reliable source for contraceptive information. And as
24 far as contraceptive rates, I mean as far as pregnancy
25 rates are concerned, that's an accepted point of

1 reference.

2 Aside from that, the agency tried to make
3 that table more consumer friendly. We did adapt it
4 somewhat and the table was subject to focus group
5 discussions, so that the presentation of the table is
6 slightly different than the table in Trussell's table.
7 It's more consumer friendly than that. It is really
8 just a means of providing information across the board
9 of all the various methods, knowing that we do not
10 have one single clinical trial that will do head to
11 head comparisons of every method, and by which we can
12 come up with the usual standards of comparing
13 effectiveness by each method. And that's the point of
14 the table. Does that clarify it?

15 DR. JOHNSON: I mean, it, it clarifies,
16 but I guess it doesn't sort of get at the base of how,
17 how valid is the data in that table.

18 DR. GANLEY: Well, I think one of the
19 things you have to remember that this was a table
20 that's included in prescription products. There's a
21 learned intermediary there who can read it and
22 hopefully understand it.

23 And I think there's two things to, to
24 understand here -- is conceptually, should we have
25 that information in OTC products, number one. And

1 number two, is how should that information be
2 prevented (sic)? I would agree with the presentation
3 that I find it very unconsumer, it's not consumer
4 friendly and, but the question, I, the first question
5 is should we have comparator information so the
6 consumer can make that choice. And then we can decide
7 on what the adequate reference is and how to present
8 that information. I think that's what we're trying to
9 get at.

10 You're looking at a table that a, a
11 physician can read, understand certain caveats in it
12 and convey those to a consumer, and I would totally
13 agree that a consumer, and even I have a problem
14 looking at that table and understanding the rates in
15 the comparator columns that he had pointed out
16 earlier, so.

17 DR. CANTILENA: Yes, Dr. Blewitt?

18 DR. BLEWITT: Yes, I, I agree with Dr.
19 Johnson though, and I agree with the point that's
20 being made, but if the data are quote flawed, which is
21 a term that I've actually used myself, if there are
22 better data that exist, or if there are better ways to
23 find out what, what the real data are, then, you know,
24 that would be the ideal situation, but I think to take
25 data that, or, I ask that the sponsor speak to this,

1 too. If these are not hard data, then you're taking
2 soft data or inferential data it sounded like to me
3 and now you're making it more consumer friendly. So
4 I don't think that that accomplishes what you want to
5 accomplish either.

6 I, I agree that in a situation like this,
7 you know, where pregnancy is the risk, if you will,
8 that the women should understand what the comparative
9 benefits are, of products are. The question is how
10 good are the data, the comparative data that they're
11 trying to interpret.

12 DR. CANTILENA: Yes, you know, actually,
13 I have a, a question if I can ask the, the folks on
14 the committee who actually practice in this area just
15 to get sort of your read. Are the numbers that are in
16 that table, Drs. Greene and Lerner, are they within,
17 you know, the ballpark, or --

18 DR. LERNER: No.

19 DR. CANTILENA: Okay. Could you, could
20 you comment a little more on exactly what you mean by,
21 you know, they're not in the ballpark and especially
22 if there's a way in which you can present, you know,
23 relative information.

24 DR. LERNER: In, in our out-patient clinic
25 which is just a very typical, you know, low socio-

1 economic Medicaid type patient population, we have a
2 beautiful poster -- I don't know the source of the
3 poster; I'm sure I can find out -- that actually has
4 all the benefits and, you know, sort of the
5 advantages, the disadvantages, a little, pretty
6 graphic on all the different methods. And the, you
7 know, estimates on efficacy rates. And you know,
8 that's sort of what we, you know, use in all our, you
9 know, OBGYN techs.

10 I'm sure the American College of OBGYN or
11 the, you know, family planning organizations must have
12 reasonable data. I'm sure there's data out there,
13 other than Contraceptive Technology, not that I'm
14 belittling that, but I kind of am. That I think that
15 as a much more global scope, that we might, or you
16 might sort of do an, a detailed in-depth review of
17 some of the references and just try and find out with
18 some, you know, find out some reasonable numbers and
19 then just, you know, put them in all of the, all of
20 the inserts as needed because I, I don't think they
21 are.

22 You know, we quote, you know, roughly what
23 we've seen which is barrier methods, roughly in the
24 ten percent range, so to think that the Today Sponge
25 has 40 percent, which was listed on that, just is

1 really way out of, of the realm of what we, we sort of
2 quote to patients and our estimates.

3 DR. GANLEY: I think in the one column
4 that was pointed out were typical use rates, and you
5 know, I would agree with the comments earlier. But I
6 think something like the lowest expected rate of
7 pregnancy, is that way out of the ballpark? Where it
8 actually lists the vaginal sponge as nine percent?

9 DR. LERNER: No, that's reasonable.

10 DR. GANLEY: Yes, that's what I'm, so
11 there is some information there that shows you in
12 terms of order magnitude compared to other methods.

13 DR. LERNER: But then the table needs to
14 be modified.

15 DR. GANLEY: Right, I'm --

16 DR. LERNER: No, then the table needs to
17 be modified.

18 DR. GANLEY: Right, I --

19 DR. LERNER: And just, you know, we
20 usually quote, you know, abstinence, a hundred
21 percent, you know, tubal ligation, you know, 99.5
22 percent, OCPs 98 to 99 percent, you know, condoms,
23 diaphragm, you know, everything, withdrawal, you know.

24 DR. CANTILENA: Okay. Further comments,
25 Dr. Greene? Would you like to add to that?

1 DR. GREENE: Yes, I'd, I'd like to address
2 that. I certainly agree. I think that the numbers
3 quoted here are way out of line and much higher than
4 we would normally quote our patients. And most
5 reference material that we would use, certainly, the
6 American College of OBGYN does have a, a patient
7 information literature that has numbers that don't
8 resemble this even closely.

9 I would like to revisit, since we're at
10 this point, the issue that was brought up a little
11 earlier, which is your denominator. And I would
12 certainly favor or recommend that the, sort of the
13 industry standard is how many pregnancies occur among
14 a hundred women using the method for one year, a
15 hundred women years.

16 Now, that can be made, that can be made
17 readily understandable for patients. And the problem
18 of having less than one woman per hundred women years
19 is understandable. Patients understand that. And
20 whether it's one in a thousand or one in two thousand,
21 most women don't worry about those differences too
22 much. If you just say less than one woman in a
23 hundred using the method for a year, people understand
24 that.

25 And with that as the standardization, I

1 think you could get numbers here that are very easy
2 for lay people to understand, and much more in line
3 with what we generally quote our patients.

4 DR. CANTILENA: Okay, thank you very much.

5 DR. LERNER: And just one further thing.

6 I, I do assume that we use all the American College of
7 OBGYN. They have tons of patient and physician
8 information stuff, so I, I do encourage you all to
9 sort of look into that.

10 DR. CANTILENA: Okay.

11 DR. GANLEY: Yes, I just want to point out
12 one thing that, you know, Dr. Greenslade hadn't really
13 touched on. And one of the reasons, I think, in our
14 proposed labeling where it said one out of ten is we
15 really didn't know where that rate came from and what
16 it was pertaining to, because if you actually look at
17 the current labeling, it's written as in clinical
18 trials of today, vaginal contraceptive sponge since
19 1979, over 18,000 women worldwide have completed over
20 12,000 cycles of use. The results of these clinical
21 trials are as follows, pregnancy rates per 100 women.
22 And they just list them.

23 And I think it goes back to what Dr. Chin
24 had reported, that when this was initially approved,
25 if you remember, the, the pregnancy rates, it was 12

1 month pregnancy rates. And then a year later, we're
2 just talking about pregnancy rates without any time
3 frame. And that's why, you know, we went through the
4 regulatory history, because we're a little confused as
5 to what rate we're talking about there, too. But I
6 think we understand your point.

7 DR. CANTILENA: Okay, Dr. Uden?

8 DR. UDEN: If I can -- are we done with
9 that? Because I wanted to ask a question about the
10 allergy alert, so if we're not --

11 DR. CANTILENA: Is this a question that's
12 you know, specifically to FDA?

13 DR. UDEN: Yes, it's specifically to FDA.

14 DR. CANTILENA: Yes, okay.

15 DR. UDEN: Dr. Karwoski, in the allergy
16 alert, it, in the suggested label changes, it was TSS
17 and then allergy alert was right after that, if you're
18 allergic to metabisulfites.

19 I noticed in the data that you reported
20 that there were only two allergic reactions. Has
21 there been any allergic reactions associated with the
22 sponge that have been systemic allergic reactions or
23 are these local allergic reactions, and are they
24 presented in your, one of your graphs as pruritus?

25 DR. KARWOSKI: We didn't, I didn't go back

1 and pull all cases of any, you know, allergic type of
2 reactions. These two were systemic type of reactions.
3 There are other cases, but we didn't review that.

4 DR. CANTILENA: Okay. Dr. Krenzelok?

5 DR. KRENZELOK: Back to the more mundane,
6 being the director of a poison center, I'm always
7 sensitive to what children put in their mouths. Now,
8 I notice on the label it says keep out of the reach of
9 children. That seems right.

10 Unless I've missed it, I didn't see
11 anything about proper disposal methods of the sponge
12 after it's been used. And it might get tossed into
13 the toilet inappropriately or into a garbage can or
14 wrapped up or whatever. But children see those as
15 attractive nuisances, as disgusting as that might
16 seem.

17 I guess my question is in your passive
18 surveillance system review, did you find -- I'm not
19 worried about the toxicity of, of at least, the parent
20 product. But did you find any instances of, of
21 choking among small children at all, who might have
22 gotten these, chewed them, swallowed them and had a
23 problem?

24 DR. KARWOSKI: We didn't go and look at
25 those specifically, but the lowest age that we found

1 for an adverse event report was 12 years old, so I
2 would assume that no, we haven't had any reports.

3 DR. KRENZELOK: Okay. Thank you.

4 DR. CANTILENA: Okay, what I think I'd
5 like to do now is move actually to the charge to the
6 committee by Dr. Ganley and then we'll still have
7 ample time for discussion prior to going into the
8 questions.

9 DR. GANLEY: Yes, I'm just going to keep
10 my remarks brief since I had made some earlier remarks
11 to try to focus the discussion. I think the one thing
12 I, I just want to emphasize again that, you know, one
13 of our purposes for reviewing the entire data base is
14 as a division, we weren't familiar with this product.
15 And so we weren't familiar with the safety of the
16 product. And we thought it warranted a safety review
17 to see if the other information needed to be included
18 in there.

19 In doing that, I think we developed a
20 comfort level that there was still a benefit, the risk
21 benefit still favored this product to be marketed.
22 That's number one.

23 And I think the other thing that I want to
24 make a point of that in the years since the sponge
25 discontinued the marketing, the, the agency has gone

1 to great lengths to try to improve the OTC labels.
2 And we've developed standards now that are actually in
3 the codified regulations.

4 And so, I think our position is that we
5 should try to improve this label before it goes back
6 on to the market, once they get their chemistry issues
7 resolved. And we can just go to the questions now.

8 And these are just the questions that we
9 had brought up. And one was given the material
10 provided in your briefing packages and presented
11 today, does the revised labeling adequately convey the
12 risk associated with the use of the product? The
13 current carton label does not contain information on
14 the efficacy of the product.

15 Should the carton label include efficacy
16 information so that the consumer will have this
17 information available at the point of purchase? And
18 I think in writing this question, we were focusing
19 more on the information that had come from the
20 clinical trials, rather than the comparative. But if
21 you want to comment on the comparative part -- and we
22 were looking at more in the vein, I think, of what is
23 currently on the topical minoxidil for hair growth as
24 providing that type of information.

25 And if, yes, it's this type of

1 information, should it be on other OTC products?
2 That's the important thing there.

3 Are there other aspects to the labeling
4 that we, that should be revised? We're interested in
5 any comments. And the other thing is to please
6 provide comments on the type of post-marketing
7 surveillance for adverse events the sponsor should
8 conduct. And I think the reason for that is, as
9 Claudia had pointed out, as years progress, the
10 reports that have come in have been lesser quality.
11 It's very hard to look at these things as a safety
12 reviewer and make some determination of causality.
13 And we, we think it's very important that these
14 reports, if they're, if they're given to a sponsor, be
15 well written, someone follows up on them, collects
16 information and then provides them to us.

17 I think the other issue is the type of
18 information we should ask in terms of the company
19 getting calls from consumers about difficulty removing
20 the sponge. And how should that be cataloged? What
21 kind of follow-up should be provided? Should the
22 company contact the consumer a day or two later just
23 to see that everything's okay? And those are the
24 types of things that I think we're interested in.
25 Thank you.

1 DR. CANTILENA: Okay. Thank you, Dr.
2 Ganley.

3 I think, actually, just before we go to
4 the, to the specific questions, what I'd like to do is
5 invite the committee, actually, individually. We'll
6 sort of go around the table, just to offer sort of
7 general comments regarding some of the issues in terms
8 of what they've seen.

9 Some of, you know, the conflicts that, you
10 know, they've identified, and then after we go around
11 and everyone's had a chance to sort of air their
12 concerns or express their opinions regarding sort of
13 the global issues, then we'll come back and go through
14 the questions, one by one. So we'll, actually, if you
15 don't mind, perhaps we can start over on this side.
16 Dr. Krenzelok, if you'd like to share with us your
17 thoughts at this point without actually specifically
18 answering questions.

19 DR. KRENZELOK: All right. Thank you very
20 much.

21 Just a couple of things that have, have
22 sort of dawned on me as we've discussed this. From
23 the standpoint of, of this as a, a package that might
24 contain three or six or nine or twelve sponges, as I
25 stated earlier, it seems to me like there needs to be

1 information on each and every sponge that talks about
2 how to use the product properly and so on, rather than
3 there being a single package insert for the container.
4 Again, given the portability of them and the ease of
5 taking them and throwing them into a purse or a
6 briefcase or something of that nature.

7 Another thing that, that I'm sensitive to,
8 again, working in a, in a 24-7 type of situation is
9 the fact that I think here's an opportunity to be very
10 proactive with surveillance. So that in sort of a
11 passive way, to have 24-7 availability, not just
12 through pagers, but have a real live body there, a
13 competent person. And one of my thoughts on that is
14 perhaps that it might be wise to out-source something
15 like this to a nursing triage service, like Ask-A-
16 Nurse, who's there's 24 hours a day, seven days a week
17 as a, as a possibility.

18 And then the other thing along those
19 lines, and, and it's been addressed before is the
20 importance of some of those people having bilingual
21 capabilities, at least Spanish and English for this
22 country. So those are just the thoughts that I had.

23 DR. CANTILENA: Great. Thank you for
24 those comments.

25 I guess, you know, one possibility would

1 be to out-source through a poison control center.

2 (Laughter)

3 DR. KRENZELOK: That would be a conflict
4 of interest.

5 DR. CANTILENA: Dr. Blewitt, would you
6 like to share with us some comments?

7 DR. BLEWITT: I guess my, my own
8 observation at this point is that the issues are very,
9 very narrowly focused now. I don't see a great deal
10 of difference between the sponsor and, and the agency
11 on, on, on the principles involved in the labeling.
12 It's just a matter of how those things are worked out.

13 The only thing that we haven't discussed,
14 and I don't know whether it's up for discussion. But
15 in the review package, there's a consumer information
16 leaflet. And there's, there are about three pages
17 of --

18 DR. CANTILENA: Can you help find us that
19 --

20 DR. BLEWITT: Well, two, two pages. This
21 is in the section on 2000 label submission, and it
22 comes after the drug facts labeling. And, again, I
23 don't know if this is up for discussion. But it seems
24 to me that as I read through this, I had a few
25 concerns, that it's been significantly edited. It's

1 been, as far as I can see, substantially expanded in
2 size. And I'm, without getting down to the details of
3 it, a question again arises as to whether the
4 consumer's receiving too much information here.

5 So I, for instance, on -- well, I will
6 give you a for instance. There's a comment in here
7 you can avoid the risk of getting sponge-associated
8 TSS by not using the sponge. Well, that seems to be
9 a rather reasonable and unnecessary statement. I
10 mean, you won't get it if you don't use it, for sure.

11 But the, the major point is that there are
12 a lot of red additions. There are black, there are
13 deletions, but it's a much larger consumer information
14 leaflet than it was originally. And without being
15 judgmental about it, I would just ask people to
16 consider whether that's just overloading the consumer
17 with information to the point where they won't read
18 it.

19 DR. CANTILENA: All right. If you use, if
20 you use headers in the format, though, isn't, you
21 know, isn't it sort of easier to, to help sort of the
22 scanner to be able to?

23 DR. BLEWITT: I have no question about
24 the, the format. It's, it's only in terms of the
25 amount of content, the volume of content.

1 DR. CANTILENA: Great. Thank you very
2 much, Dr. Blewitt. Dr. Johnson, would you like to
3 share some comments?

4 DR. JOHNSON: Most of my comments probably
5 relate specifically to the questions. I mean, I think
6 that it's pretty clear that this is a safe product,
7 and that there's not much question that another
8 contraceptive method for women is a good thing. And
9 so, I think that it, it really does seem to be just a
10 matter of working out the, the little details in the
11 labeling.

12 DR. CANTILENA: Okay. Dr. Uden?

13 DR. UDEN: The only thing I'll add is, is
14 I'm concerned about the consumer comprehension of the
15 present label. The old one, the new one that's been
16 submitted, suggested by the FDA and that, that maybe
17 a consumer comprehension study needs to be done to
18 determine whether they can, somebody can understand
19 it. And, you know, maybe it needs to have cartoons
20 on, cartoons in the, in the package insert so that
21 people can really understand how to use it.

22 DR. CANTILENA: How do, how do you feel
23 the 2000, you know, proposed label compares, you know,
24 to the '91?

25 DR. UDEN: Much better.

1 DR. CANTILENA: Dr. Williams?

2 DR. WILLIAMS: I agree with what has been
3 said previously. I have no new, I guess, information,
4 more than I've used in the past. I've used this
5 product when I was in my practice and it was
6 available. So we were very conscious of the pitfalls
7 about the use of it as well as the literacy of the
8 patients that we had to deal with who had to come in
9 contact with it. And so we had to use more counsel in
10 our private office to, to ensure that they knew well
11 about this product. So I think the cautions have been
12 expressed are ones that I, I concur with.

13 DR. CANTILENA: All right. Thank you very
14 much.

15 Dr. Davidson?

16 DR. DAVIDSON: Well, I'm pleased to hear
17 everybody actually making some redundant conclusions.
18 You know, I'm going back to, to the clarity of the
19 message, you know. I don't mind if we have more
20 material. It's up to the patient to read what we give
21 them, you know. But there are some messages that need
22 to be clear, and I think we clearly stated what are
23 the messages that, that need to be out there.

24 I want to remind that the translation to
25 Spanish needs to be friendly, you know. It needs to

1 be basic and to the point. And, you know, not to
2 forget that we really want to have an 800 number that
3 covers minorities as well.

4 DR. CANTILENA: Okay. Thank you very
5 much.

6 Dr. Lerner?

7 DR. LERNER: I made plenty of comments so
8 far. You know, again, I think it's great. I think
9 one of the most important aspects will be that 800
10 number. And I don't mean to sort of trivialize your
11 intention or purpose, but I think that that's going to
12 be, I think our main concerns are the toxic, toxic
13 shock and the questions of removal. And that clearly
14 is going to be where the patients head first.

15 So I think they're going to, the training
16 of people is going to be very important.
17 Additionally, I think just, I can't overestimate where
18 the placement on the label or on the carton needs to
19 be. There's a, a section that just sort of said
20 questions and comments, but that sort of didn't give
21 it enough impact. I know within the, you know,
22 narrative it said, you know, if you have trouble
23 removing it, call the, the talk line. But I think
24 maybe if the phone number is written in, you know,
25 larger font or bolder numbers or something. Just sort

1 of if the patients are ill or having problems, they
2 can just key right into it.

3 DR. CANTILENA: Dr. Gilliam?

4 DR. GILLIAM: A couple comments. The
5 first goes back to one of the earlier speakers today,
6 talking about incidents of vaginal irritation if it's
7 used for several days in a row. And, on a quick
8 glance, I don't see that that's really mentioned in
9 the package insert, and possibly that should be added.

10 I do think that a efficacy statement
11 should be added. And I like the one that Dr.
12 Greenslade had used earlier.

13 I think there should possibly be stronger
14 warnings not to use it while a woman in menstruating,
15 and possibly move that statement up to underneath the
16 toxic shock. Or, in addition, as a lot of the women
17 that do, did get toxic shock, it happened, they were
18 menstruating and using the sponge. And they were not,
19 they were, shouldn't have been doing so.

20 And then lastly, I, I think that there
21 really needs to be a package insert in the carton in
22 both Spanish and English, since you can't really
23 control the distribution of the product in, because of
24 our growing Hispanic population in this country.
25 That's all.

1 DR. CANTILENA: Thank you. Dr Greene?

2 DR. GREENE: I'll reserve my specific
3 comments for the answers to the questions. I just
4 generally feel strongly that this should be made
5 available, and I don't think there's a big difference
6 between the sponsor and the agencies, just a matter of
7 getting the details of the wording of the insert and
8 the carton.

9 DR. CANTILENA: Thank you. Dr. Neill?

10 DR. NEILL: A couple of questions that
11 will help me in later answering charge three about
12 specific items of the label to be revised, and I'll
13 direct these to Dr. Krenzelok. I'm less worried about
14 children eating these than sex partners, and I'm
15 wondering -- I have no idea about the toxicity of
16 nonoxynol-9 or the sponge itself, which I presume
17 would simply be passed right out the other end when it
18 ends up in the mouth and alimentary tract of a sex
19 partner. Do I need to be worried about nonoxynol-9
20 when it's ingested?

21 DR. KRENZELOK: No, it's, it's very
22 innocuous, from my experience. As a toxicologist,
23 that is.

24 (Laughter)

25 DR. CANTILENA: Thank you for adding that

1 clarification.

2 (Laughter)

3 DR. CANTILENA: We were starting, starting
4 to worry about Pittsburgh.

5 (Laughter)

6 DR. NEILL: Well, since you brought it up,
7 Ed, you know, the other question that came to mind
8 aside from this, you know, oral ingestion that I had
9 was in occupying my mind with all of the different
10 permutations that might occur in the course of sex as
11 it happens, I was -- and this does not pertain to any
12 of our charge. It was just I thought interesting and
13 maybe a little entertaining. I was wondering what
14 happened to the efficacy of this product with food,
15 alcohol, any or all of the above in many different
16 kinds of combinations. Please, don't anybody feel
17 compelled to answer that. And then, I've got a couple
18 other specific questions that we'll get to when we get
19 to the charge.

20 DR. CANTILENA: Okay. Thank you very
21 much. I think in the, in the interest of time, I'll,
22 I'll just reserve my comments to, as, as they sort of
23 pertain to the questions. So if there are no other
24 issues that people want to discuss, why don't we
25 proceed with the questions then?

1 First question, given the material
2 provided in your briefing packages and presented
3 today, does the revised labeling -- and here, we're
4 specifically talking about the 2000 proposed labeling
5 that's in your document -- adequately convey the risks
6 associated with the use of the product?

7 And what I'd like to do is, this is a yes,
8 no answer. And as opposed to going around the table,
9 why don't we just ask for a show of hands. So all
10 those who feel that the answer is yes, that is, the
11 revised labeling does adequately convey the risks,
12 please raise your hand.

13 (Hand vote taken)

14 DR. CANTILENA: It looks like nine. Okay.
15 All those who feel it does not adequately convey the
16 risks.

17 (Hand vote taken)

18 DR. CANTILENA: One, and can I ask you to
19 actually comment in terms of what is, you know,
20 missing?

21 DR. KRENZELOK: I think that, that the
22 information about toxic shock should be emphasized in
23 bold so it really stands out. That's the only reserve
24 I have.

25 DR. CANTILENA: Okay. Thank you very

1 much. Second question is the current carton label
2 does not include information on the efficacy of this
3 product. Should the carton label include efficacy
4 information so that the consumer will have this
5 information available at the point of purchase? So,
6 specifically, we're talking about including efficacy
7 on the outside, on the carton, as opposed to on the
8 inside, in the package insert.

9 And here, again, I'd like to ask for all
10 those who answer in the affirmative that the label,
11 the carton label should include efficacy on the
12 outside, please indicate by raising your hand now.

13 (Hand vote taken)

14 DR. CANTILENA: Okay. Nine in the
15 affirmative, and can I ask Dr. Neill, I assume you're
16 voting no and not abstaining. Could you tell us, you
17 know, what your concern was?

18 DR. NEILL: If we include only efficacy on
19 this product, and we say this is effective -- in 100
20 women years of use, there will be X many pregnancies
21 and do not include information about other products,
22 I don't know that I or consumers would naturally come
23 by the information to make that isolated nugget
24 useful.

25 If we include the other comparative

1 information, that's something, given what I understand
2 now about how Rogaine and some other medicines have
3 been marketed, that I don't feel comfortable getting
4 into.

5 I mean, that gets to the second part of
6 this, which we'll get to in a minute, which is, if
7 yeah, should it be required of all OTC contraceptive
8 products. If the answer to the first part is yes,
9 there's an implied question in my mind which is okay,
10 what kind of efficacy. And that's going to require a
11 whole another day of hearings.

12 And I think we also need to take into
13 consideration the comments that were made very early
14 today about the extent to which our discussion of
15 inclusion of that information on all OTC contraceptive
16 products would require that kind of process. I think
17 that it's probably that important.

18 DR. CANTILENA: So, so you're saying then
19 if, if the information on the outside was comparative
20 in nature and valid, I assume, then, you would favor
21 that. And, you know, as it is, in isolation with, you
22 know, one and ten, it's not adequate, or it's not
23 advisable.

24 DR. NEILL: Well, I, I would not oppose --
25 well, it's nine to one, so it doesn't matter what I

1 think, but --

2 DR. CANTILENA: It always matters.

3 DR. NEILL: Oh, of course. All right. I
4 think that if it's just in isolation, that might be
5 preferable. As somebody who has to counsel patients
6 all the time, I actually find it less useful for me to
7 say, or incompletely useful for me to say this is, you
8 know, will result in X numbers of pregnancies per 100
9 women years. But rather, I find it more useful for me
10 to put my patients' risks in the context of the risks
11 that they face daily in their life.

12 I, for -- I don't like telling my patients
13 and having them think this is a very risky thing, if
14 they don't understand that walking across Market
15 Street outside my office is even riskier. Do you
16 understand what I'm saying? And that --

17 DR. LERNER: Just, just as a comment with
18 that, I think that the decisions here are made at the
19 corner drug store at 3:30 in the morning. And so I
20 think that as much information as we can provide,
21 comparative or otherwise, is going to be much more
22 than they're going to get, you know, beeping any of us
23 at that time of the morning.

24 DR. NEILL: I guess, then, my plea would
25 be to include meaningful, comprehensible efficacy

1 data. For something where the outcome is as
2 measurable as a pregnancy, that's useful. The
3 difficulty for the agency, I think, is going to be for
4 OTC products for which this may become an issue, for
5 which there's an outcome which is much more
6 subjective, like Rogaine.

7 DR. CANTILENA: Okay, I guess, can we ask
8 another question then, Dr. Ganley, of, of the
9 committee and, you know, regarding the format of the
10 information, whether it's now comparative or just, you
11 know, isolated for the product? If, if you're not
12 opposed, then I would like to propose a question to
13 the committee then. If efficacy label, if, if the
14 efficacy information on the outside of the carton
15 contained, you know, comparative information to other
16 methods of information, would you favor it included on
17 the outside of the carton with this product?

18 DR. GANLEY: Can, can I just make a
19 comment for anyone?

20 DR. CANTILENA: Okay.

21 DR. GANLEY: I'm, I think the one thing
22 that we have to be sensitive to is that the size of
23 these boxes are a certain size. And there's so much
24 information you can get on it. And I think you have
25 to take into account that if you're going to put

1 comparator information, it is going to take up a lot
2 of room.

3 And so, I think you need to keep that in
4 mind when, you know, if, if -- and that's, that's
5 become a problem for us in, and certainly, I think
6 that Allendale would agree with that. And if there's
7 another way to show or to direct a consumer to the
8 package insert, I think that, you know, that we, we
9 cannot forget those things, that there's a limited
10 size on these boxes.

11 DR. CANTILENA: I guess the --

12 DR. GANLEY: Unless we just sell 12 packs,
13 or 18 packs or something.

14 DR. CANTILENA: Yeah, I guess, I guess,
15 you know, the reason I was suggesting, you know, this
16 question is really at, at the time the consumer is
17 making the choice about, you know, purchase, should
18 they know how this compares to other methods, which
19 are over the counter or, or otherwise? And that, but,
20 I guess it's sort of a, a hypothetical because, all
21 right, you know, obviously if, if the box has to be,
22 you know, five by six feet in order to get all the
23 information that, it would not be a practical thing.
24 So I guess that's where that where was coming from.
25 Any other, any other comments? Dr. Johnson?

1 DR. JOHNSON: Well, I think, already in
2 the package insert, there's a statement this is much
3 less effective than the pill and IUD. So it might be
4 possible to put on there in 100 women over one year,
5 you would, there would be approximately ten
6 pregnancies. This is much less effective than
7 hormonal methods such as the pill or Norplant and the
8 IUD. And I think, you know, that doesn't go into a
9 lot of real specific comparator data, but does give,
10 give them a point of reference. Because I think most
11 women understand that the pill was a very effective
12 birth control method and can sort of use that as a
13 comparison.

14 DR. CANTILENA: Yes. Dr. Lerner?

15 DR. LERNER: But sort of to answer the
16 second part, the part A, and then sort of reflect it
17 back, I think that if we say then that we do agree
18 that this kind of information should be required of
19 all over the counter contraceptive products, then we
20 can sort of, or you guys can sort of make some sort of
21 standardized mechanism so that you can put it in a
22 certain sort of well-circumscribed way that's
23 consistent so that when the consumer is going down the
24 aisle, you know, there's sort of a particular place
25 that they can look and see, you know, the comparative

1 efficacies, and therefore, sort of save room, sort of
2 in the same way that you'd go down and look at the
3 saturated fat in your Snackwell cookies or something,
4 you know. Just sort of the number of grams or
5 whatever per any given serving.

6 DR. CANTILENA: Okay. Well, then, how
7 about if we -- I'm sorry. Dr. Neill?

8 DR. NEILL: I, I similarly would favor a
9 condensation into a single sentence which made sure to
10 include the other OTC. If the point is to allow
11 people to make a decision about condom, semicid, foam,
12 jelly or sponge versus, you know, pill, et cetera.
13 While pill's important, if they're there at three in
14 the morning, they ain't going to get it.

15 The, the other issue related to this
16 second part of the question -- I'm trying to imagine
17 how, in the bathrooms of all of the gas stations that
18 I stopped at on my way to Tennessee, the condom
19 dispensing machine is going to include that
20 comparative information for shelfkeeping units are
21 like, that are a single condom. And I don't know,
22 does, you know, this doesn't need to be addressed
23 right now, but I could imagine that for some of the
24 other forms that aren't as bulky and don't have the
25 advantage of having a six-pack box that it might raise

1 some more practical issues for them, more so than the
2 sponge. And it's just something to sort of keep in
3 the back of the mind.

4 DR. CANTILENA: Okay. Well, how about if
5 we actually call that question that I posed, which is
6 basically to have the comparative efficacy available
7 on the outside of the carton with all the caveats in
8 terms of how it should be simplified, et cetera, et
9 cetera, which I think is an excellent comment. All,
10 all in favor of having the comparator information on
11 the outside of the carton, please raise your hand.

12 (Hand vote taken)

13 DR. CANTILENA: Dr. Davidson, did you
14 vote? Yes?

15 DR. DAVIDSON: No.

16 DR. CANTILENA: Okay. So we have nine in
17 favor, and perhaps I can just ask you to comment why
18 you did not.

19 DR. DAVIDSON: You know, I, I think it's
20 important but I think that, you know, we can have that
21 information inside. If you give the information of
22 that product on the outside, because if you look at
23 the package, you know, there's other more important
24 information that should be outside, including you're
25 going to state that this is not a 100 percent

1 effective, you know.

2 For, for the information, see the package
3 insert. You know, I think we need to make it a little
4 simpler for the people, you know, that buy these
5 products. Otherwise, if you put a lot of information
6 outside the package, you know, people are going just
7 to read a couple of things and then no more. That's
8 my recommendation.

9 DR. CANTILENA: Okay. Thank you. I
10 believe we haven't formally answered 2A although we
11 started to a couple of times. 2A, if yes -- yes,
12 meaning it should be on the carton, should this kind
13 of information be required of all OTC contraceptive
14 products? And again, we'll first ask all those in
15 favor of having it available on all OTC contraceptive
16 products, please raise your hand?

17 (Hand vote taken)

18 DR. CANTILENA: I think this time we are
19 unanimous. Okay, the next question, question three,
20 are there other aspects of the labeling that should be
21 revised? And here, I guess, we'll just open it up to
22 comments, perhaps going around the room, starting
23 around this side with Dr. Neill. Any other aspects of
24 labeling that should be revised when we're now, our
25 frame of reference is the 2000 label?

1 DR. NEILL: Yeah, I have I think three
2 questions that I would propose be considered for
3 inclusion in the section, other questions you may
4 have. The first is what if it comes out? I realize
5 that difficulty with removal is, you know, the single
6 biggest complaint or reason for phone calls, but if it
7 comes out, can you put the same one back in? Do you
8 have to use a different one? If it comes out and you
9 don't have another one, is that the end of sex?

10 The other question would be, there's just
11 two, not three. It's related to one that Dr. Gilliam
12 asked a few minutes ago. Can this be used several
13 days in a row? And I think that would also allow an
14 appropriately prioritized discussion of the extent to
15 which increased sensitivity may occur if it's used
16 several days in a row. My perception being, yeah,
17 certainly, it can be. However, you may experience
18 more irritation with this. And I think that's a valid
19 concern that needs to be in there somewhere.

20 DR. CANTILENA: And here, you're talking
21 about in the package insert and not the label? I mean
22 the front part?

23 DR. NEILL: In the package insert, right.

24 DR. CANTILENA: Right. Thank you. Dr.
25 Greene, any, any comments about other issues of

1 labeling?

2 DR. GREENE: Not really; just minor. I
3 was glad to see under six, there are other questions
4 you may have, that the first thing it addresses is the
5 use of a latex condom. And I do think that's
6 important. And the only minor sort of editorial
7 suggestion is that in that section where it says will
8 help reduce the risk of transmission of human
9 immunodeficiency virus, HIV, and acquired immune
10 deficiency syndrome. I, I would just suggest that
11 that just be changed to read the virus that causes
12 acquired immune deficiency syndrome. That's just
13 technically a little more correct, but I don't have
14 any major problems.

15 DR. CANTILENA: Thank you very much. Dr.
16 Gilliam?

17 DR. GILLIAM: Just the comments I, I made
18 earlier, especially regarding the irritation and
19 stronger warnings not to use during menses.

20 DR. CANTILENA: Dr. Lerner? No further
21 comments. Dr. Davidson? Dr. Williams? Dr. Uden?

22 DR. UDEN: Only that I think it could be
23 written in a lot less technical terms than what it is,
24 so.

25 DR. CANTILENA: The insert, the?

1 DR. UDEN: Yes, the package insert, yes.

2 DR. CANTILENA: Thank you. Dr. Johnson?

3 DR. JOHNSON: My comment is primarily a
4 practical one regarding what goes on the outside of
5 the box. And it has to do with the directions. I
6 mean it's not very clear to my, me, why we need to
7 tell them on the outside of the box that they need to
8 wash their hands, wet the sponge, put the, the dimple
9 side facing up. I mean, it seems to me if you're
10 trying to save space, those are things that someone
11 will look for once they buy the package, once they
12 open it and they're ready to use it. So it seems like
13 you could get rid of a lot of the things in that
14 direction section. And then you would have more room
15 for things like how effective is this product, which
16 I think is much more important.

17 DR. CANTILENA: Yes, follow up to that,
18 Dr. Neill?

19 DR. NEILL: Yeah, I -- while there's
20 probably room for some editing there, I think at least
21 part of the purpose is to allow people to make a
22 decision about whether they want to use it. And if
23 there's an advantage to having that kind of explicit
24 direction, it's that some consumers may see that and
25 decide as a result yes, they really want to use this,

1 as opposed to another method or they really don't want
2 to use that. And simply directing somebody to the
3 package insert inside removes that portion of the
4 information that helped them use it.

5 And, you know, it's just, who knows
6 whether that would be the major contributor about a
7 decision to purchase? Personally, I think for
8 information on the outside of the package, if the FDA
9 or somebody doesn't say something about where the
10 price sticker goes, everything we're talking about
11 means nothing. And I'm not suggesting that we talk
12 about where the price sticker goes.

13 DR. CANTILENA: Further comments, Dr.
14 Johnson? Thank you. Dr. Blewitt? Dr. Krenzelok?
15 Yeah, I guess, really, I would concur with a lot that
16 has been said. I, I would also just, under number
17 seven, points to remember, it's not, it doesn't jump
18 right out at you that the product needs to be left in
19 place for six hours after the last act of intercourse.
20 And I, I would understand, or I understand that if you
21 remove it shortly after, it's high likelihood to, you
22 know, not be effective.

23 So if there's some way to emphasize that
24 clearly on the insert. It, you know, doesn't have to
25 be on the outside, but just to make sure that people

1 don't use it like some other methods where, you know,
2 you remove it right away after you -- yeah, it's,
3 right. But it's sort of, you know buried down there.
4 And that's sort of the final point, so if there's some
5 way to emphasize that, that I think would be helpful.

6 DR. UDEN: Can I make a comment on that?
7 Because what that does is effectively you cannot have
8 sexual intercourse from 24 hours to 30 hours. So if
9 somebody has it from 24 to 30 hours, they can't leave
10 it in for six more hours. So this is only good for
11 sexual intercourse for 24 hours, and then it has to be
12 left in. And then there's that window there where
13 they're not supposed to have sexual intercourse if
14 they're going to follow the directions explicitly.

15 DR. CANTILENA: Yes, Dr. Johnson?

16 DR. JOHNSON: I, I did find one other
17 thing I wanted to comment on. And that was the
18 pregnancy rate tables. I mean, I think I sort of made
19 it clear I really dislike that table, one because I
20 think they can't understand it. And secondly, because
21 it sounds like the data has at least some problems.

22 And I think certainly we need some
23 comparator data, but I think written in the form that
24 Dr. Greenslade suggested is, is much more useful for
25 the patient.

1 DR. CANTILENA: Okay. Thank you. What
2 I'd like to now do is just turn to the final question.
3 And I know the hour is late, but I would really ask
4 your, you know, patience to, to really give this some
5 thought because I think it's possibly a very important
6 issue.

7 Question four, please provide comments on
8 the type of post-marketing surveillance for adverse
9 events the sponsor should contact, excuse me, should
10 conduct. And here, we have issues of active
11 collection, follow-up reporting analysis of cases of
12 difficult sponge removal, provisions in place and, to
13 facilitate adequate adverse event reporting.

14 So again, we started here last time.
15 Perhaps we can start with you, Dr. Krenzelok, and have
16 you address some of these issues on post-marketing
17 surveillance.

18 DR. KRENZELOK: Well, I don't think we
19 should hold them to a higher standard and ask them to
20 have active surveillance on, on this particular
21 product. But I would strongly encourage, as I
22 mentioned earlier, that a 24-7 server should be really
23 encouraged, competent people, and they should in some
24 way encourage the people that use the product to use
25 that service if it's there. And then I think that

1 would really help identify adverse events should they
2 occur.

3 DR. CANTILENA: Thank you. Dr. Blewitt,
4 any comments on this area?

5 DR. BLEWITT: No. I would simply agree
6 with what Dr. Krenzelok has said.

7 DR. CANTILENA: Thank you. Dr. Johnson?

8 DR. JOHNSON: Same thing. I, I agree that
9 active marketing or active surveillance is not
10 something probably necessary and maybe the sponsor
11 just needs to present a very detailed plan about their
12 consumer hotline and, and how they'll collect their
13 data.

14 DR. CANTILENA: Thank you. Dr. Uden?

15 DR. UDEN: Yeah, I don't think we should
16 hold them to a higher standard. I mean, unless this
17 question, I mean if, if we did, then we would be
18 setting a precedent for every, every product that
19 would come in front of this advisory committee or in
20 front of the FDA from here until the end, I would, I
21 would assume. I mean, what would be special about
22 this product that we would ask this versus other
23 products that might, that might become OTC? Other
24 than that comment, I just hope that the sponsors would
25 have complete and consistent information in, in their

1 system, so that it's as complete as possible.

2 DR. CANTILENA: Thank you. Dr. Williams?

3 DR. WILLIAMS: The only concern I have is
4 to look at the CDC data as that comes available
5 regarding the reintroduction of the product. We have
6 a reportable disease and that could be easily
7 monitored.

8 DR. CANTILENA: Thank you. Dr. Davidson?

9 DR. DAVIDSON: I agree with everybody but,
10 you know, one thing I forgot maybe for the low
11 literacy people, you know, a video on how to use the,
12 you know, the device will help us. And I don't know
13 if the sponsor is willing to, you know, make a video
14 for those very low literacy people.

15 DR. CANTILENA: Thank you. Dr. Lerner?

16 DR. LERNER: Well, we've discussed a lot
17 about the consumer hotline. But I think also a
18 physician hotline or some way to get the practicing
19 clinicians, you know, sort of plugged into the system
20 so that when they do encounter any adverse outcome,
21 there's a, either a phone number or something
22 accessible -- medical letter or in the journals or
23 however you do that with other stuff.

24 DR. CANTILENA: Thank you. Dr. Gilliam?

25 No further comments. Dr. Neill?

1 DR. NEILL: I would never make it on
2 Jeopardy pushing this button. The, it's a generic
3 comment that has to do with the repetitive nature of
4 this question which I think goes to the question of
5 the MedWatch system. If I were to ask my residents,
6 okay, what's MedWatch, you know, I'd get a 1 out of 36
7 response rate.

8 And, so generically, I guess I would put
9 in a plea to the agency or some higher up muckety-
10 mucks that have, you know, budget dollars to do
11 whatever might be done to help improve that system as
12 a monitoring system given that it's, you know, relying
13 on lazy physicians like me to both understand that I'm
14 seeing an adverse event and pick it up and report it.

15 And I realize that there have been a lot
16 of things done to make that easier. I used to
17 literally go and photocopy the little form out of the
18 back of the PDR. But I threw all the PDRs out of my
19 office because I hate them as a drug reference and
20 have taught my residents not to use them. And so now,
21 we've got to go to the web and do all this other
22 stuff, and then the network's down so, again, just a
23 long plea, you know. Whatever you can do to improve
24 that, make it easier, market it.

25 Here's a, here's an idea. We can take

1 these FDA NDA fees and take a portion of those or, you
2 know, we can always hit the sponsors up for something,
3 right? Rather than making them pay for an adverse, an
4 active adverse event reporting system, we, we take
5 some portion of the money that we, I mean ask from
6 them for their NDA and put that specifically towards
7 some of this MedWatch -- I don't know, a MedWatch czar
8 or something, however that works.

9 DR. CANTILENA: Okay. Thank you very
10 much. I, I guess I would only add just a couple of
11 small comments on this issue. One, one is, you know
12 when I hear a couple things about being a small start-
13 up company and all the employees being here at the
14 meeting, I get concerned that the follow-up and, you
15 know, safety is not going to be adequate. I'm sure
16 that the plans would be, and I would hope that, you
17 know, you would have agreement on this with, with the
18 FDA that as it goes on the market or hopefully just
19 before the cash flow starts that you would clearly
20 invest in having adequate, you know, facilities,
21 adequate, you know, personnel. And just make sure
22 there's a very tight, you know, linkage between the
23 800 number and follow-up and the adverse events.

24 And, and I would say that even because
25 this has not been on the market for basically five or

1 six years, it's an opportunity to see how well the
2 system actually works. So even if you could agree on
3 perhaps, you know, quarterly reports instead of annual
4 reports to see sort of the linkage between the 800
5 number and the adverse events and, and the follow-up
6 on the adverse events.

7 And I would just, as some free advice to
8 the sponsors, invest in quality individuals who have
9 experience in this area because it'll, you know, make,
10 you know, your job a lot easier, and all the people
11 who are watching a lot easier as well if there's good,
12 you know, documentation and follow-up.

13 So that's my two cents. Now, I guess,
14 I'll, I just have to ask the FDA if there are any
15 other issues that we have not addressed, any questions
16 that were not adequately answered that you'd like us
17 to address at this time?

18 DR. GANLEY: No, I think we got an idea of
19 what your position is, and we appreciate all the
20 comments. I, I think Dr. Neill made a interesting
21 point there, you know, about the reporting to FDA.
22 And, and one of the things that was not included in
23 the, the labeling rule was a requirement to include a
24 MedWatch number on there. And I get a sense that you
25 would actually, or to have some information that you

1 could, if people could complain to FDA, if not to the
2 sponsor. And I'll just point out that the questions
3 and comment

4 MS. CHANG: Good afternoon. Guess what?
5 I'm the final speaker. By the way, I want to thank
6 Daniel Keravitch for all his help in doing these
7 slides.

8 My presentation will be covering our
9 proposed labeling for the Today Sponge. Put it down.
10 Okay. Basically, I'll start with an overview of the
11 new OTC labeling rules, then the original carton
12 labeling for the Today Sponge, proposed carton
13 labeling for the Today Sponge and the proposed changes
14 to the package insert.

15 On March 17th, 1999, the agency published
16 an OTC labeling requirements final rule, codified in
17 21 CFR 201.66. That rulemaking required standardized
18 content and format for all OTC drug products in what
19 they call drug facts format, format and content. The
20 agency believed that these labeling requirements would
21 make it easier for the consumer to recognize where to
22 find the information and also would make the labels
23 more legible and readable.

24 The drug facts labeling content
25 requirements required the following: that all

1 information would be organized under title, headings
2 and subheadings; that all drug facts label information
3 must appear on the outside of the container or wrapper
4 of the retail package or on the immediate container if
5 there was no outside container or wrapper; and that
6 the headings and subheadings appear in a specific
7 order which would allow the consumers to more quickly
8 make a decision as to whether they should use the
9 product, when to stop using the product and how to use
10 the product.

11 The standardized headings are in the
12 following order: title, which is always titled drug
13 facts; the active ingredients or ingredient and the
14 amount; the purpose; the use or uses; the warnings.
15 And under the warnings, there is a standardized order
16 for the subheadings. For example, do not use; stop
17 use and ask a doctor if.

18 Next comes the directions. After the
19 directions, there is a heading titled other
20 information. This section includes information not
21 included in the other sections, but for which the
22 agency feels is pertinent to the safe and/or effective
23 use of the product, or information that is required
24 under an OTC drug monograph.

25 The last section is the questions or

1 comment sections which provide phone numbers for
2 consumer inquiries.

3 The labeling rule requires standardized
4 formats so that there is consistency in the format for
5 all labeling. For example, there are type size
6 specifications for the headings, subheadings and text.
7 There are also bulleted format to improve readability.

8 The agency considers the labeling for OTC
9 drug products, considers the carton, which consists of
10 the principal display panel and promotional labeling
11 and the required labeling, for example, the drug facts
12 label, as part of the labeling. Also, the package
13 insert. Dan.

14 I'm going to make some comments on the
15 1991 labeling. As you can see, this part is called
16 the principal display panel, and Dan's going to focus
17 on the -- this section is the approved label which
18 contains the directions and warnings. Basically, as
19 you can see, the directions are in a paragraph format
20 which we believe is difficult to read. Also, the
21 important information is not emphasized.

22 For example, the toxic shock statement is
23 not prominently emphasized. And as you can see, they
24 are in two separate sections, this section, and also
25 the symptoms are in this section right here.

1 Further, there is no statement in the
2 labeling that addresses or informs consumers of the
3 serious nature or potential life threatening nature of
4 this, of toxic shock syndrome. Just, I think that's
5 that for that.

6 I just want to show you the other
7 information as far as the inactive and active
8 ingredients are on the other, the back panel. Can you
9 go back? Should I just click? Great.

10 We have revised the Today Sponge labeling
11 as follows. Oh, I have, is that the, did I click?
12 Oh, I'm sorry. I'll start with this one.

13 Thus, there are some reasons for the
14 labeling revisions are to remove misleading statements
15 such as reliable protection without serious risks of
16 dangerous side effects, and extremely effective.

17 Other reasons for the revisions are the,
18 to improve the order of information so there is more
19 emphasis on the warnings, in particular, the toxic
20 shock syndrome warning. Also, to add additional
21 important information such as AStop use and ask a
22 doctor if@ statements, and to improve directions and
23 other information for better understanding of the
24 safety concerns and other public health issues.

25 We have revised the Today Sponge labeling

1 as follows. First of all is its standardized order in
2 accordance with the drug facts format. And this is a
3 copy -- Helen, did you show the, you showed the copy
4 of the original label. We're going to break this down
5 to make it more readable for all of you.

6 Please note that the revisions are in red
7 font, are the new additions to the label or statements
8 which we have either moved or revised from the
9 original labeling, and the statements in the black
10 font are the statements pulled from the former
11 labeling which may have reformatted into the new drug
12 facts label.

13 I will be discussing particular sections
14 of our proposed drug facts labeling. And this section
15 is the warning section. Significant revisions were
16 made to this section. First and foremost, the TSS
17 warning is prominently displayed as the first specific
18 warning. We have added the statement TSS is a rare
19 but serious disease that may cause death. Additional
20 revisions are what you can see on the slide.

21 In addition, we've added the allergy alert
22 section to inform consumers that this product contains
23 a sulfite, and also that this product contains
24 nonoxynol-9.

25 We have also asked that the various

1 revisions in red to this section.

2 I want to focus in primarily on the Astop
3 use and ask a doctor if.@ This section was provided
4 to alert consumers so that they would know if certain
5 conditions would develop during sponge use. Note that
6 this information was not in the 1999 final printed
7 label.

8 Under the directions, we have bulleted the
9 information to make it easier for the consumer to read
10 and added additional directions. I lost my pointer.
11 We've, we've, we've moved the statement Ado not leave
12 in vagina for longer than 30 hours@ from the warnings
13 into this section and bolded for emphasis. Next.

14 Under the other information heading, we
15 added two new bulleted statements. The first is to
16 inform consumers of the availability of the pregnancy
17 rates, birth method, birth control methods table in
18 the package insert and to provide public health
19 information statement of the use of latex condoms to
20 reduce the risks of the transmission of HIV and STDs.

21 Now, I'm going to go and discuss the
22 changes to the package insert. Because of time
23 restraints, I will only be discussing some of the
24 major ones. First would be to improve the
25 presentation of the efficacy data.

1 Second is to include the pregnancy rate
2 birth control methods comparative table in the package
3 insert.

4 Third, we added, just wanted to basically
5 tell you that we added some references to reducing the
6 risk of sexually transmitted diseases in the package
7 insert. I lost the pointer. Okay.

8 Fourth, we made format and minor editorial
9 changes to the package insert. As you, as you can see
10 from the original efficacy data statement and efficacy
11 table, I think it is basically, thank you, I think
12 it's basically very confusing to a layperson. In
13 fact, I sort of got confused by reading it also.

14 What we did was we basically revised and
15 simplified the statements on the efficacy statement to
16 make it more consumer friendly, and I believe someone
17 already discussed the revised statement.

18 I'll talk a little bit about the pregnancy
19 rate table. Back in 1997, the Center for Devices and
20 Radiological Health in collaboration with CDRH, which
21 is the Center for Drug Evaluation and Research, and
22 the Office of Women's Health adapted the pregnancy
23 rate table from Trussell's Contraceptive Technology
24 basically to, to provide the consumers with
25 information to make the informed, to -- let me start

1 over. To provide consumers with information to make
2 informed choices as to the best birth control method
3 for them. At present, the table is included with all
4 prescription oral contraceptives and is a regulatory
5 guidance for other CDRH and CDER birth control
6 products.

7 In -- these are my conclusions. Current
8 labeling can be improved. The drug facts format
9 places emphasis on the warnings, such as the TSS
10 warning and the Astop use and ask a doctor if@ are
11 examples of that. And provides more readable and
12 informative information to the consumer to improve the
13 safe and effective use of the Today Sponge. Thank you
14 very much.

15 DR. CANTILENA: Okay. Thank you very much
16 for actually staying ahead of schedule.

17 MS. CHANG: And I wanted to -- right. I
18 was going to turn the podium over to Dr. Cantilena for
19 questions and comments.

20 DR. CANTILENA: I'm sorry. I beat you to
21 the punch. I apologize. Yes, actually, we are at the
22 point now where we have some time to ask the FDA
23 presenters specific questions that you may have. So
24 let me open it up to the, to the committee. Dr. Uden?

25 DR. UDEN: We've had toxic shock syndrome

1 data presented a few times here today. You have a
2 database here at the FDA. There's also a database at
3 the CDC. How many other places is, is the data, data
4 kept and has anybody compiled the data in one place so
5 that we can get a relatively good estimate of the
6 risk?

7 DR. KARWOSKI: Well, I actually don't have
8 the answers for that. Not that I'm aware of. I would
9 imagine that CDC probably has the best numbers. Ours
10 is a voluntary and a passive surveillance, and so we
11 only rely on consumers or health care providers to
12 report that information to us.

13 DR. UDEN: Are those the two major sources
14 of, other than primary literature, finding the
15 incidence of toxic shock syndrome?

16 DR. CHIN: Let me clarify that a little
17 bit more. What we have in our database that was
18 presented by Claudia is information that was
19 voluntarily submitted or regulatorily (sic) required
20 to be submitted to the FDA's spontaneous reporting
21 system or the current AER as database.

22 What CDC has is TSS is a reportable
23 disease, and so it has to be reported to CDC. And
24 they compile the statistics that you would see for a
25 lot of infectious disease. The difference in the

1 database is that what we have in terms of the adverse
2 events, it would be adverse events that were reported
3 in conjunction with the use of a sponge. It doesn't
4 mean that it causes the adverse event, but it was in
5 conjunction with the use.

6 What CDC's information has is the number
7 of TSS cases, but doesn't necessarily break it down
8 into what was used in association with the case
9 itself. So they're really separate types of numbers.

10 DR. CANTILENA: Other questions? Yes, Dr.
11 Davidson? Your microphone?

12 DR. DAVIDSON: Thank you, forgot. I have
13 a couple, you know. You reported cases of disability,
14 but you didn't say what type of disability they were.
15 Could you tell us what disability meant in the cases?

16 DR. KARWOSKI: The outcomes are very
17 subjective. That really depends on what the
18 individual that reported the event may have thought.
19 For the disability, there was, in my opinion, there
20 was no apparent disability that was caused. One of
21 them was the TSS cases. They reported life
22 threatening hospitalization and disability, but there
23 was no apparent long-term type disability associated
24 with that.

25 The second case was a disability.

1 Essentially, what the patient reported was that
2 following the use of the sponge, her and her husband
3 were not able to perform sexually as they had prior to
4 the use of it. So that was the, the second disability
5 that was reported.

6 DR. DAVIDSON: Thank you. You know, we
7 already commented before from previous presenters that
8 the highest rate was seen in 1984, okay. Do we have
9 any data of the exposure, you know, in 1984, 1985,
10 1986 of sponge use to see if there's, if it is a
11 relationship between the usage and the cases. Or it
12 was the, you know, after 1984, people were better
13 educated, you know, to draw some conclusions why it
14 really went down after 1984?

15 DR. KARWOSKI: After, actually it didn't
16 really go down. It stayed somewhat stable. There
17 were bumps throughout the years. We don't have any
18 actual usage data. All we have is some data regarding
19 the distribution of the product, so the sales of the
20 product. But we don't have numbers of how many people
21 actually used the product or how frequently they may
22 have used it.

23 So we can't really put a denominator on
24 that. But what we're somewhat sure of that the use
25 did decrease somewhat over the years, and the rate of

1 TSS, or at least, the reports that we had received
2 didn't really decline that much, at least over, after
3 1985 to 1994, that they remained somewhat stable with
4 little, you know, small fluctuations, varying, you
5 know, throughout the years.

6 DR. DAVIDSON: From the data you
7 presented, you know, even though there were some small
8 fluctuations, the tendency was to go down. Maybe I,
9 no? Okay. Then it remained about 47 cases per year?

10 DR. KARWOSKI: Well, we had only one case
11 reported in 1995, but the product at that point was --

12 DR. DAVIDSON: But the product was
13 withdrawn, right.

14 DR. KARWOSKI: -- had stopped.

15 DR. DAVIDSON: Okay. And one final
16 question, when you report infections, and you report
17 vaginitis in different sections, the vaginitis was
18 also included in the infections or were they reported
19 totally separate for everything?

20 DR. KARWOSKI: The vaginitis in our
21 database are considered infectious type of
22 complications.

23 DR. DAVIDSON: Then it was reported twice?

24 DR. KARWOSKI: May have. There may have
25 been an infection and vaginitis at the same time.

1 DR. DAVIDSON: Thank you.

2 DR. CANTILENA: Yes, Dr. Neill?

3 DR. NEILL: I have two and a half
4 questions, the first to Dr. Karwoski. You discussed
5 the, a couple of examples of monitoring systems. Can
6 you give me an example of a system that's currently in
7 use that actively as opposed to passively monitors
8 adverse events for a currently marketed OTC product
9 that's subject to an FDA, NDA or OTC monograph?

10 DR. KARWOSKI: We don't currently know of
11 or are aware of any type of systems that would do
12 that.

13 DR. NEILL: So for other similar products
14 to this that would fall under similar FDA regulation,
15 there's not an active process in place. So if we were
16 to shortly hear a charge that were to ask us to
17 consider whether or not there should be some active
18 collection or an active process for monitoring adverse
19 events, this would be a first. Is that safe to say?

20 DR. KARWOSKI: That, this would, as far as
21 I'm aware.

22 DR. NEILL: Okay. My other questions have
23 to do with putting statements about efficacy on the
24 carton. And it's sort of a question and a half, so
25 I'll ask them together, and whoever from the FDA or

1 Allendale wants to address this, please feel free.

2 Is FDA aware of any product that's
3 similarly under an NDA or OTC monograph that has
4 efficacy labeling on the carton by virtue of it being
5 required to be there by FDA, and if so, is there
6 language in the recent Federal Register regulations
7 that require that? My understanding being that the
8 proposed label that you just reviewed includes all of
9 the bullets and components that are required.

10 And I guess my perception is that if we're
11 being asked to judge whether or not efficacy data for
12 this should be on the carton, comments about, you
13 know, having additional process hearings to make sure
14 it happens for other products aside, I'm curious to
15 know are there other products for which that's already
16 happened, that the FDA has asked that that happen?

17 DR. GANLEY: Yeah, the one that comes to
18 mind, at last on the carton is Rogaine or topical
19 minoxidil, where it's included in other information,
20 where it provides efficacy information to the consumer
21 at the point of purchase. There are other products
22 that provide efficacy information in package inserts.
23 H2 blockers for heartburn, for example, would be an
24 example.

25 DR. NEILL: Are, were those for Rogaine

1 and some of the H2 blockers required by the FDA to be
2 on the carton?

3 DR. GANLEY: Yes, they're marketed under
4 NDAs and so we, we require that. I don't believe
5 there's any monographs that would fall into that
6 category right now.

7 That doesn't say that in the future there
8 won't be, but, but from a regulatory point of view,
9 you can require someone to do that.

10 DR. CANTILENA: Yes, Dr. Blewitt?

11 DR. BLEWITT: Just two, two points I think
12 that are relevant here. First, I think that with
13 regard to comparative efficacy, that has not been
14 required. So you make, make a statement about
15 individual efficacy. You wouldn't have, at this point
16 in time, there is no, there are no comparative
17 efficacy statements required on labeling.

18 In addition, the, with regard to adverse
19 events monitoring, it seems to me that any, any
20 product that's subject of a new drug application has
21 to both monitor and submit to the agency reports of
22 adverse events that they received, so, so that is
23 required.

24 DR. GANLEY: Yeah, I just think that, I
25 got the, my impression of your question was that there

1 was some type of phase four commitment where we
2 required a company to go out actively and look for
3 cases in a population as opposed to an individual
4 passively reporting it. I think that's what your
5 question --

6 DR. NEILL: Yes, exactly. For an OTC
7 product where, as a health care provider, I'm not
8 involved except in some circumstances in a decision to
9 go and pick it up off the shelf, I'm not going to fill
10 out a MedWatch form and send it in for a patient that
11 by all rights may never see me and may only speak to
12 the, may only speak to the company. And so I'm
13 interested in active looking versus passive.

14 DR. CANTILENA: Other questions for FDA,
15 Dr. Johnson?

16 DR. JOHNSON: Yes, my question is
17 primarily directed to Dr. Chang, and that has to do
18 with the comparator table of efficacy. And I'm
19 wondering if you can comment on Dr. Greenslade's
20 comments about how that table was derived. I mean,
21 frankly, the sentence he read bothers me a little, and
22 the fact that it's in other contraceptive products
23 doesn't, doesn't necessarily make it what sounds like
24 good data.

25 MS. CHANG: I believe that the agency

1 wanted some kind of a method that, to have the
2 consumers basically make an informed choice. It's
3 generally not to, to improve that process of selecting
4 their birth control method. And it was mainly for
5 informational purposes so they can make that choice,
6 that maybe because of their condition they should not
7 use this product, because of, of the dangers of
8 getting pregnant, they should use another product that
9 may have more of a, a better pregnancy prevention
10 claim for an efficacy statement.

11 DR. JOHNSON: Well I, yes, I think it's a
12 great idea to provide information to consumers so they
13 can make decisions, but if the information is flawed
14 or is not based on real data, then I'm not sure that
15 that is useful information for a consumer.

16 MS. CHANG: I believe, and I can ask Dr.
17 Chang on that, Dr. Chin on that one, but basically,
18 Dr. Trussell, it was more of a retrospective type of
19 a data base. Is that right, Ling? Okay.

20 DR. CHIN: Let me see if I, I'll try to
21 clarify this. The Trussell table is a reference
22 table. In terms of trying to get a sense of birth
23 control rates for the different, or pregnancy rates
24 for the different birth control methods, we have to
25 come up with one reference, one table that hopefully

1 would give consumers a sense of how effective each
2 birth control method is. And I agree. If you look at
3 the methodology that was applied to how that table was
4 developed, it is definitely not consumer friendly.
5 It's above most everyone's reading of it, and, and I
6 tried to get through it. It's very difficult.

7 But the agency undertook a point of
8 reference by which information that is from a reliable
9 source and Contraceptive Technology is an accepted
10 reliable source for contraceptive information. And as
11 far as contraceptive rates, I mean as far as pregnancy
12 rates are concerned, that's an accepted point of
13 reference.

14 Aside from that, the agency tried to make
15 that table more consumer friendly. We did adapt it
16 somewhat and the table was subject to focus group
17 discussions, so that the presentation of the table is
18 slightly different than the table in Trussell's table.
19 It's more consumer friendly than that.

20 It is really just a means of providing
21 information across the board of all the various
22 methods, knowing that we do not have one single
23 clinical trial that will do head to head comparisons
24 of every method, and by which we can come up with the
25 usual standards of comparing effectiveness by each

1 method. And that's the point of the table. Does that
2 clarify it?

3 DR. JOHNSON: I mean, it, it clarifies,
4 but I guess it doesn't sort of get at the base of how,
5 how valid is the data in that table.

6 DR. GANLEY: Well, I think one of the
7 things you have to remember that this was a table
8 that's included in prescription products. There's a
9 learned intermediary there who can read it and
10 hopefully understand it.

11 And I think there's two things to, to
12 understand here -- is conceptually, should we have
13 that information in OTC products, number one. And
14 number two, is how should that information be
15 prevented (sic)? I would agree with the presentation
16 that I find it very unconsumer, it's not consumer
17 friendly and, but the question, I, the first question
18 is should we have comparator information so the
19 consumer can make that choice. And then we can decide
20 on what the adequate reference is and how to present
21 that information. I think that's what we're trying to
22 get at.

23 You're looking at a table that a, a
24 physician can read, understand certain caveats in it
25 and convey those to a consumer, and I would totally