

1 product containing phenylpropanolamine, a prescription
2 monoamine oxidase inhibitor, certain drugs for
3 depression, psychiatric or emotional conditions or
4 Parkinson disease for two weeks after stopping the
5 MAOI drug. If you do not know if your prescription
6 contains an MAOI, ask a doctor or pharmacist before
7 taking the product. Ask a doctor before use if you
8 have high blood pressure, thyroid disease, heart
9 disease, diabetes, glaucoma, or breathing problems
10 such as emphysema or chronic bronchitis, difficulty
11 urinating due to enlargement of the prostate gland or
12 have been reading too fast.

13 (Laughter)

14 MS. COHEN: Okay. And this is an OTC
15 drug. Okay. So --

16 DOCTOR SOLLER: Could I make a brief
17 comment, Mr. Chairman?

18 CHAIRMAN BRASS: Please.

19 DOCTOR SOLLER: I will mention that FDA
20 has proposed labeling and that the products that we've
21 reviewed have essential elements of that labeling and,
22 ma'am, we take this labeling very seriously. It's
23 important that it be driven by the information that we
24 have. I think it's relevant that there is a statement
25 that tells consumers not to take more than the

1 recommended dose and it's accompanied by a statement
2 that says taking more can be harmful.

3 For PPA weight control products, there's
4 a statement that it shouldn't be used by people under
5 18 years of age. There are statements about
6 appropriate drug/drug interactions that should be
7 looked out for and potential contraindications. And
8 that's not unlike other labeling in other categories
9 of OTC medicines. It's entirely consistent in its
10 construct and the kinds of concepts that are being
11 conveyed to consumers.

12 CHAIRMAN BRASS: Remember, our discussion
13 now is on questions related to the HSP and I don't
14 think, while the labeling issues are important, I
15 don't think they're germane to the questions on the
16 table. Doctor Cantilena.

17 DOCTOR CANTILENA: Yes. Just a question
18 about the package insert that you just showed us.

19 CHAIRMAN BRASS: I'm trying to get us back
20 on to the HSP.

21 MS. COHEN: This is what it's about.

22 DOCTOR CANTILENA: It is related to that.
23 Is that the current one or is that what was available
24 as the study was actually going on?

25 CHAIRMAN BRASS: Doctor Soller.

1 DOCTOR SOLLER: Well, Lou, I would have to
2 look side-by-side, but I can say to you that I think
3 it's probably the same one that was going on when the
4 study was initiated. You're asking me to look at
5 what's here and comparing up there. What it looked to
6 me was the one that was on the major PPA-containing
7 products, national brands as well as the house brands.
8 I mean if you want me to take a look more closely and
9 report back to you during this meeting, I can do that.

10 DOCTOR CANTILENA: Yes. Specifically in
11 terms of the contraindications and those kinds of
12 things.

13 DOCTOR SOLLER: Basically they were there.
14 Yes.

15 DOCTOR CANTILENA: So those were in effect
16 a label that was extremely similar to this, if not
17 identical, was in platy for the subjects who actually
18 ended up in the study. Is that true?

19 DOCTOR SOLLER: I would say reasonably
20 similar for the major brands and at least one of those
21 had something that was in drug facts-type of format.
22 The house brands and at least one other national brand
23 was not in that kind of format, so there were
24 differences in the labeling and it was not across the
25 board entirely consistent with what was proposed by

1 FDA, the reason that we suggested that there be a push
2 to standardize that particular labeling. When that
3 happens, it would also be standardized into the format
4 that I know you're familiar with, the panel ANDAC has
5 reviewed, that's the new OTC label format.

6 DOCTOR CANTILENA: Okay. Thank you.

7 CHAIRMAN BRASS: Doctor Elashoff.

8 DOCTOR ELASHOFF: With respect to
9 confounders, I don't think any epidemiological study
10 no matter how big or how well done, can prove without
11 a shadow of a doubt that it's the drug in question
12 that is the cause rather than some confounder. The
13 issue though is does the study suggest that one ought
14 to be worried about the drug in question.

15 CHAIRMAN BRASS: Doctor Gilman, since you
16 did such a fine job of getting us into the two sub-
17 populations, what is your feeling about the general
18 population, the all-exposed population without a
19 gender breakdown?

20 DOCTOR GILMAN: Well, based upon the data
21 as we have seen them, I would say that the results in
22 the HSP Study show that PPA is not safe from the risk
23 of hemorrhage in the population as a whole.

24 CHAIRMAN BRASS: Does that elicit any
25 comment? I just want to follow up I think on

1 something that Doctor D'Agostino was suggesting and
2 actually was prompted by the comment from the CHPA
3 group, and that is I endorse the concept that one has
4 to be very careful about getting into sub-group
5 analyses and to the degree they can be helpful, that's
6 fine but when the sub-group analyses get even smaller,
7 people are concerned about the small numbers in the
8 primary end points which were prospectively defined
9 adequately powered to address those issues and then
10 confuse how sub-group analyses aren't clear. I think
11 that's not surprising and, while it is okay to talk
12 about them, I think that one has to focus the primary
13 conclusions on the primary hypotheses that were posed
14 by the study which, in fact, included women
15 prospectively as a sub-group and the general
16 population and the degree to which confounders were
17 not balanced, one has to rely on overall general
18 principles to assess whether or not they mitigate the
19 response.

20 DOCTOR D'AGOSTINO: Again, I think the
21 issue is that if this were a clinical trial in other
22 settings or epidemiologic case control, you say you
23 look at the global and then you look for consistency
24 across the sub-groups. You don't look for statistical
25 significance across the sub-groups. I think the

1 concern that's being raised is that some of these sub-
2 groups and some of these variables, these confounders,
3 may be what's driving the analysis. When you look at
4 the sub-groups, none of them are inconsistent but we
5 don't have the ability to perform a test that, as
6 Janet just said, it's going to be everyone's
7 satisfaction. But I think it is a good point to bring
8 this back to what the study was designed to actually
9 do and see what happens at that level.

10 DOCTOR DALING: Doctor Delap, did you have
11 a comment earlier?

12 DOCTOR DELAP: I think my comments have
13 been addressed in the discussion here. Thank you.

14 DOCTOR KULLER: Can I make a comment?

15 CHAIRMAN BRASS: Please.

16 DOCTOR KULLER: I think there's two
17 questions here which still need to be resolved.
18 First, this man/woman situation. The use of PPA in
19 the control group in the men and the women is exactly
20 the same. It is not statistically different. It is
21 not low use of PPA in the men, and the number of cases
22 in the study is very similar for men and women so that
23 yes, subarachnoid hemorrhage may be more common, as we
24 know, in women but in this study, the number of cases
25 in men and women is not terribly different and the use

1 of PPA, especially if you exclude the use in obesity
2 drug, is 2.5 percent versus 2.1 percent in the
3 controls.

4 The interesting observation is that there
5 is no exposure in the male cases, but that has
6 absolutely nothing to do with PPA use in the
7 population. It only suggests that there might be a
8 difference in the characteristics of the cases.

9 The second problem, which hasn't been
10 resolved and was pointed out by Doctor Daling a few
11 moments ago, is that internally the study is superb
12 but I just don't understand how one can resolve the
13 issue that the controls are almost the same as
14 basically going on a street corner and asking people
15 whether they took PPA or not. I mean when you have
16 that small a control group, when you have to make 100
17 and some phone calls to find one potential control and
18 then only one out of three who you actually find ever
19 get into your study, I don't understand how you can
20 possibly interpret the control group in terms of the
21 use of PPA when the whole study is based on eight
22 cases that use PPA versus five controls. This is not
23 a twelve-fold risk across the population. It's eight
24 versus five, and when you have that much of a problem
25 with selection of controls, even though the rest of

1 the study is superb and it is and everything they
2 talked about and the FDA presentation, we all agree.
3 But the problem is you still have the controls are
4 just like doing a survey by asking people on the
5 street who you're going to vote for or what do you
6 think of something. That's not the way we do studies
7 and, when you have that problem, it's almost
8 impossible to interpret the results.

9 CHAIRMAN BRASS: If I could just ask you
10 to clarify something you just said. I thought in the
11 control, the use of PPA was higher in the women than
12 the men.

13 DOCTOR KULLER: It's 2.5 percent versus
14 2.1 percent if you exclude the women who were taking
15 the appetite suppressant and, if you don't, then it's
16 2.7 versus 2.1 and that is not even close to
17 statistically significantly different. It is
18 strikingly different among the cases. 5.1 in the
19 women and 1.9 percent in the men, but that has nothing
20 to do with PPA in the community. It has to do with
21 the use of the drug in male cases versus female cases
22 and the number of cases is 319 men and 383 women in
23 the study. So it's not a function of there aren't any
24 men in the study. This is not a power issue in men.
25 It's a very interesting observation that men are

1 essentially protected and women basically have what's
2 reported to be a risk in the study. But you can't
3 attribute this to low use of PPA in men or basically
4 to not enough stroke cases in the men to interpret the
5 data.

6 DOCTOR HORWITZ: I just wanted to make a
7 comment on Doctor Kuller's observations. We agree
8 with Doctor Kuller about the total number of cases
9 among men and women which are very similar in that the
10 overall exposure prevalence for PPA between men and
11 women is not greatly dissimilar. I think where we may
12 disagree is that if you look among the controls for
13 males, there were no appetite suppressant users among
14 males and there was only one male user for first use
15 of cough/cold.

16 So the reason we raised that concern and
17 why we felt that there was an issue of this study
18 being under-powered for that purpose was that there
19 were no male appetite suppressant users and only one
20 male first use of PPA in cough/cold products. It was
21 that part of the analysis which was a pre-specified
22 part of the hypothesis of this study for which we felt
23 that we had insufficient exposure among the controls
24 and left it difficult for us to answer specifically.

25 DOCTOR KULLER: But Ralph, you have to

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 admit you only have four women who are first exposures
2 in the controls, also, so you have one man and four
3 women in the entire study and that would be a little
4 shaky in terms of interpretation. There's only four
5 women in the control group that are first users and
6 there's one man, so that's your entire presumption.

7 I think the more likely hypothesis is that
8 there's something different, either the distribution
9 of cases between intracerebral and subarachnoid
10 between men and women or likely that the drug
11 behavior, whatever it is or whatever else is going on
12 here, is strikingly different between men and women.
13 It's a rather interesting observation, but I don't
14 think it can be washed out by power.

15 DOCTOR HORWITZ: I've learned over the
16 years not to try and get into a dispute with Doctor
17 Kuller. The emotion would be high and the stakes
18 would be low, I'm sure. I did, however, want to point
19 out that when we said with regard to first use in
20 women the .5 percent, Doctor Daling, that we had
21 referred to earlier had to do with the expected
22 exposure prevalence for first use among women of .5
23 percent. You may feel, Doctor Kuller, and I
24 understand that, that the four exposed women in that
25 category represents a small number. It was the

1 anticipated number that led to the sample size
2 estimation that .5 percent was what we anticipated
3 from the market data, that .5 percent was what we
4 found in actually conducting the study. Those four
5 exposed controls-- you and I may wish there were more
6 -- nevertheless were the basis for the sample size
7 estimations that we used in the planning of the study.

8 CHAIRMAN BRASS: Doctor Gilman.

9 DOCTOR GILMAN: I don't think that the
10 data show us any evidence that men are protected.
11 What we saw was that there were very few effects in
12 them, but that shows no -- to me, there's no evidence
13 of protection in men.

14 CHAIRMAN BRASS: Doctor Blewitt.

15 DOCTOR BLEWITT: I propose that we go back
16 to your question, question A, and I'd like to step
17 back from all the details of this issue and just make
18 a few comments if I may. First, it's my belief that
19 the study results are not conclusive. Now, that's not
20 to say, however, that there isn't useful information
21 that can be potentially gathered from a study of this
22 size. I personally don't think that we're going to--
23 for the committee's sake, I don't think we're going to
24 resolve the epidemiological and statistical debate
25 that's been going on here. It's just not possible,

1 particularly where the data are described as fragile,
2 some of the results appear to be inconsistent.

3 My own reading, general reading of it, not
4 being an expert, is that I really felt that the
5 populations differed significantly as to make them
6 non-comparable. I felt that comparing hospitalized
7 versus non-hospitalized was not wholly appropriate.
8 I felt that the cases differing significantly on seven
9 different factors was important. I felt that there
10 was a substantial difference in the patterns of use of
11 the drug in cases in controls and so forth.

12 So my approach was to basically pretend
13 that 27 cases were brought to me to take a look at, 27
14 charts, and say what do you think about these?
15 There's a concern that maybe phenylpropanolamine is
16 the culprit in all of this, and give us your feeling.
17 And my approach to that would be to take each of the
18 cases and to look at the dose that was given, the
19 timing of the dose, what concomitant medications might
20 be taken, what concomitant disease states might be
21 present and the general demographics.

22 And so I went to page 37, Table 6 here,
23 and without getting into too much detail because I'm
24 not looking at hospital charts. This is the study
25 report manuscript. But just in what I could perhaps

1 gather from looking at this chart compared to what I
2 might be able to get if I were able to look at the
3 cases in some depth and I found that if I looked at
4 the case group, there were, in addition to what's been
5 said about smoking and hypertension and so on, a lot
6 of cases where the dose in three days was exceeded.
7 I see a 600, I see an 890, a 480, 640, 600. I see the
8 last dose in some cases being 150, 150, 150. I also
9 see one which is low as 20.

10 So it leads me to question what's going on
11 here and it leads me to say, well, is there a value in
12 taking a look at these cases individually on that
13 basis and could that lead you to a population that
14 would perhaps be at risk for taking the drug? If a
15 substantial percentage of these people have taken it
16 beyond the labeling indications, I think that's a
17 factor. If there are coexistent illnesses or
18 medications, we're not entirely clear on medications,
19 then those are factors, too, which would govern your
20 judgment on that. So I would suggest that perhaps
21 taking a look at these cases in depth, given that I
22 really feel that it's going to be very hard to resolve
23 the issues with regard to statistics and epidemiology.
24 So that would be my comment.

25 CHAIRMAN BRASS: Doctor D'Agostino.

1 DOCTOR D'AGOSTINO: I think what was just
2 stated is actually very important, but I also want to
3 remind us of where we sit here. I mean 10 years ago,
4 we had cases being reported and what you said would be
5 very compelling. What do they consist of? Do they
6 overdose? Are they taking other drugs and so forth?
7 Because there was data that was indicating that in
8 females with appetite suppressants, first users, there
9 was this very long-term epi study and what you are
10 suggesting now is that let's forget that this is a
11 well-designed study, that there were cases, there were
12 controls, and run to looking at the individual cases.
13 I would think that because it was a study that was
14 well-designed and so forth, we should look at what the
15 analysis of the study says and, if we come up with
16 something, if we said the study is completely
17 inconclusive, we say that we don't think there's any
18 relationship, then it ends but, if you say there's a
19 relationship, then you ask the question, well, what's
20 driving the relationship? Is it over-use and so
21 forth?

22 And so what I'm suggesting is that let's
23 remember that this was a case control study that was
24 prospectively put together and I think we need to look
25 and we should look at how the hypotheses played out

1 and then certainly for interpretation, if we think
2 there's a relationship, to do exactly what you said.
3 I think we have to be compelled to do what you said.

4 DOCTOR BLEWITT: If I may respond. I
5 don't think that I've heard anyone here today say that
6 this study wasn't properly designed. In fact, I think
7 even those who have perhaps critiqued the study have
8 all agreed that this is a well-designed study. I
9 think that a lot then goes to the execution and really
10 basically what comes out of the study. You can have
11 the best of intentions, the best protocol design, as
12 you know, but that doesn't necessarily mean that what
13 you're going to get at the end is what you had desired
14 to accomplish in the first place. So I agree with
15 you. I don't see that as an issue.

16 I think the issues have been raised in
17 terms of how the data were collected and whether they
18 were validly collected and so forth. I mean that's
19 what it comes down to. What is it that you have at
20 the end, not what you have at the beginning.

21 CHAIRMAN BRASS: I'm sorry. You had a
22 comment earlier.

23 DOCTOR LA GRENADE: I was going to point
24 out that in the random digit dialing selection they
25 were trying to match the controls to the cases. So

1 when they phoned the first person, you have to match
2 the case on certain criteria. So it wasn't just as
3 though you didn't respond, and I think this is a
4 factor that we probably have lost sight of in the
5 discussion. I just wanted to bring it back to the
6 attention of the committee.

7 CHAIRMAN BRASS: Thank you.

8 Doctor Cantilena.

9 DOCTOR CANTILENA: Yes. Just in follow-up
10 to George's comment. I mean if you look at that Table
11 6, George, I guess what I'm hearing you say is that it
12 may not be less of a problem or as much of a problem
13 because in five of the females and one of the males
14 they exceeded the recommended dose in three days. But
15 I sort of look at it in another way in that this is,
16 in essence, an actual use study and really those five
17 females but not the male certainly exceeded the last
18 dose but only by a factor of two for an appetite
19 suppressant dose. So it really, in essence, comes
20 down to an extra pill and they ended up on the case
21 list.

22 So I think the way I'm hearing you, I just
23 wanted to ask you to clarify that because, as I see
24 it, this is really sort of telling you that perhaps
25 the safety margin is not as it should be if you can

1 just exceed the dose really slightly by a factor of
2 two to two and a half, I guess, in the column for the
3 dose in three days and still end up here on the list.
4 I mean we're talking about an over-the-counter and
5 it's, in essence, sort of an actual use.

6 DOCTOR BLEWITT: Well, it is a case where
7 a couple of tablets can make a difference. The
8 labeling has been adjusted in fact to bring the total
9 daily dose to the lowest reasonable dose that would
10 not cause side effects. So it initially was somewhere
11 -- it's been backed up. For instance, it's as if
12 you're asking me well, if you took a 400 milligram
13 ibuprofen tablet, wouldn't it be okay to take an 800
14 milligram, and so there is a point at which you draw
15 the line for medications and I think that that applies
16 here as well.

17 DOCTOR WEISS: Could I just clarify the
18 issue about the method and the conduct of the random
19 digit dialing. The concern of the Review Committee
20 wasn't that a large number of calls had to be made to
21 identify a matched individual. We understand that
22 process would require a large number. Our concern was
23 that among those persons who are identified as
24 potentially eligible, only approximately 35 percent of
25 them actually were recruited into the study.

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 The reasons why non-participation is of
2 concern, of course, is that participants and non-
3 participants may differ in a lot of ways that are
4 important to the exposure in question. I'm not saying
5 this actually occurred, but it's conceivable that if
6 a potential control is identified and asked to be
7 participate in an interview but that control has a
8 cold, is not feeling well, they may preferentially
9 choose not to participate. If that does happen, then
10 in the controls that are selected you're going to have
11 an under-representation of the use of PPA.

12 There is certainly some reassurance in the
13 fact that the proportion of users of PPA was roughly
14 that predicted in advance, but I doubt that that
15 prediction focused on the four geographic areas in the
16 particular age group that was in question. I think
17 there was a good reason to pick some controls and the
18 worry still is that they may not really represent the
19 population at risk for this condition.

20 CHAIRMAN BRASS: Doctor Kittner.

21 DOCTOR KITTNER: I think everyone agrees
22 that the study was well-designed and I heard a
23 statement that it was not well-executed. I think that
24 there's no consensus that I've heard around the table
25 that it wasn't well-executed. In fact, I think that

1 if we were to repeat this study and spend another five
2 years, we'd likely be back around the table here with
3 very similar data and very similar issues. Many of
4 the issues are really inherent. This is actually the
5 largest case control study ever conducted in
6 hemorrhagic stroke and, what's more, it's in a low
7 instance population. We're talking about stroke at
8 any age and here we have a stroke in young adults
9 which is the largest study ever conducted. So I don't
10 think that if we come and redesign and do a study
11 we're necessarily going to be in a better position in
12 five years.

13 CHAIRMAN BRASS: I think it's important,
14 again just to try to maintain some focus, I think the
15 issue of whether or not we conclude something from HSP
16 needs to be separated, whether we conclude anything or
17 not, help us in the policy decision making, and I
18 think those are two separate issues, and your point,
19 which I agree with, is germane to when we try to
20 extrapolate from HSP into decision making.

21 Ms. Cohen.

22 MS. COHEN: I have a question I don't know
23 the answer to. I noticed on the labeling that
24 children 12 years --

25 CHAIRMAN BRASS: I'm sorry. Only things

1 related to the HSP interpretation.

2 MS. COHEN: Well, I think this is
3 important, Doctor Brass, because someone can answer
4 it. It said that children 12 years of age and older
5 and adults can take up to 150 milligrams a day, and I
6 think I need to know if that's a safe amount. This is
7 about safety and consumers.

8 CHAIRMAN BRASS: Other comments about the
9 HSP.

10 DOCTOR DELAP: I think we are interested
11 in the comment that was just made, but I'm hoping that
12 we'll get some discussion of the dose a little later
13 on.

14 CHAIRMAN BRASS: That's correct.

15 DOCTOR DELAP: I think we have that under
16 question D. I don't want to lose that.

17 MS. COHEN: Thank you very much.

18 CHAIRMAN BRASS: Yes.

19 DOCTOR WARACH: I do have a reservation
20 about the conclusion of the association with the
21 hemorrhage risk for two concerns. One is the problems
22 with adjuster controlling for all the potential
23 reasonable and relevant confounders. The other one
24 that had been mentioned only slightly in passing
25 earlier today was the problem with self-report with

1 regard to cocaine or other illicit drug use and
2 cocaine is a recognized risk factor for hemorrhage.
3 It's likely to be unreported. Perhaps even more so in
4 the group that suffered the stroke and is feeling a
5 bit guilty about their abuse behavior. So I think the
6 study is very suggestive of this association, but I
7 have that reservation and I would say it's ultimately
8 inconclusive on that point.

9 CHAIRMAN BRASS: Do the investigators
10 happen to have any information about tox screening on
11 the cases. You'd think that in young patients
12 presenting that it would commonly be done.

13 DOCTOR KERNAN: We don't have any recorded
14 information on toxicology screens.

15 CHAIRMAN BRASS: I assume you're going to
16 want votes. Yes, I was afraid you'd say that. Okay.

17 DOCTOR NEILL: I'm going to save you from
18 voting for a minute. A couple of comments about the
19 study. The first is that with regard to the issue of
20 being able to assess for confounding or not, I've been
21 convinced that this is not a study that can help me
22 control for that and yet to the extent that it's been
23 attempted, it hasn't shown any difference in their
24 results.

25 To the extent that it was designed to

1 answer a specific question in the overall population
2 and a co-equal aim in women to answer a specific
3 question, it answered those questions and very clearly
4 overall the answer from this study, however imperfect,
5 is yes, there's an association.

6 The second comment I'd like to direct to
7 FDA staff, but I've got three comments so don't answer
8 until I get my little third one in. Earlier I was
9 asked by Doctor Soller to use science as a base for my
10 decision and it's my impression that PPA is OTC by
11 virtue of historical accident rather than virtue of
12 science and I wonder if, after my next comment, you
13 could reconcile the expectation that I'm supposed to
14 use the results of the aggregate data to make a
15 decision about OTC safety for this with FDA's
16 statement earlier that the burden of proof for safety
17 is with the manufacturer.

18 CHAIRMAN BRASS: I'm sorry. I'm going to
19 interrupt again because we're going to get to the
20 issue of how whatever we conclude about HSP is used
21 for decision making.

22 DOCTOR NEILL: Okay.

23 CHAIRMAN BRASS: So I really want to
24 stay--

25 DOCTOR NEILL: Can I move on to my third

1 comment then?

2 CHAIRMAN BRASS: Thank you.

3 DOCTOR NEILL: You can just let that float
4 in the air. With regards to the small numbers that
5 makes it so difficult to control for confounding in
6 men and lack of men using appetite suppressants, I saw
7 some data that suggested that the overall use in the
8 general population is overwhelmingly for cough/cold
9 preparations and I haven't heard anybody comment on
10 what seems to be the massive over-representation of
11 hemorrhagic strokes occurring in people using it for
12 appetite suppressants. I don't have an explanation
13 for why.

14 Fully a third of these cases come from
15 people using it for that indication when they
16 represent a tiny, tiny percentage of the overall use
17 and, if nothing else, that suggests to me that I ought
18 to believe these fragile results.

19 DOCTOR SOLLER: Doctor Brass, just
20 quickly. I think what's important here relative to
21 the scientific documentation in that standard is
22 really what we heard a little bit earlier, that maybe
23 there's not an evidentiary standard for safety, that
24 it more becomes well, subjectively, how do I feel
25 about this data set? And I think what the policy

1 does, it drives us to a much more rigorous view of
2 that.

3 The comment was the burden of proof for
4 safety is on industry. The agency has acted in
5 approving NDAs and, as far as I know, NDAs for
6 products are approved in the context of safety and
7 effectiveness. I think, therefore, the question here
8 is whether there is a sufficient evidentiary standard
9 and it must be rigorous. That's why you've been
10 brought in because obviously you've got, I think, what
11 the industry looks at is a major polarization within
12 the epidemiologic community and some very important
13 players within that community raising very, very
14 significant concerns. And I think that that's very
15 important. And if you come to a point where you are
16 going to keep the evidentiary standard where it should
17 be, then I think for this study you end up being
18 uncertain that is has shown what you're suggesting it
19 has.

20 CHAIRMAN BRASS: From the FDA's
21 perspective, before we go into voting, are there
22 issues that you think have not been discussed about
23 HSP that you would like to hear discussed that would
24 be helpful from your perspective?

25 DOCTOR DELAP: I think the discussion has

1 been a very good one, and some of the salient points
2 that I've picked up are that the numbers of events on
3 which you're basing a conclusion of an association are
4 relatively small. We knew that that was going to be
5 the case going in, I think, when the study was
6 designed because power was at the margin, even with
7 this fairly ambitious study. I've heard the
8 discussion that it's hard to analyze satisfactorily
9 for confounding in a setting where you don't have so
10 many events to base those kinds of analyses on. I
11 think we hear that, as well.

12 We're looking at this again from the
13 standpoint of we had some concerns in the early '90s,
14 particularly about women, particularly about weight
15 control products, and this study grew out of that. So
16 we'd like to have your answers as to how we should
17 interpret the results of this study in the setting of
18 all the information that's led up to today.

19 DOCTOR HENNEKENS: I wanted to respond to
20 Doctor Neill's comment about the overall results. I
21 believe that if one sets aside the concerns that you
22 have a 35 percent articulation rate in controls and an
23 inability to control confounding, especially in the
24 sub-group analyses, if one looks at the overall test
25 of the hypothesis of whether taking PPA for either

1 cough or cold suppression or appetite suppression is
2 associated with risk of hemorrhagic stroke, the
3 overall analysis, to my thinking, is based on 27
4 versus 33, and that is not statistically significant.

5 DOCTOR NEILL: I guess I would
6 respectfully disagree. What I see is an elevated odds
7 ratio with a p-value of .089 which, while it isn't
8 .05, is high enough when considering items of safety
9 to make me concerned about that. I don't think the
10 study was designed to answer the question, but I
11 haven't heard an explanation for why people using this
12 for appetite suppression as an indication would be
13 over-populated in either of the two groups.

14 DOCTOR HENNEKENS: I certainly agree with
15 your point that you might want a different standard
16 for safety than for efficacy. However, I also feel
17 that my opinion is that if you follow guidelines that
18 are emanating from these data, they'll be lots of
19 drugs you throw off the market when there's nothing
20 wrong with them and lots of drugs you leave on the
21 market that are causing fairly large effects that
22 you're missing because of using rules like this. It
23 goes both ways.

24 DOCTOR NEILL: I guess one other point
25 that was brought up several times is that in addition

1 to the very low response rate, there's this
2 unaccounted for dead folk who obviously, by their
3 absence, would tend to make it more difficult to show
4 an effect which is why I remain impressed that there
5 is an effect that's demonstrated despite their
6 absence.

7 CHAIRMAN BRASS: Doctor Gilliam.

8 DOCTOR GILLIAM: My concern, I guess, is
9 with the safety, too, and using the figure that are
10 given, about 10,000 people a year in this age group
11 have a stroke, and the FDA was saying that they can
12 attribute -- if you believe the statistics, that
13 there's 200 to 500 strokes in this age group that
14 could potentially be prevented, that's two to five
15 percent of the strokes in this age group. I think
16 that's of concern. Plus also the fact that people are
17 not taking this in the recommended doses.

18 CHAIRMAN BRASS: I'm almost going to give
19 up but again, it is quite possible to conclude that
20 there's an association based on HSP but when we get to
21 risk versus benefit, etcetera, and vice versa, despite
22 the absence of an association of the trial, one might
23 conclude.

24 Doctor Gilman.

25 DOCTOR GILMAN: I think it's a good idea

1 to go back and take an omnibus position now because
2 this is a trial that was conducted prospectively with
3 a set of hypotheses to test with case control
4 methodology that was superbly followed and the result
5 was significant. As I see those data, they are
6 significant. It's not a feeling. It is what the data
7 show me anyway. So I'm not troubled, as some people
8 in the room seem to be, by the quote "small numbers."
9 They were predictably going to be small numbers. We
10 have what was predicted at the very beginning of the
11 design, and so it should be no surprise to us now that
12 we're dealing with small numbers, but the numbers show
13 a significant risk for hemorrhagic stroke,
14 particularly among first users and in women.

15 CHAIRMAN BRASS: Doctor Katz.

16 DOCTOR KATZ: I agree the point of which
17 was the primary outcome and adjusting for multiple
18 comparisons. These are very important issues and we
19 worry about them all the time and overall, given one
20 of the so-called co-equal outcomes, it didn't make it
21 nominally statistically at .08 I guess was the thing.
22 But as Doctor La Grenade said earlier, I just want to
23 reiterate this point. Apparently from the point of
24 view of the FDA, even though there were technically
25 three or five co-equal outcomes apparently, I'm told

1 that the one outcome in which the agency was
2 specifically interested in as the ultimate primarily--
3 if I can speak for the team and I really shouldn't,
4 they're here, they can speak for themselves -- was the
5 sub-group in which the statistically significant
6 finding emerged. In other words, women taking it as
7 an appetite suppressant. And that finding, if you
8 consider that to be the primary, if you believe that,
9 holds up to any sort of -- pretty much holds up to any
10 sort of reasonable adjustment procedure for the p-
11 value.

12 CHAIRMAN BRASS: Doctor D'Agostino.

13 DOCTOR D'AGOSTINO: I think that it's been
14 over and over again and those who are aware of the
15 history know that it's exactly what you just said.
16 You can argue on the other side is that the
17 investigators put a study together and they came up
18 with five hypothesis and gave them all equal weight.
19 I would argue, even in the light of them giving it all
20 equal weight, those significant values using .05 as
21 the cut-off can't be ignored.

22 CHAIRMAN BRASS: Okay. I'm going to try
23 to synthesize some questions that we can actually vote
24 on. Before we start, I want to remind everybody that
25 Doctors Warach, Blewitt and Kittner are not able to

1 vote though they're able to participate in the
2 discussion. And all the questions are going to have
3 the following form. They're all going to be about the
4 HSP Study. I'm going to follow my own rule. And
5 there's going to be three options on each question.

6 So the three options are going to be that
7 the HSP Study suggests that PPA is safe from risk of
8 hemorrhage, that the results suggest that there is an
9 association between PPA and hemorrhagic stroke or 3)
10 inconclusive between those two alternatives. And I'm
11 going to identify populations and uses and we will
12 vote on them individually. So the first option will
13 always be safe, 2) will be associated, 3) will be
14 inclusive. Is that strategy okay? Okay.

15 So the first population I'm going to ask
16 the question about has to do with women between the
17 age of 18 to 49 using PPA as an appetite suppressant.
18 Safe, associated, inconclusive. All those who feel
19 that, based on the HSP Study alone, that PPA is safe
20 in that population, please raise your hand.

21 All those who feel that PPA is associated
22 with hemorrhagic stroke in that population, please
23 raise your hand.

24 DOCTOR TITUS: There are 13 --

25 CHAIRMAN BRASS: Thirteen. Well, I'll

1 read it at the end.

2 And all those who feel the data are
3 inconclusive, please raise your hand.

4 DOCTOR TITUS: One. So the tally is zero
5 for safe, 13 for there is an association, and one
6 inconclusive.

7 CHAIRMAN BRASS: The next population will
8 be women between the age of 18 and 49 using the
9 product as a decongestant, and that's any decongestant
10 use. Is that clear? In other words, I'm not talking
11 about first dose only. I'm talking about any exposure
12 as a decongestant. People have that?

13 All those who feel the product is safe for
14 that group, please raise your hand.

15 All those who feel there's an association
16 in that group, please raise your hand.

17 All those who feel it is inconclusive in
18 that group, please raise your hand.

19 DOCTOR TITUS: So for the females in the
20 18 to 49 year age for decongestants, there were zero
21 who thought it was safe, there were six who thought
22 there was an association, and there are eight
23 inconclusive.

24 CHAIRMAN BRASS: Next are women 18 to 49
25 using any PPA product on first exposure. Okay. Is

1 that clear? First use risk in women regardless of
2 product class. Okay? All those who feel the product
3 is safe in that group, please raise your hand.

4 All those who feel that there is an
5 association in that group, please raise your hand.

6 All those who feel the data are
7 inconclusive in that group, please raise your hand.

8 DOCTOR TITUS: For females in the ages of
9 18 through 49 on their first exposure to PPA, we have
10 zero who thought it was safe, we have 13 who thought
11 there was an association, and we have one
12 inconclusive.

13 CHAIRMAN BRASS: We will now do those same
14 three classes for the general population. So no
15 gender specificity. So without respect to gender,
16 using PPA products as appetite suppressants, those who
17 feel the product -- I'm sorry. It's a clarification
18 question? Please.

19 DOCTOR GILLIAM: This is just in the 18 to
20 59 general population or the population as a whole?

21 CHAIRMAN BRASS: The HSP population, so
22 the 18 to 49. I'm sorry for not clarifying that.

23 Doctor D'Agostino.

24 DOCTOR D'AGOSTINO: You want us to vote on
25 the women data, the female data, overwhelming the

1 combined data?

2 CHAIRMAN BRASS: That could be an
3 interpretation of what I just said because I think
4 that, again, in terms of the compilation of the data,
5 one of the hypotheses were all exposure.

6 DOCTOR D'AGOSTINO: Or you could also be
7 saying that there's consistency in males and females
8 and sub-group shows it just on females.

9 CHAIRMAN BRASS: Well again, in my mind,
10 this goes back to the original hypotheses of the
11 study. One could vote that the result could be
12 significant for women and in the general population,
13 either because the effect is generalizable or in the
14 general cohort the data in women statistically drove
15 it so that it was significant odds ratio. I think
16 which of those occurs has implications for the
17 interpretation of what action should be taken but from
18 a study design primer hypothesis, I thought it would
19 be worth putting on record. But I appreciate the
20 clarification.

21 Doctor Gilman.

22 DOCTOR GILMAN: I have concern about doing
23 this though. This is the reason that I suggested that
24 we just eliminate men from the beginning. The problem
25 is that we have a set of hypotheses driven by the

1 principal question which is about women and stroke
2 and, accordingly, the study was designed with that in
3 mind and now, since there are only two choices, there
4 are men and there are women, we don't have any other
5 choice here, we have to decide whether we want to say,
6 well, I assume there may be some risk to men even
7 though I don't know whether there's risk or not. In
8 other words, go beyond the data as they exist because
9 the trial wasn't designed with this in mind. So I
10 have a problem in trying to vote on this with this
11 question in mind. The study was not really set up or
12 the data do not lend themselves now for me to have
13 clarification as having good rationale for a vote to
14 include in the at risk population because it doesn't
15 look as if men are at risk in this population.

16 CHAIRMAN BRASS: Let me just read the
17 first study objective from the trial. Specifically to
18 estimate the association between PPA and hemorrhagic
19 stroke among men and women, men and women, not
20 separately, age 18 to 29 and estimate the association
21 by type of PPA exposure in that general population.
22 So that was the rationale, I thought, and, while I was
23 concerned because men were not a prospective sub-
24 group, women were, that I thought that addressing the
25 study hypotheses and our conclusion might be helpful.

1 Doctor Delap.

2 DOCTOR DELAP: Yes. I think I can
3 understand where Doctor Gilman is coming from. I
4 think there's kind of a logical problem here. I mean
5 it would be hard to say if you're going to ask the
6 question for the whole population, if you feel that
7 there may be a problem in women, how could you say
8 that there's not a problem for the whole population
9 because women are part of that. So I think Doctor
10 Gilman is trying to say, well, we've said what we
11 thought about the women and maybe we should just find
12 out separately what we think about the men and then we
13 can kind of add it up.

14 CHAIRMAN BRASS: I'm happy to do that, but
15 let me again express my concern that men were not a
16 prospective cohort, that there are reasons to think
17 that if one designed it prospectively for men, one
18 would have designed it differently and that the event
19 rate differences, etcetera, compound that
20 interpretation. But I'm happy to do it that way
21 instead of the total cohort if people are more
22 comfortable doing that.

23 Doctor Johnson.

24 DOCTOR JOHNSON: Well, I guess I sort of
25 would follow your suggestions because these are the

1 aims of the study. Total population, which obviously
2 includes women, and women. I would be uncomfortable
3 voting on men because it wasn't a pre-specified aim
4 and it wasn't designed for that.

5 CHAIRMAN BRASS: Should we vote on what
6 we're going to vote on?

7 DOCTOR D'AGOSTINO: I was going to say,
8 again, if the discussion we had at the beginning of
9 this, that one interpretation, if we say yes, is that
10 the female data is the thing that's driving it and so
11 we're not actually necessarily giving an
12 interpretation but just what the data says.

13 CHAIRMAN BRASS: Yes. Have we convinced
14 you, Doctor Gilman?

15 DOCTOR GILMAN: No. It's worse than that,
16 Jim. The problem is that if, thinking of my own vote,
17 if I vote that it is associated with risk for the
18 whole population, in my mind, I would be voting on
19 that side of things because the women overwhelm the
20 men but it doesn't mean anything about the men. Yet
21 implicit in this vote is that men are equally at risk,
22 and I don't know if that's true or not. That's the
23 problem with this vote. I don't know how to vote,
24 quite frankly.

25 CHAIRMAN BRASS: Okay. I am happy to do

1 a gender, I'm happy to do it by men by that category,
2 and then we can see if it's worth doing a third round.
3 Why don't we do it that way. Doctor Neill.

4 DOCTOR NEILL: I'm right with Doctor
5 Johnson on this one. The study wasn't designed to
6 answer the question in men. I asked myself the same
7 kinds of questions, and I guess I have no qualms about
8 answering the question as regards to the entire study
9 population because that's what the study was designed
10 to answer and, while it's open to many
11 interpretations, many of which I've gone through in my
12 head -- let's see -- men don't take appetite
13 suppressants, women do, women are the subject of the
14 marketing efforts of these medicines for appetite
15 suppressants. I mean the list goes on and on and on
16 and, while there may not be a risk for men on the drug
17 store shelf, it's not like you're going to say men
18 don't take this. It ain't going to happen.

19 And so I would strongly urge that we not
20 consider voting for men as a subset since I think we
21 would be implying that we've got data to inform that
22 answer when we don't.

23 CHAIRMAN BRASS: Here I'm going to take
24 the chicken way out and we're going to do both by male
25 and the total cohort and, because there's an

1 inconclusive option, everybody will be able to express
2 whether or not they're comfortable voting that way,
3 and it'll be really simple. So let's do it by men.
4 We'll do the men sub-group first. Men between the age
5 of 18 and 49 using the product as an appetite
6 suppressant. All those who feel in that population
7 PPA has been shown to be safe, please raise your hand.

8 All those who feel that it's been shown to
9 be associated with risk, please raise your hand.

10 All those who feel the data are
11 inconclusive in that population, please raise your
12 hand.

13 DOCTOR TITUS: Fourteen inconclusive.

14 DOCTOR D'AGOSTINO: Can I abstain?

15 CHAIRMAN BRASS: Let the record show that
16 Doctor D'Agostino is embarrassed to be associated with
17 this vote.

18 Okay. Men using decongestant. Safe,
19 please raise your hand.

20 Associated with risk, please raise your
21 hand.

22 Inconclusive, please raise your hand.

23 DOCTOR TITUS: I missed somebody's vote.
24 I'm sorry. I don't get the right count. Okay.
25 Fourteen are inconclusive for men on decongestant.

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 CHAIRMAN BRASS: Okay. Men 18 to 49 with
2 first time exposure to a PPA product, safe, please
3 raise your hand.

4 Associated with risk, please raise your
5 hand.

6 Inconclusive, please raise your hand.

7 DOCTOR TITUS: Fourteen are inconclusive
8 for men 18 to 49 for the first time use.

9 CHAIRMAN BRASS: Now without gender
10 specificity, the population between the age 18 to 49
11 using the product for appetite suppressant. All those
12 who feel HSP has demonstrated safety in that
13 population, please raise your hand.

14 Those who feel that there is an
15 association in that population, please raise your
16 hand.

17 All those who feel that it's inconclusive,
18 please raise your hand.

19 DOCTOR TITUS: Okay. In the all
20 population 18 to 49 for appetite suppression, there is
21 zero for it being safe, 13 for there is an
22 association, and one inconclusive.

23 CHAIRMAN BRASS: Next is the general
24 population 18 to 49 using the product as a
25 decongestant, regardless of timing of exposure. Male

1 and female 18 to 49. All those who feel HSP
2 demonstrates safety in that, please raise your hand.

3 All those who feel an association of risk
4 has been demonstrated by HSP in that population,
5 please raise your hand.

6 All those who feel that it is inconclusive
7 in that population, please raise your hand.

8 DOCTOR TITUS: The all population for
9 decongestants, we have zero think it's safe, five
10 think there is an association and nine it's
11 inconclusive.

12 CHAIRMAN BRASS: Next and hopefully
13 finally for this group of votes, 18 to 49, all
14 population with first time exposure to a PPA-
15 containing product. All those who feel HSP
16 establishes safety in that population, please raise
17 your hand.

18 All those who feel there's an association
19 associated with risk in that population, please raise
20 your hand.

21 All those who feel that it is
22 inconclusive, please raise your hand.

23 DOCTOR TITUS: In the 18 to 49 all
24 population first time exposure, zero thought it was
25 safe, 13 through there was an association, and one

1 thought it was inconclusive.

2 CHAIRMAN BRASS: Thank you very much.
3 Under A, there's one issue we have not dealt with and
4 that's specifically the question of dose. I'd be
5 interested now in some discussion of, again based on
6 the HSP data, whether or not dose is felt to be a
7 factor in any risk in these populations. Doctor
8 D'Agostino.

9 DOCTOR D'AGOSTINO: Can I just ask, do you
10 have a summary of what we heard and I'm going to say
11 what I thought it was, that there was some analysis
12 but it wasn't significant but sort of directional. Is
13 that what we basically have before us?

14 CHAIRMAN BRASS: Doctor Gilman.

15 DOCTOR GILMAN: I believe it was
16 suggestive but not statistically significant.

17 CHAIRMAN BRASS: Would any of the
18 presenters disagree with that assessment of the dose
19 data from HSP? That was certainly my impression and
20 that again, it was a secondary analysis. The recall
21 about dose seems to me to be even more problematic in
22 that it was harder to verify. There were strict rules
23 for verifying yes/no, but to verify a dose of exposure
24 would seem to be to introduce an additional variable
25 into that kind of analysis which would be more

1 problematic.

2 Doctor Sachs.

3 DOCTOR SACHS: The only comment I have is
4 kind of a clinical correlation in trying to think
5 about maybe the pathophysiology of this, and it might
6 be a mistake to assume clear linear dose response
7 relationship because there might be a threshold
8 effect, especially if the hypothesis is that there's
9 some kind of pre-existing dimple or blister in the
10 blood vessel that busts after using one of these
11 agents.

12 CHAIRMAN BRASS: Other comments about
13 dose? Would you like a dose vote? Yes?

14 DOCTOR DELAP: When we get down to
15 question D, you'll see we have some discussion of dose
16 there and I think it would be fine to skip a vote
17 here. We've heard what I think the consensus is and
18 we can get a little further elaboration in question D.

19 CHAIRMAN BRASS: Thank you. I love being
20 spared a vote. Okay. The next question is B and,
21 again, focusing on the HSP data, does it provide
22 information on which populations may be at greater or
23 lesser risk? Now, we've defined nine different
24 populations already based on gender and exposure type
25 and implicit in the vote was that women represented a

1 group of relative risk compared to men and, without
2 doing a statistical analysis on our votes, there was
3 a suggestion that appetite suppressants represented a
4 use population. Are there other population
5 identifications that were gleaned from the
6 presentation which any member of the committee feels
7 is important to highlight?

8 It appears not to be the case and, again,
9 I think this goes back to the limitations on the sub-
10 group analyses and what stratifications were done did
11 not suggest to me any grouping of the risk by any of
12 the strata so that it did not appear to be unique to
13 underlying hypertension or etcetera but, again, that
14 is clearly based on very small numbers but, in trying
15 to even detect a signal, I don't think there was much
16 basis for reacting to that data. Skip a vote? No
17 vote? Okay.

18 Now we shift gears and now we will begin--
19 Doctor Cantilena.

20 DOCTOR CANTILENA: I hate to say this,
21 especially to you, but is it possible to just get a
22 five minute break? I have to answer a page, and this
23 is real important. I don't want to miss it.

24 CHAIRMAN BRASS: Okay. We will now take
25 the Cantilena break for 10 minutes. Actually, we can

1 take a 15 minute break. 3:15 please. 3:15.

2 (Off the record for a 15 minute break at
3 3:04 p.m.)

4 CHAIRMAN BRASS: The committee will now
5 continue its discussion and in what follows we will
6 expand upon our earlier discussion in the
7 presentations to look more globally about the use of
8 PPA in the OTC market based not only on the HSP and
9 our comments earlier about the HSP, but the other
10 information that has been compiled and summarized for
11 us, both from spontaneous reporting base and previous
12 published studies.

13 So the first specific question we'll be
14 discussing is whether or not there's a body of data
15 collected over the years that -- I'm sorry -- there is
16 a body of data collected over the years that has
17 suggested a possible association between PPA use and
18 hemorrhagic stroke. Taking all currently available
19 information into account, do the data support the
20 conclusion that, 1) there is no association between
21 PPA use and hemorrhagic stroke, there is an
22 association between PPA use and hemorrhagic stroke,
23 the association still remains uncertain because of
24 insufficient information.

25 Who would like to make some initial

1 comment about that postulate?

2 DOCTOR GILMAN: I think we have heard data
3 suggesting fairly strongly that there is an
4 association between PPA use and hemorrhagic stroke.

5 CHAIRMAN BRASS: Again, just to flesh out
6 that, would you comment on what of the available
7 evidence you find most compelling in that conclusion?

8 DOCTOR GILMAN: It was the comparison of
9 PPA versus all other similar agents that was really
10 striking to me. Fourteen percent with CVA for PPA
11 versus 0.8 percent all other drugs.

12 CHAIRMAN BRASS: So you're referring to
13 the spontaneous reporting data and what percentage of
14 all PPA adverse events were cerebrovascular versus the
15 overall database and the enrichment of that in the
16 PPA?

17 DOCTOR GILMAN: Yes.

18 CHAIRMAN BRASS: Yes, Doctor Sachs.

19 DOCTOR SACHS: In a supporting statement,
20 the other thing, even back in the adverse reporting
21 from 1977 to 1991, the PPA diet reports of CVA
22 association was 26 percent which was greater than the
23 20 percent reports of OCPs. That's really compelling.

24 CHAIRMAN BRASS: Doctor Kittner.

25 DOCTOR KITTNER: The other thing about

1 these reports was that they were pretty specific to
2 hemorrhagic stroke and if this was just a background
3 rate or a coincidence of two independent things, you
4 would expect them to be similarly associated with
5 ischemic stroke, and they really weren't. I think
6 some of the other points about the case reports have
7 already been mentioned, that is that there was a
8 relationship to first dose and often within the first
9 six hours which is consistent with the pharmacologic
10 effect on blood pressure and the diminished effect
11 with repeated doses.

12 Another point in the case report and which
13 we also see in the case control study seems to me an
14 association with excess use of a PPA.

15 One final point that I observed in
16 reviewing the case report literature was that the
17 cases of intracerebral hemorrhage were not really
18 entirely typical. There were reports showing
19 bilateral hemorrhage, two cases of bilateral
20 hemorrhage at that time, and 11 cases showing
21 angiographic features of vasculopathy, at least, or
22 angiographic features that would be consistent with
23 vasculitis and I thought that's relevant in view of
24 the fact that PPA has close structural and
25 pharmacologic similarities to amphetamine where drug-

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 induced vasculopathy with intracerebral hemorrhage has
2 been well-documented. So I think it speaks a little
3 bit to the potential biological plausibility.

4 CHAIRMAN BRASS: I noted that in some of
5 your earlier writings and, frankly, I got a little
6 confused because how could there simultaneously be an
7 acute first dose six hour effect and then the
8 development of a vasculopathy? Those seem to be
9 exclusive.

10 DOCTOR KITTNER: Notice I didn't say
11 vasculitis, which is an inflammatory condition of the
12 blood vessels. I mean many things can cause
13 angiographic changes in the blood vessels, eclampsia
14 and so on, so that the pathological underpinnings of
15 those angiographic changes are not necessarily
16 inflammatory.

17 CHAIRMAN BRASS: Doctor D'Agostino.

18 DOCTOR D'AGOSTINO: I want to make sure I
19 understand this question. This question is saying the
20 data that was accumulated over the years, in addition
21 to the study we just looked at, the hemorrhagic stroke
22 project.

23 CHAIRMAN BRASS: That is correct.

24 DOCTOR D'AGOSTINO: Right. And so that
25 being the case, the idea of gathering a fair amount of

1 data on spontaneous reports and other sources and then
2 actually putting the study together, that in a very
3 real way confirmed what was being shown with a lot of
4 the spontaneous data and other collected data I think
5 is a very compelling scenario.

6 CHAIRMAN BRASS: Doctor Johnson.

7 DOCTOR JOHNSON: Yes. I agree that it's
8 sort of the consistency of the data, the case reports
9 led to this study and the results really sort of fell
10 out the way that it might have been anticipated. But
11 also, as Doctor Sachs mentioned, some of the data
12 about other drugs, comparisons with other drugs, both
13 in the spontaneous reporting system and also within
14 the HSP Study where there didn't seem to be
15 associations with other drugs, those things all
16 together really just sort of strengthen the evidence
17 in my mind.

18 CHAIRMAN BRASS: Other comments about
19 that. Would somebody, because the issue has been
20 raised multiple times, comment on whether or not the
21 nature and limitations of the spontaneous reporting
22 base database influence your confidence in those other
23 data sets as we address this question? Doctor
24 Cantilena, would you comment on that, please?

25 DOCTOR CANTILENA: I think you're still

1 trying to punish me for the break. I would say that
2 I think this is an example of where you see something
3 that might be a signal in the spontaneous system, and
4 then you go ahead with the HSP Study which I view for
5 the subsets that we've already discussed as
6 confirmatory of signal. But I guess I get
7 uncomfortable when people want to hold up the
8 spontaneous reporting system or MedWatch, as it's now
9 known, as strong evidence for there not being a
10 problem. I just think it's not as sensitive as some
11 of us have heard, but I think it certainly was used
12 appropriately, in my opinion, in this setting where we
13 spotted something, we thought it was a signal and then
14 we went ahead with the HSP Study.

15 CHAIRMAN BRASS: The other issue related
16 to this that I'd be interested in some comments on,
17 particularly from our neurology consultants, is the
18 issue of biologic plausibility, that again, when one
19 is trying to build the pieces together, it has been
20 suggested by some that there is and by others that
21 there's no biologic plausibility for an association
22 between phenylpropanolamine and hemorrhagic stroke.
23 Would one of our neurologists comment on that, please.

24 DOCTOR GILMAN: May I comment on the
25 previous question?

1 CHAIRMAN BRASS: Most certainly.

2 DOCTOR GILMAN: The reported data on
3 association of hemorrhagic stroke with PPA use is not
4 only just suggestive. I think it must be vastly
5 under-reported for many reasons. The principal reason
6 is because it's not that easy to report for second.
7 In today's hospitals, there is enormous pressure to
8 see patients. Getting a full history of all drug
9 exposures is difficult, time-consuming, and one has to
10 keep in mind that PPA may not necessarily be the drug
11 on a clinician's mind when one sees a young person
12 with hemorrhagic stroke. There are many other issues.
13 Is the patient going to herniate? Do I need to watch
14 this patient, put the patient in ICU, etcetera,
15 etcetera? Do we call the neurosurgeon? Is this a
16 berry aneurism that may need treatment? There are
17 many, many other issues. So I think the fact that
18 there are so many reports is very strong suggestive
19 evidence.

20 CHAIRMAN BRASS: What about the issue of
21 biologic plausibility?

22 DOCTOR GILMAN: Well, I commented on this
23 a bit earlier. What we have in common is a
24 hemorrhagic diathesis affecting the brain, the blood
25 vessels of the brain. Those vessels, some of them,

1 are outside of brain substance itself. That is, in
2 the Circle of Wil or some of the arteries that are on
3 the surface of the brain which account for the
4 subarachnoid hemorrhage component of this. Others are
5 within the substance of the brain and that includes
6 arteriovenous malformations. In other words, three
7 somewhat different kinds of pathologies are
8 implicated.

9 So the biological plausibility that comes
10 to my mind is that there is some factor related to
11 clotting of blood or to hemorrhaging of blood, perhaps
12 something related to blood pressure levels or some
13 other phenomenon. But yes, it is entirely
14 biologically plausible because I can think of a common
15 mechanism accounting for all of these three different
16 kinds of hemorrhagic stroke pathologies.

17 CHAIRMAN BRASS: Any other comments or
18 observations? Doctor Hoffman.

19 DOCTOR HOFFMAN: Can I just comment as a
20 person who directs a hypertension clinic. I find some
21 of this a bit difficult to grasp. There was a comment
22 made that perhaps there was no dose response
23 relationship because only a tiny amount of PPA would
24 be necessary to rupture an aneurism. In the blood
25 pressure studies that I'm familiar with, the typical

1 responses in blood pressure to PPA were very small.
2 In some studies have been negative. We should all
3 remember that in the day-to-day affairs our blood
4 pressure may fluctuate 50, 70 or 100 millimeters of
5 mercury. So I find it a bit difficult to grasp how
6 one could be so confident that potentially very small
7 or nonexistent changes in blood pressure due to PPA
8 would ultimately lead to a stroke.

9 And I'd like to comment on the issue of
10 hemorrhage. I think it's well known from the work of
11 Walter Cannon in the 1930s that part of the stress
12 report mediated by catacholamines is actually to have
13 subtle effects to make the blood easier to clot.
14 These are from the days when we confronted sabre-tooth
15 tigers. I'm ont aware of any evidence that
16 catacholamines would promote hemorrhage.

17 CHAIRMAN BRASS: I think one of the issues
18 that confounds both sides of the statement are that
19 we're clearly dealing with a very rare event and that
20 we're not dealing with a predictable blood pressure
21 response. And then I think it was in the FDA
22 presentation that we do not have a large enough
23 database to identify whether or not there's a subset
24 that response to PPA exposure differentially with
25 respect to either blood pressure or even selective

1 cerebral hemodynamic effects. And so I think that is
2 clearly why it doesn't happen to everybody who takes
3 PPA.

4 The question though remains whether or not
5 there may be mechanisms which apply to a rare
6 individual who's susceptible, either because of their
7 CNS anatomy, an underlying risk factor, or a
8 differential population response to the exposure.

9 DOCTOR HOFFMAN: I think that's certainly
10 true, and you can't exclude that. But it is
11 interesting, as far as I know, in many people who
12 study autonomic nervous system, sympathetic function,
13 basal constriction and so forth, not particularly with
14 PPA. As far as I know, these types of individuals
15 have really not been described, at least as far as I'm
16 aware.

17 CHAIRMAN BRASS: Doctor Cantilena.

18 DOCTOR CANTILENA: I guess I would just
19 follow with at comment that while we're in essence
20 trying to extrapolate the results of extremely closely
21 controlled, clinical setting in terms of the
22 hypertensive response from the product, I think that
23 this again is sort of an actual use, all comers, and
24 when someone pops their diet pill and goes home or is
25 on the way home and someone cuts them off on the

1 highway or their two year old pitches a fit on the
2 kitchen floor, which happened to me this morning, it's
3 sort of the issue of how does it actually fit in?

4 So I think that if even a small increase
5 in the average in the clinical study, in that average
6 there are clearly outliers and then if you have that
7 individual in an actual use out of the hospital or out
8 of the Phase One unit setting, you can certainly see
9 that it's possible that you can have an exaggerated
10 response.

11 DOCTOR HOFFMAN: I don't want to be
12 argumentative, but pharmacologically that's not an
13 obvious conclusion because in some animal studies
14 which have been more extensively done than in humans,
15 PPA is a partial agonist. So in the setting of low
16 autonomic function, partial agonist may tend to raise
17 blood pressure but in the setting that you described
18 of stress and high activation to sympathetic function,
19 one could predict that the hypertensive response would
20 be blunted. I mean that's the logic behind partial
21 agonists for beta receptor antagonists. They may even
22 raise heart rate at rest but blunt rapid heart rate
23 that occurs with exercise.

24 So I just comment that I don't think it's
25 a foregone conclusion that that's what would happen.

1 DOCTOR CANTILENA: Certainly I understand
2 your comment, but I think when a lot of the data sort
3 of points at the first dose and perhaps those effects
4 happen after tolerance, I also think the whole issue
5 of drug/drug interactions, which are not controlled
6 for in an actual use study, is significant. So I'm
7 not as familiar with the data as you are, but I would
8 hazard a guess that there could be settings in the
9 actual use which that's not the case, and that's the
10 whole point of my comment.

11 DOCTOR HOFFMAN: Yes, thank you. Can I
12 just make one comment. The issue of tolerance to PPA
13 has been referred to very extensively. I was just
14 curious to what data people were referring to when
15 they use that to explain plausibility of a first dose
16 effect.

17 DOCTOR BLACKBURN: I'm George Blackburn
18 from the Harvard Medical School, and I did do a first
19 dose study, large study of 881 healthy individuals
20 published in JAMA, and we did find that the
21 independent factor of PPA was less than four
22 millimeters, even though, as you point out, 10 percent
23 of the population had a large response but it was
24 equally distributed for all this fright that you
25 talked about. It was during the placebo, the 25

1 milligram given three times and the sustained release
2 and other determinants were base-line blood pressure
3 in these individuals and individuals who were higher
4 BMI.

5 So it does support that, you know, there
6 is some defense that there's a large indigenous
7 autonomic sympathetic tone at the time you take the
8 first dose and so there is an even distribution and we
9 had, using Yates analysis, we could find that the age,
10 the gender, the BMI were the major contributors to
11 this area and then followed by the baseline blood
12 pressure and only less than four millimeters could be
13 independently attributed to PPA.

14 CHAIRMAN BRASS: Thank you.

15 Are there other comments about question C
16 before we put it to a vote? Doctor Gilman.

17 DOCTOR GILMAN: I just wanted to comment
18 that what we're talking about now is the reason for
19 going into Phase IV clinical trials because after one
20 has completed a Phase III double blind placebo
21 controlled trial to see the effects of a drug at a
22 particular population against placebo, one wants to
23 know what this drug is like in the real world when
24 given to people who are taking polypharmacy at times
25 including people who may have untoward reactions to a

1 drug and there may be one person in the 100. In this
2 situation, it may be just those people who have a
3 berry aneurism or just those people who are quote
4 "ready to have their stroke" in various other ways.

5 CHAIRMAN BRASS: Other comments. If not,
6 we will now vote on Question C which I will read
7 again. There is a body of data collected over the
8 years that has suggested a possible association
9 between PPA use and hemorrhagic stroke. Taking all
10 currently available information into account, do the
11 data support the conclusion that -- so you can vote
12 for either 1) that there's no association, 2) there is
13 an association, or 3) that the association still
14 remains uncertain. All those who feel that there is
15 not an association, please raise your hand.

16 All those who feel that there is an
17 association, please raise your hand.

18 All those who feel that the association
19 still remains uncertain, please raise your hand.

20 DOCTOR TITUS: There were zero votes for
21 no relationship, there were 13 yes associations and
22 one uncertain.

23 CHAIRMAN BRASS: We now move on to
24 Question D. Considering your answer to Question C,
25 can PPA be considered to be generally recognized as

1 safe for use as a decongestant, an appetite
2 suppressant? When answering this question, please
3 address whether dose is an important consideration.
4 Maybe I'll start the discussion this time myself
5 because the issue of dose is, I think, an interesting
6 one. While we concluded that we could draw no dose
7 conclusion from HSP, that in the same way we lumped
8 the data when we look at the spontaneous reporting
9 base and the HSP, one might be concerned that in fact
10 there is a dose relationship that does exist though
11 clearly the data do not provide sufficient evidence to
12 make that conclusively.

13 The other point I'd like to make is
14 actually taken off one of Doctor Ganley's slides
15 actually, is that no drug is absolutely safe and that
16 we have a number of drugs that are available over the
17 counter that we know are associated with rare adverse
18 events, some of them very serious. We know that there
19 are even more drugs available which, when taken other
20 than as directed by the label, particularly in
21 excessive doses, may be associated with serious
22 adverse events so that the definition of generally
23 recognized as safe I think isn't just out of a vacuum
24 but it's against a background of risk and, while the
25 question isolates that from the efficacy concern with

1 the degree of efficacy that may exist, ultimately I
2 think the decision is going to have to be made on a
3 risk to benefit ratio.

4 So while our discussion will focus on
5 risk, I think it's important to recognize that we're
6 not talking about absolutely safe but trying to
7 provide some context for whatever safety concerns we
8 have, both with respect to what's been generally
9 acceptable as safe in the past as well as any issues
10 that are unique to this product.

11 Doctor Johnson.

12 DOCTOR JOHNSON: I guess for me the issue
13 of risk/benefit is what really sort of makes this
14 whole question easy. The way I view this -- and I'll
15 do decongestant and then appetite suppressant -- is
16 that what does the consumer lose if this product is
17 taken off the market? There are a lot of other
18 decongestants. I understand that the members of CHPA
19 are going to lose money, but that's not really our
20 concern. They are marginally effective drugs, I
21 think, for problems that aren't life-threatening, and
22 so there really are no huge long-term outcome benefits
23 such that really I think any degree of risk becomes
24 much less tolerable.

25 And so in both the situations, I guess I

1 view this risk, even though it's rare, as being one
2 that is not upset by benefits because I view the
3 benefits of this product as fairly marginal.

4 CHAIRMAN BRASS: Doctor Gilman.

5 DOCTOR GILMAN: I agree with what Doctor
6 Johnson said, but just specifically to address the
7 issue of appetite suppression. Doctor Schteingart
8 showed us what an effective drug PPA seems to be over
9 the short-term. I asked him during the break -- I
10 don't know if he's still here. Yes, he is. -- what
11 is the long-term outcome with those patients, and his
12 response was, well, 95 percent of people who take
13 medications for weight loss wind up with the same
14 weight back again within some years. There has,
15 however, been no study -- I believe I'm quoting him
16 correctly -- there's been no study on the efficacy of
17 PPA over many years. Say five years, six years, 10
18 years.

19 So I agree with what Doctor Johnson said.
20 The benefits are marginal and short-lived with respect
21 to weight loss and, for decongestants, I agree there
22 are other products that are equally good.

23 CHAIRMAN BRASS: If you'd like to comment,
24 please come to a microphone.

25 DOCTOR WALSON: Yes. I'm Doctor Phil

1 Walson from the University of Cincinnati, and I'm a
2 paid consultant for CHPA. Well, I'm tempted to say a
3 lot of things including the fact that it's difficult
4 to comment on something when I personally think you're
5 mixing up causation with association. 1) you're
6 making assumptions from a study that clearly wasn't
7 powered or designed to answer certain questions. For
8 example, in the population I represent, you wouldn't
9 even bother to include them. That is, children. And
10 they all go to those hospitals where you were
11 collecting data.

12 I'm also a medical toxicologist and I'm
13 appalled that you could even talk about collecting
14 data on cocaine use without something we can measure
15 months past exposure reliably.

16 CHAIRMAN BRASS: If you could focus on the
17 question.

18 DOCTOR WALSON: I'll focus on the
19 question. But it does all come down to risk and
20 benefit, and you made the comment. One is that not
21 everyone responds to any decongestant, one, and I want
22 to go back. There were two points on Doctor Ganley's
23 slide and one is that there are benefits to consumer
24 accessibility to short-term medications that offer
25 symptom relief. I don't want to get off on weight

1 control because I think it would be better to stick to
2 decongestants. And these products, I am worried that
3 when you do remove them you are forgetting a risk and
4 that is what are your consumers going to turn to? And
5 we're already seeing them turn in both cases, you're
6 going to see them turn to products that are neither
7 regulated, quality controlled nor studied at all. At
8 least this product does have data showing it's
9 efficacious for short-term use. That is true for
10 both, and you're going to turn patients to ephedra
11 compounds. You're going to turn them to other things.

12 So I think that to say there's no benefit,
13 I think you have to weigh risk and benefit. That's
14 what you're doing --

15 DOCTOR JOHNSON: I didn't say there was no
16 benefit. I said I believe the benefit was marginal
17 and that, particularly for cough and cold, there were
18 other acceptable products on the market.

19 DOCTOR WALSON: Yes, there are other
20 choices, but one of the things that I think consumers
21 would tell you is that -- and I don't have the
22 plausible explanation -- that some consumers prefer
23 one product to the other. I'm not sure that the other
24 products on the market are either more effective or
25 safer. So I think that, at least in terms of patients

1 that were not included in the study, which this study
2 speaks nothing to. I mean the reason they didn't do
3 children is because their own data, including the FDA
4 data, would show that any adverse event in childhood
5 is so rare that they would never have been able to
6 power any study to find it so that I am concerned
7 about the population that I represent, that at least
8 you need to make sure that you don't deny our
9 pediatric population access to something that wasn't
10 even studied.

11 CHAIRMAN BRASS: Doctor Schteingart, you
12 wanted to make a very brief comment, please.

13 DOCTOR SCHTEINGART: Yes. I'd like to
14 make the comment that it's been well agreed that
15 obesity is a chronic, serious medical condition. It's
16 not a benign condition and that treatment actually has
17 major improvement in the co-morbidity associated with
18 obesity. There is no effective long-term treatment of
19 obesity. There is usually a combination of the things
20 I mentioned before: diet, exercise, behavior therapy,
21 and medication. I use medication as an aid in helping
22 the patients actually stay on their diets, even for
23 moderately shorter periods of time. We don't have
24 treatment that has been validated for long-term use
25 like it's been for hypertension or diabetes, which are

1 extremely effective in normalizing whatever the
2 treatment is supposed to normalize.

3 However, for short periods of time, the
4 administration of appetite suppressants or any other
5 anti-obesity drugs can help the patient lose enough
6 weight to improve their co-morbidities and also to
7 help them behaviorally continue to adhere to a weight
8 reduction program. But it's true, as Doctor Gilman
9 has indicated, there is no validated long-term use for
10 PPA because that's not the way it's been approved by
11 the FDA. Not, for example, the way that cybutramine
12 or orlistat have been approved for indefinite use.

13 CHAIRMAN BRASS: Part of the
14 consideration, in my mind, for generally recognized as
15 safe, as I indicated earlier, relates to the use as
16 per the label. And to the degree that information
17 could be placed on a label which would mitigate the
18 risk, that I think becomes an important consideration.

19 Now, having posed that, I'm concerned that
20 whether there is or not on the basis of two things.
21 First of all, we have failed to identify any clear
22 sub-groups that we identified them, other than women,
23 but that we could steer use away from and 2) this has,
24 to my eye, provided a very interesting actual use
25 study on how consumers use products and this label

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 clearly says "Consult your physician if you have high
2 blood pressure" and we ended up with a cohort that was
3 quite rich in hypertensives. And so the degree to
4 which if a label warning, even if one could conceive
5 of an effective one, the degree to which it actually
6 would be effective in steering away at risk
7 populations would remain a concern in my mind.

8 Yes, Ms. Cohen.

9 MS. COHEN: I was referring to the FDA
10 report on page eight and nine and talking about 75
11 milligrams and what happened as a result of that, and
12 I am concerned because I did look at the label and the
13 label, I will repeat myself and forgive me, for 12
14 years old and older and adults, twice a day they can
15 take 75 milligrams twice a day. That's 150 milligrams
16 and, if we're worried about consumers over-dosing,
17 this really boggles my mind.

18 In terms of I would like to respond to the
19 pediatrician. Advertising, advertising, advertising.
20 So when you talk about what consumers buy, it's the
21 one that's advertised the most or on the shelf or
22 where they place it on the shelf. So I don't know how
23 much -- goes on in a pharmacy when you go to buy a
24 cough medicine. I bought one yesterday and, believe
25 me, I read the label. But I've had some experience

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 reading this information. So I think this report, I
2 am satisfied with the statistics and what's been done
3 and I'm satisfied that as a result of 75 milligrams
4 there's a good chance for hemorrhagic stroke and
5 really, 150 milligrams just boggles my mind.

6 CHAIRMAN BRASS: Other comments from the
7 panel with respect to Question D?

8 DOCTOR SACHS: As a pediatrician, I
9 actually have a different interpretation of some of
10 the information that you presented. I think there are
11 very good studies in children that show these
12 medicines are safe and effective or efficacious to
13 begin with and that if you look at placebo controlled
14 studies and also studies that look at duration of cold
15 symptoms, the colds last 10 days if you take
16 something, they last 10 days if you don't take
17 something. The placebo effect is very great. I know
18 in our population when we talk about over-the-counter
19 remedies for cold and cough, we actively discourage
20 them.

21 One other reason which was not really
22 emphasized today was the risk of arrhythmias,
23 especially in children who receive some of these
24 things. So now having read all the background data
25 and all the HSP Study data, I mean even though the

1 incidence of stroke in a young person is rare, I would
2 be greatly concerned about adolescents who might
3 choose to use these as either cough and cold remedies
4 or appetite suppressants, particularly in the
5 populations that might be on OCPs. I mean you start
6 having to label and label and label. That becomes
7 superfluous.

8 DOCTOR WALSON: Let me respond. A lot of
9 things. One is that, briefly, it's for short
10 symptomatic control and it's relative to -- I'm sure
11 you also counsel against use of antibiotics but the
12 fact is if a child goes to a physician, the odds are
13 overwhelming they will get an antibiotic for a viral
14 infection. That has been shown. If the child can
15 stay home, to not visit your office, they will
16 decrease it. So there is in fact a benefit and that's
17 been shown in terms of symptomatic relief, even though
18 I also don't use them when someone gets to the
19 hospital. So I think that's important.

20 The second thing. I think that there's an
21 assumption in your comment about dose that's really
22 not shown out and that is the risk goes down with age,
23 not up, despite the fact that the doses may not go
24 down very much, and that's because children in fact
25 are resistant. I also ran a pediatric hypertension

1 lab. They tolerate blood pressure changes different.

2 And then one final comment. I'm a little
3 concerned with this call of first time use because I'm
4 not sure there are too many children who make it to 18
5 without a use of one of these products.

6 CHAIRMAN BRASS: First time use was not
7 defined as first life time use.

8 DOCTOR WALSON: Yes, I know.

9 CHAIRMAN BRASS: Doctor Cantilena.

10 DOCTOR CANTILENA: Just to comment in
11 terms of Doctor Ganley's slide where he asked us to
12 consider the dose issues. I think, as I commented
13 before, sort of when you look at the dose that, at
14 least in our study, seems to cause trouble, it's not
15 several-fold over the recommended dose. So again sort
16 of getting back to the point of margin of safety. I
17 think the cases that we've seen and the cases that we
18 heard about from the spontaneous reporting are not
19 massive overdoses. We're really talking about
20 individuals who I frankly don't understand who they
21 are. They're obviously females but in terms of how
22 come they get in trouble, I mean I obviously don't
23 have a clear idea of why that is. But I think the key
24 for me is that they're not significantly out of. It's
25 really we're talking about one or two extra pills.

1 Clearly, the other sort of alarming issue
2 is even though the label seemed to be in the right
3 format, if that was the same label that was in effect
4 during the study, it doesn't seem to be extremely
5 effective and I think that's a significant concern.

6 CHAIRMAN BRASS: Other comments before we
7 put this question to a vote? If not, the question on
8 the table is considering your answer to Question C,
9 can PPA be considered to be generally recognized as
10 safe for use as, first, a decongestant? The answer
11 will be yes or no. All those who think that it can be
12 generally recognized as safe for use as a decongestant
13 voting yes, please raise your hand at this time.

14 Abstain is an option this time. All those
15 who feel the answer is no, please raise your hand.

16 All those abstaining, please raise your
17 hand.

18 DOCTOR TITUS: We have zero for yes, 12
19 noes and two abstentions.

20 CHAIRMAN BRASS: Same question for
21 appetite suppressant. Considered generally recognized
22 as safe for use as an appetite suppressant. Voting
23 yes, please raise your hand.

24 Voting no, please raise your hand.

25 Abstaining, please raise your hand.

1 DOCTOR TITUS: For appetite suppressants,
2 there were zero for yes, 13 noes and one abstention.

3 CHAIRMAN BRASS: Thank you. The next
4 question is a little too open-ended for me. Who knows
5 what may come up? But anyway, we'll ask it. Does the
6 committee have any additional recommendations? Let's
7 try to limit it to PPA.

8 Are there issues from the agency that we
9 haven't touched on or that you'd like to see expansion
10 of the discussion on?

11 DOCTOR DELAP: No. Thank you very much.

12 CHAIRMAN BRASS: On that basis, I'd like
13 to thank all who participated in the discussion today.
14 The presenters did an excellent job of staying on
15 time. Thanks to all the committee members, and we are
16 adjourned.

17 (The meeting was concluded at 3:57 p.m.)

18
19
20
21
22
23
24
25

C E R T I F I C A T E

This is to certify that the foregoing transcript in
the matter of: MEETING ON SAFETY ISSUES OF
PHENYLPROPANOLAMINE (PPA) IN
OVER-THE-COUNTER DRUG PRODUCTS

Before: FDA / CDER / NDAC

Date: OCTOBER 19, 2000

Place: GAITHERSBURG, MARYLAND

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

Rebecca Davis