

1 letrozole will not have an adverse effect on survival.
2 Therefore, we think the committee can consider this
3 application.

4 [Slide.]

5 In terms of safety, both treatments were well
6 tolerated and with most adverse events being mild to
7 moderate in severity. Cardiovascular or cerebrovascular
8 events occurred infrequently, and fractures appeared to be
9 largely disease related.

10 [Slide.]

11 Now only a single randomized trial really was
12 submitted in support of this application. The FDA criteria
13 for considering approval based on a single study is that
14 substantial evidence of effectiveness be demonstrated.

15 The fact that this was a large multicenter study
16 and that there was internal consistency of data helps
17 support the substantial evidence of effectiveness because it
18 suggests that these results might be generalizable to a
19 larger population of women with advanced breast cancer, who
20 are postmenopausal and receptor positive.

21 The facts that the results were clinically
22 persuasive and statistically persuasive also validates that
23 substantial evidence of effectiveness was demonstrated.

24 [Slide.]

25 Based on this, it is the review team

1 recommendation that letrozole be approved for first-line
2 hormonal therapy in postmenopausal women with advanced
3 hormone receptor positive or--and we probably ought to put
4 this in quotes--"hormone receptor unknown breast cancer."

5 Thank you.

6 DR. NERENSTONE: Thank you very much for the
7 presentation.

8 We now open the questions to ODAC. Are there any
9 questions for the FDA presenters? Kathy, do you want to
10 start with questions?

11 **Questions from the Committee**

12 DR. ALBAIN: Just a question on your last
13 statement. ER/PR unknown you would recommend?

14 DR. COHEN: Well, we have heard comments about
15 that earlier this morning, and I would agree with the
16 comments from earlier this morning, that in the United
17 States at least--I don't know what the situation is in, say,
18 Russia or China--that patients should have receptor status
19 performed before receiving this treatment.

20 DR. ALBAIN: Do you want more general comments at
21 this time, Dr. Nerenstone? I can go ahead.

22 DR. NERENSTONE: Why don't we continue with
23 questions. Dr. Blayney.

24 DR. BLAYNEY: Dr. Cohen, I enjoyed your briefing
25 document. Since many of the patients or entrants on this

1 study were from, as you point out, Russia and China, what
2 primary materials did you have to review to assure that the
3 responses were indeed responses? Did you have x-rays?

4 DR. COHEN: No.

5 DR. BLAYNEY: Case report forms?

6 DR. COHEN: Minimal. It was primarily based on
7 serial tumor measurements.

8 DR. BLAYNEY: Which were done at the center?

9 DR. COHEN: Which were done at the center.

10 DR. BLAYNEY: And presumably monitored by a
11 Novartis monitor?

12 DR. COHEN: Presumably, yes.

13 DR. BLAYNEY: But you had no primary materials to
14 verify such measurements?

15 DR. COHEN: No.

16 DR. BLAYNEY: And death certificates or some other
17 evidence that indeed the patients died or some other
18 official--

19 DR. COHEN: No, I had besides the electronic data,
20 I had minimal other data to support any efficacy
21 conclusions.

22 DR. BLAYNEY: Thank you.

23 DR. NERENSTONE: Other questions for FDA? Dr.
24 Przepiorka.

25 DR. PRZEPIORKA: To really get a good idea about

1 the internal consistency and make sure that we don't have
2 two studies with different outcomes neutralizing each other,
3 did you have a chance to look at outcome by geographic area?
4 Clearly, there is not enough numbers at one center to look
5 for center effects.

6 DR. COHEN: Yes. Both the sponsor and I did
7 analyses by geographic area, and the results were similar.

8 DR. FISHER: If I could make just one comment.
9 Not only were the point estimates similar, but there was a
10 preplanned analysis by geographic area. There was Europe,
11 North America, and then the rest of the world. All three
12 different analyses individually were statistically
13 significant for the primary endpoint.

14 DR. NERENSTONE: Thank you for that clarification.

15 Dr. Temple.

16 DR. TEMPLE: Marty, the drug-drug interaction that
17 was unexpectedly found in the third arm of the study
18 suggests that that might be a concern more generally.

19 How much do we know--I haven't gone back to look
20 at the label--how much do we know about the potential for a
21 wide variety of drugs to interact with this one, and is
22 there any work ongoing that you know of? Maybe the company
23 would want to answer.

24 Also, it would be interesting to know whether it
25 inhibits the metabolism of any other drugs, how much is

1 known about that.

2 DR. COHEN: I am really not familiar with any data
3 to answer your question. Maybe one of the FDA
4 pharmacologists might have information.

5 DR. NERENSTONE: Can anyone from Novartis respond?
6 Please just identify yourself for the recorder.

7 DR. PFISTER: I am Christian Pfister from Clinical
8 Pharmacology at Novartis.

9 Can I have slide No. PK17, please.

10 [Slide.]

11 For the first registration we have performed two
12 interaction studies, one with cimetidine, which is a known
13 inhibitor of Cytochrome p450, and also one with warfarin,
14 and there were no interactions, pharmacokinetic interactions
15 with these two drugs.

16 Then, we have this study research which has
17 already been mentioned with tamoxifen, and on the next
18 slide, No. 18, please, these are results from preclinical
19 studies looking at the interaction at the Cytochrome p450
20 levels.

21 [Slide.]

22 As you see for the letrozole, it is metabolized,
23 it is cleared mainly by metabolism, and this is by, or at
24 least this preclinical experiment suggests it is done by two
25 enzymes, the 3A4 and the 2A6, and for the 3A4, letrozole has

1 a rather low affinity, and also its inhibition constant is
2 very high, and the plasma levels achieved at steady state
3 are far below this inhibition constant, so we do not
4 expect--

5 DR. TEMPLE: Is tamoxifen an inducer of 3A4? Is
6 that what happened?

7 DR. PFISTER: This is not known. At least, to the
8 best of my knowledge, I have looked at the literature.
9 There are some preclinical studies which indicate that
10 tamoxifen could be an inducer of Cytochrome p450, and, in
11 fact, the major metabolite of tamoxifen, this pathway is
12 catalyzed by 3A4.

13 DR. TEMPLE: I mean that does suggest there are
14 other inducers in the environment.

15 DR. PFISTER: Yes.

16 DR. TEMPLE: Anti-seizure drugs, St. John's Wort,
17 and other--

18 DR. PFISTER: Yes, and carbamazepine and
19 phenytoin. It is possible that inducers of 3A4 may reduce
20 the drug levels of letrozole and that is, in fact, the
21 hypothesis we have for this interaction with tamoxifen.

22 DR. NERENSTONE: Dr. Kelsen.

23 DR. KELSEN: This is a follow-up to Doug's
24 question. It is more of a general question. I am asking
25 the concern about reviewing primary data from sites that are

1 very distant from the United States.

2 I don't think it applies so much to this study,
3 because as I understand it, this is double-blinded and the
4 investigators at the individual sites were not aware of the
5 assignment, and so bias is not an issue.

6 But how about for a chemotherapeutic agent or
7 cytotoxic agent where it is really hard to blind
8 investigators, what is the approach to looking at primary
9 data from sites that are very far away, do you do that, or
10 do you accept the data from the company? How do you all
11 approach that?

12 DR. COHEN: We site-visited one of the sites in
13 Russia, and the site visitor reviewed the primary data from
14 Russia, and the data was satisfactory. That was the extent
15 of our review.

16 DR. KELSEN: I am not so worried about this trial
17 because, as I said, it is double-blinded, and the bias I
18 think is not an issue. I sort of asked a more general
19 question as to it is very hard to blind investigators to
20 agents in some settings.

21 Do you accept the data as presented, do you site
22 visit each site? It is a general question.

23 DR. PAZDUR: No, the site visits are usually held
24 at the largest accruing sites. So, in this case, the
25 largest accruing sites happened to be outside of the United

1 States. But, in general, we don't discriminate against data
2 coming from a foreign country and hold it into less esteem
3 or greater esteem than that coming from the United States.

4 DR. KELSEN: It is same wherever--

5 DR. PAZDUR: Basically, yes, unless there is some
6 reason to conclude--

7 DR. TEMPLE: Well, we are more familiar with some
8 parts of the world than others, and the need to go check
9 might differ between places like Western Europe where we
10 have seen hundreds and hundreds of studies of all kinds, and
11 parts of the world we are less familiar with. I mean that
12 sounds xenophobic, but I think it is just a matter of
13 experience.

14 The other thing is we can get some kinds of
15 primary data. We could get x-rays and things like that if
16 we really thought that was necessary without necessarily
17 going to the place.

18 DR. KELSEN: I know you can. I was just wondering
19 if you were.

20 DR. TEMPLE: We do sometimes.

21 DR. NERENSTONE: Other questions for FDA?

22 Ms. Zook-Fischler.

23 MS. ZOOK-FISCHLER: It is not really a question.

24 It is a comment. As a patient rep., I am always struck when
25 I heard words like "mild to moderate" for adverse effects,

1 and I just think it should be noted that from a patient's
2 point of view, that has to be balanced with statistical
3 significance.

4 It is not really a question. It is just playing
5 devil's advocate.

6 DR. NERENSTONE: Thank you.

7 If there are no further questions, then, we have
8 got to get to the committee discussion. We have two
9 discussants from ODAC, Dr. Albain and Dr. Sledge.

10 Who would like to start? Dr. Albain
11 alphabetically.

12 **Committee Discussion and Vote**

13 **ODAC Discussants**

14 DR. ALBAIN: Well, first of all, I would like to
15 start out with commending the sponsor for an excellent
16 presentation and discussion, and to conduct this trial in so
17 many sites worldwide, and again, as I said earlier, in so
18 many women over the age of 70. Women of that age group do
19 not enroll in clinical trials in breast cancer, and I think
20 this is a credit to this trial that that was done and that
21 the effects are as strong in that subset.

22 Although I asked a lot of pointed questions to Dr.
23 Fleming and the group about the survival and the statistics,
24 it does not worry me that we don't have survival data or
25 even if it should come out that there is no survival

1 benefit. In fact, I am reassured by the results for time to
2 progression here in the context of the previous drug that
3 was approved, anastrozole, very similar types of effects.

4 That brings us into the next area, are all
5 aromatase inhibitors the same, and I don't think we know
6 that quite yet. We have seen some intriguing data today,
7 that there may be differences and that letrozole may have
8 some superiority, but whether that results in a true
9 clinical benefit, and in particular in the group of women
10 where we are going to want to see where these drugs go in
11 the adjuvant setting in that population, what will be the
12 safety in, for example, a five-year duration.

13 I mean we are picking that because that is what we
14 used for tamoxifen, but is that the right duration. These
15 are all unsettled questions that we await new trials, this
16 trial obviously can't answer, but the safety profile here is
17 reassuring for shorter duration therapy, but what will
18 happen to lipids, what will happen to bone density, for
19 example, in the scenario of a longer duration in the
20 adjuvant setting is not known.

21 I think that for future drugs, let's say this
22 trial were to show a p 0.049 survival benefit when we
23 eventually come down to it, will we then really need to see
24 such a survival benefit for all new hormonal agents or new
25 biologics.

1 I am more worried about that, and just a little
2 tweak at the FDA that we need to continue to have some open
3 discussion about time to progression as a realistic and
4 viable primary endpoint as this trial has shown.

5 Those were basically my comments, very favorable
6 toward this compound.

7 DR. NERENSTONE: Thank you.

8 Dr. Sledge.

9 DR. SLEDGE: I would agree with just about
10 everything that my esteemed colleague has said. I think
11 that this trial provides fairly compelling data that
12 letrozole is at least equivalent to tamoxifen and quite
13 possibly superior to it.

14 I think the clinical experience of physicians who
15 take care of breast cancer is that the aromatase inhibitors
16 in general, and letrozole in particular, are certainly safe
17 and well tolerated medications.

18 So, I am certainly quite happy with this drug
19 going forward.

20 I would like to focus a little bit on something we
21 haven't discussed a whole lot, which is where do we actually
22 use these drugs in the United States. Unlike in this trial
23 where 80 percent of the patients had not had prior, what we
24 consider significant adjuvant tamoxifen, say, two years or
25 more of therapy, in the United States that number is I am

1 sure reversed, and it is probably more like 90 percent of
2 patients have had significant amounts of prior adjuvant
3 tamoxifen.

4 So, when we are talking about a first-line
5 indication for metastatic breast cancer, in fact, what we
6 are talking about is second-line, after having failed prior
7 adjuvant hormonal therapy with tamoxifen.

8 So, I think in the United States, the clinically
9 relevant group is that group of patients rather than the
10 overall group. Here, I find the data quite compelling in
11 that, if I am reading the numbers correctly, only 3 of 51
12 patients, or 6 percent of patients, who had had significant
13 adjuvant tamoxifen, had a following response to tamoxifen at
14 the time of progression versus 18 of 58, or 31 percent of
15 patients.

16 I actually find this the most compelling data that
17 is clinically relevant in the United States. I would
18 actually look at that data and say that tamoxifen should not
19 be used for a patient who has had significant prior adjuvant
20 tamoxifen and then has gone on to progress, and certainly,
21 to my mind, makes a superb case for letrozole.

22 I also found Dr. Ellis' data with regard to HER-2
23 and epidermal growth factor receptor to be very interesting
24 data albeit preliminary data. This data suggests reasonably
25 strongly that tamoxifen probably isn't going to work or not

1 work very well in a patient who has had a different growth
2 factor receptor pathway, such as EGFR or HER-2 turned on,
3 whereas, the preliminary data from the study that was
4 presented suggests that letrozole certainly may work in this
5 population of patients.

6 So, again, that could well be another clinically
7 relevant observation with regard to this agent, I think
8 certainly should be pursued in a larger database.

9 So, overall, I think this is a drug that we should
10 give serious attention to approving for this indication. I
11 would make one comment for the FDA going forward. I really
12 don't think in the year 2000 that we should be approving
13 trials that don't test estrogen receptor status in a
14 significant percentage of the population.

15 I understand why, when one does a trial on a
16 worldwide basis, in places where estrogen receptor may not
17 be available, why it is convenient not to do that, but the
18 clinical reality in the United States is that virtually
19 everyone has had ER tested and that is the clinically
20 relevant population for drugs that are being introduced in
21 the United States.

22 DR. NERENSTONE: Thank you. Are there any more
23 comments? Yes, Dr. Blayney.

24 DR. BLAYNEY: I also think and agree with the two
25 comments that letrozole really is a pleasure to use now that

1 we have two aromatase inhibitors. I was intrigued by the
2 data about the completeness of blocking of the aromatase
3 enzyme.

4 I am concerned in the FDA briefing document,
5 review document, Table 39 talked about the crossover
6 responses, and I hear that the data is too preliminary. I
7 am concerned that response to letrozole may prejudice a
8 response to tamoxifen or another selective estrogen receptor
9 modulator, so the paradigm that was presented earlier about
10 how we treat breast cancer may deserve some modification as
11 we go on.

12 I am also a little mildly interested that the
13 clotting events, which is one of the reasons heretofore that
14 we have used aromatase inhibitors in preference to tamoxifen
15 were the same in this trial, whereas, in the two anastrozole
16 trials, the anastrozole had about half the reported clotting
17 events.

18 As evidenced by my question again to the FDA, I
19 think in the future if there are a variety of offshore sites
20 that contribute data, lately we have seen several paradigm
21 shifting trials that have been not as what they presented,
22 so I think it would be useful to let us know if indeed there
23 is a lot of offshore, what monitoring activities you have
24 undertaken. It would give me assurance or some measure of
25 comfort that indeed the data is real upon which we are

1 making a decision.

2 Thank you.

3 DR. NERENSTONE: Other comments? Yes, Dr. Temple.

4 DR. TEMPLE: One of the things we do sometimes,
5 and, of course, it is controversial because it is hard to
6 know what to make of it if you see a difference, is to
7 analyze data by region. I gather that was done in this
8 case, and there was no difference. That sometimes provides
9 some comfort. When there is a difference, you get into
10 violent arguments about what to make of it, of course.

11 Could I just ask Dr. Blayney, how would one go
12 about answering the question you pose about crossover? In
13 other words, in this study everybody crosses over, I mean if
14 they were crossing over to something not in the study, then,
15 you could see whether one prior treatment had a greater
16 impact on response to that other treatment than the other.
17 In this case, they are crossing over to the one they didn't
18 get. If, for example, in this trial you saw more response
19 when they cross over to the aromatase inhibitor than to the
20 tamoxifen, well, that was the result of the initial
21 treatment.

22 So, how does one go about this? How can one get
23 the answer to the question you are posing?

24 DR. BLAYNEY: Well, I think partly by survival,
25 perhaps the survival is going to be the same, so it won't

1 make a difference, but in our treatment strategies, as we
2 heard eloquently earlier on, in taking care of these women
3 with long disease courses, it is great to have a variety of
4 agents to use, but if it doesn't make sense to go to
5 tamoxifen after letrozole fails or anastrozole fails, then,
6 that is an useful piece of information.

7 DR. TEMPLE: That, you will see, of course.

8 DR. NERENSTONE: Other comments? Yes, Dr.
9 Lippman.

10 DR. LIPPMAN: I would just like to follow up and
11 underscore Dr. Sledge's comments and a couple others that
12 came up regarding the findings in adjuvant tamoxifen and the
13 relevance of this approach in this country.

14 Even though this is a small subset analysis, the
15 differences in response rate and the other parameters are so
16 striking that I think we can feel comfortable at least for
17 the standard practice in this country where most patients
18 are getting adjuvant therapy, that this fits into the
19 sequential use of these hormones that Dr. Harvey presented
20 very nicely, again following adjuvant therapy.

21 DR. NERENSTONE: If there are no further
22 questions, I would like to get to the questions to the
23 committee.

24 Rather than to read this all over yet again, I
25 just point your attention to Table 1 where the large

1 randomized, multinational clinical trial results, response
2 rates for Femara versus tamoxifen either evaluated by
3 Novartis or by the FDA, significant at the p 0.0003 level,
4 response durations were not done, median time to
5 progression, which was the primary endpoint of this trial,
6 significant at the 0.0001 level.

7 On the next page of our handout, the list of the
8 toxicities which were not different between the arms, and
9 then a reiteration of the discussion on page 2 about the
10 indication for approval for new cytotoxics, and how that
11 differs for the indication for approval of hormonal drugs
12 for initial treatment of advanced metastatic breast cancer.

13 They do go on, remind you that updated survival
14 data are required at the time of approval, but demonstration
15 of statistical superiority or non-inferiority of survival is
16 not required.

17 Then, they talk about how the hormone drugs in the
18 past have not been shown to increase survival, and
19 therefore, non-inferiority of survival is considered a
20 safety endpoint, and not an indication of efficacy.

21 They talk about if a new hormonal drug is shown to
22 increase survival, the FDA will probably require future new
23 hormonal drugs to demonstrate a favorable effect on survival
24 to gain marketing approval.

25 The first question to this committee: Does the

1 committee agree with the FDA's criteria for approval of
2 hormonal drugs for initial treatment of advanced metastatic
3 breast cancer? I guess I have to say at this point in time.

4 Discussion? Dr. Albain.

5 DR. ALBAIN: Do you mean by that question do we
6 approve of the use of survival for future drugs?

7 DR. NERENSTONE: No. At this point, that is not
8 being proposed. Right now it is just survival is just a
9 safety endpoint, it is time to progression, and the other
10 secondary things that they are looking at, but time to
11 progression is really the primary endpoint.

12 Is that agreeable to the committee at this point?
13 Dr. Simon, do you want to comment?

14 DR. SIMON: I would just sort of say that I find
15 it a whole lot more convincing in a double-blind trial than
16 I would in a non-double-blind trial.

17 DR. NERENSTONE: So, with that caveat that it be a
18 double-blind trial, time to progression then would be an
19 acceptable endpoint.

20 May we have a show of hands for the FDA? This is
21 yes, we agree that the current recommendation of time to
22 progression is a valid endpoint for approval for a hormonal
23 medication.

24 [Show of hands.]

25 DR. NERENSTONE: Any opposed?

1 [No response.]

2 The second question. Does the single study
3 comparing Femara with tamoxifen show that Femara is
4 effective for initial hormonal treatment of postmenopausal
5 women with hormone receptor positive or hormone receptor
6 unknown advanced metastatic breast cancer?

7 Comments? Dr. Albain.

8 DR. ALBAIN: Just to the FDA again. For the
9 cytotoxics in general you have suggested that two trials is
10 optimal, and I am noticing for hormonal therapies, one
11 randomized trial. Is there a reason why that difference?

12 DR. NERENSTONE: Would someone like to answer
13 that? Dr. Johnson.

14 DR. JOHNSON: There is no difference. We haven't
15 suggested any difference in the number of trials.

16 DR. NERENSTONE: I would point out that this trial
17 is very large and also multicenter, and I think maybe that
18 is the weighted evidence, that if you had had two comparable
19 trials, one in the U.S. and one in Europe, which is usually
20 what we are presented with, you want them to be the same,
21 and whether you call them two trials or one trial, this is
22 so large that perhaps--

23 DR. PAZDUR: Marty did present a slide that showed
24 why one trial might be acceptable, and it includes the fact
25 that it was multicenter, it was internally consistent, it

1 was statistically persuasive and methodologically sound, so
2 I think these things went into our feeling one trial would
3 be sufficient.

4 DR. TEMPLE: In addition, there are trials in
5 other stages of the disease that are also supportive. All
6 of those are conditions in which we have relied on a single
7 study.

8 DR. NERENSTONE: Other comments or questions?

9 [No response.]

10 DR. NERENSTONE: May I see a show of hands?

11 So, does this single study, does it show that this
12 is effective treatment?

13 All those in favor?

14 [Show of hands.]

15 DR. NERENSTONE: Okay.

16 The third question. In view of the efficacy, is
17 the safety of Femara adequate? Do you feel that we have
18 adequate information at this time? Comments?

19 DR. SANTANA: How does the FDA deal with this
20 issue of potential drug-drug interaction in the absence of
21 conclusive data when you do the labeling? Can you clarify
22 that for me?

23 DR. NERENSTONE: Dr. Temple.

24 DR. TEMPLE: Well, actually, to my knowledge, I
25 mean this is my first thinking about it, but a plausible

1 explanation is that an inducer of 3A4 lowered the blood
2 level in a way whose clinical meaning you don't understand,
3 you put something in labeling about 3A4 inducers which are
4 known, and there are other drugs that are labeled the same
5 way. Then, you negotiate about getting some real data.

6 DR. NERENSTONE: Dr. Sledge.

7 DR. SLEDGE: For this drug, that particular
8 interaction is perhaps not a great issue since certainly the
9 standard of care in the United States is to use sequential
10 hormonal agents rather than combination hormonal agents.

11 Now, the important qualifier there is that an
12 important research issue right now might be, for instance,
13 for a premenopausal patient who, for instance, say, got a
14 drug like, say, xylodex [ph], an LHRH agonist, whether or
15 not addition of an agent like letrozole on top of the LHRH
16 agonist might give further significant benefit.

17 In that setting the drug-drug interactions would
18 be of obvious importance, and certainly would be something
19 you would want to look into.

20 DR. PAZDUR: But our major concern is other
21 concomitant medications rather than combination hormonal
22 therapy obviously, and that is why we may look at other
23 studies, clinical data that needs to be generated.

24 DR. NERENSTONE: Dr. Albain.

25 DR. ALBAIN: I would like to add the caveat of the

1 safety, yes, in the metastatic setting where durations of
2 use are shorter than noticing out in many settings a
3 tendency to switch to an aromatase inhibitor in the adjuvant
4 setting, and there are sometimes reasons to do that on a
5 case-by-case basis, but with the very favorable safety
6 profile of these agents in the metastatic setting, I think
7 we need to be careful in the labeling or however this goes
8 forward that this is in the metastatic setting and we await
9 the data in the adjuvant trials for longer duration safety.

10 DR. NERENSTONE: I have a question for FDA. Do we
11 know, is there any data yet about the effectiveness in the
12 premenopausal ERPR-positive patients? No, just
13 postmenopausal.

14 DR. COHEN: You wouldn't expect it either.

15 DR. NERENSTONE: We use tamoxifen in that group,
16 and they respond to sequential hormonal treatment although
17 probably not at quite the same percentage, but there
18 certainly are subsets of patients you would think about
19 using it in.

20 So a show of hands. Is the safety of Femara
21 adequate? All those in favor yes.

22 [Show of hands.]

23 DR. NERENSTONE: Any no's?

24 [No response.]

25 DR. NERENSTONE: Is then Femara approvable for a

1 secondary NDA?

2 All those in favor, please raise your hand yes.

3 [Show of hands.]

4 DR. NERENSTONE: Any nays?

5 [No response.]

6 DR. NERENSTONE: I thank the committee very much.

7 This was very nice and non-controversial. It was a good
8 introduction for me, I appreciate that. At 1:30, please be
9 back. If we could start at 1:15, I think it would be good
10 for this afternoon's discussion.

11 [Whereupon, at 11:55 a.m., the proceedings were
12 recessed, to be resumed at 1:15 p.m.]

A F T E R N O O N S E S S I O N

[1:15 p.m.]

Call to Order and Introductions

DR. NERENSTONE: I would like to thank everyone for coming. We are going to be discussing the histamine dihydrochloride injection NDA.

I would like to start by having the committee introduce itself again for the record and also for people who haven't been here for the morning session.

DR. TAYLOR: I am Dr. Sarah Taylor from the University of Kansas Medical Center. I am Director of Palliative Care and a Medical Oncologist.

DR. KELSEN: I am Dave Kelsen, Medical Oncologist from Sloan-Kettering in New York.

DR. SIMON: Richard Simon from the National Cancer Institute.

DR. SLEDGE: George Sledge, Indiana University.

MR. McDONOUGH: Ken McDonough, North Huntingdon Township, patient representative.

DR. LIPPMAN: Scott Lippman, Medical Oncology, M.D. Anderson Cancer Center.

DR. DUTCHER: Janice Dutcher, Medical Oncologist, Our Lady of Mercy, New York Medical College in New York.

DR. SANTANA: Victor Santana, St. Jude's Children's Research Hospital in Memphis, Tennessee.

1 DR. NERENSTONE: Stacy Nerenstone, Medical
2 Oncology, from Hartford, Connecticut.

3 DR. TEMPLETON-SOMERS: Karen Somers, Executive
4 Secretary to the Committee, FDA.

5 DR. PRZEPIORKA: Donna Przepiorka, Baylor College
6 of Medicine, Cell and Gene Therapy.

7 DR. PELUSI: Jody Pelusi, Oncology, nurse-
8 practitioner at Phoenix Indian Medical Center, and I sit as
9 the consumer rep.

10 DR. REDMAN: Bruce Redman, Medical Oncologist,
11 University of Michigan Comprehensive Cancer Center.

12 DR. ALBAIN: Kathy Albain, Loyola University
13 Medical Center, Chicago, Medical Oncology.

14 DR. CARPENTER: John Carpenter from the University
15 of Alabama at Birmingham, Medical Oncology.

16 DR. CHIAO: Judy Chiao, Medical Reviewer, FDA.

17 DR. GRIEBEL: Donna Griebel, Medical Team Leader,
18 FDA.

19 DR. NERENSTONE: Dr. Templeton-Somers will read
20 the Conflict of Interest Statement.

21 **Conflict of Interest Statement**

22 DR. TEMPLETON-SOMERS: The following announcement
23 addresses the issue of conflict of interest with regard to
24 this meeting and is made a part of the record to preclude
25 even the appearance of such at this meeting.

1 Based on the submitted agenda and information
2 provided by the participants, the Agency has determined that
3 all reported interest in firms regulated by the Center for
4 Drug Evaluation and Research present no potential for a
5 conflict of interest at this meeting with the following
6 exceptions.

7 In accordance with Section 208(b)(3), full waivers
8 have been granted to Drs. Lippman, Santana, and Sledge. In
9 addition, we would like to disclose for the record that Dr.
10 Blayney is excluded from participating in the committee's
11 discussions and vote regarding histamine dihydrochloride. A
12 copy of these waiver statements may be obtained by
13 submitting a written request to the Agency's Freedom of
14 Information Office, Room 12A-30 of the Parklawn Building.

15 In the event that the discussions involve any
16 other products or firms not already on the agenda for which
17 an FDA participant has a financial interest, the
18 participants are aware of the need to exclude themselves
19 from such involvement, and their exclusion will be noted for
20 the record.

21 With respect to all other participants, we ask in
22 the interest of fairness that they address any current or
23 previous financial involvement with any firm whose product
24 they may wish to comment upon.

25 Thank you.

1 **Open Public Hearing**

2 DR. NERENSTONE: We are now going to have the open
3 public hearing part of this afternoon's discussion.

4 Karen S. Graham from the William S. Graham
5 Foundation for Melanoma Research has asked to speak.

6 MS. GRAHAM: Good afternoon. My name is Karen
7 Graham and I am the founder and president of the William S.
8 Graham Foundation for Melanoma Research. We are fondly
9 known as the "Billy Foundation."

10 In past years, we have accepted donations to our
11 public awareness and education programs from some of the
12 pharmaceutical companies. However, I have paid my own
13 expense here today, and if I might add, at a very dear cost.

14 Please, please, someone out there tell me that
15 there is something that you can do to help. My loved one
16 has by his doctor been given a death sentence, and I refuse
17 to believe, I refuse to believe that there isn't something
18 that we can do that won't be nearly as devastating as the
19 disease itself.

20 Ladies and gentlemen, many times on a daily basis
21 at the Foundation office, we hear these heartbreaking pleas
22 from patients, their families, and their loved ones as they
23 try to sort through the many different therapies and their
24 options for what would be the best suited for their
25 particular fight against this lethal form of skin cancer,

1 and we have had to answer these pleas with the same answers
2 that were given to our son over seven years ago.

3 I personally was found in the same situation when
4 Billy, at the age of 21, was diagnosed in Stage IV melanoma
5 with liver metastasis and given three to six months to live.
6 He was basically sent home to die. His only hope was to
7 hang on with the chance that something would be developed in
8 time to save his life.

9 In the past, the only options have been high-
10 toxicity drugs that literally bring the patient to the brink
11 of their human tolerance with little hope in the way of
12 prolonged life expectancy, let alone addressing any of the
13 quality of life issues or regimes that are known to do very
14 little, if anything, for Stage IV melanoma.

15 We see the agony that this places the families and
16 patients in as they are trying to make decisions on a
17 subject that they probably didn't even know existed before
18 their nightmare began. They have been placed in the throes
19 of a decision-making process that, quite frankly, is not
20 going to have a happy ending, not yet.

21 But this new drug may be that light at the end of
22 the tunnel that every melanoma patient needs to be able to
23 see to continue their fight.

24 Just the fact that this drug can be administered
25 at home, outside of a hospital setting and all the trauma

1 that is involved with that, the physical, the psychological
2 effects that an ICU unit stay has to entail, that alone can
3 spark the flame of hope, and hope, after all, should be the
4 right given to every patient, family, and loved one, the
5 hope of hanging on just a little while longer, so that you
6 can take that family vacation and make that one last memory
7 or perhaps it is the hope of being able to watch your wife
8 give birth to your first child before you die, or the hope
9 of being able, able to graduate with your class. Yes, it is
10 the hope of holding on just a little while longer because
11 surely, surely that cure will be just around the corner.

12 We at the Billy Foundation are anxiously awaiting
13 the opportunity to be able to refer patients to a drug that
14 will take in some of the quality of life issues.

15 I would like to thank you for your listening ear,
16 for your time and your kind consideration because I truly
17 believe from the bottom of my heart that together we will
18 beat this disease.

19 If you have any questions, I will be glad to
20 address them at this time.

21 DR. NERENSTONE: Does the committee have any
22 questions for Mrs. Graham?

23 [No response.]

24 DR. NERENSTONE: No. Thank you very much for your
25 time.

1 Our next speaker is Mr. Joe Groebner.

2 MR. GROEBNER: I will catch my breath. That was a
3 little too close to home, to be honest with you.

4 I am a patient advocate. I have gone through this
5 particular treatment. I was on it for two years, three
6 months. I want to thank the FDA and this committee for the
7 opportunity to be here today, because I honestly believe
8 that histamine, as given to us by Maxim, will make a
9 difference to a lot of patients.

10 First of all, let me tell you why. I have a
11 common story, it is much like many people that end up with
12 melanoma. I am 45 years old. I am a husband, I am a
13 father, I have a 2 1/2 year old. I am an ordained minister.
14 I am a manager for a computer company, I am a supplier
15 quality engineer living in Longmont, Colorado.

16 Twelve years ago I got a mole on my right leg. It
17 looked suspicious. I went in and talked to my practicing
18 physician, and he took the mole off and sent it in. It
19 turned out to be malignant melanoma, Clark's Level III, I
20 think, or I can't remember all the terminology.

21 Three months later, after surgery and removing the
22 skin about the mole, three months later I went in for a
23 routine physical. My practicing physician found a malignant
24 tumor in my right groin. I had to have all of the right
25 groin lymph nodes removed, and I was told at that time that

1 six months was the median time to live.

2 There was no treatment available, and I was sent
3 home without any treatment. Every three months I went in
4 for checkups, and as the time went by, we started to believe
5 that the cancer was never going to return. Pretty soon it
6 was every six months.

7 Nine years went by without any sign of the
8 melanoma reoccurring. I moved to Colorado, I changed jobs,
9 relocated my family. My wife was eight months pregnant when
10 an x-ray showed that the melanoma had metastasized and moved
11 into my lungs.

12 But this time there was a treatment at least
13 available as a test, interleukin-2 with histamine. I was on
14 this particular protocol, like I said, two years and three
15 months, and the first three to six months weren't easy. I
16 suffered from fevers. I woke up in the morning with my
17 pillow soaking wet. Chills, I literally shook at night and
18 had to take warm baths. Loss of energy. My wife wanted me
19 to make certain I gave you that. Even what the oncology
20 nurses called a "blush" headache. You take the histamine,
21 you turn red. Since my color was light anyway, people just
22 thought it was as normal blush.

23 I had to learn to give myself subcutaneous shots.
24 They recommended 10 to 30 minutes for histamine. You can't
25 give it to yourself too fast or, who knows, maybe a heart

1 attack will occur. I chose 20 minutes, and I was able to do
2 the protocol at six o'clock in the morning, every morning,
3 and then in the evening varied a little bit because I was
4 never sure when I would get home from work.

5 Beyond the early symptoms, it was a wonderful
6 thing because it was on a six-week cycle, and I always had
7 weekends off, which means week one was high dose, week two
8 was a low dose interleukin always with the histamine, week
9 three was high dose, week four was low dose, and week five
10 and six I didn't have to take anything at all.

11 It fit into my job. I have to travel to China and
12 Japan, which means I could keep working. The fifth and
13 sixth week I went to Japan and China. First, week one
14 through four I was able to work back and actually do some
15 domestic travel.

16 The fevers went away, the chills went away, the
17 headaches went away. I didn't even have to take anything
18 for headaches. The shots really became routine. I gave the
19 interleukin-2 in my belly, big target, histamine in my legs,
20 morning in one leg, afternoon in the other. It really did
21 become routine.

22 The cancer was stable, it didn't grow for two
23 years and three months. The shots were easy. The protocol
24 worked for me. I had time with my family. My daughter is
25 now 2 1/2 years old. My wife has been very thankful that I

1 have been around. It did stop working. I am on a different
2 protocol, evidence of my bald head. I look around the room.
3 I maybe could have got away with not wearing the hat.

4 For me, this treatment wasn't a cure, but it
5 stabilized the cancer. It gave me time with my family and
6 friends. The side effects became minor, and the protocol
7 allowed me to work full time and live a full and almost
8 normal life.

9 If the statistics shows--by the way, I am a rookie
10 statistician--if the statistics show a lengthening of life,
11 I strongly recommend you approve the drug and give other
12 people the opportunity to extend life with very minor side
13 effects.

14 Thank you.

15 Now, does the committee have any questions for me?

16 DR. NERENSTONE: Any questions?

17 [No response.]

18 DR. NERENSTONE: Thank you very much.

19 Our next speaker is Dr. Eric Whitman.

20 DR. WHITMAN: Good afternoon. I would like to
21 thank the committee for the opportunity to speak during the
22 public portion of this session.

23 My name is Dr. Eric Whitman and I am a surgical
24 oncologist in St. Louis, Missouri. I will just give you a
25 little bit of my background. After finishing medical school

1 at Pennsylvania State University, I was trained as a general
2 surgeon at the Milton S. Hershey Medical Center in Hershey,
3 PA, which is also part of Penn State. I was then a senior
4 staff fellow in Dr. Rosenberg's Surgery Branch just down the
5 street at the NCI.

6 Following six years as an Assistant Professor of
7 Surgery in the Cancer Section at Washington University of
8 St. Louis, I entered private practice in the St. Louis area.
9 I am currently the director and actually the founder of the
10 Melanoma Center of St. Louis, which is a clinical specialty
11 center of excellence. We treat over 200 patients a year
12 with melanoma. We see probably about 50 percent of all the
13 melanoma patients in the area.

14 Just so we all understand, I have no financial or
15 equity interest in Maxim Pharmaceuticals. I volunteered to
16 be here today, and I paid my own way, and I hope my kids are
17 having a good time right now.

18 I was, however, one of the initial investigators
19 in the Phase III trial that we are talking about today. Our
20 center is currently also one of the sites for the follow-up
21 Phase II trial which is looking just at the combination of
22 interleukin-2 and histamine dihydrochloride. All together I
23 managed the care of about 25 patients on these two
24 protocols, and currently we have about 10 patients who are
25 receiving this treatment all on the Phase II trial.

1 After the emotional speeches that preceded me, we
2 all know that melanoma is an absolutely horrible cancer. As
3 oncology specialists, all of us, we know that many cancers
4 currently have therapy even in the advanced stages that will
5 at least offer some statistical benefit. I don't think that
6 is true at all for melanoma.

7 Once melanoma has progressed beyond the local
8 primary site, there are no generally accepted effective
9 treatments. Patients with liver metastases do particularly
10 poorly. Once the melanoma has spread to the liver, most
11 people die within three to six months. There really is no
12 treatment.

13 As the cancer within the liver progresses, they
14 become emaciated, weak, often jaundiced. It is absolutely
15 horrible to see as a physician, but I know, and I know from
16 listening to the two people before me, that what I feel and
17 what my staff feels is nothing in comparison with what the
18 patient and their family and friends feel.

19 Unfortunately, from my perspective, there is no
20 treatment that offers any benefit that is approved right
21 now. The two agents that are approved, DTIC or dacarbazine
22 or high dose interleukin-2 don't improve survival as far as
23 I can tell, and whatever they do accomplish is at a very
24 high expense in terms of quality of life.

25 Conversely, as you heard, the side effects from

1 interleukin-2 and histamine dihydrochloride are extremely
2 low. We have never had to hospitalize any of the patients
3 we treated. They give the therapy at home either by
4 themselves, by a home care nurse, or by a caregiver.

5 Once every six weeks they come to our office and
6 they are evaluated, and we make the decision whether or not
7 to continue treatment. In comparison to my extensive
8 experience with patients receiving other types of treatment
9 for melanoma, whether it be chemotherapy, immunotherapy, or
10 a combination, there is no comparison. They just do much
11 better.

12 We have treated farmers who actively manage their
13 crops, executives like the previous gentleman who are able
14 to continue their busy schedules, traveling, meetings, even
15 elderly retirees well into their seventies who no one in
16 this room would ever treat with any of the other approved
17 agents, who are able to continue their lives, enjoy their
18 family, pursue hobbies and crafts.

19 Despite or more precisely, perhaps in addition to,
20 its low toxicity, I believe that combination therapy with
21 interleukin-2 and histamine dihydrochloride works.

22 When we first started putting our data together in
23 the Phase III trial, we were astonished at the survival in
24 the treatment arm, in the experimental arm. That was just
25 for our site. We are very happy to find that the

1 multicenter data was consistent with ours.

2 I would say for the benefit of the committee that
3 no experimental design is perfect, however, the most
4 important fact to me is that I know of no other treatment
5 for advanced melanoma that has shown any survival benefit
6 when compared to any drug or observation.

7 Most of the patients who have been referred to me
8 with advanced melanoma have been told the gruesome
9 statistics about treatments for the disease, the toxicity of
10 the therapy. They know the low likelihood of success, and
11 they also understand that there will be an inevitable
12 progression of the disease to death.

13 They arrive in my office often armed with
14 printouts from the Internet because that is where you can
15 get information nowadays, stacks and stacks of paper on
16 color laser printer. Unfortunately, most of it is
17 inaccurate or irrelevant to their disease or ineffective.

18 I believe that Maxim's drug for the first time
19 provides hope for these patients, hope for survival, hope
20 for more time with children and grandchildren, hope, as a
21 melanoma patient once told me, for keeping this "beast" at
22 bay.

23 In closing, I would like to say that I traveled
24 from St. Louis to Washington not to avoid the foot of snow
25 falling as we speak, but to urge the committee to approve

1 this drug, again, the first drug that on the bottom line has
2 showed any survival benefit for patients with Stage IV
3 melanoma.

4 Thank you very much. I will be happy to answer
5 any questions.

6 DR. NERENSTONE: Does the committee have any
7 questions?

8 [No response.]

9 DR. NERENSTONE: Thank you for your time.
10 Our last scheduled speaker is Laura Stover.

11 MS. STOVER: Good afternoon. My name is Laura
12 Stover and I am a clinical research nurse at the University
13 of Pittsburgh Cancer Institute, in our Melanoma Center
14 there. I have worked for the Melanoma Center approximately
15 five years, and I am happy to be here today and thank you
16 for the opportunity.

17 I did pay my own way to come here and, as a nurse,
18 that is sometimes burdening, but it was my pleasure.

19 The reason that I am standing up here today is
20 because you have heard from a patient advocate, you have
21 heard from a patient, and you have heard from a physician,
22 and now you are hearing from a nurse, because part of this
23 treatment, because it is so extensively outpatient, actually
24 is anchored by nurses both in the community and at the
25 institution where the patient is being treated, so nursing

1 is a very critical element for this type of treatment.

2 My past experience was actually giving high-dose
3 interleukin on our Inpatient Oncology Unit at the
4 University. Also, now, as a clinical research coordinator,
5 coordinating the trials for high-dose interleukin-2, also
6 being involved with this particular treatment since its
7 inception at our center, what I can tell you is when we
8 first started the histamine and interleukin treatment at our
9 site, I was rather skeptical about the outpatient regimen
10 that it entails.

11 However, as we became more and more familiar with
12 the treatment, we found that the toxicities were so much
13 less than the high dose experience that we had on the
14 inpatient unit, we were able to treat these patients safely
15 with constant communication between the patient, their
16 support at home, the home care nurses that may have been
17 involved, the nurses at the site, and also the physicians,
18 it actually worked and it was working very well.

19 I think one of the biggest things to consider at
20 this point is the patient's quality of life. When a patient
21 is admitted for five days for an inpatient treatment that is
22 very toxic, obviously, the quality of life is compromised
23 substantially. So, that is one thing that really needs to
24 be addressed, and I think in this particular trial, it was
25 addressed.

1 These patients were able to maintain most of their
2 normal activities at home. We had patients that had small
3 children that were able to take care of them, and not be
4 away from them for a week and make care inconsistent.

5 We had patients that were elderly, and as Dr.
6 Whitman said, many of these patients would not be good
7 candidates for high-dose interleukin-2 or other regimens
8 containing interleukin. These are all important things to
9 consider when looking at a patient's prognosis, which is
10 very poor. They need to be involved with their care, and
11 most of them are most willing to actually learn the
12 subcutaneous injection of the histamine, although
13 challenging, are willing to do that.

14 I think that education of the patient, education
15 of the patient's family, and the nursing staff is also
16 critical in this type of situation on an outpatient basis.

17 As I said, our patients were most willing to learn
18 how to give this treatment, and the nurses also were willing
19 to learn how to administer the drug safely.

20 I think that in this situation, that with the low-
21 dose interleukin, that actually patients had a lower
22 toxicity profile and a better quality of life, were able to
23 maintain some of the normalcy of their life.

24 So, these are all things I wish that you would
25 consider for these patients that are in the situation, that

1 have metastatic melanoma.

2 I will take any questions at this time.

3 DR. NERENSTONE: Any questions from the committee?

4 [No response.]

5 DR. NERENSTONE: Thank you.

6 On behalf of the committee, I would like to thank
7 these four individuals for coming in and sharing their
8 experiences. I know it is a cost of time and some expense
9 for them, so thank you very much for letting your opinions
10 be heard this afternoon.

11 We turn now to the sponsor presentation for the
12 histamine dihydrochloride injection.

13 **NDA 21-240, histamine dihydrochloride injection (1 mg/ml)**

14 **Maxim Pharmaceuticals, Inc.**

15 **Sponsor Presentation**

16 **Introductory Remarks**

17 DR. GEHLSON: Thank you very much. It is an honor
18 and a privilege to be here this afternoon. My name is Dr.
19 Kurt Gehlson. I am the Senior Vice President of Development
20 and the Chief Technical Officer for Maxim Pharmaceuticals.

21 We are honored to be here and we would like to
22 thank Dr. Pazdur, members of the FDA, and, of course,
23 members of ODAC for this opportunity to present our results
24 with histamine dihydrochloride in combination with
25 interleukin-2.

1 Before we get started, however, I would like to
2 introduce a few of the guests that we have this afternoon
3 that are available for your questions during the discussion
4 session.

5 We have Dr. John Glaspy from UCLA. We have Dr.
6 Sanjiv Agarwala from the University of Pittsburgh. We have
7 Dr. Tom Fleming from the University of Washington, Dr.
8 Michael Atkins from Harvard Medical School, and Dr.
9 Alexander Eggermont who has come all the way from Rotterdam,
10 but the person who has actually traveled the furthest, and
11 maybe we have set a record here today, is Dr. Peter Naredi,
12 who has come from the north of Sweden, from Umea, and he was
13 actually the brave soul that actually injected the first
14 person with histamine with melanoma.

15 [Slide.]

16 Now, we believe that the addition of histamine
17 plus interleukin-2 significantly improves the survival of
18 patients with liver metastases. What we would like to do
19 this afternoon is provide you with an objective overview of
20 the development of histamine dihydrochloride in this
21 indication.

22 We would like to establish that this was, in fact,
23 a prespecified subgroup. We would like to establish that
24 this is, in fact, a compelling and significant result, and
25 finally, we would like to show you that this result is, in

1 fact, reproducible.

2 Our agenda for this afternoon will be to give you
3 an overview of the background of melanoma. Dr. Michael
4 Atkins will do that for us. Then, I will come back up and
5 give us the rationale for the combination therapy, we will
6 discuss our clinical experience to date, our Phase III
7 randomized trial, of course, we will spend most of our time
8 on.

9 We will provide data from our supportive Phase II
10 trial. We can give you an 18-month update on the efficacy
11 results of the Phase III trial. We will summarize, and, of
12 course, we will leave ample time for your discussion and
13 your questions.

14 So, now I am going to turn this over to Dr.
15 Michael Atkins.

16 Dr. Atkins, please.

17 **Overview of Metastatic Melanoma**

18 DR. ATKINS: Thank you, Kurt, and good afternoon.

19 [Slide.]

20 My task is to present background information on
21 the prognosis and management of Stage IV melanoma.

22 [Slide.]

23 In the year 2000, there will be about 45,000 cases
24 of melanoma in the United States, about 7,700 deaths. This
25 represents about 3 percent of all cancers and about 1

1 percent of all cancer deaths. The estimated lifetime risk
2 of developing a melanoma in the U.S. is now 1 in 74.

3 [Slide.]

4 Stage IV melanoma is synonymous with "metastatic"
5 melanoma and as a definition, this is involvement of skin or
6 soft tissue beyond the region of the primary tumor,
7 involvement of distant nodal site, or the presence of
8 visceral metastases.

9 [Slide.]

10 Metastatic melanoma is a bad disease. The median
11 age is 45 to 50. Median survival is 6 to 10 months. Five-
12 year survival in most series is less than 5 percent, and
13 there are few, if any, effective therapies.

14 [Slide.]

15 This is a typical survival curve taken from the
16 AJCC Staging Database involving almost 1,200 patients with
17 Stage IV melanoma.

18 [Slide.]

19 A number of groups have looked at prognostic
20 factors in Stage IV melanoma. Perhaps the first was a group
21 led by Charlie Balch which looked at 200 patients and
22 published their results in 1983.

23 In a multivariate analysis, three factors stood
24 out - number of metastatic sites, remission duration, and
25 site of metastases, as being independent predictors of poor

1 prognosis.

2 [Slide.]

3 Looking at sites more carefully, you can see that
4 patients with visceral metastases at all of these time
5 points had about half or less the chance of being alive
6 compared to patients with non-visceral metastases, and
7 patients with both visceral and non-visceral metastases
8 fared even worse.

9 [Slide.]

10 Liver metastases carry a particularly poor
11 prognosis.

12 [Slide.]

13 In the Balch data, patients with liver metastases
14 either as the sole site or in combination with other sites,
15 had a significantly poorer median survival compared to other
16 sites or the group as a whole.

17 [Slide.]

18 Looking at the UCLA John Wayne Cancer Institute
19 database, comprised of 1,521 patients, we can see that liver
20 metastases have a median survival of 4 months on par with
21 brain metastases, less than these other sites of disease and
22 about half of the survival of the group as a whole.

23 [Slide.]

24 That is shown graphically here. About 20 percent
25 of patients having liver metastases, and as you can see, at

1 each year time point, patients with liver metastases have a
2 survival that is inferior to every other subset site of
3 disease with the exception of possibly brain metastases.

4 [Slide.]

5 In the SWOG database of 649 patients treated on 11
6 chemotherapy trials, liver metastases was the third most
7 important predictor of poor outcome, trailing performance
8 status and number of metastatic sites.

9 [Slide.]

10 In a recently published ECOG pooled database,
11 liver metastases was also the third most important predictor
12 of poor outcome with a relative risk of 1.44.

13 [Slide.]

14 Why is prognosis so poor in patients with liver
15 metastases? Well, in looking at all these patients, it is
16 apparent that these patients aren't necessarily dying, by
17 and large, of hepatic complications, therefore, liver
18 metastases appear to be an indicator of more aggressive
19 disease and/or impaired host defenses rather than simply an
20 indicator of increased tumor burden.

21 [Slide.]

22 Other prognostic factors included an elevated
23 serum LDH, which is also a strong negative prognostic
24 factor.

25 [Slide.]

1 In the M.D. Anderson series, LDH was the most
2 important predictor of poor outcome with a p-value of 0.001.

3 [Slide.]

4 In the ECOG pooled database in the three studies
5 which incorporated laboratory parameters, LDH was also the
6 most important predictor of poor outcome with a relative
7 risk of 1.89.

8 [Slide.]

9 In summary, five studies found either the number
10 of metastatic sites or visceral metastases to be predictive
11 of poor outcome. In general, patients with one metastatic
12 site do better than patients with multiple sites. Patients
13 with skin, sub-Q and distant lymph node metastases have the
14 best prognosis. Lung metastases are intermediate. Liver,
15 brain, and other visceral sites have a median survival of 4
16 to 6 months.

17 Performance status greater than or equal to 1 is
18 also associated with poor prognosis. Elevated serum LDH may
19 be as important as the above factors.

20 [Slide.]

21 Taking this information into account, the AJCC
22 recently proposed a modification to the staging for Stage IV
23 disease, and that scheme is shown here where patients are
24 separated into M1a, distant skin, sub-Q, or nodal
25 metastases, and then normal LDH; M1b, lung metastases with a

1 normal LDH; and M1c, which is either all other visceral mets
2 and a normal LDH or any distant met with an elevated LDH.

3 [Slide.]

4 Looking at that ECOG database that I showed you
5 before, broken down by these categories, looking at all
6 1,362 patients, we can see that the median survival ranged
7 from 10.6 months for the M1a group to 5.0 months for the M1c
8 group, and incorporating LDH into this analysis, it is 12.8
9 months for the M1a group down to 7.8 months for the M1c
10 group.

11 [Slide.]

12 What about systemic therapy? Well, the options
13 include cytotoxic chemotherapy, immunotherapy particularly
14 with cytokines or combinations of the above.

15 [Slide.]

16 The approved therapies are DTIC, which was
17 approved in the 1970's, and high-dose interleukin-2, which
18 was approved in 1998. It should be noted that no
19 reproducible survival benefit has been established to date
20 for these therapies.

21 [Slide.]

22 Looking at DTIC, the response rate is about 20
23 percent with a median response duration of 4 months, median
24 survival of 6 to 9 months in most series, and 6-year
25 survival of less than 2 percent.

1 [Slide.]

2 A number of Phase II and small Phase III trials
3 looked at adding agents to dacarbazine, such as tamoxifen,
4 interferon, vinblastine and cis-platinum or cis-platinum
5 BCNU, and tamoxifen, the so-called "Dartmouth" regimen,
6 and have reported promising results.

7 [Slide.]

8 Unfortunately, in Phase III trials, such as ECOG-
9 3690, shown here, the addition of interferon or tamoxifen,
10 or interferon plus tamoxifen to DTIC showed no benefit in
11 terms of survival.

12 [Slide.]

13 This trial, also conducted by ECOG in conjunction
14 with Memorial Sloan-Kettering randomized patients to the
15 Dartmouth chemotherapy regimen or DTIC, and as you can see,
16 the survival curves overlap.

17 [Slide.]

18 What about high-dose IL-2? Well, the standard
19 regimen is shown here on this slide. It involves IL-2
20 administered at 600,000 units or 720,000 units/kg every 8
21 hours by a 15-minute infusion for 5 days, a 7 to 10 day rest
22 period, followed by a second cycle of high-dose IL-2 also
23 lasting 5 days with repeat courses being administered at 8
24 to 12 week intervals.

25 [Slide.]

1 This treatment was not shown to have a survival
2 advantage. There was no Phase III trial done using this
3 regimen, and it had a response rate of about 16 percent with
4 6 percent complete responses.

5 In my opinion, the reason why it received FDA
6 approval was the quality of the responses produced with a
7 median response duration of 8.9 months, and as shown here,
8 the median not yet being reached for complete responders,
9 and as you can see, there are no relapses in responding
10 patients after the 30-month time point.

11 Unfortunately, this regimen is highly toxic, it is
12 inpatient, it is expensive, and therefore impractical, and
13 its use is limited to selected patients at experienced
14 treatment centers.

15 [Slide.]

16 Patients who benefitted in terms of response to
17 high-dose IL-2 were those who had a good performance status
18 and not received any prior systemic therapy. There was no
19 influence of any of these other factors on response.

20 [Slide.]

21 Even so, if your performance status was 1 or
22 greater or you had received prior systemic therapy, your
23 chance of responding to high-dose IL-2 was less than 10
24 percent.

25 [Slide.]

1 The median survival was 12 months with 11 percent
2 of patients remaining alive at a minimum of 5 years follow-
3 up.

4 [Slide.]

5 This is the survival curve for those 270 patients
6 that were presented to the FDA three years ago.

7 [Slide.]

8 High-dose IL-2 has a lot of toxicity, as we have
9 heard alluded to. Over 50 percent of patients experienced
10 hypotension requiring pressors, or severe GI toxicity, such
11 as diarrhea, nausea and vomiting, or hyperbilirubinemia; 25
12 to 50 percent of patients experienced chills, malaise,
13 anemia, thrombocytopenia, skin or CNS toxicity or capillary
14 leaks manifest by weight gain, dyspnea, or renal
15 dysfunction.

16 In addition, 6 out of these 270 patients had
17 treatment-related death all attributable to infection in the
18 era prior to routine antibiotic prophylaxis.

19 [Slide.]

20 Unfortunately, low-dose IL-2 has limited activity
21 in Stage IV melanoma.

22 [Slide.]

23 In this table which I compiled for a chapter in a
24 Rosenberg textbook, looking at alternative IL-2 regimens
25 including low-dose IL-2, which were primarily subcutaneous

1 IL-2 regimens, you can see that only 1 out of 95 patients
2 experienced a response to low-dose IL-2.

3 [Slide.]

4 What about biochemotherapy? Well, there have been
5 a number of Phase II trials looking at cis-platinum based
6 chemotherapy, IL-2 based immunotherapy in metastatic
7 melanoma, which have shown response rates in the 40 to 50
8 percent range, and complete response rates in the 10 to 15
9 percent range with a median survival of 11 to 12 months.

10 In meta-analyses, it appears that the
11 biochemotherapy regimens have higher response rates than
12 immunotherapy regimens alone or then would be attributable
13 to chemotherapy.

14 [Slide.]

15 There has been a Phase III trial which was
16 reported at ASCO, looking at sequential cis-platinum and
17 vinblastine, DTIC, IL-2, interferon, biochemotherapy versus
18 CVD alone, which showed a doubling of the response rate and
19 a doubling of the time to progression for biochemotherapy,
20 as well as approximately 3 month prolongation in median
21 survival, which was of borderline statistical significance.

22 Unfortunately, there are problems with this
23 regimen. It is inpatient and also very intensive with 19
24 out of the first 31 treatment days being in the hospital.
25 It is highly toxic with 90 percent of these patients

1 requiring blood pressure support.

2 In contrast to what is seen with high-dose IL-2,
3 there are few durable responses, and as I mentioned before,
4 the survival benefit is only borderline. Finally, there are
5 no confirmatory trials as yet.

6 [Slide.]

7 The other Phase III trials are shown on this
8 slide, and they include a trial from EORTC, which looked at
9 IL-2 and interferon plus or minus cis-platinum, which showed
10 an improved response rate, but no survival benefit; a trial
11 from the NCI Surgery Branch looking at cis-platinum and DTIC
12 plus or minus high-dose IL-2 and interferon, which also
13 showed an improved response rate, but no survival benefit;
14 and a recently reported trial from the EORTC looking at
15 platinum/DTIC/interferon plus or minus IL-2, which showed no
16 response or survival difference.

17 The value of biochemotherapy is going to rest on
18 the results of the intergroup trial comparing CVD alone to
19 CVD plus concurrent IL-2/interferon, which is nearing
20 completion.

21 [Slide.]

22 In summary, with regard to metastatic melanoma,
23 specific features are associated with poor outcome. These
24 include performance status greater than or equal to 1,
25 visceral disease particularly liver metastases, multiple

1 sites of metastases, or elevated serum LDH.

2 Single agent chemotherapy, particularly with the
3 carbazine, produces 5-year survival in 1 to 2 percent of
4 patients.

5 Combination chemotherapy or the addition of
6 tamoxifen or alpha-interferon have not proven to be superior
7 to DTIC alone.

8 [Slide.]

9 High dose IL-2 produces durable responses in a
10 small percentage of patients, mostly previously untreated,
11 performance status zero patients.

12 Low dose IL-2 alone has limited effectiveness.

13 Biochemotherapy increases the response rate and
14 the toxicity, but its effect on survival remains uncertain.

15 In addition, improved tumor response rate has yet
16 to be correlated with improved median survival.

17 [Slide.]

18 In conclusion, metastatic melanoma truly is a bad
19 disease. Patients with liver metastases comprise a group of
20 patients with especially poor prognosis. Finally, no
21 treatment to date has an established survival advantage.

22 Thank you very much.

23 Questions?

24 DR. NERENSTONE: Why don't we save our questions
25 for the end of the presentation.

1 DR. ATKINS: Thank you.

2 DR. GEHLSON: Thank you very much, Dr. Atkins.

3 That was very helpful.

4 **Efficacy and Safety of Histamine Dihydrochloride**
5 **for Injection as an Adjunct to Interleukin-2 in**
6 **Patients with Metastatic Melanoma**

7 [Slide.]

8 I could imagine your reaction the first time that
9 you heard that we were going to inject patients with
10 histamine in combination with any kind of therapy because I
11 can tell you our first experience at the initial PI meeting
12 was rather remarkable, but there is in fact a rationale for
13 the use of histamine in the treatment of certain cancers and
14 other diseases in combination with immunotherapeutics.

15 [Slide.]

16 We have known for years that many tumor types are
17 immunosuppressive. In fact, there are a number of
18 hypotheses that try to describe this particular
19 immunosuppression that these various tumor types can cause.

20 What our principal scientists have been working on
21 over the last 18 years is a method by which tumors recruit
22 large numbers of phagocytic cells like monocytes and
23 macrophages, and it has been shown that monocytes and
24 macrophages in and around the tumors can actually suppress
25 significantly human lymphocyte functions.

1 In particular, monocytes and macrophages will
2 actually block natural killer cell-mediated tumor cell
3 lysis, it will block natural killer cell anticell
4 proliferation and activation, it will also block natural
5 killer cell and T cell cytokine production.

6 What happens? We have cytokines trying to
7 stimulate cells that can't be activated. So, histamine was
8 actually developed to reverse the immunosuppression and to
9 protect NK cells and T cells from this induced inhibition
10 caused by monocytes and macrophages and restore the
11 responsiveness to interleukin-2 or other cytokines.

12 [Slide.]

13 There is a very simple illustration here of how
14 dramatic this suppression really is. If you mix Daudi
15 cells, which is a human B cell lymphoma cell line, with
16 human natural killer cells, you can actually see that the
17 natural killer cells can kill these Daudi cells and without
18 any activation, but when you add interleukin-2 or interferon
19 alpha, you can see you can dramatically stimulate their
20 killing activity. This is a very interesting result.

21 [Slide.]

22 But if you look at the literature, you will notice
23 that in certain tumor types, like colorectal cancer, breast
24 cancer, and melanoma, the more monocytes that you have in
25 the tumor, the worse the prognosis is for the patient.

1 This didn't quite make sense intuitively, but if
2 you will take the same experiment and you add monocytes with
3 the natural killer cells and the Daudi cells, you will see
4 that the monocytes completely suppress the ability of the
5 natural killer cells to kill the Daudi cells and you can't
6 overcome the suppression with interleukin-2, a vaccine, or
7 any other cytokine.

8 So, we need to solve that problem, and that is the
9 goal of histamine is to solve that particular problem.

10 [Slide.]

11 What we have learned is that monocytes and
12 macrophages, through an NADPH oxidase, they convert oxygen
13 to the reactive oxygen metabolites. Well, it turns out that
14 these reactive oxygen metabolites can rather significantly
15 induce apoptosis in the NK cells and T cells, and, of
16 course, if you are apoptotic you cannot respond to
17 interleukin-2 or other cytokines.

18 [Slide.]

19 Now, if you want to restore that function and
20 reverse the effects caused by monocytes and macrophages, you
21 do a similar experiment. You have Daudi cells now in the
22 presence of natural killer cells and monocytes. Again,
23 interleukin-2 is ineffective at stimulating the killing
24 activity, but as you can see here, histamine can response
25 that killing activity.

1 [Slide.]

2 You can also restore their proliferative activity
3 both in T cell and NK cells. Interleukin-2 is ineffective
4 in the presence of monocytes. Again, that is reversed by
5 the addition of histamine.

6 [Slide.]

7 Finally, if we look at a secondary cytokine
8 production like interferon-gamma, we see the same thing.
9 Now, what we have done here for a control is we actually
10 added an H2 receptor blocker ranitidine, and that eliminates
11 the effect of histamine, so this is an IL-2 alone control.
12 It also proves that this phenomena works through the H2-
13 receptor on these cells.

14 Again, the addition of histamine restores cytokine
15 production.

16 [Slide.]

17 Now, if you look at apoptosis--and this is key--if
18 you look at the percentage of cells, T cells or NK cells,
19 that are apoptotic, that means they are going into program
20 cell death in the presence of monocytes, you can see that 60
21 percent of T cells are apoptotic and 90 percent of the NK
22 cells, however, as you increase the concentration of
23 histamine, you can actually restore their normal function.

24 [Slide.]

25 So, what we have actually learned over the last 18

1 years is that histamine, through the H-2 receptor on these
2 cells, will disrupt the NADPH oxidase enzyme, so these cells
3 cannot make the reactive oxygen metabolites that are
4 downregulating T cells and NK cells.

5 By doing that, you offer some favorable
6 characteristics for these cells. One, they are viable; two,
7 they can now respond to interleukin-2 and other cytokines in
8 and around the tumor, and that is where they need to
9 respond, and we maintain their function.

10 [Slide.]

11 Now, we have also done a series of animal studies,
12 and I won't go into great detail on the animal studies
13 because I know we need to get to the clinical data, but just
14 as an example, in a B16 mouse melanoma model, if you inject
15 100,000 of these tumor cells by tail vein into these mice,
16 within about four weeks you are going to get a significant
17 number of lung tumors.

18 After the injection, if you actually wait three
19 days for the tumors to establish, and then you treat those
20 animals with either one dose of histamine, one dose of
21 interleukin-2, or the combined, you can see you can
22 dramatically reduce their tumor burden in this experimental
23 model. But let's get into the clinical trials.

24 [Slide.]

25 We have been doing clinical trials for quite some

1 time, actually, for the last 11 years. Histamine has been
2 tested in combination with interleukin-2, alpha-interferon,
3 or both together in a number of patients, in metastatic
4 melanoma, acute myelogenous leukemia, renal cell carcinoma,
5 and even in hepatitic C.

6 We have ongoing or completed 15 clinical trials to
7 date in a total of 1,253 patients. 817 of those patients
8 have been treated with histamine. We have completed or have
9 ongoing six, Phase II or III advanced melanoma studies. 413
10 of those patients have been treated with histamine, 162 of
11 those patients actually have liver metastases.

12 We have completed two pharmacokinetic studies and
13 two special population PK studies, as well, and all of this
14 information has been provided in our NDA. But most notably,
15 all patients that have been treated in any study with
16 histamine are included in our safety summary.

17 [Slide.]

18 Now, I would like to spend a couple minutes on the
19 early studies that got us here in the first place. These
20 studies were initiated by Dr. Peter Naredi in Sweden. They
21 are single center studies.

22 The first study we call MM1. The goal of this
23 study was to take a continuous IV infusion regimen of
24 interleukin-2 plus alpha-interferon plus or minus histamine
25 in patients with advanced metastatic melanoma.

1 As you can see, there was an improvement in
2 survival for the patients in the histamine group, but more
3 remarkable to us, and because Peter is a liver surgeon, he
4 noticed that the three patients in the control group that
5 had extensive liver metastases had absolutely no response in
6 the liver in particular, but anywhere else, but of the two
7 patients that had extensive liver metastases in that first
8 study, in the histamine group, they both had very extensive
9 liver metastases. In one case, the patient had more than
10 200 tumors in the liver alone, and they were both CR's in
11 the liver, and they both exceeded a 16-month survival
12 duration.

13 The difficulty with this particular regimen,
14 though is the high dose cytokines come with significant
15 toxicity, and so it was proposed, if our hypothesis was in
16 fact true, we might be able to lower the dose of the
17 cytokines, maintain the survival benefit, but reduce the
18 toxicity, and that was the goal of MM2, our second study.

19 Now, we weren't allowed to do a control arm, so
20 this is a single-arm study of course in 27 patients. These
21 patients had failed all prior therapy. Thirteen of these
22 patients had liver metastases.

23 Now, when we look at the patients combined from
24 the two studies that had liver metastases, they have a
25 median survival of 10.8 months. That is a survival duration

1 that is unexpected for a population like this, as you have
2 just seen from the results that Dr. Atkins has shown.

3 [Slide.]

4 If we look a little more closely at these
5 patients, we see that if we look at the number of metastatic
6 sites and their overall response, we see that there were 5
7 patients with one metastatic site, 5 patients with two
8 metastatic sites, and 5 patients with greater than two, and
9 we had 5 PR's, 4 stable disease, and 6 progressive disease.

10 If you look specifically in the liver, you can see
11 we had 2 CR's in the liver, 3 PR's in the liver, 6 patients
12 with stable disease, and 4 progressive disease. Again the
13 median survival was unexpected.

14 [Slide.]

15 So, we conclude from our earlier work, and this
16 also includes our conclusion from our AML studies and other
17 studies we have done, that histamine can, in fact, be safely
18 administered in combination with interleukin-2, alpha-
19 interferon either together or alone.

20 We also noted that patients with liver metastases
21 experienced a longer survival duration than would be
22 expected.

23 So, we came to the conclusion that patients with
24 liver metastases certainly should be explored and analyzed
25 in our subsequent studies.

1 [Slide.]

2 Now, the question always comes up why do patients
3 with liver metastases do worse. Well, we really don't have
4 an answer to that, and Dr. Atkins has alluded to that, but
5 there are a couple of things we do know, and it may be that
6 the liver is a unique organ for several reasons.

7 One, it is not a favorable environment for a
8 tumor, and it is certainly not a favorable environment for
9 your immune system when the liver is diseased, because the
10 liver is an organ that has significant oxidative stress. It
11 not only has the macrophages and monocytes, but it also has
12 an endogenous cell, the Kupffer cell that makes free
13 radicals.

14 We know it is part of the reticular endothelial
15 system, and so it has an abundant pool of lymphocytes
16 actually that could be activated to fight off disease. It
17 is an ideal situation for us to prove that histamine is an
18 adjunct to cytokines because of this environment of
19 oxidative stress, but also, most importantly, and this was
20 also highlighted in our discussions with the FDA, it is
21 very, very difficult to prove a survival benefit in a very
22 heterogeneous population like melanoma.

23 You are going to have patients that are not going
24 to do very well, they are going to die in a matter of weeks,
25 and you have other patients that are going to do quite well

1 and live for a number of years regardless of treatment.

2 The liver metastases population is a very
3 homogeneous population. They are typically going to live
4 four to five months, there is no effective treatment today
5 for these patients, and so it sort of homogenizes the
6 population down, and if we can see a treatment effect for
7 histamine, we should see it in this patient population.

8 [Slide.]

9 So, our objective in our Phase III trial was to
10 prove that the addition of histamine to a subcutaneous
11 regimen of interleukin-2 will improve the survival duration
12 of patients with metastatic melanoma over treatment with IL-
13 2 alone. We wanted to do this, of course, with an improved
14 quality of life and without any added toxicity by the
15 histamine.

16 [Slide.]

17 This was a multicenter, randomized, prospective,
18 open-label, parallel group study to evaluate this
19 combination in advanced metastatic melanoma patients. It is
20 a very simple study. We tried to keep it simple. We wanted
21 to randomize patients. All patients were randomized to
22 interleukin-2 and one-half of the population got histamine.

23 Because survival was the primary endpoint, no
24 crossover was allowed.

25 [Slide.]

1 Survival was prospectively then defined or the
2 endpoint with survival applied to two prospectively defined
3 populations, the overall intent-to-treat population and the
4 intent-to-treat population of patients who had liver
5 metastases at baseline.

6 We, of course, adjusted for multiple hypotheses.
7 We also, of course, looked at traditional secondary
8 endpoints, as well.

9 [Slide.]

10 Now, there is some speculation about whether or
11 not this was a prespecified subgroup population. In our
12 original protocol and in our original IND, we actually
13 stated in two places, on page 33, that "Patients will be
14 stratified in subgroup analyses accordingly: (a) presence
15 with liver mets or not..." and on page 64, in another
16 section, "Results will also be displayed stratified by
17 patients presenting with liver metastases versus patients
18 with no liver metastases."

19 I agree that this is not a very good description
20 of what it is our intent, but actually it was very clear
21 what our intent was, and that is to look at the patients
22 with liver metastases.

23 [Slide.]

24 Now, the FDA, we have been discussing this for
25 some time, and they acknowledge this subgroup actually in

1 the April 23rd dissertation on page 6 of the briefing
2 document, where we decided not to prestratify for liver
3 mets, but we would perform subgroup analyses based on the
4 presence or absence of liver mets.

5 What we really wanted to do is defer to a
6 statistical analysis plan because we did not know how we
7 were going to divide up our alpha in this particular study.
8 So, the statistical analysis plan actually evolved over a
9 period of time in collaboration with the FDA actually, and
10 our final statistical analysis plan, which was submitted
11 November 18th, and finally accepted by the Division on
12 December 17th of 1999 was very clear.

13 [Slide.]

14 We were able to clarify that our intent, the null
15 hypothesis will be tested in two patient populations within
16 the framework of the study. All randomized patients and all
17 randomized patients with liver metastases at entry, on an
18 intent-to-treat basis, and again we would adjust for
19 multiple hypotheses.

20 Now, also regrettably, there was no
21 prestratification for liver metastases, and there was no
22 prestratification for any other prognostic factor. In
23 hindsight, I will take that one to my grave.

24 But we did a lot of good things. All site,
25 medical and data monitoring was done by the CRO. The

1 sponsor had no access to the data, in fact, we were
2 completely embargoed from any efficacy data until a month
3 after the data cutoff date, which was March 8th, 2000.

4 We did have a Data Safety Monitoring Board in
5 place that monitored safety and efficacy in one closed
6 interim analysis, so we were not privy to the results of
7 that efficacy review, but on a monthly basis they did, in
8 fact, review safety. But at the end of the day, there was
9 no data available to either the CRO or the sponsor that
10 could have influenced the final statistical analysis plan.

11 [Slide.]

12 Now, there is a rationale for a treatment regimen
13 using interleukin-2. You have to remember we designed the
14 study to show that histamine is an adjunct to interleukin-2.
15 We weren't trying to show that sub-Q IL-2 and histamine is
16 better than high dose IL-2. That would have been the wrong
17 question. That wouldn't have been one we could have
18 answered anyhow because most of the patients available today
19 are intolerant or are ineligible for high dose IL-2, so to
20 run a randomized study with that patient population would be
21 very difficult in this kind of a disease.

22 What we wanted to do is observe a histamine
23 effect. We also wanted to make sure that we were paying
24 attention to receptor of biology, and we also wanted to make
25 sure we were paying attention to the current practice of

1 sub-Q IL-2 in the clinic today because still many
2 investigators use subcutaneous regimens of interleukin-2.

3 So, on weeks 1 and 3, days 1 and 2, we gave 9
4 milli-International units per meter squared twice a day.
5 Then, on alternating weeks 2 and 4, we gave 2 milli-
6 International units per meter squared twice a day, days 1
7 through 5.

8 Histamine was given on weeks 1 through 4, 1 mg, as
9 we have always done in our Phase II trials, BID, 5 days a
10 week. As has been mentioned, we actually gave our patients
11 a rest on weeks 5 and 6, and each treatment cycle was 6
12 weeks.

13 [Slide.]

14 Our entry criteria are not remarkable. This is a
15 trial where we wanted to include as many advanced melanoma
16 patients as we possibly could. I think the only notable
17 exceptions are that we actually allowed the patients who
18 have had any prior therapy except for prior interleukin-2,
19 which would have been, of course, unethical.

20 We also allowed patients to have brain metastases
21 if they were controlled by surgery or gamma knife, and the
22 patients were required to be scanned by MRI to make sure
23 that they didn't have brain metastases.

24 I think also notable and different from any other
25 trial that I have seen in advanced melanoma is we allowed

1 patients who had ocular melanoma with systemic metastases
2 also in this study.

3 [Slide.]

4 We enrolled 305 patients in 56 centers all in the
5 United States between July 1997 and March 1999. The
6 analysis cutoff date was 12 months after the last patient
7 was enrolled. That was March 8, 2000. We also would
8 evaluate survival until all patients had died, and our next
9 survival update was actually September 8, 2000, and we have
10 provided that data, as well.

11 [Slide.]

12 If we look at the patient characteristics, this
13 was, in fact, a randomized trial, so we have similar numbers
14 of patients between the two groups, and as one would expect
15 for age, gender, male, performance status of 1, albumin, or
16 LDH, there are slight imbalances between the two groups.
17 None are statistically significant, but those that you would
18 expect in a randomized trial.

19 [Slide.]

20 If we look at prior chemotherapy, anticancer
21 therapy, number of organ sites 1 or greater than 2, and
22 disease sites, essentially, there is no real significant
23 difference between the two groups except for 1, and it is
24 unfortunate and we were unlucky because we didn't pre-
25 stratify, it was, in fact, in the liver met population, but

1 we can test that.

2 [Slide.]

3 If we look at the demographics for the liver
4 metastases population, there is an imbalance in the "n,"
5 however, if you look at the trends for any imbalance in the
6 demographics between the group, they are actually consistent
7 with what we saw in the intent-to-treat population, so they
8 carried over. Notable, however, though, LDH actually
9 favored the interleukin-2 group.

10 [Slide.]

11 The same thing for prior chemotherapy and some of
12 these other demographic factors, they are actually balanced
13 between the two groups. There is one also that we need to
14 explore further, and that is the number of organ sites 1.

15 [Slide.]

16 Now, this is the Kaplan-Meier survival
17 distribution curve for the intent-to-treat population at 12
18 months of follow-up. As you can see, there is a trend for
19 improved survival overall for the histamine and IL-2 group,
20 but it did not achieve statistical significance.

21 If we look at the number of patients at risk,
22 there are more patients in the histamine and IL-2 group than
23 in the IL-2 alone group.

24 [Slide.]

25 However, when we look at the patients that had

1 liver metastases at baseline, we see that there is a
2 dramatically statistically significant improvement in their
3 survival, and even when we adjust for multiple hypotheses,
4 it is still statistically significant. Also, again, there
5 are more patients possibly alive in the histamine and IL-2
6 group over the IL-2 alone group.

7 [Slide.]

8 Now, this asks the obvious question, what happened
9 to those patients who did not have liver metastases. That
10 is a fair question. As you can see here, there was no
11 difference in the survival between the two groups for the
12 patients that did not have liver metastases.

13 [Slide.]

14 So, we can summarize for median survival. As we
15 improve median survival from 245 days to 272 days by the
16 addition of histamine, it was not significant, but for the
17 liver metastases patients, we actually improved their
18 survival by 84 percent, and it was statistically
19 significant.

20 [Slide.]

21 Now, we have to test because we do have a number
22 of imbalances and we actually had prespecified in the
23 statistical analysis plan those covariates that we would
24 actually test in the Cox proportional hazard model.

25 [Slide.]

1 This is looking at the univariate of treatment
2 effect for the intent-to-treat and for liver metastases
3 intent-to-treat, and you see there is a reduction of risk of
4 about 18 percent with the treatment of histamine, but is not
5 statistically significant, but there is a significant
6 reduction in risk for patients treated with histamine in the
7 liver metastasis population.

8 [Slide.]

9 Now, the covariates that we have prespecified, of
10 course, are those that are actually typically used as
11 prognostic factors. We did include age greater than 65,
12 gender of male, prior chemotherapy, prior anti-cancer
13 therapies, LDH, and we used a dichotomous form of LDH
14 because we had to normalize all of our values to the upper
15 limit of normal, which is the way it is typically done, and
16 baseline performance status, as you can see, those two are
17 actually significant predictors of outcome.

18 [Slide.]

19 We also looked at number of disease sites, 1
20 versus greater than 2, and 2 versus greater than 2. We
21 looked at race, and then we listed each one of the disease
22 sites individually.

23 When you adjust for all of these covariates, the
24 treatment effect for histamine and IL-2 is still
25 significant.

1 [Slide.]

2 Now, the FDA in their analysis, of course, they
3 should do this. They actually identified several new
4 covariates from our database, and these are listed here.
5 Notably, albumin has been identified as a prognostic factor
6 in certain cancers. We haven't picked it up as a consistent
7 one in melanoma, so we excluded it from our model.

8 They listed visceral and non-visceral, which is a
9 little bit different. We listed each metastatic site
10 individually. The two that are actually somewhat
11 problematic are disease-free interval. They are not
12 problematic because they can't be used as covariates, but
13 problematic because the literature suggests that the
14 disease-free interval is, in fact, a significant prognostic
15 factor, and that is time from diagnosis to time or
16 recurrence of the first metastatic disease.

17 Now, we don't have that data in our database. The
18 data we have in our database is time from diagnosis to time
19 of diagnosis of Stage IV melanoma. So, by a traditional
20 definition, we don't know what our data really means, so we
21 will call that covariate Q.

22 Now, time from initial metastasis to
23 randomization, our database doesn't support that one either,
24 and so we call this one covariate X as we don't have the
25 data from time of initial metastasis to randomization, but

1 we do have time from Stage IV disease to randomization.

2 Finally, in some of their Cox proportional models,
3 they transformed LDH using a log transformation whereas we
4 have used the dichotomous form because we did not use a
5 central laboratory in our studies, so we actually had to
6 normalize everyone to the upper limit of normal because it
7 is abnormal LDH that is the significant prognostic factor.

8 [Slide.]

9 Now, the FDA also did a very good analysis. Their
10 Table 11b on page 17 of the Statistical Review Section
11 allows us to look at their selection of covariates, but also
12 add an interaction term.

13 Actually, the ICH guidelines suggest that if you
14 have a prespecified subgroup, you actually can use a
15 statistical model that has an interaction term as a
16 confirmatory analysis. So, since this was a prespecified
17 subgroup, this analysis could be quite useful.

18 What this analysis tells us is that at first, if
19 you look at disease site liver, now, this is the intent-to-
20 treat population, and what it is saying is that the
21 imbalance, namely, the patient imbalance between 74 and 55
22 patients was not a significant predictor of survival or
23 outcome.

24 If you look at treatment by liver met interaction,
25 this is also very interesting because this has a ratio by a

1 definition for an interaction with a significance level of
2 0.1, which suggests that, in fact, there is a differential
3 between patients with liver metastases and patients who
4 don't have liver metastases.

5 Finally, and most notable in this, is the
6 treatment arm, because this treatment arm represents those
7 patients who do not have liver metastases. This is not the
8 treatment effect in the patients with liver mets, but this
9 is what we expected, and I have already shown you in the
10 Kaplan-Meier estimates that there is no effect in patients
11 who did not have liver metastases.

12 [Slide.]

13 So, if we take that same table and we change this
14 interaction term to treatment in the patient population who
15 have liver metastases, we see that, in fact, there is a
16 significant effect for those patients when we add the
17 appropriate parameter.

18 So, this data, in fact, is supportive of the
19 Kaplan-Meier estimates that I have already shown you in the
20 Cox proportional hazard model that we had done.

21 [Slide.]

22 Now, the Statistical Review Group also suggested
23 possibly that the overall benefit may have been restricted
24 to a subpopulation of patients, and I think that this is a
25 good analysis because our objective was to show that when

1 you add histamine to interleukin-2, you can improve the
2 efficacy of interleukin-2.

3 It shouldn't matter whether the patient has one
4 tumor or five metastatic sites because once it metastasizes
5 to the liver, it is probably the pacing organ that
6 represents really this overall status of the patient, and
7 that is evident here because if you look at the patients
8 that have only one disease site liver, their median survival
9 is only 3.8 months, however, in the histamine group for
10 those 13 patients, their median survival is 16.6 months, 4
11 times more than one would expect.

12 Now, the study wasn't powered for such subgroup
13 analyses, but if you look at patients with greater than one
14 metastatic site, we do see a nice trend for improved
15 survival from 5.5 months to 7.7 months.

16 [Slide.]

17 We can look at this in the Kaplan-Meier curves.
18 The purple line is the histamine group with one disease site
19 and the blue line is the IL-2 group with one disease site,
20 and we improved survival, as I mentioned, from 117 days to
21 508 days, and was dramatically significant.

22 If you look at the green line, that is histamine
23 with more than one site versus IL-2 in the red line with
24 more than one site, you can see that there is, in fact, a
25 strong trend for improved survival even in the

1 subpopulation.

2 So, we would conclude that the addition of
3 histamine to a sub-Q regimen of interleukin-2 significantly
4 improved survival in patients with liver metastases.

5 The Cox Proportional Analyses adjusting for
6 significant covariates further supports the treatment effect
7 of histamine in the liver metastases population.

8 In fact, these data met the pre-established
9 criteria by the FDA for a compelling survival benefit, which
10 was defined as a greater than 50 percent increase in the
11 median survival duration, so a single trial with this
12 compelling benefit could be supportive for approval.

13 [Slide.]

14 Now, we also did secondary endpoints, and I will
15 go through those briefly. Time to disease progression in
16 our study was defined as time from date of randomization to
17 first observation of progressive disease or death due to
18 melanoma.

19 We also noted that this was also called time to
20 first progression. I don't want to confuse anyone. It
21 really is time to disease progression.

22 Time to treatment failure was also highlighted as
23 time to last progression, but it is really time to treatment
24 failure. That is time from date of randomization to the
25 last observed progressive disease resulting in removal from

1 study or death due to melanoma.

2 Now, we had a caveat, of course, in here, because
3 this is immunotherapy and if you really think about it,
4 response rates may not be that reliable in immunotherapy.
5 This is not a cytotoxic drug. We are not going to see
6 immediate response rates.

7 In fact, if you think about it, if you have a
8 tumor with a billion tumor cells, what are we asking that
9 tumor to do? We are asking it to take out another billion
10 lymphocytes to kill the tumor. So, early on it actually
11 could look larger, it could look like it has progressive
12 disease.

13 [Slide.]

14 So, we asked that the investigators not evaluate
15 for a response because it was a secondary endpoint until
16 after two cycles of treatment, and if they looked like they
17 had progressive disease, but there wasn't a significant
18 deterioration in the Karnofsky status or their performance
19 status by WHO, they could actually continue on the treatment
20 with progressive disease and be reevaluated at each cycle.

21 So, our disease progression is slightly different
22 because it is based on changes in tumor dimension plus
23 performance status.

24 [Slide.]

25 As you can see here, when we do the Kaplan-Meier

1 estimation here, we see a significant improvement for
2 patients in the intent-to-treat population for time to
3 disease progression.

4 [Slide.]

5 For time to treatment failure, we also see a
6 statistically significant improvement for the intent-to-
7 treat population.

8 [Slide.]

9 For the patients with liver metastases, we also
10 see a significant improvement in time to disease
11 progression.

12 [Slide.]

13 We also see a significant improvement in time to
14 treatment failure for those patients with baseline liver
15 metastases.

16 [Slide.]

17 We also looked at response rate. Traditionally,
18 as Dr. Atkins said, we have seen good response rates with a
19 number of trials, but it hasn't correlated to survival. We
20 are going to ask you to think a little bit differently. We
21 are going to show you a survival benefit, but it doesn't
22 have the corresponding response that you might expect, but
23 maybe we have to look at it a little bit differently because
24 again this is an immunotherapeutic, this is not a cytotoxic
25 drug, so CR and PR rate may not be as important to these

1 patients as actually stable disease or minimal regression.

2 [Slide.]

3 So, if you look at patients that have a lack of
4 disease progression, this is something that we are going to
5 have to evaluate for patients with immunotherapy or anti-
6 angiogenic factor so some of the other biologics.

7 If you combine the CR, PR, and stable disease, you
8 see that there is a trend for improved response rate in
9 these patients, and that may explain in part the survival
10 benefit.

11 If we look at the liver metastases population, we
12 look at lack of disease progression, we see the same thing,
13 that there is a trend for improved responses in these
14 patients or a trend towards improvement in lack of disease
15 progression.

16 [Slide.]

17 Now, we need to look at safety, of course.

18 [Slide.]

19 Again, you can imagine Dr. Agarwala when he first
20 injected his first patient, he actually had a crash cart
21 there because he thought every patient was going to go into
22 anaphylactic shock, and after now more than 1,000 patients
23 or nearly 1,000 patients treated with histamine, we can tell
24 you that the majority of adverse events were expected based
25 on the physiological side effects of histamine, and they

1 were mild to moderate in severity.

2 These histamine-related adverse events were, in
3 fact, transient. They lasted 30 to 60 minutes. They didn't
4 require any treatment by the patient and they were without
5 any sequelae. What you would expect happened actually.
6 Patients would flush. It is a vasodilator. Because it is a
7 vasodilator, you get a slight drop in blood pressure, which
8 can cause the heart rate go up.

9 Patients do get an injection site reaction, a
10 slight pulsatile headache, of course, dyspepsia, which we
11 ask that they actually pre-treat with a proton pump blocker,
12 of course, not an H2 blocker like Prevacid or Prilosec,
13 dizziness, and rhinitis.

14 [Slide.]

15 If we look at the incidence of severe adverse
16 events, Grade 3 and 4, what I have done here is selected out
17 those that mirrored what Dr. Atkins actually had in his
18 paper, looking at high dose IL-2.

19 Now, I don't want to compare high dose IL-2 to our
20 treatment, but I want to show you that the addition of
21 histamine to this regimen of IL-2 did not increase the
22 incidence or severity of Grade 3 or 4 adverse events when we
23 talk about cardiovascular events, GI events or neurological
24 events.

25 [Slide.]

1 The same is true for pulmonary serious adverse
2 events, hepatic adverse events, or renal adverse events.
3 There is no difference when we added histamine between the
4 two groups.

5 [Slide.]

6 Finally, if we look at the hematological, the
7 skin, or in general, there was no increase in the number or
8 severity of adverse events that are Grade 3 and 4 by the
9 addition of histamine.

10 [Slide.]

11 If we look a little closer at the overall
12 population of patients that were enrolled, we look at Grade
13 4 toxicity, the majority of patients that had Grade 4
14 toxicity, it was due to progressive disease of their
15 melanoma. There are very few patients that had Grade 4
16 toxicity that was related to study drugs.

17 If we look at Grade 3 toxicity, we have fewer
18 patients where Grade 3 toxicity is related to melanoma, and
19 those are related to study drugs.

20 [Slide.]

21 If we look at the number of patients who died on
22 study or within 28 days of receiving study drug, we see that
23 in the intent-to-treat population, in the IL-2 group, there
24 were 15 patients and 16 patients in the histamine group, and
25 there was identical numbers of patients in the liver met

1 population. The patients that actually died were scored as
2 dying due to melanoma was mostly the majority of these
3 patients. Very few patients died within 28 days due or
4 related to study drugs.

5 [Slide.]

6 So, we didn't observe any adverse events that were
7 unexpected. Most AE's were mild to moderate in severity.
8 The differences between the two treatment arms were mostly
9 due to expected physiological side effects.

10 The addition of histamine to sub-Q IL-2 was safe
11 and well tolerated in these patients with advanced melanoma
12 in an outpatient setting. This also could provide a
13 significant cost benefit for these patients, as well.

14 [Slide.]

15 Finally, we also built in quality of life into our
16 study, and we used a Quality of Well-Being instrument, and
17 our hypothesis early on was the addition of histamine would
18 not negatively affect the patient's quality of life.

19 [Slide.]

20 Now, we chose the Quality of Well-Being scale
21 because it is a perfect test for the study that we were
22 trying to do. It is an outpatient therapy and the symptoms
23 and the problems that this instrument actually identifies
24 are exactly those related to the histamine side effects.

25 It is a 76-item questionnaire and it is

1 administered by the patient at the start of each cycle. The
2 other important characteristic for this instrument is the
3 fact that it also includes death. It includes mortality and
4 morbidity into a common unit, so it scores zero for death
5 and 1 for optimum health.

6 [Slide.]

7 So, if we look at the median predicted mean
8 Quality of Well-Being scores for patients in the intent-to-
9 treat population, we see that the addition of histamine did
10 not adversely affect the quality of well-being of these
11 patients in the intent-to-treat population.

12 [Slide.]

13 If we look at the quality of well-being scores for
14 the liver metastases population, however, we see a different
15 picture. We see, in fact, that patients had a significantly
16 improved quality of well-being in addition to improving
17 their quality of survival.

18 [Slide.]

19 Now, you can take the area under those two curves
20 and you can actually do median quality-adjusted survival,
21 and you can see that for all randomized patients, if you
22 look at their median quality-adjusted survival, it was
23 improved by 31 days, and it was statistically significant.

24 If you look at those patients that were randomized
25 with liver metastases, we improved their median quality-

1 adjusted survival by 50 days. That was also statistically
2 significant.

3 [Slide.]

4 So, we conclude that the addition of histamine to
5 sub-Q IL-2 is safe and significantly improves survival of
6 patients with liver metastases without added toxicity or a
7 decrease in their quality of life.

8 [Slide.]

9 Now, I am going to touch on the interim efficacy
10 update. We actually updated our Phase III study, the MO1
11 study, on September 8, 2000, which is 18 months of follow-
12 up, and I also will now, after that, show you the Phase II
13 study. I can give you interim results on that one, and we
14 hope that that will be used as a confirmatory study.

15 [Slide.]

16 If we look at the survival in the intent-to-treat
17 population at 18 months, we still see that trend for
18 improved survival, but now the p-value is 0.0526.

19 [Slide.]

20 If we look at the survival distribution curves at
21 18 months for the liver metastases population, we see what
22 we saw earlier, a very significant improvement in survival
23 even when adjusted for multiple hypotheses.

24 [Slide.]

25 If we update Table 11b with the 18-month data, we

1 see that the treatment effect in the patients with liver
2 metastases at 8 months maintain the same level of
3 significance using this set of covariates.

4 [Slide.]

5 Now, our single-arm study was in direct response
6 to wanting to do a confirmatory study, when we went out to
7 do the feasibility on a confirmatory study using the same
8 dose of regimen of interleukin-2 and histamine, we found
9 that in the melanoma population, because of all the clinical
10 trials that are going on, many of those being single-arm,
11 Phase II studies, it would be very difficult to continue to
12 do a randomized study with this treatment regimen.

13 So, we actually went out and did a Phase II study
14 as a single-arm study using the same treatment regimen as
15 the Phase III. The only notable difference is that also
16 patients who had prior interleukin-2 therapy were also
17 eligible for this study.

18 At the time we submitted the NDA, there were 39
19 patients evaluable for the NDA, and as of September 8th, we
20 actually had 88 patients that would be evaluable with a
21 median of five months follow-up.

22 Today, we actually have 125 patients enrolled
23 because our clinicians have asked that we keep this protocol
24 going while we wait for approval of this drug.

25 I can tell you also the patient demographics are

1 very similar to those between the two arms in the Phase III
2 study. The only difference is 30 percent of the patients
3 had actually received prior interleukin-2 therapy in
4 addition to their other prior therapies.

5 [Slide.]

6 Now, really all we can do in this particular
7 series is overlay the old Phase II data for the histamine
8 and IL-2 group on top of the data for the Phase III trial,
9 so this is the exact data I showed you at 12 months for the
10 Phase III trial, and in black, tracking nicely the treatment
11 arm are those 39 patients in the intent-to-treat population
12 from 0103.

13 [Slide.]

14 We can do the same thing for the liver met
15 population although this is a very small number, there were
16 only 10 patients at the time, but again they tend to track
17 the survival curve for the histamine group.

18 [Slide.]

19 Now, at 18 months, we have updated the MO1 study
20 at 18 months, so this is the curve I just showed you earlier
21 for the 18-month survival data for the Phase III study.

22 What we have done now is we have 33 patients that
23 had liver metastases of those 88 patients, and we have
24 overlaid those as an interim analysis on top of the survival
25 distribution curves for MO1. Again, they seem to confirm

1 the benefit seen in the Phase III trial.

2 [Slide.]

3 So, to summarize, I think we have actually
4 reproduced this result three times. In MM1 and MM2, if we
5 look at the 15 patients that were treated with histamine, we
6 have a median survival of 10.8 months.

7 In our Phase III trial, our MO1 control had 74
8 patients, and the median survival was 5 months. It is very
9 consistent with the literature which shows that the median
10 survival regardless of treatment today for advanced melanoma
11 with liver metastases ranges from 2.4 to 4.7 months.

12 This last reference represents only ocular
13 melanoma patients with liver metastases. If we look at the
14 55 patients from MO1 that had liver metastases, their median
15 survival is 9.1 months, and if we look at the median
16 survival at the interim efficacy evaluation for this Phase
17 II study for those 33 patients, the median survival is 10
18 months.

19 So, we think and we believe that this result is,
20 in fact, reproducible in three separate studies.

21 [Slide.]

22 Our overall summary would be that the combination
23 therapy with histamine plus IL-2 significantly improved
24 survival of patients with liver metastases, it significantly
25 improved time to disease progression, it significantly

1 improved time to treatment failure, it significantly
2 improved meeting quality-adjusted survival, and we believe
3 the interim results actually confirmed the favorable
4 survival benefit seen in the randomized trial.

5 [Slide.]

6 We think we have addressed the key concerns that
7 have been raised, that the liver metastases population was,
8 in fact, prespecified. This is a very large, well-
9 controlled trial for advanced melanoma and survival was the
10 primary endpoint. This had not been done before we had
11 started this study.

12 We did have a Data Safety Monitoring Board
13 involved monitoring safety, and they did one interim
14 efficacy evaluation that was closed to the company.

15 We also had the outside CRO monitoring the data
16 and monitoring the sites and medical monitoring this study.

17 We believe that the Cox models do, in fact,
18 support the treatment effect.

19 So we believe that treatment with histamine plus
20 IL-2 in the liver metastases population does, in fact,
21 provide a compelling result.

22 [Slide.]

23 So, as you heard earlier from the patients and Dr.
24 Atkins, there is no established standard of care today. The
25 treatment options are rather limited for patients with

1 malignant melanoma.

2 We think that the addition of histamine plus IL-2
3 did provide a significant clinical benefit by the definition
4 that we improved survival and we did so with a quality of
5 life, in an outpatient setting that could be cost effective,
6 for patients with liver metastases.

7 There is absolutely minimal risk to this patient
8 population by adding histamine to sub-Q IL-2 with a huge
9 potential benefit.

10 [Slide.]

11 So, we ask that you consider proposing that
12 histamine be approved as an adjunct to interleukin-2 for the
13 treatment of adult patients with advanced melanoma that has
14 metastasized to the liver.

15 [Slide.]

16 We have a number of people to thank. We couldn't
17 have done this without all these people listed here
18 especially our patients.

19 Thank you very much.

20 DR. NERENSTONE: Thank you, Dr. Gehlson.

21 What I would like to do now is to open up
22 questions from ODAC to the sponsor.

23 Dr. Dutcher.

24 **Questions from the Committee**

25 DR. DUTCHER: Could you please clarify a few

1 things in terms of the conduct of the study. Maybe I just
2 missed it, but I didn't get a sense of where were the ocular
3 melanoma patients in terms of the two treatment arms and how
4 many were ocular melanoma metastatic to the liver?

5 DR. GEHLSON: Thank you. That is a very good
6 question.

7 Ocular melanoma patients were allowed and they
8 were equally distributed between the two groups. There were
9 15 patients in the histamine/IL-2 group that had ocular
10 melanoma and 14 patients in the IL-2 alone group, and those
11 patients with liver metastases was equally distributed with
12 13 patients in each arm.

13 DR. DUTCHER: Could you also comment in terms of
14 the treatment management how long were patients were kept on
15 study, in general, what was the median time, and also who
16 made decisions about dose reductions, how were the dose
17 reductions done, were doses skipped, you know, how much
18 treatment did these patients actually get?

19 DR. GEHLSON: That is a very good question. Of
20 course, it ranged from zero to beyond the 12 months which
21 was specified in the protocol, as you heard, Joel, one of
22 our patients, actually went into two extension protocols and
23 beyond.

24 On average, the average number of cycles was I
25 believe three--is that correct--three cycles for both arms,

1 and the decision about whether or not there was a dose
2 reduction and how long a patient would actually remain on
3 therapy was the decision that was made by the clinician and
4 the nurse and the patients themselves.

5 In fact, if you would like, I would actually like
6 to have Dr. Agarwala comment on those decisions on whether
7 or not to keep the patients on or not.

8 DR. DUTCHER: [Off mike.]

9 DR. GEHLSON: We asked in the protocol, of course,
10 that the patients receive a minimum of two cycles of therapy
11 before they would be evaluated for a response. After that
12 evaluation, of course, it was their determination if they
13 had progressive disease whether or not to keep the patient
14 on study or to remove that patient.

15 If they did keep that patient on study with
16 progressive disease, they were to monitor for response at
17 every cycle. Again, that decision was really made by the
18 clinician and the patient themselves.

19 For dose reductions, the same thing took place.
20 If there was a dose reduction for interleukin-2 or for
21 histamine, those decisions were made by the clinicians
22 themselves and we had prespecified for toxicity in the
23 protocol the kinds and types of dose reductions depending on
24 the type of toxicity.

25 DR. DUTCHER: Could you also comment on your

1 slides 98 and 99? It seems to be that a fairly large number
2 were considered not evaluable for tumor response.

3 DR. GEHLSON: Yes. The patients here that are
4 listed as non-evaluable were those patients that actually
5 had died or had not completed at least two cycles of
6 treatment, so they never made it to their first evaluation.

7 DR. NERENSTONE: A question about those patients,
8 the ones who were not evaluable. Were they followed for
9 survival, are they included or excluded on your survival
10 data?

11 DR. GEHLSON: That is a very good question. All
12 patients, because it was an intent-to-treat analysis, all
13 randomized patients are included in the survival analysis
14 even those patients that were not evaluable for a response
15 by the first evaluation period.

16 DR. DUTCHER: One more question and then I can let
17 someone else ask questions.

18 In the FDA evaluation, they found a fair number of
19 people that they would consider performance status 2, and
20 clinically, that is quite different from performance status
21 1, so could you tell me, first, if you agree with that, and
22 second, if you do, where were those patients in terms of
23 distribution in the two arms?

24 DR. GEHLSON: I would have to actually defer to
25 see if we have that data broken down that far.

1 The question was for patients with performance
2 status 2, how many were there, and were they equally
3 distributed between the two treatment arms.

4 Dr. Dutcher, if it's okay, we will see if we can
5 find that data for you.

6 DR. DUTCHER: One more question. When you are
7 talking about response, you are talking about all sites of
8 disease?

9 DR. GEHLSON: All measurable disease, yes.

10 DR. NERENSTONE: Dr. Redman and then Dr. Simon.

11 DR. REDMAN: To ask a similar question in another
12 way, despite the time on treatment, do you have the median
13 number of cycles in each of your arms that the patients
14 received?

15 DR. GEHLSON: Yes, we do. Actually, the median
16 number of cycles for the interleukin-2 group was 2.8 cycles,
17 and the median number for the treatment group was 3.0
18 cycles.

19 DR. REDMAN: Thank you.

20 DR. NERENSTONE: Dr. Simon.

21 DR. SIMON: I am very puzzled by this data. I
22 guess the thing that is most puzzling to me is that
23 initially, it looks like the FDA suggested that you stratify
24 by liver involvement. The company did not want to do that,
25 stratify the randomization.

1 Then, you go ahead and do the study and you wind
2 up with a very extreme difference in the number of patients
3 on the two treatment groups in the liver involvement subset,
4 a difference that is actually statistically significant at
5 like the 0.01 level.

6 So, that raises three concerns for me and maybe
7 you can address at least a couple of them. One is whether
8 it was a valid randomization. So, I would like to hear
9 details about the randomization and who did it and how it
10 was carried out.

11 Secondly, it raises a concern for me as to how was
12 the assessment made that a patient had liver involvement and
13 who made that assessment.

14 Thirdly, to me it puts in question all of your
15 analyses of the liver subset, liver involvement subset,
16 because given that imbalance in overall numbers of patients
17 in the two treatment groups, and to me which other very
18 substantial imbalances in the prognostic factors for the two
19 treatment groups within that subset, you have dismissed that
20 there are imbalances, but I believe there are serious
21 imbalances.

22 I don't believe that adjusting for those
23 imbalances adjusts for the unknown imbalances that probably
24 exist given the overall imbalance in numbers. So, at least
25 I would like to hear some details about how the

1 randomization was done and how the assessment of liver
2 involvement was done.

3 DR. GEHLSON: Thank you very much. I appreciate
4 that question. The CRO that we used actually used a central
5 randomization procedure, and each site had randomization
6 codes in blocks of four, so the investigator, once a patient
7 was identified, the investigator would call the central
8 randomization desk, they would be given a treatment code.
9 That code would then determine which treatment arm that
10 patient went into.

11 So, everything was done--none of the
12 investigators, it was not specified in the protocol what the
13 randomization blocks were in or anything like that, but it
14 was done by a site, a center basis, and it was done through
15 a central procedure, not by the sponsor, of course.

16 The patients with liver metastases were actually
17 evaluated at baseline because in order to determine what the
18 extent of their disease was, liver metastases, of course, if
19 they were there, would be captured in their baseline
20 assessments, and that is why it is patients with liver
21 metastases at baseline before randomization, so then once
22 they are randomized, they go into either treatment arm and
23 no one could actually select patients to put into either one
24 of the arms.

25 All the patients, of course, would have had CT

1 scans for those disease sites, so they would have been
2 assessed at pre-study before they were randomized.

3 DR. LIPPMAN: I have a question that gets to the
4 biologic plausibility of the histamine finding specifically
5 in the liver.

6 Since we are basing a lot of this obviously, even
7 if it is preplanned, a subgroup analysis, it would be
8 helpful if we had very strong biologic plausibility, not for
9 the fact that liver disease does worse, but for the
10 histamine effect specifically there.

11 I may have missed this, but you mentioned some
12 work with reactive oxygen species, oxidative stress, I
13 think. Well, there is a lot of oxidative stress in the
14 lung, as well, so I think that if we are really looking at
15 this subgroup, it would help to feel confident about the
16 biologic plausibility of the finding with this drug.

17 DR. GEHLSON: That is an excellent question.
18 Unfortunately, we are not able to appropriately measure free
19 radical production in these cells, because they don't last
20 very long and it is very difficult.

21 We have been in our scientific study, this Phase
22 II study that I showed you the results on, we actually have
23 been looking at specific markers like CD3 zeda, which is a
24 marker of lymphocyte function, of the viability of the
25 lymphocyte, and in cancer patients and even in patients

1 treated with interleukin-2, we have seen--and this data was
2 just presented at ASH from one of our investigators--that we
3 actually see that the lymphocytes are, in fact, suppressed.
4 We see a dramatic decrease in CD3 zeda, and when you add
5 histamine, you actually restore CD3 zeda, so we have a
6 number of markers that do suggest that the oxidative stress
7 is, in fact, affecting the lymphocytes.

8 In this particular study, we didn't do any tumor
9 biopsies or we didn't do any science to further prove that
10 we were, in fact, dramatically expanding the lymphocyte
11 population in these patients.

12 DR. LIPPMAN: Right, and one could do preclinical
13 studies to look at that, as well, not only in the clinic,
14 but I was really trying to get at the specific activity in
15 the liver. Is there some biologic plausibility for why
16 histamine should work more in these tumors when they are in
17 the liver?

18 DR. GEHLSON: I think it would be more the slide
19 that I showed earlier of why patients with liver metastases
20 may have a poorer prognosis. The liver is certainly an
21 organ with extreme oxidative stress because of the disease
22 in there, so we know that there is a lot of oxidative
23 stress, and, in fact, we know from our hepatitis C studies,
24 in fact, where you have a lot of inflammation in the liver.

25 When we add alpha-interferon in that case, we get

1 a dramatic reduction in viral load in a situation of
2 oxidative stress. In the melanoma patients, you have
3 melanoma tumors, you have abundant lymphocytes that are in
4 the tumor, but they seem to be anergized or nonfunctional
5 because of this oxidative stress.

6 So, it is an ideal organ for us to prove that our
7 drug does, in fact, work because the lymphocytes are
8 actually there, they could be activated, but with the
9 cytokine alone we don't see any of this activation, and we
10 do see this response in the liver, and we do see the
11 survival advantage that we have seen consistently in all of
12 our studies.

13 DR. LIPPMAN: I understand, but just one follow-
14 up. Wouldn't there be a lot of oxidative stress in the
15 lung? It certainly has a high oxygen content, and that has
16 been used as an argument for lung tumors in different
17 settings.

18 Do you have evidence that there is a higher
19 oxidative stress in the liver, in these tumors, than in the
20 lung?

21 DR. GEHLSON: I can't say that we have definitive
22 evidence in either the lung or the liver that there is
23 significant oxidative stress suppressing the immune
24 response. This is really a hypothetical at this point in
25 time unless Peter--I could ask Dr. Peter Naredi, who has

1 actually done a lot of the scientific work. He may actually
2 have a little bit more insight than could be helpful.

3 DR. NAREDI: We have to look at preclinical data.
4 We have done microdialysis, so I cannot directly answer your
5 question.

6 DR. NERENSTONE: Could you please state your name
7 for the record.

8 DR. NAREDI: Peter Naredi from the University of
9 Umea, Sweden. So, I have to relate to preclinical data. We
10 have today no measure, to in vivo measure within the liver
11 the oxidative stress.

12 But if we do inert measures to measure the
13 function of the reticuloendothelial system by giving
14 technetium-labeled albumin, for example, the absolute vast
15 majority is taken up by the liver. It is like 90 percent, 4
16 percent to the spleen, some to the bone marrow, so you are
17 right concerning the lung and the number of macrophages, but
18 as a complete system, I think that the liver holds the vast
19 majority of these cells and also of the specialized
20 lymphocytes.

21 Coming back to why can histamine work effectively
22 in the liver, we have done microdialysis of different
23 tissues, and there is a significant lower concentration of
24 histamine in the liver compared to sub-Q tissue, for
25 example, and we have also then done the pharmacokinetics and