

1 faster than moderate to severe depressive symptoms because
2 they were more disruptive to the family rather than the
3 individual.

4 So, really what you are bringing up is an old
5 problem, not a new problem, and just saying how do you deal
6 with it in this situation. I think the best way to handle
7 it is to define the patient's functional interference from
8 the disorder that is there and, if it is treatable, then you
9 treat it. If the family member is having a problem, that is
10 something that you would work with the family member on but
11 it is then part of a psychosocial environmental patient
12 interaction.

13 DR. TAMMINGA: Dr. Whitehouse?

14 DR. WHITEHOUSE: It is a difficult issue. It is
15 not unique to this particular circumstance obviously. I
16 think there clearly are circumstances in which I, anyway,
17 would view in the diad that the symptoms are not distressful
18 to the patient and not particularly distressful to the
19 caregiver even though they have psychotic features. So,
20 that would not exceed my threshold and it wouldn't be a
21 conflict because both parties would agree. In circumstances
22 where it is distressful to both and that would exceed a
23 threshold, you treat.

24 The circumstance we are talking about is when it
25 appears to be distressful to the caregiver but you are not

1 sure whether it is distressful to the patient or not. I
2 think that is relatively -- relatively rare. Obviously,
3 there is an element of clinical judgment just because when
4 the patient is distressed by his or her own symptoms that
5 converts into behaviors that tend to make it more likely
6 that it also distresses the caregiver.

7 DR. KATZ: I guess I would really just like to
8 know how we know that. One can easily imagine any number of
9 scenarios where the patient's behavior appears to all the
10 world to represent some internal distress but, in fact, is
11 just a response to what is happening. You know, they are
12 screaming because they don't want to be given a bath or a
13 shower. They are not upset about the screaming; they
14 perhaps are upset about the fact that somebody wants to give
15 them a shower when they don't want to take one. One would
16 assume that the response of the caregiver or the observer
17 would be that this is a representation of some internal
18 distress. And, I would just like to know how we know that
19 the behaviors that we interpret as being a manifestation of
20 some internal state are, in fact, that.

21 DR. WHITEHOUSE: I guess it does depend on the
22 stage of disease we are talking about because, I mean, we
23 have been talking about mild to moderate patients where,
24 frankly, you can ask them and you will get your sense
25 clinically from just interviewing the individual. In

1 situations where it is a person who is less verbal, there
2 are actually specific distress scales which you can use
3 looking for non-verbal manifestations of distress, and some
4 of those have recently been well studied.

5 But, I guess I have two discomfort levels. One, I
6 agree with Jeff, we are moving more to this more difficult
7 area of agitation because we are trying to do it in the
8 context of diagnosing psychosis and then saying whether you
9 have this difference between the caregiver and the patient
10 as to whether you want to treat or not. So, my own feeling
11 is that through any means a clinician has -- conversation
12 and/or non-verbal communication -- there are ways of
13 establishing with a reasonable degree of certainty that
14 somebody is distressed.

15 Now, the issue you are raising is a different one.
16 Having identified somebody is distressed, in the practical
17 realities of nursing homes they may not spend the time to
18 identify that that person is distressed because they stood
19 on a nail in the shower rather than it being part of a more
20 general drug appropriate therapy. So, clearly,
21 environmental precipitants need to be addressed, whether
22 they are or not in all circumstances is kind of another
23 issue.

24 DR. TAMMINGA: Dr. Grundman?

25 DR. GRUNDMAN: I think we have to accept that

1 psychosis and the distress that it causes to the patient and
2 to the family are real and prevalent, otherwise we wouldn't
3 be here right now having this meeting and discussing it. I
4 think the other question though about how to treat it is an
5 empirical one, and I think once having defined a syndrome
6 that we think is problematic we can then use different
7 approaches, pharmacologic and non-pharmacologic, to treat
8 that syndrome and see, using a variety of assessment scales,
9 both from the patient's perspective, the clinician's
10 perspective and the caregiver's perspective, which treatment
11 is most effective at treating that syndrome.

12 DR. TAMMINGA: I think Dr. Jeste was next.

13 DR. JESTE: In psychiatry, as we know, most of the
14 diagnoses as far as treatment decisions are based on the
15 information we get from the patient, from the caregiver, the
16 patient's clinician and the charts and then the clinician
17 makes his judgment, not from any single source. I think to
18 the same extent it will have to be the clinician's decision
19 whether the condition is causing functional disruption for
20 the patient. If the patient says that it is not causing
21 functional disruption and yet it is clear that the patient
22 is pulling out his IV and is suffering because of that I
23 think the patient would need to be treated. So, the point
24 is that we need to get information from multiple sources and
25 expect that the clinician will make the right decision.

1 DR. TAMMINGA: Dr. Tariot?

2 DR. TARIOT: Well, at the risk of repeating what
3 other people have said, in the scenario you described, Dr.
4 Katz, of a nursing home resident with dementia who didn't
5 want to be fed at noon and got upset over this happening on
6 a recurrent basis and demonstrated this with psychomotor
7 agitation of some kind, I didn't hear anything about a
8 psychotic syndrome. I heard about a dementia syndrome with
9 some motor and verbal manifestations. So, I would say that
10 person hasn't met syndrome criteria for anything so far,
11 other than dementia. But if you told me that the person had
12 the delusion that he was being poisoned at noon and that it
13 was associated with all these other behaviors that in the
14 aggregate, using the kinds of principles that Dilip just
15 went over, I judged were functionally impairing, then I
16 would say there was a dementia syndrome and a syndrome of
17 psychosis that meets syndrome or criteria that exceeds this
18 threshold.

19 DR. TAMMINGA: Dr. Caine?

20 DR. CAINE: Yes, I think that Pierre has really
21 hit it. The other issue is that no other part of medicine
22 has ever used distress as its entry criteria for finding a
23 diagnosis. What we have talked about is impairment.
24 Impairment can be objectively defined as well as
25 subjectively defined. Distress can only be subjectively

1 defined. I think it is very clear that you can go to a
2 great number of Alzheimer patients and elicit whether they
3 are distressed. The point has been well made. I mean,
4 these are not mute people, by and large, until they are very
5 far into the disease process. So, I think that we are sort
6 of going down the wrong path in the sense of saying is there
7 a decision that can be made on the basis of impairment.
8 Yes, it is an all-sources data collection process and it can
9 be done in a standardized fashion where people can be held
10 accountable.

11 DR. TAMMINGA: Dr. Laughren?

12 DR. LAUGHREN: Just one last question on this, I
13 don't think it makes any difference so much where the
14 information comes from. It is true that functional
15 impairment is part of a lot of diagnostic criteria in
16 psychiatry. What seems unusual to me is this is the first
17 time I have seen functional impairment of the caregiver --
18 wait a minute, let me finish -- as being sufficient to meet
19 the criterion. I mean, this is what this says; it says
20 patient or other's functioning. So, you could meet all the
21 syndromal requirements for psychosis of AD and you could
22 meet D only if the caregiver's level of functioning was
23 impaired.

24 DR. CAINE: Yes, that is a proposal on the floor
25 that some of us would definitely not accept.

1 DR. TAMMINGA: I haven't heard general agreement
2 amongst the whole group on that point, and maybe Dilip wants
3 to respond to that.

4 DR. JESTE: These criteria were not proposed as
5 criteria for treatment, definitely not criteria for
6 treatment. So, there is a difference.

7 DR. CAINE: Let's put it this way, and I can say
8 this as a guardian of the gate, this would never make it
9 into the DSM as it was published because it, in some ways,
10 just doesn't fit sort of criteria-land. It would be
11 revolutionary in a way that I don't think is acceptable to
12 put in the distress of the caregiver as meaning that there
13 is enough stuff around -- I mean, my wife would be
14 medicating me on multiple occasions --

15 [Laughter]

16 -- if this was a criterion. Since I am not going
17 to let that happen, I think we ought to sort of, you know,
18 dispense with that one real quick.

19 DR. TARIOT: Not to mention your faculty.

20 DR. CAINE: No, they would be murdering me on
21 multiple occasions.

22 DR. TAMMINGA: Let's hear from Dr. Banister.

23 DR. BANISTER: I guess one of the comments that I
24 have, particularly when we are talking about the nursing
25 home patients, is that most of the physicians that would be

1 prescribing this medication are not psychiatrists. So, I am
2 just wondering in terms of their level of skill, when we are
3 talking about psychiatrists having many, many years of
4 experience with this, primary physicians may not have that
5 specificity or that level of skill.

6 DR. CAINE: Let me put this sort of from the
7 diagnostic point of view, a number of us have been
8 interested in seeing this evolve over the past decade
9 because most of the people who prescribe now do it with no
10 sense of specificity and often barely see the patient. So,
11 the more that we can push the field towards specificity,
12 towards trials, towards empirical science as opposed to snow
13 the whole room, the better we are. So, we view this as an
14 effort to deal just with that problem. I think there is
15 less risk, in fact, and more likely to do good the further
16 we drive this towards criteria and studies than where we are
17 now because, you know, people do off-label stuff all the
18 time.

19 DR. TAMMINGA: Dr. Cummings?

20 DR. CUMMINGS: One way to be helpful might be to
21 add another exclusion criterion. That is, we already have
22 exclusion where the patient is in a delirium. Perhaps we
23 can add an exclusion along the lines of not directly
24 attributable to environmental provocation. That would then
25 require that the clinician ask this question before

1 prescribing the medication. That might be a helpful way.

2 DR. TAMMINGA: Dilip?

3 DR. JESTE: Also, I think in order to bring this
4 discussion to a close, I will say that I agree with you that
5 for FDA purposes we can drop functional disruption of the
6 caregiver as a criterion. I mean, if that makes it easier,
7 we could do that.

8 DR. LAUGHREN: From my standpoint it does because
9 we would be setting a precedent that I think would be very
10 hard to live with.

11 DR. TAMMINGA: Furthermore, there is not general
12 agreement around that particular phrase. So, it would be a
13 proposal that was originally made that wouldn't achieve much
14 in the way of consensus.

15 DR. SCHNEIDER: It seems, Drs. Katz and Laughren,
16 that many of the questions that you are asking you actually
17 have control of when you are reviewing protocols, and when
18 you notice a protocol that purports to take in patients with
19 psychosis associated with Alzheimer's disease but uses
20 rather loose criteria or does not rule out criteria for
21 concurrent medical illness for some of the agitation being
22 caused by that; does not rule out criteria for cataracts
23 possibly being associated with hallucinations, and, by
24 framing and reviewing the protocols you are also, in
25 essence, working with the sponsor to frame, review and

1 propose the likely labeling which would be fairly specific
2 on how the study was done, and who the patients were who
3 were chosen, and hopefully that would guide the general
4 practitioner who is prescribing medications.

5 DR. KATZ: Well, I guess I would agree with you
6 that distress is clearly a subjective thing that is very
7 difficult, perhaps even in the best of cases, for an
8 observer to assess. So, if you look at functional
9 impairment, I still sort of have questions about how we know
10 that we can assess reliably some functional impairment
11 resulting specifically from the particular psychotic
12 behavior in this patient population, at least in a large
13 part of this patient population. Is that something you want
14 to give guidance to people on? That sort of thing.

15 DR. CAINE: I think it is clear that you have a
16 decade's worth of research, whether it is Barry's research,
17 or Jeff's research, or research from Pierre and Lon, where
18 people have looked at this in a fairly systematic fashion.
19 They have looked at the interference of psychotic symptoms,
20 although they didn't label them this way, and were able to
21 define functional impairment that in a rigorous and
22 standardized fashion they saw as related to these
23 manifestations.

24 DR. TAMMINGA: Dr. Grundman?

25 DR. GRUNDMAN: Again, I think it is an empirical

1 question. If we accept the syndrome and we try to treat it,
2 we can throw in activities of daily living scale into a
3 clinical trial that we design and see whether or not it
4 improves with treatment.

5 DR. COHEN-MANSFELD: I think that we have shown
6 lots of correlations between psychotic symptoms and stage of
7 ADL functioning, etc. But I don't think that we have shown
8 the causality in having a specific delusion and that
9 impairing. I am not saying it never does. I could think of
10 scenarios where it does and where the environment determines
11 whether it does or doesn't.

12 DR. CAINE: I think it is important that we not
13 create a new standard of excellence in Alzheimer's disease
14 that doesn't exist for schizophrenia or major depression.
15 There has been no data that I would know of which shows
16 causality between a delusion and the functional impairment
17 because that is not how we have studied it. So, we accept
18 the relationship at face validity. But to suddenly say that
19 we have to show this in a world where we haven't done this
20 with a lot of other disorders I think would be putting a
21 hurdle there which hasn't existed before.

22 DR. WHITEHOUSE: I think it is a little different
23 in Alzheimer's disease because I agree that, you know, just
24 correlating at a scale level function and psychiatric
25 symptomatology doesn't get you the full answer because the

1 demented people have another reason to have functional
2 disability, i.e., their dementia. So, it seems to me,
3 having made that point, that there are clear examples of
4 where a particular belief, whether it be the poisoning or
5 whether it be people outside the house are out to get you,
6 it is clear that those individual delusions relate to
7 behaviors that the patient then exhibits and, it seems to
8 me, fairly reasonably links the functional disability to
9 that particular psychotic symptom. But it is more of a one-
10 to-one kind of situation, not one that you would pick up by
11 studying it at the level of the scale comparison.

12 DR. TAMMINGA: Dr. Tariot?

13 DR. TARIOT: If I could just extend what Eric was
14 talking about, I mean, we have experts here on affective
15 disorders and schizophrenia. I mean, what are the standards
16 there for establishing DSM syndromal criteria? What is the
17 threshold for saying that somebody with schizophrenia has
18 psychosis severe enough that it interferes with functioning?

19 DR. TAMMINGA: Well, I was trying to think about
20 that while this discussion was going on, and in
21 schizophrenia, anyway, when there is psychosis first of all
22 you ask the question is there psychosis and the answer to
23 that is yes or no. Even the very mildest psychosis, even if
24 you detected very mild delusions or very mild thought
25 disorder, you could answer yes. Then, the second question

1 is whether or not you decide to treat it, and that is a
2 treatment threshold question. And, it sounds like a lot of
3 what we are discussing here is a threshold question and
4 perhaps in dementia, just like you said, Peter, it is really
5 much more difficult to decide where the threshold is. If
6 you are treating psychosis it is hard to differentiate
7 whether the functional disability comes from the dementia or
8 the psychosis. I am not sure if that is true, Pierre. You
9 may wish to comment.

10 DR. TARIOT: Well, I guess part of my response
11 would be that, but certainly many of those same variables
12 come into play for schizophrenia or, indeed, affective
13 disorders. There is cognitive impairment. There are
14 relationship issues, environment issues, and so on and so
15 forth. So, this is really a generic problem, not unique to
16 psychosis in Alzheimer's disease. That would be my
17 assertion.

18 DR. TAMMINGA: I would think that would be true.
19 And, in any case, you would tend to treat rather than not
20 treat if something productive happened.

21 DR. SCHNEIDER: Carol, I think the similar
22 threshold issue exists both in schizophrenia and in
23 psychosis of Alzheimer's disease at least in regard to
24 implementing any of a number of treatments. Cost Lyketsos'
25 data that he showed earlier on -- he kind of showed that

1 threshold by taking people who scored in Utah on either a 1,
2 or I believe it was a 3 or 4 level on the NPI. If you put
3 words onto that, a 1 level is mild but not particularly
4 distressing, essentially there; whereas a level of 3 or 4 is
5 moderately distressing and it is clearly of clinical
6 significance. I think you saw the continuum of scoring 1, 2
7 and I think 4.

8 DR. TAMMINGA: Dr. Caine?

9 DR. CAINE: I think actually Dr. Katz has raised a
10 really important issue, but I guess maybe I would come back
11 to what Dr. Grundman was saying. Clearly, what you are also
12 defining is how you are going to tell about these drugs in
13 the long run, and one of the things that I would think, from
14 a regulatory point of view, is that were one to be studied
15 and treated and come in and say, gee, I have a drug that
16 works, not only are they going to show a symptomatic
17 improvement in the target symptoms of psychosis but they are
18 also going to show functional improvement. This is
19 certainly what we do in antidepressant studies, and this is
20 certainly what we do in any psychotic studies, whether it is
21 a clinical global rating, a GAFF score or the like, someone
22 has to show that they are functioning in life better.
23 Certainly as a clinician, you know, someone may be less
24 psychotic but if they are no more functional and you can't
25 discharge them from the hospital you haven't really done

1 them much good.

2 DR. KATZ: Well, that does raise a question about
3 what is the best way to measure or what ought to be the
4 standards for trial design or outcome measures. For
5 example, for cognitive treatments for Alzheimer's you know
6 the standard is an effect on some cognitive task, the core
7 symptom, so-called, but also an effect on the global which,
8 at least theoretically, was supposed to ensure that the
9 cognitive effect meant something clinically useful. But
10 this sort of double outcome or two primary outcome measures,
11 whatever you want you call it, as a standard is unusual.
12 Ordinarily we pick a scale that measures some symptoms and
13 that is it. I mean, it would be useful if we do sort of
14 reify this diagnosis of psychosis and dementia, to know how
15 the group felt about what ought to be the way to assess it
16 in a trial.

17 DR. TAMMINGA: So, you are suggesting that you
18 would like some feedback about not only how to make the
19 diagnosis of the syndrome but, once you have made that
20 diagnosis and once you have entered into a clinical trial
21 design what scales you would do, where you would set the
22 threshold, and what you would look at as outcome measure.

23 DR. KATZ: Well, I am not particularly interested
24 -- well, I am interested to hear what the group says, but I
25 wouldn't necessarily want to come down as endorsing a

1 particular scale or a particular outcome measure. But, in
2 principle, what are the sorts of spheres of behavior that
3 people think ought to be included in a valid trial in this
4 condition, and if it would be this sort of double outcome,
5 that would be very interesting to hear.

6 DR. TAMMINGA: Dr. Whitehouse?

7 DR. WHITEHOUSE: Well, you said if we have
8 reified. It sounds like we may be doing that, or maybe have
9 done it or tried to do it, but I guess I would answer the
10 question yes. To call it a double standard seems to be the
11 wrong word but it is in part what we were talking about
12 before, that you can have psychotic features and you can
13 have a treatment for it but you want to make sure that you
14 have had an impact on something that is clinically
15 meaningful. I think we were talking about function, and I
16 think there are differences about how people view activities
17 of daily living as a measure of clinical meaningfulness, but
18 certainly you could look at clinical globals, as has been
19 looked at. You could look at activities of daily living.
20 You could also potentially look at quality of life measures,
21 which is an ill-developed area but one that is rapidly
22 evolving. That would be another way I think you could
23 legitimately consider that a drug has not only improved the
24 symptoms but also improved somebody's life.

25 DR. TARIOT: But, just for argument's sake, I

1 thought the proposal you made for assessing significance of
2 change in a behavior rating scale makes great good sense.
3 It does parallel what happens with the typical antimentia
4 trial. What is the clinical relevance of a change in a
5 score on a cognitive test? Well, that is assessed at least
6 in part by the clinician's clinical global impression of
7 change. It seems to me there is a useful parallel that
8 could be discussed for rating scales for psychopathology.
9 Is it relevant? Well, that would be assessed in part by
10 the clinical global impression.

11 DR. TAMMINGA: Dr. Caine?

12 DR. CAINE: You are also bringing up the notion of
13 dual reading or looking at function as some summative
14 process. It is also one that you really confront whenever
15 you start to look at psychopathology that exists in the
16 context of systemic diseases.

17 The point was brought up this morning -- to get
18 away from psychosis for a minute, how are you going to study
19 mood disorders, and you get something like lack of energy,
20 lack of initiative, apathy, disinterest. Is that an
21 inherent part of the "dementia" or is it an inherent part of
22 the "depression"? And, people will debate that but, in
23 fact, some of us have taken the approach of saying we don't
24 know because we can't infer what is going on in the brain
25 and which system is affected. We will study it but then we

1 will not only look at how that responds to an intervention
2 such as, say, an antidepressant in that case, but also how
3 does an overall functioning rating improve; how does
4 someone's behavior change, and the like, not trying to make
5 the split a priori about what is in someone's head because
6 you were asking about that earlier, but rather, saying this
7 is a potential target and we are going to monitor it and see
8 it how it comes.

9 So, I think the more "impure" the system or the
10 disease process or syndrome or condition that you are
11 looking at, where you are really trying to look at
12 psychopathology and medical pathology and brain pathology
13 and every other kind of level of analysis you want to look
14 at, the more careful you have to be about this and open and
15 frank about the shortcomings of some of the things, and then
16 try to compensate. So, using a dual scale system in some
17 sense is trying to compensate for what we don't know.

18 DR. COHEN-MANSFELD: Well, I basically agree but I
19 think that what you call for is a very thoughtful process in
20 terms of developing this double outcome because, for
21 example, if someone has a hallucination of the caregiver as
22 an impostor and they, therefore, attack them and if you have
23 a drug that stops this behavior the caregiver is probably
24 going to have a global rating of improvement because there
25 are no more attacks. However, if at the same time all

1 behavior is reduced and, therefore, they don't go to the
2 bathroom though they used to go before, we have lost
3 something which may not be obvious on the global rating.
4 This is maybe not suggesting an easy answer but to point out
5 the importance of looking at those double outcome variables.

6 DR. TAMMINGA: Dr. Cummings?

7 DR. CUMMINGS: I think a global might be an
8 impossibly high standard because the amount of variance that
9 the psychosis is contributing to the patient's global state
10 might be quite small and, yet, still very important. That
11 is, the patient's suffering may well be tied much more to
12 the fearfulness of the delusion than to the severe memory
13 and cognitive abnormalities of which they are not aware. It
14 might be very useful to the patient by relieving the
15 delusion and not have a global effect and, therefore, the
16 drug would fail.

17 DR. TAMMINGA: Jeff, do any of the scales that are
18 used as the rating scales, your scale or the BEHAVE-AD, have
19 sub-scales or clusters so you can look at the psychosis
20 score separate from the total score?

21 DR. CUMMINGS: Yes, both of them do.

22 DR. SCHNEIDER: I believe that actually a global
23 is extremely important in all of these studies, and it
24 certainly is in depression and schizophrenia as well because
25 it is asking the blinded physician, you know, doctor, please

1 state clearly, all in all, is this patient better, or worse,
2 or not changed? Almost by definition, that is clinically
3 significant.

4 I would elaborate on Jeff's comments and say that
5 if a drug is hypothetically effective at treating just the
6 delusion that that has got to be translated into improvement
7 in some other aspects of behavior for a patient to be judged
8 to be meaningfully improved. If it happens to knock out a
9 delusion -- this is almost absurd, knock out the delusion
10 but the agitation and the aggression is still there, there
11 is not going to be much meaningful change.

12 DR. TARIOT: Just to mention briefly, of course,
13 there are examples where the standard that has been proposed
14 has been met in clinical trials in dementia. So, it can
15 happen where there is both a rating scale change and an
16 improvement in the global.

17 DR. TAMMINGA: Dr. Reisberg?

18 DR. REISBERG: Really just to expand on that, I
19 think there are two different kinds of globals. One is a
20 global with respect to the psychotic or BPSD symptoms per se
21 and the other is a broader global with respect to dementia.
22 Clearly, with respect to globals with respect to the
23 psychotic and BPSD symptoms per se there have been studies
24 and, in fact, in the studies where one has gotten
25 statistically significant effects on scales it seems that

1 they tend to go along with statistically significant effects
2 on globals.

3 With respect to the disease per se, I don't think
4 it has been looked into, but I think just on a conceptual
5 basis it certainly would seem to be quite possible that
6 clinicians would think that the changes that they are seeing
7 are significant. It would include cognition and functioning
8 as well as behavior if one is looking at disease per se, and
9 one would get a dilution effect and I am not sure that one
10 would wish that.

11 DR. KATZ: There seems to be some general
12 agreement, I think, that one of the criteria for this
13 syndrome would be that the symptoms are interfering with the
14 patient's functioning somehow as opposed to, let's say,
15 distress. All I am saying is that it makes some sense, if
16 everyone agrees with that, that assessing functioning ought
17 to be a part of the assessment of the treatment, in addition
18 to an assessment of the specific symptoms of delusions,
19 hallucinations, or whatever. How one goes about assessing
20 functioning of a patient -- I mean, presumably there are
21 ways to do that. How reliable they are I personally don't
22 know.

23 DR. LAUGHREN: I think that makes a lot of sense
24 but, again, if you look at other areas that we have dealt
25 with in the past -- schizophrenia, major depression and so

1 forth, it has not been a requirement in other areas to have,
2 you know, these dual criteria on some primary rating
3 instrument, like the HAM-D as well as the CGI or some other
4 measure of functioning. In a sense, it is a higher standard
5 to set. Maybe it makes sense.

6 DR. TAMMINGA: Dr. Grundman?

7 DR. GRUNDMAN: I think what you are maintaining is
8 useful and a good way to go. I think the two-pronged
9 approach with a targeted symptoms rating scale and clinician
10 global impression would parallel what we are doing in
11 dementia currently as far as cognition goes, and I think
12 would make sense also for the behavioral component.

13 Getting back to your point about function, that
14 might be minimal criteria but I think, in addition, in
15 studies that are performed it might be very helpful to get
16 an assessment of activities of daily living, which is
17 frequently done, or also an assessment of caregiver burden,
18 which also might be a very useful concurrent measure.

19 DR. CAINE: It is true that other parts of
20 psychiatry haven't looked at function but, you know,
21 geriatric psychiatry always does need to lead the way into
22 the future. Since geriatric psychiatrists always think
23 about function as the integration of all of someone's
24 capabilities, it really becomes an extremely useful target
25 for what we do. So, you are partly hearing a bias, if you

1 would, or if you want to call it a field-specific view on
2 the need for functional assessment and looking at the
3 integrated aspects of human behavior.

4 DR. TAMMINGA: Dr. Reisberg?

5 DR. REISBERG: Just a few additional words on
6 this, one is that there is the functional decline associated
7 with dementia, and that is one aspect of assessing
8 functioning. But here, associated with disturbance with the
9 psychosis, it would seem to be another aspect of
10 functioning, and that is the extent to which one is
11 participating in activities, interacting with other
12 individuals.

13 For those other aspects that you are alluding to
14 we might need, as a field, really to develop new kinds of
15 measures. With regard to the traditional aspects, as I have
16 mentioned before and at the risk of repeating, I think we do
17 need to look at that and covary it and look in terms of
18 therapeutic effects and side effects.

19 DR. SCHNEIDER: Well, I think that many of us are,
20 in fact, explicitly stating that there should be a higher
21 standard towards FDA clinical trials, towards a judgment of
22 efficacy at least by requiring multiple outcomes in at least
23 dementia in geriatrics. It is simply not suitable to
24 measure something on one scale to observe a small difference
25 and to pronounce that effective.

1 Since it is getting late, I just wanted to mention
2 one other aspect of clinical trials, and that is that
3 traditional, typical clinical trials run for 6 weeks, 12
4 weeks, even 24 weeks, and then take an endpoint rating, and
5 a decision is made on efficacy on the basis of that endpoint
6 rating.

7 Some of us presented data today showing that
8 psychosis at least waxes and wanes a bit. So does
9 depression if you consider depression of Alzheimer's
10 disease. It tends to be mild and waxes and wanes, and it
11 would seem important that when one does these clinical
12 trials, whether it is for 6 weeks or 12 weeks or longer,
13 that intermediate outcomes be taken so that one is able to
14 show that over the course of time particular patients are
15 benefiting from medication, rather than simply showing an
16 overall group effect on a continuous scale.

17 DR. TAMMINGA: We have talked a lot this afternoon
18 -- why don't you go ahead before I start this?

19 DR. HAMER: I am afraid as a statistician, you
20 know, if you are talking about multiple measures in clinical
21 trials you have to decide about whether you are going to
22 connect with them with an "and" or an "or." It wasn't clear
23 to me that you were proposing to connect them with an "and."

24 DR. SCHNEIDER: Can I respond to that? In
25 dementia and in cognitive studies of dementia FDA connects

1 it with an "and" but uses group statistics. EMEA, amongst
2 other things, expresses the sponsor's data as somebody must
3 improve by a certain level on a cognitive test and on a
4 global. They will show breakdowns, categorical breakdowns
5 in their information. So, we are doing it both ways.

6 DR. HAMER: Because if you don't connect it with
7 an "and" you have an error rate problem. The same thing
8 holds for taking interim looks. The more you look at your
9 data, the more you somehow need to adjust for that, and the
10 more you adjust for that, the higher a hurdle you wind up
11 setting for yourself to get over. I don't have any
12 objection to that in a sense but it does make it harder to
13 gain approval.

14 DR. TAMMINGA: Dr. Laughren?

15 DR. LAUGHREN: Well, there are many ways of
16 dealing with that. One thing you can do is, rather than
17 looking at endpoints, looking at some sort of an AUC
18 approach. But the discussion is getting fairly far down the
19 road in terms of looking just at this one entity, and I am
20 wondering, given that it is getting late, how are we going
21 to discuss other syndromes and other issues.

22 DR. TAMMINGA: Well, that was just what I was
23 going to bring up. We have discussed psychosis with
24 dementia for a prolonged period of time, perhaps mostly as a
25 model of what one might look for in other syndromes and

1 because there were diagnostic criteria already proposed for
2 this. But I think that we ought to move on to discuss the
3 question of if there are other syndromes that would be
4 important in dementia and what those would be. Perhaps we
5 wouldn't want to necessarily -- unless the room is rented
6 until midnight -- discuss each of these syndromes so
7 thoroughly, but at least it would be good to know what the
8 target is. I mean, what do people think about which other
9 syndromes are out there to be delineated, and what kind of
10 consensus amongst all the experts is there on those sub-
11 syndromes? Eric?

12 DR. CAINE: Well, clearly in sort of leaving the
13 five standing mental disorders "due to" there was a sort of
14 mini-consensus among about four of us who were writing the
15 criteria that there was substantial evidence or clinical
16 need for psychosis due to mood disorder, due to sleep,
17 anxiety and personality changes being five conditions
18 related to Alzheimer's disease.

19 DR. TAMMINGA: You mean demential due to?

20 DR. CAINE: I am sorry, psychosis due to
21 Alzheimer's disease, mood disorder due to Alzheimer's
22 disease, anxiety disorder due to Alzheimer's disease, sleep
23 due to Alzheimer's disease, and personality change due to
24 Alzheimer's disease. We saw those as five areas where there
25 was substantial data in the literature. I think it is fair

1 to say though that there is a difference in maturity or
2 development among those, such that it is possible to present
3 robustly today a discussion about psychosis.

4 DR. TAMMINGA: And how would you rank that?
5 Would you put psychosis first and then rank them in the
6 order in which you gave them?

7 DR. CAINE: Oh, I would put mood and sleep as
8 charging in the second, and then in the third tier I would
9 put anxiety and personality change. I left out delirium on
10 purpose.

11 DR. TAMMINGA: Any other comments or thoughts
12 about that? Yes, Dr. Grundman?

13 DR. GRUNDMAN: Yes, I don't know if we are trying
14 to include in the idea of psychosis but I think, as has been
15 pointed out by several people already, agitation in and of
16 itself is probably a concomitant of Alzheimer's disease due
17 to the pathology of Alzheimer's disease, and is more
18 frequent actually than psychosis and I think should be a
19 target for treatment, assuming one can define a
20 constellation of symptoms that can be studied.

21 DR. TAMMINGA: I would suggest continuing on with
22 the discussion for a minute about sub-syndromes and then
23 talk about agitation in a different class as a different
24 kind of an indication but, clearly, we need to touch on that
25 this afternoon too. Dr. Whitehouse?

1 DR. WHITEHOUSE: I just agree with Eric in terms
2 of the ranking exactly. I think anxiety is problematic
3 because of the potential overlap with agitation, but I think
4 depression and sleep are second rank and the other ones
5 follow.

6 DR. TAMMINGA: Within mood disorder, would one
7 include secondary mania and what you were talking about,
8 Pierre?

9 DR. TARIOT: Well, I think what I was trying to
10 say this morning and perhaps didn't convey clearly is that
11 it is a plausible idea on the face of it that a secondary
12 manic syndrome could exist and be due to Alzheimer's
13 disease, but the level of evidence for that is fairly weak,
14 whereas it is also face valid to me that psychosis in
15 Alzheimer's disease exists but the level of evidence is much
16 greater. So, I think it is unproven.

17 Lastly, I think there will be a large, more
18 heterogeneous group of features that many of us would end up
19 calling agitation and perhaps not conceptualize as secondary
20 mania, but that is hazier and if Costa Lyketsos were still
21 here he would say, I believe, that a lot of these are driven
22 by affective features which could include depressed mood but
23 also irritability. And, I think he would ask us to not rule
24 out the possibility that in essence a lot of these
25 "agitated" features are affective, either irritable or

1 depressed mood driven.

2 DR. TAMMINGA: Dr. Whitehouse?

3 DR. WHITEHOUSE: I think the mania illustration is
4 interesting from kind of a historic perspective. This is my
5 interpretation but I think it is not unreasonable, I mean,
6 clinicians do not refer to mania in Alzheimer's disease.
7 That whole effort was an attempt to get around or look at
8 the issue of agitation in a different way. It was a
9 recognition that the regulatory authorities might treat
10 mania with greater favor, just as they are perhaps
11 interested in treating psychosis as a more defined entity
12 than agitation. So, honestly, my interpretation of this is
13 -- and we haven't talked about the data so we can't see how
14 the experiment really worked out, but basically these were
15 agitated patients which were relabeled as mania, and I am
16 not sure whether it will prove to be a useful exercise or
17 not.

18 DR. TAMMINGA: Dr. Schneider?

19 DR. SCHNEIDER: I think you are quite right,
20 Peter. In that one particular study, one particular
21 clinical trial was designed that way explicitly. On the
22 other hand, as Pierre was mentioning, the concept of manic
23 symptoms within dementia or so-called secondary mania has
24 been prevalent for a long time, but I think also for a long
25 time some of those symptoms were called differently

1 depending on one's particular specialist outlook or
2 neurobehavior outlook. So, disinhibition, for instance,
3 would be used to define a behavior that otherwise might be
4 called manic. In the European view of bipolar disorder and
5 of mania irritable mania is recognized to a greater extent
6 than elation related mania. But I do agree, this is a
7 fuzzier area than psychosis.

8 DR. TAMMINGA: I would like to get an idea from
9 all of you about what consensus there is amongst our experts
10 about Dr. Caine's proposal of psychosis being the most well-
11 developed, if you will, sub-syndrome, mood disorders and
12 sleep disorders being second, anxiety and personality
13 disorders being third within the "due to" Alzheimer's
14 disease.

15 DR. TARIOT: I just have a question to Eric
16 perhaps. When you talk about personality change due to, is
17 that where you are subsuming what for the moment we are
18 loosely calling agitation?

19 DR. CAINE: In fact, there are multiple types of
20 personality change, including aggressive, labile,
21 disinhibited. So, I think there are some real research
22 questions there in terms of are these states or traits.
23 Then, this overlaps with the issue that Dr. Grundman brought
24 up about the question of agitation and is that a syndrome or
25 a symptom. So, one of the reasons why I see that as a very

1 fuzzy area is because there hasn't been enough research to
2 clarify this.

3 DR. TAMMINGA: Andy?

4 DR. WINOKUR: I can think of some very clear
5 reasons, and most of them have been mentioned already, why
6 sleep or sleep and circadian rhythm disturbances in
7 Alzheimer's could be viewed as being a very separable,
8 distinct and unique entity, which might also then be a very
9 productive target for therapeutic treatment.

10 We started to talk a little bit about a question I
11 had asked Dr. Cummings this morning about to what extent
12 depression in Alzheimer's is unique and distinctive or, you
13 know, if there are chronology or past history issues. I am
14 also thinking of an earlier meeting that we had this year
15 where we were talking about depression in the context of
16 another disorder and how we or the FDA would look at proof
17 that a drug was helping because we already know that is an
18 established antidepressant or that there was some unique
19 profile in this condition. So, I am wondering to what
20 extent aspects of both of those issues would be interesting
21 challenges in this context.

22 DR. TAMMINGA: Dr. Grundman?

23 DR. GRUNDMAN: Could I go back to the issue of
24 agitation at this point?

25 DR. TAMMINGA: I wouldn't mind getting the idea of

1 the syndromes cleared up --

2 DR. GRUNDMAN: Well, I think part of the problem
3 is that lurking in everybody's minds is this issue that
4 agitation is really an important problem. It is an
5 important problem for the patients and for the caregivers,
6 and somehow we need to include that in this nosology, or
7 whatever, and whether or not sort of the psychiatric
8 approach to this, whether it is reflective of mood, or
9 sleep, or anxiety, or personality change, or manic syndrome
10 really hits the nail on the head for what we are trying to
11 do. I think there are certain behaviors that can be
12 measured and that are observable, and we have aggressive
13 behaviors, for example, uncooperativeness, verbal
14 aggression, physical aggression, those types of things,
15 which can be measured. So, aggression might be one type of
16 behavior that could be targeted. Another type might be the
17 sort of motor restlessness, wandering, purposeless
18 behaviors, getting up and down, all these types of things
19 that are sort of disruptive either to the patient in terms
20 of their functioning or to their caregivers, which may not
21 lend themselves easily to being assumed under one of these
22 other descriptions.

23 DR. CAINE: Again, I want to be very cautious
24 about this but in the DSM-IV personality change due to a
25 general medical condition, due to Alzheimer's disease has a

1 series of subtypes, including labile -- I had to write them
2 down because I can never remember them all -- labile,
3 disinhibited, aggressive, apathetic, paranoid, other,
4 combined and unspecified. So, if you can't include all of
5 human behavior in that, I don't know what you can.
6 Nonetheless, there are some very substantive research issues
7 that need to be addressed in terms of are these persisting
8 characteristic changes and how someone functions in an
9 inter-personal and environment setting on a day-to-day
10 basis. Are they episodic -- a sort of down-played
11 personality change and saying, hey, this is an area that
12 needs a lot of research versus the issue of behavioral
13 disturbances as a qualifier because there are a substantial
14 number of questions which may, in the long-run, have
15 substantial therapeutic implications.

16 So, I think there is a lot of sense in saying
17 clearly what we know well -- psychotic disorder due to
18 Alzheimer's disease, and then here is the second level which
19 we don't know quite as well, and here is the third level
20 which we are more ignorant about because part of our job
21 today, as I understand it, is to help give guidance about
22 what we know well and what we don't know so well, and then
23 to say, fine, you know, something like agitation, if there
24 is enough data, how do you define it; what are its clinical
25 characteristics; what are its inclusion and exclusion

1 criteria -- fine, then I think we can move ahead towards
2 that. But when you start to get to things like lability,
3 disinhibition, is that all part of so-called frontal lobe
4 type things or not, I think that you get very complicated
5 very fast.

6 DR. SCHNEIDER: Mike, certainly as a clinician I
7 agree with you entirely. Agitation is the signal.
8 Agitation is what draws our attention to patients. But, at
9 the same time, it is so heterogeneous -- it involves, you
10 know, such things as uncooperativeness, restlessness, verbal
11 aggression, a screaming patient, pacing, attention seeking,
12 irritability. You know, the first step is to notice that.
13 Then, I think a whole series of other steps in terms of
14 analyzing, evaluating the behavior, asking the question
15 whether some of those behaviors might be better understood
16 as part of a depressive syndrome or as part of a psychosis
17 syndrome -- and this is, of course, after ruling out that
18 these are not due to the urinary tract infection, to the
19 acute abdomen, and to any of a number of other problems that
20 are occurring.

21 DR. TAMMINGA: Dr. Reisberg?

22 DR. REISBERG: I think it would be wrong not to
23 address Dr. Winokur's question with respect to the issue of
24 depression in dementia more directly. In addressing this, I
25 think we need to first point out that there was a very good

1 presentation, but I don't remember who gave it, that did
2 begin to address these issues. Clearly, there is a
3 depression which is the harbinger of subsequently manifest
4 Alzheimer's disease. Except by way of noting this and
5 perhaps the interesting analogy to Huntington's, I am not
6 sure we need to say more about this but it is part of the
7 story here.

8 In addition to that, particularly early on in
9 Alzheimer's disease, one does see something akin to a major
10 depression which can occur in the early stages of the
11 disease and the mild to moderate stages. One only sees it
12 then in a form which is akin to a major depression that we
13 would identify. Then there is something much more complex
14 which is, if you will, the depression of Alzheimer's disease
15 and I am not sure it is that per se, but whatever it is, it
16 does lead clinicians to prescribe what we would call
17 antidepressant medications for Alzheimer's patients on a
18 massive basis currently and I think that needs to be better
19 defined. We have done some research in this area. This
20 particular research is the only thing I have referred to
21 that we have not published at this point, but it seems to
22 indicate that certain clusters of symptoms that we have
23 mentioned today -- anxiety, mood, indeed, but also other
24 symptoms seem to respond to medications which we would call
25 antidepressants. Certainly, antidepressants do need to be

1 studied not only perhaps with this ineffable entity but also
2 with respect to the major issue of today, which is the
3 psychosis of Alzheimer's disease.

4 DR. TAMMINGA: Dr. Whitehouse?

5 DR. WHITEHOUSE: We are kind of bouncing around
6 here a bit but I was going to say that when Mike rightfully
7 kept persevering on agitation here, I think I have a sense
8 that we agreed with the ranking that Eric had made pretty
9 much, and I wanted to say that and just see if there were
10 any disagreements.

11 Barry has brought us back to depression, which I
12 think we didn't address as seriously perhaps as you would
13 like, and I think Barry has just enumerated why it is a bit
14 more complicated and is in the second grouping. I think the
15 fact of the matter is that we have to look at the fact that
16 people are prescribing antidepressants and they are also
17 prescribing antipsychotics for agitation. So, there is a
18 practical need to clarify these issues and provide
19 clinicians more information.

20 That was the comment I was going to make. I think
21 with regards to agitation, if we are in that category now or
22 if we are bouncing between depression and agitation -- I
23 think the question I asked Jiska and the question that Alan
24 raised in his talk is really the principal empirical
25 question that we don't have an answer to in agitation. I

1 think Rusty or Tom was saying the FDA isn't sure whether to
2 put agitation in the non-specific pain category or whether
3 to believe Jeff's unpublished study, which is certainly
4 novel, that agitation actually may be syndromic and there
5 may be biological differences between agitated and non-
6 agitated patients.

7 But, it seems to me, this is a vast research
8 agenda which does principally hinge on whether we can define
9 the symptomatology to agitation that crosses several
10 diseases; whether we can use instruments that can cross
11 these different diseases; and whether we can find
12 therapeutic effects that are, in fact, supportive of a non-
13 specific kind of approach; or whether we do the research and
14 find, in fact, that the syndromes of agitation look
15 different in different diseases. So, it seems to me that,
16 roughly speaking, is the agenda in my opinion for agitation.

17 DR. COHEN-MANSFELD: Though I agree with you,
18 Peter, that we don't have the answer across diseases, I
19 disagree with Lon. I believe that we are short-changing the
20 literature on agitation. There are dozens, if not hundreds,
21 of papers on agitation. Even though they use different
22 terminology -- some will use motor restlessness; some use
23 disinhibition; some use physical non-aggressive behaviors
24 and the various types of aggressive behaviors -- it is
25 amazing that despite the different instruments and despite

1 the different labels a lot of this literature does converge.
2 So, I don't think we have to assume that we are starting
3 from step one. Sure, we still have some questions but we
4 also have a lot of answers available.

5 People, coming from many different ways, came up
6 with aggression as an entity that exists, is worthy of
7 study, can be characterized pretty well and, again, yes,
8 there are many instruments and so you will get little
9 variations in the exact points but, depending on the
10 instrument you use and what frequency scale or intensity
11 scale they used, the interesting thing is that the final
12 conclusions are pretty similar. So, we are talking about
13 the same entity.

14 Similarly, motor restlessness exists in British
15 studies and in other studies, and I don't even remember the
16 names of the instruments or the exact definitions, but if
17 you look at what behaviors they are looking at, it clusters
18 at the same issues. The same is true for vocal and verbal.
19 This is not all psychiatric research. There is nursing
20 research. There are other types of professionals who have
21 dealt with this. There are also some longitudinal data as
22 to how those behaviors change over time and we can look at
23 those papers. So, there are some things that are known.

24 I also disagree with the assertion that agitation
25 or these three syndromes of agitation are all secondary to

1 depression. Some of these are related to depression and
2 some are not. Even when they are, it is far from very high
3 levels of variance that are accounted for so their
4 relationship is very complex, of course. The definition of
5 depression in late-stage dementia is also complex. So, we
6 didn't get into that yet.

7 Finally, all of that doesn't necessarily mean that
8 we should jump on the drug trials on this. Personally, I
9 think that the only category of these that may be
10 appropriate for drug trials is the aggression part, and even
11 there we need to have all kinds of exclusions looking at
12 environmental issues and other issues but I think there is
13 something to look into in that category.

14 DR. TAMMINGA: I am struggling to understand what
15 people mean by agitation or how people view agitation in
16 dementia. I work in schizophrenia and in this field of
17 schizophrenia schizophrenic patients are agitated a lot but
18 we would never consider that agitation would be a group of
19 things, and they really match a lot of these descriptors
20 here. We would never really think of agitation as being
21 what ought to be the target for a drug study but, rather,
22 consider it secondary to the psychosis and if we treat the
23 psychosis the agitation goes down.

24 Now, I think the situation in dementia may be a
25 little bit more complicated because you may have more than

1 one thing. You have dementia and you have psychosis, or you
2 have dementia and depression. So, I don't know if it is
3 more complicated in depression or if people are actually
4 looking at it as a primary syndrome -- agitation as a
5 primary syndrome, not secondary to any other psychiatric
6 thing.

7 DR. TARIOT: In case I created the impression that
8 I thought all agitation and dementia was related to
9 affective disturbance, I didn't mean that.

10 [Slide]

11 What is up here, for those who are able to read
12 it, is simply half a list of actual target symptoms seen in
13 demented nursing home residents who are enrolled in a
14 placebo-controlled trial for agitation. It illustrates how
15 heterogeneous the phenomenology is and how difficult it is
16 to make simple sense of it.

17 I would be curious what Jeff is going to say here,
18 but my own view would be that we are charged with trying to
19 make the kinds of connections Carol was just talking about.
20 In this particular case, do you think that the agitation is
21 driven by psychosis; in this particular case, do you think
22 it is driven by affective features? Indeed, sometimes the
23 answer is yes to those, and in some cases, my experience and
24 my view of the literature is that you can't say yes to
25 either of those and you end up with this other kind of

1 unformed type of agitation. I think, Jeff, that is what
2 your data also concluded.

3 DR. CUMMINGS: Yes, let me comment on that and
4 then I would like to comment on depression. I think you can
5 defend a case for agitation as a symptom of many disorders
6 that we are talking about, but when you have accounted for
7 all of that there is still a group of patients who are
8 agitated and who are not obviously psychotic or depressed,
9 or in pain or have any other explanation for their disorder.
10 This may be a disorder where there is both a syndromic
11 manifestation and a symptom manifestation. I think there is
12 not sufficient data to allow us to conceptualize this in the
13 same way that we can psychosis.

14 A couple of points on depression before I give up
15 the microphone, one is that it really is terribly important.
16 We saw from Costa's data that it is the third of the three
17 syndromes of Alzheimer's disease, and I think that spoke to
18 it very nicely from an evidence base. It is important to
19 recognize that, for the most part, these patients don't meet
20 criteria for major depressive episode. Therefore, in
21 conceptualizing what the syndrome should consist of, I think
22 it should not map straightforwardly onto our existing DSM-IV
23 criteria. The core psychological symptoms are critical
24 because so many patients have sleep disorders, apathy, and
25 other ancillary symptoms common in depression and dementia.

1 So, looking at the sadness, and tearfulness, and
2 worthlessness, and hopelessness is very important.

3 To reinforce Barry's point, it is really important
4 to measure the associated symptoms. When you treat
5 depression secondary outcomes have to involve agitation for
6 example, so that we discover this relationship even if
7 agitation is not the primary outcome.

8 Then, the point was made how few antipsychotic
9 trials there have been, with Lon being able to identify
10 seven or nine of good quality. There are fewer such
11 antidepressant trials. I think there are only four or five
12 double-blind, placebo-controlled antidepressant trials. We
13 greatly need a stimulus to move ahead in the treatment of
14 depression in this arena.

15 DR. CAINE: A couple of things -- I will replicate
16 Jeff's path and touch on agitation and then go to depression
17 so Lon can then get back into depression. I think your
18 discussion about agitation both as a symptom or part of the
19 constellation of psychosis, depression or other kinds of
20 things and sleep-wake disturbance, as an example, really
21 underscores one of the reasons why, at least at this
22 juncture, we are left with behavioral disturbance as a
23 subtype because there appear to be some people with dementia
24 due to Alzheimer's disease who are agitated where there is
25 no other apparent explanation. Whether this is an

1 independent cluster -- Barry was debating earlier about
2 whether this should be an independent cluster that could be
3 attached or not, I don't know the answer to that, and I
4 don't think anyone does at this point. So, I think clearly
5 this is an area that needs investigation but it is one that
6 makes it more problematic in terms of giving guidance to the
7 agency relative to how it proceeds.

8 The issue of mood disorder I think is an
9 interesting one. Let me see if I can reframe, Jeff, what
10 you said while agreeing with you, which is that the entry
11 criteria to defining whether someone has a mood disorder in
12 Alzheimer's disease ought to be different because the issues
13 of energy, spontaneity, sleep disturbance and those are
14 phenocopies -- I am using that generically. We don't know
15 where they come from. So, when we would set up a trial it
16 would be on the basis of the kinds of things of sadness,
17 hopelessness, distress, subjective and other kinds of
18 psychological symptoms of depression. But, once one started
19 the trial, one would want to look at all the array of
20 manifestations that might be potentially amenable -- I don't
21 intend to use the word associated symptoms, but certainly
22 including energy and all the sort of psychovegetative signs
23 that one had previously looked at in more traditional mood
24 disorders, but I wouldn't use them as the entry criteria.

25 DR. LEBOWITZ: I want to keep it on agitation for

1 a minute, before Lon gets to depression. That is, to really
2 ask all of us whether, sort of by having this discussion in
3 this way, we are rejecting the agency's position and
4 rejecting Alan Breier's recommendation that we deal with
5 agitation as a non-specific kind of phenomenon that goes
6 across a whole series of things. We are sort of talking
7 about agitation as if it is, well, gee, we know a lot about
8 psychosis; we know less but still quite a lot about
9 depression and circadian disruption. The other things, the
10 anxiety and personality are not next in order because they
11 are close; they are kind of, you know, out there somewhere
12 that maybe some day somebody will develop that stuff to get
13 to the point of being as good as what we know already in
14 these other areas. This discussion, de facto, is saying do
15 we think of agitation as being closer in terms of what we
16 know to the depression stuff or to the psychosis stuff, but
17 by having that discussion we are essentially rejecting the
18 alternate point of view, which is that it is different from
19 the psychosis and the depression and the sleep-wake
20 disturbance. It is different and needs to be treated
21 differently. If that is what we are saying, then I am not
22 sure I agree with it.

23 DR. TAMMINGA: We are going to listen to Dr.
24 Laughren first and then I will get back to you, Eric.

25 DR. LAUGHREN: I just wanted to clarify that we

1 don't have a position on that. You know, we are raising the
2 question. I mean, what I am hearing here from most people
3 is that either you view what is called agitation as
4 secondary to some other syndrome, like psychosis or
5 depression or something else, or you view it as an
6 independent entity but in some sense specific to Alzheimer's
7 disease. The sense I am getting from most of you is that in
8 whichever form it occurs, it is a fairly specific disease;
9 that you don't see it as a non-specific thing in the same
10 sense as one sees pain and fever as non-specific. Am I
11 reading that correctly or not?

12 DR. CAINE: Let me try to address this in response
13 to Barry and also to you. I think if I were setting up a
14 study and I wanted to study agitation in Alzheimer's
15 patients, I would take those who are non-psychotic and not
16 depressed, if I was going to try to do an agitation study.
17 There certainly is a population of plenty of Alzheimer's
18 patients who don't have one of these mental disorders due
19 to, let's call, it a second axis on diagnosis, who would
20 have dementia due Alzheimer's where there is a lot of
21 agitation. So, I think that is a studiable population and
22 that is a behavioral set of constructs that could be
23 studied.

24 On the other hand, I also think there are people
25 with Huntington's disease and other neurodegenerative

1 diseases who also have that agitation. I don't know yet
2 whether those are distinct entities or non-specific. I
3 would tend to suspect they are non-specific, and disagree
4 with Jeff, but I think honorable people could disagree on
5 that.

6 DR. TAMMINGA: Dr. Whitehouse?

7 DR. WHITEHOUSE: I agree with Eric. I didn't get
8 the same sense you did, Tom, that we were moving towards
9 identifying your position as thinking they were non-specific
10 and rejecting it. My intent was to say we don't know. My
11 gut reaction is, in the diseases that I am involved with
12 which is Alzheimer's disease and other adult dementias and
13 mental retardation with and without dementia, that agitation
14 is a non-specific but definable entity. I do agree with
15 Jiska. There has been an awful lot of work done in
16 agitation in dementia specifically, and I think what needs
17 to be done, because it is always a difficult thing to do in
18 medicine, is to put together the agitation literature from
19 some of these different conditions, not only the different
20 dementias but also other conditions. I think if you did
21 that, my intuition is that there is a core that it is non-
22 specific and that would be the way to go. But, I do agree
23 with Eric that we don't know yet.

24 DR. SCHNEIDER: This still comes back to how do
25 you define agitation and, you know, what do you mean

1 agitation -- you know, to paraphrase the President. You
2 know, this is what you find in a nursing home when you look
3 for agitation, and I invite you to read what Pierre finds in
4 a nursing home. You find uncooperativeness, assaultiveness,
5 restlessness, verbal aggression, sleep-wake cycle
6 disturbances, etc. in 17 percent. This is just a starting
7 point in the evaluation of a patient. So, how would you do
8 a clinical trial of this kind of symptomatology? You
9 certainly can do it, but you would then have a fairly large
10 clinical trial and you would have a lot of multiple
11 outcomes. That is fine. What you don't know about some of
12 these symptoms of agitation is the natural history of some
13 of them. Some of them you do and, therefore, they may start
14 to constitute a syndrome; others you don't. Some of this
15 can be better explained as psychosis or depression but it
16 depends on the evaluation of the individual patient:

17 DR. TAMMINGA: Dr. Grundman?

18 DR. GRUNDMAN: I think this gets down to lumping
19 and splitting. I think you can study agitation but you
20 shouldn't call it agitation. You should call it aggression
21 in one instance; you might call it motor restlessness in
22 another; you might call it vocal outbursts in another. So,
23 each one of those could be separate targeted symptoms for
24 which you would be recruiting patients into an individual
25 study to look at whether or not a treatment was effective.

1 But as far as the other issue that came about
2 before, whether or not agitation should be non-specific or
3 specific for Alzheimer's disease, I think Jeff actually
4 brought up some points earlier which I think are very
5 relevant. One is that the agitation, say, in Parkinson's
6 disease and Alzheimer's disease may have a different
7 pathophysiology. The agents that you might want to use to
8 treat the agitation associated with Alzheimer's disease
9 might be different. For example, cholinergic treatments
10 might work where they might not work in another
11 circumstance. Finally, the safety of the agents might e
12 different in a frail, elderly Alzheimer patient as opposed
13 to other patients with agitation.

14 DR. TAMMINGA: Dr. Katz?

15 DR. KATZ: Michael, your point about you can call
16 it aggressive behavior in one and verbal outbursts in
17 another and study each of those things, that is precisely
18 the point. We have to think about how we would label
19 something for something called agitation. You know, I take
20 Lon's point, there are thirty things there that somebody
21 subsumed under something called agitation. They are wildly
22 different. They may have nothing to do with each other but
23 somebody thought they were agitation. I mean, that is
24 precisely the point, how do we define this thing? Are we
25 at the stage where there is a consensus on what constitutes

1 this thing called agitation? Are we there yet? Whether
2 it is specific for a particular condition like Alzheimer's
3 disease or whether it is a symptom that occurs in many
4 different clinical settings, do we really know what it is?
5 Is there agreement?

6 DR. GRUNDMAN: You know, Jiska pointed out that
7 when you do these analyses of symptom clusters and patient
8 clusters, there are patients that experience certain types
9 of agitation versus other types of agitation, and I would
10 say that you could split them apart and that you don't have
11 to develop a label for agitation in Alzheimer's disease.
12 You could develop a label, say, for aggressive behavior in
13 Alzheimer's disease and potential treatments for that.

14 DR. KATZ: I suppose we don't have to. The
15 question is where is the field so that we can say yes in an
16 affirmative way, yes, there is something called agitation.
17 There is general agreement about what constitutes it, what
18 symptoms are subsumed under that heading and, yes, it occurs
19 in multiple clinical settings or, no, this type of agitation
20 (a) occurs in Alzheimer's disease and agitation (b) or
21 something like it but different occurs in the setting of
22 depression. I mean, we are looking for guidance about where
23 we are on that continuum. The sense I am getting is that
24 there isn't really a good, clear understanding or agreement
25 at least about what agitation is, let alone whether it is

1 the same from one clinical setting to another. But, I would
2 like to hear what people say about that.

3 DR. TAMMINGA: Let me hazard a proposal that the
4 experts can respond to. In fact, there is a considerable
5 degree of disagreement about this concept of agitation in
6 terms of what its etiology is, how it should be treated, how
7 it should be viewed -- not that it exists. There seems to
8 be broad agreement that it exists but there seems to be a
9 lot of disagreement about really what to do with it, and
10 that the academic community needs to do some more
11 investigation before the FDA hangs its hat on any particular
12 peg. Now I would like the experts to respond to that
13 proposal. Peter?

14 DR. WHITEHOUSE: I don't disagree with the last
15 point, which is that there needs to be more research, but I
16 am feeling a little bit uncomfortable with the kind of
17 degree of chaos with which people seem to be characterizing
18 this. I think you can define agitation, and I would invite
19 Jiska to do this because I like her definition and I am sure
20 she can remember it better than I do. There is a definition
21 in the dictionary. I think we all have an internal sense of
22 what it means to become agitated. So, I do think there is
23 more there than perhaps I sense the conversation has been
24 supporting.

25 I think that the issue of etiology or pathogenesis

1 I object to a little bit in the FDA's sense that with non-
2 specific symptoms -- well, with all these syndromes they
3 would like to have pathophysiological mechanisms. But I
4 think with pain and with fever at some kind of rudimentary
5 peripheral level we do have some understanding of the
6 pathogenesis but, as somebody pointed out in one of their
7 position papers, the central understanding of pain
8 perception, I think, is probably ahead of agitation but I
9 wouldn't want to necessarily hold as high criteria that an
10 understanding of pathogenesis of this particular what I
11 think or as non-specific cluster of symptoms necessarily be
12 counted as a major weakness. But I am not disagreeing with
13 the fact that there needs to be more phenomenological work
14 in different conditions with instruments across different
15 diseases, and so on.

16 DR. COHEN-MANSFELD: I would partially second what
17 Peter said, but I think we have definitions. I think this
18 list is misleading because this list is where we were 15
19 years ago. We had those lists. Everybody had different
20 lists but we have gone some steps ahead of those lists. We
21 have some studies that deal with etiology. We have some
22 studies that deal with groupings. As I said, even though
23 those are not all identical, I really believe that there is
24 plenty there that is converging both cross-culturally and
25 across assessments. I think in that sense it is maybe

1 beyond where the delusions are. The delusions have
2 generally had two or three assessments that have been
3 universally used and, therefore, defined the field. Here,
4 we have used I think forty types of assessments and still
5 most of the data do converge. So, I think there is much
6 there.

7 The issue that you raised, what is the explanation
8 for it? Is it secondary to psychosis? Obviously, you
9 brought out it can't be secondary to psychosis if psychosis
10 is so much less frequent. I think there are lots of other
11 issues that have to do with just the experience of being
12 demented. There is boredom; there is loneliness; there is
13 physical pain that is not detected; there is the discomfort
14 of sitting in a chair all day; there are all kinds of things
15 that are not the things that we usually deal with. Then,
16 there may also be some things that people here do deal with
17 that need to be addressed. As I said, I personally think
18 those are more in the aggressive syndrome than in the other
19 two. But, I think to just look at the list and say this is
20 bewildering -- sure, it is bewildering but it is not where
21 the field is.

22 DR. TAMMINGA: Dr. Laughren?

23 DR. LAUGHREN: Dr. Cohen-Mansfeld, just one
24 question about your view of agitation, you have these three
25 agitation syndromes, do you view those as specific to

1 Alzheimer's disease or do you view those in some sense, you
2 know, as broader, cutting across diagnoses?

3 DR. COHEN-MANSFELD: I don't have a good enough
4 answer to that. Personally, I think they do relate to
5 dementia. I think a lot of these have to do with the
6 interaction between the person's ability to take care of
7 themselves and communicate and their interpretation of
8 reality and the way reality deals with them. So, as you
9 proceed with stages in dementia, your ability to take care
10 of your needs decreases and your need to communicate versus
11 different agitated behaviors increases. So, that is sort of
12 part of the picture where it relates to dementia. Whether
13 it depends on which type of dementia, my first guess is no
14 but this is not a very educated answer.

15 DR. TARIOT: If I could just clarify what is in
16 your handout and what I showed up there, I probably didn't
17 say clearly enough that these were not features that we were
18 calling agitated. These were idiosyncratic target symptoms
19 recorded in demented nursing home residents who were
20 enrolled in placebo-controlled trials for operationally
21 defined agitation, essentially according to your three
22 factors. The point of it was that even with that kind of
23 purified sample these were people who did not meet syndromal
24 depression criteria or psychosis criteria. Even with that
25 relatively purified sample -- and I know you know this,

1 Jiska, but in essence it was for the other part of our
2 audience -- you see all kinds of other phenomenology, and
3 that is the kind of thing that is confusing for the
4 uninitiated and even for the experienced clinician trying
5 to, in an individual case, figure out what is the best thing
6 to do for this person.

7 DR. TAMMINGA: Dr. Caine?

8 DR. CAINE: I will try to respond to your question
9 and your surveying, as it were, the consensus of the panel
10 or not. It is clear that you can set up studies of the sort
11 that Pierre did which excluded people with syndromal
12 psychosis and syndromal depression, and there are people
13 there who then have substantial agitation, who have many
14 behaviors that fall under it, who can be clustered in a
15 variety of ways. I think what is also clear in the
16 literature is that there aren't enough studies like that
17 that have removed people or have excluded people who
18 qualified for psychosis due to Alzheimer's disease, or
19 depression due to Alzheimer's disease, or other major
20 disruptions of that form who then had agitation and were
21 studied prospectively.

22 So, if you are going to set up an approach, then
23 it gets again to how you define your entry and how you
24 define your exclusion, and can you be clear enough about
25 that. Otherwise, you know, certainly agitation would be

1 valuable to look at as a dependent variable in the context
2 of a psychosis study or in the context of a depression
3 study, but that is a different set of questions.

4 DR. TAMMINGA: Dr. Reisberg?

5 DR. REISBERG: Just a brief comment as a member of
6 the panel and also as a clinician, clinicians are treating
7 agitation in hundreds of thousands of dementia patients at
8 any given time. Also, there are excellent methodologies for
9 assessing agitation, and clearly good studies need to be
10 done in this area.

11 DR. TAMMINGA: Dr. Whitehouse?

12 DR. WHITEHOUSE: I think this is a discussion
13 where we don't have a clear-cut yes or no. I mean, I think
14 there are some areas of consensus around agitation but
15 exactly how much knowledge we have and how far we are down
16 the line, I guess you are getting some differences of
17 opinion. I mean, I think people have said you can get
18 agitation with affective symptoms; you can get agitation
19 with psychosis. You can get it without either of those
20 other two. My own sense, as I was thinking about this big
21 shopping list, I mean, if you asked a similar question about
22 pain you would have all kinds of other things -- this may
23 not be a helpful analogy but you would have all kinds of
24 other things associated with that non-specific symptom in
25 other diseases. So, the fact that when you get agitated

1 there is kind of a whole laundry list of things that may go
2 along with that in terms of behaviors may, in fact, be part
3 of what it means to be non-specific. I am not sure, but I
4 think the fact that there are lots of different
5 manifestations of it doesn't worry me specifically and might
6 be characteristic of what you mean by non-specific.

7 DR. TAMMINGA: Dr. Grundman?

8 DR. GRUNDMAN: Just one other point, in terms of
9 trying to fiddle agitation into a psychiatric type syndrome
10 you have a big problem because agitation type of behaviors
11 tend to increase as the dementia gets worse, and it becomes
12 more and more difficult for people to express their
13 delusions and hallucinations and depressive feelings and all
14 you are left with is the direct observation that they appear
15 to have these agitative behaviors which are very disruptive
16 and need some sort of treatment, whether it is behavioral or
17 pharmacologic.

18 DR. TAMMINGA: Dr. Katz?

19 DR. KATZ: Maybe I am asking a more basic
20 question. I will grant that the list we were looking at was
21 a list of associated symptoms, but they were associated
22 presumably with agitation, something that somebody called
23 agitation. I will ask the same question I asked before, is
24 there a common understanding of what the term agitation
25 means, and what would that common understanding be if there

1 is a common understanding, and is it something that can be
2 studied as of now? In other words, the criteria for
3 agitation, are they sufficiently well developed and commonly
4 accepted so that they can be studied now whether as a
5 specific syndrome or as sort of a general symptom that
6 occurs, and if there is a common understand I would be
7 interested to know what it is.

8 DR. COHEN-MANSFELD: I would like to answer both
9 yes and no. On the one hand, if you ask is there a common
10 understanding of what is agitation, even the term agitation
11 -- maybe people don't use it; they call it behavior
12 disturbances, behavior problems. There are half a dozen
13 terms used for these behaviors. However, despite that there
14 are core symptoms that seem to repeatedly cluster together
15 that we can define, with a definition -- aggressive
16 behaviors, either by a list or by half a dozen assessment
17 instruments for each of them. So, I think that the common
18 literature has a well-defined group of behaviors that is
19 examined here but the terminology is not accepted by all.
20 There are different terms that are used by different
21 researchers but I think that is masking an underlying
22 agreement, actually.

23 DR. TARIOT: Could you just reiterate the major
24 factors when you looked, when you just observed large
25 numbers of these patients? You did it this morning but I

1 think it is worth reiterating.

2 DR. COHEN-MANSFELD: Well, there is a pretty large
3 Chinese study, a pretty large Japanese study, a Dutch study,
4 a nursing home study, an adult day care study and probably
5 others because I didn't do a search. These just happened to
6 come across my way as I was preparing this paper. They all
7 found basically these three syndromes -- aggressive
8 behaviors, motor restlessness and verbal-vocal. Now, there
9 are still issues to be clarified. For example, verbal
10 aggression -- does it belong in verbal; does it belong in
11 aggression? It behaves a little bit like each of these.
12 So, I don't want to pretend that we know everything here,
13 but these have been pretty reliably found. In addition,
14 some researchers have just looked at aggression, or just
15 looked at motor restlessness because that was reasonable to
16 them through common sense; that is what clinicians see. So,
17 beyond the factor analysis or other statistical methods, I
18 believe there are clinical phenomena here.

19 DR. TAMMINGA: Dr. Katz?

20 DR. KATZ: I don't know the data, but it might be
21 the case that aggressive behavior correlates well with motor
22 restlessness. Do we really believe those are the same
23 things? Remember, we have to write labeling. We have to
24 be able to say to people this is the condition, the symptom,
25 the setting that this drug is going to work for. And, do we

1 really believe that aggressive behavior is the same thing as
2 motor restlessness? They may go together but are they the
3 same thing? If we call those two things together, and add
4 the third one, agitation, and one drug treats one of those
5 and another drug treats another one do they both get a claim
6 for agitation? I am not clear on that yet.

7 DR. COHEN-MANSFELD: I am saying just the
8 opposite. I am saying these are three separate syndromes.
9 Yes, they do correlate if you do correlations but they
10 behave differently enough, however, that hitting, kicking,
11 pushing, biting do tend to co-occur and all these would be
12 under the aggressive syndrome. Pacing, wandering, moving
13 things from one room to another tend to co-occur and all
14 these are under the motor restlessness. But I believe these
15 are separate and should not be labeled together.

16 DR. SCHNEIDER: Maybe this isn't the time to do it
17 but just as a point of clarification, when you say kicking,
18 biting, etc. co-occur, do you mean an individual patient is
19 far more likely to have several of those behaviors than a
20 single behavior? If you could elaborate on the co-
21 occurrence?

22 DR. COHEN-MANSFELD: Yes, basically if someone has
23 one of these behaviors they are more likely to have another
24 of them than if they didn't.

25 DR. TAMMINGA: I would like the group of experts

1 to consider that this morning Dr. Breier suggested that
2 agitation be considered as a non-specific indication. Is it
3 my impression that the experts think it is really premature
4 for the FDA to do this? Is there disagreement about that?
5 Or, do you all think that we should recommend to the FDA,
6 just like we did with psychosis with Alzheimer's disease,
7 that the FDA move ahead with considering this seriously and
8 soon for an indication?

9 DR. WHITEHOUSE: The psychosis is clear, and that
10 is why we are asking the FDA, I think, to help companies in
11 making that a more clear target for therapy, but I think we
12 are also saying let's not forget agitation. Let's do what
13 people do, which is measure agitation either as the concept
14 or in its three components, as Jiska was saying, because it
15 is going to be very valuable to clinicians to know as part
16 of the research agenda what effect these drugs have on those
17 particular syndromes. Now, that is different than labeling
18 but, frankly, as so many people have said already, these
19 drugs are being used for agitation. People may say I am
20 treating the hyperactivity; I am treating the verbal
21 aggressiveness, or whatever because, as Jiska says, the
22 language is not that common. But, honestly, I think to a
23 certain extent this is an area where patients, and families,
24 and clinicians are already doing it. They have a sense of
25 what this is and I don't think we should relegate this to

1 another five years of research -- not that it doesn't need
2 five years of research, it needs to be addressed in our
3 studies maybe not as a primary indication as I said already,
4 but I would not want measurement and studies of this
5 particular phenomenology to be removed from the kind of
6 therapeutic research area while we are doing a whole lot of
7 phenomenology.

8 DR. TARIOT: So your answer was what?

9 [Laughter]

10 DR. WHITEHOUSE: It was, as you just detected,
11 somewhat grey, agreeing with looking at psychosis but
12 looking at agitation or its components in these studies to
13 see what we can learn about it.

14 DR. TARIOT: I didn't mean to tease. My answer,
15 in a sense, would be both as well. It seems plausible,
16 based on the available evidence, that there is a somewhat
17 unique form of agitation that can occur in dementia of the
18 Alzheimer type. Jeff Cummings has left but he has very
19 interesting data showing that there is a specific clinical
20 pathological correlate that he can identify in these people.
21 That will be an important advance in the field.

22 On the other hand, if I think about Marshall
23 Folstein's data that I presented today, it would be an
24 example of the sort of non-specific approach that patients
25 with mania due to bipolar disorder and patients with

1 Alzheimer's disease looked quite similar on a behavior
2 rating scale that looked at features of agitation. I think
3 both are, in fact, likely to be true.

4 DR. TAMMINGA: I don't want us to end the day
5 before considering the safety question because Dr. Laughren
6 raised it specifically, and there are people here who are
7 experienced enough to provide some feedback.

8 The safety question, I will remind you, was that
9 there certainly is some evidence for a different
10 tolerability profile in this population of patients, and do
11 we need a policy in evaluating risk of psychotropic drug
12 treatment in people with dementia of the Alzheimer's type?
13 I should have just let Tom frame this question.

14 DR. LAUGHREN: Can I just try and clarify what I
15 meant a little bit? As I said, we have had very little
16 data to look at from a regulatory standpoint, and I realize
17 that there is a large literature on this and many of you are
18 probably familiar with many of these studies.

19 I want to just focus on two data sets that we
20 looked at. I am not going to name drugs because I don't
21 want to pick on any particular drugs, but with one drug in a
22 very elderly Alzheimer's population, you know, we saw excess
23 sedation. In some patients we saw dehydration, decreased
24 nutritional intake. In fact, the study was stopped because
25 of these problems and that kind of finding causes us a great

1 deal of concern. In another study with a totally different
2 kind of drug we also saw excess sedation; a clear difference
3 from placebo on an effect on gait; and, again, a suggestion
4 of dehydration.

5 All of those kinds of things raise in our mind the
6 possibility that if you look at a large enough sample there
7 is a potential for seeing an increase in mortality. Those
8 kinds of things lead to bad outcomes. So, it is that kind
9 of finding that raises a concern in our mind that something
10 more needs to be done in looking at the safety of these
11 drugs and a lot more thinking needs to go into, for example,
12 how large a sample one might need to look at to rule out the
13 possibility, say, of a slight increase in mortality.

14 DR. CAINE: I don't think there is much question
15 that you are correct on this. Let me sort of take it from
16 two perspectives. This is just the sort of area where you
17 need the research because the drugs are already being used
18 quite rampantly and, therefore, what we have is a large
19 national experiment under way without any regulatory
20 oversight or other kind of view. So I think it is really
21 critical to take something like psychosis due to Alzheimer's
22 disease and clarify exactly what the rules of the road are
23 so that this can be done. Then, clearly, when you say,
24 okay, fine, I am dealing with an elder population, this is
25 one of the issues why functional outcome is so important.

1 If someone is asleep all the time, their function is going
2 to going down, not up; and looking at physical parameters
3 that have to do with gait, falls, the like -- falls are
4 complicated.

5 Let's take falls. You know, a lot of elders fall.
6 How are you going to deal with it? They are going to get
7 observed more in a study like this than they would under any
8 other circumstance. So, you are probably going to detect
9 those falls more. A placebo group is going to be very
10 important. Right now, the national experiment, of course,
11 has no placebo group.

12 So, I think there is compelling data or compelling
13 reasons to go ahead with this, and then to be clear-cut
14 about the safety and potential liability issues in the long
15 run.

16 DR. REISBERG: Here I need to come for the fourth
17 time to the issues of cognition and functioning. First of
18 all, certainly one needs to assess safety in terms of
19 traditional side effects of these medications but, in
20 addition, one needs to assess safety very specifically in
21 the context of dementia in terms of the impact on cognition
22 and the impact on functioning. This is true in a very real
23 sense. So, for example, we have had medications which have
24 been widely prescribed in our time for dementia patients.
25 There has been a time when medications which we might even

1 consider BPSD medications -- and I will name a name just to
2 give an example, Haldol for example was routinely prescribed
3 for dementia patients, and what we have already heard is
4 that the psychosis of AD peaks at a certain point at a
5 certain stage in the illness and you can actually move
6 patients with medication down into greater stages of
7 dementia, later stages of dementia and decrease the
8 psychosis but you are not improving the patient. You
9 control for that in part by looking at cognition.

10 Similarly, if one uses the Haldol example, what
11 happened in our field -- and Haldol used to be the most
12 widely prescribed medication in this area -- is that doctors
13 at one time in our history used to routinely freeze
14 Alzheimer's patients. They literally froze them, and this
15 was considered to be improving the patient because there was
16 less disruption. Without covarying for functioning one is
17 not judging whether or not the medication is therapeutic.
18 It does not apply, I think, to current medications or
19 medications which are likely to be studied in such an overt
20 way at this time, but I think our history dictates a special
21 approach to dementia with respect to these issues.

22 DR. SCHNEIDER: I think safety is a critical issue
23 and, of course, part of FDA's charge is to ensure that
24 medications are safe and effective, and I agree with Barry
25 with respect to the cognitive safety or possible lack of

1 safety of some of these medications causing potentially
2 impairment in cognition or function. Some of these
3 medications can also improve cognition. But the elderly
4 also are a highly heterogeneous group of people and they go
5 from maybe age 70 on up. It depends where these clinical
6 trials are being carried out. And, when we are carrying out
7 clinical trials with 85-year olds and 83-year olds in
8 nursing homes, who are medically frail and perhaps only have
9 a few months of life expectancy it is a very different
10 safety issue than carrying out clinical trials in, for
11 instance, in medically healthy 72-year old patients with
12 mild Alzheimer's disease who are going into cholinesterase
13 inhibitor trials.

14 I guess I would urge the agency to take safety
15 very seriously, to look at the pharmacoepidemiologic
16 literature, especially the literature from Tennessee on
17 nursing home side effects on the potential safety of a whole
18 wide range of medications, and also have this built into
19 clinical trials. Efficacy in an outpatient population and
20 safety is not necessarily similar to efficacy and safety in
21 a nursing home population.

22 DR. TAMMINGA: Dr. Whitehouse?

23 DR. WHITEHOUSE: I guess I would like some
24 clarification of the word policy that you used in your
25 written statement because it seems to me that what has been

1 said is fairly obvious and that, in fact, it is the big
2 issue around the comparisons between the drugs that we are
3 studying and comparing. It is the side effect profile. So,
4 when you say policy or advice about policy, that is an
5 internal policy you would develop in relationship
6 specifically to this topic of safety?

7 DR. LAUGHREN: Actually, what I was referring to
8 is what kind of guidance we would be putting together for
9 industry in doing these trials. Other than the obvious
10 kinds of safety outcomes that you do in a standard size
11 controlled trial, based on what you are seeing in standard
12 controlled trials, you are seeing findings that could
13 conceivably lead to a very bad outcome like mortality. And,
14 there is a possibility that giving these drugs actually
15 increases the mortality. Granted, this is a population that
16 already has a very high mortality. In the studies that are
17 done in patients with a mean age of 85 and very low MMS
18 scores, you know, it looks like background mortality rates
19 are anywhere from 5-10 per 100 patient years, perhaps even
20 higher than that. So, you already have a very high
21 background rate, but the question is if you are seeing in
22 routine studies findings like excess sedation, decreased
23 nutritional intake, disturbances in gait do you need to look
24 beyond that? Do you need to worry about the mortality
25 question? I am just raising that as an issue.

1 DR. WHITEHOUSE: I think you are doing the kinds
2 of things that you need to do, that is to say, monitoring
3 for all these expected side effects. So, I am not sure
4 there is anything you need to do differently, other than, as
5 Lon said, look very carefully at your base rate problem
6 here, mortality.

7 I want to say one other thing that may sound
8 rather strange but it is a somewhat geriatric perspective.
9 You know, people are going to die when they are old, and we
10 certainly don't want to contribute to deaths unnecessarily
11 but it is perfectly conceivable to me, and we make these
12 decisions to a certain extent, that you may take risks to
13 improve quality of life and diminish quantity of life.

14 DR. TAMMINGA: Dr. Reisberg?

15 DR. REISBERG: Just to respond very specifically
16 to the mortality question, there is data -- I believe it is
17 from Columbia; I don't see Dev here at this moment -- that
18 indicates that BPSD more generally or psychosis more
19 specifically is actually an indicator of increased
20 mortality. So, mortality with respect to treatment of these
21 issues relates not only to medication but also to the
22 morbidity associated with the entity.

23 DR. TARIOT: I wonder if what is lurking in the
24 question here -- again, we talk about the standards for
25 clinical research in this area, should the standards be

1 different in this frail population that may not have the
2 ability to understand what is going on to more fully address
3 safety and mortality than would ordinarily occur? I take
4 it you mean perhaps larger studies or longer studies.

5 DR. SCHNEIDER: We have focused so much in these
6 studies on efficacy and effect size of efficacy, and often
7 these effect sizes are rather mild or moderate and, again by
8 comparison, we don't focus on such statistics as number
9 needed to harm. If some of these medications are causing
10 symptomatic bradycardia, are causing syncope, are causing
11 falls it may be occurring in a relatively "low" absolute
12 rate, 5 percent, 6 percent over a period of time of 3
13 months, 6 months or beyond the ability of an individual
14 clinical trial of 200 patients to really pick out. Yet,
15 that can be hugely harmful to the public health in general,
16 and that small effect can also detract rather markedly from
17 the positive clinical effect size.

18 Again I am repeating myself, in the elderly there
19 are at least two populations that need to be looked at, the
20 outpatient ambulatory and nursing homes, and there are
21 different issues but safety I think is quite important.
22 Unfortunately, you need the placebo-controlled comparisons
23 in order to get a good fix on this.

24 DR. TAMMINGA: Dr. Grundman?

25 DR. GRUNDMAN: Not to repeat too much the obvious,

1 but there are always going to be tradeoffs. When you elect
2 to treat a patient you may improve their behavior and, at
3 the same time you may put them at risk of having some side
4 effect. I think the best thing you can do is to do your
5 trial with a sizeable number of patients where you can
6 measure both, and then at the end of the trial try to assess
7 whether or not the risks are worth the benefits.

8 DR. TAMMINGA: Dr. Laughren?

9 DR. LAUGHREN: I think the issue here for me is
10 that this population already has a substantial mortality and
11 if you are introducing something like sedation that can lead
12 to a variety of bad outcomes, you may not detect that
13 because patients are already dying from pneumonia; they are
14 already falling. This is the concern. That is why I think
15 this population is particularly vulnerable to that kind of a
16 bad outcome, and it is very difficult to detect in that
17 setting. I am just raising this as one possible concern
18 that, you know, we need to think about how to look at, and I
19 think it would mean larger studies. I don't know how to get
20 around it, other than looking at a larger -- you are not
21 going to learn this in a small trial.

22 DR. TAMMINGA: Nor are you going to learn it in a
23 trial without a placebo.

24 DR. LAUGHREN: Well, that is absolutely true.

25 DR. TAMMINGA: Dr. Winokur?

1 DR. WINOKUR: I had a question for Dr. Cohen-
2 Mansfeld related to your presentation this morning. You
3 made reference briefly to a concern about the
4 pharmacological representativeness and mentioned problems
5 entering. If this is not relevant to the current
6 discussion, then just go past it, but I was concerned about
7 what that was about and whether, for example, if it was
8 necessary to do studies on patients that were the tip of the
9 extension, and if safety issues were much more of a concern
10 at a different point and yet clinically we would be
11 extrapolating from such studies, then there could be safety
12 issues that would were not well elucidated by such studies.

13 DR. COHEN-MANSFELD: Well, I want to thank you
14 because I have been trying to get the opportunity to talk
15 exactly about this. I think the safety issues that you are
16 rising hide an even greater problem because, it is my
17 understanding, that everybody is justifiably concerned about
18 safety in this population. In fact, in our little non-
19 pharmacologic study 20 percent died, many of them just
20 between consent and starting the music. So, there is a very
21 high mortality rate and this is a very vulnerable
22 population. So, what happens is that companies which are
23 doing pharmacological studies are concerned that too many
24 people will die on the study, which does not look good. So,
25 entry criteria require the relatively healthier portion of

1 this population. Therefore, we don't represent the most
2 vulnerable. However, the most vulnerable do get the drugs
3 and may get more side effects because they are more
4 vulnerable, because they have more other drugs, etc., etc.

5 I think a mechanism to look at who is pre-screened
6 out would be helpful. Now, I hear from colleagues that this
7 is not unique only to dementia, that some of these issues
8 may occur elsewhere. I think it is a very important issue
9 in this vulnerable population, and I realize that it is
10 raising more hurdles but I think if we are speaking about
11 the public's health and safety it is extremely important.

12 DR. TAMMINGA: Dr. Reisberg?

13 DR. REISBERG: I wanted to respond to Dr.
14 Laughren's question with respect to specific safety issues
15 in this population, and you wanted more specifics.
16 Patients, particularly severe dementia patients, patients
17 who are likely to have either psychosis or agitation, are
18 very, very susceptible to loss of ambulation. Although one
19 would not see it immediately, loss of ambulation down the
20 road is associated with increasing rigidity, ultimately
21 increasing decubiti, increasing infection and increased
22 mortality. So, this is a particular safety issue that
23 really needs to be specifically addressed.

24 DR. HAMER: I want to agree with Dr. Cohen-
25 Mansfeld in that this sort of issue is not particular

1 dementia. When we do clinical trials with the depressed we
2 exclude suicidal people. In fact, when we give
3 antidepressants to people we give them in high proportion to
4 suicidal people. So, we exclude from the samples the very
5 population we are studying. When we do antipsychotic
6 clinical trials we exclude people abusing alcohol and drugs,
7 and then we go out there into the big, wide world and use
8 those medications in people abusing alcohol and drugs. So,
9 this particular situation is not unusual or particular to
10 the elderly demented.

11 DR. TAMMINGA: Dr. Lebowitz?

12 DR. LEBOWITZ: I want to react to Tom Laughren's
13 challenge in kind of a different way, and that is we can't
14 expect trials in the dementia population to address all the
15 problems of geriatric psychopharmacology, and particularly
16 to address them from the perspective of the agency and from
17 agency policy. Clearly, at some point we need to think, or
18 you need to think, or everyone needs to think collectively
19 about what kinds of incentives there might be for everybody
20 to do more geriatric trials in general to look at general
21 issues of cognitive and behavioral toxicity in geriatric
22 patients, regardless of the indication and regardless of the
23 drug class that is being pursued.

24 There is a real need for study here though because
25 you can't just take the labeling and then say, well, this

1 compound is going to be terrible for older people. The best
2 example I know is clozapine. If you looked at the labeling
3 for clozapine you would say anybody who ever prescribed this
4 drug for an older person ought to go to jail -- there is no
5 circumstance in the world, yet, there are reasonable data,
6 good series of case reports and other things that say under
7 certain circumstances clozapine is an absolutely appropriate
8 drug to be used in older people despite what it says, and
9 despite all the warnings, and everything else.

10 There are special issues here and we have to be
11 careful about over-interpreting some of these special
12 issues. Yes, you can reduce the risk of falls and
13 consequent hip fractures by keeping people so depressed that
14 they never get out of bed. If they never get out of bed
15 they never get up and walk around, and if they never get up
16 and walk around they are never going to fall over. So, it
17 is hard to come to sort of generalizable conclusions without
18 really digging in and investigating these kinds of issues in
19 a specific case.

20 Is there a higher standard required for studies in
21 a demented population? Sure, for a whole variety of
22 reasons and, sure, we need to be careful about a whole lot
23 of things. I am not convinced that there are any problems
24 in your current processes. I think that you are able to
25 identify, follow, determine issues of causality or at least

1 close correlation. I don't see that there is any need to do
2 anything beyond that; simply to say that we had better made
3 sure we follow our own procedures.

4 DR. LAUGHREN: Yes, I was just raising the
5 question to see what the committee of experts thought about
6 it, and if it is something that would need to be explored as
7 part of a drug development program. Again, our experience
8 is limited. We have seen only a few trials because
9 companies have not submitted applications for these
10 disorders. Is there enough of a signal of concern here that
11 something more needs to be done?

12 DR. SCHNEIDER: As a matter of fact, people stay
13 on these medications or are prescribed these medications for
14 longer periods of time. Even though consensus guidelines
15 might say, well, you should probably only prescribe for 16
16 weeks or so and then taper, people stay on for longer than
17 even the 16 weeks.

18 On the other hand, some of the efficacy studies
19 that are proposed or that have been in both depression and
20 psychosis and dementia only go for 6 weeks or 12 weeks. So,
21 there is no information on safety or efficacy for 12 weeks
22 or 16 or 20 weeks. It would seem at least trials can gather
23 the safety information up until the average time that you
24 would expect to use the medication.

25 DR. LAUGHREN: Just one response, the difficulty,

1 of course, is that unless you have a controlled trial that
2 goes for a longer period of time you are not going to learn
3 very much in this population that has all kinds of bad
4 outcomes and events.

5 DR. WHITEHOUSE: I find this kind of an awkward
6 thing to say but I guess there is a possibility -- there is
7 a bit of kind of "age-ism" floating in here that I am sure
8 you are not intending because it sounds like what you want
9 to be is excessively protective of people who are more
10 likely to die and who are frail, and I certainly don't
11 object to that. But, one would have to be careful that any
12 additional protections that you set up actually did, in
13 fact, end up somehow excluding that population from the
14 opportunity to have studies done. I am just raising that as
15 an issue.

16 But having said that, there is one concrete
17 suggestion because I know we have had situations in which
18 there have been in our field concerns about excess deaths,
19 and then people go back and retroactively look at the deaths
20 more intensively -- I mean, maybe there is a policy that you
21 should set up to more intensively look at deaths in
22 relationship to the potential concerns of the drug. Maybe
23 you do this already, but my understanding is that in the
24 case of some drugs you kind of identify the deaths and then
25 there is a long process looking back to see if you could tie

1 them to the drug or not. Maybe you could more proactively
2 try to see if there is some connection. Maybe you are doing
3 it already but that is just one suggestion.

4 DR. KATZ: Well, of course, if we see deaths in an
5 NDA database we take it very seriously. Attempts to
6 establish causality outside the context of a controlled
7 trial, a placebo-controlled trial, are very treacherous. It
8 would be the rare case where you would see some sort of
9 cause of death that would strike you as being odd in that
10 population, and these people die of the things that older
11 people die of.

12 Tom has said it several times and I agree, there
13 is no good way to get at the question of increased mortality
14 in this population without large, long-term placebo-
15 controlled or appropriately controlled trials, unless you
16 had an incredibly huge mortality associated with a drug
17 which was way out of proportion of anything you would expect
18 from the background rate, which is essentially
19 inconceivable.

20 So, as Tom pointed out, I think in the few data
21 sets we have seen so far there has been a signal that bad
22 things happen to people on drug at a higher rate than
23 placebo, things that could ultimately result in increased
24 mortality but there is no way to know that unless you did
25 the studies which are big, longer and placebo-controlled. I

1 just don't think there is any way around it.

2 DR. GRUNDMAN: As far as concrete suggestions go,
3 I don't think I would power the study based on safety since
4 I think you can collect that in the course of the study, and
5 I think the primary outcome that you are after has to do
6 with whether or not you are improving the patient's primary
7 problem to begin with and quality of life.

8 Just in terms of how you can monitor these side
9 effects more carefully, I think if you look at the side
10 effect profiles of certain drugs as well as the risks
11 associated with patients who are agitated and severely
12 demented, you might be able to develop a list of safety
13 items that you could query or monitor on a regular basis
14 with each visit, for example, as opposed to leaving it in a
15 free-form style. We have done that on occasion with some of
16 the studies that we have done in the Alzheimer's Cooperative
17 Study.

18 DR. TAMMINGA: We may be on the cusp of exhausting
19 our experts. So, it is with some trepidation that I ask
20 whether the FDA wants to address our attention to another
21 topic. Tom?

22 DR. LAUGHREN: I just have a couple of very short
23 questions. Getting back to psychosis in Alzheimer's
24 disease, one question that will inevitably come to us,
25 assuming that we can get agreement on this and it sounds

1 like we are almost there, that this is a real entity and
2 should be studied -- the question comes up of how many
3 studies you need to establish efficacy. Ordinarily, with a
4 new indication you want two studies but it is not so obvious
5 in a situation like this where you already have a drug, say,
6 that is approved for another psychotic disorder that the
7 answer is necessarily two studies. I am just raising that
8 as a question to see if anyone here has any advice for us or
9 opinions about that issue of how much additional data you
10 need to establish efficacy in this additional psychotic
11 population.

12 DR. TAMMINGA: For instance, how many studies do
13 you require for a bipolar indication, for psychosis in
14 bipolar illness?

15 DR. LAUGHREN: We have required two in that
16 situation.

17 DR. SCHNEIDER: I will respond by asking a
18 question. What is the difference between two small studies
19 or one large study in the way you look at studies?

20 DR. LAUGHREN: If the small studies are big enough
21 to show a difference between drug and placebo, I think
22 ordinarily we would find them persuasive unless they are so
23 small that one wouldn't believe the outcome. I don't think
24 a big study necessarily carries, you know, more weight.

25 DR. TAMMINGA: I might add that we did have a

1 meeting for indications maybe half a year or three-quarters
2 of a year ago where the Ns of the studies were quite small,
3 although they were independent studies and they were
4 considered independent studies and they were highly
5 significant in outcome, but they were maybe Ns of 25 or
6 something like that.

7 DR. KATZ: I am just wondering about the
8 motivation of your question. Are you asking the other side
9 of the coin? Are you saying if we have one multi-center
10 trial that is positive, should that be considered the
11 equivalent of two independent trials?

12 DR. SCHNEIDER: It seems you are coming at two
13 studies from more of a regulatory point of view and a Code
14 of Federal Regulations requirement that there be adequate
15 and well-controlled studies. You know, I am putting up for
16 a point of discussion that you could have two studies, each
17 multi-centered, each with 200 patients in it, one of which
18 may be positive, the other may be nominally positive or they
19 both can be statistically significant. On the other hand,
20 you could have a large multi-centered study with more sites
21 in it with, let's say, 350 patients in it. So, it is not
22 quite double. It would seem that it depends on how the
23 studies are done and the quality of the studies on whether
24 you value them and whether you value the results.

25 DR. KATZ: Well, ordinarily a multi-center trial,

1 if it is designed to be analyzed as a single trial, is taken
2 to be a single trial. I suppose if they had multi-centers,
3 all of which independently showed statistically significant
4 differences you might argue that that provides the sort of
5 independent replication and confirmation that truly
6 independent studies do, but traditionally, unless there is
7 some compelling reason to conclude otherwise, even a large
8 multi-center trial is considered a single trial. If nothing
9 else, two trials really do have sort of that independence,
10 particularly if there are slightly difference designs. For
11 example, if you have one multi-center trial you only have
12 one design. If there is some sort of bias in there that you
13 really can't detect, then you have no independent
14 replication, or confirmation, or corroboration of its
15 results. So, that is the usual way it is done.

16 DR. TAMMINGA: Tom?

17 DR. LAUGHREN: It is actually fairly common for
18 drug company development programs to do two identical
19 studies under the same protocol to get, you know, the two
20 studies but it is replication. It is not so much the size
21 of the study, it is replication.

22 DR. TAMMINGA: Dr. Caine?

23 DR. CAINE: I think practical issues are going to
24 raise enough energy that you may end up with two studies
25 anyway. If I were a pharmaceutical company I would be

1 really loathe to lump far progressed people perhaps with
2 people who have developed their psychosis relatively earlier
3 in the disease course and who might be ambulatory. They may
4 have very different parameters to them. So, I think that
5 there are some issues that might get two population samples
6 and the like, and it may be that independent studies emerge
7 anyway. There is also the question of size and how much you
8 need for your side effect monitoring.

9 DR. TAMMINGA: Dr. Grundman?

10 DR. GRUNDMAN: I will just cut to the chase. I
11 think two studies is a good idea.

12 DR. KATZ: As far as different studies enrolling
13 different sets of subpopulations, that would ordinarily not
14 be a requirement unless you folks said it should be the
15 whole spectrum of severity or different subsets of a
16 particular indication be studied. I think what Tom's
17 question gets at is that ordinarily you need two studies to
18 prove a point from a regulatory point of view for, let's
19 say, a new indication. But the question is how much
20 strength, if any, can we borrow from the approval in
21 schizophrenia to say, well, we really only need one study in
22 this psychosis of dementia to sort of give us the sort of
23 replication that we ordinarily have.

24 DR. TAMMINGA: Tom, do you want to say something?

25 DR. LAUGHREN: Just to elaborate on that, in some

1 other areas, for example in epilepsy, it would not be
2 uncommon to get an approval for another subtype of epilepsy
3 on the basis of a single study, and that would be true in
4 other therapeutic areas as well.

5 But just to follow up on Dr. Caine's point, that
6 was actually the second question I wanted to ask, is there a
7 need to do studies in different strata of this population,
8 in both mildly impaired and in severely cognitively impaired
9 patients?

10 DR. GRUNDMAN: I think if you are looking for
11 labeling for treatment of psychosis or agitation in
12 Alzheimer's disease or associated with Alzheimer's disease,
13 then I think it would be reasonable to do two studies in
14 that context.

15 DR. CAINE: Yes, I am sort of a bit quizzical -- I
16 will come to the strata issue in a minute -- because I
17 thought we spent the whole morning saying that this was
18 highly distinctive from schizophrenia and that its rationale
19 was that it was a separate, definable entity and there was
20 not an overlap and, therefore, two studies, it seems to me,
21 is the logical outgrowth of that.

22 The other issue, of course, I don't know from a
23 regulatory point of view whether you need different strata.
24 Clearly, in the long-run I think it is going to be useful
25 guidance for the field if one understand the different side

1 effects in far advanced disease patients versus relatively
2 less impaired individuals. But I don't know that from a
3 regulatory point of view you need to separate that out.

4 DR. WHITEHOUSE: I guess it is an epistemological
5 point about whether you need replication. I don't think
6 there is an easy answer to that. I think it depends on the
7 weight of evidence you have, how big it is, whether you can
8 split it and what the evidence is in the other condition
9 that you either think is uniquely different or somehow
10 related, but it is different if it is psychosis or
11 depression.

12 I guess the question I would like to raise, which
13 is a bit of a corollary, is we know that we have some
14 studies that have been done using a different approach to
15 developing drugs for psychosis. That has been alluded
16 already, the studies of alanzopine and respiredol. We know
17 that in those studies, although the entry criteria were
18 based on scores on instruments like the BEHAVE and the NPI,
19 in those studies there were patients that would meet the
20 criteria for psychosis which we are almost approaching. So,
21 that is a very specific question but that is another issue
22 where I would consider that those studies -- and this is
23 very practical and talking about the field and where we are
24 now -- ought to be considered in the weight of the equation
25 as to whether you would want one or two more studies. So,

1 it is another part of the data set, and you might have other
2 circumstances in which that would be the case. That is the
3 situation now, so it might be interesting to see if the
4 other experts feel as I do, that the evidence that has been
5 collected really ought to count in terms of the evaluation
6 of any subsequent studies that are done with more strict
7 criteria.

8 DR. SCHNEIDER: There is a generalizability issue
9 between outpatients and nursing home patients. They are a
10 decade apart in age. They have different medical problems.
11 They might represent some heterogeneity within a psychosis
12 of Alzheimer's designation. As Tom pointed out, there may
13 be excess sedation or there may be non-ambulatory patients
14 in the nursing home. It would seem that a study in each of
15 those groups would be highly informative but you may not
16 need it for regulatory purposes. But certainly for
17 utilization purposes and effectiveness purposes it is
18 important.

19 Also, in terms of a public health point of view,
20 it is the outpatients who stand to benefit the most from
21 effective treatment of their psychosis, agitation,
22 depression, etc. The inpatients -- there is kind of a fixed
23 cost associated with them and already a lower life
24 expectancy. So, just relatively speaking, there is a
25 greater public health impact on effective treatment of

1 outpatients.

2 DR. REISBERG: I guess the general question was
3 raised whether we need one of two studies, whether we can
4 bootstrap Alzheimer's disease with data from other entities.
5 I think we all generally responded by saying that certainly
6 what we went over this morning was that the psychosis of
7 Alzheimer's disease is different; the side effect issues are
8 different.

9 But something that we didn't address at all, and
10 we certainly won't want to address in any detail, is that
11 the psychosis of Alzheimer's disease, although different
12 from those other entities, does have much in common with
13 respect to other dementing entities which might ultimately
14 also be studied in this area. So, for example, although we
15 didn't go into it, cerebral vascular dementia, we know, is
16 really an entity which is very much on a continuum with
17 Alzheimer's disease and it has long been known that cerebral
18 vascular dementia comprises primarily what used to be called
19 mixed cases, which express the pathology of both Alzheimer's
20 disease and vascular risk factors. In addition, we now know
21 that even if one looks at pure Alzheimer's cases cerebral
22 vascular factors seem to be risk factors not only for
23 cerebral vascular dementia but also for Alzheimer's disease.
24 So, I think when ultimately one turns to some of those other
25 entities the points about the number of studies that one

1 needs might be readdressed.

2 DR. COHEN-MANSFELD: I am not sure if this is a
3 regulatory issue, but it seems to me that both from the
4 point of view of efficacy and safety it does make a
5 difference if the person is in early or late stages of
6 dementi. Both co-occurring conditions and response to
7 various interventions differ. So, there is an issue of
8 course. What is mitigating it is if you take delusions, if
9 they tend to occur at stages around five, you are more
10 likely to have that stage in your sample anyway, but the
11 stage should make a difference.

12 DR. REISBERG: Maybe just a word, stage probably
13 makes a difference. It probably also makes a difference in
14 terms of dosages of medication. As the disease evolves and,
15 if you will, the brain reserve shrinks the amount of
16 medication which can impact very dramatically on a patient
17 changes quite a bit.

18 DR. TAMMINGA: Dr. Katz?

19 DR. KATZ: I have one more question, unrelated to
20 the psychosis. I hesitate to bring it up but maybe we can
21 dispense with it extremely rapidly. We had a long
22 discussion about psychosis and how it ought to be measured,
23 and we decided, I believe, that there ought to be a measure
24 assessing the psychotic symptoms as well as a functional
25 measure. With agitation we are sort of not as definitive,

1 but if we were to look at agitation, whether as a symptom
2 that cuts across several clinical entities or whether
3 agitation of dementia, would the group also recommend that
4 there be a second outcome looking at the functional
5 concomitant as primary in addition to the effect on
6 agitation per se? I see a lot of heads nodding. Yes?

7 DR. TAMMINGA: Is there anybody that would answer
8 no to that? Dr. Grundman?

9 DR. GRUNDMAN: Just going back to your original
10 supposition, I wasn't sure that we agreed that there should
11 be as the primary outcome measure a functional assessment.
12 I thought we had agreed that there would be a targeted
13 symptom rating and a global, and then the functional
14 assessment would be sort of a secondary outcome measure.

15 DR. KATZ: Well, I didn't hear that there was
16 agreement that there ought to be a global. In fact, I guess
17 maybe I was hoping it was going to be a functional measure,
18 but some other measure that looks at something other than
19 the core symptoms in an attempt to get at the sort of
20 functioning. Maybe a global could do that; maybe it can't.
21 Whatever we think we decided, I want to know whether an
22 analogous sort of thing should be true for agitation, and I
23 think I got the answer.

24 DR. REISBERG: I just think the point needs to be
25 echoed. I think we did endorse a global, not necessarily a

1 functional measure.

2 DR. TAMMINGA: I will take this opportunity then
3 to thank the experts who stuck with it until the bitter end,
4 and even those experts who had to leave early, and all the
5 committee members who were a part of this process, and say
6 that I thought that it was a terrific day and a lot of very
7 new issues were addressed. I suspect, because Dr. Laughren
8 and Dr. Katz don't have any questions, maybe the ground that
9 we covered will have been important to their considerations
10 and what they are going to do with companies who come in
11 with these indications. So, thank you all very much.

12 DR. KATZ: I just also want to thank the committee
13 and invited guests. I think it has been a long day, very
14 helpful and complicated issues, and I think you brought a
15 fair amount of clarity and I appreciate it. And, we were in
16 no danger of staying until midnight. The record for an
17 advisory committee is 10:30.

18 DR. LAUGHREN: I just also wanted to add my thanks
19 to the committee and our invited guests. For me, it has
20 been a very helpful day and I think we have covered a lot of
21 ground and made some real progress.


22 [Whereupon, at 5:10 p.m. the proceedings were
23 adjourned.]

24

- - -

C E R T I F I C A T E

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.


ALICE TOIGO