

1 cyclosporin in changing protein abundances in the  
2 kidney, which is the site of the specific toxicity  
3 that we wanted to investigate. The pathogenesis of  
4 this effect was unclear. But if we look at the protein  
5 pattern of kidneys of animals treated with  
6 cyclosporin, once again using these arrows, there is  
7 a protein here which is very strongly down-regulated.  
8 Its abundance decreases tremendously. And that protein  
9 turns out to be a protein called Calbindin 28kD, a  
10 calcium transport protein. And that can then be  
11 located using an antibody to the protein in a slide of  
12 kidney tissue and shown to occur in the cells of the  
13 tubular epithelium. In treated animals, the abundance  
14 of this protein is radically decreased, as expected  
15 from the results with proteomics. And we see these  
16 deposits of calcium salts in the tubules of the  
17 kidney, which is one of the signs of the pathology  
18 caused by this drug.

19 Now the real question was whether this is  
20 really related to the mechanism of action of the drug  
21 or is the side effect specific to cyclosporin A. This  
22 was investigated by Dr. Sandra Steiner, who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subsequently joined us from Novartis, and looked at  
2 with a specific assay for this protein. Not using the  
3 2-D gels, but using that information to construct a  
4 specific assay. And the level of this protein in  
5 control kidneys is very strongly decreased by  
6 cyclosporin treatment and by treatment with the  
7 compound 506, which acts by a similar mechanism, but  
8 is not down-regulated by rapamycin or by an analogue  
9 of cyclosporin which is not immunosuppressant.  
10 Therefore, there is a very strong relationship between  
11 the effect on this protein and the action of a  
12 compound by the cyclosporin mechanism. And that leads  
13 one to be discouraged about the possibility of  
14 eliminating that toxicity while still retaining  
15 immunosuppression by the cyclosporin mechanism.

16 Lastly, let me show you an example of  
17 protein changes which involve covalent modifications  
18 of protein molecules caused by drug treatment. This  
19 occurs in a respective compound called methapyrilene,  
20 which used to be in Sominex and a wide variety of  
21 over-the-counter medications but was withdrawn in  
22 1980, when it was determined to cause liver tumors in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 100 percent of the rats to which it was administered.  
2 But once again, it is not overtly genotoxic.

3           However, this compound, when one looks at  
4 the protein patterns, causes very specific structural  
5 changes to proteins. I have highlighted here in red  
6 three specific proteins which each have three  
7 different isoforms. These are separated by a single  
8 charge unit difference in the structure of the protein  
9 molecule. And after treatment, there is a series of  
10 additional charged forms, which is indicative of  
11 modification of the protein by covalent addition of  
12 some group, which we infer to be a reactive metabolite  
13 of the drug itself. What is interesting is that all of  
14 the proteins that are modified in this way are  
15 mitochondrial proteins. In fact, mitochondrial matrix  
16 proteins. And that gives us a hint that in fact this  
17 drug is metabolized apparently to a reactive  
18 metabolite but inside mitochondria instead of in the  
19 endoplasmic reticulum, the microsomes, as is usually  
20 the case.

21           We went on to measure the level of this  
22 modification in a series of five systems -- the rat

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and the mouse in vivo. And these numbers are the  
2 aggregate number of modifications per protein molecule  
3 in the mitochondria, negative because the shift is to  
4 the left. About one modification per molecule in the  
5 rat, much less in the mouse. And then looked at in  
6 vitro systems, hepatocyte systems, for the rat, the  
7 mouse and human. And the high prevalence of the  
8 modifications in the rat is correlated with the  
9 tumorigenicity there and the lack of tumorigenicity in  
10 these other systems. In the human system,  
11 epidemiological data indicates that there is no effect  
12 causing cancer in human liver. But the use of  
13 specific protein markers to relate together these in  
14 vivo and in vitro systems allows us to project  
15 utilization of these markers towards high throughput  
16 screening.

17 Let me conclude by giving you the bottom  
18 line from a different perspective. The use of  
19 proteomics to look for markers of drug mechanisms,  
20 both toxic and therapeutic, really works. I haven't  
21 shown you the examples of disease state markers, which  
22 we are pursuing mainly in human samples, but they also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 work. We can find treatment effects having to do with  
2 both the therapeutic and toxic mechanisms quite  
3 readily. And in addition, by looking at many  
4 proteins, it turns out that we can both classify the  
5 mechanisms and disease states much more finely than is  
6 possible with single markers, but also detect them  
7 more sensitively because of the statistical power that  
8 is inherent in having a large number of markers which  
9 are measured instead of just one. And finally, the  
10 data bases of these drug markers and mechanisms are  
11 being built and will provide, we believe, a large  
12 number of potential markers for implementation through  
13 a range of different technologies going forward.  
14 Thank you.

15 CHAIRMAN DOULL: Thank you, Dr. Anderson.  
16 Does the Subcommittee have any questions? Why don't  
17 we go ahead and take our break. Why don't we try and  
18 hold it to ten minutes. Can we do that?

19 DR. CERNY: I want to remind the audience  
20 that we will be having a working lunch and that this  
21 may be a good time for you to secure some food in the  
22 meantime. And Dr. Farr, if he is present, if he could

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 identify himself to Nancy Chamberlain in the red coat.

2 Thank you.

3 (Whereupon, at 10:45 a.m., off the record  
4 until 11:00 a.m.)

5 CHAIRMAN DOULL: Can we come to order?  
6 Okay, let's start up again. We are now going to hear  
7 about applications of SELDI to the protein biomarker  
8 discovery strategy.

9 DR. PETRICOIN: So it's always great  
10 talking after Leigh and Gordon with their beautiful  
11 data. I am envious. I am going to talk today a bit  
12 about what our group is doing at the FDA. We have a  
13 tissue proteomics initiative that is joint with the  
14 National Cancer Institute and specifically with Lance  
15 Liotta's laboratory and laboratory pathology. Most of  
16 our research revolves around the use of technology  
17 that was discovered or invented in Lance Liotta's  
18 laboratory looking specifically at disease progression  
19 biomarkers. But recently, we have made a foray with  
20 Frank Sistare in some work that we are doing at the  
21 Clinical Center looking at different technologies, not  
22 only for tissue biomarkers but for body fluid

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 biomarkers as well.

2 Cancer as a disease presents us with an  
3 opportunity on the research end to discover biomarkers  
4 early-on. As a disease, it presents us with a window  
5 really uniquely among diseases where for most solid  
6 tumors you have an opportunity to find markers at the  
7 earliest stages where premalignant lesions start  
8 occurring. This is especially true with prostate  
9 cancer, which is one of our most widely studied  
10 cancers in our initiative. Where for many years and  
11 perhaps decades, premalignant lesions will be apparent  
12 before you get full blown, frankly invasive cancer and  
13 metastasis.

14 And what we have been doing is studying or  
15 mimicking the five solid human tumors from the C-gap  
16 initiative -- breast, colon, ovary, lung and prostate  
17 -- and mimicking the technologies employed there using  
18 proteomic technologies in its place.

19 We have the luxury of using many different  
20 technologies concomitantly. So we are using 2-D gels,  
21 though nowhere near the high throughput nature that  
22 Large Scale and Oxford employ. We are also using SELDI

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 technology, which has been discussed earlier. So I am  
2 glad you have had a primer, at least, on that  
3 technology, since that is what I will be focusing on.

4 But we are also using array technologies  
5 -- antibody arrays, protein lysate arrays, algulents  
6 and calipers and a lab on chip technologies  
7 concomitantly. Going at it really with all guns to  
8 see if we can generate as much discovery as possible.

9 What we have been focusing on, however, is  
10 the input material. In our case, instead of cell  
11 lines or bulk tissue specimens, it has been solely  
12 using laser-capture microdissection as material for  
13 all of our proteomic analysis from tissue cells.

14 If one wants to study changes in cells  
15 involved in disease progression, you really need to  
16 think about it in the context of the cells in a three-  
17 dimensional tissue organ structure, especially with  
18 solid human tumors. In the past, if you wanted to  
19 study say the changes associated with epithelium  
20 surrounding a prostate or a breast gland, the entire  
21 section was lysed, homogenized and then studied  
22 through whatever biomolecular marker endpoints. What

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 we have decided to do is to use a technology whereby  
2 these cells of interest can be selectively procured  
3 directly from human tissue and studied in the absence  
4 of the rest of the surrounding tissue.

5 That is not to say that the surrounding  
6 tissue isn't important in the disease process. It is  
7 obvious that stromal cells and epithelial cells  
8 communicate with each other. But we would like to be  
9 able to study these events independently. And the LCM,  
10 the laser capture microdissecting tool, helps really  
11 to enhance the sensitivity of discovery. Because you  
12 are really enriching dramatically the ability to  
13 discover new biomarkers, either for therapeutic  
14 intervention or for imaging.

15 This technology is simple yet elegant in  
16 that using an inverted microscope, a laser enhances  
17 and energizes and ethylene vinyl acetate transfer  
18 film, which is put directly over a tissue section. It  
19 can be stained with any stain that you want from a  
20 histopathological standpoint. Once the laser hits this  
21 film, it actually activates it. It swells and it hits  
22 the cells directly below it and picks them up. This

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is different than the Moment machine that the German  
2 group invented, and that is more of a laser ablation  
3 technique, where you get rid of all the cells of  
4 interest leaving the cells that you want behind. This  
5 technique is actually much more rapid, because you  
6 procure the cells that you want directly on the bottom  
7 of a cap that looks exactly like na Eppendorf tube  
8 cap. And you can then analyze it depending on the  
9 tools that you want to employ.

10 An example of this is shown here. This is  
11 normal prostate epithelium. The lumen is shown here.  
12 The diamond of the laser beam is shown here. This  
13 laser beam is from an old scope they have, and we have  
14 employed laser now with a single cell capture. We have  
15 a trade with Arcturus where we are actually working  
16 with organellic capture as well. So we are hopefully  
17 able to employ an even more specific capture.

18 This is the material that is transferred  
19 to the cap after dissection, leaving behind the stroma  
20 and gaining just the surrounding epithelium from this  
21 tissue specimen. You can now microdissect the entire  
22 disease range in these cancer tissues from normal to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 premalignant to full tumor and employ whatever  
2 downstream strategies that you want to use.

3 As I said, we are working with a variety  
4 of technologies simultaneously. For today's  
5 discussion, I will show you data we generated from  
6 SELDI data and go and finish the talk with how we are  
7 employing SELDI specifically for use of body fluid  
8 biomarkers of toxicity.

9 As a generation of the kind of geometry we  
10 are working with with the SELDI chip, on the top is a  
11 tissue section of a stained human prostate specimen.  
12 I don't know if you can see it very well, but this is  
13 the laser capture microdissected cap with the  
14 microdissected tissue specimen showing as a stained  
15 hemotoxylneocin part here. The cells are lysed off  
16 the cap and applied to the SELDI chip.

17 As stated before in one of the previous  
18 talks, laser energy is applied to the specific region.  
19 And depending on the size of the protein and whether  
20 or not it is even able to be ionized, you generate a  
21 time of flight molecular weight profile that can now  
22 be analyzed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           As also was stated before, each of these  
2 circles that are shown in here contain a Bait surface  
3 that either is open-ended or you can apply an antibody  
4 of interest or even a purified membrane of interest or  
5 DNA molecule, or they come commercially available with  
6 different Bait capture surfaces such as copper,  
7 nickel, reverse phase C-18 type resins, that have been  
8 routinely employed in the past for protein  
9 purification.

10           I wanted to mention some things that I  
11 think specifically the SELDI has advantages over 2-D  
12 gels, and also speak to disadvantages of SELDI over 2-  
13 D gels. I think since we are employing these at the  
14 same time, we have a unique perspective about where  
15 and when these technologies should be employed, and I  
16 think they are very actually not competing but  
17 complimentary. Especially true for biomarker from  
18 body fluids. Most of the body fluid analysis that I  
19 will show you were the exact same ones that Gordon  
20 used on the 2-D gel. We employed the exact same set  
21 on SELDI. So the results can be compared. However,  
22 the sensitivity of SELDI may be greater depending on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the up front purification done in the 2-D gel. It is  
2 potentially theoretically in the attomolar range. It  
3 requires no secondary label, so there is no need to  
4 stain the gels with anything. It is extremely rapid.  
5 This is really part of a technology that I think holds  
6 great promise, as well as a small volume of sample  
7 needed. Reproducibly, we can get SELDI fingerprints  
8 in as little as five minutes from as little as a  
9 hundredth of a microliter of sample. And this is  
10 specifically, I think, prescient when you talk about  
11 getting samples from IRB, especially patient samples  
12 that might be hard to generate. One microliter of  
13 sample is enough to perform hundreds of experiments in  
14 our hands. And, therefore, reproducibility from a  
15 single amount of material that is extremely small is  
16 no problem.

17 I am going to show you a couple of  
18 anecdotes where we have used SELDI from laser capture  
19 microdissected material because of its need for small  
20 volume and its need for a small amount of input to  
21 generate a protein profile. It is especially important  
22 from the laser capture standpoint, where potentially

1 cells such as premalignant lesions, for example, which  
2 are in some instances a very small percentage of the  
3 entire cellular burden, can now be looked at at a  
4 proteomic level. Whereas before, it would be  
5 virtually impossible to run a 2-D gel and generate  
6 anything but maybe the top five most abundant proteins  
7 because the amount of cellular input is so  
8 dramatically or vanishingly small. We are able to now  
9 look at disease progression from patient matched  
10 material. From the same tissue slide of normal, in  
11 this case PIN, premalignant prostate intraepithelial  
12 neoplasia cells and frankly invasive carcinoma cells.  
13 After laser capture microdissection, SELDI analysis  
14 can be employed. I have to tell you that all of the  
15 spectrum that we see here, we performed the  
16 microdissections 50 times, and I am showing you a  
17 representation of one of those after we did extensive  
18 sensitivity and reproducibility. So each one of these  
19 was performed 50 separate microdissections to  
20 demonstrate to ourselves that the spectrum generated  
21 was reproducible.

22 And what we found in this anecdotal case

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 was two proteins whose abundances seemed to flip-flop  
2 as you went from normal to the premalignant to the  
3 tumor case, and we also dissected the stroma next to  
4 the tumor in this instance. And as stated before, you  
5 can show this as a mass chromatogram or as a gel view  
6 where each one of these protein peaks are  
7 representative as a density on a Gray scale.

8 We looked at a variety of tumors. We  
9 actually looked at 30 different patients altogether.  
10 This ratio held up in 28 of 30. I show you as an  
11 example down here ratio analysis that we have employed  
12 using these two markers. And in a blinded study set  
13 of 25 other prostate cancers, we had a 95 percent  
14 success rate at calling the pathological state of the  
15 cell without seeing actually an histopathology based  
16 strictly on its SELDI profile.

17 I have to say, though, that as much as  
18 CypherGen has tried, and I know that they are  
19 employing a Q-tough tag-on instrument right now and  
20 they have added some reflectron in their MALDI, it is  
21 impossible at this point to really get ID on these  
22 proteins reasonably without doing a tremendous amount

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of chemical acrobatics. However, I don't know if I  
2 can convince you or if I want to convince you, but we  
3 are at least exploring the paradigm of who cares about  
4 protein identity right now. If one can reproducibly  
5 generate a protein fingerprint that you can apply some  
6 type of heuristic pattern algorithm to, it is possible  
7 to use this without ID specifically in the application  
8 as a biomarker -- as a tool to detect is a change  
9 occurring or not. Not necessarily what that change is.  
10 If what you want to do is to identify a protein such  
11 that you develop an antibody to it so that you can  
12 eventually capture it on some type of downstream  
13 assay, simply to ask whether or not it is there or  
14 not, another thought process might be why go through  
15 the work if you have a capture tool already that is  
16 detecting it.

17           However, beyond not being able to identify  
18 the proteins on the SELDI really rigorously, this also  
19 -- and I think this was mentioned briefly by the  
20 previous speaker -- this is non-quantitative, as is  
21 all MALDI. I don't care how much data you can show me  
22 to support it, if you talk to mass spectroscopists,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MALDI type data is not quantitative, especially when  
2 you talk about ion signal suppression around  
3 molecules. We can make no statements about the  
4 relative intensity and correlate that to quantitation.  
5 And so there is a limit to diagnostically how much you  
6 want to push the technology. But I am going to show  
7 you some examples where I think if you use some other  
8 technologies as well in conjunction with this, that  
9 may not be necessary.

10 I also want to just show anecdotally as  
11 well -- again, these are representations of many,  
12 many, many machinations and microdissections for all  
13 these different tumor types. These are all  
14 microdissected tumor epithelium. These are what we  
15 consider to be the boiler plate pattern of what these  
16 tumors represent reproducibly. We were able to have  
17 about a 75 percent success rate in calling a blinded  
18 study set using a variety of these different tumor  
19 types without knowing the pathology based on pattern  
20 recognition alignments with the SELDI profile.

21 This is the first piece of data that I  
22 wanted to start out the body fluid analysis on. What

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 we have done is we have had a large study set of 350  
2 serums from Chile with men with prostate cancer whose  
3 PSA was greater than 10. So these were men with  
4 frankly metastatic prostate cancer. Out of that 300,  
5 I think there was about 125. The rest were normal  
6 male serums. We analyzed all those serums by SELDI.  
7 And using a tag-along heuristic fuzzy feature map,  
8 heuristic data mining analysis with a company called  
9 American Heuristics, who take the raw SELDI data  
10 directly as an ASCII file right from the machine and  
11 analyze it without any preconceived filtering. We were  
12 able to cluster much in the way that I guess Leigh was  
13 showing you the clustering of a lot of their fold  
14 expression data. A lot of that kind of data can be  
15 used for SELDI. And in this way, we actually found  
16 regions in the mass map that 95 percent of all the  
17 serums that were prostate cancer fell into this pink  
18 as the disease group. The healthy group had a pattern  
19 cluster that was unique to itself. We knew here that  
20 PSA was 100 percent discriminatory. So in this case,  
21 we missed 5 percent where PSA was over 10. But I  
22 think by continued refinement, we can get to a point

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 where you can run SELDI data without having to do any  
2 type of downstream analysis and let these self-  
3 learning algorithms tweeze apart the data rapidly.

4 Now I will show you a bit about how we did  
5 that. We initially performed experiments where we  
6 showed reproducible serum protein mass profiles. This  
7 is a representation of one of these. This is from a  
8 cancer patient. You go through a peak identification  
9 program that selects, depending on the signal to noise  
10 ratio of these peaks, which peaks are present. And  
11 then we perform ratio analysis of heuristically mined  
12 protein peaks that are occurring reproducibly in all  
13 the data sets. We were able to -- in this small  
14 example, we found four different ratios of proteins  
15 that seemed to vary independently of each other with  
16 disease. And by a combination of these ratios, we were  
17 able to call 9 out of the 350, 100 percent of these  
18 cases just as a representation. The predicted was  
19 here and the unblinded set -- we predicted them to be  
20 this. The cancer and prostate were normal and these in  
21 fact were the knowns. So we were quite happy with  
22 that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           This is an example of one of the other  
2 regions of heuristic analysis from the serum where we  
3 found where the other 5 percent that we didn't find in  
4 the first round fall into. So 95 percent of the  
5 cancers fell into this type of pattern. The other 5  
6 percent fell into this type of pattern. And all 100  
7 percent of all the normals were called and they didn't  
8 vary in this specific mass range.

9           So this heuristic data algorithm actually  
10 goes through the entire mass range every 5 daltons,  
11 even though the mass accuracy of the machine is  
12 nowhere near that. It doesn't matter. It goes for  
13 reproducible pattern recognition data over hundreds of  
14 samples and therefore curates out the noise by its  
15 self-learning tool. And eventually what you get down  
16 to is when you really distill all the data down to  
17 after hundreds of samples, you really find which  
18 regions are really varying, even down to this type of  
19 mass range. This is probably one protein.

20           So we also at the same time with Frank  
21 started to look at the samples from the rat serums  
22 that Gordon showed you, the 2-D gel data results on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I will just show you a little bit of that data now.  
2 This was the result of all the controls. This is like  
3 a master boiler plate of a SELDI area that is just one  
4 snapshot region between 8,000 and 10,000 daltons.  
5 These are the proteins that were reproducibly  
6 detected. These are the proteins that were  
7 reproducibly detected with the SmithKline compound for  
8 vasculitis. And by doing pattern subtraction, you can  
9 find out which of these proteins are in 100 percent of  
10 the time SmithKline compound specific versus  
11 untreated.

12 This is the serum analysis of the  
13 doxorubicin-induced proteins. This is what you get  
14 reproducibly in this small mass range. At 24 hours,  
15 reversing with the phosphodiesterase inhibitor. You  
16 can ask -- you know, plus a combination of these two  
17 therapies, which of these go away. As Gordon said,  
18 many do and some don't. We looked specifically at the  
19 low mass range, that is below 8,000 daltons. Where  
20 SELDI in our hands and most MALDIs have its highest  
21 sensitivity obviously at the low mass range. As you  
22 increase the mass of the window, your sensitivity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dramatically diminishes. For example, you would be  
2 really unable to analyze IRB-2 expression in  
3 microdissected cells. It is impossible to see a  
4 protein of that size from tissue lysates without  
5 microdissecting, for example, millions of cells  
6 because of the size of the protein.

7           However, in this low mass range,  
8 especially from body fluids, we have more than enough.  
9 You can then do subtraction and show ICRF modulated  
10 dox-induced proteins or ICRF unmodulated dox-induced  
11 proteins. And so we at the same time, I think -- you  
12 do get similar types of data where you can find  
13 entities which are induced by the treatment and which  
14 disappear when you put a reversible inhibitor in. We  
15 have actually gone back now -- we just completed the  
16 data analysis where we looked at Frank's entire set  
17 and we can find, for example, in the vasculitis  
18 control or samples, detection of entities that occur  
19 very early and continue throughout the spectrum of  
20 samples as well.

21           And we end up with kind of what I am  
22 hoping for the future for the use of SELDI

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 specifically for these types of applications. I have  
2 on here tissue base, but this could be applied for  
3 body fluids as well. Where you can eventually  
4 potentially think about developing bar codes of normal  
5 protein expression, maybe pre-disease and diseased  
6 proteins expression. We are working actively with  
7 NASA on a microbioreactor, where we are actually  
8 treating ex-vivo tissue from surgery of the prostate  
9 with different compounds -- finasteride and even  
10 herseptin, and looking for changes in protein  
11 expression from microdissected tissue after treatment.  
12 You can query the conditioned media from this as well.  
13 But what you hope to find is treatments where there is  
14 reduced toxicity basically. Where you have efficacy  
15 with reduced toxicity. Much in the same way that we  
16 Leigh elegantly and his company is pointing out.  
17 There are ways that proteomics can do this now. And  
18 these technologies, although disparate in their means  
19 and non-redundant, are very complimentary to each  
20 other and I think warrant further discussion.

21 I just want to point out some of the  
22 people that really were behind the tissue end of this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 This is an old slide, so I don't have any of the up-  
2 front -- the biomarker from the body fluids on here.  
3 But Lance Liotta and Mike Buck's group and Bob Bonner  
4 have contributed mightily to this effort. Thank you.

5 CHAIRMAN DOULL: Thank you, Dr. Petricoin.  
6 Let's move on then to Dr. Farr.

7 DR. FARR: Well, thanks very much to Frank  
8 Sistare mostly and Jim MacGregor for inviting me to  
9 talk a little bit about some of the things that we are  
10 doing in the wild and rapidly evolving world of  
11 toxicogenomics. So I am going to give a little bit of  
12 background of what toxicogenomics is about and why  
13 there is some need to address some of these issues.

14 And if I speak loudly, can you hear me? I  
15 can't hold still and speak. We don't have a  
16 microphone? Well, we do have a microphone. This will  
17 be highly constrained. I don't know if I can talk with  
18 my arms tied behind my back. Anyway, the major goals  
19 of toxicogenomics are to use gene expression analysis  
20 to predict individual human hypersensitivity drugs.  
21 And I don't mean hypersensitivity in the traditional  
22 immunotox. We want to be able to predict individual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 responses to drugs. Obviously, we think that we can  
2 use some of the tools of toxicogenomics to design  
3 better clinical trials, and I will give you some  
4 historical and monetary reason for why we want to do  
5 that. And then to understand how specific disease  
6 states or one medication can affect the toxicity of an  
7 additional medication in the same individual.

8 So here are just some examples of  
9 compounds that were either completely withdrawn or  
10 restricted, either by regulatory restriction or self-  
11 imposed restriction from the market over the last  
12 several years. And actually this number is a vast  
13 underestimate. If you add in the lost direct cost of  
14 developing these drugs, certainly the lost opportunity  
15 costs, and then the market cap cost to the companies  
16 when one of their favorite drugs fail, this is  
17 probably off by two. We are talking easily a \$15  
18 billion cost when compounds get on the market and  
19 fail. And these compounds failed because of toxicity  
20 that was not discovered earlier on and toxicity  
21 generally in a small subpopulation of the patients who  
22 were taking them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So here are some other sort of historical  
2 data. We looked at -- there are a little more than 600  
3 compounds on the market in the U.S. today. And at  
4 least 500 of those have serious side effects in some  
5 individuals. In some cases, there are very rare  
6 idiosyncratic responses, but they are serious. And to  
7 the person that has that response, it is a serious  
8 response. It may only be 1 in 10,000. In some cases,  
9 it is 15 percent of the patient population.  
10 Approximately 400 of these compounds have known  
11 interactions with other drugs. And then at least 40  
12 that we know of today show toxicity in very sizeable  
13 subpopulations, that is, greater than 1 percent of the  
14 patient population taking that drug experiences some  
15 meaningful side effect.

16           So here are some examples of compounds  
17 that cause serious side effects in subpopulations. A  
18 number of compounds -- I won't name companies -- on  
19 the market or until very recently on the market that  
20 are known in a subpopulation to cause liver toxicity.

21           Here are some compounds that caused -- and these are  
22 broad categories, all different types of blood

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 toxicities, anemias and neutropenias and  
2 agranulocytosis, et cetera. So some of your favorite  
3 compounds here. And then I throw in the following,  
4 which may not be life threatening, but I would submit  
5 that it is not insignificant to those patients that  
6 suffer from this. I would call this sexual tox, if you  
7 will. A lot of the antidepressant -- the tricyclic  
8 antidepressants cause impotence. Well, if you are  
9 depressed and you become impotent, I don't think that  
10 helps your depression. But a lot of other different  
11 types of sexual dysfunctions. It is not an  
12 insignificant subpopulation that take those  
13 antidepressants that develop sexual tox. I think  
14 people would like to know. If you are going to suggest  
15 that I take CelereX, am I going to be one of the one  
16 percent that will become impotent as a function of  
17 that treatment? There are, of course, a number of  
18 other types of toxicological endpoints that are  
19 induced by compounds that are on the market in a  
20 subpopulation of people taking those. I just show  
21 these as some examples that are near and dear to our  
22 hearts, our livers in this case, or other things I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can't talk about.

2           Those are compounds that are on the  
3 market. They were approved. They are either on the  
4 market -- well, most of them are on the market. And  
5 this is harder to get at because the Freedom of  
6 Information doesn't allow us to get at compounds that  
7 have failed. But through lots and lots of discussions  
8 with a lot of different sources, none of which are in  
9 this room, these are the numbers of compounds that we  
10 can find that failed in the U.S. in late stage  
11 clinical trials in Stage 2 or Stage 3 clinical trials.  
12 So between 1994 and 1998, 119 positive results and  
13 about 85 negative or mixed results. These didn't get  
14 to the market. And about a third of these didn't get  
15 to the market because of some toxicity that was  
16 detected in Phase II and Phase III clinical trials  
17 that obviously wasn't indicated in earlier settings.  
18 There is an interesting paper coming out from ILSI on  
19 that.

20           So one of the most important problems for  
21 regulators and toxicologists in general is every  
22 discussion this morning had some terrific technology

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and has presumed for the sake of time -- I know people  
2 are much more sophisticated than this -- but we  
3 presumed an average human being, of which there is no  
4 such thing. And so one of the most important problems  
5 or vexing problems for the industry is that we are not  
6 an inbred species, except for my relatives -- but most  
7 of them are not. I grew up in a very small town in the  
8 mountains of Arizona. You didn't even know there were  
9 such things. Anyway. And that the individual  
10 responses to toxic damage very dramatically as a  
11 function of an individual's genetic make-up, which is  
12 expressed in a meaningful sense either through --  
13 clearly, the first stage is transcription. The second  
14 stage is protein expression. And then I know that  
15 everybody knows this, but of course there is  
16 modifications to proteins after that. It isn't just is  
17 the protein there. Is it meristalated or is it  
18 phosphorylated -- one of six sites for C-June, et  
19 cetera.

20 So we think that we can begin to  
21 deconvolute an individuals -- not an average human  
22 being -- but an individual's hypersensitivity. Again,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I am not speaking from an immunotox perspective.  
2 Hypersensitivity to a specific toxic stimuli by  
3 looking at patterns of gene expression that are  
4 relevant to that stimuli.

5 So what are the requirements for doing  
6 this? What we would like to be able to do, of course,  
7 is by patterns of gene expression identify when an  
8 individual, if they took Trovan, for example, might  
9 have to go in and have their liver replaced versus 95  
10 percent of the people who took Trovan would have no  
11 problem at all. It is a great drug for those 95  
12 percent of the people. The other 5 percent, it is a  
13 really awful drug. If we could identify those  
14 individuals in some relatively rapid way before they  
15 took the drug, that drug could stay on the market and  
16 serve people well that would not suffer from the  
17 adverse consequences. But the trick is, of course,  
18 how do we go about this in any meaningful way. And I  
19 am going to tell you where we are making some attempts  
20 in conjunction with several others to do this.

21 So what do we need to do this? Obviously,  
22 we need clinical samples that we can obtain easily.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Most people aren't willing to give small snippets of  
2 liver or kidney to figure this out. People are  
3 willing to give a couple of mls of blood. We also  
4 need to start with drugs that elicit toxicities in the  
5 blood. Not that that is from a pharmacological  
6 perspective the most important tox endpoint, but it is  
7 a good place to start, because we have access to those  
8 types of cells.

9 So where do we want to start? We want to  
10 start with drugs, of course, that elicit some kind of  
11 blood toxicity. And these are a list of drugs that  
12 elicit blood toxicity of one nature or another in  
13 large and very sizeable subpopulations. I believe it  
14 is methimazole that elicits I think it is  
15 agranulocytosis in about 15 percent of the people who  
16 take that drug. But all of these elicit some type of  
17 blood toxicity in a sizeable subpopulation. So you  
18 have to have that. You can't find patterns if there  
19 is only one in 10,000 individuals who respond poorly.  
20 You've got to start with samples you can get a hold  
21 of. You've got to start with drugs that cause  
22 toxicity in a sizeable subpopulation and with drugs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that are taken by a sizeable population to begin with.

2           The next step, though, is you've got to  
3 look at all genes that vary. And now I am talking  
4 about transcription at this level. I want to look at  
5 all genes that are differentially expressed between  
6 individuals or samples, if you will. It has to be an  
7 open-ended system. We don't know yet which genes to  
8 look at that are going to tell us whether an  
9 individual is going to develop neutropenia when they  
10 take Ganciclovir. So we have to start off by looking  
11 at all genes, an open-ended system. Not 2,000  
12 proteins. Not 7,000 genes. But all genes that are  
13 differentially expressed. We obviously need  
14 biological samples from normal and hypersensitive  
15 individuals. We need treated and untreated cells from  
16 these same individuals, so we can push the treatments  
17 up to toxic concentrations, which we can't ethically  
18 do in humans, at least not prospectively. And then we  
19 obviously need samples from normal responders when  
20 treated with a compound. So those are some of the  
21 types of samples we start with. And the open system  
22 that we are using, and there are a number of open

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 systems -- when I say open systems, they measure all  
2 genes. We are using AFLP. And so when we derive these  
3 samples from treated leukocytes or from treated  
4 individuals, normal and insensitive or hypersensitive  
5 responders, then we use a technology called AFLP, and  
6 it allows us to quantitatively identify all genes or  
7 all transcripts that differ between any two samples.

8 Now obviously if you look at two individuals, a lot of  
9 genes are going to vary simply as a function of  
10 height, sex, brown eyes versus blue eyes, et cetera.

11 So you have to go through the three sets of samples I  
12 described a moment ago to pick out candidate sets of  
13 genes. And for the sake of time, I won't go through  
14 every single step. But we want to get to clearly all  
15 candidate genes that are most likely to represent  
16 genes associated with that hypersensitivity to the  
17 drug.

18 Let me just move ahead. So if we look at  
19 in vitro human cells or various types of white blood  
20 cells treated and untreated, we are going to get a  
21 candidate set of genes that vary. If we look at  
22 individuals treated and untreated, we are going to get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 another set of candidate genes that vary as a function  
2 of treatment. It might be the efficacy treatment. And  
3 if we look at normal versus hypersensitive  
4 individuals, we are going to get another set of  
5 candidate genes. What we are looking for are the genes  
6 that are consistent across all of these types of  
7 treatments. The goal is not to have to do something  
8 like AFLP on every single individual. It is very  
9 expensive and takes a lot of sample. So what we need  
10 to do as quickly as possible is to get to candidate  
11 sets of genes that vary and that are causally related  
12 to that hypersensitivity.

13 We also then need a method for  
14 specifically measuring these candidate sets of genes.

15 And so what we are doing then is we take these  
16 candidates and we synthesize arrays. Now again, arrays  
17 are not the ultimate answer because of the amount of  
18 RNA required. They are still laborious and there is a  
19 fair amount of art. Anybody who thinks they are going  
20 to run out to Walmart and buy an array synthesizer and  
21 scanner, all they need is then a couple of million  
22 dollars and three years to get it working, and they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 will be up and running. They will be in good shape.  
2 Anyway, you want to synthesize arrays with all of the  
3 candidate genes that vary as potential indicators of  
4 that hypersensitivity. You want to do this now with  
5 several individuals who were normal and hypersensitive  
6 responders to the drug of interest with your candidate  
7 sets of genes. And the idea then is with the candidate  
8 sets of genes to find the subset that co-vary with the  
9 hypersensitivity status.

10 Now how do you make this clinically useful  
11 then. And I won't go into the details of this because  
12 Dr. Frederico Goodsaid, who will follow me, will talk  
13 about the tools. But what you need then is a way of  
14 looking at a very small sample set. Something where  
15 you can use .5 nanograms of RNA or thousands of cells.

16 So we are codeveloping some tox cards. These are 96  
17 wells, and each one is a one microliter RTPCR reaction  
18 center. And we can then put the candidate genes into  
19 each one of these wells. And again, Dr. Goodsaid will  
20 describe how we load this up and the actual techniques  
21 of doing this. But this is the kind of technology then  
22 that is very useful in the clinic. This is something

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that with all due respect to Dr. Doull, even most  
2 M.D.'s could run.

3 That is the idea. We might be able to  
4 come up with these great theoretical ways of doing it.

5 But if we can't get it into the clinic in a robust  
6 fashion with the appropriate informatics, then it is  
7 not going to do anybody any good.

8 So where are we at? So we have the open-  
9 ended system. We have the arrays and we have the  
10 cards. And let me show you where we are at actually  
11 with these cards. So the idea then is to develop these  
12 tox cards with the genes that are indicative of what  
13 one's hypersensitivity status will be around each  
14 drug, or it could be classes of drugs. So before one  
15 prescribes Ganciclovir or Celebrex or what have you,  
16 one would use one of these cards. It is really quite  
17 easy to then determine what is your status going to  
18 be.

19 So what is the current status? And again,  
20 Dr. Goodsaid will go over this in more detail. We  
21 have -- it is very easy to design these -- well, very  
22 easy in a \$24 billion company like PE -- very easy for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 them. We design the genes from all the upstream work,  
2 and we get them into the cards. It is very easy for  
3 them to get them into the cards. So it is easy for us  
4 to say, well why don't you put in this card. We need  
5 this gene, that gene and the other gene. And here is  
6 just some genes that we decided to look at and we did  
7 some in vitro experiments. And this is just showing  
8 duplicate experiments with 1X or 10X amount of RNA.  
9 The 1X was, as I say, .5 nanograms of RNA, very  
10 quantitative. But I will leave those sorts of  
11 discussions to Dr. Goodsaid.

12 We are now able to -- and this is based  
13 upon a number of cytokines -- we are now able to look  
14 at -- so the previous example was from human in vitro  
15 cells. This example is from blood samples --  
16 unprocessed blood samples, other than we spin them  
17 down and take off the buffy coat. And this is looking  
18 at all the leukocytes. So we are now able to take a  
19 very small amount of blood, a couple of mls, and  
20 actually look at gene expression. And here are a  
21 number of individuals. Here is an individual, by the  
22 way, who has asthma -- very severe asthma. And those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of you who are asthmatologists, IL-4 I am told is a  
2 very good indicator of asthma sensitivity. So this  
3 individual is off the chart, about 400-fold higher IL-  
4 4 levels than anybody else. And we can now -- so the  
5 third point is you've got to be able to take white  
6 blood cells and grow them up and then treat them with  
7 the compound that interests you. So now we are doing  
8 that, although this is not a very interesting  
9 compound. We treated cells with PHA in this case and  
10 we are looking at treated and untreated cells with  
11 PHA. So we isolate a few cells, grow them up, treat  
12 them and measure the gene expression of the genes that  
13 interest us. And again, we derive these genes from the  
14 upstream process I described before.

15 So that is where we are at today. And we  
16 are now ready to start taking clinical samples. So if  
17 anybody has drugs that affect a subpopulation, we need  
18 clinical samples. And I am very appreciative that Jim  
19 MacGregor and Frank Sistare think that it is  
20 worthwhile, at least knowing what Phase I is doing in  
21 this regard. I don't want to talk about Phase I. I  
22 am talking about where toxicogenomics is going.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Because it is going there and we would like to work  
2 with you versus in complete ignorance of your  
3 interests and concerns. So thank you very much.

4 CHAIRMAN DOULL: Thank you. I don't  
5 really have any problem with running that chip thing.

6 The problem I have is saying that all those toxicity  
7 that were associated with those drugs are in fact due  
8 to gene --

9 DR. FARR: Are due to what? Differential  
10 gene expression?

11 CHAIRMAN DOULL: Yes, your gene thing.

12 DR. FARR: Well, I think you could turn  
13 the question around and you could ask are there any  
14 differential states that a cell can entertain without  
15 having differential gene expression. And I think  
16 other than necrosis, probably not. I don't know of any  
17 meaningful toxicity that is not only accompanied by  
18 but predicated on differential gene expression.

19 CHAIRMAN DOULL: I guess that is the  
20 issue. I am not sure I am convinced of that yet. But  
21 I will think about it. Let's go ahead and hear from  
22 Dr. Goodsaid.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GOODSID: Good morning. My name is  
2 Frederico Goodsaid, and I am a senior staff scientist  
3 at the PCR R&D Business Unit of PE Biosystems. And my  
4 talk today is very closely linked to what Dr. Farr has  
5 just described. I am only going to go into the nuts  
6 and bolts of what you can do with the Taq Man cards,  
7 in particular the Taq Man cytokine and tox cards.

8 So I am going to talk a little bit about  
9 the test procedure, the specifications and the  
10 validation results that you can get. I will make a  
11 point of trying to see how this methodology is unique  
12 in the accuracy and the precision of the information  
13 that is obtainable in terms of gene expression.

14 So the test procedure, as Dr. Farr  
15 described, requires RNA isolation, cDNA generation --  
16 at least our initial validation of the method is being  
17 done with cDNA. In fact, in the long run, we should  
18 be able to go with one step RT-PCR. But it is  
19 conceivable that we could just go from RNA isolation  
20 straight to RT-PCR, card loading and sealing, thermal  
21 cycling and data analysis. the overall time required  
22 for steps 3, 4 and 5 is one hour and 40 minutes. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 we expect that that will be reduced to about half an  
2 hour over the next year or so.

3 I am going to show some video that  
4 describes how the card actually works -- the hands-on  
5 requirements for the card. I have a couple of samples  
6 that are here. One is open. It shows the card with  
7 the 96 wells. And that card is loaded by having it  
8 evacuated and having the sample of cDNA go straight to  
9 all 96 wells in that card.

10 The first card that we launched back on  
11 February 15 is the human cytokine card. And it has the  
12 polycarbonate body here as well as the fill consumable  
13 for filling the card. It is run on the 7700  
14 instrument. Let me go back here a second. The 7700  
15 instrument, which has been around now for about three  
16 years. And the procedure by which this is done is  
17 shown over the next few seconds. You are going to see  
18 that we have in the case of the cytokine card 24  
19 cytokine assays that have been loaded in  
20 quadruplicate. So we have four replicates. And each  
21 well, which is a one microliter well, contains the  
22 cytokine target as well as the 18S endogenous control

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 primers and probes. So you get results for  
2 quantitative Taq Man PCR in each well normalized to  
3 the endogenous control. That is how you fill the card.  
4 You evacuate the card and the sample flows into the  
5 card very quickly. The Taq Man reaction is generated  
6 in the card, and at the end of that reaction, an hour  
7 and forty minutes or so, the results are analyzed on  
8 the 7700 software and the relative quantification of  
9 gene expression is obtained from that.

10 The way in which the card is actually used  
11 is shown in somewhat more detail here. First of all,  
12 the card represents a reduction of something like 50-  
13 fold in the amount of sample that is needed relative  
14 to 96 well plates, which is the technology we have  
15 today. And the way that you load the card is really  
16 simple. Because there is no need for any accurate  
17 pipetting. You just have an excess of over 250  
18 microliters of total reaction mixture, and that is all  
19 you need. While on a plate you have a very rather  
20 cumbersome usage.

21 The way in which you actually run the card  
22 on the 7700 is by having it going to an optical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fixture, such as is shown here. And on the 7700  
2 instrument, you have the software required for the  
3 analysis. This will be a very brief show of how the  
4 actual analysis works. You load your card with your  
5 sample, which has been sealed. You turn on the  
6 instrument to do thermal cycling. It is 35 cycles  
7 that take about an hour and 40 minutes. And you start  
8 the run. In about an hour and 40 minutes, you get  
9 your data back, which has not just endpoints, which is  
10 essentially what you get from hybridization results.  
11 But the more important piece of data is the  
12 quantitative PCR result. And this is what we use for  
13 our gene expression measurements. What we determine  
14 is the CT, which is the value of each of these curves  
15 of the quantitative PCR curves that crosses that  
16 threshold -- the intersection between each of the  
17 quantitative PCR curves and the threshold. Both for  
18 the individual targets that you saw before as well as  
19 for the endogenous control that you are seeing here.  
20 That data is then exported to the Relative  
21 Quantification Software, and that software gives you  
22 the relative quantification of gene expression

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 results. So you have your untreated plate here, for  
2 example, and then all the treated ones here. And you  
3 calculate that and it gives you the gene expression  
4 results.

5 So that is how this works. That is where  
6 we are at in terms of this technology today. And I am  
7 going to go on now showing a little bit of the  
8 information about what kind of data we are actually  
9 being able to get from here. Both from what Dr. Farr  
10 showed as well as from the slides that I have just  
11 shown you here, you look at those semi-log plots for  
12 gene expression and you see numbers of hundreds or  
13 thousands or ten thousands. When you look at chip data  
14 or when you look at any kind of hybridization result,  
15 gene expression numbers usually die off by about 100-  
16 fold. That is considered extremely high. In any kind  
17 of endpoint method, you will have that kind of a  
18 constraint.

19 So one of the first messages that we have  
20 here is that we have a dynamic range with the Taq Man  
21 card which is far wider than anything that you can get  
22 with the hybridization method. And the result of that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is that we are measuring gene expression levels that  
2 are over a range that just hasn't been reported  
3 before. The dynamic range that we are seeing here is  
4 on the order of 1, 2, 3, 4, 5, 6 logs -- 5 logs at  
5 least. You can -- this is the absolute range here,  
6 but then you can get a relative value obtained with  
7 this range that essentially doubles the dynamic range  
8 that you actually report. And this is just showing  
9 for IL-6 on the cytokine card the kind of validation  
10 you can do for the expected versus actual CT values.  
11 That is the actual quantification that you measure  
12 matches very well the expected value over a five log  
13 range.

14 In terms of fold discrimination, I talked  
15 about a 5 log range. And as far as that 5 log range,  
16 how can you be sure that two results are different  
17 from each other? Well, we have done this in a couple  
18 of different ways. In this graph, I think you can see  
19 a pretty nice show of what we are talking about. For  
20 six standard deviations for a 99.6 percent confidence  
21 level, we would say that two results are different if  
22 they are four-fold apart from each other. This is,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 again, operating at that confidence level and trying  
2 to really look at what a true -- the true dynamic  
3 range of the gene expression results is.

4 This is work that was done at DNAX, by  
5 Rene Diwall Malifeat. This is the cytokine gene  
6 expression levels in peripheral blood monocytes. And  
7 in this case, we are looking at different kinds of  
8 effectors. And you can see the kinds of numbers that  
9 are obtained here. In doing quantitative PCR and in  
10 getting results for the rates rather than for the  
11 endpoints, what we are getting here is induction rates  
12 of 1,000 fold, 10,000 fold and beyond. In the case of  
13 PBMC activation, you can see some targets that are  
14 really way, way up in the induction rates you get.

15 At Source Precision Medicine, they have  
16 also been looking at other kinds of inductors that  
17 they are not telling us about. But the numbers are  
18 what we found really interesting for the gene  
19 expression levels. Again, you can go up to about  
20 10,000 fold and beyond that.

21 In liver cell cultures, not surprisingly  
22 cytokines are not exactly bound to be very much

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 induced. And just as a test, we looked at Phase I  
2 molecular toxicology at the effect of actinomycin  
3 here. And all the levels for all the targets here in  
4 the case of the cytokines were under 10-fold for  
5 induction.

6 This was actually shown by Spencer before,  
7 and we can see here some targets that are induced and  
8 some targets that are actually suppressed in the case  
9 of mitomycin C.

10 And methane methylsulfanate produces this  
11 pattern in the case of liver cells. Again, some  
12 targets for the toxicology card now. This is not the  
13 cytokine card, but the toxicology card. Some targets  
14 go up and some targets are suppressed.

15 Also, the other part that I wanted to show  
16 is that we can see potentiation. We can see dose  
17 response in these studies -- a pretty nice dose  
18 response for several of these targets. In this case,  
19 we have carnustine, which only affected significantly  
20 two targets.

21 This is what Spencer already went into. I  
22 won't cover. The work that we have started to do now

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is to try to do the obvious. I mean, these cards are  
2 very easy to use. These cards give you accurate and  
3 precise measurements of what the actual gene  
4 expression levels are. So the next step would be let's  
5 see what we can do by studying toxicity in blood, and  
6 that is the work that we are currently developing with  
7 Dr. Farr and Phase I toxicology. Thank you very much.

8 CHAIRMAN DOULL: Does the Subcommittee  
9 have any questions about either of these? Thank you,  
10 Dr. Goodsaid.

11 DR. GOODSID: Thank you.

12 CHAIRMAN DOULL: Okay. At this point, we  
13 have heard the discussion of the proteomic biomarkers,  
14 and Frank is going to help us focus in on some  
15 possibilities for expert groups. Frank?

16 DR. SISTARE: Well, with this morning's  
17 speakers, I guess the point we were trying to nail  
18 home is that with the technology platforms that have  
19 been described here this morning -- and this is, I  
20 think, a pretty good picture of not everything that is  
21 out there, but the power -- the examples of the power  
22 of what is out there and how the timing, I think, is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 right to put together an initiative like what we are  
2 proposing here.

3 What I hope we succeeded getting at is  
4 showing what can be done and what is being done in  
5 this area. So like I said this morning, the key of  
6 what we are proposing to focus in on is biomarkers  
7 that are accessible -- relatively easily accessible  
8 and that are measurable. Hypothesis of any working  
9 groups that would be established to promote and  
10 proceed in this area would be that optimized panels of  
11 toxicity biomarkers do exist, and they exist in  
12 accessible body fluids, whether it be plasma, urine or  
13 circulating leukocytes, that we are not presently  
14 routinely measuring.

15 A little bit of locker humor took place  
16 during the break. We were a bunch of guys in the rest  
17 room commenting that a lot of our biomarkers were  
18 being sent to the local sanitary commission during the  
19 break. And in fact the comment was made that maybe we  
20 were sending a lot of Nobel Prizes down the drain. And  
21 I have heard other colleagues say that. At the end of  
22 the day when an experiment is done, you look at what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you can measure and then everything else just kind of  
2 goes down the drain. And there is a lot of hidden  
3 secrets in what you dispose of.

4 What we are proposing here is that there  
5 are ways to get at those hidden secrets, and that  
6 there are panels of biomarkers which are measurable  
7 and can reliably herald the onset of drug-induced,  
8 system specific damage prior to the visible morbidity  
9 or prior to any significant, irreversible, insidious  
10 damage.

11 So our objective again in the formation of  
12 any working group to define -- to proceed in any  
13 particular area that we may prioritize is to define  
14 those biomarkers with improved ability to profile a  
15 prioritized set of system specific damage endpoints  
16 covering a variety of mechanisms and drug classes. As  
17 Malcolm York pointed out in his presentation with his  
18 troponin measurement and some of his other  
19 measurements, he spoke to issues of sensitivity and  
20 specificity. And he is really eluding to the ability  
21 of that particular assay system to specifically  
22 measure sensitively and specifically that particular

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 endpoint. But in order to get a comfort level up with  
2 the biomarkers that we may propose that would be  
3 useful, there are a whole lot of drugs and toxicities  
4 and physiological manipulations which will have to be  
5 introduced, I think, before we can really get  
6 comfortable to accept a lot of these endpoints into  
7 routine use. And that is really the task that we have  
8 once we discover.

9           So at the last meeting in December, I  
10 proposed several priority areas from our vantage  
11 point. And I was tasked to go back to our regulatory  
12 colleagues, our review colleagues. We have a  
13 subcommittee in pharmacology and toxicology that is  
14 focused on research initiatives. And I tasked this  
15 committee with helping to prioritize and bring some  
16 feedback back to this committee in terms of what they  
17 viewed as some priority areas. This is one  
18 perspective that I am going to share with you. You  
19 have heard other perspectives.

20           There is the ILSI perspective where a poll  
21 was taken. In terms of the application of genomics  
22 and risk assessment, ILSI came back with we need to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 better predict hepatotoxicity, nephrotoxicity and  
2 genotoxicity better. So that was that perspective in  
3 terms of priorities and that is where that group is  
4 going. So ask a set of people and you will get a set  
5 of answers.

6 I am going to bring back to you what I was  
7 charged to do, and that is the committee came up with  
8 what I would say are three tiers of priorities. And  
9 there is a lot of reasons for these priorities. Some  
10 scientific, some regulatory, some political for lack  
11 of a better term.

12 In tier 1, the message that was sent back  
13 was, yes indeed, we would like to see this resolution  
14 of the usage of troponin as a good biomarker for drug-  
15 induced cardiotoxicity. We get into situations where  
16 we propose the use to sponsors and the sponsors are  
17 uncomfortable in many instances using it and  
18 introducing it at certain stages of drug development.

19 They need to know is it specific for cardiac-specific  
20 drug-induced damage. Do you see it when you get renal  
21 dialysis patients? They get troponin increases and  
22 some suggested that that may be an issue. What

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 happens when you get a decrease in blood pressure or a  
2 person faints? Are you going to get troponin? These  
3 are all things that have to be resolved. And to be  
4 fair, these are legitimate issues that need to be.

5 They also propose, and this is really not  
6 something that we have even touched upon this morning,  
7 that a consensus approach to this resolution of  
8 prolongation -- drug-induced prolongation of the QTC  
9 interval or drug-induced torsades is something that  
10 maybe, if we set up an expert working group that is  
11 versatile enough in cardiotoxicity, another group  
12 could be established to sort of prioritize an approach  
13 to resolving this particular issue, which is a  
14 regulatory nightmare essentially right now. I would  
15 like the opportunity to edit and change that term when  
16 the minutes are actually finalized.

17 Drug-induced vasculitis. The need for  
18 biomarkers was endorsed resoundingly from this  
19 committee there. Again, we get ourselves into  
20 situations with sponsors where we are trying to  
21 unravel the significance or the relevance of tox  
22 findings in animals with this very insidious but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 potentially very deadly toxicity.

2           Also, in the discussion with vasculitis,  
3 the committee encouraged growth into biomarkers of  
4 what I would call immune system activation. In a  
5 sense -- and this is really why I asked Spencer and  
6 Frederico to come and talk about what can be done with  
7 peripheral blood leukocytes. Can we use those as  
8 sentinels of deeper bed tissue injury? Can they report  
9 to us that something else is going on where  
10 histopathology may be our only way right now to get  
11 at. So the feeling is that as we strategize to get at  
12 issues of drug-induced vasculitis, can we take  
13 advantage of these technologies to tell us that  
14 something else is "wrong in Denmark"?

15           Tier 2 -- there was still a strong feeling  
16 that neurotoxicity keeps coming up time and time  
17 again. And the question was raised, and it is really  
18 nothing I don't think anyone has addressed -- is can  
19 peripheral neurotox damage be picked up by some sort  
20 of plasma markers? Can we pick up central neurotox  
21 damage by looking at some of these proteomic  
22 techniques to look at cerebral spinal fluid, and maybe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some of our colleagues in the proteomics tech field  
2 could help address this. But it is really -- I don't  
3 know. I don't know the answer to this. But they felt  
4 impelled to get this on the table. And this also could  
5 be coordinated very carefully with non-invasive  
6 imaging initiatives that may also come in terms of  
7 this afternoon's discussion.

8 Hepatotoxicity, again mentioned. The  
9 concern there was that there is an upcoming FDA/PhRMA  
10 conference to address hepatotoxicity in a very sort of  
11 high level way. And that it might be politically  
12 prudent to sort of await the completion of that  
13 particular conference. But this certainly is something  
14 of great interest. And also the message being that  
15 there are a lot of other initiatives going on in the  
16 field of hepatotoxicity. The ILSI initiative, for  
17 example. And this is something I think the committee  
18 can also endorse. That there be a very careful  
19 coordination of all these efforts. A lot of mileage  
20 can be gained if we very carefully coordinate all  
21 these ongoing initiatives at the same time.

22 And the third tier -- I mentioned to you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 before biomarkers using accessible tissues. We don't  
2 usually think of skin as an accessible tissue, but  
3 clearly small biopsies can be taken. The question  
4 being can we use early changes that occur in skin as a  
5 predictor of carcinogenicity.

6 The thinking there is -- this is a  
7 different technology than anything else we are talking  
8 about right now. And we are trying to extrapolate a  
9 very complex biology. Early changes that occur. I  
10 kind of made this point in my December meeting.  
11 Changes that occur on day 1 trying to predict what is  
12 going to happen 6, 7 or 12 months or 2 years down the  
13 road in your best animal models. And there is efforts  
14 -- there are efforts underway to look at and develop  
15 alternate models for this very complex biology using  
16 tumor endpoints. So maybe biomarkers may not be the  
17 best thing to do at this particular point in time.  
18 Although we are continuing our research in skin  
19 biomarkers, and there are several centers within the  
20 FDA that are working together with us on that and  
21 invite collaboration in that mode. But maybe it might  
22 not be ready for a consortium type event at this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 point.

2 In terms of renal toxicity, again  
3 something they would like to see more progress on. But  
4 again, the coordination with ongoing ILSI initiative  
5 in this area is a message again to send. I know  
6 several other members in the audience together with  
7 myself are part of the breakout group in the ILSI  
8 initiative to look at genomics for assessing risk.  
9 And the renal tox group has devised a very elegant  
10 procedure strategy to look at the power of the  
11 microarray or gene chip and gene expression changes.  
12 And we have argued successfully to collect body fluids  
13 as a part of that effort that could be analyzed using  
14 some of these other technologies. And that is kind of  
15 the thing we are saying here. That we don't have to  
16 all work individually and reproduce and replicate and  
17 waste valuable animals. We can really work together in  
18 a concerted effort to get a lot of multi-parametric  
19 endpoints out of a single study without compromising  
20 study design.

21 Also mention is made that we are all aware  
22 of another collaboration going on through the Imperial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 College in England where other body fluids are being  
2 assessed using NMR. And again, that is not a  
3 technology we have even discussed this morning. But  
4 there are these other technologies, and our hope is  
5 that with the formation of expert working groups in  
6 particular areas that we decide, all of those body  
7 fluids could be gathered and collaborating and  
8 leveraging with these other groups operating  
9 simultaneously, we can get a whole lot of data and  
10 these other endpoints as well.

11 So what is the proposal then to this  
12 subcommittee? We are proposing that you endorse the  
13 formation of expert working groups charged with the  
14 task of deriving specific implementation strategies.  
15 That you advise on the make-up of those expert working  
16 groups, what the membership should be, the experts  
17 that you guys and ladies are aware of that should be  
18 part and parcel of these initiatives. And help  
19 facilitate the formation of these expert working  
20 groups.

21 And take a look at what I have proposed in  
22 terms of the priority areas that we are getting back

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from a PHARM tox regulatory initiative. And either  
2 endorse, for example, the tier 1 approach that we have  
3 proposed, or if you can bring members from the  
4 audience or if there are other perspectives from  
5 experts on the table -- why something on tier 2 should  
6 be moved up to tier 1 and why something on tier 1  
7 should be moved back to tier 2. That is the last  
8 challenge I guess I make to the group.

9 I made a few notes, and I always have  
10 trouble reading my notes because I don't have bifocals  
11 because I don't need them because I am not old yet.  
12 But I will struggle as I try to read what I have  
13 written here.

14 The technology that Dr. Petricoin  
15 introduced in terms of being able to use  
16 microdissection and to be able to deal with very,  
17 very, very small sample sizes. And Dr. York described  
18 as well as Dr. Petricoin the use of SELDI to get at  
19 those very small sample sizes where you need like a  
20 one microliter extract of protein. If you let your  
21 imagination fly and you think, okay -- you think about  
22 bridging some of the stuff that Spencer and Frederico

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 talked about where you can use peripheral blood  
2 leukocytes. Well, rather than a microdissection, what  
3 if you use a cell sorter and you spin out certain  
4 subpopulations of cells. You don't need a lot. If you  
5 are going to look at message expression, by the same  
6 token you could look at protein expression and  
7 subfractions. The design, I think, is -- the key is  
8 to ask what is the best question? There is all these  
9 technologies and we can coordinate and we can look at  
10 a lot of endpoints all at the same time. The key is  
11 to get the right question.

12           Once you have decided on the right  
13 question, you need the right tools. Some of the best  
14 tools reside in the Pharmaceutical houses, where they  
15 have some of the best drugs that can induce some of  
16 the most blatant toxicities that haven't been  
17 developed because of their toxicities. We have to do  
18 a lot of this in our animal models to start out with.

19       As Spencer pointed out, there are a lot of drugs  
20 which are on the market that are inducing toxicities.

21       But this is a filtered set of drugs. These are drugs  
22 that have passed and gotten through the agency, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they are not so toxic, as toxic or as many problems as  
2 there may be with them. As he said, it is a low  
3 percentage of patients. So they may not be the best  
4 things to start out with to study. I think we need  
5 some really good paradigms. The SKF compound is an  
6 example. Here is a drug that causes a very profound  
7 and reproducible vasculitis that we can do some really  
8 good toxicology with. That is only one. We are  
9 struggling to find a second or a third to sort of  
10 validate sensitivity and specificity. We need other  
11 drugs that are brought to the table. So I think we  
12 really need a partnership to really make this whole  
13 thing work.

14 There is a whole lot of other thoughts  
15 here, but I think I will leave on that note. So I  
16 invite good lively discussion and a clear charge from  
17 the Subcommittee on how to move forward here.

18 CHAIRMAN DOULL: Thank you, Frank. Well,  
19 I think you all heard those marching orders. So the  
20 task for the committee then is to begin to zero in on  
21 what we heard this meeting and the last meeting in  
22 terms of biomarkers that could be useful.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think Frank made an important point  
2 there. He said you have to distinguish between the  
3 things you can measure. We have heard about some very  
4 elegant things that you can measure that are really  
5 gee whiz in a sense. Very impressive, but are those  
6 the kind of things we want to really focus on and push  
7 ahead on, or do we want to look for things that are  
8 more developed and have more probability of in fact  
9 being useful to do either toxicity analysis or  
10 efficacy or whatever. So we need to discuss then.

11 Let me mention one other thing. When Greg  
12 opened up the discussion, he defined biomarkers very  
13 broadly. As a matter of fact, you could include  
14 imaging in what -- in the NIH definition of  
15 biomarkers. But we have decided, I think, in the last  
16 meeting that for our purposes, it is useful at least  
17 to separate biomarkers from imaging. Because the  
18 techniques are somewhat different. So we will focus on  
19 biomarkers, the things we have been talking about. And  
20 hopefully this afternoon we can do the imaging in the  
21 same sort of fashion.

22 So what are your thoughts about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 biomarkers? Joy?

2 DR. CAVAGNARO: I think what was also  
3 pointed out was to focus on what is the question.  
4 Okay? So I guess that is the first -- what is -- do we  
5 want to be more sensitive? Do we want to look at  
6 earlier endpoints? Are we looking at screening versus  
7 mechanistic or diagnostic?

8 CHAIRMAN DOULL: Right.

9 DR. CAVAGNARO: You know, for any of  
10 these. And then before the implementation, is there --  
11 I mean, we have heard a number of technologies, as you  
12 mentioned. Is there an effort to do what some  
13 speakers did and that is highlight the pluses and  
14 minuses of each of the technologies and give an  
15 overall sense of what I refer to as prioritizing  
16 innovations. I mean, there is much out there, and I  
17 think that that is what most people have the most  
18 difficulty with. Which do I select or which  
19 techniques? And is that an initiative before -- I  
20 mean certainly the expert working group is endorsed.  
21 But prior to the implementation strategies, what -- is  
22 there going to be an overview of the available

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 technologies? I mean we have had speakers here and  
2 there is clearly logs of various companies and  
3 individual investigators working on similar  
4 strategies. So is there going to be an effort to do  
5 that as well?

6 CHAIRMAN DOULL: Yes. That clearly is the  
7 issue. I think in -- if you look at what the  
8 recommendations are, the recommendations have to do  
9 with the troponin or the cardiac assay and the  
10 vasculitis. We have heard this time and at the last  
11 meeting fairly good plus and minuses for each of those  
12 two techniques with some risk/benefit kind of  
13 estimation for that. I think as you move on down, I am  
14 not sure about whether we have really had all the plus  
15 minus spelled out for them.

16 DR. CAVAGNARO: Well, I see that as two  
17 points. One is whether or not -- one is a technique  
18 issue or one is a tools issue and one is whether or  
19 not measuring to quantum, which isn't measured  
20 routinely, is useful. So I guess I see it very  
21 distinct. One is endorsing the use of troponin as  
22 another analyte to measure -- or more sensitive to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 measure cardiotoxicity. The other thing is to worry  
2 about genomics or proteomics or some other approaches.  
3 Is that -- Jim?

4 DR. MacGREGOR: Well, I think an important  
5 element of the discussion should be -- and one of the  
6 speakers made this point, I forget whether it was  
7 Gordon or Leigh in their talks that a lot of what we  
8 heard today I would say is in the realm of discovery  
9 technologies that are very powerful for identifying  
10 specific new biomarkers. And that in most cases there  
11 is probably another phase of inexpensive  
12 implementation technologies. So I think we need to  
13 think about our strategy in terms of the limited  
14 resources that we have at FDA of entering into  
15 collaborations. What is the most fruitful way for us  
16 to enter into this. And I think if I can paraphrase  
17 part of Frank's recommendation, it was that perhaps  
18 you could do a little bit of both by focusing on the  
19 specific biomarkers that we have studied for  
20 toxicities of current regulatory interest and that  
21 keeping those in mind and doing specific work on the  
22 known biomarkers to bring them into practical use. At

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the same time, you can use some of these discovery  
2 technologies to look at the same tissues and perhaps  
3 compare other potential candidates for biomarkers with  
4 the known ones at the same time. So I think we need  
5 to -- I don't know how the Chair wants to address that  
6 in the discussion. But I think it is important to not  
7 mix all the technologies together. You have to kind  
8 of define the objective.

9 CHAIRMAN DOULL: Right. We may lose sight  
10 of what we are doing. David?

11 DR. ESSAYAN: Yes, I agree with that  
12 somewhat more rational approach. I think what we are  
13 seeing here is that a lot of this is two-edged sword.

14 The biomarkers as I am looking at them are going to  
15 be a function of both the subpopulation of cells that  
16 need to be identified and a reliable assessment of the  
17 physiologic form of that biomarker, be it a gene form  
18 or the protein form.

19 I like being able to go after something  
20 that we know a little bit more about as part of  
21 process validation and immediate relevance to the work  
22 that we are trying to do now, and then have the more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discovery technologies follow that. I mean, with all  
2 due respect to Chip, I think his comment was something  
3 to the effect of the actual identification may be  
4 irrelevant and the footprint is what we need to be  
5 looking at now. And that is fine for the discovery  
6 phase, but the next phase is actually going to be the  
7 identification of that target. I think a lot of the  
8 peripheral blood approaches are fine to develop, but I  
9 think also that a lot of the cells of relevance in  
10 particular disease states are not actually going to be  
11 represented in the peripheral blood, and we may do  
12 better off going after the target tissues initially in  
13 order to identify what we may be able to see under  
14 optimal conditions in the peripheral blood after we  
15 know what we are looking for.

16 CHAIRMAN DOULL: I guess with Jim's point,  
17 limited research is the question of how much blue sky  
18 can you really do or how much difficult issue. Ray?

19 DR. TENNANT: Having not been present for  
20 the previous meeting, I hope I can legitimately ask  
21 some naive questions. In taking the title of non-  
22 clinical studies, this would imply to me that this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 deals with the intrinsic properties of drugs, either  
2 for efficacy or safety. And either enhancing or  
3 supplementing the failures of existing nonclinical  
4 methods of telling us efficacy or particularly safety  
5 of drugs. And can biomarkers then be used within that  
6 arena, truly nonclinically. This is, I believe, in  
7 many ways much different than the issue of identifying  
8 adverse reactions. I mean, I think Spencer outlined  
9 very well what I see as an anti-parallel to the  
10 nonclinical. It means trying to identify biomarkers  
11 for those rare individuals. I mean, if the nonclinical  
12 methodologies are good, then the majority of  
13 individuals will not suffer from exposure. It is only  
14 the rare individual. And the problem of trying to use  
15 a biomarker then to identify the rare individual who  
16 is going to be adversely affected it seems to me to be  
17 a separate strategy. That is not a statement but  
18 really a question.

19 CHAIRMAN DOULL: I think the hope is that  
20 if one could identify things that would be useful in  
21 the nonclinical stage, they might be things, for  
22 example, that would be useful in identifying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 toxicology before you even got to that stage. And  
2 hopefully then would also be useful once you went to  
3 humans and were looking for the first signs or those  
4 sensitive individuals that might express that  
5 reaction. I think last time we heard that there is a  
6 potential problem in toxicology. When they start  
7 using combinatorial chemistry and high flow-thru and  
8 all that, they are going to have hundreds of drugs,  
9 for example, that will need to have some kind of an  
10 evaluation. And if we use the traditional approaches  
11 of toxic -- acute, subchronic and what have you -- you  
12 know, we are never going to get that done. So we need  
13 methodology that will help us screen large numbers of  
14 compounds and make some kind of prediction, and it  
15 would be nice if the test that did that screening  
16 could also then be used clinically when those things  
17 get to that stage and would be highly predictive in  
18 the sense that they would be useful in animals and  
19 that would carry over into humans.

20 What we are hearing about the  
21 recommendations, at least from the FDA people, is they  
22 have some evidence for that carryover for some of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 those things. Others, they are not sure about yet.  
2 Gloria?

3 DR. ANDERSON: I have in my notes three  
4 proposals that we presented. The first one doesn't  
5 deal with which one of those we decide on. I have a  
6 question about the specific implementation strategies  
7 that you have in your first proposal, the last three  
8 that you listed. You said one of the proposals is to  
9 endorse the formulation of the expert working group to  
10 derive specific implementation strategies. Would you  
11 elaborate on that a little bit?

12 DR. MacGREGOR: I think the concept that  
13 was discussed when the Subcommittee was formed was how  
14 -- what should be the structure by which FDA goes  
15 about pulling in the appropriate expertise to help  
16 make these decisions. And the concept that I was  
17 trying to go over in the beginning of my introduction  
18 was the role of this committee in identifying the  
19 important topic areas and moving to subgroups of more  
20 informed technical individuals to get down to the  
21 specific levels. So I think that is what you are  
22 asking about. What is the mechanism of this committee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 versus expert groups. And I think in my mind the  
2 function of this committee is to identify the key  
3 areas that we should be pursuing and then to pull  
4 together the appropriate experts to address those  
5 specific areas. And then those experts would report  
6 back to this committee with their recommendations.

7 DR. ANDERSON: That is part of it. I  
8 think what is not clear to me is if I were to say,  
9 yes, I endorse the EWG to derive specific  
10 implementation strategies, I am not sure what you mean  
11 by implementation strategies. That is -- is it how we  
12 go about doing it based on the areas that are selected  
13 or what?

14 DR. MacGREGOR: Yes, exactly.  
15 Implementation strategy. I mean, we already at FDA are  
16 involved in some collaborations and we are trying to  
17 approach both of these areas. And the question then --  
18 well, then what you are referring to is what would the  
19 expert group do is they would look at these areas and  
20 presumably they would include people who would  
21 collaborate on projects that would help us to work  
22 together to get these answers through collaborative

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 working groups. And I think part of this that I think  
2 is premature at this meeting but that we will have to  
3 get to eventually will be if resources are necessary  
4 that don't exist, how would resource issues be  
5 handled. I think that is a subsequent issue, but  
6 perhaps the expert groups could ultimately address  
7 that question as well. In other words, they could  
8 develop a scientific plan and say this is what you  
9 need to do to have better markers of cardiac toxicity,  
10 and here is how we think we could get the resources to  
11 do what we think needs to be done. They could come  
12 back with those kinds of recommendations.

13 DR. ANDERSON: I think you just answered  
14 my question. You see, the third one down here says  
15 endorse or reprioritize the target toxicities or  
16 specific toxicities. And that is a list you gave the  
17 level I and level II, tier I and tier II.

18 DR. MacGREGOR: No, that was Frank.

19 DR. ANDERSON: Okay. My question I guess  
20 is are we forming the group to implement strategies  
21 before we accept the levels.

22 CHAIRMAN DOULL: No, no. I think the first

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 thing is to get some idea about the kind of focus  
2 groups that we think should be formed. Because last  
3 time or previously, you folks have talked about the  
4 mechanics for forming the committee. You know, going  
5 to societies and making advertisements and so on. So  
6 the mechanism for that has kind of been laid out  
7 already. But what we need to do is to decide what are  
8 the areas we would like to develop focus panels for.

9 DR. ANDERSON: Yes. I don't have any  
10 problem if we form the group. The problem I have is  
11 that I am not sure what they are implementing, because  
12 I don't think the committee has recommended anything.  
13 Am I the only person who has a problem?

14 CHAIRMAN DOULL: No. Jack?

15 DR. DEAN: John, going back to the  
16 statements you made and the objectives that Jim had on  
17 his slide, it strikes me that this is a little bit  
18 broad. Because on the first objective, I think you  
19 are talking about how do we improve high throughput  
20 screening by introducing in vitro toxicologic methods,  
21 gene arrays, et cetera, that then improve the process  
22 of selecting better compounds. The second part of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the animal toxicity only predicts about -- the  
2 compounds that succeed through the animal safety  
3 evaluation and then get into man, the predictive value  
4 is less than 50 percent that we will then see an  
5 adverse effect in man that we could have predicted  
6 from the animal data. So the other approach then is  
7 are there better biomarkers between the linking man  
8 and the animal that we don't have today or are not  
9 using today. And if we were able to improve that  
10 area, then those could then be used earlier in the  
11 selection process. But I think there is a problem in  
12 putting all of this new technology in at the compound  
13 selection area stage. Because I think what we may do  
14 is kill a lot of very important drugs because they  
15 produce some effect in one of these test systems, yet  
16 we don't fully understand what the test system means  
17 relative to man.

18 I have probably made a very convoluted  
19 argument, but which do we want to focus on?

20 DR. MacGREGOR: I think it is clear that  
21 we at FDA don't have the problem of selecting those  
22 initial compounds. That is really an industry issue.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And I think that the -- you know, my first slide of  
2 objectives was a very general set of objectives for  
3 this Subcommittee over time and was intended to convey  
4 the interest really of all parties in the  
5 collaboration. And it is my personal view that the  
6 technologies that are going to be useful to us in  
7 regulating better and having a better linkage between  
8 clinical outcome, those same technologies are going to  
9 be useful to you in making those discovery issues. And  
10 the question is what is the common ground? If we are  
11 going to have collaborations, what is the best thing  
12 to pursue initially that is going to benefit all  
13 parties that are collaborating.

14 DR. DEAN: It seems to me the most viable  
15 linkage is to link the animal experience and the human  
16 experience with more sensitive biomarkers. I think  
17 that would be most helpful to both or all the  
18 communities or all the stakeholders.

19 CHAIRMAN DOULL: Let me make a suggestion  
20 that the Agency in essence is suggesting that the  
21 focus groups that are ready for prime time, so to  
22 speak, would be one that was focused in the general

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 area of cardiac toxicity and one that is in the area  
2 of vasculitis. And there is some linkage between the  
3 animal data and clinical data and so on in those two  
4 areas. We could think about those two as focus groups  
5 that we could identify. Then we could think about one  
6 that is a little more blue sky -- what you are saying,  
7 Jack. That hopefully we might be able to get some tox  
8 links with some clinical links or open up some new  
9 areas. And I guess would proteomics be that kind of  
10 area? We have heard some -- well, let me ask first,  
11 how many of these focus groups can we have? Is there  
12 any limit?

13 DR. MacGREGOR: Could I just make one  
14 clarification? I think Frank can correct me if I am  
15 wrong. But you said that the Agency recommendation  
16 was to focus on cardiovascular. I think that is not  
17 quite what Frank said. That is Frank went to the  
18 Pharm Tox Policy Committee and said from your  
19 perspective, where do you think we should be focusing.  
20 And there are several different perspectives, as Frank  
21 pointed out. There already are scientific  
22 collaborations through ILSI. We already have internal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 research programs in both CDER and CBER that are  
2 taking certain directions. The Pharm Tox Policy  
3 Committee has certain regulatory issues and demands.  
4 And what we are really asking this committee is to  
5 think about all those things and come to a focus for  
6 one or two initial groups where we can get this  
7 process going. Not to try to cover the whole picture,  
8 but what are likely to be the, say, two groups or at  
9 most three groups I would personally say initially to  
10 get those expert groups started to facilitate some of  
11 these things.

12 CHAIRMAN DOULL: Right. In fact, we asked  
13 Frank to do that. We asked him to bring your  
14 recommendations and you went to your groups and did  
15 that. And, of course, last time we pointed out there  
16 are a lot of other activities. We have heard about  
17 all the ILSI activities and so on. So we don't have  
18 to do it all. Other groups will be involved in it.  
19 Our lunch is here.

20 DR. GOODMAN: In terms of the cardiac  
21 toxicity, there is one aspect that if I understood it  
22 right I find confusing. And that is these QT changes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Because I thought it was also said that it was  
2 questionable as to what the significance of these QT  
3 changes are. If indeed I am correct and that is the  
4 significance of this is questionable, I think that is  
5 the last thing this committee should touch. We should  
6 only be involved in looking for biomarkers of  
7 toxicities that are real.

8 DR. MacGREGOR: Frank, do you want to  
9 comment on that?

10 DR. SISTARE: The last thing I want to do  
11 is to get into a debate about when a QT interval  
12 change is significant. But the only reason I bring  
13 that to the table was thinking about biomarkers in a  
14 very broad sense and not as a molecular entity, but a  
15 signal, an electrical signal that you can get from a  
16 heart, a non-invasive sort of image of electrical  
17 activity if you want to call it that. The committee  
18 felt that there are approaches, for example, being  
19 taken by our colleagues across the ocean, feeling for  
20 example that a rabbit Percingee cell model is the way  
21 to go to really predict whether a drug is going to  
22 have this or not. In the States, the statement that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 was made to me -- and I am not an expert in this field  
2 -- was that maybe we are not quite as organized in our  
3 thought process here, and that maybe we could use the  
4 advice of this committee to establish a working group  
5 that could also develop -- maybe an expert working  
6 group to outline an experimental approach to delineate  
7 what is the best way. Now if the committee feels that  
8 we are not quite ready to do that yet, that is their  
9 take-home.

10 DR. GOODMAN: Again, I think in line with  
11 what you have said, Jim, that we really ought to focus  
12 on one or two or three where there is a chance of  
13 hitting a homerun and not solve the problem -- not try  
14 to touch the problem of whether some change is indeed  
15 a toxicity.

16 DR. ANDERSON: Just quickly, that was  
17 precisely my point. The proposal number one says  
18 implementation. I think if it is revised to reflect  
19 what that gentleman said, it would take care of what  
20 we want. I don't think we can decide here today all  
21 of the things that -- or where we think we should go  
22 with it. The proposal number one says implementation,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 which obviously follows some decision about what you  
2 are going to do. My concern was that it does not  
3 allow for refinement of the idea before we get to the  
4 implementation stage. And what he just said, I think  
5 if that is included in here, at least to me it would  
6 be acceptable.

7 CHAIRMAN DOULL: Okay. Why don't we take  
8 five minutes to get lunch and give this a little  
9 thought. Then we can think about which proposals we  
10 want to move toward.

11 DR. CERNY: I'd like to remind all the  
12 presenters who have presented today if they could get  
13 FDA their electronic copies of their slides to  
14 Kimberly Topper. Her card is over there in the  
15 corner. So that the electronic copies can be put on  
16 dockets. Thank you.

17 (Whereupon, at 12:41 p.m., the meeting was  
18 adjourned for lunch to reconvene this same day at 1:04  
19 p.m.)  
20  
21  
22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:04 p.m.)

3 CHAIRMAN DOULL: I think we might as well  
4 go back to this charge that the Subcommittee has,  
5 which is to implement this first step, which is to get  
6 some focus groups set up. I think what I hear you all  
7 saying is that you are a little reluctant to develop  
8 or to recommend a focus group which is fairly narrow.  
9 We have talked about one for troponin, vasculitis and  
10 so on. We could probably recommend a focus group that  
11 is somewhat more general and allow that group then to  
12 consider the kind of biomarkers or the area of  
13 biomarkers that they felt would be most useful to  
14 explore. Keeping in mind that there are already these  
15 efforts by ILSI to look at hepatic something or other  
16 and nephrology sort of things.

17 In talking to Gloria and Jack and other  
18 members, one possibility would be to look -- if they  
19 have a focus group that would really kind of focus in  
20 on the proteomics area in general. Looking at the gel  
21 techniques and the SELDI and all the things that we  
22 have heard about in that area, and kind of sort

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 through that and perhaps pick out from that either a  
2 specific area or even with subgroups or whatever some  
3 way that they could move ahead in that area to look  
4 for biomarkers that would be useful in the nonclinical  
5 areas. Does that sound like a reasonable thing?

6 And the other one then, in order to  
7 incorporate the tissue-specific kind of damage that  
8 results in injury that you have a biomarker for,  
9 rather than making it focused in heart, which the  
10 troponin would do, or skeletal muscle or vasculitis or  
11 whatever, we could just leave it at that. That you  
12 should be looking at tissue specific biomarkers which  
13 will be helpful, both in the toxicologic and perhaps  
14 in the clinical things.

15 And then I guess what I am suggesting is  
16 maybe then that this group could spend some time  
17 looking at the available biomarkers in those areas and  
18 develop a recommendation that would focus in one. It  
19 is possible, for example, that some might say we think  
20 cardiac biomarkers are the way to go and that would be  
21 the focus. It makes it a little more difficult to put  
22 the committee together, because you have to get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 somebody who knows about cardiac biomarkers -- or  
2 biomarkers of cardiac damage versus biomarkers of some  
3 other kind of tissue damage.

4 Well, I guess we will have to formulate  
5 the recommendation, but I think what I heard you say,  
6 Gloria, was that it should be not too focused to begin  
7 with, because we ought to allow the experts to put  
8 together something. You know, we don't want to put  
9 those guys in a straightjacket in terms of what it is  
10 that this focus group is going to do. We want them to  
11 have sufficient flexibility so they can move in  
12 whatever direction is most likely to be highly  
13 profitable to everyone that is concerned about these  
14 areas. Is that what I hear the Subcommittee saying?

15 DR. ANDERSON: I was endorsing the  
16 formation of the expert working group that would  
17 eventually derive specific implementation strategies.  
18 But the caveat was that what is missing in this  
19 recommendation is that it doesn't allow them the  
20 opportunity to review what we have and recommend to us  
21 more specific areas in which to work. I think that is  
22 what you were --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DOULL: I think that is what we  
2 are saying to them. You know, you guys need to get  
3 together and focus in those areas and bring to us some  
4 kind of recommendations. They only problem -- you  
5 know, it is probably easier to make recommendations in  
6 the proteomics area -- for that group to get together  
7 and in the tissue specific damage area rather than  
8 just -- you know, we can say the committee doesn't  
9 feel comfortable with forming focus groups, you guys,  
10 and get a subcommittee to form focus groups. We can do  
11 better than that. And from what we have heard, we are  
12 talking about a focus group that would deal in  
13 proteomics and one that would deal in tissue injury  
14 and probably one that would deal in imaging after we  
15 talk about that this afternoon. So that would be  
16 fairly specific. And within those groups then, they  
17 could begin to put together a more specific  
18 recommendation. Yes, Ray?

19 DR. TENNANT: John, I guess I would like  
20 to direct a question to Frank. In terms of the  
21 vasculitis problem, is this a focus because  
22 preclinical methods, surrogates, rodents and so on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have not prospectively recognized this? Is this  
2 principally an adverse reaction that has occurred once  
3 the drug is in the clinic? Just I would like to  
4 understand the basis for what you want to achieve.

5 CHAIRMAN DOULL: It has occurred. We have  
6 got a bunch of those drugs.

7 DR. SISTARE: The reason that I put  
8 vasculitis on the table is because in our regulatory  
9 setting we see examples of animal studies where a deep  
10 bed vascular injury occurs in the absence of any sort  
11 of clinical pathology signal. So the first thing you  
12 see as you cut open the animal is you see hemorrhage  
13 or vascular injury and that kind of thing. And the  
14 question then comes up of, okay, what blood level did  
15 occur? Are there any other metabolites that you don't  
16 see in human, et cetera? So now they want to go into  
17 clinical trials with this and so what safety margin do  
18 you put on it? A safety margin of 10. Because there  
19 is no way of knowing when it is beginning to occur in  
20 the clinic.

21 So then you look at the class of drugs  
22 which this has been seen in, and then you say, gosh,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 we have some drugs on the market in this same class.  
2 Are we doing something clinically right now that we  
3 are not aware of? And we don't really know. So can you  
4 tease it out of the epidemiology? Can you look for  
5 increased incidence of thromboembolism or cerebral  
6 vascular accidents or something like this. And it is  
7 a very difficult thing to tease out. So the answer to  
8 that is right now it is stymieing the development of  
9 certain drugs. Which if it is totally irrelevant to  
10 the clinical situation, then one could argue we are  
11 keeping good drugs from getting into the marketplace.  
12 If it is extremely relevant but we are operating at  
13 low doses of these drugs which are inducing sort of a  
14 low level toxicity which may accumulate over time,  
15 maybe we have a public health issue on our hands and  
16 we are unaware of it. So we are hoping that if we  
17 have some sort of accessible biomarker that we can go  
18 from the animal study into the clinic, we can assess  
19 the relevance of it.

20 And I would like to -- since I am given  
21 the opportunity to speak -- I would just like to point  
22 out that with all these technologies, I don't want to

1 make the initiative a focus on a technology. I want  
2 the -- my proposal is for the Subcommittee to endorse  
3 a program or a project or a consortia area. Here is  
4 a problem. Here is an issue. Here is a question we  
5 are struggling with. The expert working groups, I  
6 think, should be charged with delineating what  
7 technologies to bring to answer that question. But  
8 what I am saying in this set of presentations is that  
9 there are some problematic areas here. And again,  
10 this is one because -- I put vasculitis on the table  
11 because it is one that I am privy to or I know of. The  
12 industry may say, given the opportunity, that  
13 hepatotoxicity is something that they are very  
14 concerned with and would like to be able to go from an  
15 animal study into the clinic and be able to predict  
16 better. That may be something that they bring to the  
17 table. And they have with the ILSI initiative.

18 So all I am saying is with all these kinds  
19 of different thought processes and all these different  
20 perspectives of the regulator and of the industry and  
21 of scientists in general, let's prioritize. Let's try  
22 to focus in on a project or on a problem, and then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 charge an expert working group. You guys figure out  
2 -- not you guys, I mean the expert working group --  
3 you guys figure out how you want to answer the  
4 question. My point in orchestrating the presentations  
5 this morning was to show that these are feasible.  
6 These are doable. And you can get a multi-parametric  
7 assessment by looking at a number of different  
8 endpoints in this same study design. We don't know  
9 which one is going to be the one that helps you, but  
10 all of these you can go from an animal into man with.  
11 They are all accessible. That is the common theme  
12 behind all these presentations this morning.

13 CHAIRMAN DOULL: Yes, I think, Frank, that  
14 is what the Subcommittee is attempting to say. It is  
15 that if you look in the area of proteomics, for  
16 example, at the various things that are available in  
17 there, it should be possible to sort out the ones that  
18 are going to give you the biggest bang for the buck.  
19 What you really do -- in the list that Farr gave us  
20 this morning, for example -- all those drugs that have  
21 adverse effects, you want to know if you can how you  
22 could sort that out. But there is a whole score of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 drugs which are sitting on the shelf which haven't  
2 been introduced because of those same problems. A lot  
3 of those might in fact be better if we could identify  
4 with biomarker techniques somehow which one of those  
5 was better than what is already there. Which should  
6 help. That is the whole basis of what we are doing.  
7 That is therapeutics. Yes, Jim?

8 DR. MacGREGOR: I could just maybe add to  
9 and reinforce a little bit what Frank said. I think  
10 what Frank is saying really is perhaps that the focus  
11 of the group could be the development of assessable  
12 tissue specific biomarkers by whatever technology, and  
13 then we would have to think about what kind of people  
14 should come on to that. But you could go to our  
15 sources of experts, the societies and make  
16 announcements, et cetera, the kind of mechanism we  
17 have talked about. And even define it that broadly.  
18 And my guess is you would end up with a large focus on  
19 proteomics because proteins are probably the major  
20 class of assessable biomarkers rather than  
21 specifically limiting it to a technology.

22 And you could even go further than that as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a committee and say well let's try to put some  
2 emphasis on the particular classes of toxicity that  
3 are of current regulatory concern. So that you get  
4 some people on the group that are focused in those  
5 areas.

6 CHAIRMAN DOULL: Yes. I think one thing  
7 the committee has to do, of course, is be aware of  
8 what else is going on in the field like the ILSI  
9 things. Yes, David?

10 DR. ESSAYAN: I think that clinical focus  
11 is actually going to be important to maintain as Frank  
12 has put it. Because that is going to help constitute  
13 those expert groups, and it is going to help focus the  
14 limited resources, however limited they may be, in  
15 areas where we stand the best chance of getting the  
16 maximum amount of information quickly and with the  
17 least expenditure in personnel time and resource.

18 CHAIRMAN DOULL: Jack?

19 DR. DEAN: John, if the committee would  
20 agree, it would be nice to focus on a couple of  
21 toxicities or target organs. Because in the past  
22 life, I remember spending some time on a CDC committee

1 that had the broad charge of biomarkers. And it was  
2 costed with a bunch of experts from different target  
3 organ disciplines. And the problem is everyone spent  
4 a lot of time saying why his target organ was more  
5 important than everyone else's. I think if  
6 cardiotoxicity is a significant issue or vasculitis or  
7 hepatotoxicity that we are not predicting well, then  
8 it would be nice to focus it on in the broad sense not  
9 what should be done but that those should be the focus  
10 areas. And the nice thing about your suggestion about  
11 the proteomics is that they could track in parallel  
12 looking at what are the proteins that are induced by  
13 liver injury as opposed to the issue of are there  
14 already tests out there like troponin and others that  
15 have some relevance today to just see how sensitive  
16 they are. So that is another way to think about  
17 trying to focus it a little bit.

18 CHAIRMAN DOULL: Yes. Those committees in  
19 fact might start off jointly. You know, defining  
20 areas, which might be helpful.

21 DR. DEAN: But it might be nice to think  
22 about whether we could focus on a couple of target

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 organ systems that we don't think are well served by  
2 the current methods.

3 CHAIRMAN DOULL: Yes. Certainly, we could  
4 give some examples in there which would illustrate  
5 what we have in mind without really binding them to  
6 those examples. Yes, Ray?

7 DR. TENNANT: I was just going to ask  
8 whether -- I understand the utility of the proteomic  
9 approach. But the microarray approach is a rapidly  
10 evolving discovery methodology. I don't see in my  
11 mind why a limitation or why a preference toward the  
12 proteomic. I mean, I think they represent distinct but  
13 equally potentially valuable approaches.

14 CHAIRMAN DOULL: My feeling is that is a  
15 little more blue sky than the genomic. I don't know.  
16 Maybe that is isn't so. Joy?

17 DR. CAVAGNARO: I think I would agree. And  
18 I wouldn't separate the groups. I will make the  
19 recommendation that I made last meeting, and that is  
20 there is a problem in the agencies clearly. It is  
21 significant enough that the limited resource dollars  
22 that are available within CDER and FDA are focusing on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this issue. And let's say it is troponin as a  
2 biomarker. We know now that ILSI is doing  
3 hepatotoxicity and renal toxicity and genotoxicity. So  
4 it allows us not to duplicate. So we have a finding.  
5 It is being used in the clinic. The problem is  
6 sponsors are not voluntarily using it. But that could  
7 be a recommendation.

8 My concern about separating the toolmakers  
9 from the clinician is that you will have a group of --  
10 what we have heard is that the people who are  
11 developing the technologies don't have the samples to  
12 validate them. So they have to be in the same group.  
13 I don't see separate committees. I see the clinicians  
14 with the preclinical people and the toolmakers and the  
15 -- you know, working together. Because that is what  
16 has happened. Everybody is working independently, and  
17 I think we are not leveraging. So if there was an  
18 opportunity for this working group to have expertise  
19 in proteomics and gene chips and the clinicians that  
20 are treating the patients, what they are seeing, then  
21 you have the opportunity. If you don't like troponin,  
22 like you said, it could be that during the course of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 asking the question, which is the clinical outcome --  
2 how do we better predict that clinical outcome -- some  
3 other marker might trump it. And then what we have  
4 done is we have -- this is the question. And I  
5 fundamentally believe that the focus should be on  
6 predicting, better predicting, potential human  
7 toxicity.

8 CHAIRMAN DOULL: Yes, which -- you know,  
9 the first charge is to endorse something, whether it  
10 is one or two committees or whatever. The second  
11 charge is to figure out how to get people for those.  
12 And I think you all went through that, Gloria, didn't  
13 you, before? You talked about going to professional  
14 societies and asking for recommendations. Going to  
15 the agencies and getting -- there are a whole variety  
16 of things one can do once you announce the idea that  
17 you want to do something to bring in the right kind of  
18 people. And I guess the skill with which that is done  
19 has a lot to do with how well the committee does their  
20 job. But in a sense, that is something that we don't  
21 do. We don't select a bunch of people. We give some  
22 methodology to get those committees or groups

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 activated, right? And you've already talked about  
2 methodology for doing that somewhat.

3 DR. ANDERSON: Yes, at some point we did.

4 DR. MacGREGOR: Well, I think some of the  
5 details of that methodology have to be worked out. But  
6 I think in our initial discussion, which happened at  
7 the organizational conceptual meeting for this  
8 Subcommittee, was that all these different mechanisms  
9 might be used to bring in nominees and the advisory  
10 committee system can open up a public docket to  
11 receive all these nominations from the different  
12 sources. But then at some point somebody is going to  
13 have to create a balanced committee from the  
14 recommendations. And the initial thought was that that  
15 would be this Subcommittee. That this would be the  
16 oversight committee that would pull out of those  
17 nominations and form the committee.

18 CHAIRMAN DOULL: I don't really see any  
19 great problem. That seems reasonable. You know, that  
20 you would create the document, solicit good people  
21 from all the different sources that would know that,  
22 and then this committee could be involved helpfully in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       formulating that committee. David?

2                   DR. ESSAYAN:       I think what I am  
3       interpreting Joy as saying is a multidisciplinary  
4       problem oriented approach is really going to be the  
5       best way to go because it will allow play within the  
6       field, but it will bring together all the components.  
7       I think an important part of this, which I was  
8       discussing with some people over lunch, is going to be  
9       that there is going to be in all likelihood no one  
10      discipline -- proteomics versus genomics versus  
11      whatever other omic technologies we have been  
12      discussing. It is going to be that a certain one is  
13      good in a certain application. And a lot of what will  
14      come out of these studies is going to be that sort of  
15      comparative study, where you can side-by-side a set of  
16      samples and figure out what the optimal use for each  
17      of the technologies is and the optimal context.

18                   CHAIRMAN DOULL: True. But the problem  
19      you have is what Jack says. You know, if you get one  
20      expert from each of the different areas, it is hard to  
21      get the committee to really get the job done in a  
22      sense. And I don't know how we are going to do that.



1 I guess it involves some skill in getting the names  
2 and selecting the committee so it is balanced and has  
3 an opportunity to do that by area, if you will. Jim?  
4 Jay?

5 DR. GOODMAN: I agree with what Joy was  
6 saying in terms of focus being in terms of what is  
7 happening in people and animal studies as more  
8 predictive. The issue I think we also need to address  
9 is the question of which people are we talking about  
10 and are we talking about samples in people or people  
11 who already have a particular illness, et cetera. The  
12 thing I think that certainly should be stayed away  
13 from -- and nobody has said it -- but we certainly  
14 should stay away from any inclination to try to press  
15 for some of these new technologies as sort of fishing  
16 expeditions for compounds currently under development.  
17 I think nobody has said it. That would be very, very  
18 wrong and I think that is something we should really  
19 stay away from.

20 DR. DEAN: Jay, I think there is very  
21 little chance any of us would offer our new compounds.

22 CHAIRMAN DOULL: Well, I -- we need to go

1 back and review that procedure, I guess, that you all  
2 talked about formerly of how you might get people for  
3 this. Certainly, we need to ask NIH. They are very  
4 excited and interested in this. NIEHS probably has --  
5 there will be biomarkers in transgenics, Ray, that  
6 will need to be included. And the professional  
7 societies, the ILSI groups that are already  
8 functioning. So there are a lot of people that  
9 hopefully would have good suggestions as to how this  
10 committee might be put together and formulated. So the  
11 next step really would be having agreed that we are  
12 going to endorse a focus group or two focus groups if  
13 it looks like that is more appropriate. And that we  
14 then will proceed with recruiting experts to sit on  
15 those focus groups that will deal with some of the  
16 things that we have identified.

17 DR. DEAN: Mr. Chairman, could we just  
18 advertise for the expertise we want in the Federal  
19 Registry? Would that be an easy way to --

20 CHAIRMAN DOULL: That is part of it. When  
21 you open a docket, don't you do that? You open a  
22 docket and that means then that there is something in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the Federal Register that says --

2 DR. DEAN: Calling for expertise?

3 DR. MacGREGOR: Well, you have to put out  
4 a Federal Register notice, and then you can announce.  
5 They send it in and there is a mechanism for receiving  
6 that. And they can receive recommendations from any  
7 source that comes in.

8 DR. DEAN: Including self-nomination?

9 DR. MacGREGOR: Self-nomination,  
10 nominations from collaborator groups, individuals, the  
11 public, anything.

12 DR. DEAN: Okay.

13 CHAIRMAN DOULL: But we are going to go  
14 beyond that. We are going to go out there and grab a  
15 few people by the neck and say, hey, you need to help  
16 with this. It is important. If you just sit around and  
17 wait for people to come, you are still waiting when  
18 the millennium comes.

19 DR. CAVAGNARO: But it is real important  
20 when we specify the expertise, I think, to make sure  
21 you have translators in that mix. What I mean is that  
22 there are many societies that have their own experts

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and they talk the same language. But it doesn't  
2 advance what we are trying to do. Because what you  
3 need to do is translate the molecular biology and the  
4 proteomics to people who are actually -- so, I don't  
5 know how you advertise for that expertise, but I just  
6 want to make sure that we are not going to be just  
7 getting individuals who know very much about their  
8 particular tools or sciences, et cetera, and can't  
9 communicate once -- because I really fundamentally  
10 think that this is an opportunity to get a dialogue  
11 between true experts and then to translate that into  
12 something.

13 DR. ANDERSON: I think he said it when he  
14 said problem solving. If we can keep them focused on  
15 the fact that we are trying to solve a problem or some  
16 problems, that might help that.

17 CHAIRMAN DOULL: Actually, we have had  
18 some people in these last two meetings who meet, I  
19 think, your criteria. I have felt that we have had  
20 some really good people to translate some of these  
21 issues. David?

22 DR. ESSAYAN: Yes. The translators for