

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ANTI-INFECTIVE DRUGS ADVISORY COMMITTEE

9:05 a.m.

Thursday, January 9, 2003

Grand Ballroom
Marriott Washingtonian Center
9751 Washingtonian Boulevard
Gaithersburg, Maryland

ATTENDEES

COMMITTEE MEMBERS:

JAMES E. LEGGETT, JR., M.D., Chairman
Associate Professor of Medicine
Oregon Health Sciences University
5050 NE Hoyt, Suite 540
Portland, Oregon 97213

TARA TURNER, PHARM.D., Executive Secretary
Advisors and Consultants Staff, HFD-21
Center for Drug Evaluation and Research
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

DAVID M. BELL, M.D.
Assistant to the Director for Antimicrobial Resistance
National Center for Infectious Diseases
Centers for Disease Control and Prevention
1600 Clifton Road, N.E. (C-12)
Atlanta, Georgia 30333

STEVEN EBERT, PHARM.D.
Consumer Representative
Department of Pharmacy
Meriter Hospital
202 South Park Street
Madison, Wisconsin 53715

CELIA J. MAXWELL, M.D.
Assistant Vice President for Health Affairs
Office of the Vice President for Health Affairs
Howard University
2041 Georgia Avenue, N.W., Suite 6000
Washington, D.C. 20060

JAN E. PATTERSON, M.D.
Professor of Medicine and Pathology
University of Texas Health Science Center
at San Antonio
Division of Infectious Diseases
Mail Code 7881
7703 Floyd Curl Drive
San Antonio, Texas 78229

ATTENDEES (Continued)

COMMITTEE MEMBERS: (Continued)

ELLEN R. WALD, M.D.
Chief, Allergy, Immunology and Infectious Diseases
Children's Hospital of Pittsburgh
3705 Fifth Avenue at DeSoto Street
Pittsburgh, Pennsylvania 15213

ACTING INDUSTRY REPRESENTATIVE (non-voting):

KENNETH R. BROWN, M.D.
Independent Consultant on Vaccines,
Antibiotics, and Tropical Medicine
8111 Winston Road
Philadelphia, Pennsylvania 19118

CONSULTANTS (voting):

JOHN S. BRADLEY, M.D.
Director, Division of Infectious Diseases
Children's Hospital and Health Center
3020 Children's Way, MC 5041
San Diego, California 92123

JANET D. ELASHOFF, PH.D.
Director, Division of Biostatistics
Cedars-Sinai Medical Center
8700 Beverly Boulevard
Los Angeles, California 90048

JUDITH R. O'FALLON, PH.D.
Cancer Center Statistics, Kahler 1A
Mayo Clinic
200 First Street, S.W.
Rochester, Minnesota 55905

DONALD M. PORETZ, M.D.
Infectious Diseases Physicians, Inc.
3289 Woodburn Road, #200
Annandale, Virginia 22003

ATTENDEES (Continued)

CONSULTANTS (voting): (Continued)

L. BARTH RELLER, M.D.
Professor of Medicine (Infectious Diseases)
Director of Clinical Microbiology
Duke University Medical Center
Box 3938
Durham, North Carolina 27710

MARK E. RUPP, M.D.
Associate Professor, Infectious Diseases
Medical Director, Department of Healthcare Epidemiology
984031 Nebraska Medical Center
Omaha, Nebraska 68198

GUEST SPEAKERS (non-voting):

RICHARD CARNEVALE, D.V.M.
Vice President
Scientific, Regulatory, and International Affairs
Animal Health Institute
1325 G Street, N.W., Suite 700
Washington, D.C. 20005

MICHAEL D. APLEY, D.V.M., PH.D.
Associate Professor
Department of Veterinary Diagnostic and
Production Animal Medicine
Iowa State University
1452 S. 16th Street
Ames, Iowa 50011

FOOD AND DRUG ADMINISTRATION STAFF:

RENATA ALBRECHT, M.D.
MARY BARTHOLOMEW, PH.D.
MARK GOLDBERGER, M.D.
JEAN MULINDE, M.D.
JOHN POWERS, M.D.
LINDA TOLLEFSON, D.V.M., M.P.H.

ATTENDEES (Continued)

ALSO PRESENT:

TOM BURKGREN, D.V.M., M.B.A.
American Association of Swine Veterinarians

TONY COX, JR., PH.D.
Cox Associates

STEVE PROJAN, PH.D.

PAUL SUNDBERG, D.V.M., PH.D.
Assistant Vice President, Science and Technology
National Pork Board

C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT By Dr. Tara Turner	9
OPENING COMMENTS By Dr. Mark Goldberger	12
OVERVIEW By Dr. Linda Tollefson	14
EXPLANATION OF ANTIMICROBIAL RISK ASSESSMENT By Dr. Mary Bartholomew	25
RANKING OF ANTIMICROBIAL DRUGS RELATIVE TO THEIR USE IN ANIMALS By Richard Carnevale	44
RELATING FOOD ANIMAL AND HUMAN ANTIMICROBIAL USE By Dr. Mike Apley	71
PROCESS OF RANKING DRUGS BY IMPORTANCE IN HUMAN MEDICINE By Dr. John Powers	105
OPEN PUBLIC HEARING PRESENTATIONS:	
By Dr. Paul Sundberg	124
By Dr. Tom Burkgren	133
By Dr. Tony Cox	138
By Dr. Steve Projan	146
SUMMARY AND CHARGE TO THE COMMITTEE By Dr. Mark Goldberger	150
COMMITTEE DISCUSSION	153
CONCLUDING COMMENTS By Dr. Mark Goldberger	202

P R O C E E D I N G S

(9:05 a.m.)

1
2
3 DR. LEGGETT: I'd like to welcome you to the
4 Anti-Infective Drugs Advisory Committee meeting regarding
5 the ranking of antimicrobial drugs according to their human
6 importance in human medicine. I guess that's human
7 importance or importance.

8 Let's start off by going around the table and
9 having everyone here tell us who they are and where they're
10 from. Dr. Brown, would you like to start off?

11 DR. BROWN: Ken Brown. I'm retired from
12 industry and I teach at the University of Pennsylvania.

13 DR. PORETZ: Don Poretz. I'm a practitioner in
14 infectious diseases in Fairfax, Virginia.

15 DR. WALD: Ellen Wald, infectious diseases,
16 Children's Hospital of Pittsburgh.

17 DR. BRADLEY: John Bradley, pediatric
18 infectious diseases, Children's Hospital, San Diego.

19 DR. RUPP: Good morning. Mark Rupp, infectious
20 diseases, University of Nebraska.

21 DR. ELASHOFF: Janet Elashoff, biostatistics,
22 Cedars-Sinai and UCLA.

23 DR. EBERT: Steve Ebert, an infectious disease
24 pharmacist at Meriter Hospital, clinical professor of
25 pharmacy, University of Wisconsin.

1 DR. PATTERSON: Jan Patterson, medicine
2 infectious diseases, University of Texas-San Antonio.

3 DR. LEGGETT: Jim Leggett, infectious diseases,
4 Providence Portland Medical Center and Oregon Health
5 Sciences University.

6 DR. TURNER: Tara Turner, Executive Secretary
7 for the committee.

8 DR. O'FALLON: Judith O'Fallon, Cancer Center
9 Statistics, Mayo Clinic.

10 DR. RELLER: Barth Reller, adult infectious
11 diseases, meta-microbiology at Duke University Medical
12 Center.

13 DR. MAXWELL: Celia Maxwell, adult infectious
14 diseases, Howard University.

15 DR. BELL: David Bell, National Center for
16 Infectious Diseases, Centers for Disease Control and
17 Prevention in Atlanta.

18 DR. BARTHOLOMEW: Mary Bartholomew, biometrics
19 team, Center for Veterinary Medicine, FDA.

20 DR. TOLLEFSON: Linda Tollefson, Center for
21 Veterinary Medicine, FDA.

22 DR. MULINDE: Jean Mulinde, medical team
23 leader, Division of Anti-Infective Drug Products, FDA.

24 DR. ALBRECHT: Renata Albrecht, Director,
25 Division of Special Pathogen and Immunologic Drug Products,

1 FDA.

2 DR. POWERS: John Powers, lead medical officer,
3 antimicrobial drug development and resistance initiatives,
4 in the Office of Drug Evaluation IV at FDA.

5 DR. GOLDBERGER: And Mark Goldberger, the
6 Office of Drug Evaluation IV, FDA.

7 DR. LEGGETT: Thank you.

8 Tara.

9 DR. TURNER: The following announcement
10 addresses the issue of conflict of interest with respect to
11 this meeting and is made a part of the record to preclude
12 even the appearance of such at this meeting.

13 The topics of today's meeting are issues of
14 broad applicability. Unlike issues before a committee in
15 which a particular product is discussed, issues of broader
16 applicability involve many industrial sponsors and academic
17 institutions.

18 All special government employees and federal
19 guests have been screened for their financial interests as
20 they may apply to the general topics at hand. The
21 following participants have reported no current financial
22 interests with regards to pharmaceutical companies: Drs.
23 James Leggett, Jr., David Bell, Barth Reller, and Judith
24 O'Fallon. Dr. Mark Rupp reported a financial interest in a
25 pharmaceutical company covered under CFR 2640.202(b), de

1 minimus exemption.

2 The following participants have reported
3 interests in pharmaceutical companies and the Food and Drug
4 Administration has granted general matters waivers to the
5 following SGEs, which permits them to participate in
6 today's discussions: Drs. Ellen Wald, Alan Cross, Steven
7 Ebert, Celia Maxwell, Jan Patterson, John Bradley, Donald
8 Poretz, and Janet Elashoff.

9 A copy of the waiver statements may be obtained
10 by submitting a written request to the agency's Freedom of
11 Information Office, room 12A-30 of the Parklawn Building.

12 Because general topics impact so many
13 institutions, it is not prudent to recite all potential
14 conflicts of interest as they apply to each member and
15 consultant. FDA acknowledges that there may be potential
16 conflicts of interest, but because of the general nature of
17 the discussion before the committee, these potential
18 conflicts are mitigated.

19 With respect to FDA's invited guest speakers,
20 there are reported interests which we believe should be
21 made public to allow the participants to objectively
22 evaluate their comments. Dr. Michael Apley is a scientific
23 adviser to Schering, Intervet, Farnam, and Novartis. He
24 lectures for Novartis, Intervet, Pharmacia, Pfizer, and
25 Merial. Dr. Apley is a member of the Beef Cattle Advisory

1 Boards for Elanco, Ft. Dodge, and Intervet, and has
2 received funds from Pharmacia, Pfizer, and Elanco for drug-
3 related research.

4 In addition, we would like to disclose that Dr.
5 Kenneth Brown is participating in this meeting as an acting
6 industry representative acting on behalf of regulated
7 industry. Dr. Brown owns stock in Merck and has stock
8 options in the firm. As of July 2002, his 401(k) owns
9 shares in Genentech, Johnson & Johnson, and Pfizer. During
10 the summer Dr. Brown has been visiting scientist at Gordon
11 College. The college has a contract with Merck that is
12 currently inactive. He is a consultant to Wyeth and works
13 at Merck two days a month. Dr. Brown has been an expert
14 witness for Merck.

15 In the event the discussions involve any other
16 products or firms not already on the agenda, for which FDA
17 participants have a financial interest, the participants'
18 involvement and their exclusion will be noted for the
19 record.

20 With respect to all other participants, we ask
21 in the interest of fairness that they address any current
22 or previous financial involvement with any firm whose
23 product they may wish to comment upon.

24 Thank you.

25 DR. LEGGETT: Thank you. Dr. Goldberger, would

1 you like to give us some opening comments?

2 DR. GOLDBERGER: I'd like to welcome everybody
3 here to the second day of this advisory committee, to what
4 should be an interesting and important day.

5 Some of us within the Center for Drugs have
6 been helping the folks in the Center for Veterinary
7 Medicine over the last few years with their efforts to
8 provide better information about approaches to the
9 development of antimicrobial drugs for veterinary use.
10 What we were asked to do was to provide information that
11 would sort of provide a basis for looking at the importance
12 of antimicrobial drugs in human medicine.

13 I want to make just a couple of observations
14 about this.

15 One is, this is really not explicitly a part of
16 our normal regulatory process when we approve new
17 antimicrobials for human use. That is not to say that we
18 don't try to get a sense of what their added value is,
19 particularly if there is, as an example, an unexpected
20 toxicity or safety signal. But there is no requirement
21 that a drug -- for instance, a new antimicrobial -- offer
22 added value or be particularly important. It simply needs
23 to be safe and effective. So this is a function that we've
24 done to help the folks at CVM, but it's not a normal part
25 of our day-to-day process.

1 Second thing that's important to note, and we
2 made this clear from the outset, that we were doing this
3 totally from the perspective of their importance in human
4 medicine and the potential importance in treating patients.

5 We recognize that ultimately -- and this is underway now
6 -- this type of approach and the information that we've
7 provided needs to be integrated into a larger approach to
8 provide guidance about how to proceed, and that obviously a
9 number of other factors need to be taken into account.

10 But what we were asked to do, and the
11 information that we provided, really focused on the issue
12 of what is the importance of antimicrobial drugs in human
13 medicine, and what kind of elements go into making that
14 determination. The questions that we'll be talking about
15 this afternoon really are to allow you guys to give us some
16 additional advice in that area.

17 We are very pleased that there will be
18 representatives from both the producer and the veterinary
19 communities who will be giving talks as part of this
20 meeting, both planned talks and additional talks in the
21 open public hearing. Although, as I indicated, our goal
22 was really from the CDER perspective to focus on the
23 importance in human medicine, we realize that it's
24 extremely important that people on the committee have a
25 broader understanding of what this overall process is, and

1 these talks, as well as the talks by the folks from the
2 Center for Veterinary Medicine, as well as John Powers,
3 will hopefully provide that broad perspective which may
4 also be important should additional scientific questions
5 have to come before this committee, or perhaps a meeting of
6 this committee and the CVM committee to outline and deal
7 with some of the other scientific issues that come up in
8 making this type of advice available to the veterinary
9 community.

10 I think I'll stop at that point.

11 DR. LEGGETT: Thank you. Dr. Tollefson, would
12 you like to start us off with an overview?

13 DR. TOLLEFSON: Good morning. I want to
14 express my appreciation and thanks to the advisory
15 committee for taking the time to provide us with your
16 expertise and just good advice on trying to deal with this
17 issue. We really do appreciate your input on the issue of
18 ranking drugs for importance in human medical therapy.

19 Ranking the drugs is a very important component
20 of a new draft guidance from the Center for Veterinary
21 Medicine that provides a pathway to evaluate the safety of
22 animal antimicrobial drugs with respect to their ability to
23 cause resistance and thereby decrease the risk that
24 resistant pathogens will affect humans by contaminating the
25 food supply.

1 I want to cover several parts, and I want to do
2 a brief background of the issue on the scope of the new
3 guidance for industry, which I just mentioned, spend some
4 time on the components of the qualitative antimicrobial
5 resistance risk analysis, which is a key part of the
6 guidance. This is going to be rather confusing. We
7 recognize that you are busy people, you have limited time.

8 We did not expect you to go through the guidance in
9 detail. We've been through it, of course, several times
10 and it still can be confusing to us.

11 So what we've decided to do is have Dr. Mary
12 Bartholomew at the Center walk you through the guidance
13 using a hypothetical example, and we're hopeful that this
14 will clear up some of the mechanics of the guidance in more
15 detail.

16 The overall human food safety evaluation of
17 antimicrobial new animal drugs includes consideration of
18 several things, not just the resistance issue. The
19 residues of animal drugs in food, the effects of the animal
20 drug residues on human intestinal microflora, and then the
21 microbiological effects of animal drugs on bacteria of
22 human health concern, the antimicrobial resistance issue.

23 This last point is relatively new. It was not
24 considered for all classes of antimicrobials until
25 approximately late 1998. At that time we changed our

1 policy to include in the pre-approval evaluation process
2 the potential human health effects resulting from the
3 emergence of bacterial resistance due to that use of the
4 antimicrobial in animals, in food animals specifically.

5 Just to make sure that everybody is on the same
6 page in terms of the hazard or the risk, what we're dealing
7 with is the issue that antibiotic-resistant food-borne
8 pathogens may be present in or on animals. By "in" we're
9 referring to the enteric system, so it's really on animals
10 as a result of drug use in animals. Then those resistant
11 pathogens may contaminate carcasses at the slaughter plant
12 and be transmitted to humans through consumption of
13 contaminated food and also handling of contaminated food
14 and cross-contamination issues.

15 Then when these resistant bacteria cause an
16 illness that needs treatment, medical therapy may be
17 compromised if the pathogenic bacteria are resistant to the
18 drug or drugs used for treatment.

19 We've been working on various aspects of our
20 strategy to address the issue of antimicrobial resistance
21 for the last four years. It's multi-faceted. It includes
22 this revised pre-approval assessment. That's the focus of
23 the new guidance to industry. We've also spent a great
24 deal of effort on improved surveillance activities, looking
25 at development of resistance and changes in resistance.

1 We've supported judicious use principles for food animal
2 veterinarians, and we've also undertaken expanded research
3 activities. Also we're part of the federal public health
4 action plan to combat antimicrobial resistance and many of
5 these activities fall under the scope of that broader
6 issue.

7 What the committee has been asked to consider
8 is one component of this revised pre-approval assessment,
9 the ranking of the drugs based on importance in human
10 medical therapy. The pre-marketing approval assessment
11 takes the form of a draft guidance for industry, a copy of
12 which we provided to you. The status of that is such that
13 we are now addressing comments received on the document, as
14 well as this ranking, which is an important part of the
15 document, both written comments and comments that we
16 received at a public meeting in October, where we went
17 through the guidance in a lot of detail.

18 We plan to revise the guidance based on these
19 comments as well as the discussion with you today.
20 Guidance for industry, unlike a regulation, is much more
21 easily changed to reflect new science, additional comments,
22 and so on. So even when the guidance is finalized we
23 consider it an ongoing work in progress and we can make
24 changes based on new information.

25 Now, the focus of the guidance is primarily on

1 human exposure to antimicrobial-resistant bacteria, or
2 resistance determinants through ingestion of animal-derived
3 food. We recognize that the emergence, spread, and
4 persistence of antimicrobial resistance is complex and
5 involves many pathways. We believe that the food-borne
6 pathway is the most significant and most directly linked to
7 antimicrobial drug use in animals, but that isn't to say
8 that it's not the only pathway.

9 The guidance is applicable to both therapeutic
10 and non-therapeutic antimicrobial drugs intended for use in
11 food-producing animals. Drugs in food animals are used to
12 treat disease, prevent and control disease, and then also
13 can enhance performance, growth, feed efficiencies.

14 The components of the risk analysis consist of
15 a hazard identification, a qualitative antimicrobial
16 resistance risk assessment, and then risk management
17 strategies to deal with any potential risk to humans. The
18 identification of the hazard is the first step of the
19 process and it's really outside and separate from the
20 qualitative antimicrobial resistance risk assessment. The
21 hazard here is defined as human illness that is caused by a
22 specified antimicrobial resistant bacteria, is attributable
23 to a specified animal-derived food commodity, and is
24 treated with a human antimicrobial drug of interest.

25 The three main elements of a qualitative risk

1 assessment are the likelihood of whether use of the drug in
2 food-producing animals will first cause bacteria to become
3 resistant, that humans will be actually exposed to the
4 resistant bacteria, and that exposure will have a human
5 health impact. We've elected to do a qualitative risk
6 assessment because we anticipate that limited information
7 will be available when a new drug is brought forward to us
8 to be approved. If more quantitative data are available,
9 they would certainly be used and would take precedence over
10 the qualitative risk assessment.

11 Now, the release assessment describes the
12 probability that factors related to the animal drug and its
13 use in animals will result in emergence of resistant
14 bacteria or resistant determinants in the animal.

15 Then the exposure assessment describes the
16 likelihood of human exposure to the resistance determinant
17 of human health significance that arises in a food-
18 producing animal as a consequence of the use of the drug in
19 that animal.

20 The exposure assessment also provides a
21 qualitative estimate of the probability of this exposure
22 occurring, and Dr. Bartholomew will illustrate that, as
23 well as the components of this qualitative antimicrobial
24 resistance risk assessment in more detail using an example.

25 The components of the qualitative risk

1 assessment then consist of the release, the exposure, and
2 the consequence, which is Appendix A in your document. The
3 consequence is the ranking of the drugs based on human
4 medical importance. It's entirely the same thing; it's
5 equivalent. So therefore, it accounts for one-third of the
6 estimation of risk, but it's very important to point out
7 that it does not equate to risk and does not equate to a
8 categorization of drugs that I'll describe a little bit
9 later. In other words, because it's high-consequence, it
10 doesn't necessarily mean that it's going to come out as a
11 category 1 drug which carries the most restrictions on use.

12 We asked the Center for Drug Evaluation and
13 Research to rank all drugs, not just those used in food-
14 borne disease treatment. We recognize first that many
15 human drugs are used to treat enteric disease. And of
16 course, we wanted to base the ranking on the best available
17 science, which demands that we consider cross-resistance
18 between classes as well as within classes, also factors
19 related to drug efficacy. Dr. John Powers will describe
20 this in more detail in his presentation, which will go
21 through the factors. There are 10 factors that were used
22 to come up with the ranking of the drugs.

23 Then we'll be asking for your comments on these
24 factors and whether there should be more weight placed on
25 certain factors. For example, that which concerns the

1 treatment of food-borne disease. Or, are there a subset of
2 factors that should drive the ranking because they more
3 clearly concern a connection to the use of the drugs in
4 animals?

5 The next component of the guidance is a risk
6 estimation which then integrates the release, exposure, and
7 consequence assessments. This qualitatively, because it's
8 based on a qualitative risk assessment, characterizes the
9 potential for human health to be adversely impacted by the
10 emergence of resistance associated with the drug used in
11 animals, in food-producing animals.

12 The risk estimation is the point which leads to
13 the ranking of drugs according to risk. Sorry, I didn't
14 mean to use the word "ranking" because that's very
15 confusing. It leads to the placement of drugs according to
16 the risk to humans, and in turn these risk-based categories
17 are associated with certain risk management strategies that
18 we can take to control the risk. These parts of the
19 process are where the veterinary medical aspects of the
20 drug are considered mostly. There are other areas too.

21 Now, the risk management categories are very
22 simple. There are three of them. Category 1 equates to a
23 high risk estimate and we intend to approve the drugs only
24 on strictly limited use conditions. I'll describe those in
25 a little bit. Category 2 then is medium, and it's

1 intermediate restriction, and category 3 would be the least
2 restriction on drug use. This may be the case where drugs
3 could be used, possibly with no restriction or on a large
4 number of animals for non-therapeutic purposes.

5 Now, we attempted to draft the guidance
6 document so that all veterinary antimicrobials would be
7 potentially approvable in food animals by using risk
8 management strategies. We do not intend to dampen the
9 development of veterinary antimicrobials, but rather
10 develop a more reliable and predictable process for
11 approval.

12 The risk management strategies are somewhat
13 self-evident. One would be limitations on marketing. For
14 certain antimicrobial drugs, we feel that veterinary
15 involvement is important for ensuring safe use. The
16 categories available to us are prescription, over-the-
17 counter, or something that we term a veterinary feed
18 directive, which for your purposes should be considered as
19 a prescription product.

20 The extent of use and conditions of use of
21 antimicrobial drugs influences the selection pressures for
22 resistance development. So restricting use can be a risk
23 management tool to determine the safe conditions of use of
24 the drug for a food-animal drug. Specific drug use
25 limitations are found in table 4 of the guidance document.

1 Basically they concern restricting both the duration of
2 use and the method of administration.

3 The possible risk management steps are
4 summarized in table 5 in the document, and they're
5 stratified by the category of concern. Category 1 would
6 only carry prescription marketing status. Category 2 would
7 also only carry prescription status. However, in category
8 2 use of the drug in animal feed could be allowed,
9 depending on the other parts of the evaluation.

10 We have the ability in veterinary medicine to
11 restrict extra-label use or off-label use. And the extent
12 of use I just describe, and those are described as
13 categories again of low, medium and high. Unfortunately,
14 we couldn't get away from that.

15 Post-approval monitoring refers to the
16 surveillance system. It's called the National
17 Antimicrobial Resistance Monitoring System that is a three-
18 armed system of animals at slaughter plants, which is run
19 by the U.S. Department of Agriculture; humans ill with
20 food-borne disease, which is done by the Centers for
21 Disease Control and Prevention, the National Center for
22 Infectious Diseases; and then retail meat, which is done at
23 the Center for Veterinary Medicine. Then, of course,
24 advisory committee review is another option that we always
25 have.

1 To summarize, we feel the draft guidance
2 outlines a risk-based approach for evaluating these
3 antimicrobial resistance concerns. Ranking of the drugs
4 according to human medical importance represents
5 approximately one-third of the qualitative risk assessment
6 process. Our goal is to provide for the safe use of
7 antimicrobials in food-producing animals, while ensuring
8 that significant human antimicrobial therapies are not
9 compromised or lost due to the use of these drugs in food
10 animals.

11 The risk to humans, then, is managed through
12 application of drug use limitations and restrictions to
13 maximize the availability of antimicrobials for animal
14 therapy. That's our theoretical approach. That's how we
15 wrote the guidance to accomplish that goal.

16 We very much look forward to continuing working
17 with CDER and the public and the industry and other valued
18 stakeholders to successfully address this very complex
19 health problem. I thank you very much for your attention.

20 DR. LEGGETT: Thank you. Are there any
21 questions for Dr. Tollefson?

22 (No response.)

23 DR. LEGGETT: Very good. Thank you.

24 The next speaker will be Dr. Mary Bartholomew,
25 who will give us an explanation of antimicrobial risk

1 assessment.

2 DR. BARTHOLOMEW: Good morning. I too would
3 like to take this opportunity to thank the committee for
4 their time, and I'd also like to thank Carol Andrus and
5 Bill Flynn for their work on condensing this presentation
6 from three presentations that we made at our public meeting
7 in October.

8 Now that Dr. Tollefson has provided you with a
9 general overview of the risk assessment process, I'd like
10 to take the opportunity to run an example of a hypothetical
11 drug through the risk assessment process in hopes of
12 helping us understand the process.

13 First, as outlined in the draft guidance, the
14 risk analysis process is intended to organize and integrate
15 an array of relevant information and to provide guidance as
16 to how this information may be used to manage risk. As
17 mentioned earlier in Dr. Tollefson's discussion of the
18 qualitative risk assessment process, it's composed of the
19 hazard identification process, the qualitative
20 antimicrobial resistance risk assessment, which has three
21 parts, release assessment, exposure assessment, consequence
22 assessment, and the integration of the three parts in the
23 risk estimation process. Also the risk management steps.

24 Prior to initiating the risk assessment, we
25 must identify the hazard and the conditions that influence

1 the occurrence of the hazard. By definition, the hazard is
2 human illness that is caused by a specified antimicrobial
3 resistant bacteria, is attributable to a specified animal-
4 derived food commodity, and is treated with the human
5 antimicrobial drug of interest.

6 As stated in the guidance, we recommend that
7 the hazard identification step of the risk assessment
8 include drug product information, and that would consist of
9 information for the example such as miraclemycin is the
10 name of the drug. Its trade name is Miracin. It's in the
11 class, second generation, curalloside, with a CAS number of
12 2002.

13 Its use information, we're going to talk about
14 dosage regimen. It's intended to be administered as an
15 oral solution in drinking water for 5 days. It's going to
16 be given for the treatment of swine respiratory disease,
17 and the target species, of course, then would be swine.

18 In addition to the drug-specific information,
19 we need information about bacteria, resistance determinants
20 information, including antimicrobial susceptibility testing
21 methodology, as well as any data gaps or emerging science
22 related to the particular drug-bug combination.

23 The release assessment describes the
24 probability that factors related to the antimicrobial new
25 animal drug and its use in animals will result in the

1 emergence of resistant bacteria or resistance determinants
2 in the animal. That was defined before as the probability
3 that resistant bacteria or resistance determinants are
4 present in the target animal as a consequence of the
5 antimicrobial new animal drug use. That probability would
6 be expressed, since this is qualitative risk assessment, as
7 low, medium or high.

8 The boundaries of the release assessment span
9 from the point the new antimicrobial drug is administered
10 to the food-producing animal to the point the animal is
11 presented for slaughter or animal-derived food is
12 collected.

13 For the purposes of this risk assessment, a
14 number of relevant factors are suggested for consideration.

15 They're listed here on the slide. Some of them overlap
16 with those in the hazard identification set. They are:
17 product and drug substance description, mechanism and type
18 of action, spectrum of activity, PK/PD, resistance
19 selection pressures, prevalence of resistance, resistance
20 mechanisms, resistance transfer, other relevant
21 information.

22 So the sponsors may consult with FDA -- in
23 fact, we encourage them to do so -- to determine the
24 specific factors that are most relevant to the new animal
25 drug in question. The sponsor or FDA may consider

1 additional factors to take into account any specific
2 considerations pertinent to the drug and its proposed
3 conditions of use.

4 The relative significance of any one of these
5 particular factors among all factors pertinent to the
6 release assessment may vary, depending on the specific new
7 animal drug under consideration. Therefore, certain
8 factors may carry greater weight than other factors when
9 determining the overall release assessment ranking.

10 So we turn to our example of Miracin. In the
11 interest of time, I will not provide an in-depth
12 explanation related to each of these criteria for this
13 particular example. Rather, this background information
14 will be handed out after my talk to the committee, and it
15 was presented in our October meeting so that you can visit
16 our web site and see the slides from the presentation in
17 which this was done in a series of several slides with more
18 explanation.

19 I will move directly to the outcome comments
20 and conclusions for each of these criteria.

21 Miracin is a bactericidal drug with some
22 activity against Gram-positives. Campylobacter exhibit low
23 MICs. The PK/PD parameters are favorable for minimizing
24 resistance release. It has rapid absorption and high
25 distribution to the tissues, and the serum concentration

1 greater than the MIC for 6 hours makes for minimizing
2 resistance release. And the in vivo post-antibiotic effect
3 is about 3-and-a-half hours.

4 The transfer of resistance is infrequent.
5 There's a low baseline resistance and a low mutation rate.

6 The FDA recommends that the sponsor use the
7 conclusions obtained from assessing all relevant factors to
8 derive an overall qualitative ranking for the release
9 assessment, and in this particular case, the release
10 assessment conclusion for Miracin would be that there is a
11 low probability of release.

12 Let me turn to the third component of the
13 assessment. Well, let me say a few more words about that.

14 The overall conclusions are expressed as low,
15 medium and high, and as we mentioned, this is just one of
16 the three. So it's intended to estimate the probability
17 that resistant bacteria or resistance determinants will
18 occur in animals as a consequence of the proposed drug use
19 in animals.

20 It's also important to note that if sufficient
21 information regarding a factor is not available or has not
22 been generated for the assessment, the most conservative
23 significance of the particular factor may be assumed. That
24 is, the factor would be assumed to have a high likelihood
25 of contributing to resistance emergence. And that's one of

1 these factors. So if a number of those would turn out to
2 -- would be unknown, we would assume high likelihood, and
3 that would tend to bump up the overall release assessment
4 probability.

5 The next component is the exposure assessment.

6 The exposure assessment describes the likelihood of human
7 exposure to the hazardous agent through particular exposure
8 pathways. And again, the strict definition from the
9 guidance document was that the exposure assessment is the
10 probability for humans to ingest the resistant bacteria or
11 resistance determinants in question from the particular
12 relevant food commodity.

13 The exposure assessment describes the
14 likelihood of exposure to the hazardous agent through
15 particular exposure pathways, and at this time assessing
16 human exposure to the hazardous agent is focused on food-
17 related pathways. FDA believes that human exposure through
18 the ingestion of resistant bacteria from animal-derived
19 foods represents the most significant demonstrable pathway
20 for human exposure to resistant bacteria or resistance
21 determinants as a consequence of drug use in the food-
22 producing animals. As we say, it's the most significant.

23 The probability for exposure is also
24 qualitatively determined to be low, medium, or high.

25 The exposure assessment may be accomplished by

1 integrating information that characterizes the probability
2 for humans to be exposed to given bacteria via a particular
3 food commodity. We're not talking about resistance at this
4 point. This is just being exposed to the bacteria. Then
5 the probability that the bacteria of interest to which the
6 humans are exposed are resistant to a particular
7 antimicrobial drug or possess associated resistance
8 determinants.

9 Returning to our example, the probability for
10 humans to be exposed to a given bacteria via a particular
11 food commodity is independent of drug use, and may be
12 estimated by considerations of per capita consumption of
13 the food commodity. And this example was pork. Now, this
14 information is available from several sources.

15 The probability of contamination of the pork by
16 bacteria of interest, and in this case we're looking at the
17 example of *Campylobacter*.

18 While it's acknowledged that other factors such
19 as food preparation practices can affect exposure, the
20 above two considerations can provide a qualitative
21 indication of the magnitude of the probability of human
22 exposure. Survey data of both food commodity contamination
23 and per capita consumption may be submitted to support a
24 qualitative ranking of probability of human exposure to the
25 given bacteria via a particular food commodity, and

1 examples of such sources of data are shown on the slide.

2 Appendix B of the guidance document contains
3 examples of how such information may be integrated, and
4 we'll run through that for the example.

5 According to current consumption data from the
6 USDA Economic Research Service, we see that 47.7 pounds of
7 pork are consumed per capita per year, which will give a
8 qualitative ranking of high. From Food Safety and
9 Inspection Service data, we also note that there's a 32
10 percent prevalence of Campylobacter contamination of market
11 hogs, which results in a high ranking relative to other
12 contamination levels.

13 Next, the consumption and contamination
14 rankings are merged to derive the qualitative ranking for
15 the probability that a human is exposed to Campylobacter on
16 pork. Looking at our table of outcomes, the per capita
17 consumption being high and the probability of food
18 commodity consumption being high, then we see that the
19 overall ranking results in a high probability of human
20 exposure to the given bacteria. Now, this is not
21 completion of exposure assessment because we haven't
22 discussed resistance to this point.

23 So finally, overall exposure assessment ranking
24 is derived by integrating the ranking for the probability
25 of human exposure through food to the bacteria in question

1 -- high from the previous slide -- with the probability
2 that the bacteria will be resistant to the antimicrobial
3 drug in question, which we saw was high from the previous
4 slide, and with the probability that the bacteria will be
5 resistant to the antimicrobial drug in question. That
6 comes from our release assessment, and that was low.

7 So looking at our table of possible outcomes,
8 we see that a high probability of human exposure to a given
9 bacteria and a low probability of the bacteria of interest
10 being resistant will result in a medium overall exposure
11 ranking. So that completes the second of our third
12 components.

13 Now we move on to the consequence assessment.
14 Now, in the third component, we note that the consequence
15 was the probability that human exposure to resistant
16 bacteria determinants results in an adverse human health
17 consequence. That was based on the medical importance of
18 the antimicrobial drug under review, and is also ranked
19 low, medium or high.

20 Returning to our example, then, we find that in
21 Appendix A the antimicrobial drug ranking developed by CDER
22 determined that Miracin is high, of great importance in
23 treating of human disease. However, I'll reiterate what
24 Dr. Tollefson mentioned. This does not equate to a high
25 potential risk to humans or to a category 1 drug. This is

1 not the completed risk estimation, as the two other
2 assessments, the release and the exposure, have not yet
3 been integrated.

4 We will move forward then to this process of
5 integrating the release, the exposure, and the consequence
6 assessment, and that will provide a result as high, medium,
7 low risk for human health to be adversely impacted by
8 emergence of antimicrobial resistance associated with the
9 use of the drug in animals.

10 How is this integration done? The risk
11 estimation is low if all three are low, or if two are low
12 and one is medium. It's high if all three are high, or
13 there are two highs and one medium. And otherwise it's
14 medium. The thinking behind this integration scheme is
15 that the presence of one medium along with two lows would
16 not raise the estimate to a medium. Similarly, the
17 presence of one medium along with the two high assessments
18 would not decrease the risk to medium.

19 These three rankings relate to the level of
20 concern for human health impact potential of the new drug.
21 Each level of concern corresponds to a category of risk
22 management steps. Category 1 management options or steps
23 are applicable to situations where the risk estimation
24 result is high. Conversely, category 3 management steps
25 are applicable when the risk estimation result is low. Dr.

1 Tollefson showed the table of risk management steps in her
2 talk and we will display it again shortly in context of the
3 example.

4 Recalling again in our example for the release
5 assessment, we had a low. For the exposure assessment, we
6 had a medium. For the consequence assessment, we had a
7 high. From the general rule for integrating the three
8 assessments, we note that the risk estimate is medium,
9 which is associated with category 2 risk management steps.

10 Category 2 risk management option or steps
11 permit therapeutic application to selected groups of pens
12 or animals for short durations.

13 Returning to the example, Miracin oral solution
14 for swine, we note that the sponsor is proposing that the
15 drug be used by prescription only, administered as a
16 therapy to select groups of pens or animals, and that it be
17 limited to 5 days of administration. In this instance the
18 use conditions for the proposed drug are those of a
19 therapeutic drug rather than those of a non-therapeutic
20 drug. Also, note that the proposed use is consistent with
21 conditions of use deemed appropriate for category 2 drugs
22 on the previous table, the risk management steps.

23 Therefore, based on the risk assessment and the
24 drug application as a whole, FDA concludes that the
25 antimicrobial new animal drug Miracin is safe. That is,

1 there is a reasonable certainty of no harm when the drug is
2 approved under the defined use conditions.

3 That completes the explanation for the example.

4 Are there any questions?

5 DR. LEGGETT: Do you have a question, Steve?

6 Otherwise, I have a few.

7 Thank you for the example. It has a great
8 name, too.

9 On your slide on page 3 of the example, the
10 release assessment of Miracin, are all of these data
11 currently provided for new drugs when they are brought
12 before the FDA? In other words, is this data available for
13 drugs now?

14 DR. BARTHOLOMEW: If there are data gaps, as we
15 mentioned, what we would tend to do would be to make the
16 assumption that that factor corresponds to increasing the
17 probability for release.

18 DR. LEGGETT: I realize that. I'm down to nuts
19 and bolts. Do you know the mechanism of activity, the
20 spectrum, the kinetics, the dynamics, the resistance when
21 the drug is brought before the FDA? Or is this all
22 theoretical?

23 DR. BARTHOLOMEW: A lot of the development work
24 is brought forward and has this information in the
25 submission. A lot of the times, yes.

1 DR. LEGGETT: My second question is, on the
2 slide on page 5, you were talking about exposure
3 assessment. It sort of made me think, are there data
4 available to test this sort of qualitative mathematical
5 model already? In other words, are there data from
6 outbreaks, epidemics, that sort of thing, that are
7 available to sort of look at how -- it's a quasi-
8 mathematical model of the various risks.

9 DR. BARTHOLOMEW: For the major food-borne
10 pathogens, Foodnet has a lot of epidemiologic data about
11 exposure to the bacteria.

12 Now, about the exposure to the resistance, of
13 course if you were looking at a new animal drug that's not
14 been out there, then there will not be information about
15 resistance, about that particular --

16 DR. LEGGETT: Right. I'm getting at the model
17 testing. You've sort of got X times Y percentage times Z
18 percentage in terms of figuring out just whether somebody
19 eats it. Are there any data for drugs currently available
20 for outbreaks that have occurred of animal-associated
21 illness in humans? Do we know if low, medium and high are
22 logs apart in terms of the risk, or just how good are these
23 assessments? Or are we sort of floating free?

24 DR. BARTHOLOMEW I'll try to answer that and
25 then I'll see whether somebody else from the panel wants

1 to.

2 But we have information from the Economic
3 Research Service on how much of all the different food-
4 animal products are consumed. We have FSIS data on what
5 the levels of contamination are. Those permit us to look
6 at broad bands and say that some of them cluster above a
7 certain percent, so that's a high rate of contamination.
8 And some cluster low. So yes, it's based on real
9 information from FSIS about contamination levels.

10 DR. LEGGETT: And my final question is about
11 the risk assessment. Say it's pork for Campylobacter. How
12 do you compare a pork chop with ground pork? I mean, even
13 though the rate of contamination of the animal may be the
14 same, the transmission to people may be different, much
15 like the E. coli 0157 would be for steak versus hamburger.

16 DR. BARTHOLOMEW: Right, and a lot of the
17 products are looked at in terms of ground product and whole
18 product. I think that our approach probably would be to be
19 more conservative. If one product were highly
20 contaminated, I mean that would be a route of exposure that
21 we would go with the more conservative process.

22 DR. LEGGETT: Steve.

23 DR. EBERT: Probably just a comment. It
24 appears from the assessment that you've done that the
25 release assessment is actually being used twice in the

1 analysis. You're using the release assessment by itself
2 and then you're also modifying the exposure assessment
3 based on the release assessment. Is that an accurate
4 statement?

5 You initially said that the exposure was high,
6 but then when you take into account the release, that got
7 downgraded to medium so that the ultimate result was
8 medium. So you're really using that in two different ways.

9 DR. BARTHOLOMEW: That's accurate in terms of
10 we need to decide during the exposure what the rate or the
11 prevalence of resistance in that exposure is. And it may
12 be that there are some data -- for instance, we frequently
13 get proposals to add to existing claims. And then there
14 might be some information out there, but if not, you just
15 use the information straight out of the release assessment.

16 You might, in fact, have more information to bring to bear
17 on that.

18 DR. EBERT: The reason that's of concern to me
19 is, at least from my initial reaction, of the three it
20 seems as though the release assessment is the most
21 subjective in its analysis. For example, some of the
22 things that are included in there, the drug has a time
23 above MIC of 6 hours. It has a PAE of 3 hours. What does
24 that really mean? Is there any real clear-cut
25 relationships between some of these measures and the

1 likelihood of resistance? Maybe that will be discussed
2 later in the day.

3 DR. LEGGETT: Ellen.

4 DR. WALD: This is a small point, but the word
5 "release" just seems like a funny word for this assessment
6 because at least it doesn't relate to anything that I can
7 think about, whereas the other terms are sort of
8 meaningful. Could you think about changing that to like
9 probability of emerging resistance or something where the
10 description would be relevant to what you're talking about?

11 It would have helped me understand the documents.

12 DR. LEGGETT: Mutant escape.

13 (Laughter.)

14 DR. BARTHOLOMEW: I'll take that comment
15 forward. However, that term comes out of an OIE, Office of
16 International Epizoides, paper in which a formulation for
17 risk assessment for resistance determinants was proposed,
18 and that's where the term arose. I guess we can take that
19 under consideration.

20 DR. LEGGETT: Go ahead, John.

21 DR. BRADLEY: The model looks very nice, and as
22 a pediatrician there are lots of data on day care centers'
23 spread of resistant organisms, and I sort of see a feed lot
24 to be analogous to a day care center.

25 (Laughter.)

1 DR. BRADLEY: There are lots of new
2 colonization studies which show introduction of a resistant
3 organism into a day care center and how quickly it spreads.
4 In addition, in the release assessment, once you eradicate
5 a certain set of organisms with an antibiotic, there's a
6 chance that you can get colonized with resistant organisms
7 of a different type because you lack colonization
8 interference at that time.

9 So my question is, are there data in feed lots
10 or herds or flocks -- I have no idea -- on how rapidly a
11 resistant organism can spread under conditions of
12 antibiotic therapy.

13 DR. BARTHOLOMEW: I'll defer to some other
14 people on that -- we have some veterinarians and veterinary
15 epidemiologists in the audience -- about the studies for
16 the prevalence of rate of spread in herds.

17 DR. LEGGETT: Hopefully we'll remember to bring
18 that up later in the discussions.

19 DR. BARTHOLOMEW: That's fine.

20 DR. LEGGETT: Yes, Mark.

21 DR. RUPP: I don't know if this is the
22 appropriate time to bring this up. I suspect there will be
23 a lot more discussion on this. But, for instance, in the
24 document you provided us, in Appendix B, where you're
25 trying to figure out the risk of exposure based upon the

1 prevalence of this antibiotic being in animals, and you've
2 cited some data where you go and sample ground chicken or
3 ground turkey. It seems like you've got some really high
4 percentages there, 40, 50 percent levels, that you've only
5 graded as sort of a medium risk. I'm wondering how you
6 break that down, low, high and medium, based upon the
7 prevalence of bacteria found in these food items. 40
8 percent prevalence seems real high to me.

9 DR. LEGGETT: That's the table B-2 where we
10 broke it down to less than 5, 5 to 25, and greater than 25
11 percent in terms of the prevalence.

12 DR. BARTHOLOMEW: Right. Well, I think that we
13 just looked and sort of saw a clustering and made what was
14 out there. It's relative to what the other products had.
15 If they only range from 0 to 15 percent, then 15 percent is
16 going to be high relative to others. If they range from 25
17 to 75, then 75 is going to be high relative to others.
18 It's not an absolute.

19 DR. RUPP: Right, so it's a relative scale, but
20 it would seem to me that again if 40 percent of your
21 broilers have Salmonella in it, you have a very high risk
22 of exposure, even though 40 percent is only in the middle
23 of that rank, or what-have-you.

24 And then another question would be, in the
25 tables that you've shown you proposed certain policies

1 based upon your assessment, and I guess my biggest concern
2 is use of antibiotics in animal feed. And for instance, in
3 a medium-risk situation like this product, it would be
4 allowed to be used in animal feed, it sounds like. How did
5 you decide where you were going to draw those lines of,
6 gee, you're going to restrict it only to therapeutic use
7 versus non-therapeutic use in animals?

8 DR. BARTHOLOMEW: In this whole process the
9 upper end and the lower end are the easier things to
10 discern. Then when you get toward the middle, yes, there's
11 an issue about where you make your cutoffs. I'm trying to
12 go back to that risk management slide. I guess the
13 rationale was -- and somebody can correct me if I'm wrong
14 -- that Rx or a veterinary feed directive -- this is still
15 on your prescription of a veterinarian. So that's medium.
16 It's still being controlled there by a veterinary feed
17 directive. So it would take the input of a veterinarian to
18 make that decision.

19 DR. RUPP: So as a feed directive you're still
20 using this drug therapeutically, not as a growth-enhancer?

21 DR. TOLLEFSON: That's correct.

22 DR. LEGGETT: Any further questions at this
23 point?

24 (No response.)

25 DR. LEGGETT: Great. Thank you very much.

1 DR. BARTHOLOMEW: Thank you.

2 DR. LEGGETT: The next speaker is Dr. Richard
3 Carnevale, who will talk to us about the ranking of
4 antimicrobial drugs relative to their use in animals.

5 DR. CARNEVALE: Thank you, Dr. Leggett. I
6 appreciate that pronunciation.

7 DR. LEGGETT: My wife's Italian.

8 (Laughter.)

9 DR. CARNEVALE: It is indeed a pleasure for me
10 to be here, and first let me say I appreciate the
11 invitation from Dr. Tollefson and Dr. Powers of CVM and
12 CDER to come and present to you today the AHI, the Animal
13 Health Institute's concerns about this categorization
14 issue.

15 Before I begin, though, I'm happy to see
16 someone in the audience -- Dr. Brown from the committee is
17 at the University of Pennsylvania. I'm a proud graduate of
18 the University of Pennsylvania veterinary school on Spruce
19 Street. However, I will not reveal when I did graduate
20 from that school because it's too many years ago.

21 In any case, what I'd like to do is talk a
22 little bit about why we're here. We, of course, are the
23 representative of the major animal health companies in the
24 U.S. We are a small PhRMA, the Pharmaceutical Research and
25 Manufacturers Association, and we're quite a bit smaller

1 than them.

2 We are pleased that CDER and CVM have asked
3 this committee to take a look at the categorization issue.

4 We think the advice of this committee is going to be very
5 important and very critical to the practice of veterinary
6 medicine and animal production in the future with regard to
7 the use of important therapeutic products.

8 The FDA regulatory approach will affect new and
9 existing antimicrobial drugs for food animals. This
10 document will apply retroactively to existing products as
11 well, so this risk assessment process that is underway will
12 be applied to existing products on the market, which of
13 course have been on the market for many years in some cases
14 for a range of uses.

15 Infectious bacterial, fungal, viral diseases
16 are very big problems in food animal production, as you can
17 expect. And antimicrobials are a vital product line with
18 many of our members. Antimicrobials, anthelmintics are
19 probably the two biggest pharmaceutical products and feed
20 additive products that our companies manufacture.

21 Of course, we are members of the larger human
22 health companies, and being members of those larger
23 companies they are greatly concerned about the resistance
24 development not only with animals, but of course how it
25 might affect human health. Of course, this issue has been

1 around for many, many years. Probably in the late 1970s
2 the concern first came up with regard to the use of
3 antibiotics in feed particularly, and now it's extended
4 also to the use of therapeutic antibiotics, which this
5 document would mainly apply to, although as I said it does
6 apply in a more broad fashion to existing feed use
7 antimicrobials as well.

8 As with human medicine, availability of a wide
9 variety of products in veterinary medicine is very
10 important. A range of products reduces resistance pressure
11 on the few compounds that may be available, and timely and
12 effective treatment of animal diseases does improve not
13 only human health but food safety as well. There is some
14 research that is underway that actually indicates that by
15 not treating many animal diseases, you can have an increase
16 in pathogens in the food supply. I think evidence in
17 Europe is coming out that there is increased animal disease
18 over there and possibly a concomitant increase in food
19 safety problems.

20 The FD&C Act applies the same standards to
21 antimicrobials as with human products. There is a very
22 rigorous approval process that is required. There is an
23 additional burden over and above safety and efficacy to the
24 patient that residues that are left in the animal, any drug
25 residues that might remain in the food when an animal goes

1 to slaughter is safe. And of course, that's been a process
2 that's been underway for many years.

3 Resistance concerns are a bit newer, and as Dr.
4 Tollefson mentioned, the concerns that CVM has for
5 resistance will now apply to a whole range of products that
6 are on the market. Originally the concerns were with feed
7 use, continuous use feed use antimicrobials, and there were
8 some standards applied in the 1980s for those. But this
9 document will now apply a risk assessment process and
10 additional standards to all antimicrobials.

11 We certainly support a strong FDA and rigorous
12 standards. I mean, without a strong FDA I think that the
13 consumers don't have the assurance that these products are
14 safe. But, of course, industry must rely on science, and
15 we hope that the agency operates on a basis of science and
16 not on supposition or emotion. Unfortunately, this issue,
17 antimicrobials in animals, has been driven to a large
18 extent -- not necessarily the agency, but certainly in the
19 media and other places -- by an emotional reaction to the
20 concerns that some people think animal drugs contribute to
21 human health.

22 We rely on a predictable process. We want
23 strong standards, but they need to be reasonable standards
24 so that we can invest in new products. Without an
25 assurance that the agency is operating in a predictable,

1 transparent fashion, the industry is going to be hard-
2 pressed to invest new monies into new products that may, in
3 fact, benefit resistance in the long run.

4 Now, this qualitative risk assessment process
5 has been described to you this morning, and it's really to
6 determine the risk to human health. I want to talk this
7 morning just talk about the categorization issue. I know
8 there are a lot of questions that came up with regard to
9 the release and consequence assessment, but maybe others
10 can speak to that. I'd really like to focus on the
11 categorization issue.

12 As Dr. Tollefson and Mary Beth Bartholomew
13 mentioned, food-borne zoonotic infections are considered
14 the most likely route of transmission driving this risk-
15 assessment process, and we certainly agree with that.
16 However, we are concerned that there is a reference to
17 commensal organisms, commensal enteric bacteria in the
18 animal, transferring resistance to non-commensal bacteria,
19 which is driving a lot of the concern about the impact on
20 human health. I will be addressing that later.

21 Most of the drugs in Appendix A are ranked as
22 of high importance based on meeting one or more of 10
23 different criteria, as has been discussed with you. We
24 feel that most of the criteria don't have a lot to do with
25 how drugs are used in animals or the infections

1 veterinarians are treating. For example, macrolides are
2 rated as high because of their usefulness in treating
3 Legionella, but Legionella to my knowledge is not a
4 zoonotic pathogen. I'm hard-pressed to find a connection
5 between animal use and Legionella.

6 So that's the concern we have, and it was
7 mentioned that the categorization was done irrespective of
8 its connection with animals. I hope to present some
9 information to you to put that in context.

10 If, in fact, this risk assessment process goes
11 forward and many drugs are categorized in the high risk
12 category, as has been described to you, because of concerns
13 for its importance to human health, which will drive a lot
14 of that final ranking, then this could really mean very few
15 if no new animal drug approvals, and we think that's going
16 to be a detriment to veterinary medicine.

17 What is our concern? Well, the underlying
18 assumption by the agency appears to be that there is
19 resistance gene transfer between commensals and non-enteric
20 bacteria. Certainly we have no argument, or little
21 argument with the fact that food-borne transmission of
22 zoonotic pathogens such as Salmonella and Campylobacter and
23 possibly E. coli are a concern, but this commensal to non-
24 commensal transfer we find difficult to understand because
25 we know of no documented in vivo evidence. There certainly

1 have been in vitro studies showing that you can transfer
2 resistance genes, but in vivo we don't know of any.

3 In fact, there are two studies that I would
4 reference here, one that attempted to colonize humans with
5 *Enterococcus faecium*, and that a was very transient
6 colonization, about 2 weeks in duration, and they fed very
7 high doses of *Enterococcus faecium*, somewhere in the range
8 of 10^5 to 10^6 organisms, and they
9 really did not get permanent colonization of that.

10 Also there's a study in the literature that
11 shows the reverse, taking human pathogens and trying to
12 colonize animals was not successful. So it's questionable
13 whether there is actually in vivo resistance transfer.

14 We do believe that the majority of infections
15 that are critical for antimicrobial treatments in humans
16 aren't going to be jeopardized by animal use, and we want
17 to put this in context. The ranking of importance,
18 therefore, should factor in whether there is real evidence
19 of an animal connection and not just theoretical evidence.

20 We can't operate on theory. We need to operate on
21 evidence.

22 The Appendix A ranking, therefore, is double
23 jeopardy for our companies. One, it is the sole criteria
24 for the consequence assessment portion. If the drug is
25 considered important in human medicine, it will drive the

1 approval for new products. It also will drive the
2 evaluation of currently approved antibiotics. So both
3 existing products and new approvals will be jeopardized by
4 how Appendix A finally comes out.

5 I'd like to present three pieces of
6 information. I don't want to call them evidence because
7 they're really opinion surveys, if you will, in some cases.

8 But there are three pieces of evidence that I hope puts
9 this whole issue of animal use of antibiotics and human
10 health in context.

11 The first is a study published in the Journal
12 of Antimicrobial Chemotherapy in 2000 by Bywater and
13 Casewell, assessment of the impact of antibiotic
14 resistance. What they did is they went out and surveyed
15 practicing physicians and microbiologists in the UK and
16 other countries, some in the U.S., on major human
17 antibiotic resistance problems. They developed a seminar.
18 They designed a list of organisms or developed a list of
19 organisms that they thought were the major contributors to
20 resistance. And then they sent this questionnaire out to a
21 number of experts in the field. They originally tried to
22 get 25 or 26 experts. They ended up getting 16 replies.

23 They asked what was the burden of ill health
24 resulting from this bacterial species in a ranking of 1,
25 negligible, to 5, major. What is the impact of resistance

1 on treatment choices? Again, 1, rare, to 5, resistance is
2 common. And what they thought the contribution of animal
3 sources to human resistance for all the particular species
4 they were looking at, 0 being of no consequence and 5 being
5 the main source.

6 The bacteria in the survey are listed here,
7 things like methicillin-resistant staph, Mycobacterium
8 tuberculosis, on down to some of the food-borne pathogens
9 such as Salmonella and E. coli.

10 So what they first came up with is the
11 contribution of individual species to the total resistance
12 problems in humans. I don't think it would surprise any of
13 these committee members to note that MRSA is sort of the
14 leading candidate for resistance problems in humans. And
15 on down the list we have Pseudomonas aeruginosa,
16 Klebsiella. And then down towards the right-hand side of
17 this scale we have non-typhi Salmonella, Campylobacter, E.
18 coli 0157 of a lower importance to the contribution of
19 resistance.

20 Then when you overlay this on their estimation
21 of what animal source might be contributing to these, as
22 you can see, down in the areas of Enterobacter, Salmonella
23 clearly, Salmonella non-typhi, Campylobacter, there is some
24 contribution that clearly was felt to be due to animal
25 sources, but overall a fairly low percentage of

1 contribution.

2 So the analysis of this questionnaire,
3 certainly this was to my knowledge the first time that the
4 relative impact of individual organisms was quantified.
5 Clearly MRSA is the biggest problem. The opinion of these
6 experts was that animal sources were resulting in less than
7 5 percent of the total human resistance problems, and
8 furthermore, the enterococcus, the growth promoter link,
9 which has been the big issue particularly in Europe over
10 the last 5 or 10 years, was less than 1 percent
11 contributing to antibiotic resistance problems in humans.
12 So for what it's worth, that's one study that was
13 published.

14 I'd like to cite another source of information,
15 a 1999 European Union Scientific Steering Committee. These
16 sets of slides come to me by way of Dr. Herman Goosen, who
17 is a physician in the Netherlands, who was part of the
18 steering committee in Europe. I'll briefly run over this
19 just to give you a context for what the steering committee
20 looked at.

21 They were charged with evaluating the current
22 position regarding the prevalence of resistance. They
23 examined the implications of human and animal health, and
24 they looked at factors contributing to the present
25 situation. They also looked at ways they could influence

1 or control resistance. They made recommendations, and they
2 advised on monitoring of the outcome of measures and
3 considered the implication of the advice.

4 They looked at a range of bacteria. Mainly
5 they focused on the enteric food-borne bacteria,
6 enterococci, E. coli, Salmonella, Campylobacter, the common
7 food-borne organisms. They also looked at other bacteria.

8 They also looked at a range of uses for animal drugs in
9 food-producing animals, such as the growth promoter,
10 performance-enhancing use, the prophylactic use, drugs that
11 are used to prevent or control disease that might occur, or
12 that are occurring. And then finally the true therapeutic
13 use when animals are clinically ill and there's a need for
14 high-dose, short-term treatment.

15 They looked at a range of antibacterial feed
16 additives of concern, and I think the important thing in
17 this slide is that there are many, many of these drugs that
18 are used in the feed of animals that really don't have any
19 analog to human health. Clearly there are some. A number
20 of these drugs were removed from the market in Europe, not
21 on the basis of good science but on the basis that they
22 were concerned about the potential effects in animals, but
23 in fact many of the drugs that are used in animal feed
24 don't have a clear connection to any real human health care
25 product.

1 The important part of their discussion also
2 looked at infections in humans, and they looked at the same
3 kinds of bacteria that the Bywater-Casewell study examined
4 -- staphylococcus, Citrobacter, Pseudomonas, staph, strep,
5 Salmonella -- and they looked at evidence for a link with
6 antibiotic use in animals. The important thing with this
7 slide, which agrees with the previous survey, is that at
8 least for vancomycin and enterococci they saw some link.
9 Clearly for Salmonella and Campylobacter with the
10 fluoroquinolones there was potential link. But for most of
11 the other bacteria there was really little evidence that
12 there was a connection between animal use and human health.

13 The same thing with hospital-acquired
14 infections. Clear evidence for antibiotic use and
15 resistance problems with these bacteria, but again,
16 enterococcus, vancomycin possibly was the only one that
17 might be linked with a human health problem.

18 To round it out, the human community-acquired
19 infections, the same kind of situation where Salmonella and
20 Campylobacter, maybe fluoroquinolones had some connection,
21 but not too much of a connection with the others.

22 The third piece of information I'd like to
23 provide to you comes to me by way of Dr. Ron Jones, who
24 runs the SENTRY program. Many of you, I'm sure, are
25 familiar with it. This is a program that was established

1 in 1997, funded by SmithKline Glaxo. It looks at
2 antibiotic resistance patterns around the world from a
3 number of pathogens. It's a very large database, collects
4 thousands of clinical isolates in a statistically designed
5 fashion, and it has international networks of sentinel
6 hospitals which supply isolates to the SENTRY program for
7 both nosocomial and community-acquired infections.

8 This is a chart Dr. Jones developed in
9 consultation with us. He looked at the risk of animal
10 pathogens occurring in human medicine and he looked at them
11 by pathogen, by infection type, and he first classified
12 them in three categories. One, respiratory tract
13 infections, both community-acquired and hospital, skin and
14 soft tissue infections, and urinary tract infections.

15 In his estimation these are the main
16 contributors to respiratory tract infections in humans, and
17 he believes that 75 percent of all prescribed antibiotics
18 go for those particular infections. Skin and soft tissue
19 infections, Staph. aureus, Pseudomonas, E. coli, and
20 enterococcus are contributors to those problems, and
21 urinary tract infections, E. coli and enterococcus.

22 In his estimation the animal-related risk, none
23 of these respiratory tract pathogens have any animal-
24 related risk or any evidence that we know of that there's a
25 connection between animal use and these pathogens. Only

1 with regard to enterococci with these other infections is
2 there a possible connection, and that's not clear what the
3 connection is. But clearly enterococcus has been linked to
4 some degree with animal use. But clearly the majority of
5 these infections in his estimation don't have a lot to do
6 with animal use.

7 I also included a paper -- I hope that it was
8 made available to the committee -- called "Contemporary
9 Patterns of Antibiotic Resistance in Humans" that Dr. Jones
10 prepared this fall for us. I think that should be in your
11 package.

12 The references supporting that previous slide
13 are listed here. You have those. If you want to look up
14 those references, they are all SENTRY program references.

15 So what does this all mean and why did I
16 present all this data? Well, we certainly believe that
17 antibiotics are important to human health and food safety
18 and we don't want to do anything that would limit the
19 ability of veterinarians to continue to be able to treat
20 our food supply. It is very important obviously to human
21 health that our food supply remains safe.

22 Veterinarians do need a wide variety of
23 products, as do physicians, to combat bacterial disease and
24 reduce selection pressures on existing antibacterials.

25 We don't believe -- it is our opinion that the

1 vast majority of antimicrobial use in food animals will
2 have much consequence to human health, and we certainly
3 support the FDA assessing that and trying to do that in a
4 realistic and as scientifically accurate as possible.

5 We need to stimulate research and development
6 so that safer and more effective antimicrobials can be put
7 on the market. Therefore, we need a rational approach to
8 assessing the risk.

9 Current ranking criteria in Appendix A, we
10 believe, will tend to over-estimate the risk to human
11 health, and we think that the current way drugs are ranked
12 and categorized is important to human health. It will
13 certainly push many, many animal drugs, as you heard
14 before, into the high- or medium-risk category, which will
15 in fact prevent many of those drugs from being approved or
16 will greatly restrict their uses.

17 So we believe that absent evidence of an actual
18 connection between antimicrobial use in animals and non-
19 enteric human disease, as I've talked about here, only
20 those antimicrobials that are really important for treating
21 food-borne disease should carry a high risk ranking. So we
22 would appreciate this committee taking this information
23 into consideration in their advising the agencies on how to
24 proceed in developing this document.

25 I do appreciate your time this morning. I'll

1 try to answer any questions that you may have. Thank you.

2 DR. LEGGETT: Thank you.

3 Are there any questions? Dr. Maxwell.

4 DR. MAXWELL: I just have a question on your
5 next-to-last slide, the one that says risk of animal
6 pathogens occurring in human medicine. It lists E. coli as
7 possibly having a related risk.

8 DR. CARNEVALE: You're talking about this
9 slide?

10 DR. MAXWELL: Yes.

11 DR. CARNEVALE: Actually you probably can't see
12 it on the slide. There's an asterisk right there, which
13 says possible. The way he prepared this slide, it is a bit
14 misleading. It looks like all these organisms have a
15 possible link, but really what he has done is put an
16 asterisk next to enterococci. I don't believe he feels
17 that there is a connection between E. coli skin and soft
18 tissue infections and animal use.

19 DR. MAXWELL: Okay.

20 DR. CARNEVALE: I know it's a little confusing
21 on the slide, but if you look at the asterisk you'll see.

22 DR. LEGGETT: Dr. Wald.

23 DR. WALD: The chart entitled Antibacterial
24 Feed Additives of Concern on page 13, is that a pretty
25 comprehensive list of all the current antimicrobials that

1 would be found in feeds? And are those the same that might
2 be used for growth promotion?

3 DR. CARNEVALE: I would say that is a
4 relatively comprehensive list. I can't guarantee that
5 every single one is on there, but it's relatively
6 comprehensive, yes.

7 DR. WALD: I guess I'm asking, are there
8 important ones that aren't on there?

9 DR. CARNEVALE: Well, offhand I don't see any.

10 DR. TOLLEFSON: I don't see any that aren't on
11 there. I would point out that it includes Monensin. It
12 includes some what we wouldn't consider an antimicrobial.
13 They are used for growth promoting. Those are approved for
14 use in growth promotion.

15 DR. CARNEVALE: Right. And things like
16 Monensin and olaquinox and Salinomycin are mainly
17 anticoccidial compounds. They're mainly used for
18 coccidiosis, although they are technically classified as
19 antimicrobials. They don't have any, to our knowledge, use
20 in human medicine and don't have any cross-resistance
21 selection pressure. But they are used in some cases for
22 growth promotion. For example, Monensin is used for growth
23 promotion in cattle.

24 DR. LEGGETT: Dr. Bell.

25 DR. BELL: With reference to the SENTRY

1 methodology, I'm trying to remember that methodology, but I
2 am inclined to take issue with your statement that this is
3 statistically designed. It's certainly not population-
4 based. It relies on a group of sentinel hospitals that
5 sort of agree to participate. And I believe that the
6 isolates virtually always come from that hospital
7 laboratory, which means that it's going to be mostly, if
8 not almost all, hospitalized patients. So a lot of these
9 pathogens cause community-acquired infections and I think
10 would not be captured necessarily, and certainly not
11 proportionately so in the SENTRY database.

12 DR. LEGGETT: Dr. Patterson.

13 DR. PATTERSON: As a clinician, of course, with
14 the perspective of human health and someone who's been
15 interested in both hospital and community antibiotic
16 resistance for some time, I just had some observations from
17 the presentation that I think could be misleading.

18 One is from the slides on page 6, where you
19 make the case that there's no evidence of resistance gene
20 transfer between animal organisms and human organisms.
21 While that may be true that the particular gene hasn't been
22 documented to be transferred, there are clearly a number of
23 instances where there have been well-documented outbreaks
24 of actual organisms going from food from animals to humans,
25 not the least of which includes Salmonella and hemorrhagic

1 E. coli. So I think that is sort of missing a point.

2 Then on page 9, you have the slides there,
3 those two graphs. You make the case that food-borne
4 pathogens like Salmonella, hemorrhagic E. coli and
5 Campylobacter -- resistance in these organisms are of lower
6 importance in the overall picture of resistance. However,
7 these are of the most importance in terms of food-borne
8 concerns of resistance, which is what we're here to talk
9 about today. I think in the context of that, that the
10 potential impact for prevention in a setting where we can
11 do something about resistance in food-borne pathogens makes
12 them quite important for our discussion today.

13 Obviously, these other pathogens that you cite
14 are primarily nosocomial, and we continue to work on
15 infection control and antibiotic utilization programs in
16 hospitals to control them, but in terms of food-borne
17 pathogens, these are quite important for public health. I
18 think it's somewhat irresponsible to state that they're not
19 important.

20 Then on page 15, you have a slide indicating
21 that E. coli/UTI being a primarily community-acquired
22 infection, although it can be hospital-acquired also, that
23 there is no link with animals in the slide, and that is in
24 conflict with your slide on page 16, where in Ron Jones'
25 opinion E. coli is linked with resistance in animals. I

1 think that that in fact is true, that the potential for
2 emergence of resistance in E. coli with regard to urinary
3 tract infections in humans is a concern, particularly now
4 that there has been such an emergence of resistance in
5 trim-sulfa, which was our previous drug of choice for
6 UTI's, that the drug of choice now in most cases is
7 fluoroquinolones, and we know that increase in
8 fluoroquinolone use is, in fact, linked with increase in
9 resistance. I think that is indeed a concern, and your
10 slides there I think appear to be in conflict.

11 DR. LEGGETT: Dr. Bell.

12 DR. CARNEVALE: Can I address --

13 DR. LEGGETT: Yes, Dr. Carnevale.

14 DR. CARNEVALE: First of all, I didn't say that
15 food-borne illness was not important. What we're trying to
16 present here is in context with all the problems that occur
17 in human medicine with regard to resistance and what the
18 connection to animal use is. The current category or
19 Appendix A categorization categorizes many drugs as very
20 important to human medicine, but we fail to see a link
21 between animal use.

22 Clearly food-borne pathogens, there is a link,
23 zoonotic food-borne pathogens there is a link. There can
24 be a link with animal use. That's the point I was trying
25 to make, not that food-borne pathogens are not important.

1 Furthermore, I don't think Dr. Jones' opinion
2 conflicts with the previous one. As I mentioned, if you
3 look at the chart, and I'm sorry that it's a bit misleading
4 but he did not believe that skin and soft tissue infections
5 are due to E. coli or related animal use. Now the possible
6 down there at the bottom is really starred, and he's got
7 enterococci as possible.

8 DR. PATTERSON: Your slide on page 15, the top
9 slide there says E. coli/UTI, fluoroquinolones, evidence
10 for link with antibiotic use in animals, you have negative
11 there in that column, and that appears to conflict. Yes,
12 that slide right there.

13 DR. CARNEVALE: This is from the European
14 Union. This is not my data. I didn't develop this. This
15 is the European Union Steering Committee for Antibiotic
16 Resistance that came up with this.

17 DR. PATTERSON: I'm just pointing out that it's
18 in conflict with your slide on page 16.

19 DR. CARNEVALE: If it is, I apologize for that.

20 DR. LEGGETT: I think I'd just let it drop
21 there.

22 Dr. Bell.

23 DR. BELL: Yes, I just wanted to bring up a
24 couple of other things. For the E. coli, there actually is
25 documentation of transfer of resistance determinants from

1 an antibiotic used in animal agriculture to strains causing
2 urinary tract infections. This was in East Germany back in
3 I think it was the 60s or 70s. There was a streptothricin
4 class of antibiotics that were used only in animals, and it
5 turned out that the resistance genes were spread and ended
6 up in E. coli that actually was isolated from people with
7 urinary tract infections. This class of drugs was only
8 used in animals. So this is documented. It does happen.

9 It's certainly easy to understand because we're
10 talking about gut flora, and you didn't, I don't believe,
11 state the contrary, but I just wanted to add that this
12 actually has been documented.

13 Also, many times bacteria that are pathogenic
14 in humans are really only commensals in animals.
15 Salmonella, for example, is not always, not necessarily
16 pathogenic in animals, but can be in humans. The issue of
17 enterococci, certainly they can be both commensals and
18 pathogens in humans. I'm not sure if they're pathogens in
19 animals. But it's noteworthy that we now have two
20 documented cases in the United States of fully vancomycin-
21 resistant Staph. aureus and the resistance gene, the
22 resistance elements were transferred from enterococci that
23 happened to be in a contiguous site.

24 DR. CARNEVALE: Vancomycin has never been used
25 in animals. Not in the U.S.

1 DR. BELL: No, I understand, but yes, in parts
2 of Europe. Vancomycin is not used in the U.S.

3 But the point I'm making is that a resistance
4 determinant from enterococcus can transfer to Staph. aureus
5 that is not necessarily in the gut. Now, in this
6 particular case I'm not attributing the VRSA to any sort of
7 animal drug use, but I'm just making the point that
8 enterococci are present in gut flora in both animals and
9 humans, and the vancomycin-resistant Staph. aureus that
10 we've seen has acquired genetic material from enterococci.

11 So the possibility has to be entertained that the drug-
12 resistant element that is generated or spread through
13 agricultural use could be transferred to humans and then
14 transferred across to a Staphylococcus aureus in humans as
15 well.

16 DR. CARNEVALE: Well, David, certainly no one
17 is going to discount the possibility. But again, we need
18 some real evidence for that happening in order for these
19 companies to be regulated in appropriate fashion. You
20 can't operate on the theoretical. Certainly these things
21 are possible, but unless you have real evidence that
22 there's a connection. If you raise theoretical concerns
23 with every case, then you might as well not approve
24 anything for animal use if there's a potential connection
25 out there. So that's all we ask, is evidence.

1 DR. BELL: Obviously, this is a controversy
2 that's been going on for decades.

3 And I think that my understanding of what the
4 committee is being asked to address is, fortunately, a
5 pretty narrow aspect here, which is the ranking of the
6 antibiotics that are listed in terms of their importance in
7 human medicine. I would just hold out that antibiotics
8 that are viewed as critical in treating Staph. aureus,
9 which is not normally food-borne infection, we do have to
10 bear in mind that there is documented precedent for Staph.
11 aureus to acquire resistance genes from bacteria that are
12 essentially gut flora.

13 There are many factors that enter into this
14 complicated FDA guidance that address the issues of how
15 frequently, what would the connections be to the actual use
16 on the farm, but the particular narrow aspect that we're
17 looking at is should a drug for Staph. aureus be ranked
18 very highly in this list that CDER gives to CVM.

19 We're going to have more discussion this
20 afternoon.

21 DR. LEGGETT: Yes, let's go on to the next
22 point. Dr. Bradley and then Dr. Rupp.

23 DR. BRADLEY: On page 6 of the handout, I just
24 wanted to comment on the ranking of importance should
25 factor in whether or not there's real evidence of an animal

1 connection. Dr. Tollefson's original presentation
2 highlighted how complex this whole antibiotic resistance
3 problem is, and it's very multi-factorial and certainly I
4 don't think we're going to come up with a single solution
5 to the problem today.

6 To say that there is no real evidence is not
7 the same thing as to say that there's not a problem. In
8 each situation I think if there's an investigation which
9 shows that there's no problem then that certainly is the
10 scientific evidence you're looking for. But until there's
11 actually an investigation to look at, once an antibiotic is
12 introduced into a herd, what is the actual risk that a
13 resistance determinant will go into a human, I think one
14 can't really say whether there's a problem or not.

15 So it appears as though we're dealing with a
16 lack of information, and theoretical concerns about
17 antibiotic resistance are very real, but I agree with you
18 that we need further study in order to show whether those
19 theoretical concerns are actually important or not.

20 DR. CARNEVALE: That's been the problem. It's
21 been very, very hard to design such studies. That's been
22 the difficulty.

23 DR. LEGGETT: Dr. Rupp.

24 DR. RUPP: I guess I would just voice agreement
25 with the previous two comments, and I think that overall

1 your presentation seemed to try to place these organisms
2 and conditions in a very static manner, particularly that
3 last material on classifying things as hospital-acquired,
4 community-acquired organisms put into these silos. I think
5 it's a very, very dynamic process. We're seeing this all
6 the time in human medicine, the crossover between
7 community-acquired, hospital-acquired. I think there is
8 good evidence to suggest that antibiotic resistance traits
9 do transfer from pathogens to commensals. I think there is
10 good evidence to suggest that these organisms, particularly
11 food-borne organisms, get from animals into people and I
12 think it's a major concern.

13 DR. LEGGETT: Dr. Carnevale, a couple of
14 points. I think what you're hearing in sort of your
15 statement that you wanted scientific evidence, the
16 committee is sort of raising their hackles a little bit in
17 that I think the sense is that the data that you tried to
18 use didn't really seem to be all that scientifically
19 neutral. It was sort of a pick and choose, as in sort of a
20 survey in the JEC, and then the use of the SENTRY data,
21 which is not population-based, as Dr. Bell.

22 And in the chart, for instance, on page 16,
23 where Dr. Jones comes up with a list of skin and soft
24 tissue infections where he put Staph. aureus first and
25 Pseudomonas aeruginosa second. Come on. It's group A

1 strep that's 90 percent. Staph. aureus is maybe 10
2 percent, and all the other things don't even exist. And in
3 that slide there's nothing about GI infections, which is
4 the major cause, as you mentioned.

5 So it would be nice for us, I agree, to come up
6 with some data. It would be also nice, in terms of coming
7 up with our program, to get some input from both AHI and
8 the CVM about what do we know about which pathogens are in
9 animals that didn't move to people.

10 While we state that food-borne illness is the
11 only transmission, we also -- off the top of my head right
12 now, Rhodococcus equi from horses, we didn't know about it
13 until we got AIDS. So there's lots of things that we don't
14 know that we may find out.

15 I think the committee is taking the standpoint
16 of if there's a risk, let's think about that as opposed to
17 when the cat's already out of the bag, the horse out of the
18 barn, or whatever the heck it is. So look at all the
19 things we're doing about smallpox, which doesn't even exist
20 in the world. So I think that's why you sense some of us
21 bristling.

22 But I think your point is well taken that we
23 should certainly think of those pathogens from animals that
24 are of the main consequence to humans. I think everybody
25 would agree with that point. It's just how do we come up

1 with refining that list of the things we really want to
2 concentrate on.

3 If there are no further questions, I thank you
4 very much for your presentation.

5 DR. CARNEVALE: Yes. Well, that was my point,
6 thank you. And hopefully Dr. Apley can add some further
7 context to this discussion after the break. Thank you.

8 DR. LEGGETT: Thank you.

9 So why don't we take a break a little bit early
10 and come back at 11:00 o'clock.

11 (Recess.)

12 DR. LEGGETT: If we could please reconvene.

13 Our next speaker will be Dr. Mike Apley, who
14 will talk to us about relating food, animal, and human
15 antimicrobial use. Dr. Apley.

16 DR. APLEY: Thank you. Well, good morning. On
17 behalf of the American Veterinary Medical Association, I
18 would like to thank the committee for the opportunity to
19 speak with you this morning.

20 And in the hope of stimulating some questions
21 and discussion after my brief presentation, I'd like to
22 give a little bit of my background. I've been a general
23 practitioner in central Kansas, spent four years as a feed
24 lot practitioner on the high plains, and since then I've
25 been teaching antimicrobial clinical pharmacology and beef

1 production medicine at Iowa State University.

2 On the comments on day care and feed lots, as a
3 father of a past child care child and now two in grade
4 school, I've often commented on the similarities also, and
5 I think we could get together and work out a mutual model
6 type deal.

7 (Laughter.)

8 DR. APLEY: The difference is in the feed lot
9 the outbreaks occur about two to three weeks after the
10 stress of shipment, and in the day care it's just the
11 stress of Easter, Christmas, and Thanksgiving, I think.

12 (Laughter.)

13 DR. APLEY: Another big difference is when they
14 start to break, we round them up and re-vaccinate them. So
15 I have often looked at that.

16 (Laughter.)

17 DR. APLEY: Another question was on looking at
18 some of these pathogens, rate of transfer, spread, around
19 for example a feed lot, there's been a lot of work done on
20 that, especially for pathogens that affect our animals, the
21 ones we're very, very concerned about treating. For
22 example, in feed lots we look at some of the respiratory
23 disease cases, et cetera. A lot of the ones that would
24 involve the zoonotic pathogens such as Salmonella or
25 indicator organisms such as E. coli have been like a cross-

1 sectional single time study with looking at prevalence more
2 than actual spread within the operation.

3 There was an epidemiological investigation,
4 getting to be 8 or 10 years ago now in the Pacific
5 Northwest that dealt with Salmonella that was being found
6 in some cattle in a feed lot, and they traced it back to
7 our central, what we call the hospital facility. That was
8 stopped by applying hygiene principles.

9 So to go back to our topic of choice this
10 morning. The AVMA has presented written and public
11 comments concerning the guidance for industry No. 152.
12 This morning in the context of this discussion, we'll
13 concentrate on the ranking process.

14 The AVMA does have a significant concern with
15 the ranking of these drugs as it now stands. There are
16 some reasons why the AVMA has some concerns, and one of
17 them is that we do rely on preventive and therapeutic
18 strategies to maintain the health of food animals. Within
19 these strategies, antimicrobials are essential for
20 addressing disease in food animals in order to relieve
21 animal suffering and conserve livestock resources. In our
22 oath veterinarians pledge to be responsible for both animal
23 and human health, and we believe that healthy animals are
24 the basis for a healthy food supply.

25 The point of my presentation here this morning

1 is that we feel we need to be very careful that we don't
2 bias the decision process so heavily towards protecting any
3 potential theoretical effects on human health that we
4 remove vital tools for protecting human health through
5 maintaining animal health. I think that would be a
6 reasonable agreement for most in the room and what it comes
7 down to as we start talking about some of the details and
8 where the break is from a problem to no problem.

9 The antimicrobial ranking section of Guidance
10 152 is especially critical. The hazard identification
11 that's mentioned in there, it's not near as critical, of
12 course, as the consequence assessment, which has been very
13 nicely discussed in previous presentations.

14 Guidance 152 does have multiple required input
15 categories that must be categorized as being of low,
16 medium, or high risk, and for many of the primary
17 categories there's really no defined method to determine
18 that degree of risk. An example is mechanism spectrum,
19 pharmacokinetics, dynamics, resistance mechanisms, et
20 cetera. The criteria for calling them low, medium or high
21 is really not there, so you tend to go towards a more
22 conservative approach. We feel that by some of the methods
23 that are being used to put some of these antimicrobials in
24 a high ranking might further bring about this potential to
25 have an overly conservative approach.

1 Within each one of these, and going along that
2 conservative approach, if the FDA determines there is
3 inadequate information, then the most conservative
4 assessment of high risk is assigned. Now, this next
5 statement, I'm not implying that this was the CVM's intent
6 in any way, but we do feel it is an outcome of some
7 sections of the document that if we do not have the
8 evidence to argue that, hey, this is low rather than
9 medium, or medium rather than high, then we end up going to
10 the more conservative or higher approach, which gets to the
11 issue of proving there's not a problem or proving that
12 there is.

13 We realize the concern that nothing be let slip
14 through, that a potential problem not be missed, but on the
15 other hand, we ask that the committee consider that by
16 going too far to the side of saying there is a potential
17 problem, let's really err on the side of conservative, that
18 the potential adverse effect of that is to take away a
19 valuable tool for use in veterinary medicine.

20 The context within which these drugs should be
21 ranked is defined or we feel they should be ranked as
22 defined in Guidance 152. For example, in the hazard
23 definition "is attributable to a specified animal-derived
24 food commodity," and again, in the risk definition "is
25 attributable to a specified animal-derived food commodity."

1 There has been discussion today on just how hard it is to
2 say exactly probably what attributable means. How many
3 steps removed from a potential resistance genetic transfer
4 do you need to be? That's really one of the issues.

5 So they're related. Food, animal, and human
6 antimicrobial use in this document are related through a
7 specified animal-derived food commodity. So we believe
8 that the antimicrobial drug rankings in this guidance
9 document should consider only those bacteria or resistance
10 determinants that are food-borne.

11 In other words, antimicrobial drug rankings
12 justified on the importance for treatment of other than
13 food-borne bacterial disease or disease involving food-
14 borne resistance determinants should not be included as
15 part of the final outcome determination in this document.

16 Now, what we would ask for is justification,
17 and I look back at this and that's probably a more
18 combative word than I wanted to use, but justification of
19 antimicrobial rankings based on the following disease
20 organism combinations in a document intended to address
21 resistance relationships through food-borne channels. Our
22 goal in asking for this is to be able to evaluate and
23 understand the reasons for each ranking and then to be able
24 to comment on what the degree of evidence is or potential
25 links that may exist as specified for reasons for ranking.

1 So these are examples of some of the ones that
2 you can read in the slides, rather than me go through them,
3 that were either mentioned at the public meeting or come
4 out of Guidance 152. Again, we are not saying that you are
5 incorrectly ranking these for importance in human medicine.

6 That's not our intention at all, but rather we're asking
7 what could be the potential outcome on the final
8 classification of this guidance document by using these
9 types of applications to come up with the ranking of the
10 antimicrobial drug for use in the consequence assessment.
11 So these are in your slides and ones that we'd be
12 interested in discussing and seeing comments on. Are these
13 related to a food-borne context?

14 As it stands right now, the guidance document
15 does not provide specific disease justifications for the
16 rankings of each drug. There are examples given in the
17 document. We would be very interested in seeing these
18 actually linked to the drugs so we could evaluate those,
19 and there is a degree of subjectivity in them. I think
20 that's why it's really important that the ability to
21 comment on them be given.

22 An example of one of the antimicrobial
23 situations we would have questions about, which was
24 discussed at the public meeting, is the ranking of the
25 natural penicillins is high. And what this would do is say

1 that in the first two sections of the document you were to
2 have low and low, the consequence assessment ranking of
3 penicillin G is high would move this up into the medium
4 category and puts us into a whole other set of situations.

5 The AVMA recognizes and supports the need to
6 preserve human health and our part in that. We also have
7 an obligation to do everything we can to make sure we have
8 the tools available to protect and address animal health.
9 We feel that by taking this document to the direction that
10 the consequence section is based on food-borne, either
11 pathogen or resistance determinant, links that we would
12 better serve the interests of both parties.

13 With that, I would conclude my comments and be
14 open for questions or comments from the committee.

15 DR. LEGGETT: Are there any immediate comments?

16 Just in terms of examples, when I read the
17 guidance document, I thought those were just examples, not
18 that they were supposed to be set in stone. So I may have
19 misunderstood. It seems you also interpreted those as set
20 in stone, but I read them as examples.

21 I certainly personally agree that rankings need
22 to be justified scientifically and in a transparent
23 fashion.

24 You mentioned penicillin and neurosyphilis. It
25 doesn't take me long to go from penicillin in animal feed

1 in the United States to potential emergence of resistance.

2 That doesn't seem to be too many links apart. Can you
3 explain, first of all, how penicillin is used currently?
4 Is it therapeutically used in animals or also as growth
5 promoters? And how that wouldn't have an impact on human
6 illness.

7 DR. APLEY: I'm aware of one growth promotion
8 claim for penicillin G, could not speak to the extent of
9 use of that. Therapeutically it's very important to us for
10 treatment of diseases. It's got a label for respiratory
11 disease. The problem with the label of procaine penicillin
12 G is that the labeled dose is ineffective, so we then go
13 within the constraints of the Animal Medicinal Drug Use
14 Clarification Act and use it in extra-label fashion. And
15 we get withdrawal information from Food Animal Residue
16 Avoidance Data Bank for that use.

17 It's used in cattle for indications such as
18 infectious pododermatitis, or foot rot, therapy of some
19 types of pneumonia. It's important in swine for some
20 respiratory --

21 DR. LEGGETT: Right. What I'm saying is,
22 penicillin is mostly used therapeutically. I don't think
23 the committee -- at least my interpretation of the way
24 things are going -- that's not where the concern is. The
25 concern is the huge numbers. The way that we think of

1 emergence of resistance is, for instance, in an intensive
2 care unit where you've got the sickest patients, just like
3 the sickest animals, and you put them all together and then
4 they have a very high pressure to develop resistance. The
5 other way you do it is you give a little bit to a sea of
6 people, so the population part of it brings up your
7 resistance.

8 I think that my concern in trying to come up
9 with these rankings that we're going to try this afternoon
10 to sort of get our fingers around, is how do you attack the
11 problem as we know it in terms of the processes of the
12 emergence of resistance. So I think everybody's goal is to
13 come up with something that everybody can understand.

14 Dr. Rupp.

15 DR. RUPP: I guess I would just again point out
16 this is a very dynamic process, and it's extremely
17 difficult to predict the potential significance of an agent
18 sometimes now based upon what's going to happen. I guess
19 the best example of that would be the situation currently
20 with virginiamycin. Ten years ago nobody cared that
21 virginiamycin could have been used in animal use or animal
22 feeds. Now we have a huge degree of cross-resistance of
23 enterococci with a therapeutic agent, Synercid, that was
24 not developed and there was no need for it at that time.
25 That's clearly use of antibiotics in animals that is now

1 influencing human disease. Nobody would have been able to
2 know about this a few years back.

3 DR. APLEY: I recognize and respect your
4 concern about that. I think the other side of that, in my
5 view, is where we draw the line in pursuing those concerns.

6 I messed around with applications one day, and if you
7 start thinking if you can name one organism that perhaps
8 has a cassette carrying four different ones, or if you
9 think about potential class cross-resistance, or you think
10 about potential uses, you could come up with reasonable
11 possible problems with almost any compound. The question
12 is how far you go in requiring some level of evidence to
13 establish that that's a likelihood.

14 And again, we're not saying let's just go on
15 with no consideration for human health. What we're saying
16 is we need to go back and forth on the criteria that are
17 being used, so we can strike a ground where we retain the
18 ability to address therapeutic concerns in our patients and
19 you do also.

20 DR. LEGGETT: Dr. Brown?

21 DR. BROWN: I think some of us in human health
22 feel like we're in a quagmire of ignorance because we don't
23 know the relative importance of the use of these
24 antibiotics in feed lots versus the amount of drug which is
25 used in therapy, versus the amount of drug which is used

1 for human use. Could somebody give us that perspective in
2 some order of magnitude?

3 DR. APLEY: I can speak to the feed lot aspect.
4 Are you talking about drugs that would be labeled for
5 therapeutic or growth promotion purposes?

6 DR. BROWN: I don't think we have a good feel
7 for either volume or which drugs are used largely in feed
8 lots. So I think we're sort of ignorant right now.

9 DR. APLEY: The volume comes to be quite a
10 contentious issue. I believe -- Dr. Tollefson, I'll put
11 you on the spot -- that there are regulatory processes, or
12 in development, to address reporting to that?

13 DR. TOLLEFSON: Amount used is a problem.
14 Essentially we don't know. But let me answer the question
15 in a different way.

16 Many of the drugs are approved for
17 subtherapeutic or growth promotion use as well as
18 therapeutic. So the example of penicillin, we have a
19 number of approvals for growth promotion use of penicillin.
20 Many of these are also in combination with other growth
21 promoters.

22 It very much varies by species also. Feed lot
23 cattle are not going to be seeing a lot of growth
24 promoters. There are non-antimicrobial drugs like hormones
25 and so on that can be used.

1 The issue of amount. There are more of the
2 penicillin, tetracyclines, virginiamycin type of drugs,
3 growth promoters, used for growth promotion simply because
4 of the number of animals and the length of time that they
5 are administered. So the therapeutic use is going to be
6 naturally much smaller.

7 There have been some estimates. One group of
8 estimates is something done by the Animal Health Institute,
9 where they surveyed their members and were given rough
10 estimates anyway. I don't know if Rich could speak to
11 that.

12 But I don't think the amounts are going to mean
13 anything to you other than the fact that it's a big number.

14 There are a lot of animals. We slaughter, what, some 9
15 billion chickens a year. They live 42 days. So they're
16 all getting fed growth promoters, virginiamycin primarily
17 in that case.

18 DR. LEGGETT: Basically what we're trying to
19 say is that for us to have the maximum valid input this
20 afternoon in discussion, it would help us a lot to put this
21 in context, if not at this meeting, certainly before the
22 next meeting. We have to come up, I think, as I stated
23 earlier, with a more mathematically tenable and testable
24 hypothesis or way of getting about this model.

25 DR. TOLLEFSON: One thing that I elected not to

1 show in my presentation is Table 4 in the guidance
2 document, which addresses use, limitations on use, and how
3 we came about assigning risk categories to that issue.

4 DR. LEGGETT: Page 25.

5 DR. TOLLEFSON: Page 25, Table 4. When we
6 speak to the extreme right column, flocks or herd of
7 animals, this is where all the animals in any kind of a
8 confinement facility are getting treated with that drug.
9 So it would be all chickens in a house. Not all chickens
10 in a flock. They're divided up into houses, but it could
11 be 30,000 birds, up to about 100,000, I think. It would
12 also be maybe all swine within one building.

13 See, part of the problem is it varies very much
14 by the kind of production unit it is. It could be 500, it
15 could be 1,000, it could be any number I think.

16 Then we had the duration of use in combination
17 with that, to get at the question of what are the animals
18 seeing, selected for pressure.

19 DR. LEGGETT: As an example or follow-up, can
20 you tell us what of these antibacterial food additives that
21 Dr. Carnevale talked to us about would be typically used in
22 chickens and would be typically used in swine?

23 DR. TOLLEFSON: The antimicrobials that Dr.
24 Carnevale talked about?

25 DR. LEGGETT: On page 13, he talked about the

1 antibacterial food additives. Then you talked about table
2 4, and you had this category of high where flocks or herds
3 or animals were used. And I just want you to fill out the
4 example for us.

5 DR. TOLLEFSON: Got you, okay. For example,
6 carbadox is -- I wouldn't consider that. Erythromycin is
7 the first one. Avoparcin is not approved. The
8 erythromycin is the first one. That's almost exclusively
9 used in swine as Tylosin as a growth promoter. Yes, feed
10 lots to a certain extent.

11 DR. LEGGETT: How about lincomycin?

12 DR. TOLLEFSON: Lincomycin is a swine drug.
13 And poultry too?

14 DR. LEGGETT: As growth promoters? No?

15 DR. CARNEVALE: No, mostly therapeutic.

16 DR. TOLLEFSON: Yes. That's mostly
17 therapeutic.

18 Penicillin is approved in all classes of
19 animals for all uses -- growth promotion, prophylactic,
20 therapy. In general, the old drugs, penicillin,
21 tetracyclines, sulfa drugs are approved for everything.

22 DR. APLEY: Yes. I think just in cattle there
23 wouldn't be a growth promotion penicillin.

24 DR. TOLLEFSON: No. That's an injectable.

25 DR. APLEY: Poultry and swine.

1 DR. TOLLEFSON: Right.

2 DR. WALD: Which one of those is a sulfa drug?

3 DR. TOLLEFSON: Oh, I'm sorry. Sulfa drugs are
4 used in combination in growth promotion. Sulfa methazine
5 -- anybody from 157 here? Sulfa methiazole.

6 DR. WALD: So it's not on this list?

7 DR. TOLLEFSON: No, you're right, it's not.
8 There is no single sulfa drug used as a growth promotion.
9 Correct? I don't think so. So they use it in two- and
10 three-way combinations.

11 I think the point is that the way we tried to
12 address the issue was through table 4, and then if you look
13 at the risk management table, that's strictly limited to
14 category 3. Growth promotion uses would only be available
15 on category 3, the least category of concern.

16 DR. LEGGETT: Dr. Wald.

17 DR. WALD: Yes, I think it's easy for us to
18 agree that healthy animals are the basis for a healthy food
19 supply, and to be sympathetic about the use of
20 antimicrobials for either treatment or even prophylaxis
21 when there is illness in some of the animals in a herd.

22 I guess for me the big question would be as
23 growth promotion, and I'm sure that this is a controversial
24 issue. But there must be areas in the world where these
25 things are not added to the food that animals eat. And I

1 wonder what are the data that they are essential for growth
2 promotion, and could we hear some discussion of that.

3 DR. LEGGETT: Do you want to try in 30 seconds
4 or less?

5 (Laughter.)

6 DR. APLEY: That's one that I'm surely not the
7 resident expert on. There may be some others later who
8 would be willing to comment. A lot of that goes around the
9 Danish experience or what's gone on in that area of the
10 world with withdrawal of these. The key thing that rests
11 in my mind is the total amount has gone down due to removal
12 of those products. We've seen therapeutic use go up.

13 Let's say that is a two-hour deal. It would be
14 something that if this committee would be interested in,
15 there would be the availability of having someone come and
16 present on that because, as usual, there's data that can be
17 interpreted in several ways.

18 DR. TOLLEFSON: Yes. We have a fair amount of
19 data on that. What tended to happen -- and I've got to say
20 from a personal point of view I thought the way the
21 European Union handled the issue was a little draconian, in
22 that they just removed them. So they did find animal
23 health problems in the use of therapeutic antimicrobials
24 rose.

25 When all the dust settled over a period of

1 time, the Danes and the Swedes are getting along pretty
2 well. They have some problems with predictable areas, like
3 weanling pigs. When the baby pig is removed from the sow
4 and put into the first growth phase, that's still an issue.

5 They do have disease rates that they need to treat with
6 therapeutic drugs, and for a relatively short period of
7 time. But they do need to use antimicrobials. I think the
8 experience has shown that you can't raise animals without
9 antimicrobials. In general you can't.

10 DR. LEGGETT: Dr. Poretz.

11 DR. PORETZ: Although we occasionally see
12 advertisements for hormone-free and antibiotic-free animals
13 in the food stores, what percentage of chickens and what
14 percentages of cattle, swine that are sold commercially are
15 given antimicrobials of any type?

16 DR. TOLLEFSON: Most of them. Antibiotic-free
17 animals are antibiotic-free. The companies raise the
18 animals, and if they need antimicrobial treatment for
19 health reasons, they'll divert them to regular commercial
20 channels. Hormones are only given to cattle, pretty much
21 in this country. Poultry don't have hormones at all, but
22 they do have growth promoters. So pretty much all animals
23 see antimicrobials -- that's true -- at some point in their
24 life.

25 DR. LEGGETT: Dr. Maxwell.

1 DR. MAXWELL: I don't see it on the list, but I
2 just wondered, are any quinolones used for growth
3 promotion?

4 DR. LEGGETT: Absolutely not. It's approved.
5 There are very limited approvals. There are approvals in
6 poultry. All are therapeutic and under veterinary
7 prescription. The poultry one is highlighted because the
8 FDA has decided to go through the process of removing that
9 approval. Yes, we are in the middle of the process of
10 doing that, and it's long and involved.

11 There are also therapeutic fluoroquinolones for
12 use in cattle and in swine, and those are both injectable.

13 DR. APLEY: No, there's not one for swine. A
14 fluoroquinolone isn't.

15 DR. TOLLEFSON: Just approved.

16 DR. APLEY: Oh, okay. I didn't read that one.
17 The two for cattle are respiratory disease only.

18 DR. TOLLEFSON: Oh, the other one's a Bayer
19 product and another one for cattle. I'm sorry. Yes,
20 that's right.

21 DR. APLEY: Yes, okay. That was a new one to
22 me. There's danofloxacin and enrofloxacin. They're
23 approved only for use in bovine respiratory disease and any
24 extra-label use is prohibited.

25 DR. MAXWELL: I just had an additional

1 question. I don't know anything about it, but I know that
2 I have heard that some people access animal drugs for human
3 consumption. Do you have any comment on that?

4 DR. APLEY: The one that made the news recently
5 was individuals going into pet stores and acquiring them
6 through that. If that does occur in the veterinary chain,
7 it would surely not be intentional. They're controlled and
8 dispensed for animal use. But that was going in and buying
9 aquarium caplets and using them as an example.

10 We had the question about how are drugs used in
11 the production setting. I could give you a two- or three-
12 minute rundown of exactly how you'd see it in a feed lot,
13 how they're used, if that would interest you.

14 DR. LEGGETT: Yes, I think so.

15 DR. APLEY: What we do is on arrival the
16 animals are processed, put into pens. There are compounds
17 that may be used in the feed. One of the ionophores is
18 very typically used in cattle, and that would include
19 Monensin and Lasalocid. They're used as a coccidiostat and
20 used as a performance enhancer, altering rumen flora.
21 Tylosin may be used as a liver abscess preventive.

22 There was an article in the New York Times
23 about the --

24 DR. BELL: That's a macrolide.

25 DR. APLEY: That's a macrolide, a macrolide not

1 used in human medicine, but it is a macrolide.

2 DR. BELL: In case everybody didn't necessarily
3 know that Tylosin was a macrolide, I just wanted to mention
4 that.

5 DR. APLEY: There was a New York Times article
6 about the vats of hormones that were put in the feed. We
7 don't put any hormones in the feed. If they are given a
8 growth promoting hormone, it's an in-ear implant.

9 For animals that display signs of respiratory
10 disease, we have labeled products that include the two
11 fluoroquinolones discussed. We have a macrolide in
12 erythromycin, Tylosin. Tilmicosin is a macrolide labeled
13 for respiratory disease. We have a third generation
14 cephalosporin, Ceftiafur, which is labeled for the use in
15 respiratory disease and in foot rot, infectious
16 pododermatitis. We also have a thiamphenocol derivative,
17 florfenicol, which is approved for that.

18 Of those drugs, we have two of them that are
19 also labeled for -- we use the term "metaphylaxis" -- high-
20 risk animals on arrival that are considered to be in the
21 early stages of respiratory disease, and that is the case
22 in feed lots, where we may apply a drug in an injectable
23 format to the entire group of cattle, a pen of cattle
24 coming in, or a load.

25 Then we also have other drugs approved for

1 respiratory disease. We have some sulfa dimethoxine, sulfa
2 methazine. We have oxytetracycline.

3 In the feed we have oxytetracycline and
4 chlortetracycline as individual agents, and then we have a
5 combination tetracycline-sulfa agent that can be used in
6 the feed for therapeutic prevention of respiratory disease.

7 They have defined periods. I think they're all less than
8 14 days or so.

9 When animals are detected in the pen as having
10 respiratory disease, which is about 70 percent of our
11 morbidity and about 50 percent of our mortality, we usually
12 -- respiratory disease case fatality rates will run 5 to 10
13 percent in highly stressed cattle down to 1 percent in
14 cattle that are not. When they are identified, they are
15 typically brought to a central treatment facility where
16 they are treated. Some hospitals keep them there, some
17 take them back.

18 I work with feed lots up to a 100,000-head
19 capacity. That translates to about 21 miles of feed bunk
20 in that facility. We had each animal individually
21 identified with a tag, and they were entered into a
22 treatment computer, and as a consultant I'd start my day
23 there every day, when we came there twice a month,
24 analyzing treatment response. We would perform necropsies
25 on all the animals so we could go back and see what exactly

1 was happening.

2 So with the larger units and the progression of
3 the industry, we're actually progressing towards tighter
4 and tighter veterinary control of the therapeutic agents
5 than we've ever had before. In this case we've got 100,000
6 head of cattle in a two-square mile area that each have
7 their own individual identification number, and if they're
8 treated, their response is tracked on computer.

9 DR. LEGGETT: A question. They're still in the
10 herd when they're being treated? They're not sort of
11 ostracized or isolated?

12 DR. APLEY: Yes. The problem is when you
13 isolate them in what we call our hospital facility, then
14 you mix cattle from different groups. That happens
15 somewhat. Some facilities have gone so far as to treat
16 them and quickly return them to the home pen so that
17 they're not exposed to whatever others might have. This is
18 a case where one of our biggest management procedures is
19 preventing viral effects, bovine viral diarrhea, IBR, which
20 is a herpes virus. And it's just like in human medicine.
21 It hides out and comes back under stress. We try to
22 address those in a lot of our successful programs. By
23 addressing those viral etiologies, we drastically decrease
24 their need for antimicrobials.

25 That in a nutshell is how we use it.

1 DR. LEGGETT: Could either Richard or you give
2 us an example of, for instance, you say 50 percent of the
3 mortality in cattle would be respiratory, so we could sort
4 of think of those antibiotics that you're using there.
5 What are the illnesses for which swine are most often
6 treated therapeutically? What antibiotics would most
7 likely be used, do you know?

8 DR. CARNEVALE: I'd prefer to turn to some
9 folks in the audience who are swine specialists to answer
10 that question. Paul?

11 DR. LEGGETT: Please identify yourself.

12 DR. SUNDBERG: I'll ask you to restate the
13 question too.

14 I'm Dr. Paul Sundberg. I'm with the National
15 Pork Board and I'm asked to be part of the open comments
16 this afternoon as well, so maybe we can address some of
17 those then. But again, specifically your question?

18 DR. LEGGETT: Trying to get a handle on what
19 would be typical antibiotics used therapeutically for the
20 major causes of mortality. Dr. Apley just said it's mostly
21 respiratory, for which there would be fluoroquinolones and
22 the sulfa things. What would be the correlate in swine?

23 DR. SUNDBERG: In pork production, there are
24 really two times of primary risk for disease. One is as a
25 neonate and a young animal. That's diarrhetic diseases.

1 Those are diarrheas. So you use those antimicrobials that
2 would be effective on diarrhetic disease. It happens in
3 the feed lot too, if I understand correctly.

4 As the animal gets older, then that risk from
5 diarrhea transfers over to a risk of respiratory disease.
6 So you see little diarrheas during the older stages of the
7 animal's life and more of the respiratory disease and the
8 antimicrobials that would be used for that.

9 For example, Tylosin, penicillin, tilmicosin.
10 Those could be used for respiratory diseases in pigs.
11 Chlortetracycline. The tetracyclines are used commonly,
12 both for prevention and for treatment.

13 DR. LEGGETT: And in the diarrhea?

14 DR. SUNDBERG: For the diarrhea, it's Tylosin
15 again. Some of the Gentacin, for example, is labeled for
16 diarrhea in neonatal pigs.

17 DR. LEGGETT: Any cephalosporins?

18 DR. SUNDBERG: Not for diarrhea.

19 DR. LEGGETT: Thank you.

20 Dr. Maxwell.

21 DR. MAXWELL: Just a general question. What
22 percentage of respiratory illness is of viral etiology?

23 DR. APLEY: This is an excellent question that
24 we continue to ask for the final question -- actually in
25 the bovine respiratory disease we call it the complex. We

1 think that viruses are a big part of setting it up. We
2 treat animals with elevated temperatures, displaying signs
3 of depression and appearing to be suffering or in danger of
4 not making it if not treated. For those animals, when we
5 do deep nasal swabs, et cetera, there are varying
6 percentages who are able to recover something. I couldn't
7 give you a good percent of how many are involved. There's
8 a possibility that in a lot of the cases it was laying
9 somewhere in there as one of the instigators, although
10 there's a bacterial cause now.

11 And along that line, which I think the question
12 is probably leading to, are there any ways of trying to
13 avoid putting antimicrobials in animals that are just
14 viral. One of the things we do is in the yards I've worked
15 with is we institute what we call a non-eater treatment,
16 and in that treatment the animal is identified in the pen
17 as displaying signs of respiratory disease, being
18 depressed, off feed, nasal-ocular discharge, et cetera.
19 If, when they get to our hospital facility, we find that
20 their temperature is not elevated to a sufficient amount
21 and they've stopped displaying the signs of lethargy, et
22 cetera, some places may revaccinate, some may give them
23 some oral vitamins, some hydration but don't put an
24 antimicrobial in. It's been very successful. We've found
25 in those programs that our case fatality in the ones that

1 are just treated as an observe or a non-eater is actually
2 less than the ones treated for true respiratory disease.

3 And that's one of the reasons we watch our case
4 fatality so closely. If we get a case fatality of almost 0
5 percent, that tells us there are a lot of animals being
6 treated that don't need to be, and we go back and change
7 our treatment criteria.

8 DR. LEGGETT: Would you estimate that the
9 number, the percentage of veterinarians who give
10 antibiotics for viral illness is as high as it is among
11 doctors who give antibiotics for viral illness?

12 (Laughter.)

13 DR. APLEY: I don't know how to answer that and
14 win.

15 (Laughter.)

16 DR. APLEY: I will tell you that veterinarians
17 are extremely concerned about this. I do a lot of
18 continuing education, and one of the reasons is because I
19 talk on antimicrobial resistance, prudent antimicrobial
20 use, case definitions, and applying those in production
21 settings. Veterinarians are very interested in that and
22 there are two reasons. One is there's an obligation to
23 animal and public health. The second is the profit margins
24 for our clients are really narrow.

25 For example, on our feeder cattle, to use some

1 of those antimicrobials I talked about could take \$15 to
2 \$20 per animal. Over the long haul, people would hope to
3 make \$15 or \$20 per animal, over the long haul feeding
4 those animals.

5 That's another reason is economically this --
6 in the New England Journal of Medicine, that editorial
7 about we'd rather just lace them with antibiotics instead
8 of applying management -- that's so wrong. That is so
9 unbelievably wrong, not just because of our ethics and our
10 obligations but because we can't afford to do that. The
11 food animal sites, the food animal segments within the
12 industry that think they can rely on antimicrobials to
13 cover up management practices are not going to stay in
14 business. We don't want to do it and we can't afford to do
15 it.

16 DR. LEGGETT: Dr. Goldberger.

17 DR. GOLDBERGER: Could you talk a little bit
18 about the current threat areas in terms of not having
19 available or adequate therapy, the things that are driving
20 the need for new antimicrobials in animals?

21 DR. APLEY: I think we're finding some of the
22 same areas, and that there are some Gram-positives giving
23 us some fights. We see some streps that are tough to deal
24 with with the drugs we have available. We see enteric
25 disease similar to you. Some of the enteric diseases that

1 are moving around in some of the neonates are very tough to
2 treat and we've always focused on prevention and it brings
3 a newer emphasis to it.

4 Some of the Gram-negatives, the
5 enterobacteriaceae can give us a really big challenge.
6 There are some Actinobacillus that are starting to show up
7 with some resistance. A lot of the enteric disease, the
8 same as in human medicine, gives us a real fit.

9 DR. GOLDBERGER: Are most of the examples
10 you're using, individual or small numbers of animals
11 treated, or are some of these situations where you might
12 end up having to treat large numbers of animals for some of
13 those infections?

14 DR. APLEY: For some enteric outbreaks, there
15 would be the need to address a pen or a room or a group of
16 animals. On the cattle side, our enteric disease is almost
17 all individual animal, and our enteric disease usually
18 takes place out on the pasture. Then as Dr. Sundberg
19 stated, in swine it would be earlier on.

20 Most of our enteric disease occurs the furthest
21 away from going to the slaughter facility as possible. It
22 occurs early on in the animal's life, so there's quite a
23 little time between there and harvesting the animal.

24 The respiratory cases, depending on the
25 species, in the same way. They may require addressing the

1 whole group, or they may require individual animals.

2 One thing we've found is that if we delay in
3 some of these cases and wait for individual animal therapy
4 -- for example, in what we call the high-risk cattle --
5 that we end up having to use a lot more antimicrobials on
6 the one we treat later.

7 DR. LEGGETT: Could you clarify? By threat
8 areas, do you mean bacteria that are resistant to current
9 antibiotics, just so we're all clear on that?

10 DR. APLEY: Yes. We're finding some that we
11 have some resistance issues with.

12 DR. LEGGETT: Like do you have Klebsiella,
13 Citrobacters, Enterobacters that are resistant or these
14 other things?

15 DR. APLEY: We've got some salmonellas that are
16 very resistant, and I've seen a couple of streps lately
17 that are resistant.

18 It's interesting in the small animal section of
19 the veterinary hospitals we're seeing MRSA's. I saw a
20 report on a dog the other day that had a very scary
21 enterococci. It wasn't a VRE. When you go over on the
22 companion side, it's very much the same issue.

23 DR. LEGGETT: Dr. Rupp.

24 DR. RUPP: Just kind of a hypothetical
25 question. In your opinion what percentage of therapeutic

1 antibiotic use in animals is related to the current sort of
2 industrial approach to raising animals? We know in human
3 medicine that you take a group of people and you crowd them
4 into a military barracks, they get a bunch of Group A strep
5 and a bunch of meningitis. My guess is if you take
6 thousands of swine or thousands of cattle, crowd them into
7 a feed lot or into a swine confinement building, you get a
8 lot of disease. If we didn't raise animals in that way,
9 how much antibiotics would be used?

10 DR. APLEY: First of all, I'd hate to
11 conjecture a percent. I just don't know.

12 One of the popular things about that -- and
13 I'll use swine production as an example -- is that you put
14 them all together and it's disease city. But when you go
15 through those facilities -- and David had the opportunity
16 to tour one -- you shower in and you shower out, and the
17 dirty side is the outside. The dirty side isn't the
18 inside. You shower in, you shower out. You switch
19 clothes. They very carefully control air flow, they very
20 carefully control room temperature. They hang thermometers
21 at different heights. Some of them data log the
22 temperatures.

23 What these facilities have provided is, true, a
24 more condensed livestock population, but the other thing
25 they have provided is a way to avoid disease exposure, all-

1 in, all-out, complete cleaning and sanitation between
2 groups of animals.

3 And then we find in so many of these species
4 that climate is a big, big part of disease, with the stress
5 of adverse climate conditions. What they're able to do in
6 these facilities with, again, air flow, fresh air exchange,
7 temperature control -- I just talked to a veterinarian who
8 manages a large sow unit and they have had a significant
9 impact on some diseases in the nursery by going to some
10 zone temperature control.

11 Agreed, when you put animals together there is
12 potential for increased transfer, but it's given us the
13 opportunity to do some other things that we wouldn't
14 running loose in the field.

15 DR. LEGGETT: Dr. Bell.

16 DR. BELL: I just wanted to follow up on that.
17 It's actually fascinating how societies raise food to feed
18 themselves, and it's very complicated. It's too bad. I
19 kind of suggested we maybe didn't have a 15-minute kind of
20 primer on how this is done and how the drugs are used.

21 But I've actually had the pleasure of being
22 heavily involved in this area for several years now and
23 have had the opportunity to visit several -- well, some of
24 the major cattle, beef, dairy, swine, dairy, poultry.
25 There are similarities and differences.

1 The hygiene in the facility -- I was very
2 pleasantly surprised on a swine farm when I went out to one
3 of the larger ones in Iowa. You know, it's like you said,
4 you had to shower in, shower out. They made me take off
5 everything, including my underwear and my glasses, and to
6 get out I had to do this again. They said, oh, by the way,
7 leave the towel on the dirty side, and the dirty side was
8 the people side. I mean, they try. There are precautions
9 to prevent rodents and birds from getting in. So even
10 though there are 1,400 animals in fairly close confinement,
11 my impression was that everything inside was very clean and
12 they went to great lengths on this swine farm.

13 Now, that is quite different in a poultry
14 operation, where I also visited some of them. There's just
15 a big house and there are tens of thousands of chickens in
16 there and you can hardly even see with the dust and the
17 feathers. Partly for that reason, to medicate these
18 animals they have to add the antibiotic to the water
19 because you can't just find one and inject it like you can
20 in a swine or a feed lot.

21 The feed lot was kind of in between. I mean,
22 they're segregated in small groups, but there was kind of a
23 level of feces on the ground there that periodically got, I
24 guess, shoveled off.

25 So it's different, and there are small and

1 large operations. The trend of course is to be larger

2 But there are other aspects of production that
3 influence antibiotic use and there are people here more
4 expert than me. But I'll give you just one example, like
5 how soon animals are weaned. I mean, breast milk has
6 protective substances in it, and if they wean too early --
7 let's just say the earlier they're weaned, the more the
8 young animals are at risk for various bacterial diarrheas
9 because they're given artificial feed. So the way the
10 producers try and deal with this is to give them
11 prophylactically antibiotics in the feed. This would be on
12 a swine farm, for example.

13 But it's partly a business decision how early
14 they're weaned because they want the sows to get pregnant
15 again and produce more pigs. Now if they're weaned too
16 late --

17 DR. LEGGETT: Business is a little bit far
18 afield from where we want to go in this meeting.

19 DR. BELL: Yes, okay. There was a lot here.

20 DR. LEGGETT: I think we're going to cut off
21 the questions now.

22 The only thing I might charge to the American
23 Veterinary Medical Association in terms of coming up with
24 the better product that we are in charge of coming up with
25 in human importance, the same applies to Dr. Carnevale's

1 group, and what I would ask your group to do is to provide
2 us with more specific information about which antibiotics,
3 what resistant bacteria, that sort of thing, so that then
4 we can make the best informed approach to work on the
5 parameters and to optimize that last portion, which is what
6 I think you both care about, but we need your help in our
7 doing the best job on our end.

8 DR. APLEY: We'd be glad to work with you.

9 DR. LEGGETT: Thank you.

10 The next speaker is John Powers, who will talk
11 to us about the process of ranking of drugs by importance
12 in human medicine.

13 DR. POWERS: Thanks, Dr. Leggett.

14 This is really what we wanted the committee to
15 address today, is Appendix A that's in the guidance
16 document, and how it relates to the ranking of
17 antimicrobial drugs according to importance in human
18 medicine.

19 What I'd like to do today is try to define the
20 problem of antimicrobial use in animals, and talk a little
21 bit about its relationship to antimicrobial resistance in
22 human pathogens, and then give just a little of the
23 background on the ranking process as it relates to the
24 ranking of the drugs according to human importance, which
25 is what our colleagues at the Center for Veterinary

1 Medicine asked us to do as part of formulating this
2 guidance, and then go through the factors that we used in
3 ranking the drugs for human use according to their
4 importance, and divide them up looking at the factors based
5 on drug efficacy, factors based on drug resistance, and
6 factors that led us to conclude that some drugs were of low
7 importance.

8 The issue of antimicrobial drug use in food-
9 producing animals is actually an old one and was first
10 addressed back in 1969 in the Swann Report that was issued
11 in the United Kingdom and the debate has continued since
12 that time. So what we're talking about, this relationship,
13 has been something that has been debated for quite a while.

14 The idea is that the use of antibiotics in food-producing
15 animals may result in bacteria in animals that are then
16 resistant to the drugs used to treat human illness, and
17 that those resistant bacteria in food-producing animals may
18 be transmitted to humans.

19 Above and beyond that, resistance determinants
20 from bacteria in food-producing animals may be transmitted
21 to humans as well.

22 And also there's the concern that non-
23 pathogenic bacteria originating in food-producing animals
24 may transmit resistance traits to human pathogenic
25 bacteria, and as was pointed out this morning, sometimes a

1 non-pathogenic bacteria in an animal is a pathogenic
2 bacteria for a human being.

3 All these three bullet points are actually
4 highly debated.

5 The problem is that antimicrobial use in humans
6 contributes to most resistance in man. There is no doubt
7 that antimicrobial usage in human beings is the major
8 driver of resistance.

9 The question that comes up, though, is does
10 antibiotic use in animals also contribute to this, and if
11 so, how do we measure it? Several authors debate how large
12 a problem this actually is, and there is a great discussion
13 of this in a forum that's in the Lancet Infectious Disease
14 that came out last week that has six different authors
15 presenting various sides of this issue. It's very
16 instructive to read that as well. You can see sort of both
17 sides of the issue there.

18 But the question for us that we can ask is, how
19 large does the problem have to be before it poses a
20 significant risk to human health? So the issue also comes
21 to be, do we want to do something about it before it
22 becomes a significant problem?

23 Also, because it is very difficult to measure.

24 As Dr. Carnevale pointed out this morning, some of these
25 studies are very difficult to design, if not impossible, to

1 look at the actual linkage between animal and human usage.

2 Therefore, because these are difficult to do, does it mean
3 that this relationship does not exist and that we shouldn't
4 do anything about it?

5 I give you an example here of debate which is
6 the avoparcin story. Avoparcin was a glycopeptide
7 antibiotic that was used in animals formerly in the
8 European Union but never in the United States. Avoparcin
9 resistance also results in cross-resistance to vancomycin.
10 It was shown that avoparcin usage in animals resulted in
11 vancomycin resistance in the enterococci that animals
12 carry.

13 The next question that comes up, though, is,
14 does that avoparcin resistance in animals result in
15 vancomycin-resistant infections in humans? As you can
16 imagine, that's a very difficult question to answer.

17 The folks who have posed that it is not an
18 issue say, well, the majority of vancomycin-resistant
19 enterococcal infections occur in the United States, not in
20 Europe, where in the U.S. avoparcin has never been used.
21 But does this really address the question or does it just
22 point out the global nature of infections in man, animals,
23 and in the food supply as well?

24 I just sort of harken back to an outbreak of
25 cyclospora that occurred a couple of years ago, that when

1 the outbreak was traced back, it came from raspberries in
2 Mexico, and yet the people got sick in Wisconsin, which
3 points out the global nature of the food supply as well.

4 So the next question comes up, are there
5 examples of resistant bacteria transmission from animals to
6 humans? As Dr. Patterson pointed out this morning, there
7 are some examples of outbreaks that have occurred in the
8 past. This doesn't address how commonly these occur or how
9 big a problem it is, just the fact that these things do
10 occur. For instance, in a recent New England Journal of
11 Medicine paper, Molbak and colleagues pointed out an
12 outbreak of Salmonella that was traced back to pigs. This
13 is an example of enteric bacteria in animals causing food-
14 borne disease in man, which is our most direct linkage of
15 transmission of resistance from animal to human.

16 However, there are also examples of non-enteric
17 bacteria to animals to non-enteric bacteria in man as well.

18 For instance, back in 1986 there was a report of the ROB-1
19 beta-lactamase and Actinobacillus pleuropneumoniae, which
20 is a respiratory pathogen in pigs, which transferred its
21 plasmid to Hemophilus influenzae in man. Again, not saying
22 that this happens every day or that this is common, just
23 that this points out the possibility that this could occur.

24 Also there's the possibility for gene transfer
25 from enteric bacteria in animals to non-enteric bacteria in

1 man. Dr. Bell pointed out this morning the concern that
2 the vanA gene in enterococci may actually manage to make
3 its way into methicillin-resistant Staph. aureus in man,
4 given the fact that in places like decubitus ulcers that
5 most Staph. aureus and enterococci may be present in
6 conjunction.

7 But has this ever really happened in animals?
8 There actually is an example of this, which unfortunately I
9 did not put up on this slide. Back in the 1980s there was
10 an aminoglycoside antibiotic called apromycin, which was
11 used, again, in Europe, in France. When this was approved
12 in the 1980s, by 1984 E. coli resistant to apromycin, which
13 also generates cross-resistance with gentamicin, were found
14 in calves with diarrhea. This resistance was mediated by a
15 plasmid called AAC3IV.

16 By 1985 to 1988, strains of E. coli, Salmonella
17 typhamuria, and Klebsiella pneumoniae, which also obviously
18 causes a respiratory disease in human beings, namely
19 hospital-acquired pneumonia, were also found in human
20 beings in France as well. It was found that these had the
21 AAC3IV plasmid.

22 Now, one could also make the argument that
23 perhaps it was gentamicin usage in humans which resulted in
24 the human resistance pattern. However, the interesting
25 thing was that when these organisms were genotyped that

1 these organisms also had resistance to a drug called
2 hygromycin B, which is only used in animals and has never
3 been used in people, showing that that transmission could
4 have come from human beings. And again, I want to
5 emphasize that I'm not saying that these are very common
6 occurrences, just the fact that these are examples of
7 things that have happened.

8 So again, that's actually an example of
9 transmission of a resistance gene that started out in an E.
10 coli but ended up in a Klebsiella as well. So there is
11 this potential that this may expand beyond just food-borne
12 disease and into other diseases as well.

13 Also, since that was information from the
14 1980s, let me quote a more recent example. Again, I don't
15 have a reference for this one because it was presented at a
16 symposium at ICAC so it's only in abstract form. But a
17 group from France showed that E. coli isolates from urinary
18 tract infections there had identical features to E. coli in
19 food animals as well. Again, organisms like E. coli can be
20 enteric pathogens in human beings but can also cause
21 urinary tract infections, and again they can cause
22 respiratory disease like hospital-acquired pneumonia.

23 Showing that these organisms have similar
24 features to those in animals, again, doesn't make that
25 direct, absolute link, but it certainly raises the

1 possibility as well.

2 Some authors argue that this is also a cycle of
3 transmission, and this is very clearly elucidated in that
4 Lancet ID paper that talks about this, and that man may
5 actually transmit resistance pathogens to animals via
6 sewage. For instance, when there is flooding of fields
7 that the animals may then be exposed to human sewage. This
8 resistance can then get amplified in animals, as was
9 pointed out several times this morning, that the animals
10 come more closely in contact with feces, and certain
11 animals like chickens are actually coprophagic so they even
12 eat their own feces and circulate the organisms back into
13 their own gut. Then it's possible that these are
14 transmitted back to man.

15 Again, this is primarily theoretical. Has this
16 ever been demonstrated conclusively? The answer right now
17 would be no.

18 So in any case, our desire is to preserve the
19 usefulness of antimicrobials that are of greatest
20 importance in the treatment of human disease. This
21 guidance actually includes the categorization of drugs
22 based on their relative importance in human medicine.

23 This guidance gets very confusing. Even though
24 I work on it, there are a number of highs, mediums and lows
25 included all over this guidance which make it sometimes

1 very difficult. So what we're talking about here is we
2 ranked the drugs in Appendix A by high, medium or low based
3 on their importance in human medicine.

4 Now, that ranking of human drugs actually is
5 used in both the hazard identification and the consequence
6 assessments as a part of this guidance.

7 There are a couple of things to keep in mind
8 here, though, and that is, that the ranking of the human
9 drugs is not the only or the overall driver of the final
10 risk estimation for the drug use in animals. So even
11 though, as presented in the example that Mary Bartholomew
12 showed this morning, a drug may be considered of high
13 importance in human medicine. It may end up as medium
14 importance in the overall risk estimation.

15 The other thing I think that needs to be
16 emphasized is making a drug of medium importance in the
17 overall risk estimation does not necessarily mean it will
18 not be approved and will not be available for animal usage.

19 All it really talks about is the risk strategy used to
20 manage that drug when it's used in animals. It doesn't
21 mean it won't be available for the treatment of animals.

22 A joint CVM-CDER team developed guidelines for
23 the categorization of the drugs by coming up with these
24 factors which I'm going to show you shortly. We were asked
25 by our colleagues at the Center for Veterinary Medicine to

1 rank all drugs, not just those used in the treatment of
2 food-borne pathogens. As I've tried to show you, the drugs
3 used in human medicine for food-borne illness are also
4 sometimes used to treat other non-food-borne diseases.

5 You've heard this morning the example of
6 Tylosin, which is a macrolide antibiotic. As we discussed
7 at length yesterday, macrolides are often used to treat
8 respiratory tract infections in humans, but the IDSA
9 guidelines for the treatment of diarrheal illness also
10 recommend erythromycin as one of the potential therapies in
11 the treatment of Campylobacter disease in human beings.

12 Also drugs used to treat non-enteric disease
13 can affect enteric bacteria, so when one ingests an
14 antibiotic for respiratory illness, it may affect the
15 enteric flora as well.

16 Also as we said, transmission of resistant
17 elements can occur from enteric bacteria and other
18 pathogens which do not encode for enteric disease. For
19 instance, the recent two cases of vancomycin-resistant
20 Staph. aureus infection appear to have acquired their vanA
21 gene from vancomycin-resistant enterococci.

22 I think Dr. Apley was right. Climate does
23 affect illness because every January I get sick. I'm
24 losing my voice.

25 What we did was the ranking of drugs is not a

1 regular part of the CDER review process, as Dr. Goldberger
2 talked about this morning, and it is not necessary for an
3 antibiotic to be approved in humans to show that it has
4 some importance. It just has to be safe and effective.
5 The approval process entails showing that the drug doesn't
6 have to show a specific level of importance in human
7 medicine.

8 However, regulatory initiatives do recognize
9 that some products may be of greater importance in human
10 medicine. For instance, Subpart E and Subpart H
11 regulations take into account whether the treatment is used
12 for serious and life-threatening illnesses.

13 This is a qualitative rather than a
14 quantitative system, and we realize that multiple factors
15 may apply to some drugs, and I'll show you those factors in
16 a minute.

17 There is a degree of subjectivity in these
18 determinations, and it's interesting to me that when we
19 look at comments from the docket, that some of the
20 criticisms of this were that this process of ranking drugs
21 is subjective. Unfortunately, there is no science in
22 ranking drugs according to its importance. There is no
23 body of medical literature implying that one drug is more
24 important than another, and also it was very interesting to
25 note that Dr. Carnevale's presentation showed a lot of

1 subjective data from people as to what the importance might
2 be of drugs for their use in animals or the ranking of
3 animals according to their importance in human medicine.

4 Again, that's not to say that that's not valid,
5 but that's the state of where we are right now given our
6 lack of information. Again, ranking importance, there are
7 whole books on what importance actually means.

8 Also, it does not necessarily include all
9 antimicrobial drugs and classes that have not yet been
10 approved. So there are things in the pipeline that are not
11 on this classification listing.

12 Again, for that reason we would need to review
13 this ranking over time, not only because new drug classes
14 may be approved, but also new diseases may emerge, there
15 may be changes in prescribing patterns. So we expect to
16 have to review this ranking process every few years or so.

17 These are the 10 factors that we came up with
18 to try to rank drugs according to their importance for
19 human medicine.

20 The first is, is the drug a sole therapy, or
21 are there limited available therapies to treat the disease
22 for which that drug is commonly used?

23 The second is, although there may be other
24 therapies available, is it the therapy of choice for that
25 particular disease?

1 available therapy? This is pretty self-explanatory. A
2 drug would be considered of high importance until
3 widespread resistance to humans precludes use or other
4 therapies are available. For instance, vancomycin or
5 linezolid for methicillin-resistant Staph. aureus
6 infections be considered of high importance.

7 The second, is it a therapy of choice? So, for
8 instance, we can use a number of drugs for pre-operative
9 prophylaxis. However, cefazolin is very commonly used for
10 this indication.

11 Or is it important when treating diseases of
12 high morbidity or mortality, such as third generation
13 cephalosporins in the treatment of acute bacterial
14 meningitis?

15 Next is, does the spectrum of activity of the
16 drug have particular importance? For instance,
17 dalfofristin/quinupristin is used primarily for the
18 treatment of vancomycin-resistant enterococcal infections,
19 although it does carry some other indications as well.

20 Fourth, is the drug of importance in oral
21 therapy, so if a patient is in the hospital and then can be
22 transitioned over to oral therapy and leave the hospital
23 sooner, that drug may be important. So fluoroquinolones or
24 trimethoprim-sulfa are examples of this where patients can
25 be transitioned from IV to oral therapy for Gram-negative

1 infections.

2 Next is the importance in treating food-borne
3 infections. Again, this is the most direct link between
4 infection or colonization in animals and infections in
5 humans, but again, not the only one. The potential for
6 transmission of resistance elements also exists from
7 animals to humans, as we've already discussed. So this
8 includes drugs used for the treatment of disease, which may
9 be severe or resistant to other therapies, such as
10 fluoroquinolones for the treatment of multi-drug resistant
11 salmonella infections.

12 The next is, does the drug have a unique
13 mechanism of action? And this is especially valuable to
14 human medicine if there is no widespread resistance to the
15 drug already existing in the environment. For instance,
16 linezolid for Gram-positive infections. So limitation of
17 the use of the drug beyond treatment in human disease in
18 these particular drugs may limit the emergence of
19 resistance.

20 But what we consider a unique mechanism of
21 action may change over time as more drugs get marketed
22 within a class. For instance, when norfloxacin was
23 released, it was the first fluoroquinolone to come on the
24 market. Now that we have several other quinolones on the
25 market, one might not consider that the DNA gyrase

1 inhibiting ability of fluoroquinolones as novel at this
2 point. And the other question is the emergence of
3 resistance to members of a given class may no longer make
4 this of importance.

5 Next is cross-resistance within the drug class,
6 so the importance of drugs within the same class which have
7 activity against organisms resistant to older members of
8 the class. For instance, organisms resistant to cefazolin
9 may still be susceptible to ceftriaxone or cefotaxime, even
10 though both of those kinds of drugs are cephalosporins.

11 And this may vary within the organism or drug
12 class. For instance, aminoglycoside resistance comes in
13 several flavors. Gram-negative organisms resistant to
14 gentamicin still may be susceptible to amikacin; however,
15 organisms like enterococci which are resistant to
16 gentamicin are almost always resistant to amikacin.

17 The next is cross-resistance across drug
18 classes. Plasmid-mediated resistance may transmit multiple
19 resistance genes at once. For instance, plasmids in Gram-
20 negatives carrying resistance genes for beta-lactamases may
21 also carry genes for resistance to sulfa drugs and
22 chloramphenicol.

23 If this cross-resistance is linked, the drugs
24 would be ranked according to the class considered of
25 highest importance. So, for instance, if we know that

1 beta-lactams are important and it's on a Gram-negative,
2 even though we might not consider chloramphenicol as really
3 important, if we consider chloramphenicol resistance as a
4 marker, that might be something that would be considered
5 important.

6 Drugs which do not have linked resistance to
7 other antimicrobials are considered of particular
8 importance. For instance, drugs which do not have plasmid-
9 mediated resistance but in whom the resistance is most
10 likely chromosomally mediated, like fluoroquinolones, it's
11 harder to transmit that to another organism. That would be
12 considered important to reserve that drug for human usage.

13 That actually gets to the next point of ease of
14 transmissibility of resistance. Low ease of
15 transmissibility would mean actually it's hard to transmit
16 that resistance from one organism to another, such as
17 chromosomal mutations and resistance in fluoroquinolones.

18 On the other hand, a drug which is considered
19 high as far as transmissibility of resistance means that
20 single or multiple drug resistance is easily transmissible
21 via plasmids or transposons, such as occurs with plasmid-
22 mediated beta-lactamases.

23 Then finally there's cross-resistance between
24 drugs used in animals and drugs used in humans. The actual
25 drug proposed for us in animals is often different from the

1 way the drug is used in humans. However, resistance in the
2 animal drug may result in resistance to human drug. Again,
3 I use the avoparcin example that I quoted earlier.
4 Therefore, the animal drug would be a sign of importance
5 according to the human drug.

6 How do we then define drugs that are of lesser
7 importance? This is actually drugs which have little or no
8 use in human medicine. They're neither the first choice
9 nor an important alternative for human infections, such as
10 the ionophores, and we included polymyxins on this list.
11 However, we received a comment to the docket from the
12 Infectious Disease Society of America, commenting that they
13 thought that polymyxins should be ranked higher because of
14 its increasing use in resistant *Pseudomonas* infections.

15 One of the issues that probably is important to
16 talk about today, though, is even though we've shown some
17 examples of drug resistance that can be transmitted to
18 other forms of bacteria in human beings, are there some
19 places where this just doesn't occur or is unlikely to
20 occur? For instance, polymyxin B for *Pseudomonas*. Is that
21 really an issue in that *Pseudomonas* is not a pathogen which
22 we have any experience with of being transmitted from
23 animals to human beings? One could also put something like
24 *Mycobacterium tuberculosis*, which appears to be a sole
25 human pathogen, on that list as well.

1 So, in summary, the ranking of drugs according
2 to importance is only one part of the overall framework
3 document and guidance, but it's the part that we'd like you
4 to comment on today. Again, I'd reiterate that this is
5 actually a guidance, meaning that it is not an absolute
6 regulation that industry has to follow. It's just our best
7 opinion of what they should do.

8 We have opened the docket for comments about
9 the ranking process and we received some of that, and we
10 consider this the next step by bringing this before the
11 committee to hear your comments on this ranking process.

12 I'll stop there.

13 DR. LEGGETT: Thank you, Dr. Powers.

14 Are there any questions?

15 (No response.)

16 DR. LEGGETT: You did a great job, then,
17 obviously.

18 DR. POWERS: Everybody wants to eat lunch.

19 DR. LEGGETT: Yes. It's a little earlier today
20 than yesterday, thank goodness. So why don't we break for
21 lunch and come back. Stroll in and be in your chairs
22 hopefully by 1:30 so we can begin the open public hearing.

23 Thank you very much.

24 (Whereupon, at 12:23 p.m., the committee was
25 recessed, to reconvene at 1:30 p.m., this same day.)

1 AFTERNOON SESSION

2 (1:34 p.m.)

3 DR. LEGGETT: Good afternoon. This next
4 portion of the meeting is devoted to an open public
5 hearing, after which we will have committee discussion.
6 Several things I'd like to point out. I have a watch, and
7 the open discussion for each person is 10 minutes -- not
8 11, not 12 -- 10. So whatever you can get through, that's
9 what you've got.

10 Following that, we have been asked to talk
11 about the ranking in that Appendix A. I would like us not
12 to spend a lot of time deciding whether streptomycin is
13 high, medium, or low. I want to spend time on do we weight
14 one factor 3 times and another factor .5 in terms of coming
15 up with high, medium, and low. Do we get rid of some of
16 these things? Do we make it smaller, etc.? So I want to
17 try to structure the discussion about points in general,
18 but I'd like us not to meander since about half of the
19 committee is leaving in a single taxi at 4:00 o'clock.

20 With that, I think we can get started. I do
21 not have the list of who is speaking and what order they're
22 speaking. I'll let you guys decide.

23 Are you Dr. Sundberg?

24 DR. SUNDBERG: Yes. Good afternoon. I'm Paul
25 Sundberg. I'm a veterinarian with the National Pork Board.

1 I was in practice in Nebraska for nine years. I went back
2 to Iowa State and got a Ph.D. in veterinary microbiology
3 with a specialty in preventive medicine.

4 I'm part of a contingent here today to speak
5 with you about what was referred to this morning by the
6 producer and veterinarian groups and giving you some
7 background about what happens on the farm and with the
8 animals. I deal with animal health issues and welfare
9 issues on behalf of the pork producers.

10 I think it's important for me to start out by
11 saying that on behalf of the pork producers and the
12 farmers, we pay attention to our animals. That's our
13 business. If we didn't have animals, we wouldn't make a
14 living. We pay attention to the production of food.
15 That's our business. If we don't pay attention to the
16 production of food and a safe product, we also don't have a
17 living. But we're also part of the community, and the
18 health of farmers, the health of ourselves, the health of
19 our families, and health of our community is important to
20 us. We're consumers as well as everybody else.

21 Pork producers recognize their
22 responsibilities. First of all, we recognize our
23 responsibilities in providing food and a safe product to
24 the consumer. In doing that, we have to form our policies
25 and our recommendations to our peers, based on information

1 and based on data that we can gather.

2 To gather that information the pork producers
3 have a professional staff -- I'm part of that -- that is
4 charged with gathering data, with reading the science, with
5 interpreting it, with putting it into perspective for the
6 producers so they can make the decisions about their use
7 policies and about the direction they want their industry
8 to go.

9 As part of that we participated in the WHO
10 meetings in Germany and Switzerland, in Denmark. We
11 participate in national and international meetings, and we
12 met with the CDC, went down to the CDC, took pork producers
13 and their representatives down there.

14 We also fund research into basic mechanisms, we
15 fund research into, for example, the prevalence and the
16 characteristics of integrons in Salmonella that's isolated
17 from pigs. We fund applied research, and the applied
18 research, for example, the effects of our uses on the farm,
19 the way that we use antibiotics on the farm, the way that
20 that affects resistance. And we also fund research into
21 learning about the experience of others, and under that I
22 think this morning there was a question about hearing
23 something about the consequences of antibiotic use
24 patterns, of antibiotic use policies.

25 We've gone over to Sweden and we've gone over

1 to Denmark. We went over there with a group of producers
2 as well as with some scientists, and we asked them specific
3 questions. We wanted to understand what their policies
4 were, how that affected the pork production, how that
5 affected their production on the farm and what the
6 producers did, and also how it affected public health in
7 those countries.

8 I can tell you from the Danish experience, on
9 that Danish side there's a little bit of difference in
10 philosophy. They have approached the issue of
11 antimicrobial resistance as banning or affecting different
12 uses of antimicrobials. The guidance document approaches
13 antimicrobial resistance not in affecting uses but using
14 that use as a factor in looking at the clearance of
15 antimicrobials.

16 Therefore, if you try to meld those two
17 together -- and we heard comments this morning about growth
18 promotion uses and other uses, if you try to mix those
19 together, what very well could happen at the end of
20 Guidance 152 is that we will have even higher restrictions,
21 even more restrictions on the U.S. producer than are on the
22 Danish and the EU producers now.

23 Going on with that, as far as the industry goes
24 and pork producers, we take that information and then we
25 develop educational programs and get that information out

1 to the producer.

2 You heard this morning that AHI said your
3 advice is critical as it will affect veterinary medicine,
4 and AVMA said Guidance 152 is biased toward the concept
5 that there are no potential adverse effects from
6 unnecessary restriction. I want to make a few comments
7 about that.

8 Unnecessary restriction or your advice that
9 could lead to unnecessary restriction could very well
10 affect our animals and the way we do business and the way
11 that we provide food. It will affect the welfare and the
12 health of our animals. We deal in population medicine a
13 lot. That's what we do. We pay attention to the
14 individual, but many times our individuals are the herd,
15 and we have to pay attention to the population medicine of
16 the herd, the epidemiology, the way bacteria move and are
17 transmitted around, including the diagnostics and
18 prevention.

19 I think we talked a little bit this morning
20 about some of the mechanisms of preventing disease.
21 Extremely important for our pork producers and for farmers.
22 There was one comment about weaning, early weaning of pigs,
23 for example. We use that early weaning with pigs as a tool
24 to help prevent disease. What we've done is we found out
25 that if you wean at certain ages, you can preclude the

1 transmission of bacteria from the sow, from the mother, to
2 the pigs. Therefore, weaning at different ages can affect
3 the bacterial exposure and the potential for disease later
4 on in the pigs. So that's just one example of some of the
5 preventive things that we have to do.

6 It's not at all different, a lot different than
7 the analogy of day care or of putting populations of kids
8 into schools or into colleges. We have that issue where
9 deal with a population and how things are transmitted among
10 and between our individuals and our populations.

11 Your advice is going to have an effect on food
12 safety. The pork producer is the first link. The food
13 animal producer is the first link in a long chain of events
14 that have to happen to get food from the farm to the table.
15 Our primary interest is providing a healthy, robust animal
16 to that market because if we can't do that, then the rest
17 of the chain is also going to be affected. We are
18 responsible for that. We take our responsibility very
19 seriously in providing that product.

20 There was a question this morning about
21 validating the model for exposure and the factors that go
22 into a validation of a model. I just want to quickly read
23 part of our comments on Guidance 152.

24 For example, it is a difficult situation to
25 address because it is multi-factorial. Campylobacter

1 jejuni causes over 90 percent of the human cases of
2 campylobacteriosis, but *C. jejuni* is rarely found in pigs
3 in the United States. It's not as simple as just saying
4 *Campylobacter* and *Salmonella*. There's a speciation that is
5 very important in how it affects the different species and
6 looking at exposure.

7 *Campylobacter coli* is a prominent serotype
8 found in pigs, and yet it is isolated only in 3 to 4
9 percent of human cases of campylobacteriosis.

10 Assigning an exposure assessment based only on
11 the prevalence of *Campylobacter* genus unfairly penalizes
12 the availability of antimicrobials to pork producers. That
13 would include as well other comments including that further
14 processing will help to decrease the exposure. For
15 example, the data that FDA says they're going to use for
16 the exposure assessment is based on the FSIS data that is
17 taken from carcasses in the plant. Further processing in
18 the products is going to help decrease that exposure, so we
19 think that just as a comment overall on the guidance, one
20 of the things that needs to be addressed is the issue of
21 over-estimation of risk.

22 So with that said, I want to get back to the
23 issue, though, of ranking and the issue of importance to
24 human medicine because that is where I hope that you focus
25 this afternoon.

1 I feel it's important to take into account the
2 statements earlier today about the conservative philosophy
3 of the different sections of the guidance document. The
4 guidance document defaults to conservatism, which is fine.
5 I'm not arguing that point, but the fact is that it does.
6 Therefore, the fact also is that if you advise CVM to rank
7 all of the antimicrobials as of high importance, that will
8 have an effect on the availability of antimicrobials to
9 producers and to veterinarians both.

10 One of the examples is the availability of
11 antimicrobials to be able to treat our individual, which is
12 our herd. If the antimicrobial in the guidance document
13 ends up in that medium category, it will affect -- and that
14 medium category is going to be affected by your advice on
15 importance. The medium category will affect the
16 availability because it does put at risk the availability
17 of an antimicrobial -- I'm looking at table 4 and 5 -- to
18 be able to treat a herd or a group of animals. We can't go
19 through and inject 1,000 pigs with individual injections
20 for five days. It can't happen. We have to use
21 antimicrobials in the feed, in the water, in other ways
22 besides individual injections in order to treat those
23 populations. It will affect the availability.

24 There was another question that I just have to
25 clarify. There was a question about the percentage of pigs

1 that get antimicrobials. The NAHMS, the National Animal
2 Health Monitoring System, which is a survey done by USDA,
3 will tell you that the vast majority of pigs have exposure
4 to antimicrobials at some point in their life. That
5 doesn't mean that they have antimicrobial exposure from the
6 time they're born to the time they go to market. It's
7 really not all that different from the exposure of
8 antimicrobials in children at some point in their life.
9 Pigs do get antimicrobials at some point in their life, but
10 we are working so they do not get constant exposure and
11 it's an important distinction between yes, they get
12 antimicrobials -- all pigs get antimicrobials, but that
13 doesn't mean they have them all the time, so there isn't
14 always that constant exposure.

15 Your advice to the FDA could affect animal
16 producers, food supply, animal welfare, by holding the
17 animal producer, the farmer, responsible for resistance in
18 tuberculosis, in Legionella, in Neisseria, in Pseudomonas,
19 those type of things. I'm going to have a really tough
20 time going back to the pork producers and telling them --
21 and being credible about it -- that their use of
22 antimicrobials on the farm causes multi-resistant
23 tuberculosis in people.

24 DR. LEGGETT: I'm going to have to have you sum
25 up here now, please.

1 DR. SUNDBERG: I'm going to sum up right now.
2 Your advice will have effects, however, on
3 animal welfare, on food safety, on animal health, and on
4 veterinary medicine. While it's debated that it may have
5 an effect on the resistance that will cause an effect on
6 public health, it will, in fact, have an effect on the way
7 we do business and the availability of antimicrobials. So
8 please focus on that and keep in mind the farmer, the
9 producer, the animal, and the veterinarian.

10 DR. LEGGETT: Thank you very much.

11 The next speaker will be Dr. Burkgren, of the
12 American Association of Swine Veterinarians.

13 DR. BURKGREN: Thank you for the opportunity to
14 comment this afternoon. The association I work for is a
15 nonprofit association. We're based in Iowa, in central
16 Iowa. We have approximately 1,000 members inside the
17 United States that are tasked with maintaining the health
18 of the swine herds in the United States. About 100 million
19 pigs a year is what we harvest.

20 We certainly do have some concerns or I
21 wouldn't be here. From the time I stepped outside of my
22 house yesterday to the time I stepped inside this hotel was
23 seven-and-a-half hours. We traveled by car, plane, train,
24 and taxi, so it's kind of like being trapped in a John
25 Candy movie.

1 Certainly your discussions decisions, I assure
2 you, are going to have an effect on the farm. This is not
3 an academic exercise. My members have that responsibility
4 of treating on the farm, but you have responsibility that
5 you're going to affect what they do on a daily basis in
6 their practices.

7 I just want to give you a quick glimpse of the
8 swine industry and how we practice veterinary medicine.
9 Veterinarians do not take lightly the use of
10 antimicrobials. We don't do it in a cavalier manner for
11 several reasons.

12 First of all, medically it doesn't make sense.
13 On the farms we've been dealing with resistance for just
14 as many years as the human doctors have. We do
15 antibiograms, we monitor that, we keep track of records on
16 the farm. So we know the pattern and we know the
17 antimicrobials we can use and the ones we can't use and the
18 routes of administration.

19 Again, economically, veterinary products are a
20 line item. On every budget that I've looked at in the past
21 15 years, it is a line item. If that line item goes over,
22 depending on the unit, but if it goes over 50 cents per
23 pig, it's too high. Some accountant somewhere is going to
24 say, that's too high. You need to decrease your use of
25 products or your services. So economically it does not

1 make sense.

2 We do practice population medicine, as Dr.
3 Sundberg said. We often treat large groups of pigs, but we
4 also treat individuals. But if you can imagine a pasture
5 or a barn with 1,000 pigs weighing 80 pounds, and you're
6 tasked with treating that pig individually twice a day for
7 three days, I can assure you there's not one person in this
8 room that would last to catch every one of those pigs and
9 treat them individually.

10 Water and feed delivery is important for our
11 production, not just for growth promotion but for therapy.
12 We consider therapy to include both treatment, prevention,
13 and then also control. A lot of times it makes more sense
14 for us if we're treating in a barn -- for instance,
15 *Actinobacillus pleuropneumoniae*. We go in one morning and
16 we treat -- in one pen we have one or two pigs sick. The
17 next morning all of a sudden we're treating 3 to 5 percent
18 of that barn. We know it's going to make more sense to go
19 in and treat that entire barn. We'll use less
20 antimicrobials in the long run by treating the entire barn
21 rather than treating individuals for the next four weeks as
22 they show up sick. Mortality will sometimes approach 25
23 percent unless you get in and treat aggressively.

24 I'm reminded just recently in my kids' school
25 we had an outbreak of whooping cough, and that whooping

1 cough outbreak was one case. And they treated, I think at
2 last count, about 30 percent of the kids in that high
3 school. So there is preventive use in human medicine. Now
4 the difference was about half of those kids were in the
5 bathroom most of the time because of the adverse effects.
6 And I thank Dr. Bell for some advice with my own kids in
7 not treating them with erythromycin. So we do use mass
8 medication.

9 Also, we don't have a very large or very modern
10 armamentarium of products to use in swine medicine. I
11 think fluoroquinolones were approved for use in humans in
12 the mid-80s. At the same time you were celebrating
13 approval of fluoroquinolones in humans, we were celebrating
14 the new formulation of tetracycline for injection in pigs.
15 And today we still do not have an approval for
16 fluoroquinolones in pigs. I just want to clarify that. We
17 do not today have approval for fluoroquinolones, nor have
18 we ever had approval for fluoroquinolones in pigs.

19 Our members are concerned at the degree of
20 subjectivity throughout the document. I know you're tasked
21 with just the ranking part, but there's a lot of
22 subjectivity built in, and depending how it's applied, it
23 could end up being the application of the precautionary
24 principle as we've seen in other countries. By our
25 estimation, there are two products that are in the low

1 category that we use on a fairly routine basis in swine
2 medicine.

3 We've made previous comments at another public
4 meeting where we requested more transparency to the ranking
5 process. While we certainly enjoy the example that was
6 given this morning, the Miracin example, we thought that
7 maybe today we would actually see that example extended
8 down in how do they go through the ranking process, rather
9 than just having one line on the slide. So we were
10 disappointed in that. We'd still like more transparency,
11 taking the example of Miracin, to go through those 10
12 factors and look at the weighting and how they arrived at
13 the high level of importance.

14 So as we look at the factors, I think we'd
15 recommend that there be ranking, there be prioritization of
16 those factors.

17 The first one would be the treatment of food-
18 borne illnesses. It makes common sense. If an antibiotic
19 is important for treatment of food-borne illnesses, yes,
20 that's an important factor.

21 And second would be the transmissibility of the
22 resistance determinants. Again, if there is evidence,
23 scientific, defensible evidence, that this occurs, then
24 yes, that should be a consideration in the ranking. But if
25 you have a no answer to both of those questions, it seems

1 to us anyway that the disconnect is complete. Why consider
2 the other eight factors when those are noes? So we would
3 recommend prioritization in the ranking of those.

4 Last comment I would make would be, in a
5 conversation with who I consider to be a very reliable
6 source last night, he indicated that there is some concern
7 on the PhRMA side and human side that some companies are
8 going to abandon R&D for antimicrobials because of the fear
9 of cost with no approvals. I think that we pick up the
10 same rumors. We pick up the same rumblings except to a
11 greater degree on the animal health side. Companies are
12 looking at the process, saying is this really worth it.

13 I think if you look at the size of our markets
14 from a financial standpoint for these companies -- and I
15 could be wrong, but I doubt if there are very many markets
16 for food animal antimicrobials that are over \$100 million a
17 year, whereas in PhRMA I understand it's \$2.2 billion. So
18 if you guys have companies on the human side saying no to
19 future development of antimicrobials, just consider what
20 our future is on the animal side.

21 I thank you very much.

22 DR. LEGGETT: Thank you very much. The next
23 speaker will be Dr. Tony Cox.

24 DR. COX: Thank you for the opportunity to
25 comment. I'll keep this to 10 minutes.

1 I wanted to talk on the theme of what is it
2 we're trying to do and then comment on whether the proposed
3 ranking criteria help us to do that.

4 And I don't know what is meant by importance in
5 the contexts that are being used today. Before lunch we
6 heard that there are whole books on the subject. I'd be
7 interested in a definition of what is meant by importance.

8 But I think that what we should be talking
9 about is how to make better decisions, and we should be
10 ranking not drugs and not problems, but potential solutions
11 to problems. We should be ranking the ones that are most
12 beneficial high and others low. So I'm going to use that
13 as the framework for my comments.

14 Suppose that this ranking process is intended
15 to serve the needs of rational decision making, so it's a
16 server for which decision making is the client. Well, what
17 does rational decision making require?

18 First, it requires identifying risk management
19 alternatives. For example, to continue to use a drug, to
20 ban the drug, to restrict it and so forth. I note that
21 banning a drug is not the same thing as continuing to use
22 it. Assessing the importance in one context doesn't tell
23 you necessarily anything about the desirability of the
24 other. I think with affection of my favorite example of
25 fluoroquinolones where there's been a risk assessment that

1 looks at what are the risks of continuing to use
2 fluoroquinolones and never look at the question of what are
3 the risks of banning fluoroquinolones, which I estimate to
4 be about two orders of magnitude larger than the risks of
5 continuing to use. So risk management alternatives, let's
6 identify them and rank them.

7 Secondly, rational decision making requires
8 assessing the probable human health consequences of each
9 decision option and, of course, picking one that has most
10 desirable distribution, probability distribution of
11 consequences. So let's see how well this ranking approach
12 can help with these tasks.

13 My conviction that I want to share with you is
14 that crucial quantitative information needed for rational
15 decision making is omitted from this process and from the
16 ranking process. I guess the bad news is that risk is
17 quantitative and these factors are qualitative. They could
18 apply equally well whether one person in a million years is
19 affected or a million people in one year is affected. But
20 that basic numerical information I think should make a huge
21 difference in what is considered important and how
22 important it's considered.

23 I've said over and over, and I'm hoping to talk
24 CVM into paying attention, that the quantitative extent of
25 exposure is essential information. You can't ignore it.

1 It's bad for your soul. It leads to poor risk assessments.
2 So in this ranking process somewhere the extent of exposure
3 must be considered or we have no basis for talking about
4 importance

5 Also, as the previous speakers mentioned, I
6 think that the current proposed system requires those who
7 would use it to come up with subjective probabilities for
8 events that are not well defined. So I look at the
9 probability of resistant bacteria being present in animals.
10 I first flinch because "present" is a dichotomous concept,
11 and I am convinced that you have to say, well, how much is
12 present? Not just, is there any present.

13 But stalwartly persevering and getting past
14 that, I then come to the phrase, "as a result of the drug
15 use." What does that mean? In general you don't find
16 resistant bacteria as the result of drug use. You find
17 that they are selected by drugs. They are not created by
18 drugs.

19 That fine point aside, if you have a person who
20 gets sick because they have a sufficient microbial load to
21 cause illness, and if one of those bugs is resistant, and
22 let's say 999 are not, do you consider that illness was a
23 result of the resistant bug due to drug use? Who knows? I
24 don't know. The guidance doesn't tell me.

25 Under the consequence dimension, the words

1 "result in," when does exposure result in adverse
2 consequences, I think are similarly ambiguous and need to
3 be pinned down.

4 Reading through the rest of this, "hazard"
5 refers to illness caused by a specific resistant bacteria
6 attributable to a specified animal-derived food commodity.
7 The word "attributable to" is left undefined. The
8 definition of risk is probability of human illness caused
9 by a specific resistant bacteria and attributable a
10 specified commodity. So that puts both of these ambiguous
11 phrases together.

12 Again, I think a great deal about
13 fluoroquinolones these days, and in the fluoroquinolone
14 context what "attributed to" actually turned out to mean
15 is, CVM didn't attribute it to anything else. Therefore,
16 by default it was attributed to chicken. That's explicit
17 finally in their comments, but the thing is there's a list
18 of at least a dozen other sources for fluoroquinolone
19 resistance to which no risk was attributed.

20 So my point here is that attribution of risk is
21 not only left undefined but its operational definition, the
22 way it's actually then implemented, is contrary to common
23 sense and tends to exaggerate risk and could give very
24 strange risk rankings.

25 Now I want to talk about performance, or the

1 expected performance of the proposed qualitative risk
2 assessment and ranking scheme. Again, what we should be
3 trying to do is to recommend actions based on the
4 probability distribution of their net benefits, but I don't
5 see the information in any of these criteria that address
6 net benefits. For example, do you cause more problems by
7 increasing the number of drug-susceptible bacteria than you
8 prevent by decreasing the number of drug-resistant
9 bacteria? A crucial question, not addressed.

10 In the case of fluoroquinolones, I estimate
11 that the effect of the susceptibles outweighs the effect of
12 the resistance by more than a factor of 100 to 1, so it
13 would have been good to have included that somewhere in the
14 ranking process.

15 Others have spoken about disconnects. I think
16 the most important disconnects are that -- for the ranking
17 purpose -- the potential of human health consequences to
18 exposure cannot be estimated from the human medical
19 importance of a drug, whatever that means. The importance
20 of a drug doesn't tell you what will be the consequences of
21 a ban or some other action. The typical example is one in
22 which a drug is very important, whatever that means, by the
23 criteria proposed today or others, and yet the actions that
24 are being contemplated don't change the efficiency of the
25 drug or its use or anything else. It's not the importance

1 of the drug that matters. It's the importance of how the
2 drug's usefulness will change that's important. So it's
3 change that we should be ranking, not importance.

4 The three factors of release, exposure, and
5 consequence can't in principle be used to estimate or rank
6 human health risks because they ignore the two key
7 questions of how much harm does exposure cause, and how
8 would this change if a ban were implemented? But I think
9 that many of the ideas in the ranking methodology are good
10 ideas. They are ideas that should be preserved and
11 applied. What they should be applied to, however, is
12 changes that are likely to follow if certain actions or
13 decisions are taken.

14 Right now I believe it's the case that there is
15 zero correlation between the qualitative risk ranking of
16 drugs and the quantitative ranking of actions such as which
17 drugs to ban or to refuse to ban. Since no simulation has
18 been done, I can't prove that but I'll bet that it's true.

19 Now, I want to take a particular example,
20 virginiamycin, with Synercid being a human drug, and look
21 at the factors that were discussed this morning. Those
22 that I've put in bold here, the sole therapy, the spectrum
23 of activity and so forth, at least at the time that
24 Synercid was the only available treatment, might I think
25 easily have put Synercid in the category of being an

1 important drug, one that we should worry about and ranking
2 high in the qualitative ranking. And yet, a quantitative
3 risk assessment shows that a reasonable upper bound on the
4 number of statistical mortalities prevented by banning
5 virginiamycin so that Synercid would be safe for mankind,
6 might be about one-sixth of one statistical life over the
7 next five years.

8 Now, of course, if I quote numbers, I expect
9 you to challenge me. Where did this come from? And, of
10 course, also I don't have time to go through with this
11 paper, which will be coming out --

12 DR. LEGGETT: In fact, that's why I'd like you
13 to sum up here. If you can, please sum up. I've let you
14 go over a minute.

15 DR. COX: I'm sorry. I showed another 40
16 seconds remaining.

17 What I'd like to call attention to is what
18 kinds of risk factors go into a quantitative risk
19 assessment and perhaps not into a qualitative one, and
20 those include where did the resistance come from, they
21 include genetic information. They include the percent of
22 resistance and how it changes over time.

23 In conclusion, I believe rough-bounding
24 quantitative estimates to be quicker, easier, and less
25 costly than the qualitative type. You end up talking about

1 things that matter, the factors that really drive risk,
2 instead of imponderables like high, medium, and low.

3 I'll conclude there, and thank you.

4 DR. LEGGETT: Thank you very much.

5 We have a fourth speaker, Steve Projan.

6 DR. PROJAN: Thank you, Dr. Leggett, and good
7 afternoon. I'm from Wyeth Research. I'm the Director of
8 Antibacterial Research, but I'm speaking for myself, not
9 Wyeth and not Ft. Dodge, which is a wholly owned subsidiary
10 of Wyeth.

11 My background is that I have a degree in
12 nutrition and food science from M.I.T. and a Ph.D. in
13 molecular genetics from Columbia. I'm on four editorial
14 boards, including the Journal of Bacteriology, Infection
15 and Immunity, Antimicrobial Agents in Chemotherapy, and
16 Microbial Drug Resistance. I was informed yesterday I'm
17 the chair-elect for Division A of ASM. That's
18 Antimicrobial Agents in Chemotherapy.

19 First of all, I think we should realize that
20 PhRMA, the Animal Health Institute, and the veterinarians
21 and farmers do not constitute an axis of evil antimicrobial
22 use. I think that as the swine producers pointed out,
23 they're human beings too and they're affected by the use of
24 the agents that we're talking about, both professionally
25 and as individuals. And I think that more good will is

1 what's needed in investigating these problems and less
2 animosity.

3 That being pointed out, I'd also like to
4 suggest that this advisory committee, in doing this rank
5 prioritization, together with the FDA, seek additional
6 guidance from medicinal chemistry experts who understand
7 the structures of the compounds we're talking about and
8 their mechanisms of action, scientists such as those in the
9 ASM who are experts in gene transfer, which is very
10 important to the questions we're investigating, as well as
11 mechanisms of action and resistance.

12 One comment that I should make, after listening
13 to Dr. Carnevale's talk is that -- and I think generally
14 sensed by the committee -- is that arguments on
15 transmissibility of resistance determinants are really
16 quite silly. There are multiple examples of identical
17 resistance determinants in resistant strains of animal and
18 human origin. And if you have identical resistance
19 determinants, these determinants had to get from one strain
20 to another by some mechanism: horizontal, vertical, upside
21 down, right-side up. Frankly, not having a smoking gun or
22 direct in vivo evidence is akin to the arguments of the
23 creationists that because there are gaps in the fossil
24 record, human beings did not evolve from other animals.

25 So unless we take the creationist's view in

1 antimicrobial resistance, I think that it's foolish to even
2 argue the subject, that we know transfer takes place. It
3 almost undoubtedly takes place in both directions, from
4 animal to human and vice versa, and also from the
5 environment. That should be taken as a given, in my
6 opinion, in this committee's considerations.

7 In addition, one thing that has been missing
8 from these considerations, and I think could be useful, is
9 if the American Veterinary Medical Association and their
10 producer colleagues formulated their list of what their
11 high priority antimicrobials were. I think that could be
12 very useful in judging the relative utility and the
13 importance of these agents in animal health and in
14 protecting the food supply and providing high quality
15 products to the consumers in this country. I think that
16 could have been done, but I think there was more concern
17 about being shut down for antimicrobial use in the animal
18 health community.

19 I would also suggest that the committee
20 consider in their evaluations from your own clinical use of
21 antimicrobials what you would consider as the best in class
22 in given categories of antimicrobials. I personally would
23 not like to see any use of carbapenems in animal health. I
24 think we would want to limit as much exposure as possible
25 to these high-end beta-lactam agents. We know that there

1 are resistance determinants already out there, the metallo-
2 beta-lactamases, for example.

3 Any suggestion that increased use of
4 antimicrobials does not result in increased resistance I
5 think again is disingenuous. We saw an excellent example
6 yesterday just comparing the MIC levels of Ketek looking at
7 erythromycin-resistant versus erythromycin-susceptible
8 strains in an analysis the FDA presented.

9 As we use agents in a given class such as the
10 macrolides, we select for increased levels of resistance
11 and we get what's been referred to as MIC creep, and this
12 is a bad thing.

13 So again, to sum up in less than 10 minutes, I
14 think there should be more good will on all sides. I think
15 we need more input from the veterinarian groups, the
16 producers as to what is necessary and useful, and what the
17 unmet medical needs are in animal health, at the same time
18 we're considering what the important medical needs are for
19 human health. I think that can help balance the risk-
20 benefits approach that we heard Dr. Cox refer to.

21 However, I think it's very important that this
22 group do assign relative values for antimicrobial agents
23 because frankly the CVM, the FDA, the producers, the
24 farmers, and the American public can understand what are
25 the important agents, what do we have to reserve for human

1 use.

2 I can say a lot more, obviously. Like many on
3 this panel, I love to listen to myself talk, but I'll stop
4 now, and thank you very much for your attention.

5 (Laughter.)

6 DR. LEGGETT: Thank you.

7 Dr. Goldberger, could you please assign us our
8 task?

9 DR. GOLDBERGER: We have really one big, broad
10 question with a few elements in it. Basically what we'd
11 like you to do, and again, although we want you to focus on
12 the factors used to rank drugs according to their
13 importance in human medicine, we still felt at this meeting
14 it was very valuable for you to be given some broader
15 background, as well as to hear the concerns of some of the
16 other important stakeholders. I do want to say that we do
17 want you to focus on the drugs according to their
18 importance in human medicine.

19 There is an expectation that there may need to
20 be further discussion of how this is factored into the
21 overall plan, but we would like to think that at least this
22 element everyone would be reasonably comfortable with, and
23 then we can deal with some of the controversies that you
24 have heard a fair amount about in the course of the
25 presentations.

1 Basically our questions here are pretty simple.
2 Are the factors used to determine the importance of drugs
3 adequate? That is, are they clear enough? Are there
4 factors that should be added, factors that should be
5 subtracted?

6 Now, you notice we basically had broken things
7 down to factors related to drug efficacy, factors related
8 to the development of antimicrobial resistance. We, for
9 instance, did not include, when we had done this, a
10 specific section on factors related to drug safety,
11 although I think one could argue that number 2 under
12 efficacy, therapy of choice, actually takes into account
13 safety issues as well. But if you feel, for instance,
14 that's an issue that requires more attention, then that's
15 something that would require some degree of modification.

16 Then the question of weighting. Are some
17 factors more important than others, should they be weighted
18 according to importance, and then ultimately, of course,
19 the key question if you're going to do that, which are most
20 important and how should they be weighted?

21 So that we don't appear to be totally without a
22 clue, I will point out that we've been working on this
23 intermittently for a few years. I actually presented at a
24 CVM advisory committee, I think in January of 1999, some of
25 the things you've seen here. So we've been working on it

1 for a while. We revisited it a year, year-and-a-half ago.
2 We actually in many versions did have weights. I did not
3 bring them with me, and I think that even given that I
4 don't think I'd show them because we want to hear an
5 unbiased assessment.

6 But we certainly recognize the possibility that
7 weighting could be useful, although one could argue that
8 also brings in it another level of subjectivity, some of
9 which is almost invariable in this process. When you start
10 talking about therapy of choice, and then you link that to
11 the way physicians actually practice, that inevitably
12 brings in a certain amount of subjectivity because often
13 that varies from physician to physician which particular
14 therapy is the therapy of choice.

15 I agree with some of the other comments, that
16 the third element is certainly worth some additional
17 discussion. You actually heard that touched upon by some
18 of the speakers in the open public hearing, some of the
19 areas that they thought should be accentuated.

20 But these are basically the issues we would
21 like to touch upon so that we can see whether or not we
22 need to do minor revisions, major revisions, whether the
23 approach we've taken seems fundamentally sound or seems
24 significantly flawed, so this can serve as a basis for some
25 of the further work that undoubtedly is going to need to be

1 done.

2 Thank you.

3 DR. LEGGETT: With that, I'll open it up for
4 anybody who wants to dive in first. Go ahead, David.

5 DR. BELL: First, I want to pay the highest
6 tribute to the FDA for struggling for some years now with
7 this new issue. They're the only agency in the world that
8 I know that is really trying to struggle with this and
9 develop this kind of an algorithm, science-based, risk-
10 based approach, and it's difficult and they deserve a lot
11 of credit.

12 I also want to pay tribute to the American
13 Veterinary Medical Association for its efforts to address
14 the problem of drug resistance on the farm through
15 developing various principles to guide the therapeutic use
16 and various educational programs that Dr. Apley has been
17 involved in.

18 I think whatever the FDA comes out with, at the
19 end of the day it has to work. It has to get the farmers
20 the drugs they need and it has to protect the public
21 health. Whatever comes out, if it turns out that some set
22 of criteria are devised that result that farmers don't get
23 any new drugs, that's not realistic. On the other hand, if
24 it's too loose, then that's not helpful either.

25 Before I get to my specific suggestions, I want

1 to -- because we're properly focusing on just the single
2 component of this complicated document and we've got to
3 remember that. And I am struggling to focus on just that
4 single component, but there are two other aspects that I'm
5 trying not to focus on, but I want to mention them to you
6 because I'm struggling in my efforts not to focus on them.

7 One is that whatever is the end ranking in
8 terms of category that the FDA assigns to a drug, whether
9 it's category 1, 2 or 3, once this is assigned it is almost
10 impossible to change. Absent some determination by, I
11 guess, the Secretary that there's an urgent threat to
12 public health or something like that, it's almost
13 impossible to change. The companies would be entitled to
14 due process, which frequently involves fighting it tooth
15 and nail and it just takes a long time.

16 That's a heavy burden here because, as we
17 discussed yesterday, drug resistance is something that
18 develops later. So the FDA is in a position of having to
19 assign something here that's almost impossible to change,
20 but in fact the problem is going to develop later. As we
21 discussed yesterday, by the time you prove the extent of
22 the problem and define it with all the resources that
23 takes, resistance rates could be rising and you've lost the
24 drug. That's a big problem here and that's one of the
25 reasons that, unfortunately, you have to try to be somewhat

1 conservative.

2 The other issue I just want to mention is that
3 these categories 1, 2, and 3, it doesn't strike me as all
4 that conservative, some of what these restrictions end up
5 being. Most everything turns into medium, at least under
6 the current, I think. What does medium mean? Medium means
7 it's prescription only. Well, big deal. Restricted in
8 some cases in terms of extra-label use. In other words,
9 sometimes they can use it off-label, sometimes not, as
10 opposed to always or only.

11 Extent of use: low, medium. Well, you get
12 back here to low or medium. Select groups of pens or
13 animals. They don't say exactly how many animals would be
14 given this at once, but the swine farm I was in, there were
15 1,400 animals I think in a barn so this would be some
16 subset of them. Chickens, there are 30,000.

17 So, anyway, I appreciate the concerns of the
18 industry.

19 Having said that, and trying to forget that and
20 concentrate only on the importance for human medicine, I
21 actually think that this list is a little too strict. I
22 think that some factors are more important than others, and
23 that if we classify a drug as very precious or high,
24 whatever it is, because we need it to treat tuberculosis,
25 that's just not realistic. We don't get drug-resistant

1 tuberculosis from drug use on a farm.

2 And I think there should be some weighting.
3 I'm just going to skip right to bullet 3 here. Some
4 factors are more important than others. Food-borne
5 infections and, I guess I would say, enteric flora, whether
6 or not they're food-borne, but infections caused by enteric
7 flora which would predictably be in contact with either
8 bacteria or genetic determinants ingested in food, that's
9 where we should really be focusing.

10 Then to some extent -- I'm not sure exactly how
11 to phrase this -- but flora that might be in contact with
12 enteric flora -- stronger than "might be" -- I mean, are
13 predictably in contact with enteric flora, and there's some
14 reason to believe that resistance determinants could be
15 transferred. I mentioned Staph. aureus this morning. The
16 issue of respiratory flora I'm not totally sure what to do
17 with because particularly in hospitalized, debilitated
18 patients we find Gram-negative flora in their respiratory
19 tract.

20 But I guess just to close here, I think that
21 what's more important is enteric flora. Antibiotics used
22 to treat infections with enteric flora, and then to a
23 lesser extent, infections caused by bacteria to which
24 enteric flora might quite predictably transfer resistance
25 determinants. That's my thought.

1 DR. LEGGETT: Dr. Patterson.

2 DR. PATTERSON: I would like to say, being from
3 Texas and having a family heritage of farmers from the
4 panhandle, I do have an appreciation for the industry and I
5 was glad to hear that most of the speakers from industry
6 today were appreciative of the issue from the human side as
7 well.

8 With regard to the questions, number 1A, I
9 would say the factors that are listed are adequate.

10 For B I would say, could somebody add it or
11 subtract it. I think that they probably could. And I
12 think I would probably, in terms of those factors -- and I
13 don't know if you want to put those back up there, those
14 numbered factors -- I could in my mind combine factors 1
15 and 3. That is, the sole therapy, limited available
16 therapies, and spectrum of activity of particular
17 importance, because to me a spectrum of activity of
18 particular importance would be one where it is the sole
19 therapy or limited available therapy. Examples of that
20 we've talked about for Gram-positives vancomycin,
21 linezolid, quinupristin, dalfopristin, and then for multi-
22 drug resistant Acinetobacter and Pseudomonas polymyxin, and
23 also somewhat the fluoroquinolones and the carbapenems, as
24 have also been mentioned. I would see that one as the most
25 important factor.

1 Then as the second most important factor, the
2 importance in treating food-borne infections.

3 The third most important factor, which is now
4 number 9, ease of transmissibility of resistance
5 determinants.

6 Then as number 4, I think you could combine
7 numbers 7, 8, and 10, the cross-resistance issue, if there
8 is cross-resistance within a drug class or across drug
9 classes in a drug or drug classes that are used in both
10 animals and humans. I think that concept could be
11 combined.

12 To me the issue of therapy of choice is a
13 little less important because, as Dr. Goldberger pointed
14 out, that can sometimes be interpreted different ways. So
15 I see that as a less important issue, as well as importance
16 for oral therapy and unique mechanism of antimicrobial
17 action because, again, I think that enters into the limited
18 available therapy issue.

19 Then just as a comment, we talked a lot about
20 risk yesterday actually, but I think risk cannot be totally
21 quantitative and actually we have to use some qualitative
22 principles and common sense in approaching some of these
23 issues like we do in human drug approvals. On the other
24 hand, I think it would be very useful for more data to be
25 generated by those with interest in human and animal

1 microbiology to get more quantitative data regarding
2 specific organisms and antibiotics in this issue.

3 One other comment, there was a concern about
4 the release assessment to address the issue of giving high
5 ranking to a parameter where data was not provided. My
6 suggestion would be that those parameters did not seem
7 overly cumbersome, and to go ahead and provide the data so
8 that it could be more accurately ranked.

9 DR. LEGGETT: Could you clarify that last
10 point?

11 DR. PATTERSON: Well, it's on page 3 of Dr.
12 Bartholomew's presentation, the release assessment of
13 Miracin. There are some relevant parameters listed there.
14 I believe Dr. Apley in his presentation expressed concern
15 that if one of these parameters was not provided, it would
16 be given a high ranking. Is that correct? So my
17 suggestion would be to provide the data. This is the data
18 we typically see in human drug approvals and they don't
19 seem overly cumbersome.

20 DR. LEGGETT: You're saying that drugs for
21 animal use should have those as part of the new drug
22 proposal?

23 DR. PATTERSON: Right, and that to me would be
24 the solution of giving it a high ranking if the data wasn't
25 provided.

1 DR. LEGGETT: And what to do about drugs
2 already on the market in those same circumstances, since
3 the future is to then go back and look at existing drugs?

4 DR. PATTERSON: Well, again I don't think that
5 would be overly cumbersome. As far as I'm aware, I think
6 most of the drugs that are on the market, these things are
7 already available so it would just be a matter of compiling
8 it.

9 DR. LEGGETT: Go ahead, John.

10 DR. BRADLEY: Can I make a comment without
11 voting yet?

12 DR. LEGGETT: We're not voting today.

13 DR. BRADLEY: Oh, good, good.

14 DR. LEGGETT: We're being like the rest of the
15 American public.

16 DR. BRADLEY: Being a practicing pediatrician
17 and reviewing the FDA briefing document before coming, this
18 is a very well thought out, comprehensive assessment and
19 clearly maximally protects human populations, including
20 children, from antibiotic resistance that may be of animal
21 origin, and I want to acknowledge the amount of work that
22 must have gone into this.

23 During the discussions this morning, however,
24 the other side of the story became very clear with respect
25 to how important antibiotics are in animal and flock use

1 and how critical they are to maintaining these healthy
2 animals. What's really clear is that there's a lack of
3 data for us on which to judge and make an opinion valid.
4 The clear fact that anytime you use an antibiotic you
5 develop resistance, we know this from the human experience.
6 It's got to be true with the animal experience, as was
7 brought up earlier. And that we've got the expertise to be
8 able to study this is also very clear.

9 So I'd suggest that the FDA develop a standard
10 format for evaluating the impact of new animal antibiotics
11 with respect to development of resistance within herds and
12 flocks and evaluate the extent of colonization of resistant
13 organisms of both farm workers and consumers. In working
14 with the American Society for Microbiology, the veterinary
15 societies, the IDSA, I'm sure there is a way -- it will be
16 rough at first -- but a way to evaluate the impact of
17 antibiotics on development of resistance and assess the
18 risk of that resistance for humans. Until we have a way to
19 measure it, everything is qualitative, and I can understand
20 the concerns for industry on fears of resistance tending to
21 steer the boat in a direction of being more conservative
22 than we actually need to. But until we have data, some of
23 these concerns are very real.

24 Also, if we make more restrictions on the use
25 of antibiotics, then an alternative to antibiotic therapy

1 in prevent of disease in animals, particularly in cows and
2 pigs with respect to vaccines, which of course are used
3 extensively in kids, may be able to decrease the number of
4 antibiotics used. If there are vaccines that are used for
5 viral disease in animal populations, then the illnesses
6 that may be treated as bacterial infections, or as one
7 speaker pointed out, the viruses set them up for bacterial
8 infections -- if we restrict antibiotics in animal
9 populations, then the impetus to investigate vaccines to
10 prevent viral infections will be increased, which would be
11 a good thing overall because it will increase the health of
12 the herds as well.

13 DR. LEGGETT: Barth, are you ready?

14 DR. RELLER: Several comments. As I listen to
15 the discussions, and having read the background materials,
16 I think that we want to avoid -- there's the possibility
17 and this tone came out -- of polar positions on this issue.

18 This is a huge, pervasive, longstanding,
19 growing, and global problem, and clearly use of
20 antimicrobials in animals is not responsible for it all, by
21 any means. On the other hand, there are probably quite
22 substantial data that a part of the problem is related to
23 animal use. It's getting that balance that is the crucial
24 way to be successful on this because it will be a long and
25 arduous effort.

1 I listened carefully to the concerns voiced by
2 persons being responsible for animal health and ultimately
3 our own health through responsible use in veterinary
4 practice. Those concerns seem to me to come repeatedly
5 back to worries about undue limitation of critically useful
6 agents. I think there are some ways around that, and there
7 are some subsets to that.

8 For example, concern that if there's no
9 evidence that the default position would be a high. Well,
10 maybe one way around that is if there's no evidence to make
11 the best judgment and then do a categorization on the basis
12 of the evidence for that judgment, like is done in
13 guidelines, so that if this is a big issue and the data are
14 substantial, you say so. If you think it may be a big
15 issue but there are no data, you say so. And rather than
16 default to high, this is the best as we see, but we are
17 honest that there are no data to support this. So it would
18 give more maneuverability. So those points for which there
19 is little or no evidence, one would have much more
20 flexibility of not being locked into a categorization or a
21 ranking.

22 There has been some hint that this may be too
23 soft, too qualitative. I think the arduous attempts on the
24 part of the FDA and others coming up with this system is an
25 attempt to be more systematic about it, to avoid the

1 pitfalls of just an opinion about it. So the way that I
2 think might be helpful to go about this is I think rating
3 the relative importance for human use systematic
4 assessment, as has been outlined, is important.

5 But I think equally important is the
6 counterpart on the veterinary side so that if where we come
7 out in the categories from a human perspective are at odds
8 with where you would come out for the veterinary, it's sort
9 of like the Senate and the House, and then you have a
10 conference committee that resolves those differences, so
11 that one could look at it from the different perspectives
12 and then in the implementation come about with the
13 resolution.

14 An example of this is Dr. Brown's forwarding
15 information from the IDSA. As a fellow for more than two
16 decades, I think the sense of that statement is supportive
17 of what the FDA has done. But then when one goes through
18 the fine print, there's this preservation, for example --
19 I'm giving a specific example of polymyxin that would get a
20 much higher ranking. Well, if polymyxin came to this
21 committee based on the data for safety and efficacy for the
22 things preserved, I have serious questions whether it would
23 make it. So it's just an example of where, rather than
24 everything being critically ranked high, there needs to be
25 some balance.

1 I mean, I agree completely on the relative
2 importance of polymyxin versus fluoroquinolones and
3 carbapenems. I mean, we're on different planets. I think
4 there are ways to seriously meet the problem, but to take
5 into balance what could be an adversarial and get it back
6 into a collegial approach without which we are never going
7 to get the kind of cooperation that would be necessary to
8 keep us together over the long haul that will be required
9 to do something about antimicrobial resistance as a growing
10 problem for both humans and for animals.

11 That's all. Thank you.

12 DR. LEGGETT: Dr. Rupp.

13 DR. RUPP: Just a few comments. Initially I'd
14 say I agree with many of the comments my colleagues have
15 already made and I'll try not to reiterate those.

16 First of all, I think that the FDA has made a
17 nice effort in taking something that's obviously inherently
18 qualitative and somewhat subjective in trying to apply some
19 quantitative measures to it. I think that from a global
20 standpoint of looking at this whole problem, we really are
21 hamstrung with regard to a lack of data. Studies haven't
22 been done to some degree. Clearly in our discussions this
23 morning we don't have a good grasp on the use of
24 antibiotics in agricultural practice, how much are used,
25 how they're used, how much as growth promoters, with

1 amounts and types of agents. I think that's one thing that
2 we clearly need to know more about.

3 I think that I would agree very strongly with
4 the statement that we need to cross-reference this list of
5 antibiotics that we're putting together for human use, and
6 cross-reference that with the list that our veterinarian
7 colleagues draw up of what antibiotics are important in
8 their practice, and concentrate clearly on the drugs that
9 we think are both important. If there's a drug on our list
10 that is of high importance and it doesn't make the list in
11 veterinarian practice, well, that's easy. You don't have
12 to worry about that too much and vice versa.

13 I think that perhaps this can be done in
14 stages. I think we all agree that the main risk is
15 involved with food-borne pathogens and enteric organisms
16 and perhaps that's where we can initially concentrate and
17 then, from there, perhaps extrapolate.

18 Then lastly, I would agree with the suggestion
19 that Dr. Projan made that the FDA consult with additional
20 experts in medicinal chemistry and people who really know
21 about antibiotic resistance determinants and how they're
22 cross-reactive and how they are transmitted and work on
23 this list a little better.

24 DR. LEGGETT: Dr. Maxwell.

25 DR. MAXWELL: I just wanted to echo the

1 sentiments of Dr. Rupp and Dr. Reller.

2 I do believe that the effort that the agency
3 has made is actually very good, but I believe that there
4 can be unintended consequences for anything that is done,
5 and part of the unintended consequence might be hurtful to
6 the industry that we need to help.

7 On the other hand, I also believe, like the
8 last speaker said, there should be some items that the
9 industry recognizes that should never have a use in animal
10 medicine and that both the industry and the agency should
11 get together and decide what's most important for each of
12 them. That way I think there would be a better ability to
13 accumulate some knowledge that we don't currently have now,
14 and yet get the data that we need to make some of the
15 decisions that we need to go forward.

16 I really feel that there should be nothing
17 that's made in stone, and guidelines are a good way to go.
18 However, guidelines need to have some enforcement mechanism
19 to them or else they cease to be helpful as far as the
20 agency is concerned.

21 DR. LEGGETT: Dave.

22 DR. BELL: We need to help the FDA here, and in
23 doing so, if we do this right, we will help the
24 agricultural community and also the human medicine, public
25 health community.

1 Here's the situation as I see it. There have
2 been calls for additional data, and we definitely need
3 additional data. On the other hand, there is a fair amount
4 of data already. I'd even say a lot of data already from
5 surveillance and epidemiologic studies and so on.

6 My experience in the five years that I've dealt
7 with this, and also even previously, is that the studies
8 tend to be interpreted differently by different groups of
9 people. It's not random. I mean, the people who are
10 specialists in human medicine and public health tend to,
11 from their experience at the bedside wrestling with the
12 dilemmas of how to treat a patient with a drug-resistant
13 infection, have a certain training and perspective as to
14 how they look at data. Then there's another community
15 whose whole background is spent wrestling with the problems
16 of raising healthy animals, and they have another
17 perspective on how they look at data.

18 So although we certainly need more data, I
19 think we're all just fooling ourselves if we say, oh, well,
20 if we just did some more studies then suddenly the light
21 would shine through, we'd all have consensus, and we'd all
22 sit here and just kind of agree.

23 The position that the FDA is placed in -- and
24 I'm taking the liberty of speaking for them -- please
25 correct me -- they are a regulatory agency and a company

1 wants to introduce a drug for approval in agriculture, and
2 they have to make a decision. And they're stuck. Just
3 like we often have to make decisions based on an evolving
4 evidence base or incomplete data, well, they're in this
5 position all the time. So what are they supposed to do?
6 Hold up the drug forever until more data come? License it,
7 and then, when more data come, have to move heaven and
8 earth to try to get it back, like they're doing now with
9 fluoroquinolones in poultry?

10 Other countries, like the European Union, have
11 just made decisions based on -- but they just ban things.
12 This is at least a transparent process to come up with,
13 okay, in the face of inadequate data but public health
14 problems and needs for drugs, what are we going to do. So
15 to say things like, well, we should rank evidence in this
16 guideline -- I mean, they have to have a mechanism to move
17 forward. The thing that concerns me the most is once they
18 come up with something, it's very difficult to change it,
19 but that's not my problem.

20 But I think what we need to do is help them
21 here with one component of this algorithm. I assure you
22 that the other two components to the algorithm that are not
23 up for discussion today are under vigorous dispute in the
24 Center for Veterinary Medicine public hearings and advisory
25 committee hearings. I think that there isn't the expertise

1 around the table to get into those other segments and talk
2 about larger issues.

3 What the FDA is asking us to do today is look
4 at the human drug list and what do we think about that
5 list, and the factors that are used to come up with it. I
6 think if we stray from that, we're just not going to end up
7 being very helpful.

8 DR. LEGGETT: Dr. O'Fallon.

9 DR. O'FALLON: Since I'm not a medical doctor,
10 I felt that I didn't have a whole lot to say about a lot of
11 the issues here.

12 Sitting here just listening to it as evidence,
13 I was struck by what appeared to me to be a very rational
14 suggestion that in addition to what are already being
15 considered, all these things do seem to me to be reasonable
16 to be considering. The suggestion that was made by Tony
17 Cox that they also look at what the outcomes of the
18 different decisions, what would happen if various decisions
19 were made, I think that seemed like a very reasonable
20 component as well.

21 I have no idea what it means. I can see the
22 dismay on certain faces. But as an idea, as a concept, it
23 struck me as a reasonable thing, that we also have to be
24 concerned with what are going to be the likely outcomes of
25 decisions that are made.

1 DR. LEGGETT: Dr. Ebert.

2 DR. EBERT: Again, hopefully not to reiterate a
3 lot of what has already been said, but I think as Dr. Bell
4 mentioned, I think we're somewhat at a loss here because of
5 a lack of knowledge, primarily in the whole area of the
6 therapeutics of these drugs in the veterinary setting.
7 Obviously, the literature that we're aware of is replete
8 with treatment guidelines in humans regarding when to
9 initiate antibiotic therapy, as well as what choices of
10 antibiotic therapy should be used in select circumstances,
11 and when a new drug is approved we can place that
12 antibiotic into that setting or that framework.

13 It's difficult I think for us to know how that
14 would happen from a veterinary standpoint, and obviously
15 I'm not aware of the veterinary literature. Perhaps there
16 are treatment guidelines as far as the treatment of many of
17 these animal-associated infections as well. But without
18 knowing that, it becomes very difficult to really assess
19 how we're going to use those agents and what their relative
20 importance is from the veterinary side as opposed to from
21 the human side.

22 Having said that, I don't want to spend a lot
23 more time on that because I think the issues that Dr. Bell
24 mentioned as far as we need to focus specifically on the
25 areas regarding human infections. I had some similar

1 comments to those of Dr. Patterson concerning some of these
2 various criteria, and I think some of them can be lumped
3 together, as she mentioned. I have maybe a slightly
4 different grouping of those than she did.

5 But I do believe that agents related to the
6 single therapy or the therapy of choice are important with
7 regards to criteria in human infections.

8 I do think that the importance of treating
9 food-borne infections is also important, but I think that
10 that could probably be incorporated into either the
11 spectrum of activities so that if the spectrum of activity
12 included gastrointestinal pathogens, that somehow those
13 could be incorporated, or it could be rolled into therapy
14 of choice, meaning that one of the therapies of choice that
15 is important is the treatment of food-borne infections.

16 I think that the unique mechanism of
17 antimicrobial action is a somewhat vague concept because it
18 doesn't really allow us to differentiate within drugs, for
19 example, within the beta-lactam class. They have unique
20 mechanisms of action; yet, some of them I think are more
21 important than others.

22 I do think that cross-resistance within a drug
23 class is an important issue. If you have drug resistance
24 to one agent and it confers class resistance, obviously
25 that's a very important concern.

1 I do think that, again, potentially the other
2 variables such as cross-resistance across drug classes and
3 transmissibility, again there are a lot of similarities
4 there for primarily dealing with plasmid-mediated
5 resistance and multiple drug resistance, that there may be
6 a way to combine those particular measures as well.

7 DR. ELASHOFF: It seems to me that although,
8 from the point of view of action, one needs to think about
9 where you're classifying things, and to end up having a
10 classification for each thing as high, medium, low, or
11 whatever you decide, that in the long run part of the
12 importance of it all is that in the process of doing the
13 ranking, one produces a document which discusses in detail
14 what is known about the various factors so that you have
15 something that you could show for what's been done, and
16 when new knowledge comes, a place to update that and part
17 of the process of perhaps reclassifying things as you go
18 on. So we shouldn't be concentrating on, okay, now we've
19 got it high, now we're done, but in documenting and in
20 maintaining the documentation of the thoughts that went in
21 to thinking about each of the factors that go into the
22 categorization.

23 DR. WALD: I do agree with a lot of what has
24 been said. I think the one thing that we can do, to not
25 fail the FDA, is I think we could, in fact, rank-order

1 these things. I think we've all agreed that some are more
2 important than others and that maybe that's something we
3 could do right now. We could actually create a different
4 phrase for the ones that we think could go together.

5 DR. LEGGETT: Right. That's how I wanted to
6 end up. I wanted everybody to talk first, so we all know
7 where we're coming from because a lot of these things are
8 coming together. For instance, what Dr. Elashoff just said
9 was what Dr. Reller said, but she said it in a different
10 way. She wants an ongoing document. He wants A, B, C; 1,
11 2, 3. It's the same thing.

12 It's time for me to bore you.

13 My first question, is there a harmonization
14 process underway for this, and if not, should there be?
15 The point was made that the infections are worldwide. The
16 statement was made that the Europeans are too draconian,
17 perhaps, and the need for input from all players. Whether
18 we call harmonization or whatever we call it, I think that
19 we should try to work towards making things the same, or as
20 much the same in Europe, in Japan, the United States,
21 wherever, so that the pharmaceutical companies working to
22 bring an antibiotic on board don't have to do 15 things in
23 15 different countries.

24 I don't know if there is any sort of outcomes
25 data along with this. It would be nice, in terms of things

1 that are being proposed, that we could look back upon it,
2 not only in terms of just pure data but also in terms of
3 how this ranking thing works.

4 I have one question about what I counted in my
5 looking at this, the tenth sort of parameter which is
6 "serious infection." I didn't quite know what that meant.
7 I don't know if that means deadly in 12 hours, or 100
8 percent prevalent in the community. I didn't find it on
9 the list of the other 10 things. So I don't know how to
10 bring that in.

11 I think that the Gram-positives versus Gram-
12 negatives on these different line categories is not really
13 what we're after. What we're really after is broad
14 spectrum versus limited spectrum. So I think that we can
15 rethink how we're doing it.

16 I think generally there are too many
17 parameters. There are lumpers and splitters, and I think
18 it's going to be much more flexible for the document to
19 lump and then use different categories as subtexts. I
20 think the best way to go forward is to make it flexible and
21 that can be easily manipulated so that people have an idea
22 of what you're talking about, but each new drug is a
23 specific new drug that comes forward. The way things are
24 done for human drug things, we don't have 15 zillion
25 things. It's either safe and it's efficacious.

1 Then under that, from a long history drug
2 companies sort of know what they have to do and they're in
3 contact with the FDA going through that whole process. So
4 I think it's better to be more flexible perhaps and staying
5 qualitative than trying to be quantitative in that sense.

6 I like the idea of the enterics better than the
7 food-borne, but I do think that whether it's enterics or
8 food-borne, that's sort of got to be the focus of whether
9 it's the first thing we work on, or whether it's the most
10 important part of those parameters for human illness, it
11 makes the most sense because it's linked the most, as
12 everybody knows.

13 In a subcategory of that, I would think that we
14 could in those sort of things -- if, for instance,
15 *Campylobacter* is more prevalent than *Shigella* and
16 *Campylobacter* has developed new resistance, that that sort
17 of has a higher priority in terms of things going on than
18 the *Shigella*. The way things were sort of qualitatively,
19 arbitrarily distributed as low, medium, high, if it's less
20 than 5 percent, 5 to 15, whatever it is, over a range of
21 prevalences where some things are 90 percent prevalent and
22 the other things go from 1 to 5, it can't be fixed in that.
23 It should be fixed overall so that if something is 90
24 percent prevalent, it's not just high because it's greater
25 than 25 percent. It has to be over the whole thing. So

1 something that is 25 percent prevalent, even if that
2 particular bug is only 25 percent prevalent, that's still
3 less than 90 percent of another bug that could be a
4 pathogen. It's going to be difficult. I understand.

5 I also agree about the statements about
6 transmissibility being a given, and I also like the idea of
7 certain things are definitely off the table in terms of
8 carbapenem use in animals. We'll just have to get around
9 to that certain point.

10 Having grown up on a farm myself, we still
11 killed the pigs and the beef cattle in the fall. We didn't
12 kill each other. Well, the Hatfields and the McCoys did,
13 but other than that.

14 With that, unless there are some other sort of
15 general statements, why don't we try to go around as a
16 group and follow Dr. Wald's suggestion and see among us
17 what are the important things and how could we in a utopian
18 world come up with a great list.

19 DR. WALD: Why can't you sort of say sole
20 therapy as an item? I don't know if you want to get a show
21 of hands or have someone try to consolidate it with another
22 category. It might be a more efficient way.

23 DR. LEGGETT: So, Jan, your position was sole
24 therapy/therapy of choice as sort of one thing?

25 DR. PATTERSON: Well, I think that was Dr.

1 Ebert's suggestion. I could go along with that, but I saw
2 1 and 3 sort of being the same thing. Sole therapy,
3 limited available therapy, spectrum of activity of
4 particular importance. I guess you could even put maybe 1,
5 2 and 3 as a consideration. What I said before was you
6 could combine 1 and 3, and I think Steve said you could
7 combine 1 and 2.

8 DR. LEGGETT: Comments?

9 DR. BELL: Maybe I don't understand the process
10 that's been proposed.

11 DR. LEGGETT: I think they would like us to
12 comment on the actual parameters there and what we would
13 like to do. I think we need to give them at least some
14 sort of concrete first approach at this.

15 DR. BELL: I seriously question whether sole
16 therapy matters at all if we're talking about the treatment
17 of tuberculosis. I want to start with enteric infections.

18 DR. LEGGETT: Yes, enteric infections. I think
19 we could call it enteric infections. So, instead of Gram-
20 positive, Gram-negative, you would just sort of say enteric
21 infections, yes or no?

22 DR. WALD: Right. And there was a lot of
23 consensus about that. I think everybody agreed is food-
24 borne is something we know a lot about.

25 DR. BELL: Not just food-borne.

1 DR. WALD: And related Gram-negative.

2 DR. LEGGETT: I think when we were talking
3 about the transmission already occurs, I still think the
4 idea of the transmissibility, low or high, is worth
5 keeping. Any comment? Feedback? John.

6 DR. BRADLEY: I agree. 1, 3, and 9 were at
7 the top of my list, so the 1 and 3 I am in agreement that
8 they are very important and could be lumped together.
9 Although there can be lots of mechanisms for development of
10 resistance and cross-resistance, if it's not transmissible,
11 then it may not be a public health problem. It seems as
12 though there are a lot of antibiotics that have been used
13 out there and relatively few events which have been picked
14 up and reported on. I think transmissibility is very
15 important because if it's low transmissibility, then it
16 shouldn't be a high problem.

17 DR. LEGGETT: I would sort of think we could
18 also use this -- the way I saw sole therapy fit right in
19 with unique mechanism because to me a unique mechanism is
20 not going to be unique forever. The sole therapy is often
21 the sole therapy because of the unique mechanism. So to me
22 that sort of goes together nicely as a parameter. What
23 we're going to call it I don't know. Uniquely sole or
24 something.

25 Go ahead, John. What we're trying to do is

1 just get a rough thing of --

2 DR. POWERS: No, I think this is very helpful.

3 One of the questions I wanted to try to clarify was the
4 idea of ease of transmissibility, and the idea of suppose a
5 Gram-negative in an animal has a resistance element on a
6 transposon. We know that that may be capable of being
7 transmitted to another pathogen. How much evidence would
8 you consider before one checks off that box? One of the
9 things I heard was this idea several times around the table
10 of more data. Would one consider an in vitro experiment
11 showing that that can occur as adequate evidence? Or what
12 kind of information on ease of transmissibility would one
13 want? Or is the very fact that the resistance element
14 exists on a transmittable element good enough?

15 DR. LEGGETT: To me the fact that it happened
16 once is enough to pull the trigger.

17 DR. POWERS: In vitro? In vivo?

18 DR. LEGGETT: No. In vivo. The United States
19 story here this past fall was enough for me.

20 DR. RUPP: But in general anything you can do
21 in the test tube happens in nature.

22 DR. LEGGETT: Yes, but it took a long time for
23 us to get VRSA, and we'd been doing it in the test tube for
24 decades.

25 John.

1 DR. BRADLEY: My concept was, as an antibiotic
2 is introduced into a herd, to take a test herd. Once you
3 check for colonization in the animal herd to look at
4 development of resistance in a previously unexposed herd,
5 you will get data on how quickly resistance gets
6 transmitted between cows, between buildings. If you also
7 do colonization studies on the workers in those barns,
8 you'll get some information on how easily those organisms
9 with their resistance determinants may be transmitted to
10 humans.

11 So I'm not necessarily looking for disease, but
12 transmission of organisms that have those transposons in
13 them. There is beautiful molecular techniques that can
14 track specific resistance elements as they move between
15 people, or animals and people.

16 DR. LEGGETT: The other thing, to me looking at
17 the list, splitting cross-resistance within and between
18 classes, as new drugs or old drugs that we revisit come
19 through for animal use, it's going to be a drug-by-drug
20 situation. So you're going to know whether there exist
21 cross-resistances within that class or between classes, so
22 all you're really interested in is the cross-resistance.
23 Then you can assign a value of low, medium, or high based
24 on whether you know it's widespread and it's cross-
25 resistant. It wouldn't seem to help me, trying to find out

1 whether a drug comes on the market, to know those
2 particular things because they're all incorporated into, is
3 there cross-resistance.

4 Sorry. Barth.

5 DR. RELLER: I like to think in groupings
6 rather than splitting it out. I think the factors related
7 to development of antimicrobial resistance are basically
8 two. That is, what resistance means within and across
9 classes, and it also relates to -- and even these agents --
10 if it's a different compound that's used in veterinary
11 medicine but it's a similar class. I have no illusion that
12 a fluoroquinolone used -- even though it's not prescribed
13 in humans, if we've got resistance in animals and humans,
14 it's going to go. So basically it's cross-resistance and
15 ease of transmissibility. There are two concepts, so
16 you've got them down to two there.

17 Up in the beginning, I agree completely that
18 the unique mechanism is linked in with sole and principal.
19 I mean, those three elements are pretty much one. Is this
20 the best drug available? And if it's the best drug
21 available for staphylococci, whether it's a unique
22 mechanism or whether it's the only drug or whatever, that's
23 one that we can't mess with because the pathogen is
24 important and it's far and away the best agent.

25 DR. LEGGETT: Yes, the example with carbapenem.

1 DR. RELLER: Exactly.

2 So I think actually lumping some would make the
3 decisions easier. We discussed getting at it from multiple
4 approaches that the carbapenems and the fluoroquinolones,
5 not that they're the only ones, are ones that should be
6 able to get the job done in animal health without using
7 those categories of compounds.

8 DR. LEGGETT: Celia.

9 DR. MAXWELL: I just wanted to add one thing to
10 what Barth said. In addition to cross-resistance and ease
11 of transmissibility, shouldn't it also be virulence? The
12 virulence of the bug? Isn't that what really we're
13 concerned about?

14 DR. RELLER: I think that virulence is
15 important. To me the way you get at the virulence is up in
16 the sole, only, unique mechanism, preferred drug, and I
17 think that some targets of antimicrobial therapy are more
18 important than others. I'm not saying that it's more
19 related to the issues we discussed with veterinary
20 medicine, but to me Staph. aureus is a more important agent
21 intrinsically than Campylobacter jejuni is in the overall
22 spectrum. Not that Campylobacter jejuni isn't important,
23 and clearly the implications for how drugs are used in
24 veterinary medicine are far more important for
25 Campylobacter jejuni. But for humans, Staph. aureus is a

1 much more important global organism.

2 Now, the importance of making those relative
3 distinctions I think is it gets us out of some difficulties
4 because what may be crucially important -- Mycobacterium
5 tuberculosis, Staph. aureus -- means that the implications
6 on the veterinary side may be relatively small. So I think
7 that actually making these distinctions gives us ways to
8 have collegial resolution of issues as opposed to the
9 opposite.

10 DR. LEGGETT: So could we consider this just
11 importance of the pathogen in terms of a parameter, and
12 then under that parameter we would then weigh whether this
13 new drug was going to have a big impact on Salmonella or a
14 big impact on Staph. aureus or something? So really it's
15 not whether it's enteric or not or food-borne or not.
16 That's subsumed under the fact of how important is that
17 pathogen and how connected is it to food animal-human
18 connections.

19 By the puzzled looks, I didn't make myself
20 clear, especially to David.

21 DR. BELL: Well, I wasn't sure what the last
22 phrase meant. The way the challenge to the human drug is
23 presented is we're saying it's acquired, that the bug is
24 acquired through the food-borne route, that either it
25 causes resistant infection itself or has a resistance

1 determinant that can be transferred to other bacteria with
2 which it will predictably come in contact in humans.

3 I actually like the point that some of these
4 bacteria are more worrisome than others if such resistance
5 might develop. But I still think we need to exclude the
6 tuberculosis and the congenital syphilis and stuff like
7 that as a parameter in its own right before we go into the
8 rest of it.

9 DR. LEGGETT: I'll go back to what I said
10 earlier. When I read this, I thought those things were
11 examples of things that could potentially be considered
12 under that. I did not think they were actually stamped in
13 stone, this is what we're going to consider. I may have
14 been mistaken.

15 DR. TOLLEFSON: No. The categorization would
16 be lifted, the ranking would be lifted straight from the
17 document.

18 DR. LEGGETT: Then I totally agree. TB and
19 neurosyphilis and all that stuff goes. That's not the way
20 you try to make the decision.

21 DR. TOLLEFSON: Okay, it goes as a reason.
22 Remember, we still have to look at each drug class. So the
23 way we got to the TB and the neurosyphilis is through the
24 factors. So what you're doing will take care of that once
25 we rank them, in terms of importance to the issue, as it

1 relates to animal drug use. I think.

2 DR. LEGGETT: Help us out, Mark.

3 DR. GOLDBERGER: I hopefully would just really
4 be playing devil's advocate. But just to follow up on the
5 TB, again, you know, we did not take into account at all
6 how likely veterinary use was to influence, you know, for
7 instance, changes in the resistance patterns of
8 tuberculosis. We simply used tuberculosis as an important
9 infection and therefore that had to be taken into account
10 with certain classes of drugs. So, I don't disagree at all
11 with this discussion.

12 But to flip it around, assuming that safety
13 issues and residues were not a concern, what we might, for
14 instance, be saying is, if we're not concerned about
15 tuberculosis, then we would have no objection, for
16 instance, to rifamycins being developed for substantial
17 animal use if in fact that turned out that they might be
18 useful for some of the veterinary infections we're talking
19 about.

20 DR. LEGGETT: The way I thought about it, and
21 when we were talking -- what Barth was saying -- the
22 rifamycin question gets kicked out because of the cross-
23 resistance. So, in other words, when you look at rifamycin
24 and you know that it's really important and that emergence
25 or resistance is very easy if you use the drug alone, then

1 that drug doesn't get used. I'm talking about rifampin.

2 DR. GOLDBERGER: Okay, yes. In other words,
3 we're saying that resistance does develop quickly, but the
4 infection that's really important for the rifamycins is
5 tuberculosis. I mean, we'd be arguing there would be no
6 linkage based upon what people have been saying, so why
7 would we be worrying about that? That's what I'm trying to
8 understand. It seems a little bit in conflict with some of
9 the other comments and I want to make sure I understand
10 this.

11 DR. RELLER: Dr. Goldberger, the way I would
12 look at this is if we say there's a ranking of the
13 organisms, having to do with virulence and potential for
14 human disease, the big intrinsic pathogens, I mean, the
15 Staph. aureus, Mycobacterium tuberculosis, that as an
16 agency that anything that might mitigate the effectiveness
17 owing to cross-resistance, how it would be used, of an
18 agent that is essential for the treatment of tuberculosis,
19 that that would be a very high barrier. I mean, there
20 would have to be some super-compelling reason to ever
21 consider it in animal use.

22 DR. LEGGETT: And it wouldn't only come under
23 that cross-resistance. It would come under the sole,
24 unique, principal therapy.

25 DR. GOLDBERGER: And that's fine, but then

1 we're saying that even though the connection between what
2 goes on in animal therapy and resistance in tuberculosis
3 may be tenuous, the need for a drug like rifamycin is so
4 great that that would overshadow it, which is the way the
5 document is written now, but, yet, I thought I heard
6 committee members thinking that the tuberculosis issue as
7 an example was not important.

8 DR. LEGGETT: If you're using only
9 tuberculosis, I think we're going to miss the point,
10 because now we've got DMAC, you know, and rifamycins are
11 important for DMAC, so it's not only TB. So, then you've
12 already dug yourself in a hole by just saying TB.

13 DR. GOLDBERGER: But just to follow up on that,
14 they're in fact not that important for disseminated MAC.
15 The real example, when we wrote this, was tuberculosis.
16 And I understand the arguments that have been made, that
17 what's the link, it's so tenuous. Yet, in fact, the need
18 for the rifamycins is so great in that infection, it would
19 seem to me that would overcome it. But, I'm not clear in
20 which direction people on the committee are going. I just
21 want to make sure we understand this clearly.

22 DR. LEGGETT: The way I've tried approaching
23 this, trying to horde people together is that we want a
24 document that you can use when a sponsor is in front of
25 you. So it has to start from the drug. And so we've got

1 to go through the drugs rather than going through human
2 diseases. The human diseases get brought into it by all
3 the people that are sitting around the table and it starts
4 from the drug.

5 Steve, and then you, Mark.

6 DR. EBERT: Well, just some thoughts from what
7 Dr. Reller said, and I think this might be part of the
8 issue, is that as you look at examples of problems, first
9 of all, I would think the most important would be if you
10 have a commensal in an animal that develops antibiotic
11 resistance and that organism can directly cause infection
12 in humans. That would be first.

13 Second, though, would be where you have
14 resistance that develops in a commensal and that resistance
15 is able to be transmitted to a pathogen in humans. So to
16 use your example of Staph. aureus, let's say VRE, where
17 that would be a secondary but certainly equally important
18 issue.

19 And then, as you get into some of these other
20 issues, if it's a spontaneous, but yet not transmissible
21 resistance that may be of importance, but I would probably
22 put that third on the priority list.

23 DR. RUPP: I guess I would go to your example
24 of the rifamycins. You don't exclude it or discourage it
25 from use in animals because you're worried about

1 multiresistant tuberculosis evolving in animals. You're
2 worried about it because it's used for adjunctive therapy
3 in staphylococcal disease and orthopedic implants and
4 things like that.

5 DR. PATTERSON: Well, I agree that the sole
6 therapy issue kind of takes care of the TB thing and the
7 Staph. aureus thing, because we don't see much, although we
8 have had some bovine TB in Texas lately, and I think some
9 companion animals can transmit Staph. aureus to humans, but
10 not in the industry setting. So I don't think those are
11 big issues, but the sole therapy issue takes care of those,
12 for things like MRSA, linezolid, and so forth.

13 But I think maybe the factor that we're missing
14 and kind of maybe what we're getting around in some of this
15 discussion is that one of the factors should be whether
16 there is evidence of transmission from animals to humans of
17 a particular organism that that drug would affect. An
18 example of that would be Salmonella and fluoroquinolones,
19 for instance. So while some of these considerations are
20 theoretical, we know that there are some instances of
21 transmission of some of these food-borne things definitely
22 from animals to humans, and that evidence should probably
23 be a pretty important factor in all this.

24 DR. LEGGETT: I think that's what Barth and I
25 and others had stated, that under the transmissibility and

1 what kind of evidence is enough, one episode. And so
2 transmissibility/transmission to me is sort of the same
3 thing.

4 DR. RUPP: I guess I would just emphasize again
5 either the organism or the resistance determinant.

6 DR. LEGGETT: Right.

7 DR. POWERS: I want to get back around to that,
8 though. And that is the idea when you talk about things
9 like vancomycin-resistant *Staph. aureus*, as Dr. Bell
10 pointed out, that in vitro phenomenon was pointed out years
11 ahead of when we actually saw it in vivo, which one would
12 estimate that might be a predictor.

13 The other thing that Dave said was, we keep
14 coming around to, well, studies that show. Those studies
15 will most likely be interpreted completely differently
16 depending upon who reads them.

17 So, what I'm trying to get some clarity from
18 the committee about is, what level of evidence would be
19 enough? Suppose somebody mixes MTB and a Gram-negative in
20 a test tube and shows that this resistance determinant gets
21 transmitted. Somebody could read that and say, oh, that's
22 never going to happen in vivo, ever. So, what level of
23 evidence are we talking about here?

24 DR. PATTERSON: Well, in my mind, you know the
25 VRE example, to me, would fit under ease of

1 transmissibility as a factor. Whereas the salmonella and
2 fluoroquinolones is a stronger factor in that there's
3 evidence that that's actually happened. So, to me, I can
4 kind of fit the other potential thing, the VRSA from VRE as
5 an ease of transmissibility factor.

6 DR. LEGGETT: I wouldn't think it's a yes or
7 no. I would think it's graded, so that if you have in
8 vitro you'd take that into account, but if you've already
9 shown that in vivo, that's more of a red flag.

10 DR. POWERS: So that gets back to what Dr.
11 Reller was saying about sort of grading the strength of the
12 evidence. So you could say, ease of transmissibility, but
13 this one we know happens. This one, well, theoretically it
14 could happen in the test tube.

15 DR. LEGGETT: Right.

16 Steve.

17 DR. EBERT: Along with that grading part, it's
18 not just one criteria that's going to make or break this
19 issue. You may have transmissibility, but it may be
20 transmissibility of resistance to a drug where that's the
21 only drug that you're using. For example, vancomycin, last
22 resort type of an agent. That might be different from
23 transmissibility of a beta-lactamase where you have a lot
24 of different alternatives. That might not be graded in the
25 same way. Even though there is transmissibility, you still

1 have a lot of other therapeutic options available. So, I
2 don't think we should take each one of these criteria as if
3 one of them is true, it's all true.

4 DR. LEGGETT: Right.

5 The way I envisage this is you guys helping the
6 sponsor along the way to developing a drug and by locking
7 them into low, medium, or high as things move along, sort
8 of tell them, well, we think it's going to be a low
9 priority, but things might come up during that drug
10 development and you say, whoa, hold on a second, have you
11 guys thought about. It should be something that allows
12 them some guidance along the line, not just one hoop to
13 jump through one time.

14 Dr. Brown, could we get your input as having
15 worked in industry in the past?

16 DR. BROWN: I've been thinking more of the old
17 saying that says it's better to remain silent and thought a
18 fool than to open one's mouth and demonstrate it.

19 (Laughter.)

20 DR. LEGGETT: But, more in the sense of the
21 difficulty in the "jumping through the hoops" that the
22 agency comes through, as we envisage it, is this an
23 unworkable situation or do you have a sort of gestalt that
24 industry could live with it?

25 DR. BROWN: I'm not sure who I should speak

1 for, but I think in comparison to the problem of finding
2 new agents, that problem dwarfs this issue. I can tell you
3 that 20 years ago one of the major companies was screening
4 30,000 new soil samples a month and not coming up with
5 anything new. Then, if we look at the number of new
6 classes of agents, which have been discovered since 1965,
7 we can count them on one hand. So, that problem, I think,
8 overwhelms this one.

9 DR. LEGGETT: Go ahead, Mark.

10 DR. GOLDBERGER: Let me make sure that I
11 understand. For instance, vancomycin is essential for
12 life-threatening Gram-positive infections. Linezolid is
13 also essential. Rifampin is essential for tuberculosis.
14 The carbapenems we use, as an example, are believed to be
15 essential across a broad range of serious Gram-negative
16 infections. And the fluoroquinolones, in fact, are really
17 essential for certain life-threatening Salmonella
18 infections. We could argue, I suppose, about that, but in
19 fact there are very few options.

20 But what we're saying is in any case -- and we
21 can could argue about the fluoroquinolones or not -- if you
22 make that type of statement, that's basically all you need
23 with regard to the importance of those therapies. If we
24 truly believe that they are essential in those settings,
25 that's what everybody is comfortable with. I mean, you can

1 argue about what's really essential or not, and that's
2 fair, but if there's a consensus that they are essential --
3 and certainly the first four I gave, I think there is
4 consensus -- that's all we need to say, that these are
5 going to be considered very important in human medicine.

6 DR. LEGGETT: Yes, but remember, we've been
7 thinking about this all the last 90 seconds, not the last 4
8 years. So, I'm sure there are big holes in our thinking.

9 DR. GOLDBERGER: I will say that in some
10 respects, when we thought about an actual weighting system,
11 I can tell you that the idea that something would be
12 essential for a serious or a really life-threatening
13 infection carried enormous weight in the process without
14 regard to how veterinary use might relate. And that I
15 think represents, from a human practitioner, the level of
16 risk we would be willing to accept that any veterinary use
17 would result in a diminution in effectiveness in the
18 treatment of humans. So that's sort of one of the basic
19 things that our weighting system took into account. That
20 was our thinking over the last several years. I will say
21 that now that we've had a chance to talk about this in more
22 detail.

23 DR. TOLLEFSON: If I may make one comment, we
24 understand that way of thinking, but when we go to put the
25 ranking list into use, it doesn't give us a tool to take

1 the direct link, the link that we know exists, with enteric
2 pathogens, picking up resistant bacteria that are commensal
3 in the animals and pathogens in the humans. That comes out
4 the same. In other words, there's no differentiation of
5 high. So we have more evidence for one subset of that
6 high, much more evidence that it really occurs and really
7 does have a human health impact, public health impact, than
8 we have -- I guess the essential therapy is more of a
9 future issue, a potential, more a potential issue.

10 DR. LEGGETT: To me there is ranking in that
11 the link with Salmonella and fluoroquinolones is A-1
12 evidence. Some of the other things that are brought up is
13 B-2, C-3. So that in the ranking, the A1 that you already
14 know and you already know is a problem, Campylobacter,
15 Salmonella, Shigella, E. coli, whatever, those are all A-1.

16 DR. TOLLEFSON: Okay, fine, so if we can get
17 there that would be helpful.

18 DR. LEGGETT: Do you want to add to that,
19 Barth?

20 DR. RELLER: Well, related thereto, the way I
21 would envision this might work is that we have these
22 essential agents. Let's say one of those agents came up on
23 the veterinary medicine as being essential, just
24 conceptually, that if we don't have fluoroquinolones, the
25 production of pork in this country is going to be

1 decimated. There will be no pork industry. Then you weigh
2 the importance of those two things, and if in that
3 situation there was clear evidence, as there is with
4 Campylobacter and the fluoroquinolones, for example, then
5 that would be easy. It would be devastating if it were to
6 happen, but the weight of the evidence is that it hasn't
7 happened yet, it's never been demonstrated in vitro. Then
8 you may say, well, for right now we're going to have some
9 use with some constraints in animal care.

10 DR. LEGGETT: Go ahead, David.

11 DR. BELL: Maybe somebody from the FDA wants to
12 address this, but my understanding of the legal basis for
13 FDA regulation of drugs in animals is that drug use in
14 animals must pose no risk of harm to human health. No. A
15 reasonable certainty of no harm to human health. That is
16 the law under which FDA approves use in animals. The FDA
17 does not consider the economic effects on the pork
18 industry. It is reasonable certainty of no harm to human
19 health.

20 Let me just make one other comment. Although
21 we sit around the table and say, oh, well, when cases of
22 transmission from animals to humans are known or shown or
23 proven, I just have seen enough cases now where evidence
24 that we might accept is actually vigorously disputed by
25 some folks in the agricultural sector. So I think we need

1 to be wary of hinging this on "evidence," "studies,"
2 "transmission." I mean, unless there's some clear-cut
3 guideline that everybody agrees on.

4 You'd be surprised at how many people dispute
5 that the major cause of drug-resistant Salmonella
6 infections in humans is drug use on the farm. When I say
7 people, I mean in various kinds of agricultural groups. I
8 get all kinds of stuff about drug use in hospitals and
9 human sewage that pollutes the farm. So stuff that we kind
10 of believe based on epidemiologic and laboratory data,
11 there are folks out there that dispute it.

12 That's where I'm kind of saying, well, drugs
13 that are used to treat infections with enteric bacteria, or
14 bacteria that would predictably receive transmissible
15 elements from enteric bacteria, those are the drugs where
16 we should start, and we shouldn't necessarily get into
17 what's the evidence for transmission from animals.

18 DR. LEGGETT: In that case we'd never use
19 erythromycin. We'd never use lincomycin because of MLS.
20 We'd never use penicillin. We'd never use tetracycline.
21 They would not be used in animals if that law is the way
22 you're saying it.

23 DR. BELL: No, I mean we start there.

24 DR. LEGGETT: You said the FDA already has
25 that. That's the only criteria they have. And then I

1 would say, well, then why do we have all these drugs here?

2 DR. TOLLEFSON: Because when those drugs were
3 approved, we didn't have this issue or we weren't
4 evaluating the safety of those drugs based on this issue.

5 DR. LEGGETT: Maybe we'll have to change the
6 law.

7 DR. BRADLEY: It seems as though the debate
8 centers around whether drugs will be available or not, and
9 I think to pull it back into the original discussion, if
10 it's high, the drugs are available. It's just that they're
11 controlled, high or medium. So it's not like we're
12 preventing important drugs for the pork industry from being
13 available. It's that they will be under the use of a
14 veterinarian.

15 DR. LEGGETT: Yes, recognizing the fact that
16 the use of a veterinarian might raise animal production
17 costs so high that people will get out of the business.

18 DR. BELL: Yes, I don't hesitate to advocate my
19 personal view that antibiotics are drugs, and when they're
20 used in animals, it should only be under the supervision of
21 a veterinarian. I don't think we have to be embarrassed
22 about any such requirement. I don't think it's a
23 particularly burdensome requirement.

24 DR. LEGGETT: We could spout on and spin
25 circles forever, Mark.

1 So basically the way I understand it, we have
2 sort of thought about twisting and turning these things and
3 changing these parameters into sort of maybe five, one
4 being what is the organism that could be affected by this
5 class of drug, whether we call it enteric, Staph. aureus,
6 whatever, sort of like name this organism, is it important
7 or not.

8 The next one would be that 1 and 3 combined,
9 which we would call sort of spectrum broad versus limited,
10 with limited being good and broad being less good except
11 that you'd have to also take that into account for the
12 kinds of infections that are going to need to be treated in
13 animals. Oftentimes it's going to be more empiric. I
14 would imagine that they don't get cultures as often as we
15 do.

16 The third factor would be this sole use, unique
17 mechanism, principal drug, in other words, best drug
18 availability as a factor of the more the drug for humans
19 seems in that category, the more restricted it has to be in
20 animal use, so that it goes toward the high, or category 3,
21 is it?

22 DR. TOLLEFSON: 1.

23 DR. LEGGETT: 1.

24 Then with the fourth one being cross-resistance
25 among drug classes and between people and animals.

1 The final one being the
2 transmissibility/transmission issue, whether it's the
3 organism, the determinant.

4 And then in all these things I guess you could
5 use the classification that we sort of do for the human
6 guidelines. So I guess you could work on an A-1, B-2, C-3
7 type thing for the enterics for the spectrum. Do we know
8 that something has happened with broad spectrum antibiotic
9 use in the past, or is this sort of theoretical?

10 The sole, unique, principal, I guess we could
11 go with A-1. If we know that the drug is the same that's
12 already in humans, that sort of is a no-no. That would be
13 sort of towards the A-1 category.

14 Does anybody want to define or sharpen or add
15 or subtract to that?

16 DR. MAXWELL: Which factor, which number would
17 include virulence? Because I think ease of
18 transmissibility doesn't necessarily speak to virulence.

19 DR. LEGGETT: Barth, do you want to speak out
20 loud?

21 DR. RELLER: I think that the virulence is an
22 important part of what the ranking of the organism is. So
23 that was a major factor in organisms that are more
24 important than other organisms. It has to do with their
25 intrinsic virulence.

1 DR. LEGGETT: My voice has given out. Time for
2 concluding comments if you're going to make any.

3 DR. GOLDBERGER: I think this was very helpful.
4 I think we could probably go around a little more about
5 this, but the truth is it's probably time from our
6 perspective to take back all the suggestions that you've
7 made to see how this can be modified. I think you've given
8 enough specifics that we have a pretty good idea about it,
9 and then to see what the next steps might be. We have to
10 do this obviously very much in concert with the folks in
11 CVM to see whether some of the other scientific questions
12 that have been touched upon here, in terms of links between
13 humans and animals, need themselves to be at some point
14 revisited in a similar setting to this, perhaps a joint
15 meeting with the folks from the CVM advisory committee and
16 other relevant experts because I think that ultimately we
17 will have to come back to that point about links between
18 animals and human and what that does in the overall
19 process, even if it's not specifically in the ranking in
20 human medicine. So I think that that's something that may
21 be for the future.

22 But I think that this has been extremely
23 helpful to get this discussion, and particularly the ideas
24 about consolidation because I think that we agree that if
25 we can simplify this, that alone simply begins to increase

1 clarity for people. Some of these things truthfully, even
2 I was very much involve, frankly, in writing all these
3 things, now since I haven't looked at it in a few years,
4 when I try to think exactly what we meant, unless I go back
5 and look at all my notes, I'm not sure that I fully
6 remember all the distinctions. So simplifying it I think
7 would be very good for everybody.

8 I was asked to remind everyone that we have re-
9 opened the docket so that we can receive additional
10 comments with regards to discussions at this meeting. The
11 docket number I've been given is 98D-1146. I think that we
12 would certainly welcome additional comments. I would like
13 to encourage the folks from the veterinary community and
14 producer community not only to provide additional comments,
15 but to encourage those folks who may not have been able to
16 attend this meeting to look at the transcripts, et cetera,
17 and see what comments that they would like to make. I
18 think that would be extremely important getting the best
19 possible picture.

20 I'd like to thank everybody for sticking it out
21 here until the end. Even though we are finishing a little
22 early, it is frankly nothing short of remarkable to see
23 that the entire committee is still here without having to
24 lock the doors or anything. I want to certainly commend
25 all of you.

1 DR. LEGGETT: Great. Thank you very much.
2 (Whereupon, at 3:38 p.m., the committee was
3 adjourned.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25