

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION

* * * * *

CENTER FOR DRUG EVALUATION AND RESEARCH

* * * * *

ONCOLOGIC DRUGS ADVISORY COMMITTEE

* * * * *

74th MEETING

* * * * *

THURSDAY,

MARCH 13, 2003

* * * * *

The Committee met at 8:00 a.m. in the Versailles Ballroom of the Holiday Inn ? Bethesda, 8120 Wisconsin Avenue, Bethesda, Maryland, Dr. Donna Przepiorka, Chair, presiding.

PRESENT:

- | | |
|-------------------------------|-------------|
| DONNA PRZEPIORKA, M.D., Ph.D. | Chairperson |
| DOUGLAS W. BLAYNEY, M.D. | Member |
| OTIS W. BRAWLEY, M.D. | Member |
| JOHN T. CARPENTER, JR., M.D. | Member |

PRESENT (Continued):

BRUCE D. CHESON, M.D.	Member
THOMAS FLEMING, Ph.D	Consultant (Voting)
STEPHEN L. GEORGE, Ph.D.	Member
DAVID P. KELSEN, M.D.	Member
SCOTT M. LIPPMAN, M.D.	Member
SILVANA MARTINO, D.O.	Member
MUSA MAYER, M.S.	Patient Representative (Voting)
GEORGE OHYE	Acting Industry Representative (Non-Voting)
JODY L. PELUSI, F.N.P., Ph.D.	Consumer Representative
GREGORY H. REAMAN, M.D.	Member
BRUCE G. REDMAN, D.O.	Member
SARAH A TAYLOR, M.D.	Member
JOHANNA CLIFFORD, M.S., RN, BSN,	Executive Secretary

SPONSOR REPRESENTATIVES:

STEPHEN HOWELL, M.D.	SkyePharma, Inc.
MATTHEW L. SHERMAN, M.D.	Wyeth Pharmaceuticals
CRAIG L. TENDLER, M.D.	Schering-Plough Corporation
DANIEL VLOCK, M.D.	Pharmacia Corporation

FDA REPRESENTATIVES:

MARK AVIGAN, M.D.

PETER BROSS, M.D.

MARTIN COHEN, M.D.

RAMZI DAGHER, M.D.

CHARLENE FLOWERS, Pharm.D.

HUGO GALLO-TORRES, M.D.

STEVEN HIRSCHFIELD, M.D., Ph.D.

ROBERT JUSTICE, M.D.

NAROYAN NAIR, M.D.

RICHARD PAZDUR, M.D.

ROBERT TEMPLE, M.D.

GRANT WILLIAMS, M.D.

C O N T E N T S

	<u>PAGE</u>
Introductions	5
Conflict of Interest Statement	7, 95, 187, 242
<u>NDA 21-174, Mylotarg:</u>	
Sponsor Presentation, Matthew L. Sherman, M.D. ...	10
<u>NDA 210-041, DepoCyt:</u>	
Sponsor Presentation, Stephen Howell, M.D.	98
<u>NDA 21-156, Celebrex:</u>	
Sponsor Presentation, Daniel Vlock, M.D.	190
Open Public Hearing:	
Leah Simone	243
Nancy Roach	245
Dr. James L'Italien	247
<u>NDA 21-029, Temodar:</u>	
Sponsor Presentation, Craig Tendler, M.D.	248

P R O C E E D I N G S

(8:08 a.m.)

CHAIRPERSON PRZEPIORKA: Good morning.

This is the second day of the 74th meeting of the Oncology Drugs Advisory Committee.

Today we have four more drugs to review plus some discussion regarding the accelerated approval process in general.

And I want to start out by introducing the members of the committee. If we could all start with Mr. Ohye and go around, speak into the microphone and let people know who you are.

Thank you.

MR. OHYE: George Ohye, acting industry rep.

DR. FLEMING: Thomas Fleming, University of Washington.

MS. MAYER: Musa Mayer, patient rep.

DR. PELUSI: Jody Pelusi, oncology nurse practitioner, consumer rep.

DR. REDMAN: Bruce Redman, University of Michigan Comprehensive Cancer Center.

1 DR. TAYLOR: Sarah Taylor, University of
2 Kansas Medical Center.

3 DR. REAMAN: Gregory Reaman, pediatric
4 oncologist, George Washington University.

5 DR. CHESON: Bruce Cheson, Georgetown
6 University Lombardy Cancer Center.

7 DR. CARPENTER: John Carpenter, medical
8 oncologist, University of Alabama at Birmingham.

9 DR. BRAWLEY: Otis Brawley, Winship
10 Cancer Institute, Emory University.

11 CHAIRPERSON PRZEPIORKA: Donna
12 Przepiorka, hematology, University of Tennessee
13 Cancer Institute, Memphis.

14 MS. CLIFFORD: Johanna Clifford, advising
15 consulting staff, Food and Drug Administration,
16 Executive Secretary to this meeting.

17 DR. BLAYNEY: Doug Blayney, medical
18 oncologist, Wilshire Oncology Medical Group,
19 Pasadena, California.

20 DR. GEORGE: Stephen George, Duke
21 University.

22 DR. LIPPMAN: Scott Lippman, Indiana

1 University Cancer Center.

2 DR. MARTINO: Silvana Martino, medical
3 oncology, the John Wayne Cancer Institute in Santa
4 Monica California.

5 DR. KELSEN: David Kelsen, Sloan-
6 Kettering, New York.

7 DR. BROSS: Peter Bross, medical officer,
8 FDA.

9 DR. WILLIAMS: Grant Williams, Deputy
10 Director, Division of Oncology Drugs.

11 DR. PAZDUR: Richard Pazdur, FDA.

12 DR. TEMPLE: Bob Temple, Office Director,
13 FDA.

14 CHAIRPERSON PRZEPIORKA: Thank you.

15 Ms. Clifford will read the conflict of
16 interest statement.

17 MS. CLIFFORD: The following announcement
18 addresses the issue of conflict of interest with
19 respect to this meeting and is made a part of the
20 record to preclude even the appearance of a conflict.

21 To determine if any conflict exists, the

1 agency has reviewed the submitted agenda for this
2 meeting and all financial interests reported by the
3 committee participants. The conflict of interest
4 statute prohibits special government employees from
5 participating in matters that could affect the
6 personal and imputed interests.

7 However, the agency may grant a waiver if
8 the need for the individual service outweighs the
9 conflict created by the financial interest.

10 Accordingly, waivers have been granted to the
11 following individuals:

12 Dr. Douglas Blayney for owning stock in a
13 competitor worth between 25,001 to \$50,000;

14 Dr. David Kelsen for owning stock in two
15 competitors. Each stock is worth between 25,001 to
16 \$50,000;

17 Dr. Thomas Fleming for serving on two
18 data monitoring committees for a competitor on
19 unrelated matters. He received from 10,001 to
20 \$50,000 a year;

21 Dr. Scott Lippman for serving on a
22 competitor's speakers bureau for which he receives

1 less than \$10,0001 a year.

2 A copy of these waiver statements can be
3 obtained by submitting a written request to the
4 agency's Freedom of Information Office, Room 12A-30
5 of the Parklawn Building.

6 We would also like to note that George
7 Ohye is participating in this meeting as the acting
8 industry rep. Mr. Ohye would like to disclose that
9 he owns stock in two of the competitors.

10 In the event that the discussions involve
11 any of the products or firms not already on the
12 agenda for which an FDA participant has a financial
13 interest, the participant should exclude him or
14 herself from such involvement, and the exclusion will
15 be noted for the record.

16 With respect to all other participants,
17 we ask in the interest of fairness that all persons
18 making statements or presentations disclose any
19 current or previous financial involvement with any
20 firm whose products they may wish to comment on.

21 CHAIRPERSON PRZEPIORKA: Thank you.

22 We're now scheduled to have the open

1 public hearing. We officially have no one listed to
2 speak at the public hearing. If there is anyone who
3 wishes to make a statement, please come forward at
4 this time.

5 (No response.)

6 CHAIRPERSON PRZEPIORKA: Seeing no one,
7 we will continue on to the next item of the agenda
8 for the first presentation by the sponsor, Dr.
9 Matthew Sherman from Wyeth-Ayerst, who will present
10 the discussion of NDA 21-174 Mylotarg for treatment
11 of CD33 positive AML patients in first relapse who
12 are 60 years of age or older and who are not
13 considered candidates for cytotoxic chemotherapy.

14 DR. SHERMAN: Thank you.

15 And good morning. I am Dr. Matthew
16 Sherman, Assistant Vice President and head of
17 clinical development in oncology at Wyeth
18 Pharmaceuticals.

19 On behalf of Wyeth, it's my pleasure to
20 be here today to tell you about Wyeth's progress in
21 fulfilling its post approval commitment for Mylotarg.

1 Today's agenda is as follows. I will
2 begin with a brief introduction and overview of the
3 regulatory history. I will then highlight the post
4 approval commitment, including both the Phase 1/2
5 safety combination studies that were needed, as well
6 as the randomized Phase 3 study that is ready to
7 begin.

8 I will review the post marketing safety
9 surveillance and will update you on the status of
10 the ongoing prospective observational study.

11 In concluding, I will review the ways in
12 which the FDA's accelerated approval of Mylotarg has
13 enabled Wyeth to provide a novel therapy for the
14 treatment of relapse to AML in older patients
15 addressing an unmet medical need.

16 Mylotarg is indicated for the treatment
17 of patients with CD33 positive AML in first relapse
18 who are 60 years of age or greater and not considered
19 candidates for other cytotoxic chemotherapy.

20 Mylotarg is the first in the class of
21 compounds known as antibody targeted chemotherapy.

1 Mylotarg binds specifically to the CD33 antigen on
2 the surface of myeloid leukemic cells. The complex
3 is internalized, calicheamicin released by
4 hydrolysis, where it binds to DNA, causing double
5 strand breaks, leading to cell death.

6 Mylotarg received orphan drug designation
7 in November 1999. The incidence of AML in the U.S.
8 population is approximately 10,000 patients per year,
9 and the prevalence, approximately 30,000. This
10 prevalence is far below the cutoff of 200,000
11 required for orphan drug designation, making Mylotarg
12 an orphan's orphan.

13 Mylotarg received accelerated approval in
14 May 2000. This approval was based on the results
15 from three pooled Phase 2 studies, which showed a 26
16 percent response rate in patients with relapsed AML.

17 Enrollment in these studies was continued in order
18 to collect additional data.

19 We now have treated a total of 277
20 patients with relapsed AML in support of our
21 accelerated approval in second line patients. These
22 data will be submitted in the near future to the FDA

1 for review and label update.

2 Wyeth agreed to a post approval
3 commitment to determine the efficacy of Mylotarg in
4 combination with induction chemotherapy for newly
5 diagnosed patients with AML. This slide summarizes
6 the key features that needed to be addressed for both
7 accelerated and full approval.

8 Mylotarg was initially developed in
9 second line patients with relapsed AML as a single
10 agent. The dose level identified as a single agent
11 was nine milligrams per meter squared, given on days
12 one and 15.

13 In contrast, the program now underway is
14 the use of Mylotarg in first line patients with de
15 novo AML in combination with standard induction
16 chemotherapy. This led to a very different dose
17 selection of six milligrams per meter squared given
18 only once on day four.

19 Another key differentiating feature is
20 the endpoint of survival that will be examined in the
21 post approval study.

22 In the next two slides, I will summarize

1 the work in progress towards completing post approval
2 commitment.

3 New Phase 1/2 studies were conducted in
4 order to establish the safety and maximally tolerated
5 dose level of Mylotarg in combination. Both studies
6 ere designed prior to the receipt of accelerated
7 approval and initiation and enrollment began soon
8 after approval was granted.

9 Both studies were conducted in parallel
10 in order to minimize the time necessary to start the
11 Phase 3 study.

12 Study 205 was a two drug combination of
13 Mylotarg and cytarabine and was designed to replace
14 anthracycline in the treatment regimen. This study
15 targeted older patients who could not typically
16 tolerate anthracycline chemotherapy.

17 Study 206 was designed to incorporate
18 Mylotarg into the standard induction chemotherapy
19 regimen of daunorubicin and cytarabine in young
20 patients who would better tolerate the three drug
21 regimen.

22 As you can see here, the first patient

1 enrolled soon after approval and the last patient
2 visit is expected in April of this year. Each study
3 had two parts. The first, to determine the maximally
4 tolerated dose, and the second, to verify the safety
5 in de novo patients and obtain preliminary activity
6 of the combination.

7 Each study required four dose escalation
8 steps with two months between cohorts followed by an
9 expansion at the MTD dose level.

10 Enrollment in these studies is now
11 completed. A total of 109 AML patients have been
12 treated. These studies were completed in
13 approximately two and a half years.

14 In this slide you can see the summary
15 results from the dose escalating Part 1 in Studies
16 205 and 206. The MTD of Mylotarg was identified as
17 six and four milligrams per meter squared in days one
18 and eight in combination with cytarabine.

19 As I mentioned, the MTD dose level of
20 Mylotarg was six milligrams per meter squared on day
21 four in the combination with standard doses of
22 daunorubicin and cytarabine. The three drug

1 combination demonstrated an acceptable safety profile
2 which was a requirement of the post approval
3 commitment, and we decided to proceed to Phase 3.

4 Last December, at the American Society of
5 Hematology meeting, we reported the preliminary
6 response rate of greater than 80 percent in de novo
7 patients in both Part 1 and Part 2 of this study,
8 giving us the confidence to begin the Phase 3
9 comparative study.

10 The Phase 3 study will be a randomized,
11 controlled trial of Mylotarg in combination with
12 standard chemotherapy in de novo AML patients. This
13 study will provide a comparison of daunorubicin and
14 cytarabine given as an established three in seven
15 regimen with and without Mylotarg.

16 The primary endpoint for this study is
17 patient survival.

18 In fulfillment of our post approval
19 commitment, the protocol was submitted to the FDA for
20 special protocol assessment in December of last year,
21 and we've received initial comments which we are now
22 addressing.

1 This study was designed in collaboration
2 with the Southwest Oncology Group under the guidance
3 of Dr. Fred Appelbaum. SWOG has estimated an
4 enrollment rate of 160 patients per year.

5 The number of patients needed for the
6 study is 684. So the anticipated enrollment will be
7 four and a half years. An additional three years is
8 necessarily for follow-up, and the study is expected
9 to take seven and a half years to complete.

10 Importantly, an interim analysis will be
11 planned after 36, 56, and 72 months based on early
12 stopping rules.

13 A study of this slide presents certain
14 challenges. AML is a serious and yet fortunately for
15 patients an uncommon disease. As I noted, SWOG has
16 estimated an enrollment of 160 patients per year.
17 Treatment of AML typically occurs at major medical
18 centers and universities that participant in
19 cooperative group studies. SWOG agreed to
20 participate in this study while the CALGB and ECOG in
21 the United States and the EROTC and GIMEMA in Europe
22 had prior commitments and competing studies,

1 and both did not accept a request to join.

2 I will now discuss our post marketing
3 safety surveillance. In the clinical trial
4 experience, 30 percent of patients treated with
5 single agent Mylotarg experience Grade 3 or 4
6 elevated liver function tests, but most were
7 reversible.

8 A lot rate of veno-occlusive disease was
9 noted.

10 In the NDA submission of 142 relapsed AMO
11 patients, three cases, or 2.1 percent, were noted.
12 This was confirmed in a recent analysis of 277 AML
13 patients. In this series, seven cases, representing
14 2.5 percent, were reported.

15 Again, these data will be submitted
16 shortly to the FDA for review.

17 Following approval safety continued to be
18 monitored by our global safety surveillance program.

19 A single center report from the M.D. Anderson Cancer
20 Center of severe hepatotoxicity and a higher rate
21 than expected of VOD was received. At this site
22 investigators piloted the use of Mylotarg

1 in various chemotherapy combinations and different
2 dose schedules.

3 The FDA and Wyeth had numerous
4 discussions regarding these reports. Label changes
5 were implemented to strengthen warnings for these
6 observations, and Wyeth quickly developed and
7 initiated a prospective observational study to
8 capture additional information.

9 The rationale for the prospective
10 observational study was to assess the safety of
11 Mylotarg when used in routine clinical practice in
12 diverse settings, such as community hospitals,
13 academic cancer centers, and others.

14 Patient eligibility includes both on
15 label and off label use. Enrollment is ongoing, and
16 we are providing the FDA with quarterly reports for
17 this study.

18 Fifty-seven sites have been activated,
19 and 11 sites are under review. These sites represent
20 academic, community, and managed care and small
21 private practice settings across all regions of the
22 country. One hundred one patients have

1 enrolled by signing the consent, and 90 patients
2 have received Mylotarg.

3 The current target enrollment is 500
4 patients. The study is expected to complete in mid-
5 2004. The incidence of VOD in this observational
6 study as of February 28th has been four cases of 4.4
7 percent similar to what we've seen in our clinical
8 trial experience.

9 Site recruitment is difficult. Over 200
10 sites were contacted, and only one third sites agreed
11 to participate. Again, patient recruitment is
12 difficult in this small patient population. Even
13 major centers treat a limited number of AML patients
14 a year.

15 In conclusion, patient recruitment and
16 study completion have been appropriate for this
17 uncommon patient population. We have treated 277
18 patients with relapsed AML to support the accelerated
19 approval and 109 patients in combination with
20 standard chemotherapy.

21 The FDA approval of Mylotarg under
22 Subpart H has provided older AML patients at first

1 relapse with a meaningful treatment options for an
2 unmet medical need. Mylotarg is now incorporated
3 into the national comprehensive cancer network
4 treatment guidelines for relapsed AML in the older
5 patient.

6 Wyeth has demonstrated a commitment to
7 completing its post approval obligation; that Phase
8 1/2 dose escalation studies were developed prior to
9 accelerated approval, and a new dose level of
10 Mylotarg in combination was established.

11 The randomized Phase 3 study is currently
12 under discussion with the FDA, and the prospective
13 observational study is ongoing as planned.

14 Thank you very much.

15 CHAIRPERSON PRZEPIORKA: Thank you, Dr.
16 Sherman.

17 The gist of the problem here then is that
18 this is an uncommon disease, and it looks like it
19 will take 7.5 years to complete the Phase 3 study for
20 the commitment. Does the FDA have any comments?

21 DR. BROSS: I had a first question. Dr

1 . Sherman, what's the status of this drug in Europe?

2 DR. SHERMAN: I'm sorry. Can you --

3 DR. BROSS: What's the status of this
4 drug in Europe?

5 DR. SHERMAN: This drug has not received
6 approval in Europe at this time.

7 DR. BROSS: And the second point is a
8 comment, as Dr. Pazdur pointed out yesterday. It is
9 difficult to characterize the safety and toxicity
10 profile in these single arm trials in refractory
11 patients, and this is an example of issues that can
12 arise.

13 You've all heard about the Iressa
14 situation. In the single arm trials submitted to the
15 FDA, we saw one patient who had a fatal liver event,
16 but it was difficult to characterize because it
17 looked as if he had sepsis and other things, and so
18 Dr. Giles called our attention to the reports of
19 veno-occlusive disease in this case.

20 And we met with the sponsor, and I must
21 say that Wyeth was very cooperative in coming up with
22 a plan to keep an eye on this veno-occlusive

1 disease, and we came up with several responses to
2 this.

3 I might call your attention to the first
4 page of the label, which is under Tab 1. You will
5 see the black box warning, and the second arm of the
6 response was the observational study that Dr. Sherman
7 described.

8 However, in the first stage of the
9 observational study the accrual was less than
10 dramatic. The last quarterly report I had seen
11 before this was 50 patients had been accrued, 47 as
12 of October 31st, and you'll see that there's been a
13 remarkable jump in approval. I'm sure it had nothing
14 to do with scheduling of this meeting, but we need to
15 characterize the veno-occlusive disease with respect
16 to the incidence, true incidence of veno-occlusive
17 disease.

18 A second way we had of characterizing
19 this, as Dr. Sherman pointed out, you had enrolled
20 277 patients in the expanded Phase 2 trial and came
21 up with approximately an incidence of three percent
22 of veno-occlusive disease.

1 In addition, we have our AERS database,
2 and in the AERS database we received 125 reports of
3 liver toxicity associated with fatal outcomes. Now,
4 this has not been reviewed, and there may be
5 duplicative reports. It's very difficult to come up
6 with an incidence on this, but it's just illustrative
7 of some of the challenges when you approve a drug.
8 It's sort of like opening Pandora's box.

9 And I wondered if Dr. Sherman would like
10 to comment on how we could improve post marketing
11 surveillance because this is a challenge faced by
12 both FDA and the industry, and also if the members of
13 the committee would like to make any comments in
14 terms of the adequacy of our response and any other
15 suggestions that they might have.

16 DR. SHERMAN: Well, if I can answer
17 briefly first, this question relates to the
18 observation of hepatotoxicity and perhaps
19 specifically veno-occlusive disease observed
20 initially in the clinical trials that were submitted
21 in the initial NDA.

1 And I think overall the system from both
2 the FDA and the sponsor's perspective has worked in
3 this regard. There was a very small signal in the
4 initial application of approximately 2.1 percent.
5 This was also confirmed with another point estimate
6 of 2.5 percent in our nearly expanded cohort size of
7 277 patients.

8 And the ongoing observational study with
9 now additional sites and more vigorous enrollment in
10 approximately 90 patients has a 4.4 percent incidence
11 of VOD. So these are all very similar.

12 A bit of an outlier here was the
13 publication by Dr. Giles from M.D. Anderson, and in
14 reviewing that publication it is noted that several
15 of those patients had received Mylotarg, some as a
16 single agent, but also many in combination before any
17 Phase 1 combination studies were done, both with
18 approved and unapproved agents.

19 So it adds, I think, a complexity, but
20 overall the reporting system both from the sponsor
21 and from the post marketing site, I think works in
22 providing this information.

1 CHAIRPERSON PRZEPIORKA: I'd like to ask
2 what percentage of those patients had fatal VOD.

3 DR. SHERMAN: The majority of patients
4 with VOD in the clinical experience or I should say
5 about two thirds of those patients -- I don't have
6 the exact numbers -- had evidence of fatal VOD.

7 What's complicating in these patients
8 with relapsed AML is also many of them had refractory
9 AML, too, and complications of therapy. So whether
10 or not their death was a direct result of VOD or a
11 combination of VOD in the setting of progressive AML
12 and sepsis is not always clearly ascertained.

13 CHAIRPERSON PRZEPIORKA: Well, VOD is not
14 a common complication of AML treatment, and in fact,
15 we like to take care of these patients as out-
16 patients as much as possible. Clearly, in the
17 relapse setting there are other things that can
18 occur, but now we're moving this drug up in Phase 3
19 or Phase 4 to the de novo setting.

20 In the first Phase 2 studies that you
21 have performed, what was the incidence of VOD and

1 how many were fatal?

2 DR. SHERMAN: The incidence of VOD was
3 very uncommon in the 205 and 206 Phase 1 safety
4 combination studies. In the dose escalation parts of
5 those studies, at the lower dose levels there was
6 only one patients with VOD out of approximately 20 or
7 30 patients. And in the expanded cohorts, there was
8 also one additional patient with VOD.

9 So, again, in a carefully controlled
10 study setting with appropriate dose levels, we expect
11 that there will be a very low incidence of VOD.

12 Also, in other studies not presented
13 today being done in Europe there is a very low report
14 of VOD in the clinical trial setting using lower
15 doses of Mylotarg in combination with induction
16 therapy.

17 CHAIRPERSON PRZEPIORKA: The numbers seem
18 to still pan out to the two to four percent range in
19 the post marketing studies. Given the fact that
20 those all had lower doses, is there any reason to
21 revisit the dose that's currently in the label?

1 DR. SHERMAN: Well, that question would
2 go back to, you know, addressing the safety and
3 efficacy data that was presented as the initial NDA,
4 and it is the believe that the response rate of 30
5 percent overall and 26 percent in the elderly patient
6 population with the approved dose level of nine
7 milligrams per meter square was a positive benefit-
8 risk assessment.

9 But there has been no other studies done.
10 Maybe I'll ask Dr. Jay Feingold from our Global
11 Medical Affairs Group to talk about VOD in the
12 context of additional studies.

13 DR. FEINGOLD: All right. Good morning.
14 My name is Jay Feingold, and I'm from Wyeth.

15 Just one correction to what Dr. Sherman
16 said. In the Phase 2 part of the 206 study, several
17 of those patients went on to receive stem cell
18 transplant at Dana Farber, and there were four
19 patients that developed VOD, none of which were
20 fatal, but they did develop VOD, biopsy proven
21 following the bone marrow transplant or the stem cell
22 transplant, which obviously came after the

1 induction of remission with Mylotarg contained
2 regimen. It was unclear there.

3 But the investigators at Dana Farber
4 thought that that was a higher incidence of VOD than
5 they would normally expect to see in their stem cell
6 population, based on what they had seen in the
7 previous couple of years with the same induction
8 regimen without Mylotarg.

9 In terms of post marketing surveillance
10 and the incidence of VOD, we have a very active
11 surveillance program, and in fact, because of the
12 observational study, many centers, particularly
13 larger centers that are participating, we hear about
14 these things right away, and I think that many
15 physicians who are using Mylotarg are very sensitive
16 to hepatotoxicity, particularly VOD.

17 We have not had a tremendous number of
18 reports of VOD from the post marketing spontaneous
19 reporting setting in patients who are receiving the
20 drug within this label indication and label doses or
21 really even outside indication and doses, but I can't
22 tell you what percentage are actually being

1 reported to us, as was your question.

2 The issue of whether we have the right
3 dose or not, of course, obviously is a significant
4 issue because we only -- once the dose was
5 established in the pivotal studies in Phase 1, we
6 only used that dose in the Phase 2 studies.

7 We have studies ongoing looking at
8 Mylotarg at lower doses to see if they induce
9 remissions or responses at the same rate as they did
10 at nine and nine on day one and 15, but we don't have
11 the results of those studies yet.

12 CHAIRPERSON PRZEPIORKA: Dr. Cheson.

13 DR. CHESON: Have you been able to
14 characterize the mechanism by which this agent causes
15 VOD, and is there anything that can be done to
16 prevent it rather than treating it once it occurs?

17 That's the first of several questions.

18 DR. FEINGOLD: Two good questions.

19 With regard to the first question, we're
20 working closely with George McDonald at the Fred
21 Hutchinson Cancer Center and Laurie DeLeve

1 in Southern California on both preclinical and
2 clinical models to try to figure out what's going on.

3 Dr. McDonald's theory, and it is a theory
4 at this point, is that the Kupffer cells are CD33
5 positive and are taking up the antibody,
6 internalizing it, and releasing the calicheamicin and
7 causing activation of stellate cells, which in turn
8 is causing matrix deposition and VOD in liver.

9 But that's totally theory. He hasn't
10 done any of the work yet, is not finalized, I should
11 say, but Dr. DeLeve is looking at this in a
12 preclinical model.

13 With regard to the second question, I can
14 tell you that at least in the Dana Farber experience,
15 they actually used defibrotide, and the four patients
16 recovered. However, that's not preventive, although
17 they're now talking about using it empirically. But
18 I don't know that they've started that trial yet.

19 So I don't know of anything that does
20 prevent it, but I do know that at least in a non-
21 randomized fashion several patients did respond to

1 defibrotide.

2 CHAIRPERSON PRZEPIORKA: Dr. Williams.

3 DR. WILLIAMS: My question relates to the
4 actual indication, if I can read it here. I'm not
5 admitting I need glasses. Mylotarg is indicated
6 basically in patients who are not candidates for
7 other cytotoxic chemotherapy. And as I recall, this
8 was determined because there wasn't a good comparison
9 with standard therapy, and so therefore, it should
10 only be indicated for patients who should not get
11 standard therapy.

12 And my question, it seems to me based on
13 chance or history that your Phase 3 study is not
14 likely to be successful, that is, over the past 15
15 years nobody has improved on the current two drugs we
16 have.

17 So if that's negative, then you're going
18 to be asking, well, are there patients for whom we
19 should still do this, patients who can't get standard
20 therapy.

21 Do you have any experience at this time -
22 - and if you don't, I would hope that you would get

1 some -- in the actual patient population for whom
2 this was approved of both the safety and efficacy?

3 DR. SHERMAN: Well, I'll start by first
4 saying, again, that was the FDA's request at the time
5 of labeling, to do the follow-on study in a different
6 patient population than the first line patients.

7 DR. WILLIAMS: I'm not at all disputing
8 that. That would be adequate.

9 DR. SHERMAN: And understanding that
10 though, there are actually studies going on in the
11 Medical Affairs Group to look at Mylotarg in the
12 indicated patient population.

13 Again, Jay may answer that.

14 DR. FEINGOLD: There are several studies,
15 one of which has actually been completed by the
16 EORTC, which is a study in 61 to 75 year olds,
17 although now that I'm saying it, I recall now that
18 it's a de novo population, not a relapse population.

19 But there are other studies in which
20 we're looking at changing the dose of Mylotarg, as
21 Dr. Przepiorka mentioned, to see if it's less toxic.

1 Most of these studies right now are Phase
2 2, non-randomized, and again, it's very difficult
3 with small populations of patients to get multi-
4 center, large studies done. But we do have some
5 studies looking at the variation of dosing and in
6 looking at different combinations, both in relapse
7 and in de novo disease in patients over 61.

8 DR. WILLIAMS: Let me elaborate just a
9 little bit. We've only done this on maybe two
10 occasions, and we've been very hesitant to do it,
11 that is, to label the drug for a patient population
12 that hasn't been studied, which are patients who
13 should not get chemotherapy.

14 Obviously everybody in the study was a
15 candidate because it was a randomized study to one or
16 the other. So I think it's important to determine
17 also the safety and efficacy in the actual label
18 population, and I just wondered. I didn't understand
19 whether any of your studies actually looked at people
20 who could not or had criteria for ones who could not
21 get chemotherapy, basically were not candidates for
22 chemotherapy and for whom a

1 reasonable CR rate would certainly be evidence of
2 clinical benefit and probably support for approval.

3 DR. FEINGOLD: Certainly not yet in a
4 randomized fashion.

5 DR. WILLIAMS: Well, but you couldn't
6 randomize them. The question is have you actually
7 studied the population who were not candidates for
8 chemotherapy.

9 And I think it seems to me that would be
10 a good second track to be pursuing.

11 DR. FEINGOLD: I think it might be hard
12 to define who's not a candidate for chemotherapy
13 because I think that different physicians would view
14 that differently. For instance, in Europe that might
15 be an easier place to come to than in the United
16 State where physicians generally speaking will try to
17 devise some regimen for a patient.

18 So I think that would be a difficult
19 study and, no, we haven't tried that.

20 DR. WILLIAMS: To follow one more time,
21 I guess if these studies are not positive or if they
22 have to be stopped because of toxicity, then you

1 think there's basically no other option here. I
2 think you've told me that you don't think this
3 population can really be defined that it's approved
4 for.

5 DR. SHERMAN: Well, we have to probably
6 go back and give more thought to perhaps the
7 population that could be studied in extension of the
8 initial indication. It may be a very elderly patient
9 population. It may be, again, looking in that group
10 of patients, whether or not a study could be done to
11 satisfy --

12 DR. WILLIAMS: And in the spirit of
13 yesterday though, I think it's to think about doing
14 that up front, not after the other study phase.

15 DR. FEINGOLD: There are 277 patients
16 that were entered on the Phase 2 trials. Supposedly
17 those were patients for whom other physicians didn't
18 feel had other choices for chemotherapy. I'm not
19 sure I understand the question.

20 I mean, there's 277 Phase 2 patients, 180
21 of which or so were over the age of 60. So those
22 patients were not considered candidates for

1 other chemotherapy by their physicians. So they
2 were entered onto the Mylotarg clinical trials.

3 DR. WILLIAMS: So these clinical trials,
4 you're talking about the single arm study.

5 DR. FEINGOLD: Yes.

6 DR. WILLIAMS: Right. Okay. Well, I
7 would assume that there could be criteria. Agreeing
8 upon criteria would be helpful in supporting that
9 these patients are not candidates for other therapy,
10 and I think could make a stronger argument that
11 efficacy demonstrated is basically clinical benefit,
12 but it could not be obtained in any other way, such
13 as standard therapy.

14 DR. BROSS: Dr. Feingold, I understand
15 you expanded the cohort of your Phase 2 trial and
16 accrued a total of 277 patients, and the last about
17 150 of those were pretty much, as much as possible,
18 in the indicated population; is that correct?

19 DR. FEINGOLD: I'm sorry. In which
20 population?

21 DR. BROSS: The expanded cohort of a
22 total of 277 patients, that was your Phase 2

1 population. So maybe we can ask for that data and
2 see if any further revisions of the label --

3 DR. FEINGOLD: Right. That data is
4 coming to you.

5 DR. SHERMAN: If we could show Slide 23,
6 that would just be one summary of the data. That
7 compares the initial NDA submission in the 142
8 patients versus the expanded group of 277 patients.

9 Now, of course, we should ask where do
10 these patients come from. These are patients who
11 were enrolled in clinical trials from the time of the
12 NDA submission to the time of approval, which was
13 approximately seven months.

14 So from October of 1999, when the NDA was
15 submitted, to May of 2000 studies were kept open to
16 provide access to the product. There was obviously a
17 lot of interest, and those additional patients were
18 enrolled. The studies were closed when the drug
19 became commercially available.

20 Overall the total response rate remains
21 similar from 30 percent down to 26 percent, and when
22 you look at the data for patients less than 60 years

1 of age, a similar overall response rate, and for
2 patients for the approved label, 60 years or greater,
3 again, a similar overall response rate.

4 CHAIRPERSON PRZEPIORKA: Dr. Martino.

5 DR. MARTINO: A question actually to the
6 FDA. Once a drug is approved can you describe what
7 techniques you have to capture toxicities that are
8 reported subsequently so that we all have a general
9 understanding of that?

10 DR. BROSS: Well, we have now a whole
11 division of post marketing safety, and they are very
12 much involved in this. And I think this is a good
13 example of the different options that you have for
14 capturing safety, and it's an important issue.

15 The first signal that we may get or
16 spontaneous reports from physicians, I think it was
17 actually Jesse Goodman who reported by E-mail the
18 first case of fatal pulmonary toxicity, and Dr.
19 Giles, I think, contacted Dr. Pazdur directly.

20 Subsequent to that, we received
21 spontaneous reports from the AERS database, and I'm
22 not sure. Julie Beitz and Charlene -- is Charlene

1 here? Do you want to say anything about the post
2 marketing?

3 Charlene Flowers is one of the safety
4 analysts in the post marketing safety arena.

5 DR. FLOWERS: Good morning. My name is
6 Charlene Flowers, and I do work with the Office of
7 Drug Safety at FDA.

8 And when a drug is approved, whether it's
9 approved full approval or approval from Subpart H,
10 all post marketing drugs are surveillanced at the
11 same level. So we receive reports from the sponsors,
12 and we look at them and analyze them in the same
13 fashion.

14 So, I mean, there is no differentiation.

15 We receive the periodic reports and non-serious and
16 serious reports are looked at.

17 Does that answer your question?

18 DR. BROSS: And if there's a problem or
19 an issue that emerges, as in this case, then we ask
20 for a formal report. In the Center for Biologics, I
21 unfortunately don't have the luxury for having a
22 whole safety division; so the medical officers have

1 to do their own reports.

2 And as it turned out, the pulmonary
3 toxicity is probably analogous to that seen in
4 Herceptin, and so I had a chance to look at the
5 safety reports from the Center for Biologics.

6 But that's the mean arena. Spontaneous
7 reports, AERS database; we look at medical meetings,
8 and so forth.

9 DR. FLOWERS: May I just add one more
10 point?

11 In fact, when drugs are approved either
12 through Subpart H or full approval, those are
13 products that we categorize as new molecular
14 entities, and in fact, they get a more scrutinized
15 surveillance than our older products because we would
16 suspect that you'd see serious unlabeled events for
17 most drugs in the first three years of marketing, and
18 that's marketing of either of the products.

19 CHAIRPERSON PRZEPIORKA: I think it's a
20 great idea that with new molecular entities that
21 there's more intensive surveillance, but, Dr. Bross,

1 I think I heard you say that there were 225 reports,
2 but you're still not clear whether they were
3 duplicates or not duplicates. So I have to ask:
4 what is the procedure for them actually doing
5 something with the reports now that we have so many?

6 DR. BROSS: Well, I call up Charlene and
7 said, "We need a report on this," and we actually did
8 the first preliminary report prior to meeting with
9 the company. I think it was in 2001 to get a handle
10 on the reporting rate, but the actual incidence is
11 difficult to derive from the reporting rate because
12 you really don't know what the denominator is, and we
13 can ask the company for distribution data and what
14 their estimated rate of use of this product is.

15 But it's not a very scientific way, and
16 so another example of safety database collection was
17 the observational study that we asked the sponsors to
18 initiate, but I think that this was fairly
19 challenging.

20 I've seen information from the sponsor
21 saying that you canvassed 100 medical centers, and I

1 got a few nibbles, and then you canvassed them again
2 and finally got, I think, 80 centers to agree, but at
3 that point there were only something like 47 patients
4 who had enrolled in this.

5 So I think it's a challenge to accomplish
6 the observational study, and I can't really criticize
7 the sponsor for lack of effort on this part. But
8 it's a challenge how to characterize the safety
9 database, and the Iressa situation is another
10 example.

11 And we had information from Japan which
12 has a lot more complete reporting on the use of drugs
13 than we do in this country, but it's a real problem.

14 CHAIRPERSON PRZEPIORKA: Dr. Pazdur.

15 DR. PAZDUR: Yeah, I wanted to address
16 this issue, and I'm glad Silvana brought it up
17 because I think oncology represents a unique
18 situation to take a look at observational studies
19 once the drug is approved if one wants to get a
20 better idea of toxicity.

21 Let's face it. Post marketing, trying

1 to find side effects or toxicities in the population
2 once the drug is out there relies on multiple
3 factors, people's willingness to cooperate in
4 reporting these; what's the denominator; how many
5 patients have used it for a specific indication.
6 It's a very difficult situation to get one's hands
7 on, especially if it's an unusual toxicity.

8 The issue here though in oncology, unlike
9 other therapeutic areas, other therapeutic areas when
10 a drug goes out, it's used by everyone. You know, an
11 anti-hypertensive, an antibiotic, it's widespread
12 use. However, in oncology and specifically in the
13 treatment of acute leukemia, this in a sense is a
14 restricted use, not imposed by the FDA, but imposed
15 by how patients are treated in the real world. You
16 don't have people treated with Mylotarg by a general
17 practitioner as an out-patient basis. You know, it's
18 a very defined location that these people are
19 treated.

20 So that the aim here, what we wanted to
21 do was to see how we could better utilize, you know,
22 this aspect of oncology. If we wanted to ask

1 specific toxicity questions, the drugs are being used
2 in select institutions. Could you get select
3 institutions to report a denominator of their entire
4 use of the drug with the reports of safety?

5 And I'd like some discussion on this
6 because I think it is a unique aspect of oncology
7 that we do have cancer centers, cooperative groups
8 that could aid in this, but again, it would provide
9 us also a denominator that is frequently missing in
10 these widespread usage.

11 As Bob mentioned also, you know, we do
12 have a study here that we're doing. We're not only
13 relying on the post marketing experience, as well as
14 the clinical trial database, but I think oncology
15 does give us a unique situation to study this because
16 it is a specialized group of people, physicians that
17 are using the drug.

18 CHAIRPERSON PRZEPIORKA: Dr. Temple.

19 DR. TEMPLE: I just want to make the
20 point that the spontaneous reporting system is best
21 at being a signaling system for events you don't know
22 about, and it's spectacularly good at

1 discovering hepatotoxicity where you don't know about
2 it, and to some extent that worked here, although the
3 mechanism was telephone calls to Rick.

4 Once you already know the rate and it's
5 two to four percent, you don't need spontaneous
6 reporting systems to work further on that. The very
7 studies and observational data will give you a
8 denominator and help you characterize the patient
9 population and see if there are people at greater
10 risk or lesser risk.

11 And at that point the spontaneous system
12 is not the usual way you do it. I guess what I
13 noticed is that very few hospitals signed up for this
14 observational study, and we're curious why. I think
15 that's what Rick is asking. It shouldn't be that
16 burdensome. So that's a little disappointing.

17 CHAIRPERSON PRZEPIORKA: Dr. Feingold,
18 could you address that?

19 DR. FEINGOLD: Sure. And I'd also like
20 one other issue as well. The other difficulty, I
21 think, in this particular case is VOD because if you
22 ask George McDonald, it's a clinical diagnosis where

1 you prefer to get a biopsy. If you ask hepatologist
2 at Dana Farber, it's absolutely a biopsy diagnosis or
3 you don't have a diagnosis.

4 So while in the spontaneous environment,
5 we as a company always accept the investigator's
6 report at face value. If one looks at some of those
7 reports, I'm not so certain that they're all VOD,
8 not so much in the Giles case, but some of the
9 others.

10 The additional question as to why it's so
11 difficult to recruit centers, we've had IRBs that
12 many major medical centers tell us that this was not
13 a scientifically meritorious study and they would not
14 approve it even though we told them clearly that it
15 was an FDA mandate.

16 We've had other centers saying that they
17 didn't want to spend their scarce resources on trying
18 to do a study that they thought had limited
19 scientific merit.

20 And then in going out to the community
21 settings, the physicians didn't want to get involved
22 because they didn't have the infrastructure to be

1 able to complete the CRFs even though it's electronic
2 and all of that sort of stuff, and so it became a
3 real hassle.

4 Basically what we did with the centers, a
5 lot of the bigger centers that did sign up was we
6 basically twisted their arm real hard and kept using
7 Dr. Pazdur's name as the major reason why they really
8 wanted to do this.

9 (Laughter.)

10 DR. FEINGOLD: And that actually did help
11 in a few places.

12 DR. PAZDUR: I'm really sad not that you
13 mentioned my name by any means.

14 (Laughter.)

15 DR. PAZDUR: All publicity is good
16 publicity.

17 The issue tough is that there wasn't a
18 concern, and granted this is not obviously the --
19 we're not asking a rip-roaring question here, but it
20 is a relatively minor as far as time and energy to
21 fill out basically a form report on an individual
22 institution's experience capturing all patients that

1 received the drug.

2 So maybe we need to talk more about this
3 in a different forum with the institutions, the IRBs,
4 et cetera, because we do have an opportunity here
5 that is unique, and if we have drugs going out in an
6 earlier fashion, in some situations we're going to
7 want to see these toxicities.

8 Usually on toxicity and oncology trials,
9 as I've repeated numerous times, are not the limiting
10 factor of whether the drug should be approved because
11 we've accepted generally severe toxicity and even in
12 certain circumstances a percentage of deaths related
13 to the therapy.

14 But in specific situations where we're
15 uncertain about a toxicity, where we're going to have
16 to have a large patient population, Bob is right.
17 Our current mechanism of doing that picks up signals,
18 but it really doesn't give us the comfort of a large,
19 controlled experience, and again, you could do a
20 large clinical official trial, a randomized trial or
21 whatever, but again, these are a time consuming
22 effort, and we're looking at other

1 alternatives here.

2 CHAIRPERSON PRZEPIORKA: And I just
3 wanted to think/remember that we talked about the
4 potential of requiring registration for all
5 physicians who use Mylotarg, just like we do with
6 thalidomide. And I could tell the folks out there
7 who are unhappy to cooperate to look at the
8 thalidomide experience and think of all the paper
9 work they could possibly be filling out instead of
10 just one form.

11 And I'm not certain because we know the
12 incidence is 2.5 percent and we're getting that
13 information now, I'm not sure if we need to go down
14 to that onerous burden at this point.

15 Dr. Redman.

16 DR. REDMAN: Just a comment to Dr.
17 Pazdur. As Medical Director of a comprehensive
18 cancer center clinical trials office at the
19 University of Michigan, this is not a trivial matter.
20 We are under funded, overworked, and to add on
21 another burden, though appropriate, is a major
22 concern across the country.

1 DR. PAZDUR: Here, again, we would expect
2 that there would be compensation for these forms to
3 be filled out, et cetera. So it's not goodwill that
4 we're asking for.

5 Here, again, I understand that everyone
6 is overworked, but if we do have a commitment to get
7 these drugs out, there may be instances where we want
8 additional information, and it really is a shame that
9 we don't try to optimize our control situation that
10 we have in oncology because it is a very special
11 environment when we're approving these drugs compared
12 to other therapeutic areas, such as cardiology or
13 infectious disease for the most part.

14 CHAIRPERSON PRZEPIORKA: Dr. George.

15 DR. GEORGE: I have a question from a
16 little different angle, a couple of issues. One is
17 on the randomized study, I don't remember you stating
18 if there were any age restrictions on that study. Is
19 it all ages?

20 DR. SHERMAN: The current proposal for
21 the SWOG study is age population eligibility from 18
22 to 55 years, and this was proposed, in fact, because

1 there are other competing studies for the 55 and
2 older patients by SWOG that would limit accrual onto
3 this study.

4 DR. GEORGE: So it's for the younger
5 patients. My question has to do with, I guess, where
6 this is going, the logic of it.

7 If this work, if Mylotarg improves
8 survival in this setting, what does that say about
9 the indication or how would that work?

10 This may be a question for the FDA, as
11 for the sponsor, and conversely, if it doesn't appear
12 to do a thing, how does that affect the accelerated
13 approval?

14 DR. BROSS: Could I just make a comment
15 about that? I think that my bosses want to answer
16 that, but I would just like to recall that this is
17 one of the challenges of making that a confirmatory
18 study when you have a drug approved when there's no
19 other medical option, and as Dr. Feingold pointed
20 out, I think, that one option for confirming the
21 clinical benefit would be to do a randomized study of
22 Mylotarg versus our best supportive care.

1 But I think as was pointed out by Dr.
2 Brawley yesterday, the patients don't really like to
3 be randomized to no care or what they might perceive
4 as inferior care, and we really felt that it was not
5 a practical study to accrue. And so it was a
6 challenge as to how to confirm clinical benefit in
7 the original indication.

8 Now, one possibility would be just to
9 review the expanded Phase 2 study data information,
10 which is incomplete, of course. It's not a
11 randomized study, but that's one option.

12 But as you pointed out, the confirmatory
13 trial is in an entirely different indication, and the
14 combination of standard induction chemotherapy plus
15 Mylotarg, I think, was perceived to be too toxic in
16 the indicated population, and so we allowed this to
17 go through.

18 But you have pointed out one of the
19 challenges of a confirmatory study when a
20 confirmatory study is in a different indication, and
21 maybe Dr. Pazdur would like to say something about
22 this.

1 DR. PAZDUR: I mentioned this in my
2 opening comments yesterday. We have allowed the
3 trials to be done in an earlier or less refractory
4 setting, and that has been in several of these
5 applications. There are several advantages of this.

6 Number one, I think it promotes efficient
7 drug development moving these agents rapidly into a
8 population where they're going to get maximal
9 benefit.

10 Number two, frequently if we approve a
11 drug, as you've seen over the past day, there may be
12 difficulty in enrolling patients in the exact
13 indication that you approve the drug i. After all,
14 who's going to go on a randomized study and not get
15 the most recently approved drug?

16 I think if I was in a patient situation,
17 I'd have somewhat of an uncomfortable feeling. So it
18 makes some sense to do that.

19 Nevertheless, it is a problem that we
20 have this kind of hanging indication there that has
21 not have clinical benefit exactly demonstrated in
22 that indication.

1 Now, one of the things as Bob alluded to
2 yesterday is to encourage perhaps other drug
3 development in this area, is to let other people get
4 accelerated approval in that indication until one
5 drug does prove clinical benefit in that specific
6 indication, and that is undergoing discussion at the
7 present time internally at the FDA.

8 But that is a problem. We recognize it.

9 DR. GEORGE: I guess it is a problem, but
10 do you have any thoughts right now about how this
11 might work? I mean, if this appears to have clinical
12 benefit in the de novo population, that would be very
13 good. Would that mean that the indication then would
14 be for de novo -- would there be a full approval for
15 the --

16 DR. PAZDUR: It would have to depend on
17 the strength of the evidence. Obviously they would
18 send it in. Depending on what the database looks
19 like, there could be a consideration for an
20 indication as a first line therapy.

21 DR. GEORGE: And if it didn't appear to
22 do anything?

1 DR. PAZDUR: Then we're back to where
2 many of the other discussions were yesterday, and I
3 think one of the reasons Grant expressed some
4 pessimism, one of the aspects that I presented
5 yesterday in my opening comments is that we would
6 like sponsors to have carefully sought out
7 alternative back-up plans.

8 You know, here again, I think we should
9 be realistic. The regulation says "reasonably likely
10 to predict clinical benefit." Well, that isn't
11 certainty that these trials or these endpoints are
12 going to predict clinical benefit. Just by the luck
13 of things or just by the fact that there may be some
14 drugs that come into the area that there isn't
15 clinical benefit or may not be able to easily
16 demonstrate clinical benefit. I think we need back-
17 up plans to look at more carefully the indication
18 would be another situation of a back-up study for
19 Mylotarg to do a randomized study, for example,
20 looking at dose that Donna alluded to as far as what
21 is the dose in an elderly population. That might be
22 another plan that could be entertained.

1 Here, again, I think this is something
2 we'd like to discuss with the sponsor to give them
3 time to think about alternative plans, but I really
4 do emphasize that I think even though we're doing one
5 trial here, at lease some discussion should be
6 occurring and some thought on the company's part of
7 what could be back-up plans always.

8 CHAIRPERSON PRZEPIORKA: I just want to
9 echo what he stated about the need to go back and
10 look into that original population because if, in
11 fact, the randomized trial is negative and all you
12 have to deal with is the patients who can't otherwise
13 get chemotherapy, you're still left with the burden
14 of proving clinical benefit.

15 And getting a CR in an elderly individual
16 that lasts four weeks may not be what the patient or
17 we, the physicians, would perceive as clinical
18 benefit when the standard of care for treatment of
19 leukemia is multiple cycles and getting a long term
20 remission.

21 And if you can't give nine mgs. per meter
22 squared on days one and 14 for more than one

1 cycle, then the patient clearly hasn't had a
2 clinical benefit.

3 Dr. Cheson.

4 DR. CHESON: Good segue. This is an
5 example of creating new response criteria to fix the
6 toxicities of the drug, which has troubled me since
7 the drug was initially approved. In the most widely
8 used of the response criteria for AML, those
9 published about 1990 by the NCI sponsored working
10 group, there wasn't any CRp. So there are two parts
11 to this.

12 One is a question, and that is: have you
13 had enough time now to distinguish the CRps from the
14 real CRs and to see if there is any difference in
15 their eventual outcome?

16 The CRps, for those of you in the
17 audience who aren't familiar with it, is patients who
18 have fulfilled most of the criteria for CR. The only
19 other one they didn't fulfill is they remained
20 thrombocytopenic.

21 Now, whether this says something more
22 about the drug or more about the patient would be

1 determined by the long term outcome of the two
2 cohorts. That's the first part, and maybe you can
3 answer that and we'll get to the second part.

4 DR. SHERMAN: Dr. Cheson, that's a very
5 good question, and there was a lot of discussion in
6 the field by leukemic experts at the time that the
7 initial NDA for Mylotarg in relapsed AML was
8 discussed, and in fact, in front of this very
9 committee nearly three years ago to the day when we
10 presented the NDA, Dr. Appelbaum presented, you know,
11 his thoughts on the concept of remission and relapsed
12 patients.

13 And in fact, this has really never been
14 fully studied. So the criteria for remission always
15 applies to first line de novo patients and not the
16 relapse patients, who not only receive more and more
17 intensive therapy and first line treatment, including
18 high dose ara-C.

19 So the question of recovery of platelets
20 now, you know, is more timely and also made the
21 analogy even in Europe the research council doesn't
22 even look at platelet recovery in their diagnosis of

1 remission.

2 But having said that we clearly
3 identified these two patient populations. We believe
4 that they behave similarly and like the 28, we can
5 show a comparison, well, actually not a comparison,
6 but an update of the 277 patients' long term survival
7 on the Kaplan-Meier plots, and these are the data,
8 you know, in the final status of analysis, but to
9 break out the CR/CRp patients from the non-
10 responders, from the 277 database and share that over
11 the long term, these patients seem to behave
12 similarly in terms of their overall survival.

13 The follow-on question to that though is
14 not --

15 DR. FLEMING: Just before we leave this,
16 this might be the best you can do, but this certainly
17 doesn't establish whether the induction or
18 achievement of a CRp and the achievement of a CR is
19 causally influencing a better outcome. It could be
20 the characteristics of patients who are, in fact,
21 going to achieve such outcomes. They might have
22 intrinsically done it differently.

1 I'm not sure how you could answer the
2 question ultimately we'd want to have answered, but
3 this doesn't establish that the achievement of a CRp
4 is of equal clinical benefit as the achievement of a
5 CR.

6 DR. SHERMAN: Right, and then to go back
7 to Slide 14 -- actually, I'm sorry -- Slide 11, which
8 is the TR-6 preliminary de novo patient data, and
9 although we didn't emphasize this point, these are
10 all de novo first line patients who were treated in
11 the Study 206, the three drug combination, Mylotarg
12 plus standard seven in three chemotherapy. Part 1
13 patients were on the dose escalating phase, but
14 receiving the dose level that was expanded in Part 2,
15 but we break these out separately.

16 But you can see here of these patients,
17 of the seven patients who went into remission, using
18 all of the standard criteria for remission, all had a
19 complete response using the formal criteria for first
20 line patients without any consideration of CRp
21 patients. All had full recovery, up concentrated in
22 100,000.

1 And of the 43 patients in Part 2 in the
2 expanded cohort for whom we have data, 365 have a
3 complete response, including one CRp patient. So we
4 can include that patient right now for an 83 response
5 rate.

6 If we drop that patient, the response
7 falls somewhat, but still greater than the 55 to 60
8 percent response rate the SWOG has seen using the
9 standard induction regimen. So this is the data that
10 we believe would be useful for the Phase 3 randomized
11 controlled trial.

12 DR. CHESON: Thank you.

13 Those are interesting data, and hopefully
14 will see the light of publication.

15 Speaking of seeing the light of
16 publication, just as a point of information, there
17 are a new set of the response criteria
18 recommendations developed by an international working
19 group that are about ready to be submitted for
20 publication. So before one embarks on a missive
21 trial, one might get their hands on them and consider
22 them as a possibility for including in the

1 protocol.

2 DR. SHERMAN: Those were the results from
3 the meeting in Madrid last year, yes. We actually
4 had some early discussions of those results, and
5 we'll be incorporating them. I'm not sure if Dr.
6 Feingold can handle the questions or comments about
7 these.

8 DR. FEINGOLD: I think you answered it.

9 DR. SHERMAN: Okay.

10 CHAIRPERSON PRZEPIORKA: Actually, that
11 first slide you showed was for the refractory or
12 recurrent patients rather than the de novo patients,
13 and I believe Peter Thall and Eli Estey have
14 published an evaluation of platelet recovery and its
15 importance in the response criteria.

16 And in fact, in their analysis, patients
17 who did not get a platelet recovery by three months
18 had a poorer response than patients who did.

19 And so like Dr. Cheson, I have some
20 questions about the reality of the CRp in the de novo
21 population, and I hope the protocol actually will
22 predefine some sort of an analysis to take that

1 into account.

2 Mr. Ohye.

3 MR. OHYE: Just a small observation, if I
4 may. I think Mylotarg represents sort of a poster
5 child for why we have accelerated approval and how it
6 works, and I'm only sorry that we didn't have this
7 drug as our kickoff for the discussions because it
8 represents all of the challenges that are involved in
9 accelerated approval.

10 You're trying to do studies in Phase 4
11 and partner with FDA and cooperative groups, and you
12 still have a study that's going to take seven years,
13 and I don't think anybody can criticize, you know,
14 the company's diligence.

15 I also think it shows the real world
16 challenges in terms of safety surveillance and what
17 companies have to do and what they're faced with in
18 trying to gather valid data so that FDA and patients
19 and practitioners can have good data.

20 And I'd like to compliment the sponsor
21 for presenting a very succinct and very illuminating
22 presentation.

1 And I'd also like to point out in jest
2 that American Home Products is one of the few
3 corporations whose stock I do not own.

4 (Laughter.)

5 CHAIRPERSON PRZEPIORKA: Dr. Blayney.

6 DR. BLAYNEY: Thank you.

7 I mean, I wish to echo Mr. Ohye's
8 comments. I think this represents a good faith
9 effort and a nice development plan in hopes that not
10 only refractory patients, but de novo, you know,
11 newly diagnosed AML patients can benefit from this
12 therapy. So I think this is, I think, a nicely drawn
13 out plan for Phase 4.

14 Having said that, one thing on that
15 Kaplan-Meier plot that you showed earlier, I think as
16 I remember the discussion three years ago, many of
17 these patients then went on to use Mylotarg for a
18 while and then went on to stem cell transplant; is
19 that correct?

20 DR. FEINGOLD: Yes.

21 DR. BLAYNEY: So that the Kaplan-Meier
22 plot represents not only the effect of the agent

1 here, but also the effect of adding a stem cell
2 transplant in.

3 DR. FEINGOLD: Or chemotherapy.

4 DR. BLAYNEY: Or other therapy. So it
5 may be somewhat misleading to attribute all of that
6 25 percent survival to the agent.

7 Thirdly, I think it's worth using the
8 word passive surveillance to describe what the
9 Medwatch and the AERS database is. As a
10 practitioner, this is one more albeit minor, but one
11 more burden that we have in reporting adverse events.

12 It's in contradistinction to the active surveillance
13 that SEER data, which I think is very good in terms
14 of incidence and survival data; the Medwatch is a
15 passive surveillance and only, as you say, provides a
16 signal, and then as we've heard, it's somewhat
17 confused because there's really no analysis. There
18 could be double reporting and the vocabulary that's
19 used is not well controlled.

20 So I think, Rick, I do agree this
21 represents a nice opportunity, but I would encourage
22 you all to do some thinking about how to make this

1 easy and reliable and not burdensome because this
2 clearly is restricted in the small R sense of the
3 drug because it is used by a small number of
4 practitioners. So it does, I think, represent a good
5 opportunity.

6 CHAIRPERSON PRZEPIORKA: Dr. Kelsen.

7 Dr. Pelusi.

8 DR. PELUSI: Actually, I want to echo
9 what Dr. Blayney said in terms of using this drug in
10 the community setting and what does that mean for
11 reporting.

12 I come from a one physician practice, and
13 we have used this drug on two different patients and
14 actually have had very nice results, and when I think
15 about the reporting and stuff, we are lucky enough to
16 have two research nurses, which is not the usual in a
17 very rural practice, but we do, and so, again, your
18 comment about there may be some assistance really
19 needs to be taken very seriously.

20 But, again, I think many times we assume
21 that all of these patients are treated in big inner
22 city settings, and the reality in many of our rural

1 states is that that doesn't happen, and we do the
2 best that we can.

3 And so I think it is important to capture
4 that data of how it's truly being used, but does
5 bring up the whole thing that we've been talking
6 about is for accelerated approval. Once it gets out
7 there, people do see it as approval, and so this
8 setting up, if you will, of the practitioners using
9 the drug, I think, becomes a real pertinent issue
10 that we need to look at very critically so that we
11 can begin to see how it's being used and if many of
12 these side effects or maybe because it's being used
13 out of protocol.

14 And just one quick question for Dr.
15 Reaman actually. Is this a drug that would ever be
16 thought about being used in a pediatric population?

17 DR. REAMAN: Absolutely, and I was going
18 to ask the pediatric development plan, but there are
19 studies that have been proposed actually begun using
20 Mylotarg in combination with chemotherapy.

21 CHAIRPERSON PRZEPIORKA: Dr. Feingold.

22 DR. FEINGOLD: So if I could answer Dr.

1 Reaman's question second, Dr. Pazdur's comment first
2 with regard to observational studies. You have to be
3 very careful here because most of the patients on the
4 observational study is using a commercial drug. That
5 means somebody is paying for the drug. So if we
6 offer them help, however you want to frame it, to
7 fill out the case report forms for the observational
8 study, it can be seen as inducement. So we have to
9 be very, very careful there, as we've discussed in
10 the past.

11 But I think that the FDA may have a
12 different method that we possibly as sponsors could
13 still help, and those are the cooperative groups.
14 Dick Larson and Marty Tallman aren't here. So I can
15 say this, but if the cooperative groups -- or Fred
16 either -- if the cooperative groups would agree to be
17 part of that because, after all, their institutions
18 probably represent most of the institutions who are
19 going to be using this drug other than small
20 practices, we could probably get a pretty good
21 indication.

22 So I would say that maybe if we could

1 somehow get cooperative from the cooperative groups,
2 that may be a method.

3 In terms, if I may, of the pediatric
4 development, COG has just started a trial in the
5 multiple relapse kids with AML in which Mylotarg is
6 being used at two different doses, I believe, in
7 combination with chemotherapy in a non-randomized
8 fashion as a dose binder before going onto a
9 randomized study.

10 That follows an international Phase 1
11 study, single agent.

12 CHAIRPERSON PRZEPIORKA: Dr. Pazdur.

13 DR. PAZDUR: I'd like to follow up on one
14 of Jody's comments, and that is the use of this drug.

15 We went to great lengths in seeing that this drug is
16 for an unmet medical need here in a patient
17 population that is greater than 60 and basically
18 cannot tolerate conventional chemotherapy. In fact,
19 I was the author of that paraphrase, "cannot tolerate
20 chemotherapy," because we wanted to make sure that
21 people understood that obviously not all elderly
22 people, patients, are the same.

1 Somebody might be 75 and very frail with
2 other medical conditions, and the other person might
3 be 65 and have just run a marathon, and one might
4 have wanted to be more aggressive.

5 The reason I'm using that preamble is we
6 obviously understand that there's a great deal of off
7 label use of a drug. Could the company give us --
8 because I understand obviously you have reps. in the
9 field, and you probably have some understanding of
10 how this drug is used after we approve it for
11 accelerated approval, and also perhaps some of the
12 hematologists on the committee could comment how it
13 is being used in their practices.

14 DR. FEINGOLD: I can answer. Of course,
15 everything is based on market research which is a
16 limited number of places, patient chart or things
17 like that. We believe that currently about 40
18 percent of the use is strictly within the label,
19 first relapse over the age of 60.

20 We don't really know a lot about the
21 others. We put, as you know, a very strong warning
22 in the label not to use it in combination outside

1 clinical trials, and what we hear is that most
2 institutions are adhering to that.

3 DR. BROSS: Maybe I could make one
4 comment just on the basis of the AERS database
5 reporting.

6 CHAIRPERSON PRZEPIORKA: Cr. Cheson had
7 the microphone.

8 DR. BROSS: Oh, I'm sorry.

9 DR. CHESON: That's all right.

10 DR. BROSS: You're our guest. Please go
11 first.

12 DR. CHESON: No, I was just responding
13 to your question.

14 We do not use it in combination, not
15 outside of a clinical trial. We do use it
16 occasionally in patients under the age of 60, but
17 generally those who have failed -- who are CD33
18 positive and who have failed, you know, first,
19 second, third line therapy and really don't have
20 anything splendid left other than, you know, if we
21 have a clinical trial we'll do it. If not, then
22 we'll use Mylotarg.

1 CHAIRPERSON PRZEPIORKA: Our experience
2 is that the drug does have substantial
3 hepatotoxicity, and so we have limited it also to the
4 labeled indication and also patients below the cutoff
5 age who also have no other reason to be getting
6 chemotherapy in the interim. For example, patients
7 with persistent infections who just can't get more
8 chemotherapy right now, but we need a bridge.

9 DR. PAZDUR: Peter?

10 DR. BROSS: I was just going to say from
11 the AERS reporting database, of 35 patients who
12 appear to have veno-occlusive disease, and again,
13 these are very challenging reviews, out of 125
14 patients with some kind of liver event associated
15 with death, the 35 patients, of these we had 13 out
16 of 35 were in patients 60 years of age or older, but
17 most of these also appear to have had other
18 chemotherapy.

19 So out of 35 patients, normally two of
20 those 35 patients with veno-occlusive disease
21 reported that appeared to have used the drug as part

1 of the labeled indication.

2 Again, most of these are reports from
3 M.D. Anderson, and they were most likely patients on
4 protocol, but we do have some indication that the
5 drug is being used off label hopefully on protocol.

6 CHAIRPERSON PRZEPIORKA: Dr. Martino.

7 DR. MARTINO: Let's make the assumption
8 that the SWOG trial is negative. At that point,
9 which is potentially seven years from now, what is
10 the FDA likely to do about that?

11 I guess I'm trying to understand, and
12 it's the same issue I had yesterday, is once you have
13 given a drug an accelerated approval and it now has
14 acquired pretty much a life of its own within the
15 practicing community, though I realize that you have
16 the option of withdrawal, I still don't have a sense
17 of the vigor with which you might entertain such a
18 thought.

19 DR. PAZDUR: Well, I think we've
20 addressed this, but probably not your satisfaction.
21 Okay?

22 (Laughter.)

1 DR. PAZDUR: One of the reasons why we're
2 having this meeting is to draw attention to the
3 concept of timely completion and the concept that
4 clinical benefit has to be demonstrated. Okay?

5 I don't want to get into that situation,
6 and I'm trying to avoid getting into that situation,
7 and that's why we're starting these dialogues. I
8 made it quite explicit in my opening comments that in
9 addition to having these trials initiated, being
10 early on, we should start thinking of alternative
11 back-up plans.

12 Most drugs, and very successful drugs,
13 basically have multiple clinical trials that are
14 being done. They're widely used in groups. The
15 confirmatory studies are one of many trials that are
16 being done.

17 Take a look at successful drugs, such as
18 Taxotere, Taxol, et cetera. There are many trials
19 that were done after those drugs were available that
20 could have potentially served for clinical benefit
21 confirmation.

22 So what we're trying to do is bring

1 attention to this and start working with sponsors.
2 Okay. You're doing this study. Maybe we need to
3 start taking a look at other indications.

4 One would hope, here again, during this
5 seven year period of time that there would be
6 multiple trials that would be undertake specifically
7 in the indication, okay, that they have received, and
8 that's the reason why we're contemplating putting a
9 carrot out there that other sponsors could come in
10 and get accelerated approval in the exact same
11 indication Company X did until you prove clinical
12 benefit in that indication. That would be an extra
13 incentive in addition to a first line trial.

14 Here, again, I emphasize to the sponsors,
15 and again, one of the reasons why we wanted to have
16 this meeting is not only for their clinical people to
17 hear this, but also to send a clear message to their
18 management that this is an important part of the drug
19 development process and adequate resources have to be
20 allocated to it. We're going to be taking a very
21 careful look at

1 these post approval Phase 4 commitments.

2 We don't want to get into that situation.

3 Obviously we have the ability of taking the drug off
4 the market, but you can imagine, Silvana, that that
5 would be a very difficult situation to be put in.

6 I think if we faced an unrecognized
7 toxicity or severe toxicity, the agency is clearly
8 committed to taking drugs off the market. But then
9 to say that a drug has been on the market for seven
10 years and, by the way, now it doesn't work and we're
11 taking it off the market, that probably represents a
12 failure to many people, not only to the company; to
13 the FDA; but most importantly, to the patients.

14 I wish I could give you a specific
15 answer. I can't. It's a hypothetical question.
16 Yes, if push comes to shove, we could take it off the
17 market, but then it becomes a highly I don't want to
18 use the word "politicized issue," but highly
19 emotional issue of the past experience with the drug.

20 I made the point yesterday that the drug

1 should not only be viewed in connection with the
2 confirmatory trial. That's one aspect of the drug,
3 but once a drug has been out for seven years, there
4 should be adequate other clinical experience that one
5 could draw on, and one would hope that we would have
6 other studies done, as well as recognition by
7 clinicians, et cetera, or other users of the drugs,
8 patients, cooperative groups that could give us
9 evidence of how this drug works.

10 Confirmatory trials are very important.
11 That's why we're having this meeting, but for us to
12 take a very, very strong sense and say this is the
13 only data that we will look at I think would be
14 somewhat misguided.

15 In approving the drugs, we take a look at
16 the totality of data that is out there, both for
17 safety and for efficacy. Therefore, in this
18 consideration we would do a similar thing.

19 DR.L MARTINO: Well, it's because I see
20 the difficulty in this practicality that it concerns
21 me, and with all due respect to the present group
22 that is presenting, but I've been struck with the

1 limited data that has been accepted to which
2 accelerated approval has been given. It concerns me
3 that I see almost what I would call hints of success
4 as adequate for such approval. Yet once the cat is
5 out of the bag, it cannot be retrieved easily, if at
6 all.

7 DR. PAZDUR: Criticism is well accepted,
8 and I understand exactly where you're coming from,
9 and here again, this is the reason for this meeting.

10 We specifically also wanted to educate
11 the committee regarding accelerated approval, and
12 several of you have come up to me and expressed that
13 you've had an education by being here. We have been
14 faced in many situations where we have brought an
15 application to the committee for consideration, for
16 full approval, and then during discussion it was
17 stated, "Well, let's consider accelerated approval
18 for this application."

19 As I stated before, this should not be a
20 second thought. It should be a well thought out
21 program, and the people that are the applications'
22 indications that were successful, those four have

1 been well thought out programs. It wasn't, "Well,
2 let's see if we could get accelerated approval and
3 then we'll consider a confirmatory study."

4 Here, again, we understand your concern.
5 that's why we're having the meeting, to draw
6 attention to this, to ask sponsors to give this
7 careful consideration, their management to allocate
8 appropriate resources to completing this.

9 As Tom pointed out, and I do want to
10 spend some time on this, we do expect the same vim
11 and vigor for these studies to be completed as one
12 would complete a registration trial. You could
13 answer the question yourself if these attempts -- and
14 here, again, I'm not mentioning any specific drugs --
15 have been done with the same vim and` vigor that one
16 would expect for a registration trial.

17 So we hear you.

18 CHAIRPERSON PRZEPIORKA: So to sum up, we
19 have a Phase 4 commitment in an uncommon disease with
20 some toxicity going on, and we have to come up with a
21 plan if the Phase 4 study is negative, and just to
22 address the question, sine I'm the

1 discussant for this drug: has accrual to the ongoing
2 trials been satisfactory?

3 And I think those sponsors made an
4 incredible effort to get as many centers as they can
5 for both the randomized trial, as well as the
6 observational trial, and so I don't think we need to
7 address number two at this time, although adding the
8 cooperative group to the observational trial is
9 actually a very good idea.

10 And then have changing circumstances
11 impeded the planned trial or what alternative designs
12 should be considered? And I don't think we've had
13 any changing circumstances to deal with at this point
14 in time.

15 And I would like to actually suggest that
16 Mr. Ohye is right on board, that this is the poster
17 child of all the problems that can happen.

18 On the other hand, it seems like Wyeth
19 has come to the forefront to come up with as many
20 solutions to those problems as you possibly can, as
21 well.

22 Dr. Blayney, did you have other

1 comments?

2 DR. BLAYNEY: Right. I just didn't want
3 to leave this rest. I think, you know, the goal of
4 accelerated approval is to get drugs that may have
5 activity into the hands of practitioners as soon as
6 is safe and effective, and it is the will of the
7 people through acting through Congress and their
8 elected representatives that this happen, and it's
9 our challenge to help the regulatory FDA and other
10 regulatory people to make that as scientific as
11 possible and to, if you will, hold their feet, as
12 Rick has said, hold their feet to the fire of the
13 developers to get these trials done.

14 Because you know, the marketplace will
15 sort it out, not only the marketplace, but the
16 cooperative groups and other things that we've heard
17 today.

18 So I think we can't in all of these
19 comments lose sight of the fact that the goal here is
20 to move therapies into as wide a patient population
21 as will benefit and make them safe, and I think we're
22 sort of struggling with the construct

1 that was ginned up 15 years ago in the field. As
2 we've heard, the ground has shifted and now we're
3 trying to deal with that.

4 CHAIRPERSON PRZEPIORKA: Any other -- oh,
5 Dr. Reaman.

6 DR. REAMAN: This isn't specifically for
7 Mylotarg, but just to go back to the issue of post
8 approval toxicity assessment, and I'm concerned that
9 we sort of raised the issue, but we haven't
10 effectively dealt with it, and is there a possibility
11 to require post approval observational studies where
12 commercial supply of the drug wouldn't be used and it
13 wouldn't appear as an inducement from the sponsor to
14 actually have those kinds of trials?

15 I would see a real opportunity within the
16 cooperative group setting for these kinds of studies,
17 and I would certainly echo Dr. Redman's statement
18 that the resources are scarce and there's no
19 difference in the amount of resources that would be
20 required here.

21 But I have real difficulty with approval
22 and no obligation for assessing toxicity in the long

1 term.

2 DR. PAZDUR: The answer to your question
3 is yes.

4 CHAIRPERSON PRZEPIORKA: Dr. Fleming.

5 DR. FLEMING: I was waiting for the end
6 of the discussion to raise an issue which was exactly
7 what Silvana raised, and that is I'm pleased to see
8 the design of the Phase 3 trial here that could
9 provide us considerable insight about what the role
10 of Mylotarg could be in first line, and truly hope
11 that we see a positive result, truly hope that we
12 achieve a survival advantage.

13 Nevertheless, it's a very real
14 possibility that this, in fact, will not be a
15 positive study, and we will have taken ten years.

16 And also understand in this setting why
17 it is, in fact, going to take a considerable period
18 of time to design and conduct the trial. So the ten
19 year aspect is understandable.

20 The concern is if, in fact, and Silvana
21 was getting at this; I just want to echo this. If,
22 in fact, this is negative, we're left with a number

1 of uncertainties, and just returning to something
2 that Rick was talking about earlier, I kind of think
3 of it as a philosophical issue, and that is in
4 oncology we certainly accept serious AEs and even
5 some fatal toxicities, and that makes sense because
6 in agents that we have that have been established to
7 provide benefit in a life threatening disease
8 setting, benefit to risk could still be very clearly
9 favorable even in the context of serious AEs or even
10 some fatal AEs.

11 Well, we haven't established benefit. We
12 have in accelerated approval a marker reasonably
13 likely to predict clinical benefit, is the
14 terminology, and certainly it's not out of the realm
15 of likelihood that such agents don't provide clinical
16 benefit.

17 So now we've had ten years of exposure to
18 an agent that, in fact, hasn't provided clinical
19 benefit in that scenario. What specifically is the
20 strategy?

21 I guess what I'm troubled by is what
22 appears to be a very open ended situation here. My

1 understanding was the principle behind accelerated
2 approval was if there is adequate plausibility of
3 benefit, then we would try as best possible to
4 provide earlier access to provide broad opportunities
5 for benefit, but in a manner that didn't meaningfully
6 influence our ability to reliably determine whether
7 we have favorable benefit to risk.

8 We want to benefit the public by getting
9 early access to potentially effective interventions,
10 but at the same time we want to protect the public
11 from being exposed to interventions that, in fact,
12 may be more toxic than effective. And a biologically
13 active intervention could still conceivably be toxic
14 and not clinically effective.

15 So we're at the end of a ten year period.

16 Do we now step back and say, "Well, we still haven't
17 actually proven whether in the indication of patients
18 over age 60 who can't tolerate chemotherapy, is it
19 beneficial in this setting?

20 It's troubling me greatly here in the

1 realization over the last two days that while we are
2 striving to achieve something that is intrinsically
3 very good and potentially in a number of settings
4 such as this one, if this, in fact, is an effective
5 agent and it is a good thing. It seems to me like we
6 have dropped the safeguards for the opposite
7 situation, which is still very plausible, and that is
8 that we are in a number of settings approving toxic
9 interventions that may not be effective, may be
10 preventing patients from getting access to other
11 interventions that could have a better benefit to
12 risk without a clear, understood plan for at what
13 point do you say the evidence of benefit to risk is
14 no longer adequately favorable; that the continuation
15 of the accelerated approval or access should be
16 provided?

17 CHAIRPERSON PRZEPIORKA: Dr. Kelsen.

18 DR. KELSEN: I think Dr. Pazdur has made
19 the point a couple of times that one of the messages
20 at least I've gotten this morning is that we should
21 be much more careful in our thinking about
22 accelerated approval than we may have been in the

1 past because of the difficulty of removing a drug
2 once it has reached the market.

3 I think in the AIDS population, which may
4 have been one of the driving forces for accelerated
5 approval, there are very good surrogates. I mean, we
6 heard all about that yesterday, a drop in the viral
7 load, et cetera.

8 In oncology, we're approving on
9 surrogates which don't have that power at this point
10 in time. They may in the future. That would be
11 wonderful, but right now the surrogates we're
12 approving are really relatively weak compared to the
13 AIDS population, and we all feel the need to bring
14 drugs to the market, as Dr. Blayney pointed out, that
15 may help people, but as you just said, "may" is the
16 big operative word.

17 So I find it very sobering to think about
18 whether we will move to acceleration or not, and
19 particularly the point that Rick made about the drug
20 comes for a full approval, and there's a discussion,
21 well, maybe we ought to make it accelerated approval.

1 DR. PAZDUR: I think just to follow up to
2 Dave's comment, remember also in AIDS one has a much
3 more extensive database as far as safety, as far as
4 patient exposure than we generally have in oncology,
5 and that is, I think, something else that the group
6 here has to look at when these applications come
7 through.

8 Again, I share your concern. There is a
9 tremendous amount of tension that exists not only in
10 the FDA, but also in the oncology community regarding
11 getting drugs out faster, sooner, and making sure
12 obviously that they are effective and safe.

13 And there is this delicate balance that
14 we have to walk on a tightrope so to speak. How to
15 address every issue and how to do every clinical
16 trial in a sense has to be done on a case-by-case
17 basis. Do you demand that a sponsor do five leukemia
18 studies in case one of them fails? Do they do two?
19 Do they do three? Do they do one in the indication?
20 Do they do one in a more advanced disease?

1 Here, again, this is something that I
2 think as we gain more experience with the accelerated
3 approval process we, and including yourselves, have
4 to come to some terms with, but we have not really
5 had usually with the ODAC members over the past
6 decade experience careful discussion with you at the
7 time of accelerated approval on what the studies
8 would be.

9 And I think that this is demonstrating
10 that before we okay let's vote for accelerated
11 approval and then go to the airport, that we need to
12 have a very careful understanding of what we're doing
13 here, what the database is, what is the potential
14 toxicity, where does this fit into other therapies.

15 So I think this is a sobering experience.

16 This is, again, not something that I have not
17 thought about, and this is one of the reasons why I
18 brought this whole issue to an Advisory Board
19 meeting, to hear this and to have public disclosure
20 of this.

21 CHAIRPERSON PRZEPIORKA: More

1 importantly, I think you may be getting the feeling
2 from the committee that if in the future the Phase 4
3 studies are negative and you bring that information
4 back to this committee, this committee would be very
5 willing to say pull the drug.

6 DR. WILLIAMS: One issue that I think
7 relates to whether or not you would accept first line
8 evidence maybe as an argument for it is the fact that
9 oftentimes you have a refractory setting; you have
10 second line; you have first line. That all
11 potentially could be the same patient. So that if
12 you approve it for first line, there's no longer a
13 need for refractory setting, and it becomes a sort of
14 "who cares" kind of thing whether or not you show a
15 benefit.

16 But this is a different setting, where
17 these are two different patient populations. One is
18 an older population, and then up front is a very
19 different population, and you know, knowing that
20 there's benefit in each place, I guess the
21 extrapolation is a little less obvious and perhaps
22 provides a little more support for also examining it

1 in your population.

2 CHAIRPERSON PRZEPIORKA: Dr. Cheson.

3 DR. CHESON: Just a couple of practical
4 questions. As far as getting the information
5 quickly, the way you do that is you get the studies
6 completed quickly, and to have two of the cooperative
7 groups doing Mylotarg studies at the same time,
8 competing with this sort of idea, we could have some
9 better coordination of that.

10 The second point is for Dr. Fleming or
11 Dr. George. We have these things with this O'Brien
12 and what's his name, stopping rule things --

13 (Laughter.)

14 DR. CHESON: -- for success, but there are
15 also these futility rules that I don't see
16 incorporated into statistical sections as frequently
17 as they might be, which would stop studies for
18 absence of the apparent likelihood of clinical
19 benefit.

20 What's your thinking on that?

21 DR. FLEMING: Well, it's a very good
22 point, and actually, I think it is, as we are moving

1 ahead and the science of clinical trials is becoming
2 more and more refined, the procedures for monitoring
3 trials are becoming much more refined; the presence
4 of data monitoring committees, the presence of
5 monitoring boundaries, and I call it the lower
6 boundary for lack of benefit.

7 In my experience, the majority of trials
8 that I at least see in the design stage now do
9 incorporate exactly what you're talking about, Bruce,
10 which is not only an upper boundary to say if, in
11 fact, you clearly established a mortality benefit,
12 then there could be an early termination so that
13 you're not continuing to randomize people when you've
14 already established benefit.

15 Similarly, if there is lack of benefit,
16 if you have an unfavorable trend and you're well into
17 a trial, you can rule out targeted levels of benefit
18 so that generally speaking if you are 60 to 70
19 percent of the way into the number of events in a
20 trial and you see no difference, you have evidence
21 that's quite strong against the targeted level of
22 benefit.

1 So I'm presuming actually even if it
2 wasn't stated that the monitoring committee will, in
3 fact, have such guidelines, which would mean that in
4 this trial if it's a seven and a half year trial, we
5 might be, if there is, in fact, no effect on
6 survival, we might be able to see a few years in
7 advance, two or three years in advance that there is
8 no such benefit.

9 That at least cuts this ten year period
10 to seven, but it still leaves all of these other
11 issues lurking out there that we've been talking
12 about for the last period of time.

13 DR. GEORGE: Just a quick follow-up on
14 that. I think all of the groups now, I think, are
15 including these kinds of rules in every trial,
16 including futility analyses. So I think for the
17 cooperative groups anyway it's a deal.

18 CHAIRPERSON PRZEPIORKA: Any other
19 questions from the FDA, from the sponsors or the
20 committee?

21 (No response.)

22 CHAIRPERSON PRZEPIORKA: If not, we are

1 now scheduled to take a break. I'd like to take a 15
2 minute break, and if possible go through the next two
3 presentations before the lunch break.

4 So if the sponsor for the first session
5 this afternoon could be ready for 11, that would be
6 appreciated.

7 Thank you.

8 (Whereupon, the foregoing matter went off
9 the record at 9:45 a.m. and went back on
10 the record at 10:02 a.m.)

11 CHAIRPERSON PRZEPIORKA: Okay. If we
12 could start out.

13 MS. CLIFFORD: The following announcement
14 addresses the issue of conflict of interest with
15 respect to this portion of the meeting and is made
16 part of the record to preclude the evidence or
17 appearance of conflict.

18 To determine if any conflict exists, the
19 agency has reviewed the submitted agenda for this
20 meeting and all relevant financial interests reported
21 by the committee participants. The conflict of
22 interest statute prohibits special

1 government employees from participating in matters
2 that affect their person and imputed interests.

3 However, this agency may grant a waiver
4 if the need for individual service outweighs the
5 conflict created by that financial interest.

6 Accordingly, waivers were granted to the
7 following individuals to permit them to participate
8 fully:

9 Dr. Blayney for owning stock in a
10 competitor worth between 25,001 to \$50,000;

11 Dr. Kelsen for owning stock in a
12 competitor worth 5,001 to \$25,000.

13 A copy of these waiver statement may be
14 obtained by submitting a written request to the
15 agency's Freedom of Information Office.

16 We would also like to note that George
17 Ohye is participating as the acting industry rep.
18 Mr. Ohye would like to disclose that he owns stock in
19 one of the competitors. In the event that the
20 discussion involves any other products or firms not
21 already on the agenda for which an FDA participant
22 has a financial interest, the participant should

1 exclude himself or herself from such involvement, and
2 the exclusion will be noted for the record.

3 With respect to all other participants,
4 we ask in the interest of fairness that all persons
5 making statements or presentations disclose any
6 current financial involvement with any firm whose
7 products they may wish to comment upon.

8 CHAIRPERSON PRZEPIORKA: Thank you.

9 And could the new colleagues from the FDA
10 please introduce themselves?

11 DR. HIRSCHFIELD: I'm Dr. Steven
12 Hirschfield, Medical Officer, the Division of
13 Oncology Drug Products and also in the Office of
14 Pediatric Drug Development. I'm a pediatric
15 oncologist by training.

16 CHAIRPERSON PRZEPIORKA: Thank you.

17 The first presentation for this session
18 will be from Dr. Stephen Howell from SkyePharma on
19 DepoCyt, indicated for intrathecal treatment of
20 lymphomatous meningitis.

21 DR. HOWELL: Madame Chairman, ladies and
22 gentlemen, my name is Stephen Howell. I'm a

1 Professor of Medicine at the University of
2 California, San Diego, and it's my pleasure today to
3 present the information on NDA 21-041, DepoCyt.

4 I need to disclose that I stand in
5 conflict of interest with respect to this product in
6 that own stock in the company that has developed the
7 drug.

8 DepoCyt is a sustained release
9 formulation of a well known cytotoxic compound,
10 cytarabine. This sustained release formulation was
11 developed in 1987. The cytarabine is encapsulated in
12 the chambers of 20 micron particles made up of
13 phospholipids and cholesterol, and when these
14 particles are suspended in a vial of saline, the
15 product has the consistency of skim milk. When
16 injected intrathecally, then these particles spread
17 out reasonably well throughout the neuraxis and ara-C
18 is slowly released from the particles over a period
19 of two to three weeks.

20 The indication for which this product is
21 approved is lymphomatous meningitis. Accelerated
22 approval was obtained on April 1st of 1999, and the

1 total drug development time for this product was 11
2 years.

3 The product was approved on the basis of
4 a high response rate in patients with lymphomatous
5 meningitis in a randomized, controlled, prospective
6 trial which accrued 17 patients to the DepoCyt arm
7 and 16 patients to the ara-C arm. The FDA analysis
8 indicated a response rate of in seven patients a
9 response rate of 41 percent in the DepoCyt arm and
10 one response out of 16 patients on the ara-C arm, for
11 a response rate of six percent with a P value in the
12 difference in the response rates of less than 0.04.

13 At the time the NDA was submitted, these
14 were the clinical trials that were in the NDA. The
15 Phase 1 trial with substantial pharmacokinetics had
16 been conducted in 19 patients. The trial I just
17 discussed, lymphomatous meningitis, prospective,
18 randomized trial included 33 patients.

19 A study in solid tumor neoplastic
20 meningitis patients had accrued 61 patients, and this
21 was a prospective randomized trial.

1 Prior to the accelerated approval, the
2 company had initiated an open label confirmatory
3 trial in patients with solid tumor neoplastic
4 meningitis that at the time of the NDA submission and
5 review had accrued 89 patients; subsequently
6 recruited a total of 110 patients.

7 There were five patients accrued to a
8 prospective randomized trial in leukemic meningitis,
9 and there were two confirmatory pharmacokinetic
10 trials, one conducted in the United States, and one
11 conducted in Europe.

12 The post marketing commitment that was
13 made at the time of accelerated approval consisted of
14 conducting a controlled randomized trial to determine
15 the patient's benefit and safety of DepoCyt in the
16 treatment of both solid tumor and lymphomatous
17 meningitis. This trial was to include a
18 pharmacokinetic sub-study. The trial was to be
19 initiated within six months, and the total planned
20 elapsed time was approximately 4.5 years.

21 So the approval was obtained in April of
22 '99. The trial was to start in September, and the

1 expected total lapsed time until study report
2 completion was 4.5 years.

3 The purpose of this post marketing trial
4 was to confirm the clinical benefit of DepoCyt in the
5 treatment of patients with both lymphomatous and
6 neoplastic meningitis and to provide additional
7 evidence to support approval for solid tumor
8 neoplastic meningitis. The design was prospective,
9 randomized, and controlled. The controlled endpoint
10 is time to neurologic progression, which is the goal
11 of treatment in this disease.

12 This is not a surrogate endpoint. This
13 is the actual goal of treatment. Secondary endpoints
14 included survival, improvement in neurologic symptoms
15 present at the time treatment was started, quality of
16 life, cytologic response rate, and safety.

17 And in an initial plan for an interim
18 analysis was subsequently dropped in further
19 discussion with the agency after trial initiation.

20 The eligibility criteria include biopsy
21 proven lymphoma or malignant solid tumor elsewhere;

1 a neoplastic meningitis diagnosed on the basis of
2 either a positive CSF cytology within 21 days prior
3 to randomization or a set of characteristic signs and
4 symptoms on neurologic examination in combination
5 with an MRI or a CT scan showing meningeal tumor in
6 age greater than 18 years.

7 This is the trial schema. Patients are
8 randomized to either DepoCyt given once every two
9 weeks or standard therapy, that is, methotrexate or
10 cytarabine given twice a week.

11 There are a total of six two-week cycles
12 of induction, and if the patient continues to do
13 well, they're candidates to remain on study and
14 receive an additional four cycles at a monthly
15 interval of maintenance therapy.

16 The stratification is for lymphoma versus
17 solid tumor and USA versus European study sites.
18 Patients on both arms of the trial are to receive
19 dexamethasone, four milligrams twice a day through
20 days one through five, with then a rapid taper over
21 the subsequent two days.

22 This is the schema for the solid tumor

1 trial. It is identical to the lymphoma trial, with
2 the exception that patients on the solid tumor arm
3 receive ten milligrams of methotrexate as their
4 standard therapy. This is followed by leucovorin
5 starting 24 hours later to limit systemic toxicity.
6 The only difference in the lymphoma patients are that
7 they're receiving 50 milligrams of cytarabine as free
8 drug twice a week as opposed to methotrexate.

9 The patients are to undergo a neurologic
10 evaluation prior to the treatment and at the
11 beginning of each two week treatment cycle, plus at
12 each follow-up visit, and there is very detailed
13 documentation of the basis for concluding that
14 neurologic progression has occurred when the
15 investigator makes that ascertainment.

16 CSF cytology and chemistries are obtained
17 at the start and end of each cycle, and adverse
18 events occurring from 21 days prior to the start of
19 treatment through 21 days after the last dose are
20 accrued to the case report form.

21 There are two primary analyses planned

1 for this trial. The first analysis is directed at
2 satisfying the post marketing requirement, and it
3 will compare all patients randomized to DepoCyt
4 versus all patients randomized to the comparator,
5 that is, either methotrexate if you're a solid tumor
6 patient or ara-C if you're a lymphoma patient.

7 Because this trial is also directed at
8 obtaining approval for solid tumor neoplastic
9 meningitis, the second primary analysis will compare
10 all solid tumor patients randomized to DepoCyt versus
11 all solid tumor patients randomized to methotrexate.

12 The trial is powered to detect a 50
13 percent reduction in the hazard function for time to
14 neurologic progression in patients with solid tumor
15 neoplastic meningitis, and the estimated number of
16 events needed to make that ascertainment is 75.

17 The trial is powered at .8, and because
18 there are two primary analyses, the alpha level has
19 been adjusted, and the alpha will be 0.038.

20 The trial was set up immediately after
21 the approval was obtained. Investigator selection,

1 IRB approvals, contracts were completed, and the
2 trial was opened in October of 1999.

3 However, at that same time, all DepoCyt
4 was recalled from the market. No product was
5 available for clinical trial execution for a period
6 of 17 months. The agency reapproved the introduction
7 of DepoCyt in March of '01, and trial reinitiation
8 began immediately on receipt of that letter.

9 This included investigator selection,
10 site requalification, IRB reapprovals, contract
11 renegotiation, and because we had been through it all
12 before, we were able to get the first patient entered
13 in a period of just four months. The first patient
14 entered the trial on July 1st, 1991 -- I'm sorry --
15 2001.

16 So here's the original time line as it
17 was planned. Because of the 17 month loss of
18 clinical product available, the whole time line is
19 shifted by 17 months to the right. The first patient
20 was entered on July of '01.

21 The expectation is that we'll actually

1 be able to complete this trial in slightly less
2 elapsed time than had originally been planned,
3 approximately 4.1 years versus 4.5 years.

4 The basis for the product recall was that
5 in October of 1999, some of the lots of DepoCyt that
6 had been manufactured were found to release free
7 cytarabine at a slightly higher rate on stability
8 testing. In careful review of what was going on, it
9 turned out that the raw material supplier had made an
10 unannounced change in the manufacturing process for
11 one of the lipids that are used to make this product
12 that eliminated a small amount of EDTA.

13 When that was discovered, after a great
14 deal of investigation, EDTA was replaced, and the
15 product went through another review with the agency
16 and was again available in March of 2001.

17 New assays were introduced to assure the
18 quality of the raw materials, and that has not
19 subsequently been a problem.

20 The current patient accrual to this study
21 from a total of 37 open sites, there are 16

1 sites that were open initially in the United States.
2 An additional 19 sites have been opened over the
3 past six months in Europe. Total accrual to date is
4 57 patients. Of these, 43 are solid tumors. Thirty-
5 two percent of the total accrual is lymphoma, or a
6 total of 14 patients.

7 Looking at the accrual rate across the
8 whole study, that is, from the time the study was
9 opened to date, it's 2.4 patients per month. The
10 accrual rate over the past six months is
11 approximately 4.7 patients per month, and just as a
12 point of reference, the accrual rate of the prior
13 pivotal study at a time when the product was not on
14 the market as 2.9 patients per month for this rare
15 and orphan indication.

16 The accrual by site is 38 patients in the
17 United States and Canada, and a total of 19 patients
18 thus far from Europe. The distribution between
19 lymphoma and solid tumor is as shown.

20 Now, there are some challenges to the
21 completion of this trial. First of all, there are a
22 very limited number of cases per year in the United

1 States and Europe, and unfortunately only a limited
2 number or a small fraction of those cases are
3 actually available for participation in a clinical
4 trial. Most of these patients have extensive disease
5 elsewhere in their body, and there are a variety of
6 reasons having to do with the disease elsewhere in
7 their body and their systemic treatment why they may
8 not be available for participation in a randomized
9 trial.

10 The second challenge of course is the
11 problem of randomization reluctance. This drug is a
12 once every two week dosing regimen via an intrathecal
13 injection. That's difficult to do even once every
14 two weeks.

15 The alternative is twice a week
16 intrathecal injections, and in disclosing this
17 difference in schedule to patients and the available
18 data, it turns out that there's a lot of reluctance
19 on the part of patients to be randomized on this
20 trial.

21 And of course, there's competition for
22 patients. There are three other clinical trials now

1 open at the major cancer centers in the United States
2 testing new intrathecal therapies, and we have to
3 compete with those trials for patients.

4 I'd be pleased to answer any questions.

5 CHAIRPERSON PRZEPIORKA: Thank you very
6 much. Dr. Hirschfield, do you have comments?

7 DR. HIRSCHFIELD: I just want to first of
8 all commend Dr. Howell on engaging on a trial that
9 has a clinical benefit endpoint and one which is a
10 symptomatic endpoint, and this is something which has
11 already been discussed in this meeting, but something
12 which we hope will establish a new standard and
13 paradigm for approving oncology drug products.

14 Some years ago we had a visiting fellow,
15 Dr. Fumitaka Nagamura, and he and I decided to look
16 at some of the issues regarding oncology drug
17 approvals, and we looked at the broad issues of
18 endpoints. We looked at trial designs, and then we
19 began looking at systematically accelerated approval
20 with the understanding that accelerated approval
21 would accelerate something or another, hence the
22 name, and the understanding was that the

1 acceleration would be, as Dr. Blayney and Dr. Pazdur
2 and many others have pointed out, the availability to
3 a broad population of patients of the product during
4 the course of its development scheme with, and again,
5 this is the important point, I think, of this
6 discussion, with a well developed schema in place.

7 And as Dr. Howell pointed out, and as we
8 noted in our review of the applications that had come
9 for accelerated approval, there were some which had a
10 schema in place, and what seemed to be the intent of
11 the program was met in that a short period after the
12 accelerated approval then could come the full
13 approval.

14 And we also noted at the time and has
15 been pointed out in this meeting by Dr. Dagher and
16 others that there was a selection of the submissions
17 which were single arm studies based on response rate
18 and some on others.

19 But what we also noted was that if one
20 compares accelerated approval with standard

1 approvals and asks the question how long has this
2 product been in clinical development, the answers had
3 quite a wide range; and that if the intent was to
4 accelerate the clinical development program somehow,
5 that there was some questions that could be raised.

6 And one of the, again, themes that has
7 emerged from the discussions over the last two days
8 is that accelerated approval was not intended to be
9 an alternative for a product which would not
10 fulfilling the criteria which has been established
11 for full approval coming through an alternative
12 mechanism.

13 And as Dr. Howell pointed out, it was 11
14 years from the filing of the IND to the submission of
15 the NDA, and the approval of the NDA in this case was
16 approved on a relatively modest number of patients,
17 and that's just for the public record because it has
18 been discussed in front of this committee.

19 There were various scenarios that the
20 data took, and if one followed the protocol

1 initially, on protocol criteria the response rates
2 were two versus zero in one of the four scenarios.

3 In another of the scenarios the response
4 rate was three versus one.

5 In the third scenario, which Dr. Howell
6 noted, which was the one that was ultimately used to
7 form the basis for the accelerated approval, it was
8 seven to one.

9 But in the fourth scenario, and all of
10 these scenarios varied according to how much of the
11 protocol violations one was willing to relax. So the
12 first scenario, two versus zero, was if one followed
13 the protocol, and all other scenarios was a question
14 of relaxing criteria one way or another.

15 And then the last criteria, it was 11 to
16 seven, which were no differences. So because there
17 was a suggestion that there was potential utility in
18 this particular product, the committee recommended
19 that the product receive accelerated approval, which
20 we endorsed.

21 But I would submit we still don't know
22 what the utility is for this particular product, and

1 it's approximately 16 years since the filing of the
2 first IND.

3 So in examining the issues surrounding
4 accelerated approval, I would ask the committee to
5 also consider addressing specifically not just the
6 development plan with regard to the link between the
7 accelerated approval and the standard approval or
8 full approval, but also to offer any comments or
9 thoughts on accelerated approval as an alternative
10 mechanism when standard approval ought to perhaps be
11 pursued.

12 CHAIRPERSON PRZEPIORKA: Okay. Dr.
13 Reaman, do you have comments?

14 DR. REAMAN: I have some questions. In
15 the initial study, was the schedule of ara-C the same
16 as the schedule of cytarabine that's used in the post
17 approval study for lymphomatous meningitis?

18 DR. HOWELL: Yes, the schedule for the
19 comparator drug, whether it was methotrexate or
20 cytarabine, is the standard schedule used in the
21 clinic, and it has been constant throughout all of
22 the clinical trials.

1 DR. REAMAN: And I guess I would question
2 the praise of Dr. Hirschfield on designing a study
3 with a clinical benefit endpoint because I'm not
4 exactly sure what the clinical benefit endpoint is,
5 time to neurological progression.

6 In looking at your presentation, there's
7 a detailed assessment of what neurological
8 progression is at the time of progression, but the
9 eligibility criteria include positive CSF cytology or
10 a positive CT or MRI scan, or both, and how does one
11 make that leap from a variable eligibility criteria
12 to a defined, well documented investigation of
13 progression?

14 DR. HOWELL: The nature of this disease
15 is that the most problematic result of the meningeal
16 component of the disease is a fairly rapid
17 degradation in neurologic function. These patients
18 often present with cranial neuropathies, diplopia,
19 speech --

20 DR. REAMAN: Isn't it very much dependent
21 on where? So there's tremendous variability, I would
22 imagine, in what would be

1 called a neurological progression.

2 DR. HOWELL: Yes, there is, and that is
3 perhaps the single greatest challenge in trying to
4 design these clinical trials. A great deal of effort
5 went into the attempt to define a standard set of
6 criteria as to what would constitute progression of
7 neurological symptoms and signs.

8 In fact, we made an effort to develop a
9 consensus document on this among the neural oncology
10 world. However, after major efforts, it turned out
11 because the number of clinical parameters that are
12 involved and the fact that the these patients often
13 have the symptomatology related to their systemic
14 disease which overlaps with the symptomatology and
15 signs generated by the neurologic component of their
16 disease, we were unsuccessful, and when I say "we,"
17 I'm speaking broadly of the community of physicians
18 who are interested in these trials in coming up with
19 such an algorithm driven or even consensus endpoint.

20 So in the end we have to rely on the
21 judgment of the investigator as to whether neurologic
22 progression in any given particular

1 patient has occurred.

2 However, what we have asked is in this
3 trial when the investigator makes that ascertainment,
4 concludes that neurologic progression has occurred,
5 that we document the basis for that decision in great
6 detail so that we have a clear understanding of what
7 that patient was felt to have accomplished, why that
8 patient was felt to have undergone neurologic
9 progression.

10 Does that answer your question?

11 DR. REAMAN: Sort of, yes. Can I ask the
12 background for the use of methotrexate in the solid
13 tumor neoplastic meningitis patients rather than
14 cytarabine?

15 DR. HOWELL: Well, cytarabine is not
16 known to have any activity in patients with solid
17 tumor neoplastic meningitis when given as a free
18 drug. The half-life in the CSF is very short. So
19 methotrexate in this country and in Europe is the
20 standard therapy used for most patients with solid
21 tumor neoplastic meningitis.

22 There is only one other drug that's

1 available for intrathecal administration, and that's
2 thiotepa. Thiotepa is occasionally used as well.

3 In patients with lymphomatous meningitis,
4 occasionally all three drugs are used, but the vast
5 majority of patients with solid tumor neoplastic
6 meningitis receive only methotrexate or thiotepa.

7 Let me point out that the whole rationale
8 behind this formulation was that when you maintain
9 cytarabine in the environment of any tumor cell for a
10 period of as long as two to three weeks, then the
11 vast majority of all kinds of cancer will respond
12 with a substantial log tumor burden reduction.

13 DR. REAMAN: And I want to go back to
14 your original trial and the timing of the completion
15 of that trial and when the lots of DepoCyt were
16 recalled because of excessive ara-C activity. Is
17 there a chance that some of that drug was actually
18 utilized in the initial trial to possibly explain the
19 difference in response rates?

20 DR. HOWELL: My understanding is that

1 the answer to that question is no, that the problem
2 arose in the manufacturing of batches in anticipation
3 of approval of the drug in commercialization.

4 DR. REAMAN: Thank you.

5 CHAIRPERSON PRZEPIORKA: Dr. Martino.

6 DR. MARTINO: A question as to the two
7 presentations of patients with meningeal
8 carcinomatosis. There is a group that is allowed to
9 have cytology positivity, and I'm assuming that those
10 are patients who actually have symptoms because that
11 would be the clue that you would want to actually
12 assess their CSF.

13 You also have another group where it is
14 actually an MRI or some radiological technique that
15 shows you meningeal involvement. Now, in my personal
16 experience that patient population does not always
17 have symptoms. Sometimes it actually is an X-ray
18 diagnosis, and I personally view those as really two
19 clinical behaviors, one which can be remarkably
20 indolent and have practically no symptoms and the
21 ones with CSF positivity which invariably

1 have symptoms because it is the symptoms that have
2 been the reason why you did the spinal tap.

3 Do you view those two as different or is
4 that just my own peculiarity of understanding?

5 And if you agree with me that they are
6 biologically different, are they somehow stratified
7 for in your randomizations?

8 DR. HOWELL: I disagree with you. The
9 vast majority of patients are brought to attention
10 with respect to the suspicion for neoplastic
11 meningitis by virtue of the fact that they present
12 with a symptom or a sign in the context of having
13 disease elsewhere in their body that could have
14 metastasized to the CSF of the meninges.

15 We do two things when a patient presents
16 in that situation. One alternative is to do a lumbar
17 puncture and confirm the diagnosis based on cytology.

18 However, more and more over the past several years,
19 the response is to get an MRI or a CT. It's easier.

20 It doesn't cost you the patient time and pain of
21 doing a lumbar puncture, and the technology and
22 refinements for making the diagnosis

1 of meningeal involvement, particularly on MRI, have
2 now dramatically improved.

3 So approximately 30 percent of all cases
4 are currently diagnosed on the basis of an MRI or CT
5 rather than on the basis of a lumbar puncture. But
6 the vast majority of both of those came to attention
7 because they developed a sign or a symptom in the
8 context of a disease that could metastasize. There
9 is a --

10 DR. MARTINO: And then I think that's
11 actually my question, is: are these predominantly or
12 exclusively patients who have some symptoms?

13 Because it's my experience that sometimes
14 you get an MRI because you're thinking that there
15 might be metastatic disease, and it is at that point
16 that you see that there is meningeal involvement, but
17 you don't really have a patient who has much in the
18 way of symptoms.

19 Do you understand what I'm getting at?

20 DR. HOWELL: The last sentence that I was
21 about to complete is that there is a small
22 subpopulation of patients who are incidentally

1 diagnosed with meningeal involvement because they had
2 an MRI or CT scan done for concern about a brain met.
3 or something of that nature.

4 That represents a very small fraction of
5 the patients in these clinical trials.

6 DR. MARTINO: Would they be included in
7 your studies?

8 DR. HOWELL: Yes, they could potentially
9 be included in the study. That is correct.

10 DR. MARTINO: Do you have a sense of how
11 many those might be in the studies related to --

12 DR. HOWELL: I apologize. I don't have a
13 hard number for you, but I am -- my estimate is that
14 that would be something less than one or two percent
15 of all the patients in these trials.

16 DR. MARTINO: Thank you.

17 CHAIRPERSON PRZEPIORKA: Dr. Howell, I
18 just want to point out that as a member of the
19 medical community, we don't want the public to think
20 that we're using MRIs or CTs solely as the means to
21 diagnose CNS disease or meningeal involvement. LP
22 spinal tap is still the gold standard, and the place

1 where MRI alone would come into play for diagnosis is
2 those with a spinal tap that is negative, especially
3 solid tumors which sometimes don't float freely in
4 the spinal fluid.

5 But clearly everybody with any sort of
6 CNS problem should probably get a spinal tap, and we
7 would probably never lower that standard for our
8 patients.

9 Dr. Reaman, did you have more comments?

10 DR. REAMAN: I was going to address that
11 issue, but also with respect to standard of care for
12 these patients, I would think that external beam
13 radiotherapy would also play a role in the management
14 of lymphomatous meningitis.

15 And was that considered in patients
16 entered on this trial or on the previous trial?

17 DR. HOWELL: No, it was not considered.

18 Total cranial spinal radiation would be a way of
19 managing diffuse involvement of these neuraxis by
20 lymphoma, and there are substantial complications
21 from that procedure.

22 All patients entered in this trial, if

1 they have visible focal, lumpy-bumpy disease, in
2 other words, if you can see nodules, the
3 recommendation for both solid tumor and lymphomatous
4 patients is that they receive focal cranial radiation
5 or focal cranial radiation of the cauda equina, if
6 that's indicated, but not total cranial spinal
7 radiation.

8 And I don't believe that the committee
9 broadly would consider total cranial spinal radiation
10 for lymphomatous meningitis as the standard of care.

11 DR. REAMAN: I don't think I mentioned
12 the total cranial spinal. We have done that in the
13 past in children with leukemic meningitis.

14 My question really related to focal
15 radiotherapy in the situation of lumpy-bumpy disease
16 and how is that --

17 DR. HOWELL: You're absolutely right.
18 The standard of care for focal disease is that
19 radiotherapy should be used, and that is actually
20 specified in all of these clinical trials, both the
21 previous ones and the current trial. If the patient
22 has evidence of focal disease, then that patient

1 is to receive radiation therapy prior to receiving
2 intrathecal therapy.

3 DR. REAMAN: And then how does that
4 relate to the determination of therapeutic effect and
5 time to neurologic progression?

6 DR. HOWELL: The patient completes
7 radiation therapy prior to coming on study, and so a
8 new evaluation is done of the eligibility criteria,
9 and that patient is reassessed prior to study entry.

10 So the radiation therapy is not given as
11 part of the study. If the patient needs radiation
12 therapy, they are to receive that prior to
13 randomization.

14 DR. REAMAN: Is there a stratification
15 then by eligibility for those patients who are
16 pretreated with radiation versus those who were not?

17 DR. HOWELL: No, sir, there is not. We
18 have in the past looked at association between
19 whether the patient received either prior or
20 concurrent radiation therapy because it's conceivable
21 the patient on study may subsequently

1 develop focal disease, and there appears to be no
2 association.

3 But I would caution that it's a small set
4 of patients, and such associations would normally
5 require a much larger number of patients to be
6 evaluable.

7 CHAIRPERSON PRZEPIORKA: I think Dr.
8 Cheson has some more comments along this line.

9 DR. CHESON: Several. I agree that the
10 standard for patients who have solid parenchymal
11 disease includes radiation, whereas for those who
12 have meningeal involvement, intrathecal therapy is
13 generally used, but that raises several other issues.

14 I guess we can do these one at a time so
15 that I remember what they are. One, is there a
16 difference or was there a difference or should there
17 be a difference in how these agents are instilled
18 into the spinal fluid?

19 In other words, lumbar puncture versus a
20 reservoir technique.

21 DR. HOWELL: There are some differences

1 in the pharmacokinetic behavior.

2 DR. CHESON: Right.

3 DR. HOWELL: One of the challenges we
4 faced in developing this product in the first place
5 is that there are two real problems with the
6 pharmacology of intrathecal therapy. One is that the
7 three drugs that were available, methotrexate, ara-C,
8 and thiotepa, all have relatively short half-lives in
9 the CSF. So they're very rapidly cleared.

10 And the second is that if you inject them
11 in either the lumbar sac or in a lateral ventricle,
12 they don't spread out very well throughout the
13 neuraxis because, in particular, cytarabine is
14 cleared so rapidly that it never gets a chance to
15 equilibrate throughout the CSF.

16 One of the goals of developing this
17 particulate encapsulated material is the idea that
18 the particles would spread out much more effectively
19 than the free drug because their residence time in
20 the CSF is very long and they have an opportunity to
21 flow with CSF flow.

22 And in fact, in studies of the particle

1 pharmacokinetics, that is, when you inject this
2 material in the lateral ventricle and sample from the
3 lumbar sac, the equilibration occurs in 12 to 24
4 hours. So the number of particles at both ends of
5 the neuraxis, the concentration of particles at both
6 ends of the neuraxis is equivalent by 12 to 24 hours,
7 and thereafter, in the limited number of cases in
8 which we were able to leave a needle in a patient and
9 sample repeatedly over the next two weeks, we saw
10 absolutely identical kinetics in the particle
11 clearance.

12 If you inject in the lumbar sac and look
13 at drug concentrations and particle counts in the
14 lateral ventricle, what you find is that they are
15 about half a log to a log lower than they are in the
16 lumbar sac. So the distribution from the lumbar sac
17 to the lateral ventricle is not quite as good as
18 distribution from the lateral ventricle to the lumbar
19 sac, but it's pretty good.

20 And the concentrations attained are still
21 several orders of magnitude higher than
22 concentrations which kill three to four logs of

1 tumor cells in the NCI 60 cell panel screen.

2 So we're reasonably confident that we're
3 obtaining good pharmacokinetics at both ends.

4 In the analysis of response rates and
5 time to neurologic progression, there's absolutely no
6 difference as a function of route of drug
7 administration, and the agency looked at this at the
8 time of initial approval, and also looked at it in
9 detail by the CPMP during the European approval
10 process, and there was absolutely no evidence of a
11 difference in response rate or clinical outcome as an
12 function of route of administration.

13 DR. CHESON: Thank you.

14 Next, in those patients whose diagnosis
15 was made by an imaging study, when you stick the
16 needle in there to give them one medication or
17 another, in general we take some out and send it off
18 for cytologies. In what proportion of those patients
19 that were pure imaging diagnosis did the cytology
20 confirm the diagnosis of meningeal involvement?

21 DR. HOWELL: I don't have that

1 information because in all the prior trials, we did
2 not use imaging as an eligibility criterion. Only in
3 the current trial do we use that as an eligibility
4 question.

5 So that will be one of the analyses that
6 will be done with this study, but I do not have any
7 data on that point at the present time.

8 DR. CHESON: And my final point for now.
9 a lot of these patients develop a central nervous
10 system disease alone, but others develop it in
11 concert with the development of progressive systemic
12 disease. Are the latter group excluded?

13 And if they are not, how do you account
14 for the potential effects of systemic therapy on the
15 central nervous system control?

16 DR. HOWELL: They are not excluded from
17 this trial. If we had excluded patients who needed
18 systemic therapy concurrently, we would never be able
19 to complete any clinical trial because the vast
20 majority of these patients require systemic therapy.

21 Systemic drugs don't cross the blood-
22 brain barrier in meninges and then the CSF is behind

1 the blood-brain barrier. So as you know, the
2 standard of approach of getting drugs from the
3 systemic circuit into the CSF has been a high dose
4 strategy.

5 High dose methotrexate given
6 intravenously, high dose ara-C given intravenously
7 do, in fact, generate reasonable levels of drug.
8 However, it's very often difficult to integrate a
9 high dose IV strategy into the standard chemotherapy
10 regimen that that patient is already receiving for
11 their systemic lymphoma.

12 So if the patient is on rituximab and
13 CHOP regimen, trying to factor high dose methotrexate
14 or high dose ara-C regimen on top of that for the
15 meningeal component of disease gets very complex.

16 So the bottom line is that we have a
17 difficult challenge because we are focusing on the
18 meningeal component of disease, and we're asking can
19 we improve that component of the disease in the face
20 of patients who are also having symptoms and signs
21 and problems from the systemic chemotherapy that

1 they're getting for the rest of their disease.

2 That's the fundamental challenge in the
3 disease. We have not been able to figure out a way
4 around that. The obvious way to do it would be
5 isolated meningeal relapse when there's no other
6 evidence of disease anywhere else, and I wish I had
7 enough patients to do that trial.

8 CHAIRPERSON PRZEPIORKA: Dr. Fleming.

9 DR. FLEMING: Just following up on some
10 earlier discussion, it wasn't clear to me, since I
11 don't have a definition exactly of the neurological
12 progression criteria. In what fraction of these
13 patients that would have neurologic progression would
14 there be progression of symptoms, would it be
15 symptomatic?

16 DR. HOWELL: These patients are going to
17 have symptoms, in part, from the neurologic component
18 of the disease. They're going to have symptoms from
19 the systemic component of the disease. They're going
20 to have symptoms from the meningeal treatment and the
21 systemic treatment.

22 That's part of the complexity of trying

1 to determine when neurologic progression has
2 occurred. For example, is increasing headache
3 evidence of neurologic deterioration?

4 Well, in one patient it might be, if that
5 patient had a clear history of having headache
6 associated with the onset of the meningeal component
7 of the disease.

8 On the other hand, another patient who
9 has a long history of migraine headaches and headache
10 reactions to systemic therapy, headache may be
11 totally irrelevant.

12 And so the answer to the question is no.

13 No one symptom, no one sign definitely constitutes
14 progression of neurologic disease. It is the
15 constellation of symptoms and signs and how they
16 change relative to everything else you know about
17 that patient, the complexity of that patient's
18 clinical situation that you have to make that
19 judgment.

20 And it is a difficult judgment to make,
21 and not all neural oncologists agree on how to make
22 that judgment, which is the challenge that we faced

1 and the reason that we have relied upon the
2 individual investigator's assessment to determine the
3 endpoint.

4 DR. FLEMING: Well, do you have a slide
5 that formulates the exact criteria for neurologic
6 progression?

7 DR. HOWELL: There are no exact criteria
8 for neurologic progression. There is no algorithm.

9 DR. FLEMING: And so remind me then. In
10 the protocol, what algorithm do you follow in
11 defining whether the primary endpoint has occurred?

12 DR. HOWELL: There is no algorithm. We
13 rely on the global assessment of the investigator to
14 determine whether neurologic progression has occurred
15 in that particular patient, and then we ask that
16 investigator to document in great detail the basis
17 for that decision.

18 DR. FLEMING: And so it's entirely
19 possible that patients could have worsening or
20 improvement of symptoms that wouldn't, in fact,
21 translate into a definition of neurologic
22 progression, worsening of symptoms, and conversely,

1 a patient could, in fact, be characterized as having
2 neurologic progression without any tangible change in
3 symptoms?

4 DR. HOWELL: In symptoms, yes, but the
5 physician may pick up a sign. The patient may not be
6 aware of a particular neurologic sign that the
7 physician on his neurologic examination picks up.

8 DR. FLEMING: So you have as a secondary
9 endpoint improvement in neurologic symptoms, quality
10 of life, survival, et cetera, but those are all
11 secondary endpoints. It's possible that we could see
12 a statistically significant difference in time to
13 neurologic progression without being able to conclude
14 from that that there, in fact, is a difference
15 between the two treatment arms in actual symptoms the
16 patients have that are related to neurologic
17 phenomena?

18 DR. HOWELL: That is technically correct.

19 One of the most important measures of how well
20 you're doing with these patients is if the patient
21 presents to you with a complex of symptoms that are
22 really bothering the patient, loss of

1 bladder and bowel control being an example, and you
2 can improve that. Then you've really done something
3 for the patient.

4 And we're trying to capture that as a
5 secondary endpoint to determine what fraction of the
6 patients who present with a problematic symptom,
7 things actually get better.

8 The challenge, of course, is that because
9 neurologic damage does not heal very well, the most
10 neurologic deficits that the patient presents with at
11 the time of study randomization are fixed deficits.
12 A few of them will improve, but usually not very
13 much.

14 The goal is really to prevent things from
15 getting worse, from delaying this degradation of
16 neurologic function going forward rather than fixing
17 the things that are already there.

18 DR. FLEMING: But if I understand what
19 you're saying, because of the multi-dimensionality of
20 the ways in which neurologic symptoms could occur,
21 and because of the frequency of occurrence of
22 symptoms that may not be specifically driven by

1 neurological processes, the study is likely to be
2 under powered to be able to statistically show
3 differences in these kinds of direct symptoms related
4 to this neurological process?

5 DR. HOWELL: I don't know whether it will
6 be under powered or not. It is not powered on the
7 basis of the frequency of improvement of symptoms.
8 It's powered on the basis of time to neurologic
9 progression.

10 DR. FLEMING: One other question, and
11 that is as we've heard earlier today from the FDA,
12 when you look at the data upon which the accelerated
13 approval is based, there are some encouraging trends
14 in the response, although as I understand, if you
15 characterize the response in several different ways,
16 it becomes a little less clear how strong the signal
17 is.

18 So I think in your words, it was you
19 still don't know how likely it is that this product
20 has utility. And obviously hopefully this study, in
21 fact, establishes clear evidence of benefit.

22 In the setting in which this study would

1 establish lack of benefit, what is the strategy? Is
2 there a strategy for other studies, or is that
3 something as yet that hasn't been thought through?

4 DR. HOWELL: Is that a question to me or
5 to the agency?

6 Perhaps I can introduce the answer from
7 our vantage point. You recall that the rationale
8 behind developing this product in the beginning was
9 that we have a rare but very devastating and
10 difficult medical problem to treat. We don't like
11 doing lumbar punctures or OMI reservoir penetrations
12 twice a week.

13 The hope was to develop a product which
14 would be easier on the patient by being able to
15 deliver it once every two weeks. The whole rationale
16 behind developing this product was the fact that we
17 could have a kinder and gentler schedule of drug
18 administration.

19 And in our initial discussions with
20 everybody involved in the development program, it was
21 the advantage of the schedule of administration which
22 was perceived to be the major benefit of this

1 drug.

2 DR. HIRSCHFIELD: I'd like to address
3 that, too, and I also want to thank Dr. Przepiorka
4 and Drs. Cheson and Reaman for pointing out the
5 difficulties and the nature of using radiologic
6 evidence in this condition and why we would not
7 accept radiologic evidence as either eligibility
8 criteria or as an endpoint.

9 And I would like to answer Dr. Fleming's
10 question by just discussing a little more of the
11 history of the development of this product and how we
12 got to this point, and that would also address Dr.
13 Reaman's question of why would we want to use time to
14 neurologic progression.

15 The initial DNA for this product was
16 submitted prior to the accelerated approval NDA, and
17 it was discussed publicly in front of this committee,
18 and the committee voted at the time seven to three
19 that the clinical studies were not adequate and well
20 controlled and voted ten to nothing -- that's zero --
21 that the data did not represent substantial evidence
22 of efficacy.

1 The sponsor maintained that the endpoints
2 that were submitted and analyzed and discussed were
3 perhaps not the appropriate endpoints, and they felt
4 that they had data that supported time to neurologic
5 progression.

6 Because there were no predefined criteria
7 and because we had limited and incomplete
8 information, we were unable to confirm that
9 assertion. So when the second submission came in and
10 we looked at the lymphomatous meningitis
11 circumstance, there was this difference in response
12 rate, depending, again, on how one relaxed the
13 protocol violation criteria. There were no
14 differences in survival between the two study arms,
15 but it was a woefully under powered study with 16 and
16 17 patients, respectively.

17 But perceiving that we didn't see a
18 signal that survival might be impacted, we were
19 willing to explore with the sponsor this issue of
20 time to neurologic progression.

21 Philosophically and globally we were
22 interested, and Dr. Temple has commented as well as

1 Dr. Pazdur, on our interest in looking at a symptom
2 benefit, quality of life type endpoint for product
3 approval for cancer patients, particularly if the
4 possibility of prolonging life didn't seem to be a
5 likely outcome.

6 So we work with the sponsor to evolve
7 strategy in, in essence, uncharted territory, and in
8 this particular case, we're doing an experiment in
9 that we, from the way this protocol was developed,
10 would act as a type of neutral observer or judge in
11 the case, providing that thorough, complete, and
12 adequate documentation is given to us so that one can
13 make this type of assessment.

14 Subsequent studies without in any way, I
15 believe, revealing any proprietary information, but
16 in further reflection on a strategy of how to
17 approach this problem for other types of agents which
18 might be addressing this issue, we are now
19 recommending a strategy of having, during the course
20 of the study, a neutral observer at each site, a
21 neurologist who would examine the patients without
22 awareness of what treatment they were assigned to

1 and without awareness of what the primary physician's
2 assess might be, but just to make an unblinded,
3 systematic assessment.

4 And we would hope through such a strategy
5 that we could advance the field and be able to allow
6 products on the market with a claim that it can be a
7 benefit because this was the first one in this
8 exploration.

9 And correct me if I'm mistaken, Dr.
10 Howell, but the protocol that will involve DepoCyt
11 does not have that feature, and therefore, we are
12 assuming the burden.

13 DR. HOWELL: Dr. Hirschfield is correct.

14 It does not have that feature and for an excellent
15 medical reason. If we had a blinded neurologist
16 evaluating these patients, how long if that
17 neurologist was doing his job correctly would it take
18 for the neurologist to discover which arm of the
19 trial the patient was on when one arm is twice a week
20 dosing and the other arm is once every two week
21 dosing and when there are reasonable symptoms and
22 signs associated with the dosing itself?

1 Defending that blind in front of these
2 gentlemen I surmise would be impossible, and
3 therefore, although it was discussed with the agency
4 and discussed with experts in the field, the
5 consensus was that there was no real way to involve a
6 truly blinded, independent evaluator in this
7 assessment.

8 So I think Dr. Hirschfield is correct.
9 This is a bit of an experiment, and to be honest, we
10 don't know whether this endpoint of time to
11 neurologic progression is going to be a robust and
12 solid endpoint on which to demonstrate the clinical
13 benefit of this product.

14 DR. HIRSCHFIELD: I didn't answer the
15 last part of Dr. Fleming's question. What if the
16 study is uninformative?

17 We certainly hope that every study by
18 intent will be informative. Otherwise it would be
19 unethical. But if we find that we cannot tell the
20 difference in treatment arms, I believe that the
21 committee would be revising this application as soon
22 as those data became available, which would be, by

1 my rough calculation approximately somewhere between
2 18 to 20 years after the IND was filed.

3 CHAIRPERSON PRZEPIORKA: Dr. Hirschfield,
4 on the basis of what we've heard today, I think we
5 already have some concerns about the protocol design
6 with regard to the eligibility being very
7 heterogeneous with regard to prognosis, with regard
8 to stratification not based on prior radiotherapy,
9 with regard to the lack of an objective outcome.

10 And I could probably predict that no
11 matter which decade this comes back to the committee,
12 the committee is going to say why did the FDA allow
13 this protocol to go on.

14 DR. HIRSCHFIELD: That's a fair question,
15 and sometimes I think that question could be posed
16 for many, many of the studies which are executed in
17 the field of oncology.

18 At the time, it was our best attempt in
19 consultation with our consultants as to how to
20 proceed, and we're all learning with time, and one of
21 the reasons to bring this discussion before this

1 committee is that before we reach that point in some
2 time in the future, that we would have to revisit all
3 of you collectively.

4 If there's a chance for adaptations or
5 other changes in the protocol, I think now would be
6 the most appropriate time because the enrollment is
7 still at a relatively early stage.

8 CHAIRPERSON PRZEPIORKA: Dr. Redman.

9 DR. REDMAN: Just not for the committee,
10 but for myself as a practicing solid tumor
11 oncologist, just to respond to some, there is no gold
12 standard that I'm aware of other than did the patient
13 deteriorate, and so I accept that as an endpoint as a
14 practicing oncologist.

15 I don't think there's too many
16 oncologists that practice that see this disease in
17 solid tumor patients that cannot determine when the
18 patient is no longer responding to therapy in that
19 regard.

20 We may wish that they continue
21 responding. That's another problem, but as a
22 clinical investigator.

1 We do treat patients with negative CSF.
2 We've done the CSF, but in the appropriate study,
3 neurological deterioration and appropriate MRI, we
4 will accept or I will accept negative CSF. I'm
5 assuming most will.

6 A survival endpoint in meningeal
7 carcinomatosis is really irrelevant because the
8 patients ultimately, again, in solid tumor patients
9 die of their systemic disease, though some do die of
10 a neurological disease.

11 In this subgroup, in this very much
12 orphan, you're going to end up with five patients in
13 seven subgroups. I think what the sponsor has done I
14 find to be appropriate.

15 CHAIRPERSON PRZEPIORKA: Dr. Carpenter.

16 DR. CARPENTER: I would echo Dr. Redman's
17 comments. This is a complex situation, and the
18 vagaries of presentation are nearly infinite, the
19 variation in the individual presentation.

20 One of the things that leads one to
21 suspect meningeal involvement with a solid tumor is
22 the lack of a coherent pattern to the neurological

1 loss. I think the idea of having some algorithm or
2 some standard way to do this just doesn't fit the
3 clinical situation in adults, and it probably is
4 possible, at least in most instances, to show some
5 time when there's clear neurological worsening,
6 though that's not going to follow a distinct pattern
7 anymore than the presentation of the disease is.

8 I think they've made every effort to do
9 the best you can at this point in defining this
10 situation, and while it's an equation that has an
11 incredible number of variables, if you're able, it's
12 not going to be possible to standardize all of those
13 things and get any number of people into a study. I
14 think they're doing the best they can in this
15 situation, which is uncommon, and which is very hard
16 to study.

17 CHAIRPERSON PRZEPIORKA: Dr. Reaman.

18 DR. REAMAN: I think it's been answered.

19 CHAIRPERSON PRZEPIORKA: Okay. Dr.
20 Lippman.

21 DR. LIPPMAN: You know, I understand that
22 this is a difficult disease. The endpoints are

1 hard to put into an algorithm, but I'd like to sort
2 of follow up on Dr. Fleming's point.

3 If we're using time to progression and
4 things like headache, could it be time to progression
5 from the meningeal disease or other issues?

6 I guess the concern I have is that the
7 ascertainment, the control arm is seen much more
8 frequently than the actual treatment arm. So the
9 time to progression or the concern about headaches
10 could really affect statistical interpretation of
11 this study.

12 I don't know, Dr. Fleming, if you have
13 thoughts on that. Even with the fact that we don't
14 have a firm endpoint, the fact that we don't have a
15 firm endpoint makes me more concerned about the
16 interpretation given the more frequent assessments.

17 CHAIRPERSON PRZEPIORKA: Dr. Martino.

18 DR. MARTINO: I think that is a key point
19 here. Those of us that practice oncology appreciate
20 the complexity of all of this, and there is no way to
21 make this easy, but I completely agree

1 with those that have said that as a clinician you
2 generally know when your patient is doing worse with
3 meningeal disease because it rarely is a subtle
4 event. It usually is fairly obvious that they're
5 going downhill, and these patients invariably go
6 downhill.

7 The only variable is the rate at which
8 this happens, but I share, you know, the issue that
9 Dr. Lippman brought up, which is that if you're
10 seeing patients more frequently, you have the
11 opportunity to assess whether they're getting worse
12 much more quickly. And so that biases this whole
13 observation against the standard arm.

14 There's one other point I'd like to make,
15 and that is for me this drug does not have to
16 demonstrate that it actually, in fact, is better than
17 anything else. Okay? For me it purely has to
18 demonstrate that it is not worse than anything else.

19 The very fact that I can give it less
20 often is an exceptional advantage. It is not a
21 trivial thing in this case. It's an important thing.

1 So the standard to which we hold this for
2 me is key here.

3 DR. HOWELL: Madame Chairman, can I
4 respond to the two points made?

5 CHAIRPERSON PRZEPIORKA: Yes.

6 DR. HOWELL: On the issue of frequency of
7 evaluation, it is a fundamental problem because of
8 the difference in schedule in the two arms. We
9 didn't have a choice of how to deal with that. So
10 it's not something that we can engineer around in the
11 clinical trial design.

12 To the extent that we have been able to
13 accommodate that though, the patient is evaluated
14 neurologically only once every two weeks at the end
15 of the cycle, and that is the data that is captured
16 in the case report form. So that is the data that
17 will be used in the analysis, not any information
18 that's obtained at an intervening dosing point in
19 that two week cycle.

20 Now, is there still some bias there?
21 Yes, because you know, if I see the patient on a
22 Thursday and I'm worrying about it and I don't get

1 to record something until the following Thursday, I'm
2 going to be even firmer in my belief the following
3 Thursday.

4 We've done the best we can in dealing
5 with the challenge of having different schedules on
6 the two arms. It remains a problem, but I think by
7 capturing only the evaluation at the end of each
8 cycle we will have at least partially addressed that
9 issue.

10 CHAIRPERSON PRZEPIORKA: Ms. Mayer.

11 MS. MAYER: Absent from the discussion of
12 criteria used to evaluate this agent, it seems to me,
13 are two kinds of input, one from patients themselves
14 who could self-report their own quality of life,
15 their own subjective experience around neurological
16 variables.

17 On the one hand, I realize that there are
18 problems with standardizing this, but on the other
19 hand, we're talking about physician evaluation. To
20 do that independent of what patients are saying
21 themselves about their experience is to sort of
22 dilute a direct route to getting information

1 from patients.

2 And the other is the input of perhaps
3 other professionals who might be useful. I'm
4 thinking specifically of neuropsychological
5 evaluation that could be done throughout, perhaps
6 prior to treatment, throughout treatment. That might
7 yield more objective information that could, in fact,
8 be quantified.

9 DR. HOWELL: Can I respond to that?

10 We did an experiment, madame. We
11 actually collected all of that data in the first two
12 randomized controlled trials and both our analysis
13 and the agency's analysis, I think, were concordant
14 in discovering that they were totally useless.

15 There is a challenge here, and that is
16 that these patients and the fact CNS questionnaire
17 was the quality of life tool used in addition to the
18 Karnofsky Performance Status and a variety of other
19 types of quality of life evaluations.

20 The problem is that these patients are
21 often so neurologically impaired that they cannot
22 report easily using any of the available, the

1 validated tools in the field, and our experience was
2 that there was so much missing data, despite a real
3 attempt to collect that data, that we could not make
4 a useful evaluation of it.

5 So in the current post marketing trial
6 that effort, recognizing that we had failed in the
7 experiment that was conducted in the first two
8 randomized trials, that effort has been dropped.

9 It's not for any lack of interest or lack
10 of paying attention to that component of patient
11 well-being. It simply is an issue of do we have a
12 tool that has a dynamic range and a sensitivity and
13 specificity adequate to the job of collecting that
14 kind of information.

15 MS. MAYER: I understand. Have you
16 looked into having reports from family members?

17 DR. HOWELL: No, ma'am, we did not in the
18 post marketing trial.

19 MS. MAYER: I think that anybody who does
20 end of life care and looks into what methods are
21 useful in late stage disease knows that there are
22 generally care givers in the environment who can

1 provide very useful feedback as to how the patient is
2 doing.

3 DR. HOWELL: Your question raises an
4 important component of this disease or an important
5 issue around this disease, and that is, as Dr.
6 Hirschfield has pointed out, the physician sponsored
7 IND was filed in 1989. Part of the reason that we're
8 facing some of these challenges is that a lot of
9 things have changed since 1989.

10 The implication of filing an IND in 1989
11 was that we didn't get things done very quickly.
12 That's not correct. There was not a single
13 pharmaceutical company that wanted to touch this
14 product. It was developed under a physician
15 sponsored IND all the way through Phase 1 trials.

16 We had to go out and set up all of the
17 support, all of the mechanisms for conducting the
18 development of this drug. So although the IND was
19 filed a long time ago, the drug actually has
20 progressed through this orphan and rare disease at a
21 reasonably good clip, but you made an excellent point
22 that a lot of the things that we pay attention

1 to now and the information we would like to capture
2 now is somewhat more refined and different from what
3 we started with in 1989.

4 MS. MAYER: Just one more follow-up. As
5 far as patients' ability to be evaluated because of
6 losing neurologic functioning, my husband, who is a
7 neuropsychologist, does quantitative evaluations of
8 patients in coma. It can be done. The scales are
9 there, and I think more attempt needs to be made to
10 gather information from other sources to measure
11 something which is so difficult to quantify.

12 CHAIRPERSON PRZEPIORKA: Dr. Blayney.

13 DR. BLAYNEY: Steve, when somebody dies
14 and does not have neurologic progression, is that
15 counted as a response?

16 DR. HOWELL: No, it's counted as
17 neurologic progression. It's either neurologic
18 progression or death.

19 DR. BLAYNEY: Or death?

20 DR. HOWELL: So death is counted as a
21 neurologic progression.

22 DR. BLAYNEY: You know, this looks like

1 a non-inferiority trial to me, and I'm surprised.

2 Is that how you view this as powered?

3 DR. HOWELL: No. It's powered for
4 superiority endpoint, and that is a 50 percent
5 reduction in hazard rate. The non-inferiority trial
6 would have required an even larger number of events.

7 DR. BLAYNEY: So whenever this comes
8 back, this is, I guess, the record should show that
9 this is not a non-inferiority trial; that this is
10 designed as a superiority trial, and you know, the
11 fall-back position is not that, gee, this is not
12 worse. The primary endpoint is, yeah, this is
13 better.

14 DR. HIRSCHFIELD: Doctor --

15 CHAIRPERSON PRZEPIORKA: I have just one
16 quick question. We're talking a lot about trial
17 design problems in this particular patient group, and
18 of course, Dr. Pazdur introduced the concept of maybe
19 the Phase 4 commitment could be in a slightly
20 different patient population. There are far more
21 patients receiving prophylaxis intrathecally.

22 Have you considered a randomized trial

1 in that group?

2 DR. HOWELL: Yes, ma'am, we certainly
3 have. We would love to do a prophylactic clinical
4 trial. We have had extensive discussions with the
5 old pediatric oncology group and now the children's
6 oncology group. We've had extensive discussions with
7 the AIDS related malignancy group. We've had
8 discussions with some of the members sitting around
9 the table about how to execute those trials with the
10 assistance of the NCI. They were cooperative groups.

11 Unfortunately, not a single team has
12 stepped forward with a willingness to undertake that
13 trial for good reasons. A lot of the therapy for the
14 systemic components of those diseases has evolved
15 very quickly. There are important and urgent
16 questions that need to be asked in randomized
17 clinical trials about appropriate systemic therapy
18 for patients with lymphoma, and many of the groups
19 have seen the issue of prophylaxis as being a
20 somewhat less important issue to be addressed in
21 randomized clinical trials.

1 But this has been a bit of a crusade for
2 me, and I would certainly welcome the opportunity do
3 such a trial.

4 CHAIRPERSON PRZEPIORKA: Dr. Lippman.

5 DR. LIPPMAN: I was just wondering on
6 your design. You talk about a 50 percent reduction
7 in the time to neurologic progress. What did you
8 assume for the control arm in the time to
9 progression?

10 DR. HOWELL: The control arm in the prior
11 solid tumor randomized controlled trial, a median
12 time to progression was 38 days.

13 So what we're looking for is a 50 percent
14 improvement in time to neurologic progression.

15 DR. LIPPMAN: So just if I could ask Dr.
16 Fleming this, and I do feel you've done everything
17 you can within this trial to try to control for the
18 more frequent potential evaluation, but obviously as
19 you said, if someone comes in for their drug and they
20 have a headache the first week after, you're not
21 going to wait three weeks for the formal

1 evaluation.

2 So there is that potential. If we have
3 this three week difference, let's just hypothetically
4 say, how will that affect the interpretations of the
5 results, given that the control we're figuring 38
6 days to progression?

7 DR. FLEMING: Let me just make sure I
8 understand. So you're saying if the control is 38
9 days and you have in the intervention a three week
10 improvement? Is that what you -- could you restate
11 the question?

12 DR. LIPPMAN: So if you assume in the
13 control it's 38 days and we assume that the control
14 patients are seen more frequently per the schedule,
15 and even though the formal evaluation is scheduled at
16 one month, still if someone comes in one week into
17 that with a bad headache, I assume as you point out
18 you can't wait three weeks to do the formal
19 neurologic evaluation.

20 So the time to progression endpoint could
21 be earlier by a few weeks. How do you sense that
22 will affect the interpretation of the results

1 if that happens?

2 DR. FLEMING: It's a valid point. It's
3 hard for me to answer that, to get a good sense of
4 the extent to the bias, and I intend to give an
5 answer, but, Bob, it looks like you have something
6 you want to say.

7 DR. TEMPLE: Well, a complete but perhaps
8 over conservative solution is just to attribute the
9 event to the next scheduled meeting. So if it's two
10 weeks versus every week and you see something at one
11 week in the more frequently observed group, you just
12 attribute it to the two weeks.

13 I mean, that might be overdoing it, but
14 it certainly more than accounts for it.

15 DR. HOWELL: I would like --

16 DR. FLEMING: Of course, we're assuming
17 that, that everybody would be assessed at exactly the
18 correct periodic time point. My own sense about this
19 is the best way to handle it is to do the best we
20 can, to have a fairly comparable time frame for
21 making assessments between the two arms.

1 Other biases exist here, and that is my
2 understanding is we aren't able to correct for the
3 unblinding aspect, and there is judgment implemented
4 here. So that, too, creates some considerable bias
5 when you're using clinical judgment about whether an
6 event has occurred and you're unblinded as to the
7 intervention someone is receiving.

8 Let me just comment on a couple of
9 related points that have just been mentioned. You
10 had said that this study is powering for a 50 percent
11 improvement. In fact, I understood that it's
12 powering for a 50 percent reduction in rate.

13 So that's actually powering for a
14 doubling, not a 50 percent, but a 100 percent
15 improvement in time to progression is what you're
16 actually powering for.

17 DR. HOWELL: No, I apologize. I may have
18 made a mistake in that.

19 DR. FLEMING: Okay.

20 DR. HOWELL: It's powered for a 50
21 percent improvement in time to neurologic
22 progression.

1 DR. FLEMING: If it is, then you're under
2 powered in terms of sample size. If you're targeting
3 a 50 percent reduction in rate, which is what I
4 thought the protocol, your materials indicated, then
5 you're properly powered.

6 DR. HOWELL: That's probably an error on
7 my part, and I apologize for that.

8 DR. FLEMING: Okay. I have some related
9 comments, but I'm going to quickly redo some
10 calculations here, and if you could come back to me
11 in a couple of minutes, that would be great.

12 CHAIRPERSON PRZEPIORKA: Dr. Reaman, can
13 you take a moment here to address the questions?

14 DR. REAMAN: Well, I think the sponsor
15 has been vigilant in the design and conduct of a post
16 approval trial. I think there was early difficulty
17 because of problems with the product, and that has
18 certainly delayed the eventual time line.

19 I think there have been some accrual
20 difficulties in the past. That does appear to be
21 improved by the addition of a number of European
22 studies or centers.

1 I think the fact that the study has been
2 extended to European participation will also help in
3 that the agent is not approved for use in Europe. So
4 that the issue related to inability to enter patients
5 on trial because of the availability of this agent
6 shouldn't be as much of a problem.

7 I'm a little bit concerned, however,
8 about the claim that there's randomization reluctance
9 in the solid tumor patients if methotrexate is the
10 drug that has been historically demonstrated to be
11 beneficial. Whether or not someone gets a single
12 intrathecal injection or multiple intrathecal
13 injections over a period of time, if they're not
14 getting an agent which has demonstrated efficacy,
15 then it's hard for me to imagine that just how many
16 times they get that agent is really what they would
17 be concerned about.

18 I have some concerns about the design of
19 the study, as they've obviously been discussed, and
20 it's hard for me to really grapple with the issue of
21 thorough, complete, and adequate documentation of
22 response in a setting where there are no defined

1 objective criteria for the endpoint that is being
2 used.

3 And I would certainly also agree with Ms.
4 Mayer that I think we've lost an opportunity or the
5 sponsor has lost an opportunity to use patient and/or
6 family caretaker reporting in assessing symptom
7 improvement in quality of life, and that's certainly
8 something that should be and could be perhaps in the
9 future considered.

10 CHAIRPERSON PRZEPIORKA: Dr. Martino.

11 DR. MARTINO: I guess I need to be polite
12 right now. This is not a diagnosis where it is
13 difficult to know if your patient is getting worse,
14 and I'm sensing that some of you have this concern
15 that a doctor can't tell that a patient -- I want to
16 remind you of one simple fact that was stated, which
17 is that the methotrexate arm, which has been our
18 standard, the time to progression or to death is 38
19 days. I want to emphasize that point: days, not
20 weeks, not years, days. Okay?

21 This is a rapidly progressive disease.
22 It is actually pretty obvious when your patient is

1 going downhill. Okay? You know, the idea of trying
2 to get patients to make their own assessment and
3 getting families to do it, all of that is well and
4 good. There probably is no physician that I know of
5 who doesn't talk to the patient or the family in
6 reaching the conclusion of is my patient getting
7 worse.

8 So it isn't that those other extremely
9 valuable human beings aren't brought into this
10 equation. You know, a physician treats patients and
11 families. That is the reality of medical practice.

12 So they are not excluded from this issue,
13 but I think we're making this more complex than it
14 really is. I don't think it is half as complicated
15 as we're trying to make this assessment.

16 CHAIRPERSON PRZEPIORKA: Dr. George.

17 DR. GEORGE: Well, I don't want to keep
18 beating the same horse perhaps, but it seems to me we
19 are in a difficult situation here. We've got what
20 sounds like to an outsider anyway or one who doesn't
21 treat these patients, you know, a difficult

1 to assess situation due to variable presentation and
2 no clearly articulated definition of the endpoint.
3 Basically you know it when you see it.

4 I guess that's fine, but I find it rather
5 troubling in a regulatory setting.

6 I was wondering. You do have response
7 rate as one of the secondary endpoints; is that
8 correct? That was in the earlier trial in
9 lymphomatous meningitis response rate.

10 DR. HOWELL: That's correct.

11 DR. GEORGE: And I was a little trouble
12 by, I guess, what Dr. Hirschfield said on the -- I
13 wasn't here when that was presented originally, but
14 the differing numbers we seem to get depending on
15 adherence. What was going on there?

16 It sounded like the seven to one we have
17 in the slide here seemed to be the maximal split, and
18 then there were other things. What were the
19 considerations there?

20 DR. HIRSCHFIELD: Well, I'll comment, and
21 then I think Dr. Williams will make a comment on that
22 also.

1 We all acknowledge that certainly when
2 the studies were initiated in the late '80s and early
3 '90s, the field was without a paradigm on how to
4 conduct these studies and how to assess them, and
5 what we received were data which were essentially
6 from studies initiated in 1992 when, as several
7 people have pointed out, there were no particular
8 standards.

9 And I'll also point out that in our
10 assessment of how to proceed, there is no -- although
11 there's a standard of care in the literature, it's
12 very difficult to find evidence to support what could
13 be considered an active control.

14 Just because methotrexate is used doesn't
15 mean we know either (a) that it benefits patients or
16 (b) the magnitude of that benefit, which is why the
17 study has to be a superiority study.

18 And just the last point in that regard is
19 the estimate of 38 days are based on one study, but
20 in surveying the literature, there's a large range of
21 what can be considered the time.

22 So now to go back to how we came up with

1 these various scenarios, if we would become very
2 strict about these things, then we find it's almost
3 impossible to do an evaluation, and we became
4 flexible and brought that flexibility to the
5 committee to have a discussion on if we would take a
6 series of assumptions, these are the results, and
7 what is your response to it?

8 Now, Dr. Williams.

9 DR. WILLIAMS: Well, I reviewed the NDA
10 with Dr. Van Develde (phonetic), I believe was the
11 fellow at the time, and I don't recall the details.
12 I haven't reviewed the NDA recently, but clearly we
13 were comfortable with the numbers that you've seen
14 presented, that they represented a reasonable
15 surrogate.

16 There were, you know -- I don't even
17 recall the other analyses, but we were comfortable
18 with these, presented them to committee as such. So,
19 you know, I don't think dwelling on other potential
20 analyses is really helpful to this process.

21 DR. PAZDUR: There's another issue that

1 I'd like to deal with we generally don't discuss at
2 ODAC, and that is the manufacturing of the drug. You
3 know, we approved this drug on accelerated approval,
4 and we have a 17 month delay here for manufacturing
5 problems, and I just wondered if we could get some
6 more information on this.

7 Obviously before the NDA is approved,
8 sites were examined and looked at by our
9 manufacturing and chemistry people, and I believe
10 that this was based on your pilot data, and the
11 problem was discovered when there was an increase in
12 manufacturing to what is known as a step-up procedure
13 for manufacturing the drugs for more general use.

14 And could you comment on that further?
15 And again, one of the purposes that we're having this
16 meeting is to discuss potential problems that we
17 could use for a other drugs in the future or to
18 remedy, and I was just wondering as a lessons learned
19 type of situation, what do you think the FDA and
20 yourself can learn from this?

21 DR. HOWELL: The problem arose -- it

1 happened to be synchronous with the step-up in
2 manufacturing, but the problem arose because of a
3 change in what the supplier was doing. So this
4 product is made up of phospholipids and cholesterol,
5 and the raw material goes through a variety of
6 quality assurance steps before it's put into the
7 manufacturing process.

8 When you're dealing with lipids and lipid
9 composition, there are a very, very large number of
10 very subtle chemical complexities to this, and once
11 the problem was discovered, that is, that there was
12 accelerated release of free cytarabine, it took a
13 long time and a very extensive chemical analysis to
14 determine what the problem was.

15 Having then determined that, one can set
16 up an assay to quality assure for that particular
17 chemical variable, but there are so many chemical
18 variables among lipids that one could not reasonably
19 set up an infinite number of quality assurance steps.

20 You learn through your mistakes. You saw
21 that, and you put in the appropriate steps. We

1 were unaware that that was a variable that was
2 important to the stability of the product at the time
3 the NDA was submitted, and we only discovered it
4 through this investigatory process.

5 DR. PAZDUR: But the phospholipid change
6 was being done for the manufacturing step-up
7 procedures, right? It was not going to be
8 entertained for a study medication.

9 DR. HOWELL: I can't comment on that.
10 Perhaps Dr. Schooley, Senior Vice President for
11 SkyePharma could comment.

12 DR. SCHOOLEY: Could you restate the
13 question, please?

14 DR. PAZDUR: I'm interested in
15 understanding the 17 month delay, and I understand
16 obviously it's because of the change in the
17 phospholipid content of the liposome. I'm looking
18 for a kind of lessons learned.

19 When we look at the chemistry and
20 manufacturing of the drug, obviously we visited your
21 plans, looked at the manufacturing process. Why
22 wasn't this discovered at that time? That's what

1 I'm looking at.

2 The drug obviously was approved to go on
3 to marketing. Was it because we approved it on the
4 basis of your pilot manufacturing rather than the
5 actual process that was going to be used in
6 manufacturing?

7 DR. SCHOOLEY: Actually we had scaled up
8 manufacturing. The product was marketed, commercial
9 distribution starting soon after approval. So it's
10 not due to the scale-up process, this problem, or any
11 change that we made to any of the lipids.

12 I think the thing that we learned from
13 the process was that we needed more vigilance in our
14 quality assurance of incoming raw material, which
15 we've recast all of our contracts with our raw
16 materials suppliers to assure that we have a higher
17 level of quality raw materials coming in.

18 DR. HIRSCHFIELD: I'd like to address Dr.
19 George's comment about the rationale.

20 I'd like to point out how difficult it is
21 to do an assessment using that endpoint and not to
22 have any aspersions against any particular

1 parties, but if you follow the protocol you can't
2 get the answer. So we had to do other scenarios, and
3 therefore, having had that experience, we had to
4 choose a different approach in looking at this
5 disease.

6 CHAIRPERSON PRZEPIORKA: Dr. Lippman, do
7 you have a comment before we change sponsors?

8 DR. LIPPMAN: Yeah, just really following
9 up on that, this same issue I was going to raise
10 which Dr. George said. Since there are some concerns
11 and you learned a lot about the different scenarios
12 using response rate and now presumably we can build
13 on that experience, could you just go ahead and do
14 another study using response rates, again, knowing
15 what we learned before, which might be a harder
16 endpoint and get around this debate we're having
17 about what a couple weeks difference in time to
18 detection of progression could have on the
19 statistical interpretation of the study?

20 DR. HIRSCHFIELD: Well, I think no one
21 felt certainly from our previous discussions that the
22 response rate per se, particularly in

1 carcinominous meningitis was an indication of
2 patient benefit, but that true patient benefit would
3 become, as Dr. Martinez pointed out, from some aspect
4 of watching the neurologic progression. That is, the
5 laboratory changes would not necessarily be
6 informative about the patient, given that tumors
7 where clusters could shed. You might have a lot of
8 cells at one visit and none at the other, and yet the
9 patient could be still progressing.

10 CHAIRPERSON PRZEPIORKA: Although that is
11 assuming that your criteria for response exclude
12 clinical criteria, which I don't think we would. I
13 think if you want to see a complete response, you
14 have to say a patient feels better or has stable
15 disease for X amount of time.

16 DR. HIRSCHFIELD: Correct, but as Dr.
17 Howell and our consultants have pointed out, these
18 lesions may not improve in some way, and we discussed
19 that as a potential scenario, that they would come
20 into the trial with a problem, and that taking the
21 therapy would fix that problem.

22 But that didn't seem to be as plausible

1 as asking the question was the problem going to
2 stabilize or was it going to get worse.

3 CHAIRPERSON PRZEPIORKA: Dr. George? Dr.
4 Williams.

5 DR. WILLIAMS: Well, I think the
6 difficulty with this is that, I mean, the whole field
7 is based on cytologic response, but there's very
8 little documentation of what that means.

9 I think everybody agrees that that is a
10 very encouraging finding to see the tumor cells go
11 away, and so I think clearly it will be part of the
12 data that you collect in any study, and it will be
13 very, very interesting to have.

14 What we are trying to do that nobody, I
15 think, has ever done, is actually show that there is
16 documented clinical benefit, but I think at the end
17 of the day when the study is through, we will have
18 not only the primary endpoint. We will have the
19 other data to consider and a lot more data about the
20 previous endpoints.

21 CHAIRPERSON PRZEPIORKA: Ms. Mayer.

22 MS. MAYER: Before we move on, I just

1 want to commend the sponsor for listing this trial on
2 the clinicaltrials.gov database so that it's publicly
3 accessible.

4 I think one source of trial enrollment we
5 haven't openly acknowledged is patients and family
6 members who seek out clinical trials themselves, and
7 I think it should be noted by no means does every
8 trial that is open to enrollment that we've been
9 discussing.

10 The majority of them are not listed. I
11 looked them up last night, in fact, and was a little
12 shocked by that in view of the difficulties with
13 trial accrual that we've been discussing.

14 CHAIRPERSON PRZEPIORKA: Mr. Ohye.

15 MR. OHYE: I'd like to make one small
16 comment in reference to the discussion about doing
17 additional neurological testing. I'd like to remind
18 everyone that this is a transnational study, and any
19 time you introduce a new instrument for testing, it
20 has to be updated, and this can take a lot of time.
21 There are a lot of operational issues connected with
22 this.

1 And based on what I've heard from Dr.
2 Carpenter and others, I would urge that the sponsor
3 be allowed to go forward with this study.

4 CHAIRPERSON PRZEPIORKA: Dr. Fleming.

5 DR. FLEMING: I wanted to return to some
6 of those earlier calculations that we were talking
7 about, but before, just to clarify for my purposes,
8 the expected approximate time to the primary
9 endpoint in the control arm am I understanding might
10 be on the order of 38 days? Is that what we're
11 projecting?

12 I'm a little perplexed then with the
13 enrollment taking the number of months that it's
14 taking, that we would have to enroll 110 people to
15 see 75 events. If the median time to events is
16 somewhere between 30 or 40 to 60 days, then if we
17 enroll --

18 DR. HOWELL: Can I make a correction of
19 fact?

20 DR. FLEMING: Yes.

21 DR. HOWELL: It's not 100 patients, Tom,
22 for them. It's 75 events, 80 patients in the solid

1 tumor arm.

2 In other words, remember that this trial
3 is powered on the solid tumor subpopulation.

4 DR. FLEMING: Okay.

5 DR. HOWELL: We're looking for a 50
6 percent improvement in time to neurological
7 progression in that subpopulation, estimated 75
8 events necessary.

9 DR. FLEMING: Right.

10 DR. HOWELL: So the accrual will
11 continue.

12 DR. FLEMING: Because you're doing two
13 analyses, one in the solid tumor and one in the
14 pooled, and you want to have --

15 DR. HOWELL: Right, until there are
16 approximately 80 solid tumor patients, five more than
17 the events that we need.

18 DR. FLEMING: So at that point you want
19 75 events in the solid tumor group.

20 DR. HOWELL: Right, and at that point we
21 expect to have 110, 120 total patients, solid tumor
22 plus lymphoma accrued.

1 DR. FLEMING: Okay. Let me then move to
2 the two issues. One is you had referred to this
3 being powered to a 50 percent improvement in time to
4 neurologic progression. It is, in fact, as I had
5 thought I read, it's powered to a 50 percent
6 reduction in the rate of progression.

7 That translates into a doubling. So
8 you're actually powered to a 100 percent improvement
9 in time to neurologic progression.

10 The other point that I think I heard you
11 say was when we talk about whether this should be a
12 noninferiority trial, I think the comment you had
13 made is, well, that would be an enormous sample size.

14 And I think there's a misunderstanding
15 here as well. If you are, in fact, powered, as you
16 are, to a doubling, if, in fact, you legitimately
17 could look at this as a noninferiority trial, you
18 could actually have a smaller sample size because if
19 you're presuming you have a doubling to rule out that
20 you're 20 percent worse takes a smaller sample size
21 than to rule out that you're equal.

1 So, for example, to be specific, it takes
2 the exact same sample size to rule out 25 percent
3 worse if I'm 50 percent better, and you had said, I
4 think, your understanding was you're powered to a 50
5 percent improvement.

6 Well, in fact, you are powered to a 50
7 percent improvement if you only have to rule out
8 you're 25 percent worse, and so what becomes critical
9 here is to decide now what is the clinically relevant
10 null hypothesis or what I have to rule out. It is
11 currently a superiority trial, and that means when
12 this study is done, if there's no difference or even
13 just a very trivial positive difference, then you
14 certainly haven't ruled out no difference. You have
15 data suggesting no difference.

16 That is a negative study if, in fact, we
17 are holding ourselves to the criterion of needing to
18 show you're better in this endpoint to time to
19 neurologic progression.

20 On the other hand, if it is judged that
21 in this setting it's adequate to be the same or
22 better and you simply want to rule out you're

1 meaningfully worse, then that clearly should be
2 established today, but then you get into a lot of
3 complexities because you need to define a non-
4 inferiority margin, which in fact requires us to know
5 very clearly how the control regimens influence this
6 clinical endpoint.

7 But the thing that I want to make sure
8 is, in fact, clearly laid out today is if this study,
9 in fact, in the end shows very little difference,
10 slightly better to the same, are we viewing this to
11 be a negative result or are we viewing this to be an
12 acceptable result because we have less frequent
13 administration?

14 CHAIRPERSON PRZEPIORKA: If I can
15 summarize then, we still have some questions about
16 what will happen if this turns out to be a negative
17 study, and perhaps a relook at the statistical
18 planning will actually obviate that problem by
19 making it a non-inferiority study.

20 DR. WILLIAMS: I don't think we ought to
21 pursue that any further because we have no idea what
22 the control arm does. So non-inferiority is not an

1 option.

2 If we were to try to rescue this from a
3 not positive study later, I think it would be by
4 looking at the response rate, the psychologic
5 response rate, the anecdotal evidence. You know, I
6 think that's the only way you would rescue it with
7 this trial, but not by a non-inferiority assessment.

8 We just don't know that the control works in this
9 endpoint.

10 CHAIRPERSON PRZEPIORKA: Dr. Lippman.

11 DR. FLEMING: Are we leaving the point?
12 I just wondered do you have a comment on this point.

13 DR. LIPPMAN: Well, my comment is just
14 following up on this. Again, it would be, I think,
15 very unfortunate to lose this drug if it turns out to
16 be non-inferior to the standard treatment because
17 it's given so infrequently relative to the treatment.

18 It has a tremendous impact, I think, on patient
19 quality of life and so on, and that's why it would be
20 unfortunate if somehow this couldn't be done as a
21 non-inferiority study.

22 Because the fact that it's not better,

1 you know, it has other advantages in terms of the
2 frequency administration.

3 CHAIRPERSON PRZEPIORKA: But I think what
4 I'm hearing is the division is not going to accept
5 that at this point in time, and so perhaps it may
6 require additional conversations between the
7 consultants, the sponsor and the division

8 DR. WILLIAMS: What it would require
9 would be somebody to come with the evidence that this
10 drug works and produces an effect on this endpoint.
11 Now, that's basically the bottom line for any non-
12 inferiority assessment from a regulatory standpoint.

13 DR. FLEMING: But I think what you're
14 saying, Grant, that is critical is to conclude that
15 we have an intervention that is useful, let's say,
16 because it is more favorable in its convenience of
17 administration, we have to know that it's providing
18 meaningful benefit, and if it's the same as the
19 control arm and the control arm doesn't have
20 documented levels of benefit on this endpoint, I only
21 know I'm the same as something that may or may

1 not be effective.

2 But this issue right now, before these
3 data are unblinded, this issue needs to be resolved,
4 and what concerns me is the issue of not doing this
5 as a non-inferiority trial because it's going to
6 cause an enormous sample size is totally a
7 misunderstood concept.

8 Non-inferiority trials are only large if
9 you are assuming no difference and trying to rule out
10 a small inferiority, but you're assuming a big
11 difference. And if you're assuming a big difference,
12 you can more easily rule out inferiority than you can
13 rule out equality.

14 Now is the time for us to understand what
15 our goals are for this trial, and if we believe that
16 it's adequate to be the same, then the study isn't
17 properly formulated. If, on the other hand, because
18 we don't know what the control arm provides to
19 establish benefit we have to show superiority, then
20 it's properly formulated.

21 But then in the end if we're the same, we
22 can't fall back and say, "Ah, we'll like this

1 anyway because it's more easily administered."

2 CHAIRPERSON PRZEPIORKA: Well, I think
3 Dr. Williams has explicitly stated that it will not
4 be an inferiority trial.

5 Dr. Blayney.

6 DR. BLAYNEY: Well, I mean, again, in
7 four or five years when this data is available, we've
8 heard that there are three or four other trials
9 going. It may be that the endpoint of intrathecal
10 methotrexate and the response rate for intrathecal
11 methotrexate can be very precisely estimated because
12 that knowledge is going to change as well.

13 And if you talk about rescuing a trial,
14 that may be available data at that point. I
15 understand the reason for trial design in advance and
16 specifying, but it's a field where the control
17 endpoint is fuzzy. We may have better data three
18 years down the road or five years down the road on
19 that to tighten that estimate up.

20 CHAIRPERSON PRZEPIORKA: Other questions
21 from the FDA or the sponsor for the committee?

1 Dr. Temple.

2 DR. TEMPLE: Yeah. Nobody is
3 unsympathetic to the idea that having something that
4 may or may not work that you don't have to get as
5 often might be worthwhile, but that can't pass legal
6 muster. We have to be able to say that it works, not
7 merely that it's more convenient.

8 So what I hear, Tom, is that nobody
9 thinks we can pin down the effect size of
10 methotrexate. Yes, maybe; maybe later, but not now.

11 DR. FLEMING: And if, in fact, at the
12 time of the review of these data you could, but I
13 would say you only could if somebody is doing a
14 methotrexate control trial right now that's going to
15 establish that.

16 So if, in fact, we are at the end where
17 we are now, where we don't understand the effect of
18 the control, then this study is properly designed,
19 meaning that it has to show superiority, and in the
20 end if we don't show superiority, it hasn't proven
21 benefit even if it's administered less frequently.

22 DR. HOWELL: I would submit that it's

1 not possible to do a randomized trial to establish
2 the benefit of methotrexate against a placebo in this
3 disease. It's a trial which would never get done.

4 And, therefore, in the end we're still
5 left with a quandary despite the fact that we don't
6 have firm evidence based conclusions that
7 methotrexate is effective. That's a regulatory issue
8 that we're going to be left with in the end.

9 CHAIRPERSON PRZEPIORKA: Other comments
10 or questions?

11 I have a question for the committee.
12 We're kind of like midland here. Would you folks
13 prefer to move on to the next drug or take a lunch?

14 Who wants to take lunch? You want us to
15 move on? Okay. We'll get 30 seconds for the
16 sponsors to change computers. Please don't leave
17 your seat unless you're leaving the room, and we will
18 very quickly go to the conflict of interest statement
19 for the next drug.

20 (Whereupon, the foregoing matter went off
21 the record at 11:40 a.m. and went

1 back on the record at 11:43 a.m.)

2 CHAIRPERSON PRZEPIORKA: Ms. Clifford is
3 ready to read the conflict of interest statement.

4 MS. CLIFFORD: The following announcement
5 addresses the issue of conflict of interest with
6 respect to this portion of the meeting and is part of
7 the record to preclude the appearance of conflict.

8 To determine if any conflicts have been
9 made, the agency reviewed the submitted agenda for
10 this meeting and all relevant financial interests
11 reported by the committee participants.

12 The conflict of interest statute
13 prohibits special government employees from
14 participating in matters that could affect their
15 personal and imputed interests. However, the agency
16 may grant a waiver if the need for the individual
17 service outweighs the conflict created by the
18 financial interest.

19 Accordingly, waivers have been granted to
20 the following individuals that permit them to
21 participate fully:

1 Dr. Blayney for owning stock in one of
2 the sponsors of Celebrex worth between 25,001 to
3 \$50,000;

4 Dr. Kelsen for owning stock in one of the
5 sponsors of Celebrex worth from 5,001 to \$25,000;

6 Dr. Fleming for serving on two data
7 monitoring committees for one of the sponsors of
8 Celebrex for which he receives less than \$10,000 a
9 year. The activities of the committees are unrelated
10 to the product at issue.

11 A copy of these statements may be
12 obtained by submitting a written request to the
13 agency's Freedom of Information Office.

14 In addition, Mr. Ohye is the acting
15 industry representative. Mr. Ohye would like to
16 disclose that he owns stocks in one of the sponsors
17 of Celebrex.

18 In the event that the discussion involves
19 any other products or firms not already on the agency
20 for which an FDA participant has a financial
21 interest, that participant should exclude

1 him or herself from such involvement, and the
2 exclusion will be noted for the record.

3 With respect to all other participants,
4 we ask in the interest of fairness that all persons
5 making statements or presentations disclose any
6 current or previous financial involvement with any
7 firm whose products they may wish to comment upon.

8 CHAIRPERSON PRZEPIORKA: Could the new
9 members from the group from the FDA please introduce
10 themselves?

11 DR. AVIGAN: I'm Mark Avigan. I'm the
12 Deputy Director of the Drug Risk Evaluation Division
13 in CDER.

14 DR. JUSTICE: Robert Justice, Director of
15 the Division of Gastrointestinal and Coagulation Drug
16 Products.

17 DR. GALLO-TORRES: Hugo Gallo-Torres.
18 I'm a gastroenterologist and a medical team leader in
19 the FDA division.

20 DR. NAIR: Naroyan Nair, Medical Officer,
21 Division of GI and Coagulation Drug Products.

1 CHAIRPERSON PRZEPIORKA: Thank you.

2 Our sponsor for this session is Dr. David
3 Vlock from Pharmacia to discuss Celebrex, the
4 indication being reduction in the number of
5 adenomatous colorectal polyps in familial adenomatous
6 polyposis patients.

7 DR. VLOCK: Okay. Thank you, and good
8 morning.

9 Advisory Committee members,
10 representatives of the FDA, as mentioned, my name is
11 Daniel Vlock, and I'm Senior Director of Clinical
12 Research of Pharmacia.

13 Today we are here to provide an update on
14 the status of our Subpart H post approval commitments
15 for Celebrex in the treatment of familiar adenomatous
16 polyposis, or FAP.

17 Besides myself, the following individuals
18 will be able to answer any questions for the
19 committee. they are Dr. Langdon Miller and Kenneth
20 Verburg, both in clinical research at Pharmacia; Dr.
21 P.K. Narang, Regulatory Affairs at Pharmacia; Dr.
22 Kerry Barker, in biostatistics at

1 Pharmacia; and Drs. Bernard Levin and Patrick Lynch
2 of M.D. Anderson Cancer Center in Houston.

3 To being, Pharmacia is fully dedicated to
4 completing its post approval commitments. As you
5 heard yesterday from the FDA, Pharmacia has completed
6 Subpart H requirements for Zinecard and Camptosar.

7 We are similarly dedicated to insuring
8 completion of our commitments for celecoxib in FAP,
9 and our post approval program is underway.

10 Our agenda is shown on this slide. We
11 will present an overview of FAP, its disease course
12 and management. We will then briefly present the
13 results of the pivotal trial that was the basis for
14 approval.

15 Following that, we will review the
16 indication that was granted and the subsequent
17 Subpart H commitments.

18 We will then present a brief chronology
19 of events highlighting the progress we have made
20 towards fulfilling those commitments.

21 FAP is a rare, life threatening disease

1 resulting from an autosomal dominant alteration in
2 the adenomatous polyposis coli gene or the APC gene.

3 There are approximately 300 new patients diagnosed
4 in the United States each year. Overall, FAP
5 accounts for one percent of all colorectal cancers
6 in the U.S.

7 The two photos shown here illustrate the
8 gross morphology of FAP. On the left is a surgical
9 resection demonstration numerous adenomatous adenomas
10 that carpet the colon or rectum. On the right is a
11 colonoscopic view of the same thing.

12 Adenomas begin to develop in early
13 adolescence. These patients can develop between 100
14 and 5,000 colorectal adenomas.

15 The cancer risk in these patients
16 increases with the number of adenomas and if left
17 untreated, these individuals have a 100 percent
18 colorectal cancer risk with a medium life expectancy
19 of 42 years.

20 The current management of FAP requires
21 lifelong endoscopic surveillance, a prophylactic
22 colectomy with ileorectal anastomosis, which usually

1 occurs around the age of 18 to 20.

2 This may be the first of multiple
3 surgical procedures, including removal of the
4 remaining rectum and also a duodenal resection.

5 Because of the limitations of routine
6 surveillance and the risk of surgery, there was an
7 interest in developing a medical treatment as an
8 adjunctive therapy for FAP.

9 Clinical evidence supporting the FDA
10 approval of celecoxib in the therapy of FAP was
11 derived from a randomized, double blind, placebo
12 controlled study conducted at M.D. Anderson Cancer
13 Center and St. Mark's Hospital. This study was
14 sponsored by the NCI with funding and support from
15 Pharmacia.

16 Patients were randomized to placebo for
17 one of two different doses of celecoxib. The primary
18 efficacy outcome for the study was the percent change
19 from baseline in colorectal polyp number as
20 determined after six months of treatment.

21 The scope and conduct of this trial
22 emphasizes the rarity of this condition. This was

1 the largest prospective randomized trial performed in
2 FAP. Despite a large referral base from the U.S. and
3 U.K., it took two years to complete enrolling 83
4 patients.

5 A shown in this figure, celecoxib, 400
6 milligrams b.i.d., for six months reduced the mean
7 number of colorectal polyps by 28 percent from
8 baseline. This was highly statistically significant
9 compared to patients receiving placebo.

10 Although there was a positive trend in
11 the 100 milligram b.i.d. dose, it did not reach
12 statistical significance.

13 In addition, the 400 milligram b.i.d.
14 dose of celecoxib was well tolerated.

15 On December 23rd, 1999, the FDA granted
16 accelerated approval for celecoxib, and I quote, "to
17 reduce the number of adenomatous colorectal polyps in
18 familial adenomatous polyposis as an adjunct to usual
19 care."

20 As noted in the complete indication shown
21 here, there remained outstanding questions with
22 respect to clinical benefit, persistence of

1 effect following drug discontinuation, and long-term
2 efficacy and safety.

3 Prior to approval, discussions between
4 Pharmacia and the FDA took place to determine the
5 design of the confirmatory trials. Pharmacia and the
6 FDA agreed to the following Subpart H post approval
7 commitments.

8 The first of these, an FAP phenotype
9 suppression study, was designed to verify clinical
10 benefit. This is a placebo controlled trial in
11 patients who are genotypically positive, that is,
12 they have the APC mutation, but are phenotypically
13 negative, that is, they have not yet developed
14 adenomas.

15 And the second was a FAP registry with an
16 objective to determine both efficacy and safety
17 parameters associated with short and long-term
18 exposure to the drug.

19 Let me now discuss our efforts with the
20 phenotype suppression study. As originally
21 envisioned, the phenotype suppression study was a
22 Phase 3 study of celecoxib in genotype positive,

1 phenotype negative children. Patients were to be
2 randomized to either placebo or celecoxib, 400
3 milligrams b.i.d., in a one-to-two ratio.

4 A total of 231 patients were to be
5 recruited and treated for five years. The primary
6 endpoint was the time to the appearance of the first
7 adenoma.

8 Plans for this Phase 3 study are still in
9 place. However, as seen in the next slides, a
10 preliminary Phase 1 trial became necessary.

11 The following is a brief chronology of
12 events involving the program. The FDA concurred with
13 the study concept in December 1999. As with the
14 pivotal trial, which was a successful partnership
15 with the NCI, a similar collaboration was established
16 here.

17 The NCI issued a request for proposals to
18 perform a Phase 3 study. The NCI would sponsor the
19 trial, and Pharmacia would provide study drug and
20 additional monetary support.

21 Seven months later, after the accelerated
22 approval for celecoxib in FAP, the RFP

1 was awarded. M.D. Anderson was designated the lead
2 institution of a collaboration involving seven other
3 academic centers with an expertise in FAP, and they
4 are listed here.

5 Subsequently, a number of discussions
6 with the NCI and participating institutions took
7 place. There were concerns about the conduct of a
8 study in a pediatric population. One of the primary
9 issues was the limited information regarding the use
10 of celecoxib in children.

11 It was concluded that a pilot dose
12 ranging study was needed. As a consequence a Phase 1
13 protocol was developed. A proposal that included
14 both a Phase 1 and Phase 3 study was submitted to the
15 FDA in January of 2001. In April the FDA reviewed
16 the proposal and agreed to this approach.

17 However, three revisions of the protocol
18 were required to address the complex issues inherent
19 in performing clinical research in this pediatric
20 population. That involved invasive procedures, use
21 of a placebo group, and the inclusion of psychosocial
22 testing.

1 Because of these discussions and the
2 necessary revisions, it took a year for the protocol
3 to be finalized.

4 So this is a summary of the Phase 1
5 design. Participating sites include M.D. Anderson,
6 Texas Children's Hospital, and the Cleveland Clinic.

7 Three successive cohorts of children
8 between the ages of ten to 14, four on active
9 therapy, two on placebo, will be enrolled to receive
10 treatment with celecoxib at two, four, or eight
11 milligrams per kilogram PO b.i.d. for three months
12 for each cohort, at a dose range of 100 to 400
13 milligrams b.i.d.

14 The primary endpoint of the trial is the
15 identification of a safe dose in children for the
16 subsequent Phase 3 trial.

17 Let me return to the time line. A final
18 protocol was approved by M.D. Anderson IRB in
19 February of 2002. Shortly there afterwards it was
20 submitted to the FDA and soon after that a site
21 initiation meeting was held.

22 At around that time, it was found that

1 developmental delays and investigational formulation
2 favored by the clinicians had been encountered.
3 Rather than delay the program any further, it was
4 elected to amend the protocol to permit the use of
5 the commercially available capsules.

6 In December 2002, the first patient was
7 enrolled. To date six patients have been entered in
8 the first cohort. Based on current time lines, it is
9 anticipated that the Phase 3 trial will begin the
10 first quarter of 2004, with the last patient in at
11 2006. Final analysis is planned for 2011.

12 Let me now turn to the FAP registry.
13 This is a summary of the trial design. It was
14 conceived as an observational registry studying
15 patients receiving celecoxib compared to historical
16 controls. The primary endpoints were the time to FAP
17 related events and adverse events.

18 The chronology of the events in the
19 registry is as follows. Following FDA agreement with
20 the concept, the sponsor consulted with a number of
21 experts in the field. These experts raised concerns
22 that the data might have relatively

1 limited value. Since celecoxib had just been
2 approved for use in FAP, the types of patients who
3 had received the drug in actual clinical practice had
4 not been characterized.

5 It was also noted that changes and
6 improvements in therapeutic approaches over time
7 where the complexity of surgical decisions might
8 compound comparison with historical controls, and the
9 time to an FAP event may be quite long in many
10 patients, making adequate duration of follow-up
11 impractical.

12 Prior to discussing these concerns with
13 the FDA, it was felt that a well developed
14 alternative to the registry should be offered.
15 Preclinical studies had shown synergy between
16 celecoxib and difluoromethylomithine, or DFMO.
17 Because of the clinical interest in developing
18 combination therapy in this disease, discussions were
19 begun with ILEX Pharmaceuticals and the NCI.

20 At a meeting in May 2000, a controlled
21 clinical trial evaluating the use of celecoxib with
22 or without DFMO in FAP patients was decided upon.

1 Over the next several months, a protocol and
2 collaborative agreement were developed with the NCI-
3 Ilex Pharmaceuticals.

4 A protocol was submitted to the FDA in
5 December of 2000.

6 In April 2001, a meeting was held with
7 the FDA. The alternative study was not accepted by
8 the FDA. The FDA felt that the proposed DFMO study
9 did not address Subpart H commitments as it did not
10 provide direct data on the clinical benefit of
11 celecoxib or address long-term safety.

12 The FDA stated it still considered the
13 registry worthwhile. The agency acknowledged that
14 new therapies and differences in clinical practice
15 may confound analysis, but it still considered this
16 approach preferable.

17 As a consequence, efforts were refocused
18 on the FAP registry.

19 One month later, Pharmacia began planning
20 for a registry. Under the sponsorship of M.D.
21 Anderson, a partnership with a collaborative group of
22 the Americas on colorectal cancer, or CGA,

1 was pursued.

2 The CGA is a recently formed consortium
3 of 17 registries and clinics in the U.S., Canada and
4 South America. To gain acceptance by the CGA, it was
5 necessary to wait for formal presentation of the
6 concept at the CGA annual meeting in October 2001.

7 The proposal for a provider driven,
8 multi-institutional registry was presented in concept
9 by M.D. Anderson to the CGA. Following that meeting,
10 M.D. Anderson was contracted to design and develop a
11 Web based registry.

12 In April 2002, a full protocol was sent
13 to the CGA membership for review. However, upon
14 further review, response to this protocol by the CGA
15 was not positive. It was felt that data entry would
16 be too labor intensive for health care providers,
17 thereby limiting collection of data.

18 Given this concern, M.D. Anderson worked
19 with Pharmacia to develop a registry that would allow
20 data to be entered on a Web site directly by
21 patients. It was felt that the FAP population was
22 motivated, was very aware of their condition, and

1 could provide accurate information on their condition
2 and treatment.

3 The revised Web-based patient entry
4 registry was presented to various collaborators and
5 genetics counselors who expressed a willingness to
6 participate in the protocol and would encourage their
7 patients to register.

8 In October, the concept of patient based
9 registry was presented at the CGA annual meeting.
10 The overall feedback prompted Pharmacia and M.D.
11 Anderson to fully develop a Web based patient
12 registry. Protocol for the registry was submitted to
13 the M.D. Anderson IRB in December 2002.

14 The M.D. Anderson IRB reviewed the
15 protocol in January of 2003. It did not recommend
16 approval. The IRB cited lack of source data
17 verification and patient confidentiality as reasons
18 for disapproval.

19 Pharmacia has recently revised the
20 registry in conjunction with major existing FAP
21 registries. A protocol summary has recently been
22 submitted to the FDA.

1 The following is a summary of the current
2 registry design. Sites under consideration are those
3 with well established FAP registries. It is
4 conceived as an observational registry assessing
5 patients receiving celecoxib compared to historical
6 controls.

7 Objectives of the registry are to
8 describe characteristics of the population of the
9 patients with FAP who receive celecoxib in clinical
10 practice, describe current patterns of celecoxib
11 abuse, evaluate the long-term safety of celecoxib,
12 assess the extent to which use of celecoxib may alter
13 management, and determine the impact on the incidence
14 of FAP related events.

15 In conclusion, Pharmacia is fully
16 dedicated to completing its post approval
17 commitments. Of the three Pharmacia drugs approved
18 under Subpart H, the commitments to Zinacard and
19 Camptosar have been fulfilled.

20 In FAP we have encountered a number of
21 challenges due to the rarity of the disease, special
22 considerations related to the conduct of studies in

1 children, and specialized site coordination and study
2 design complexities in implementing the FAP registry.

3 To summarize, the phenotypes suppression
4 program that will verify clinical benefit has begun.

5 There is continuing progress in implementing a
6 registry utilizing well established FAP registries.

7 Thank you very much. My colleagues and I
8 will be pleased to answer any questions you might
9 have.

10 CHAIRPERSON PRZEPIORKA: Does anyone from
11 the FDA have a comment? Dr. Nair.

12 DR. NAIR: Yeah, I have some brief
13 comments and questions, and Dr. Gallo-Torres and Dr.
14 Avigan also have some brief comments.

15 One question I wanted to address to the
16 sponsor is in terms of your Phase 3 phenotype
17 suppression trial, could you discuss what your
18 secondary efficacy endpoints would be to describe
19 clinical benefit?

20 DR. VLOCK: Dr. Lynch is the lead on
21 that.

1 Could you possibly go into that? Oh, I'm
2 sorry. You can't hear. Dr. Lynch, would you care to
3 address that?

4 Dr. Lynch is the lead PI on that study.

5 DR. LYNCH: Yes. One very important
6 secondary efficacy endpoint is the status of aberrant
7 crypt foci. Gastroenterologists feel that aberrant
8 crypt foci are micro-micro adenomas that precede
9 adenomas, but there's no knowledge whatsoever about
10 the time course from the development of early micro
11 adenomas to microscopically evident adenomas.

12 And in the course of this study we'll
13 have really a unique opportunity to characterize the
14 mucosa insofar as the presence of aberrant crypt foci
15 in these individuals prior to the onset of clinically
16 evident adenomas. And we may very well be able to
17 demonstrate the ability to modulate the numbers of
18 aberrant crypt foci that are present even before the
19 presence of adenomas, which is the primary endpoint.

20 DR. AVIGAN: Just as a follow-up to that

1 and sort of a background to that question, the
2 concern with regard to this Subpart H idea, of
3 course, is to link the original observation about
4 polyp suppression, which was the basis of the Subpart
5 H approval, with a clinical endpoint.

6 And as I recall with the adolescent
7 population, one of the rationalizations for real
8 clinical benefit would be the potential for delay of
9 surgery, and that from the pediatric perspective
10 might be something that you can get your hands
11 around.

12 Is that a separate measure that you're
13 planing to do and, in fact, how will you do that?

14 DR. LYNCH: Yes. That is an endpoint of
15 the study. In individuals who do respond, who have a
16 delay in the development of adenomas, they will be
17 followed until the time of a surgical event, such as
18 a colectomy, and there is a provision which is still
19 being formulated for the full Phase 3 component of
20 this, which is still only in draft form at this
21 point, basically for taking individuals who are found
22 to be on the placebo arm at the time of first

1 adenoma, and essentially crossing them over to active
2 drug for further interval of treatment.

3 DR. AVIGAN: And just the final follow-up
4 to that question, will the surgeons be blinded to the
5 drug the patients are on?

6 DR. LYNCH: Yes.

7 CHAIRPERSON PRZEPIORKA: Dr. Kelsen.

8 DR. KELSEN: Could you describe the
9 status of your trials in SAP, the two completed
10 trials, and comment as to whether you have trials in
11 HNPCC and briefly review the rationale for using COX-
12 2 inhibitors in polyps in adults?

13 DR. VLOCK: Okay. For SAP, I think that
14 was Slide No. 14. There we go. Back one.

15 This is an overview of the two pivotal
16 trials that we are performing, Study 018 and Study
17 005. These have enrolled and randomized 35/100-plus
18 patients to receive either placebo or celecoxib at
19 the doses that you see here, and the endpoint is a
20 reduction in the number of adenomatous polyps at year
21 three.

22 Yes?

1 DR. KELSEN: Could you comment on any
2 studies you may have performed or are being performed
3 in HNPCC?

4 DR. VLOCK: I think, Pat, you can respond
5 to that.

6 DR. LYNCH: Yes, let me address that.

7 A trial very similar in design to the
8 original FAP trial actually has been completed in
9 HNPCC. Because of the extraordinary infrequency of
10 adenomas in this population and the short interval of
11 observation of one year, this was strictly a
12 biomarker endpoint trial, modulation of mucosal
13 biomarkers. The analysis of that biomarker data is
14 nearing completion.

15 DR. KELSEN: And could you just review
16 for the committee the rationale which we all know,
17 but just to go over it again, of using adult polyps
18 and using COX-2 inhibitors and similarly linking that
19 to FAP?

20 You're doing it for the same reason.

21 DR. LYNCH: I'm sorry. I'm not sure I'm
22 understanding the question.

1 DR. KELSEN: All right. The reason that
2 you studied celecoxib in FAP patients is because
3 you're down regulating COX-2. The reason you're
4 studying in HNPCC and you're studying it in SAP is
5 for the same rationale, correct?

6 DR. LYNCH: Yes. The thinking being that
7 FAP is actually an excellent model because of the
8 relative homogeneity of the population as far as
9 their genetic risk is concerned, the ability to
10 quantify adenomas and eventually be able to
11 extrapolate that extreme to the SAP population, which
12 is in the process of being done.

13 DR. KELSEN: All right. I guess my point
14 will be later on that you can look at it in the
15 reverse fashion as well. FAP is extremely rare.
16 It's hard to accrue patients in trial. SAP and HNPCC
17 are far more common, and you may be able to reach in
18 your post marketing studies to this same aim through
19 a different pathway.

20 CHAIRPERSON PRZEPIORKA: Dr. Martino.

21 DR. MARTINO: I need a better
22 understanding of the long-term known toxicities of

1 using this dose, and I'm particularly thinking of the
2 patients that are going to go into the phenotype
3 suppression population, which are adolescents.

4 And I realize that the endpoint is time
5 to their first polyp, so to speak, but potentially if
6 this works, you then are going to be having
7 adolescents on this for much of their life, I would
8 think.

9 What do we know about long-term toxicity
10 in adults versus a younger population?

11 DR. VLOCK: I think that's an excellent
12 question. I think that there are a few ways to
13 address that.

14 Lynn, if you could pull up I believe it
15 is Slide 27.

16 I think that -- no, back one. I'm sorry.

17 I apologize -- I think that this is what we know
18 right now in a lot of this, that in the FAP study,
19 the pivotal study, that was a limited study of six
20 months, and I think that was appropriate because we
21 did not know what the efficacy was going to be, and
22 it was not felt that we could continue

1 patients that way.

2 In that setting the dose of celecoxib in
3 those was well tolerated.

4 In terms of trying to prolong this right
5 now, what is preceding that now is information that
6 we now have in another population, which is the SAP
7 population, and as we mentioned previously with the
8 randomized trials, over 3,000 patients have been
9 randomized, and of that group approximately 600 of
10 those individuals are receiving the same dose as in
11 FAP, which is the 400 milligrams of b.i.d. dose.

12 That dose, that treatment goes on for
13 three years in that population, potentially even
14 longer. We don't have privy to hook to the unblinded
15 information right now, as would be obvious.

16 However, that data is being shared every
17 six months with two independent DSMBs that review the
18 data fairly intensively, and to date there have been
19 no concerns of any safety concerns that have been
20 raised in those groups, and the studies are
21 continuing.

1 So as it gets back to the population with
2 children, that data is essentially moving forward and
3 proceeding in advance of these longer term effects in
4 children.

5 CHAIRPERSON PRZEPIORKA: Dr. Lippman.

6 DR. LIPPMAN: I wonder if you could
7 clarify the proposed design of celecoxib and DFMO.
8 Was that a two-by-two factorial design? Do you
9 know?

10 DR. VLOCK: No, it was just a straight
11 randomization between the two arms.

12 DR. LIPPMAN: And the two arms were?

13 DR. VLOCK: It was celecoxib and
14 celecoxib plus DFMO.

15 DR. LIPPMAN: So then my question to the
16 agency is why was that turned down. I mean, that
17 seems to be in many ways better than a registry
18 compared to historical controls.

19 DR. AVIGAN: I just want to clarify a
20 couple of points. The two are certainly not mutually
21 exclusive. The discussion that we held about this
22 particular study had to do with its

1 context, that is, as the fulfillment of the Subpart H
2 rather than as a freestanding study to improve the
3 field and move it forward.

4 Let me also clarify another point about
5 the labeling, how the drug has been approved. It's
6 stipulated in the labeling if you look at it that the
7 celecoxib therapy for familial adenomatous polyposis
8 is adjunctive to standard of care, which essentially
9 is regular screening and, in fact, prophylactic
10 proctocolectomy.

11 The labeling stipulates that that should
12 not be changed in any way, and one of the concerns on
13 the safety side that we have about this agent is that
14 when it's being put out there, albeit the patient
15 population is small, that clinicians or patients may
16 misunderstand its niche in context to other
17 modalities and therapies.

18 So one of the measures we wanted to have
19 in an observational sense is to find out whether
20 there were bad outcomes because of misunderstanding
21 of how the drug would be used, that is, inappropriate
22 delay of surgery, inappropriate loss

1 of surveillance or lack of surveillance at
2 appropriate times.

3 So that was part of the rationalization
4 to go ahead and do an observational study.

5 DR. FLEMING: Could I add to the answer
6 maybe to this, too?

7 CHAIRPERSON PRZEPIORKA: I believe Dr.
8 Lippman still has the floor.

9 DR. LIPPMAN: But, I mean,
10 misinterpreting the label won't be the first case if
11 it happens here. I mean, that's always an issue, and
12 I agree with that, but comparing a registry to
13 historical control seems to me to have a number of
14 issues.

15 And doing a prospective study to get a
16 better handle on celecoxib response rate seems to me
17 a very sort of valid interpretation of what you'd
18 want to do in a Phase 4 commitment.

19 CHAIRPERSON PRZEPIORKA: Dr. Taylor.

20 DR. TAYLOR: My concern was also the
21 toxicity, You've chosen five years to treat these
22 children, and we don't have data on giving the drug

1 for even three years.

2 Any comments on why you picked five
3 years?

4 DR. VLOCK: Pat, would you care to
5 comment on that?

6 DR. LYNCH: Part of the reason for the
7 long duration of the study is that the design
8 requires that they be free of adenomas at study
9 entry. Individuals develop adenomas over a very long
10 time interval. So many of the subjects, regardless
11 of which arm of the trial they're on, will have no
12 adenomas at year one, no adenomas at year two, no
13 adenomas at year three.

14 And so we've had to build into it a
15 window in which they may develop adenomas, and with
16 time to development of adenoma as the endpoint, we
17 have to be able to take into account the fact that
18 even on the placebo arm no adenomas may occur for
19 several years.

20 CHAIRPERSON PRZEPIORKA: A point of
21 clarification in the protocol. If the standard of
22 care is colectomy between the ages of 18 and 20, if

1 the patient hasn't developed any adenomas by that
2 point in time, what is the plan?

3 DR. VLOCK: Well, that's the average time
4 when these adolescents begin to develop a colectomy.

5 The decision to perform a colectomy -- and, again, I
6 would defer to the clinicians here -- is based on
7 what is seen in endoscopic surveillance, and I guess
8 Pat can expand on that.

9 DR. LYNCH: Well, obviously the Holy
10 Grail here would be -- and that's our ultimate goal,
11 is to develop a medical treatment for this surgical
12 disease -- if even in a subset of subjects we can so
13 significantly impact the development of adenomas, we
14 would be prepared from a clinical standpoint to treat
15 a subject indefinitely so long as they have not yet
16 developed adenomas. I mean that would be the
17 ultimate outcome.

18 That's a very optimistic, rosy picture,
19 and we don't necessarily expect that, but we will be
20 following these individuals long term, and if they
21 continue to not develop adenomas, they will continue
22 to be treated.

1 CHAIRPERSON PRZEPIORKA: Other questions
2 from the committee? Dr. Fleming?

3 DR. FLEMING: Yes. I was just going to
4 respond to Scott's question because my immediate
5 sense was what you were saying as well, which is if
6 you're going to propose an alternative to a registry,
7 a randomized trial seemingly would have some very
8 significant advantages.

9 The difficulty though in interpreting
10 this trial is where if I were at FDA I would have had
11 problems. It's basically looking at Celebrex versus
12 Celebrex plus DFMO, which scientifically tells me
13 what DFMO adds to Celebrex. It doesn't specifically
14 address what Celebrex itself is doing.

15 Now, it does, in fact, provide a mini
16 registry, so to speak, because you would have follow-
17 up of the Celebrex participants, but the actual
18 randomization would only be addressing what DFMO adds
19 to Celebrex.

20 DR. LIPPMAN: No, that's correct, but the
21 point is that the registry is really just trying to
22 get a handle on response rate, right, of Celebrex

1 versus a historical control, and so if you're going
2 to use that historical control anyway, I'd rather
3 have the prospective data on celebrex activity than
4 from a registry is my point.

5 DR. FLEMING: If this trial were done,
6 then the basis for judging the role of Celebrex would
7 still have to come from an historical control. You
8 would have the cohort that was in the trial that
9 would receive Celebrex, and you would have to compare
10 it to a group that didn't receive Celebrex.

11 DR. LIPPMAN: Right. No, I agree, but
12 don't you think it would be better to at least have
13 the Celebrex data done prospectively in a control
14 trial so that at least you can say, you know, those
15 data are comparable to the FAP initial trial. You
16 know, limitations of historical control exist either
17 way.

18 DR. FLEMING: I guess my sense of that is
19 I would judge in general terms the randomized trial
20 is always superior if, in fact, I'm randomizing in a
21 manner that I'm understanding what the role is of the
22 agent.

1 So if I want to understand Celebrex's
2 role, I would randomize to some choice of BSC against
3 BSC plus Celebrex.

4 Short of that, if I'm going to have to
5 use historical information anyway, then surely the
6 information I would get from that randomized trial
7 would be useful in what I would look at when I'm
8 doing an historical control assessment.

9 But if I do historical controls,
10 typically then I want much bigger sample sizes than
11 what I would just get from the randomized trial.

12 CHAIRPERSON PRZEPIORKA: Dr. Kelsen, it
13 doesn't seem that there are potentially major
14 problems with this protocol and if it should turn out
15 to be positive, it would be great, but if you can
16 address the questions that have been posed.

17 DR. KELSEN: Thank you.

18 Well, this is a little different than the
19 other applications we've seen in the last several
20 days because the purpose of this group of studies is
21 to prevent a process that can lead to cancer rather
22 than to treat a cancer itself.

1 If polyps themselves are pre-malignant,
2 then the idea that a reduction in the number of
3 polyps as opposed to removing them by colonoscopy
4 will decrease the risk of cancer is a very plausible.

5 It's a little controversial if you just reduce the
6 number of polyps you will prevent cancer, but
7 certainly it's a reasonable hypothesis.

8 It does have some things in common with
9 the applications we heard earlier today and yesterday
10 thought. The disease they were talking about for the
11 indication is a rare disease. There are very few
12 patients per year in the United States, and all of
13 the issues regarding accrual and eligibility, et
14 cetera, that we dealt with three or four times in the
15 last couple of days hold for this.

16 Having said that, if we look at the
17 question, has accrual to an ongoing study been
18 satisfactory, well, it's a very rare disease.
19 Accrual to the Phase 1/3 trial was slow to get
20 started, but I think clear, strong efforts were made,
21 and I'm glad that they've gotten that underway.

1 It is a little disappointing that the
2 registry trial hasn't started yet, but I think
3 sponsor has indicated strong efforts to try to get
4 that done, and I believe at least they will make a
5 very strong effort.

6 I am reassured a little bit in the sense
7 that in a different way of trying to get to the
8 answer of do COX-2 inhibitors decrease the number of
9 polyps, there are adult models to use, and they have
10 already completed or are near completion. I think
11 they have completed the two large SAP trials, which
12 will give us information in large numbers of adults.

13 We will have toxicity data at least for a fairly
14 long period of time in some of those studies.

15 And I understand there's at least one
16 HNPCC trial that's been done. We should have some
17 information from that. Perhaps sponsor would
18 consider another HNPCC trial where people can get
19 malignancies from a number of different organs so
20 that there's more of a link to FAP with that to try
21 to answer a question in a much more common
22 population.

1 Is there strategies they can pursue for
2 FAP other than they've done? I think they're working
3 hard to link up with the appropriate registries to
4 try to address it through the registry issue. It
5 sounds like you're going abroad, as well as in the
6 United States. I think you're doing what you can do.

7 And they have certainly at least gotten
8 their Phase 1 underway. So I think we'll eventually
9 get to the Phase 3. So I answered that.

10 I don't see any change in medical --
11 well, for aspirin maybe -- but I don't see any other
12 change in medical practice except for other ways of
13 medically trying to manage this, which would impact
14 on accrual. So I don't think that's an issue.

15 I think sponsor has made a strong effort
16 to achieve their post four marketing comments.

17 CHAIRPERSON PRZEPIORKA: Before you
18 actually leave that point about aspirin, should
19 something show up in the next five years regarding
20 aspirin in this role, where would that leave us when
21 we start to look at the data later on down the line

1 saying, oh, well, looking at placebo rather than
2 aspirin?

3 DR. KELSEN: I think that's an excellent
4 question. I think the editorial in the New England
5 Journal raised some important caveats about what we
6 should do with that.

7 Has aspirin become the standard of care?

8 My impression from reading -- and I'll be interested
9 in hearing comments from sponsor and from FDA -- was
10 that we're not yet at the point that aspirin is the
11 standard of care, but that is certainly an important
12 issue.

13 Does FDA have comments?

14 DR. AVIGAN: Just on the aspirin
15 question, we have in the geriatric population for the
16 sporadic polyp prevention, that in a sense is a fish
17 of a slightly different color, where we know that
18 there are substantial numbers of people on aspirin
19 for cardiovascular prophylaxis.

20 So we in that context want to know what
21 these interactions or redundancies are. That's a
22 separate question than the hereditary disease and

1 the sort of repertoire of drugs patients are on.

2 Dr. Gallo-Torres has a comment, but I
3 just want to also make a point about the biological
4 behavior of these adenomas in the hereditary disease.

5
6 There is published information that NSAID
7 treatment of patients with FAP occasionally is
8 associated with polyp suppression as a phenotype, but
9 with in certain cases progression to malignancy, the
10 development of malignant CDR. There's such in the
11 literature.

12 In addition, there are animal models
13 which show that one can generate suppression of polyp
14 appearance, but histopathologically there is still
15 the presence of dysplasia.

16 So we have taken a rather cautious view
17 of sort of the endpoint measures and have felt
18 compelled to, as best we can, get a sense of what is
19 happening to patients with regards to cancer
20 prevention long term with this disease.

21 CHAIRPERSON PRZEPIORKA: So what I hear
22 you saying is that potentially you may end up

1 suppressing the clinical indicator of impending
2 malignancy without actually reducing the risk of
3 malignancy.

4 DR. AVIGAN: Right. It's a discussion
5 point, but it is certainly a concern.

6 CHAIRPERSON PRZEPIORKA: Dr. Gallo-
7 Torres.

8 DR. GALLO--TORRES: Thank you.

9 I want to make two comments on the
10 registry because I heard three times already that
11 what appears to be the most important part of the
12 registry is, of course, when it is compared to the
13 historical control, which is true, but that is not
14 the only component of the registry.

15 A registry is a tool that, as many tools
16 are, has both opportunities and constraints. There
17 are many constraints. A registry will never be, of
18 course, able to replace an RCT, randomized clinical
19 trial. We all know that.

20 But it seems to me because, of course,
21 there's no randomization, there's no blindings, and
22 we know these are very helpful tools to, you know,

1 minimize bias, but it seems to me I would also like
2 to say that the newest protocol for the registry for
3 the proposal that is submitted reached our desk just
4 two days ago. So we have not had an opportunity to
5 look into the news modified protocol.

6 But I wanted to make a couple of comments
7 about the registry. The registry is a tool, as I
8 said, that could be very useful. It's being utilized
9 at the moment at the FDA on several drugs, for
10 example, thalidomide, other drugs which are under
11 restricted distribution programs, and there are
12 registries where they're mandatory, others that are
13 not mandatory. There are registries who are under
14 Subpart H. There are other ones which are not under
15 Subpart H. It's not so simple a situation.

16 And looking forward to the protocol that
17 his proposal has written, we are going to look for
18 more or less the following components of the registry
19 in general terms, not specifically because it's not
20 time for that.

21 One would need to specify clearly what

1 the objectives are, and in this case, of course, the
2 objectives have to be linked to what a disposal
3 letter said when the law was approved.

4 We need to anticipate the frequency of
5 drug exposure. We need to use, you know, a
6 comparator loop which is relevant.

7 The sample size to achieve the objective
8 has to be prespecified in the protocol.

9 In the registry we need to be very clear
10 about the eligibility for enrollment with the
11 patients, the source of information. What is the
12 source of information going to be? The physician,
13 the patient, a parent, and so on?

14 What information specifically is going to
15 be collected? It's very important to collect data on
16 colonoscopy. What are the data we're going to
17 collect?

18 What is the information about excluded
19 patients? What did we exclude patients?

20 What are the methods to assess efficacy
21 and the risk? I'm including an analytical prong. So
22 this should be included, whatever is applicable.

1 It is also important to mention that it
2 is very good to have an independent monitoring
3 committee examining the data along the way.

4 Also IRB approval, informed consent.

5 And finally, what criteria are we going
6 to use to terminate the registry?

7 So these are the main initial components.

8 There are many other components to the registry.

9 What I'm trying to say is that maybe,
10 again, the registry may not be able to replace the
11 randomized clinical trial, but it might be able to
12 give us very important information about the efficacy
13 and the safety of the drug.

14 That's all I had to say about it.

15 CHAIRPERSON PRZEPIORKA: Thank you.

16 Dr. Lippman.

17 DR. LIPPMAN: You know, the discussion
18 that David raised and, you know, I guess Mark
19 commented about what's going on in HNPCC, and then
20 the phenotype suppression study and the SAP studies
21 illustrate what we've learned on most of these

1 accelerated approvals over the past few days, is that
2 the Subpart H, the Phase 4 commitment, really is done
3 to learn more about the drug in different settings.

4 You know, what happens in SAP or HNPCC
5 does not negate what happened in FAP. So you learn
6 more about it, and I think that's a good thing, but I
7 mean, we have to rethink what the purpose of the
8 Subpart H because, again, as David mentioned, the
9 actual data on the direct endpoint would not pass
10 this committee as an initial registry. I mean you
11 just have limitations when you're in that setting.

12 So really the best studies, the most
13 rigorous studies are learning more about the agent in
14 different contexts, earlier disease, nonhereditary,
15 and so on.

16 CHAIRPERSON PRZEPIORKA: Dr. Pelusi.

17 DR. PELUSI: Again, we hear more about
18 registries over the last couple of days where that
19 keeps becoming a very common thing, and I think
20 especially when we're looking at the pediatric
21 population and long-term survivors.

1 Again, we may not know exactly what we're
2 collecting today, but does it at least provide us
3 information in the future that may show some trends
4 or something to go back for and also an easy way to
5 be able to find those patients long term.

6 And I think, again, really looking very
7 closely at what needs to go in registries and how
8 they can be developed in different populations, and
9 it also speaks strongly -- I think the sponsor did
10 talk to the fact that many of these rare diseases
11 have very active patient groups that are very
12 responsive to participating, and we don't need to
13 forget that at all.

14 CHAIRPERSON PRZEPIORKA: Yeah, I want to
15 just add to that that through the course of the
16 presentation what struck me the most was the time
17 line and the delays, and none of which were
18 essentially due to the FDA itself.

19 And I was especially struck by the fact
20 that this is a drug which we hope would be useful in
21 many different indications, and yet development of
22 the pediatric formulation started after accelerated

1 approval as opposed to much earlier in drug
2 development, as though it were an afterthought and
3 not actually a part of the drug development schema.

4 So I'm very concerned that in the future
5 if we have drugs go through accelerated approval, I
6 would hope that the sponsors would have pediatric
7 formulation thought about and even pediatric studies
8 started much earlier, especially if they're going to
9 be part of the Phase 4 commitment.

10 The other thing that I was concerned
11 about was the back-and-forth with the registry. As
12 Jody pointed out, there are already established
13 registries out there, already leaders in this very
14 small field, and if anyone is going to try to
15 overcome the politics in such a small field, one
16 needs to go to big guns, leaders in the field very
17 specifically who have pretty much political control,
18 and that is very difficult. That's extremely
19 difficult especially with an international
20 environment.

21 And I have to applaud you for doing this
22 in this kind of a group, and I wish you well.

1 DR. VLOCK: Thank you.

2 DR. GALLO--TORRES: Just a brief comment
3 regarding the registry. We have, the FDA has no
4 guidance other than a registry for pregnancies.
5 There are several, you know, being under work.

6 I do have maybe one question or two
7 toward the sponsor. You are going to utilize
8 registries for other than -- I'm sorry -- you're
9 going to utilize registries other than the United
10 States?

11 DR. VLOCK: Yes, that's what we're --

12 DR. GALLO--TORRES: Would you explain a
13 little bit about what kind of registries are those,
14 what sorts, what countries, and so on, if possible?

15 DR. VLOCK: Yes. We are in conversations
16 with a few of the registries in Europe at the same
17 time, as well, too. Certainly that was how the
18 pivotal trial was done, as well, too, which was a
19 collaboration between U.S. and U.K. sites.

20 And so we're going back to those sources,
21 those large, well established registries, and are
22 having active discussions with them as we

1 speak to utilize their resources both in the U.S. and
2 in Europe.

3 DR. AVIGAN: I also want to just follow
4 up on the concept of the registry and the issue of
5 getting the detailed information from the registry
6 which will be useful in assessing clinical issues,
7 safety and benefit issues.

8 There are going to be some details, and
9 some of these details are related to the time line of
10 clinical events in patients who have been exposed to
11 the celecoxib, you know, in terms of what then
12 happened to them.

13 Do they go for the colonoscopies? Were
14 there lesions found? Did they have surgery? Did
15 they end up breaking through and have kind of that
16 sort of information? Will you be able to garner that
17 on a patient-by-patient basis, you know, from the
18 registry?

19 And then there are other details, as
20 well, about the registry. The genotype in this
21 disease is somewhat linked to the phenotype. The
22 site of the mutation, the gene actually has a n

1 impact on how, you know, how many polyps you get and
2 what the exact phenotype is.

3 So different kindreds can have slightly
4 different complexions without treatment even. So
5 that also has to be taken into account as you build
6 the kind of case of comparison.

7 And, again, I would be interested in
8 knowing how you're going to link your registry data
9 with the exposure to the drug, the details of that,
10 and then the clinical outcome issue.

11 DR. VLOCK: Well, again, I think it will
12 be very interesting discussing, you know, in detail
13 the summary that we've submitted of that way. I
14 think the plan on this is that a lot of the
15 information that you're asking for already is in
16 existing registries, and some of them are, you know,
17 almost a century old. The one in the U.K. goes back
18 to, I think, 1914, something like -- it goes back a
19 long way.

20 So there is data following therapies for
21 a long period of time, and these registries also
22 routinely capture genotypic information on these

1 patients.

2 So the challenge for us is to link the
3 drug back in to take advantage of that database and
4 then move forward both, I think, retrospectively
5 because now Celebrex has been around for three years
6 in the U.S., and then prospectively to follow that
7 and link it into what are some very well established
8 and strong databases.

9 CHAIRPERSON PRZEPIORKA: Dr. Fleming.

10 DR. FLEMING: Well, I think maybe I'm
11 just reinforcing what a few people have been saying.

12 As I look at this total picture here, what we know
13 is a result from the 001 trial, that there's a 28
14 percent reduction in the cancer polyps, and yet
15 what's sobering is the realization of what you've
16 indicated, that untreated 100 percent of these
17 patients will progress to colorectal cancer, and it
18 makes me think that if you have documented short-term
19 reductions on the order of 25 percent and 75 percent
20 remain and who knows about longer term.

21 And if 100 percent untreated will
22 progress, it makes me think that probably we're more

1 impacting the timing of the occurrence of the
2 colorectal cancer and the level of intervention that
3 could be reduced, surgical intervention that could be
4 reduced, as opposed to whether ultimately we are
5 influencing the occurrence of the colorectal cancer,
6 although that's unknown.

7 Hence, I would certainly agree with FDA's
8 assessment that much more needs to be understood
9 about clinical benefit, and I think the randomized
10 trial provides a very interesting piece, which is to
11 get at whether or not time to first adenomatous polyp
12 can be delayed, and yet clearly so much more needs to
13 be understood, and that's where this registry is so
14 critical.

15 I'd love to get it from a randomized
16 trial, but the registry is going to be critical in
17 providing an enhanced sense of long-term use, what
18 the safety is, what the impact is on endoscopic
19 surveillance because that may be, that may be the
20 most fundamental nature of benefit, and then
21 ultimately FAP related events.

22 So it seems to me when I look at this

1 global strategy that the registry is a very critical
2 part of getting a clear understanding of benefit and
3 risk, and what it means then is the challenges that
4 the sponsor has laid out to being able to formulate
5 the properly comparable control group, taking into
6 account characteristics and confounding with changes
7 and other support care, et cetera; it's going to be
8 critical that every possible effort be made to
9 achieve this development of a comparable control so
10 that we can get much better clues about the fuller
11 aspect of benefit and risk.

12 CHAIRPERSON PRZEPIORKA: Dr. Taylor.

13 DR. TAYLOR: I think a rather concern I
14 would have is with this drug being on the market not
15 just for this indication and this population being
16 very well aware of your data so far, showing it
17 presents. How do we know that they aren't going to
18 be taking over-the-counter drug and confounding the
19 results?

20 DR. VLOCK: Well, we certainly do try to
21 monitor that, and in the prospective studies that we
22 put together, that is one of the things that we

1 attempt to control for.

2 Certainly in registries where we are just
3 observing these events, we cannot control what
4 patients are going to do that way, but we can
5 certainly attempt to collect that data, as well.

6 CHAIRPERSON PRZEPIORKA: Dr. Lippman.

7 DR. LIPPMAN: Tom, getting back at your
8 point again of preventing cancer and 100 percent get
9 cancer by 40, you know, as Dr. Lynch mentioned, I
10 mean, it would be great if we could prevent cancer
11 and hopefully we can, but in this population, as I
12 think was presented in the overview, they get
13 colectomies as teens, young teenagers, and so the
14 psychological impact of delaying that procedure to
15 finish school without a colectomy is very important.

16 And I think we obviously should try to
17 get this from the registry, but I think, Mark, you
18 pointed this out, but that to me is extremely
19 important.

20 This concept of delay, even if it doesn't
21 completely prevent the need for a colectomy.

22 CHAIRPERSON PRZEPIORKA: Other questions

1 for the committee from the FDA or the sponsor?

2 DR. AVIGAN: Just again on the registry
3 because I think it is so important, I'm just chiming
4 in. We have had experience with administrative
5 database linkages from certain, you know, hooks to
6 medical records in other kinds of study design.

7 But I'm curious here. You know, when it
8 comes to details about patient events, do these
9 registries allow you or give you medical record
10 information? Do they link to medical record
11 information or do you get just very general sort of
12 kind of a check column, just a couple of things plus
13 or minus?

14 DR. VLOCK: I think the answer is yes and
15 no to that. These registries, and I'd ask Dr. Lynch
16 to chime in at some point as well, too, were designed
17 for the surgical impact on the disease and were not
18 historically because there was not a medical therapy
19 out looking at those interventions.

20 I think one of the challenges that we're
21 going to have to face is how to go back to these
22 registries, those patients, and begin to capture

1 both, you know, prospectively, but even more
2 importantly retrospectively the drugs that they were
3 taking and verify it so that we could add to those
4 questions.

5 But you're absolutely right, Mark.
6 That's going to be a challenge in terms of doing
7 this, and we're well aware of that.

8 CHAIRPERSON PRZEPIORKA: Any other
9 questions?

10 (No response.)

11 CHAIRPERSON PRZEPIORKA: Hearing none,
12 we'll call this meeting closed and resume our
13 deliberations here at 20 minutes after one o'clock.

14 (Whereupon, at 12:39 p.m., the meeting
15 was recessed for lunch, to reconvene at 1:20 p.m.,
16 the same day.)

17

18

19

20

21

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:27 p.m.)

3 CHAIRPERSON PRZEPIORKA: Okay. Welcome
4 to the afternoon session.

5 We'll start out by reading of the
6 conflict of interest statement for this particular
7 session.

8 MS. CLIFFORD: The following announcement
9 addresses the issue of conflict of interest with
10 respect to this meeting and is made a part of the
11 record to preclude the appearance of conflict.

12 To determine if any conflict exists, the
13 agency has reviewed the submitted agenda for this
14 meeting and all relevant financial interests reported
15 by the committee participants.

16 Sarah Taylor, Dr. Sarah Taylor is recused
17 from this portion of the meeting regarding Temodar.

18 A copy of this waiver statement may be
19 obtained by submitting a written request to the
20 agency's Freedom of Information Office.

1 We would also like to note that George
2 Ohye is the acting industry representative. Mr. Ohye
3 would like to disclose that he does own stock in the
4 sponsor.

5 (Laughter.)

6 MS. CLIFFORD: In the event the
7 discussions involve any other products or firms not
8 already on the agenda for which an FDA participant
9 has a financial interest, that participant should
10 exclude himself or herself from such involvement, and
11 the exclusion will be noted for the record.

12 With respect to all other participants,
13 we ask in the interest of fairness that all persons
14 making statements or presentations disclose any
15 current or previous financial involvement with any
16 firm whose products they wish to comment upon.

17 CHAIRPERSON PRZEPIORKA: At this time I
18 understand that we have two people who have
19 registered for the open public hearing late. I'd
20 like to start with Leah Simone.

21 MS. SIMONE: Hello. Thank you.

22 Sorry. I'll stand back a little bit.

1 My name is Leah Simone. I'm a doctoral
2 student at the University of Maryland in the
3 Department of Communication.

4 One of my professors and I are
5 collaborating with the FDA on a research project that
6 is looking at the perceptions of how the FDA manages
7 conflicts of interest of its advisory committee
8 members.

9 To that end, I'd like to encourage
10 members of the audience today, if you didn't do so
11 yesterday, to pick up one of the surveys that are
12 stacked up out on the table here right outside the
13 room and just take the 15 minutes to go ahead and
14 complete the survey.

15 There's a postage paid envelope inside
16 enclosed. You can just put the survey in the
17 envelope and drop it in the mail back to us.

18 Thank you.

19 CHAIRPERSON PRZEPIORKA: And just to
20 follow up on those comments, I just want to point out
21 that Mr. Ohye is not a voting member of this
22 committee, but is here as a very welcome consultant,

1 and he gives us great insight into some of the things
2 that we who sit on this committee are not very well
3 aware of.

4 So in case there's any questions, I just
5 wanted to make that very clear.

6 The second person for the open public
7 hearing is Nancy Roach.

8 MS. ROACH: Hi. That's dangerous.

9 My name is Nancy Roach. I'm with the
10 Marty Helson Cancer Foundation. We do advocacy in
11 the regulatory arena.

12 We have no policy against taking money
13 from anyone, but I have no conflicts with anything in
14 this meeting.

15 (Laughter.)

16 MS. ROACH: And I feel like we're kind of
17 in the home stretch of a marathon here. So I will be
18 very brief.

19 The complexity of accelerated approval
20 has been very well illustrated, some might say mind
21 numbingly so, in the last couple of days, and I think
22 we all get the point. And it has been

1 valuable. I think it shows the need to balance
2 between predictability and flexibility, between
3 certainty and urgency. And that's a tightrope that's
4 very tough to walk in a regulatory environment.

5 This has also shown the value that you
6 all bring to the table, to bring together experts to
7 pass some judgments and make recommendations on these
8 issues.

9 I think this has also very clearly
10 demonstrated the value to doing this in a public
11 arena and not just from the perspective of the people
12 in this room, but also for the public because, you
13 know, we get our information from press releases and
14 from popular media, and without the counterbalance of
15 the facts of what's really going on, sometimes our
16 views are somewhat distorted and somewhat prematurely
17 or unnecessarily hopeful.

18 So I think the public nature of this
19 discussion is critical. I really appreciate everyone
20 on the sponsor's side, the FDA side, and the
21 committee's side for doing this in a

1 public venue because I know it's hard.

2 And I urge you to continue the public
3 nature of this discussion.

4 That's it.

5 CHAIRPERSON PRZEPIORKA: Thank you very
6 much. Much appreciated.

7 Any other individuals who want to make a
8 comment? Yes, please identify yourself and your
9 conflict.

10 DR. L'ITALIEN: Yes. My name is Dr.
11 James L'Italien. I'm with Ligand Pharmaceuticals.

12 I just wanted to make a correction to the
13 statement this morning that was made that only one
14 company had listed their trials on
15 clinicaltrials.gov. All of our studies are listed
16 there.

17 So the Phase 4 commitment that we had is
18 also listed on clinicaltrials.gov.

19 CHAIRPERSON PRZEPIORKA: Thank you.

20 And we will proceed to the next item of
21 the agenda, but, colleagues from the FDA new to the
22 table, please introduce themselves.

1 Could you please in to the microphone,
2 please?

3 DR. COHEN: I'm Martin Cohen, and I'm a
4 Medical Officer.

5 CHAIRPERSON PRZEPIORKA: Thank you.

6 The final presentation will be by Dr.
7 Craig Tendler, speaking about NDA 21-029, Temodar,
8 indicated for treatment of refractory anaplastic
9 astrocytoma.

10 DR. TENDLER: Good afternoon, ODAC, FDA
11 members. My name is Craig Tendler, and I'm here with
12 my Schering colleagues representing the temozolomide
13 clinical development team.

14 We're also joined today by three
15 colleagues from the Radiation Therapy Oncology Group,
16 or RTOG, with whom we're doing our post approval
17 commitment study. They are Dr. Susan Chang, the PI
18 for this study and Associate Professor of
19 Neurooncology at UCSF; Dr. Chuck Scott, who's
20 Director of Statistics at RTOG; and Brenda Young, who
21 is head of Regulatory Affairs at RTOG.

22 We're here today to discuss the

1 accelerated approval of temozolomide for patients
2 with refractory anaplastic astrocytoma, as well as
3 the status of our post approval commitment study.

4 Specifically, we'll review the Phase 2
5 study 94-123, which is the basis of the accelerated
6 approval, as well as the key study parameters and the
7 milestones of the post approval commitment study RTOG
8 98-13.

9 In addition, we'll discuss some ongoing
10 challenges associated with the conduct of the post
11 approval commitment study and the initiatives that we
12 are taking to expedite completion of the post
13 approval commitment study.

14 I will conclude with a summary of our
15 temozolomide development program in primary brain
16 cancer.

17 The original NDA package was intended to
18 support a full approval for temozolomide in recurrent
19 glioma and consisted of three trials: a randomized
20 Phase 2 study, as well as a single arm Phase 2 study
21 in recurrent glioblastoma multiforme, and a single
22 arm Phase 2 study in recurrent

1 anaplastic astrocytoma.

2 The recurrent GBM package was not
3 considered adequate for approval, but the agency
4 agreed to consider the study and recurrent AA as a
5 basis for accelerated approval.

6 Temozolomide was granted accelerated
7 approval in August '99 as shown on this slide for
8 adult patients with refractory anaplastic
9 astrocytoma, that is, for patients who at first
10 relapse have experienced disease progression on a
11 regimen containing both nitrosourea and procarbazine.

12 The basis for the accelerated approval of
13 temozolomide for refractory anaplastic astrocytoma
14 was a large, single arm study conducted in 162 adult
15 patients at first relapse. The study was conducted
16 in 32 centers worldwide and took about three years to
17 complete.

18 This represents the largest study ever
19 completed in relapsed anaplastic astrocytoma, and
20 with an intensive effort in this recurrent patient
21 population with a shorter time to disease

1 progression than in newly diagnosed patients, this
2 study still took about three years to complete.

3 And I think that just gives some pause
4 and gives you some idea of the challenges when
5 conducting studies in this patient population.

6 The primary endpoint of the study was
7 progression free survival at six months as assessed
8 by gadolinium-enhanced MRI, and there was independent
9 central review of objective tumor assessments.

10 Secondary endpoints included objective
11 response rate and overall survival. The study was
12 designed to rule out a lower boundary of the 95
13 percent confidence interval for the six month
14 progression free survival rate for temozolomide of
15 ten percent, assuming the actual six month
16 progression free survival rate for temozolomide in
17 this setting would be 20 percent.

18 The lower boundary of ten percent was
19 considered minimal evidence of anti-tumor activity.

20 Summarized on this slide are the overall
21 efficacy results of the study as reviewed and

1 confirmed by FDA. For the intent to treat
2 population, the progression free survival rate at six
3 months was 51 percent, with a lower boundary of 43
4 percent, which is well above the prespecified
5 objective of ten percent that was stated in the
6 protocol.

7 The median survival was 13.6 months, and
8 the overall response rate was 33 percent, which as I
9 mentioned previously was independently confirmed by
10 central review as well as by FDA.

11 In this single arm study, the FDA felt
12 that tumor progression was not a reliable enough
13 endpoint on which to base approval. However, FDA
14 reviewers identified a subpopulation of chemotherapy
15 refractory patients, namely, those who had progressed
16 on nitrosourea and procarbazine containing regimens
17 for whom there is no available therapy and which
18 there was compelling evidence of the anti-tumor
19 activity.

20 On this slide, you see the 54 patients
21 that were identified to meet that criteria of having
22 been refractory to procarbazine plus nitrosourea.

1 In this heavily pretreated population, the objective
2 response rate was 22 percent with a nine percent
3 complete response rate. The median duration of
4 response was 50 weeks, and for those achieving a
5 complete response, the median duration of response
6 ranged from at least one year to some patients having
7 a response duration of up to two years. The median
8 survival for the entire refractory population was 16
9 months, almost 16 months.

10 Recognizing the limitations of historical
11 comparisons, this is nevertheless better than similar
12 studies reported in the literature.

13 The safety database which supported the
14 accelerated approval for temozolomide consisted of
15 1,017 temozolomide treated patients, of which 400
16 were relapsed glioma patients from three clinical
17 trials. Temozolomide was administered with few dose
18 modifications. Most of the adverse events reported
19 were of mild to moderate severity.

20 Study treatment discontinuation due to
21 adverse events was infrequent, and Grade 3 or 4
22 myelosuppression was also quite infrequent and

1 noncumulative.

2 This is all very much consistent with the
3 overall safety profile of temozolomide since
4 approval. That is, temozolomide is a safe oral
5 chemotherapy agent with a convenient dosing schedule
6 with which the vast majority of treated patients do
7 not experience bothersome side effects.

8 ODAC agreed that the subpopulation of
9 relapsed anaplastic astrocytoma patients who were
10 enrolled in this study after failing procarbazine and
11 nitrosourea would not be expected to respond to other
12 therapies. In essence, they agreed that this
13 constituted the setting of unmet medical need.

14 ODAC also agreed that objective response
15 in this patient population could be an adequate
16 surrogate for clinical benefit, as long as it was
17 well defined and of sufficient magnitude to overcome
18 background noise.

19 With agreement that the criteria for
20 accelerated approval had been met, the committee was
21 then asked if the submitted Phase 2 study
22 demonstrated that temozolomide is effective for the

1 treatment of relapsed anaplastic astrocytoma patients
2 who had failed prior nitrosourea and procarbazine.

3 They answered unanimously yes and also
4 agreed that the safety of temozolomide was acceptable
5 for this indication.

6 Now I'd like to turn to our post approval
7 commitment. Independent of considerations for post
8 approval, beginning in 1998, we had initiated
9 discussions with RTOG for developing a protocol
10 concept for a Phase 3 study of radiotherapy plus
11 temozolomide in newly diagnosed anaplastic
12 astrocytoma patients.

13 The proposed design of the study as
14 agreed to by Schering and FDA was a three arm
15 randomized trial comparing radiotherapy plus
16 temozolomide, radiotherapy plus BCNU, and radiation
17 plus the combination of BCNU-temozolomide in first
18 line anaplastic astrocytoma patients with a primary
19 endpoint of overall survival.

20 At the time, there was a strong
21 scientific rationale for evaluating the

1 temozolomide-BCNU combination based on the fact that
2 temozolomide has been shown to lower levels of
3 alkylguanine alkyltransferase, potentially
4 sensitizing the cells to BCNU.

5 When it was clear that Schering would be
6 conducting this as a post approval commitment study,
7 we recognized the need to collaborate with RTOG to
8 provide the broadest access to study participation
9 rather than setting up our own competing trial in
10 this rare indication.

11 The FDA agreed that the proposed design
12 of the RTOG Phase 3 trial would provide evidence of
13 clinical benefit for temozolomide, and as such,
14 represented an adequate confirmatory study consistent
15 with the post approval commitment guidelines.

16 However, the agency requested that the
17 Phase 3 portion of the three arm study be preceded by
18 additional safety assessment of the temozolomide-BCNU
19 combination in the proposed study population.

20 The target completion date was June 2001
21 for that commitment, and the safety data were

1 submitted in July 2001.

2 While not directly related to the post
3 approval commitment, we also conducted Phase 1 and
4 Phase 2 studies of temozolomide in children with
5 recurrent brain tumor in collaboration with the
6 Children's Oncology Group and the U.K. Children's
7 Cancer Study Group.

8 The clinical study reports were submitted
9 in September 2002.

10 Finally, Schering and FDA agreed to the
11 submission of a final study report from the ongoing
12 Phase 3 portion of the post approval commitment Study
13 98-13 and first line anaplastic astrocytoma with a
14 deadline of June 2007.

15 Now I'd like to take you through the
16 actual timing of some of the key post approval
17 commitment study events from submission of the first
18 protocol to FDA in June '99 to the current date.

19 The draft protocol, as I mentioned
20 before, was first submitted to FDA in June '99.
21 Accelerated approval had been granted in August '99,
22 and a revised protocol incorporating FDA comments

1 was resubmitted to the agency in October of '99.

2 In December '99, FDA indicated, again, as
3 I mentioned, that additional safety data would be
4 needed on the combination, and that would have to be
5 provided before the Phase 3 portion of the study
6 could be initiated.

7 Final agreement on the design of the
8 Phase 1 safety assessment was reached in February
9 2000, and the RTOG filed the IND for the study in
10 April 2000.

11 The Phase 1 safety assessment of the
12 temozolomide-BCNU combination commenced in June 2000.

13 Completion of enrollment occurred nine months later
14 with the submission of the safety data to FDA in July
15 2001.

16 After the initial assessment of safety of
17 the temozolomide-BCNU was completed and deemed
18 unacceptable due to the dose limiting
19 myelosuppression and pulmonary toxicity, there was
20 still a great deal of scientific interest of
21 exploring and defining a combination of temozolomide-
22 BCNU that would be tolerable and could

1 potentially offer benefit to patients.

2 And thus a second cohort utilizing a less
3 intensive BCNU regimen was evaluated by the RTOG
4 beginning in 2001.

5 The completion of that second safety
6 enrollment occurred in January 2002, but
7 unfortunately toxicity again was unacceptable, and
8 the combination arm of the Phase 3 study was dropped
9 in June 2002.

10 We've now recently initiated the Phase 3
11 portion of the trial beginning this year. With the
12 additional safety assessments completed, the Phase 3
13 portion of the program, which is now focused on
14 comparing radiotherapy plus temozolomide versus
15 radiotherapy plus BCNU, has recently been initiated.

16 There are now 11 patients enrolled in the Phase 3
17 portion, and when all sites are open, the anticipated
18 enrollment will be 24 patients per month for a total
19 of 4654 patients.

20 Despite the aggressive enrollment rate,
21 study completion time lines are primarily driven by a
22 long duration of follow-up, which is needed for

1 events given the anticipated median survival of 36
2 months in the control arm and the protocol specified
3 objective of improving survival by 50 percent in the
4 temozolomide group.

5 Accordingly, we've turned to the
6 intragroup structure where participation in the Phase
7 3 portion of the post approval commitment study is
8 available to a wide group of radiation and medical
9 oncologists across the United States with the study
10 ultimately to be open in more than 300 sites.

11 The Phase 3 portion, the protocol calls
12 for a number of interim analyses to be conducted when
13 63, 126, 188, and finally 251 events have occurred.
14 Summarized on this slide are the projected years when
15 these protocol specified interim analyses will occur,
16 as well as the survival hazard ratio which would be
17 needed in each of these interim analyses to cross the
18 boundary.

19 As you can see, while the final analysis,
20 based on 251 events is projected for 2007, there are
21 at least two chances before that date of

1 achieving the target hazard ratio prior to that
2 commitment date.

3 So what do we see as the ongoing
4 logistical challenges ahead of us for completing this
5 important Phase 3 trial in newly diagnosed patients
6 with anaplastic astrocytoma in a timely manner?

7 First, as other sponsors have said today
8 and yesterday, we're dealing with a disease with a
9 low and declining incidence. In fact, only 3,000
10 patients, approximately 3,000 new cases of anaplastic
11 astrocytoma in the United States are diagnosed each
12 year.

13 Secondly, the median survival of our
14 targeted study population is in the range of three to
15 four years, thus requiring a rather long duration of
16 follow-up for the specified number of events, in this
17 case deaths, to occur.

18 How are we dealing with those challenges?

19 Well, in collaboration with RTOG, we're taking a
20 number of initiatives to expedite completion of the
21 project. We have specifically

1 focused on enhancing awareness of the study among
2 both the investigators, as well as the patients.

3 Specifically, we have scheduled
4 investigator meetings, the first of which is planned
5 for ASCO, and a developing communication plan to
6 target neurosurgeons for timely referral into the
7 study.

8 In addition, we're conducting monthly
9 teleconferences with the lead investigators from each
10 of the participating cooperative groups.

11 For patients, an Internet listing is
12 being planned, and patient brochures are also in
13 development and will be available for distribution by
14 the end of this month.

15 Importantly, the main brain tumor
16 advocacy groups have been contacted and are
17 highlighting the importance of patient participation
18 in this study.

19 Also, project management support has been
20 given to RTOG for dedicated staff to facilitate the
21 conduct of this study, as well as additional support
22 for the individual sites for enhanced data

1 management support.

2 Finally, international sites are being
3 considered outside of North America for participation
4 within the RTOG study. While it has taken somewhat
5 longer than anticipated to complete the initial
6 safety portion of the Phase 3 post approval
7 commitment study and with the challenges of
8 conducting a large randomized trial in a patient
9 population that is dwindling, relatively rare, with a
10 long survival follow-up notwithstanding, we believe
11 that the timely completion of this study, this high
12 priority temozolomide study in newly diagnosed AA, is
13 still very much achievable.

14 I'd like to conclude by sharing with you
15 another ongoing, large, randomized trial that we are
16 supporting in collaboration with the EORTC and the
17 NCIC for newly diagnosed GBM patients.

18 Here the trial is comparing temozolomide
19 plus radiotherapy versus radiation alone in newly
20 diagnosed GBM. Enrollment of 573 patients was
21 completed about a year ago, with a final analysis
22 scheduled for later this year. The primary endpoint

1 is overall survival.

2 Similar to a post approval commitment
3 study with RTOG, this study may also be adequately
4 designed to confirm the clinical benefit first seen
5 in the Phase 2 study in refractory anaplastic
6 astrocytoma, and we have initiated discussions with
7 FDA in terms of whether this study could be used to
8 satisfy the post approval commitment.

9 Finally, beyond the Phase 3 trials in
10 newly diagnosed anaplastic astrocytoma and newly
11 diagnosed glioblastoma multiforme, we are conducting
12 a Phase 2 study with RTOG in anaplastic
13 oligodendroglioma, and are planning to initiate a
14 large, randomized trial in low grade glioma later
15 this year.

16 In summary, we continue to pursue a broad
17 clinical development program of temozolomide in
18 primary brain cancers to explore the potential
19 benefit of temozolomide in these related indications.

20 Thank you very much.

21 CHAIRPERSON PRZEPIORKA: Dr. Cohen, do

1 you have a comment?

2 DR. COHEN: Well --

3 CHAIRPERSON PRZEPIORKA: Could you speak
4 into the microphone, please?

5 DR. COHEN: Yeah. Well, I think that De.
6 Tendler has given a balanced and rather comprehensive
7 overview of the temozolomide development program and
8 interaction with FDA. There are a couple of issues
9 though that we could talk about.

10 One was the amount of time that we spent
11 in doing the Phase 1 evaluation and the combination
12 of temozolomide and BCNU. I think in our
13 conversations with the sponsor, we had suggested that
14 this might be done in all brain tumor patients, that
15 glioblastoma multiforme patients could have
16 participated in that, and that would probably have
17 increased the rapidity with which the study finally
18 was initiated.

19 And the other question I would have is
20 when were all of these initiatives to increase
21 accrual started. Were they started relatively

1 recently or have they been ongoing for several years?

2 DR. TENDLER: I'll take the second
3 question first. In terms of the initiatives, most of
4 these were started when the Phase 3 portion was
5 initiated this year. In terms of the Phase 1
6 portion, typically these are not done as multi-center
7 studies, and these initiatives would not really be
8 worthwhile.

9 But I'd like to ask Dr. Susan Chang to
10 address your question about the conduct of the Phase
11 1 study, restricting it to newly diagnosed anaplastic
12 astrocytoma patients instead of opening it up to a
13 more wide brain tumor patient population.

14 DR. CHANG: Thank you.

15 For purposes of disclosure, I do have
16 clinical research support from Schering. I just
17 wanted to disclose that.

18 We felt, I think, that for this
19 population of patient, looking at the combination of
20 BCNU and temozolomide specifically in anaplastic
21 astrocytoma with radiation therapy would be very

1 important.

2 There were Phase 1 studies done in
3 recurrent glioblastoma patients, but again confining
4 it with the radiation therapy in this relatively
5 younger cohort of patients versus the older patients
6 with glioblastoma, which is where the population of
7 patients tend to be.

8 We thought that would be more reflective
9 of the patterns that we would be able to see
10 subsequently if we were trying to initiate a
11 randomized Phase 3 trial with large numbers of
12 patients.

13 CHAIRPERSON PRZEPIORKA: Questions from
14 the committee?

15 I do have one question. Whose idea was
16 it to actually use the double combination of
17 temozolomide and BCNU? Did that come from the
18 company or from RTOG?

19 DR. TENDLER: Susan, do you want to?

20 DR. CHANG: This was as a result of
21 investigations through one of the North American
22 brain tumor consortium groups, one of the brain

1 tumor consortiums funded by the NCI. So we have
2 actually done, as I have mentioned, a Phase 1 study
3 of the of the combination.

4 BCNU and nitrosourea have been the only
5 drug that's been approved for patients with malignant
6 glioma, and the difficulties with this agent is the
7 level of drug resistance in this population of
8 patients.

9 And the hope was that with a combination
10 of temozolomide, which on its own has shown activity
11 in malignant glioma, that the combination could be
12 synergistic and perhaps be more efficacious for the
13 patient population.

14 So that was something that was
15 scientifically driven, I think, through the CTAP and
16 NCI, as well as the RTOG. It was a combination.

17 CHAIRPERSON PRZEPIORKA: I like the idea.

18 I like the scientific idea, but I have to point out
19 that that may have made a major stumbling block in
20 drug development since it did not address the
21 question or add to the question of whether or not
22 this drug was effective in this setting, but

1 certainly set the development plan back some time.

2 Dr. Cheson.

3 DR. TENDLER: It was always going to be
4 included. If the combination was defined to be
5 tolerable, it would have been included as a third arm
6 in the randomized study. So we were still going to
7 have the comparison of radiotherapy plus temozolomide
8 versus radiotherapy plus BCNU, which at the time and
9 still is considered the standard of care for these
10 patients.

11 CHAIRPERSON PRZEPIORKA: Dr. Cheson.

12 DR. CHESON: A simple question. Are you
13 doing a quality of life analyses in your randomized
14 studies?

15 DR. TENDLER: We had a formal quality of
16 life integrated into the protocol. The current RTOG
17 trial that's not looking at formal quality of life,
18 we are looking at the mini mental status, I believe,
19 as well as changes in Karnofsky performance status,
20 but not formal quality of life studies.

21 CHAIRPERSON PRZEPIORKA: Dr. Kelsen.

22 DR. KELSEN: The original design was to

1 include the combination of BCNU and temozolomide plus
2 radiation, and then a question was raised as to the
3 desire to get Phase 1 data before the study started.

4 Do I have the time line correct?

5 DR. TENDLER: Yes. There had been a
6 previous Phase 1 study looking at temozolomide-BCNU
7 combination back with CTAP. I think it was beginning
8 in '94-'95, but that was not with radiotherapy, and
9 the feeling was that that would not be sufficiently
10 predictive of the safety profile in this patient
11 population.

12 So the request was made specifically, and
13 actually was by RTOG and FDA to go ahead and do a
14 Phase 1 component before adding this third arm of the
15 combination into the pivotal trial.

16 DR. KELSEN: I was actually getting as to
17 where the request to do that study came from, and
18 you've answered that question.

19 In retrospect, it certainly is very, very
20 prudent to do that.

21 CHAIRPERSON PRZEPIORKA: Dr. Martino.

22 DR. MARTINO: Actually just to follow up

1 to that question, what was the actual toxicity that
2 made the combination impossible?

3 DR. TENDLER: In the first cohort, it was
4 mainly infections, and I believe 50 percent of the
5 patients needed dose reductions by the second cycle,
6 and the second one was, again, myelosuppression and
7 pulmonary toxicity.

8 CHAIRPERSON PRZEPIORKA: Dr. George.

9 DR. GEORGE: I have a question about the
10 pool on the study. Is there a history on which this
11 projected enrollment is based or is this based on
12 people's estimate?

13 DR. TENDLER: Actually I'm going to let
14 Dr. Chuck Scott from the Operations Group address
15 that question.

16 DR. SCOTT: The RTOG had conducted a
17 predecessor trial in our group alone where we accrued
18 12 patients a month, and our feeling was that by
19 expanding this to the inner group process and with
20 the initiatives that have been put in place to
21 enhance accrual, that we should be able to by June
22 get up to 24 patients a month.

1 CHAIRPERSON PRZEPIORKA: Dr. Blayney?

2 DR. BLAYNEY: Yes. My question to the
3 FDA talks about -- I wasn't, I don't think, a member
4 of the ODAC Committee at the time. It looks to me
5 like this was a post hoc analysis of a subset that
6 looked like there might be some benefit.

7 Does the sponsor's commit -- and in the
8 spirit of the Subpart H regulations, does the
9 sponsor's commitment to look at the GBM, which was
10 the glioblastoma multiforme group which was
11 originally what they studied, would that satisfy
12 their post marketing Phase 4 commitment?

13 DR. COHEN: Well, as DR. Tandler
14 represented the study results, the trials in GBM were
15 negative. The data from the anaplastic astrocytoma
16 patients who were refractory to BCNU and procarbazine
17 did show five long duration complete responses, a
18 minimum duration of one year for those responses.

19 And on the basis of that long duration
20 complete response data, ODAC voted unanimously to
21 approve treatment for anaplastic astrocytoma, but

1 not for GBM.

2 So that the sponsor's subsequent
3 development plan for anaplastic astrocytoma seems
4 reasonable.

5 DR. TENDLER: Can I just clarify that
6 though? The survival endpoint was not met, but the
7 primary endpoint, which was progression free survival
8 at six months, there was a statistical significant
9 improvement.

10 However, there was concerns about the
11 suitability of the endpoint to support an approval
12 for GBM based on those results and that endpoint.

13 DR. WILLIAMS: I think your question was
14 if the Phase 4 study is in a somewhat different
15 disease, is that close enough. I'm not sure that
16 we've made a determination, but I would think it
17 might be somewhat academic. I mean, it could lead to
18 full approval in that indication and then have the
19 discussion about whether or not that's enough
20 information, and I'm not sure that we've had that
21 discussion.

22 CHAIRPERSON PRZEPIORKA: Dr. Temple.

1 DR. TEMPLE: Well, once again, I think
2 the theory of this always is that you think you've
3 proved the principle. You've learned something about
4 what responses with this drug mean, and you know,
5 we'd probably come before the committee to find out
6 whether you'd buy that, but I think that's the idea.

7 But if the response rate is very low -- I
8 know I said this yesterday -- it's not going to be
9 easy to move the survival curve for the whole
10 population.

11 So it's often easier to do that in a less
12 advanced form of disease.

13 CHAIRPERSON PRZEPIORKA: Others? Ms.
14 Mayer.

15 MS. MAYER: A question for the sponsor.
16 What will be the impact of the availability of this
17 drug on the market on your ability to accrue for the
18 commitment trial?

19 DR. TENDLER: Right now we're told from
20 the experts that we're working with that the standard
21 of care for newly diagnosed patients with

1 anaplastic astrocytoma is radiotherapy plus BCNU.

2 Obviously with the data, more and more
3 data being generated with temozolomide, there is a
4 concern that some patients may go right to
5 temozolomide without participating in the trial and
6 without the data coming out from this randomized
7 Phase 3 trial.

8 But I think for now, after discussing
9 this with our RTOG consultants as well as other
10 investigators in the field, they believe that it's
11 ethical and important to give informed consent and
12 enroll patients on this trial, and they do not feel
13 at least up front in enrolling these patients that
14 that will be a major hurdle.

15 Obviously that remains to be seen over
16 the next year or two.

17 CHAIRPERSON PRZEPIORKA: Other comments?

18 DR. MARTINO: Can I ask you to address
19 the three questions?

20 If you chose not to, we have no one to
21 address this. I will take it upon myself and ask if
22 anybody else has anything, to chime in.

1 Has accrual to the ongoing trial been
2 satisfactory?

3 And I would say, yes, it has been
4 satisfactory in terms of accrual, though we are
5 concerned about the need to stop and do a Phase 1
6 study for an arm that really does not answer the
7 question that was asked.

8 However, it looks like accrual is back on
9 track for the right study.

10 Have circumstances impeded the ability to
11 conduct the trial or should alternatives be
12 considered?

13 And I think the question was raised
14 regarding the other Phase 3 trial as first line
15 therapy being a suitable alternative should this one
16 be negative, albeit in a different indication.

17 Any other comments or questions?

18 (No response.)

19 CHAIRPERSON PRZEPIORKA: Hearing none,
20 any questions from the -- yes, Dr. Fleming.

21 DR. FLEMING: Just additional thoughts.
22 Rick you had said yesterday when we were talking

1 about what strength of evidence might be expected and
2 should we anticipate that we would be targeting
3 comparable strength of evidence to establish clinical
4 efficacy when it's achieved in an post accelerated
5 approval setting, in a non-accelerated approval
6 setting.

7 It appeared, if I caught it, that your
8 trial I'm delighted to see is targeting survival, but
9 it looked as though you were dealing with a one sided
10 .05. The tradition for standard of strength of
11 evidence, we use a two sided .05, but of course, what
12 we all know that that means is a two and a half
13 percent false positive error rate, which is a one
14 sided .025.

15 Was that a misprint or was that --

16 DR. TENDLER: No, that's correct. That's
17 per RTOG procedures. Maybe you'd like to comment on
18 that, Chuck.

19 DR. SCOTT: Yeah. We've had several
20 discussions with NCI about the design of our Phase 3
21 trials in brain tumors, and it has really come down
22 to the idea that what we're trying to do is have an

1 interest only in the one sided hypothesis.

2 And so this trial was designed in concert
3 with their sponsorship as well. So we have this
4 study designed and it's not as a one sided trial.

5 DR. FLEMING: And that's really not
6 getting at the issue because we're traditionally one
7 sided. Basically I realize we're not going to
8 approve an agent when we have a two sided .05 that's
9 in the wrong direction.

10 My interest is in making sure -- all of
11 our interest, i think -- are in making sure that if
12 we conclude there's benefit, that we're reasonably
13 confident that there is, and in essence, we're always
14 doing a one sided .025.

15 So it would be in this case a situation
16 that not only would we be relying on a single trial,
17 but we'd be relying on a single trial with twice the
18 false positive error rate if we weren't, in fact,
19 looking at the traditional one sided .025 or two
20 sided .05.

21 Bob, it looked like you had something

1 related to say.

2 DR. TEMPLE: Well, we've always said
3 exactly what you said. We don't care if you think of
4 it as one sided or two sided as long as there's one
5 chance in 40 of making an error.

6 DR. FLEMING: Right.

7 (Laughter.)

8 DR. TEMPLE: But we -- and I don't know
9 if this applies here. Other people will have a
10 better feel than I would -- we do sometimes exercise
11 priors and think of things in those terms, and there
12 are even a couple of one sided .05 approvals.

13 Nifedipin for vasospastic angina was
14 approved based on a one sided test, although I'm not
15 sure I could defend it. So it's not that we would
16 always say it absolutely has to be this way, but
17 there would need to be a reason for dropping down
18 from the usual standard.

19 DR. FLEMING: Yeah.

20 DR. TEMPLE: I'd say just doing it
21 without explanation would be funny, but there could

1 be other information that might make you want to do
2 that. That would be something everybody would have
3 to talk about.

4 DR. FLEMING: Indeed, we talked about
5 this not only here, but across all Advisory
6 Committees on multiple occasions saying: what is an
7 acceptable strength of evidence? And is survival a
8 particularly compelling endpoint for which you might
9 accept somewhat less strength of evidence, i.e., one
10 really good study with a compelling result?

11 I think that's the terminology I've often
12 heard, and I would understand if it's an extremely
13 safe intervention and there are other very strong
14 favorable factors in terms of symptoms, surely that's
15 all true. But in general, when we're designing a
16 trial, in the absence of knowing all of those other
17 things, it's my understanding we're still saying
18 strength of evidence for concluding survival benefit
19 would be at least an .025 false positive.

20 And this issue of, gee, we're going in
21 the right direction here is totally irrelevant to

1 this.

2 DR. TEMPLE: Especially when you're
3 talking about a single trial. I mean usually we say
4 -- again, everything is subject to discussion --
5 usually we say when you're relying on a single trial
6 you ought to be more robust than usual, not less.

7 DR. FLEMING: Another question, but,
8 Rick, did you want to comment on this issue before --
9 okay.

10 I'm pleased to see that there is interim
11 monitoring here because certainly with the survival
12 endpoint, in particular, there are ethical
13 considerations to insure we're safeguarding patient
14 interest beyond the important efficiency factors that
15 we can achieve by arriving at earlier conclusions if
16 the initial results are extreme, either extremely
17 positive or extremely negative.

18 My reservation here is the suggestion
19 that the data monitoring committee is going to be
20 blinded or given blinded data, and as the FDA
21 guidance document indicates, particularly with the
22 survival endpoint, it's very important that this

1 monitoring occur.

2 It's also very important that it occur in
3 an unblinded manner by the DSMB, who would be then
4 using these proper monitoring guidelines.

5 CHAIRPERSON PRZEPIORKA: Other comments,
6 questions from the sponsor or from FDA for the
7 committee?

8 Dr. Martino.

9 DR. MARTINO: Question not quite related
10 to the data that you've provided. There is use of
11 this agent in patients with metastatic disease to
12 brain. Can you comment on what the company is doing
13 relative to that set of circumstances?

14 DR. TENDLER: Yes. We actually are just
15 planning to launch a Phase 3 randomized trial in
16 patients with non-small cell lung cancer and brain
17 metastases, comparing the combination of
18 radiotherapy, whole brain radiotherapy alone versus
19 temozolomide plus whole brain radiotherapy. That
20 should start in the next three months.

21 DR. MARTINO: The doses will be the same
22 as you're using here or you're using a different

1 schedule?

2 DR. TENDLER: The schedule is a little
3 different because that's given concurrently with
4 radiotherapy for a two week portion, and then an
5 extra week is given, and then the patients are
6 allowed to go on to whatever standard of care is used
7 in second line non-small cell lung cancer.

8 So it's a little different than the
9 dosing here, which is on the five day schedule.

10 CHAIRPERSON PRZEPIORKA: Dr. Pelusi.

11 DR. PELUSI: I would just like to comment
12 that I really like seeing the fact that you're really
13 done some intervention here to try to recruit
14 patients from their own medians in terms of their
15 groups, as well as developing a patient brochure and
16 using the Internet.

17 I would hope, too, though that Dr.
18 Kelsen's information about quality of life is taken
19 into consideration because, again, that becomes a
20 huge issue for patients, and it's their way to
21 participate as well.

22 CHAIRPERSON PRZEPIORKA: Other

1 questions? Dr. Pazdur.

2 DR. PAZDUR: I just wonder if the
3 committee has kind of an ankle untied here. I am
4 personally very unhappy, okay, and I want to just
5 bring this out.

6 We have a drug here that was approved in
7 1999. Okay? And we're first getting started with
8 confirmatory trial in 2003, okay, trying to increase
9 enrollment here. And I think it points out some real
10 big problems.

11 First of all, in my initial introductions
12 I think I made it quite clear we've got to start
13 thinking of development plans here, okay, not just
14 let's take a step-by-step, very narrow approach to
15 drug development.

16 How could we have improved this picture
17 here? Should they have, for example, done earlier
18 combination trials?

19 Whose responsibility is it to get this
20 Phase 3 trial done? It certainly isn't RTOG's. It's
21 the company's responsibility, and if there is
22 problems with the RTOG, maybe they need to step in.

1 It's their responsibility.

2 And I really want to send that message to
3 you, Craig. I had this conversation with you over
4 the phone, and I want to make it a public record.

5 It is the responsibility of the company,
6 not RTOG. It is the responsibility to have a
7 statistical plan that would fit FDA's standards, not
8 what would be acceptable to the RTOG because that's
9 what RTOG has always done, and therefore, we're going
10 to be looking at this.

11 You have a drug out there, and maybe this
12 is a good foray into, you know, our discussion. You
13 have a drug out there. The company obviously is
14 making a profit off this drug. There is a real drug
15 out here. It's not a drug that -- it may be a drug.

16 What should the commitment of the company be as far
17 as multiple studies going on?

18 Tom, you asked about what is the level of
19 proof that one would need. Well, you know, we have
20 always insisted that sponsors should do two trials,
21 you know. It says well conducted, well

1 controlled trials. The plurality gives us that
2 option.

3 And one of the questions I'd like to pose
4 to the committee as we segue into a more general
5 discussion: should multiple trials rather than only
6 one trial be done for many reasons?

7 Number one, one may fail just by chance.

8 There may be methodological problems. You've seen
9 many problems here with accrual. Okay?

10 And I fully understand sometimes where
11 companies when they're not sure if the drug is going
12 to get approved, where they have to be careful as far
13 as expenditures for a given trial.

14 But here we have a known drug. There
15 should be a willingness to invest in this drug and
16 make sure the American public knows the benefit of
17 this drug and makes Phase 4 commitments.

18 So although the committee has focused on
19 many plans or many comments here, I'd just like to
20 emphasize that I think there are a lot of lessons
21 that can be learned from this experience, and we
22 should not be happy with the fact that, you know,

1 this drug was approved in 1999, and, yes, there were
2 problems along the way, but how could we have
3 addressed those problems?

4 Because it truly is unacceptable that
5 we're now just beginning a trial and accrual is poor,
6 and now they're making attempts to improve this.
7 What were other alternatives?

8 For example, your EORTC study? I was
9 very unhappy to learn that that was not being done
10 under an IND. If you planned on submitting that
11 obviously to the FDA, we should have seen that study.

12 It was not submitted under an IND, and I would
13 especially want to publicly criticize you for not
14 doing that. I think it really should have been
15 because you have not met your Phase 4 commitments,
16 and that could be a potential Phase 4 commitment.

17 Thank you.

18 DR. TENDLER: Can I response?

19 DR. PAZDUR: Yes, by all means.

20 DR. TENDLER: I think your comments are
21 all fair, and we stand behind the commitment. We
22 have not shirked this responsibility to RTOG. I

1 think we learned in hindsight a lesson about trying
2 to conduct a Phase 1 study as part of a Phase 3
3 protocol, and the inherent difficulties in doing
4 that; a reluctance to put newly diagnosed patients on
5 a Phase 1 study which is totally understandable.

6 So, yes, I think everything you said
7 after the first safety assessment was conducted,
8 maybe we could have done more to push the fact there
9 and say we cannot define a combination with BCNU and
10 temozolomide, and let's proceed to the Phase 3.

11 But there was tremendous scientific
12 interest, and I'm not, you know, saying that in a
13 minimal kind of way. There really was a lot of
14 interest to try to find a combination that was going
15 to be tolerated to hopefully benefit patients with
16 the combination.

17 The other aspects about starting studies
18 when commitments are granted, just again for the
19 chronology, for the accuracy of the chronology, we
20 did not file originally for accelerated approval. We
21 were seeking full approval. At that time, you know,
22 we learned that the progression free survival

1 endpoint would not be in the GBM, and the randomized
2 GBM study would not be acceptable for full approval
3 and actually was working with FDA, which we worked to
4 identify a patient population that was refractory
5 that could be the basis of an accelerated approval.

6 But both discussions with EORTC and RTOG
7 started before the accelerated approval was granted
8 for the refractory anaplastic astrocytoma indication.

9 So you know, with what you've said we do
10 take those comments seriously. We did, in fact,
11 start discussions. We had every intention and
12 continue to support Phase 3 trials in front line
13 patient populations, and now we're doing everything
14 possible to make sure the enrollment is completed and
15 the study is completed as per the originally agreed
16 upon commitment deadline, which was June 2007.

17 CHAIRPERSON PRZEPIORKA: Dr. Martino.

18 DR. MARTINO: The group in front of us
19 right now is not the group that I mean to focus on.
20 I mean this to be a general comment, but there's a

1 recurrent theme that has struck me over the past
2 couple of days, which is that an accelerated approval
3 has been given to a drug. That then allows the drug
4 to be marketed.

5 It then allows physicians to not only use
6 it for the indicated purpose, but for other things as
7 they deem fair and appropriate.

8 Therefore, the marketplace has access to
9 this drug. Therefore, the sponsor has dollars that
10 come from this marketplace use, which is more and
11 more generalized as more and more time has to pass.

12 Therefore, if I were a company, I'm not
13 sure that I would have the same due diligence, as we
14 like to call it, towards getting some of these
15 studies done as I would if, in fact, I were going for
16 full approval.

17 So the very existence of this type of an
18 accelerated approval creates a circumstance, and even
19 though I suspect that people mean well, but there are
20 certain realities in their lives as well, which is
21 that you've given them an approval, and you're sort
22 of paying a price for the fact that you

1 gave an approval with a modest degree of
2 information to support it.

3 And I really think that I don't know how
4 to solve that problem, but I see that as the inherent
5 problem to all of us.

6 CHAIRPERSON PRZEPIORKA: Can I ask? I've
7 heard a number of sponsors say that they have a
8 commitment to do XYZ study by a certain period of
9 time, which I think is part of your written Phase 4
10 commitment. Would you be willing to pull the
11 indication if they did not complete their study
12 within the written period of time, almost as it is a
13 contract?

14 DR. PAZDUR: I think we really have to
15 discuss that. I think I'm not going to answer a yes
16 or a no question here. That certainly is a
17 possibility. Here again I think we've addressed
18 this. It really depends on other information that is
19 available. This is only one part of the life of a
20 drug, so to speak. There are other studies that
21 could be being done.

22 The whole purpose of bringing this to

1 this forum is to highlight this issue, but it is
2 obviously something that we want to give more
3 emphasis to at this time.

4 DR. TEMPLE: But, I mean, the rules are
5 clear. We can take that into account and act against
6 the drug. As Rick said, that's a complicated
7 decision whether to do that.

8 CHAIRPERSON PRZEPIORKA: Mr. Ohye.

9 MR. OHYE: I think all sponsors are very
10 jealous of their reputation, and I haven't seen any
11 example of any sponsor failing to exercise due
12 diligence in terms of their requirements because
13 they're going to be dealing with FDA not just for
14 this drug, but for many other drugs, and so they're
15 going to be very diligent and carry out all of their
16 responsibilities, you know, to the fullest.

17 And I can tell you I'm very concerned
18 about this because I've been in this business now
19 retired five years, but I've been in this business
20 over 30 years, and I know that Dr. Temple has a long
21 memory.

22 (Laughter.)

1 CHAIRPERSON PRZEPIORKA: Dr. Cheson.

2 DR. CHESON: It seems like we're seguing
3 into the discussion. Is that okay?

4 CHAIRPERSON PRZEPIORKA: Yeah. If you
5 would like to take a seat, that would be great.

6 DR. CHESON: Yeah. First of all, and
7 very importantly, I would like to thank Dr. Pazdur
8 and his colleagues for having this meeting because I
9 think everybody has learned a lot. It has brought an
10 extraordinary number of important issues into public
11 forum, and it has been a very thoughtful and
12 provocative session, and I'd like to thank my
13 colleagues for their active participation, which I
14 think was the best ODAC meeting that I've certainly
15 attended.

16 And I'm sure that this will lead to some
17 open and maybe not so open planning and thinking, but
18 I'm sure in a very constructive direction.

19 One thing that a lot of my colleagues
20 have learned, and I've been in this business longer
21 than some, is what accelerated approval means, and
22 now the definition, although we don't like the term

1 very much, has become real to some of them and some
2 of us, there is a risk here of the pendulum swinging.

3 There will be, I think, a little more
4 vigilance in the decision making by the members of
5 the committee who are present today, and maybe a
6 little more reluctance to approve certain drugs on
7 some of the meager evidence which they're being
8 presented.

9 Because we're faced with a number of
10 potential scenarios, and I'd just elicit a few, and
11 I'm sure you can come up with a lot more.

12 First there will be the slam-dunkers,
13 those accelerated drugs which kind of zip through and
14 have a wonder Phase 3 with no problem whatsoever.

15 And then there are the ones that are just
16 never going to happen. There are those where the
17 accelerated approval is followed by a study which is
18 negative, and that may be in the same indication or a
19 different indication. What do you do with that?

1 Then there is the problematic one where
2 the accelerated approval is preceded by negative
3 studies, large negative studies, which can be
4 exceptionally problematic, not that that would ever
5 happen, of course, right?

6 (Laughter.)

7 DR. CHESON: And there are others I can't
8 read without my glasses on. You know, the
9 confirmatory, and what happens where you have one
10 instance here where the confirmatory trial may be
11 negative, but in a different indication? We ran that
12 this morning.

13 I think when the companies address the
14 development and design of their confirmatory trials,
15 which should be before, you know, we agree with the
16 developmental concept here, it's not only due
17 diligence, but it has to be due realism.

18 And we've seen a series of mistakes that
19 could have been easily predicted. We all know that
20 when a drug gets out there, the likelihood that a
21 patient is going to go on a trial is greatly
22 diminished.

1 And I think waiting until the problems
2 arise and then trying to fix them is going to delay
3 the process a lot more than anticipating the problems
4 and trying to be proactive in preventing them or
5 seeing other options, not just going to a group, not
6 just going to a bunch of investigator, but realizing
7 -- and I think it should be really hammered home
8 after this meeting -- that this is a real problem.

9 And either the process has to change or
10 the way the companies approach the process has to
11 change, and I think a little bit of both has to
12 happen.

13 And I would -- I don't want to talk here
14 forever, but I think when you make your decisions on
15 the scenarios that I came up with, as well as others
16 that I'm sure my colleagues will come up with, I
17 would hope that the committee would be involved in
18 some of the decisions about what you can do because I
19 know some of these will be very difficult decisions.

20 It's hard to yank a drug. There are a

1 lot of political and emotional ramifications, as you
2 eloquently described. But I think this committee
3 would really appreciate the opportunity to
4 participate in some of these decisions, and it would
5 serve as an excellent sounding board for some of the
6 very difficult decisions you're going to have to
7 make.

8 Because they're going to be very
9 different with every drug and every scenario, but a
10 lot of the problems come from the company not being
11 thoughtful enough in their developmental strategies,
12 and I would encourage the companies to learn from
13 this meeting as we have learned from this meeting
14 that the problems are there and think about them
15 ahead of time instead of trying to clean up the mess
16 and taking ten years, 12 years to get a drug through
17 the system and run the risk of getting it yanked,
18 which I think at some point some of these probably
19 should be because, you know, they're not fulfilling
20 the obligations.

21 And I'll be quiet. I promise. But,
22 again, thank you for this opportunity.

1 CHAIRPERSON PRZEPIORKA: Just to
2 summarize the last couple of days, what I've come to
3 learn over the past actually couple of years is the
4 idealized drug development plan starts with the
5 preclinical studies, the production information, the
6 pharmacokinetic studies, and at the time Phase 1 is
7 completed, hopefully the pediatric studies and
8 development of any assays for eligibility or
9 endpoints after they get started.

10 Their Phase 2 studies are conducted, and
11 once there is some idea that there may be some
12 activity, we would hope that the sponsors would have
13 a plan for expanded access, as well as some
14 investigator initiated studies in the same or other
15 diseases to look for the optimum dosing, followed by
16 the Phase 3 studies, and wherever accelerated
17 approval happens to fall out, either after Phase 2 or
18 Phase 3, the confirmatory trials.

19 And that's the idealized setting, with
20 the optimal being when the sponsor hits this room the
21 Phase 3 study is underway, and we're actually looking
22 at accelerated approval on the basis of a

1 surrogate, and the confirmatory trial may just be
2 let's wait and see what survival is on that very same
3 study.

4 The problems that we have seen here in
5 getting those trials through after accelerated
6 approval has been an issue of drug production, which
7 had to deal with getting the company back up to speed
8 on GMP, starting the pediatric drug development way
9 too late, having too few patients and a very small
10 cohort of eligibility to actually complete a Phase 3
11 study in a timely fashion, having two complex
12 designs, adding arms for scientific indications
13 rather than actually to address the question at hand.

14 Excessive toxicity which really led us to
15 think twice about whether the drug should have been
16 let out for accelerated approval in the absence of a
17 true response that we could really take to market.

18 Competition with the drug on the market,
19 leading to reduction in accrual or even other
20 competing trials.

21 And the worst of all is having a design
22 with the placebo arm which I think in the 21st

1 Century most of us would not find very acceptable at
2 this point in time.

3 And from all of these as far as I can
4 tell, I think you're correct, Dr. Cheson. The vast
5 majority will require change in the mindset of
6 industry.

7 The urgency burden to get this through is
8 on the industry, not on the FDA, not on the public,
9 not on the investigators. It's on industry.

10 In fact, there is only one issue here
11 that I could actually say that FDA may have,
12 potentially, possibly have some input in, and that
13 was to stop the design of adding the double drug
14 trial from the last sponsor in saying this is not
15 relevant to the question, you know. Get on with your
16 original plan of looking at the two arms themselves.

17 And having said that, I wanted to see if
18 there are any other questions. Actually there was
19 one other one.

1 We had talked earlier that if the
2 confirmatory trials come back negative, this
3 committee would probably support yanking the
4 indication, but as was pointed out, sometimes there
5 are ongoing randomized trials ahead of time either by
6 the sponsor or by others.

7 Dr. Pazdur, would you ever foresee such a
8 circumstance? And how would that information get to
9 this committee when they were deliberating a
10 presentation or a drug for accelerated approval?

11 DR. PAZDUR: Where we have known
12 confirmatory trials at the time? Well, I think that
13 we have to see all data before we make a decision so
14 that we know what trials are ongoing, and that really
15 should be brought forth to the committee.

16 Whether or not the FDA has officially
17 agreed that these are confirmatory trials or not,
18 that could be a matter of speculation or either
19 communication or miscommunication between the company
20 and the sponsor.

21 But I think anything that could bear upon
22 a decision, especially if it's in the same

1 indication or a related indication absolutely needs
2 to be presented to the committee because that would
3 bear into any decision, and we did that obviously,
4 and those trials were presented in the case of Iressa
5 that was presented last ODAC.

6 CHAIRPERSON PRZEPIORKA: And in the
7 instance of a drug that's brought before this
8 committee and we know of either published or
9 unpublished information on trials that were not part
10 of the sponsor's own development that are negative,
11 and the sponsor does not present this information at
12 this meeting, would the FDA present that information
13 or would you be relying on us to bring that
14 information forward?

15 DR. PAZDUR: No, we would present that,
16 but hopefully we would have had these discussions.
17 Remember our discussions regarding the ODAC committee
18 are not separate from the sponsor in the sense that
19 we do communicate with them beforehand, share slides
20 frequently with them, discuss what we are going to
21 present.

22 So hopefully this would have been

1 fleshed out, exactly what is going to be presented by
2 the sponsor and what is going to be presented by the
3 FDA.

4 CHAIRPERSON PRZEPIORKA: Dr. Redman, do
5 you have a comment?

6 DR. REDMAN: Yeah, I just want to make a
7 comment to some comments that were made much earlier
8 just to give another side of the coin of the last
9 sponsor going to a cooperative group and trying to
10 run a trial and then being faulted because the
11 cooperative group wanted to add a third arm.

12 I mean most of us have dealt with
13 cooperative groups. It reminds me of the fairly tale
14 or the story of the kids having to pass the word
15 along and by the time it gets to the end of the 30th
16 kid, it has no relationship to what was put in at the
17 front end.

18 And cooperative groups, what actually
19 sometimes comes out at the back end is actually
20 better than what went in, but I can't fault the
21 sponsor that needs to do a large Phase 3 trial of
22 going to RTOG, going to SWOG, going to ECOG and

1 asking for their assistance.

2 And a lot of times the cooperative group
3 goes back to them and says, "Yes, but it's more
4 scientifically interesting to us as a group to do it
5 this way."

6 See, then you really can't turn around
7 and say, "Okay. We're not going to use you."

8 DR. PAZDUR: Bruce, we encourage, and I
9 personally encourage, interactions with the NCI
10 cooperative groups, and I want to send a clear
11 message that my comments are not meant to be anti-
12 cooperative group. We encourage participation of
13 sponsors with cooperative groups both on registration
14 studies, primary registration trials, on risk
15 reduction trials, on adjuvant trials. We have
16 accepted their data.

17 I am totally supportive. I think it
18 makes complete sense to utilize that mechanism. In
19 pediatrics, as Greg will attest to, we have been very
20 interested in a close interaction between sponsors
21 and COG.

22 Nevertheless, that obligation to meet

1 the Phase 4 commitment rests with the sponsor, and
2 he must do that with due diligence because he has
3 that responsibility. That company has the
4 responsibility.

5 And if it doesn't appear that that is
6 going to be met in a timely fashion or in a logical
7 fashion that would meet the regulatory requirements,
8 there are other avenues available to him, to that
9 sponsor, either to discuss alternative trial designs
10 with us, to do an international study sponsored by
11 the company.

12 And here, again, one of the issues that I
13 wanted to bring forth is what is the quantity of data
14 that we should ask. Heretofore, most of the times
15 we've been discussing one trial that is going to be
16 our confirmatory trial, and as you know, in other
17 areas we have requested two trials to be done.

18 I'll just remind you that the AIDS
19 patients usually have two trials that are very large
20 at the time of an NDA submission being sent forth to
21 them.

22 So I'm not arguing. I realize that

1 there's a complex interaction between the groups and
2 the sponsor, and that can be somewhat difficult.
3 They have different objectives sometimes. Sometimes
4 the cooperative groups might want to answer an
5 interesting scientific question.

6 But nevertheless, it is the obligation of
7 the sponsor to fulfill the Phase 4 commitment, and if
8 that isn't being met, maybe they have to take a look
9 at different avenues.

10 CHAIRPERSON PRZEPIORKA: Dr. George.

11 DR. GEORGE: Yeah, I wasn't going to
12 speak to that, but I have a brief comment about that
13 since I'm the group statistician for one of the
14 cooperative groups.

15 I think that this arrangement should be
16 highly encouraged because I think it's a good way for
17 mutual benefit. It's probably an educational process
18 that there isn't this communication going on.
19 There's a lot of communication going on between the
20 groups at NCI, but not with FDA. So there could be a
21 communication issue.

22 But I just wanted to list some things

1 that I've learned from all of this, I think, and that
2 is that the accelerated approval is really based on
3 weaker evidence, that is, in fact, it's based on
4 assessment of likely effect than any real data on
5 clinical benefit when it's given.

6 One sidelight of that is that the public
7 and media, it's pretty clear, interpret it actually
8 in exactly the opposite way. This agent not only has
9 approval. It has accelerated approval, and that's
10 just a terminology issue and something we can't get
11 around, I don't think, but it is something that we
12 have to live with, and I think it has had some effect
13 on some of these subsequent trials.

14 But one thing that implies. Since we
15 know it's going to be based on weaker evidence, I
16 think that this just echoes what Dr. Pazdur has
17 stated at the very first. Really we need plans in
18 place for post marketing commitments at the time
19 we're considering this, and so I think when we're
20 considering these accelerated approval applications,
21 we should be reviewing what their post commitment,
22 what their plans are.

1 And, in fact, in my case, I would say
2 that it would greatly influence whether I would vote
3 for accelerated approval depending on what those
4 plans were. Ideally now, these would already be
5 ongoing, but it may not be, but still that I think is
6 going to have to be an important part of this
7 process.

8 Along those lines, when we're evaluating
9 what those plans are, I think one thing I've noticed
10 going through these two days is that we don't do
11 enough of what I.G. Goode years ago called using the
12 device of imaginary results. Have a plan and think
13 about all of the possibilities that could happen, all
14 of the kinds of results that might occur from those
15 plans.

16 It might not occur that your agent, in
17 fact, produces better survival or you have a three
18 arm study and there might be some very confusing
19 results that could come out of it.

20 So think about all of those things hard
21 before you decide what to do.

22 Another thing is I think I've come to

1 the conclusion that we should never allow
2 accelerated approval on unplanned subset analyses of
3 applications for full approval. That should just be
4 known ahead of time that that is not going to happen.

5 So in other words, if you're going for
6 accelerated approval, go for it, but it's not a
7 second prize to full approval.

8 In fact, ideally what I've found, there
9 were a couple of cases like this; that it seemed to
10 me that the accelerated approval is actually built
11 into a trial that can give full approval is a really
12 nice model because then you actually base the
13 accelerated approval based on some early analysis.
14 Say, just to take a simple analysis, it might be
15 based on response rate where the endpoint of the
16 trial is really survival. So you can potentially go
17 for accelerated approval based on response rate, but
18 with the same patients and not jeopardize that study
19 presumably; continue that study, and that would be an
20 important -- I like that design, in other words.

21 Enough said.

1 CHAIRPERSON PRZEPIORKA: Dr. Brawley.

2 DR. BRAWLEY: I think he has a hot,
3 burning comment. Can we yield?

4 CHAIRPERSON PRZEPIORKA: Okay. Dr.
5 Temple, he yields to you.

6 DR. TEMPLE: Okay. Just a few things.
7 I've said some of these things before. There's a
8 reason why you don't always make the trial that gets
9 accelerated approval the same as the one that's going
10 to get you full approval, because it's way, way
11 harder to actually show those desirable endpoints
12 when the response rate is very low.

13 So, I mean, we love trials that you can
14 just continue because then it's all done and you're
15 definitely going to get an answer, but that doesn't
16 mean it's going to work out or give you the answer
17 you want.

18 The other thing I heard was this sort of
19 dislike of these three arm or add-on trials, and I'm
20 curious about that because in the trials that they're
21 actually doing, they're going to have to be better
22 than the control agent, which maybe they will

1 be.

2 But a drug that's perfectly good, but
3 that is not better than the control agent might be
4 able to add to the control agent.

5 Now, in this case, they didn't have the
6 tox. data and it didn't work out, but we commonly
7 advise people that doing add-on studies, which by
8 definition show differences between treatments, are a
9 good idea, where it's implausible that you're going
10 to actually be better than the control, but sometimes
11 you are, or where you have to resort to a non-
12 inferiority design, which is very, very tricky, very
13 hard, and fraught with danger.

14 So obviously you have to have the tox.
15 ready. The two have to be compatible and sensible,
16 but I must say we commonly know -- we still like that
17 design, certainly very common outside of oncology
18 when there's a good standard therapy.

19 No, none of them have to be in the -- but
20 even in a non-refractory setting, it's fine if your
21 drug is better than the control agent, but you can't
22 always count on that, and it might be valuable

1 if it added to the control agent, if that was a
2 sensible thing to do.

3 I like it because it's an easy design to
4 interpret. Non-inferiority designs are murder, and
5 the combination being better than the single agent is
6 very easy to interpret. So it has some
7 attractiveness that way.

8 CHAIRPERSON PRZEPIORKA: I don't want to
9 discourage such a design. As you have said, it's a
10 nicer design and gives you more information right off
11 the bat. The only problem is, as you pointed out,
12 the information was not available.

13 And I don't work for a drug company, but
14 I do know in my own research I need to move the field
15 as fast as possible in order to improve patient
16 outcomes, and if it's between do a two arm study now
17 or stop for two and a half years to do a three arm
18 study later, I'm doing the two arm study now and
19 doing the pharmacokinetic study someplace else.

20 DR. TEMPLE: Right. I think everything
21 that people have said though is that if you plan

1 ahead, you don't have a three year delay, and that's
2 certainly what we would encourage.

3 Just one or two other things. This was
4 the creator of the division, and like all of you, I
5 think it was a terrific display to do.

6 I just want to say something that might
7 not be appreciated. We still -- I'm saying it for me
8 anyway, and I think it's for everybody else -- still
9 believe in the idea of accelerated approval. We just
10 want to see it work properly. But the idea that you
11 could have some information of a less definitive
12 kind, still good evidence, but of a less certain
13 relationship to outcome as a basis for approval in
14 diseases that have no treatment still seems very
15 sound, and nobody is challenging that by showing how
16 it has all gone.

17 I just want to be sure everybody
18 understands that. We want to see it work well, and I
19 guess I have to say it isn't only the companies that
20 have screwed up from time to time. We have been
21 insufficiently dogmatic about insisting that these
22 things be planned out well ahead of time. So

1 this is a mutual effort to do better. I just want
2 to emphasize that.

3 CHAIRPERSON PRZEPIORKA: Dr. Brawley.

4 DR. BRAWLEY: You may not have been here
5 yesterday, Dr. Temple, when one of the proudest
6 things I did was I forced Dr. Pazdur to defend
7 accelerated approval.

8 (Laughter.)

9 DR. BRAWLEY: The need for confirmatory
10 testing is obvious, and the need for confirmatory
11 testing plans need to be in place at the time of
12 submission for accelerated approval is to me very
13 obvious. My remark is going to be very short because
14 Dr. George and Dr. Cheson really summed up things
15 very, very well, I think.

16 I, too, learned a great deal. One of the
17 things that I focused on is that in Phase 4
18 confirmatory trials, there really is a conflict of
19 interest of sort among the companies. I haven't seen
20 any evidence that this is effective corporate
21 behavior.

22 But we all need to realize that the

1 company can either sell the drug or promote the study
2 that will confirm the drug for permanent approval,
3 and sometimes we could even be in a situation where a
4 company might lose faith in a drug and actually slow
5 down those confirmatory trials so they can still sell
6 drug.

7 I'm not saying that that has happened.
8 I've actually seen no evidence of it happening, but
9 in the current environment, it creates the
10 possibility, and we've all seen corporate
11 irresponsibility in the newspapers recently in terms
12 of drug development, and I for one am very concerned
13 about the patients who will not get those drugs
14 because of that corporate irresponsibility.

15 Again, I'm speaking of things I read in
16 the newspapers and not things I've seen in the
17 companies in the last two days.

18 In terms of the issue of withdrawal, I
19 think Dr. Cheson used the word "pharmacopoptosis."

20 (Laughter.)

21 DR. BRAWLEY: If there are Phase 4 trials
22 that demonstrate that a drug does not work, I

1 don't think you at the FDA are going to have to
2 worry about whether or not we move to pool it. Quite
3 honestly, I think the medical community will do that
4 for you if those Phase 4 trials are done adequately
5 and published.

6 In terms of the name, accelerated
7 approval, I learned a great deal about what it means
8 and doesn't mean. A couple of us over here for the
9 last day and a half have been writing other potential
10 names.

11 I understand accelerated approval has
12 been the law. So we can't change it to provisional
13 approval or conditional approval or, my personal
14 favorite, which is premature approval.

15 (Laughter.)

16 DR. BRAWLEY: We do have to -- and Ms.
17 Napoli said it yesterday in the public hearing best
18 -- we do have to work hard to make sure that people
19 know that these drugs have been approved by a
20 process, meaning that things are early. What is
21 known about this drug is not what would be known
22 about a drug in a normal approval situation.

1 I know of at least one company whose
2 advertising actually encourages you to use this new
3 hot drug because it went through accelerated
4 approval. It was so good. It makes everyone think
5 it was so good, it was a slam dunk, and so it was
6 approved by the FDA quickly, and we've all learned
7 that that doesn't mean much at all.

8 Accelerated approval means, as Dr. George
9 said, that the data is very tenuous.

10 Also, we mentioned yesterday, and I'd
11 like to mention again, there are a number of
12 instances where drugs in a Phase 2 setting that have
13 never been tested in Phase 3 have, when tested in
14 Phase 3, been found to not just be not useful, but
15 actually have been found to be harmful, thus the
16 importance of Phase 2 testing.

17 Such things as beta carotene administered
18 daily to smokers. It was thought for a long time
19 that that was harmless. I can even recall saying,
20 "It's just a vitamin."

21 In a randomized clinical trial twice now
22 it has in two randomized clinical trials -- beta

1 carotene increased the risk of lung cancer in
2 smokers. The placebo was more effective than beta
3 carotene.

4 Premarin and Provera, as we talked about
5 yesterday, something that we used in this country for
6 over 50 years because it was a good idea and some
7 smart people thought it was good for women, and
8 finally the randomized clinical trials, which were
9 very difficult to do because everybody assumed it was
10 okay; the randomized clinical trials ultimately
11 showed that Premarin and Provera increased the
12 woman's risk of breast cancer significantly. Do not
13 treat the osteoporosis that it was thought to treat.

14 It does prevent colon cancer, but the
15 preventive aspects of colon cancer for the drug are
16 so minuscule and the harms are so high that Premarin
17 and Provera, as most of you know -- and the Wyeth
18 people here can tell you -- specifically, sales have
19 fallen dramatically in the last six months.

20 Bone marrow transplant in breast cancer.

21 We were all taught as young medical oncologists that
22 more is better, and those bone marrow transplant

1 randomized studies, randomizing women to either high
2 dose chemotherapy or bone marrow transplant were
3 delayed for some time because everybody assumed bone
4 marrow transplant was better.

5 Phase 2 data suggested it was better. We
6 don't do bone marrow transplant in breast cancer
7 anymore after the four randomized trials that were
8 good were published, and there was one where there
9 was some significant fraud.

10 Screening for neuroblastoma with Urine
11 VMA or screening for lung cancer with chest X-ray,
12 all widely accepted, ultimately thrown out after
13 randomized clinical trials showed that they were both
14 more harmful. Neuroblastoma screening with the urine
15 test was more harmful to three and four year old
16 kids.

17 So ultimately one can have net harm after
18 Phase 2 clinical trials. It's very dangerous to get
19 up and offer someone hope in a small molecule, not
20 even to someone who probably doesn't even know what a
21 small molecule is, when in actuality you're offering
22 a little bit of hope and a

1 lot of risk and perhaps a lot of danger.

2 And I speak specifically to some of the
3 advocates who spoke yesterday who dramatically
4 exaggerated the potential effect of a number of drugs
5 that are already marketed. Quite honestly, I don't
6 know many people who get cured of their disease from
7 some of those small molecules that are currently
8 marketed, but we heard yesterday not only that there
9 were 800,000 people looking for these drugs when
10 there's only 500,000 cancer patients per year in the
11 United States, by the way, but we also heard
12 exaggerated benefits of the drugs.

13 I am really unsure -- I'll finish by
14 saying I'm really unsure the risk concept is
15 appreciated by physicians, as well as by patients,
16 and one thing that the FDA can really do, I think, is
17 work hard to make sure that people actually
18 understand what this -- I think you're stuck with the
19 phrase "accelerated approval." I think you have to
20 really work very hard to make people in the medical
21 community understand what accelerated approval really
22 means; make people in the advocacy

1 community really understand what the potential of
2 these drugs actually is.

3 Thank you.

4 CHAIRPERSON PRZEPIORKA: Thank you.

5 Dr. Kelsen.

6 DR. KELSEN: Just to follow up Dr.

7 George's point about distinguishing in the minds of
8 the public and perhaps physicians the difference
9 between accelerated and full approval, would you
10 consider placing as part of the labeling indication a
11 brief description of what accelerated approval is or
12 maybe --

13 DR. PAZDUR: We do, but I think it cannot
14 be interpreted by most people because they don't
15 understand it. Okay?

16 Under the indication it says something to
17 the effect clinical benefit has not been demonstrated
18 or this drug was approved by a surrogate endpoint and
19 clinical benefit has not been demonstrated.

20 And I think unless you have a real
21 thorough understanding of the process, et cetera,

1 that is lost on most people, and maybe we have to
2 revisit how we do that, either through patient
3 package inserts or better description in the label.

4 But it is there. There is a specific
5 disclaimer, but here again, I think it may be lost on
6 the vast majority of people that don't work at the
7 FDA.

8 CHAIRPERSON PRZEPIORKA: Ms. Mayer.

9 MS. MAYER: I think the reason that Dr.
10 Brawley's eloquent examples of harm are so
11 instructive is that they reach us on a level that we
12 don't often discuss here, but which is really why
13 we're all sitting here in this room, which is that we
14 have a profound wish and hope for treatments to be
15 available to help patients with cancer to cure them.

16 This is what animates everything that we
17 do, and it's also, I think, one of the reasons why
18 there have been so many problems with accelerated
19 approvals, because this is the place in the
20 regulatory process where we can set aside our hard
21 discriminations and firm refusals and say, "Well,

1 yes, maybe. Maybe this will work out. Maybe we can
2 defer until later that difficult discrimination."

3 And I think until we can really tackle
4 what Dr. George was saying earlier about the
5 necessity for planning and thinking ahead, taking
6 into account our own individual vulnerability to be
7 influenced by patients who are standing up and
8 talking about personal experience, which is anecdotal
9 evidence, and our own wishful thinking, that until we
10 can acknowledge that, I don't think we can move ahead
11 in this process to make really reasoned decisions;
12 that we need to see perhaps more clearly how
13 deferring a decision can be of greater benefit for
14 more people, which is what my personal believe is;
15 that it's better to wait for the good science.

16 CHAIRPERSON PRZEPIORKA: Dr. Fleming.

17 DR. FLEMING: I'd like to thank my
18 colleagues on the board and at the FDA for some
19 terrific insights, and I'd like to maybe just
20 reiterate some of these and maybe extend a few of
21 these points.

1 There's no question that the accelerated
2 approval process is well intended, with the concept
3 of trying to get quicker access in a life threatening
4 disease setting to agents that have promise for
5 benefit.

6 There are, however, many significant
7 concerns that listening to all of the discussion over
8 the last two days, it's a very sobering process. I'd
9 like to begin with what Dr. Brawley had to say, and
10 that is in my words an effect on a biological marker
11 certainly established biologic activity, but may not
12 establish clinical efficacy.

13 And he has given an array of very
14 relevant examples. A number of us have also written
15 about a wide array of other examples. The literature
16 is full of examples where effects on markers didn't
17 accurately predict the effect on clinical endpoints,
18 essentially in part because the disease process is
19 complex, and there are typically many pathways
20 through which the disease process influences clinical
21 endpoints, only some of which may be mediated through
22 what the marker is

1 capturing.

2 And interventions can have unintended, as
3 well as intended, effects, and those unintended
4 effects are typically unrecognized and unrecorded.
5 And so it's not until we do the clinical endpoint
6 studies that we really understand more fully what the
7 actual tangible effect is to patients.

8 But other issues arise as well with the
9 accelerated approval process that are very critical
10 here. One that we've heard about is the slower
11 enrollment that can come after the agent is being
12 marketed. The Ontak example is a classic example
13 where enrolling nine and seven and nine patients per
14 year into trials, where the sponsor has said there's
15 no question that with the product being available
16 enrollment into placebo controlled studies is much
17 more difficult.

18 There's a much greater chance of cross-
19 ins, and so we do care about survival. It's much
20 more difficult to do the types of studies that over
21 the time period that would have to be engaged to be
22 able to reliably detect whether the treatment truly

1 influences outcome, such as survival.

2 And there is this issue of sense of
3 urgency, and, Rick, I'd like to reassure you that at
4 least as one person, I didn't just keep raising the
5 issue because I didn't know how many times you kept
6 wanting to hear it. We repeatedly were referring to
7 this issue yesterday in particular.

8 I want to be fair and say it has been a
9 privilege to work not only on behalf of FDA on these
10 Advisory Committees, but to work with industry
11 sponsors in the design, conduct, analysis of clinical
12 trials. And there is no question in working with
13 those sponsors that they are committed to doing what
14 is favorable for public health.

15 There is also, however, no question that
16 the urgency is reinforced significantly by financial
17 considerations. That's very obvious in terms of how
18 the process is undertaken in a premarketing setting,
19 and my sense, my suspicion and, I think, reinforced
20 by broadly what we're seeing is there clearly isn't
21 that same at least financial aspect to the sense of
22 urgency, and I think that is something that has to

1 be addressed because the urgency of moving ahead to
2 get at truth is still profound, even after the
3 accelerated approval has occurred.

4 And I definitely endorse the idea that
5 there needs to be a much more proactive planning for
6 the concept of accelerated approval. It seems to me
7 that at least in a number of these cases we were in a
8 drug development process where at some point it
9 looked like, gee, this could actually yield an
10 accelerated approval application, without much
11 earlier stage planning that this is where we're
12 headed, and there are lots of things that have to be
13 in place.

14 And so, Rick, you had pointed out how
15 could we go from 1999 to 2003 before it is that we
16 get that study in place, and I think the sponsor in
17 this case said, well, in this particular instance the
18 accelerated approval is something that emerged. In
19 fact, I think the words that they used is the FDA
20 identified this subgroup of patients in whom there
21 looked like to be an effect.

22 And the consequences then are that we

1 are a number of years -- maybe it could have been
2 less than a number of years -- but this didn't appear
3 to be a situation where the accelerated approval had
4 been planned early in the process so that we were in
5 a position to have timely implementation of those
6 studies that, in fact, we will depend on to get the
7 ultimate results.

8 The other aspect here that to me is
9 critical is strength of evidence, and I was reassured
10 that the position here is that we are, in my words,
11 targeting establishment of comparable strength of
12 evidence. We are targeting the establishment of
13 comparable strength of evidence.

14 And yet what to me has been apparent
15 listening over the last two days is that there's a
16 strikingly vague formulation about when and even
17 whether accelerated approval would be withdrawn if we
18 don't achieve that targeted level of strength of
19 evidence.

20 And we had by my count three specific
21 applications where the trials had been completed and,
22 in my words, the results were not favorable,

1 and yet there is an uncertainty about where we're
2 going.

3 And when I looked at these eight
4 applications over lunch break today and just added up
5 where we were from when the original accelerated
6 approval was granted to when we're projecting the
7 completion of the next trial, the average is at least
8 ten years. And that's just getting to the end of the
9 next trial.

10 And it's not clear to me once we get to
11 the end of that next trial whether or not that's
12 going to be a result that's going to, in fact, lead
13 to another indefinite extension.

14 So my fear is, my concern is that what
15 ultimately we have at least if we use the experience
16 of the last two days for me is a perception that
17 accelerated approval isn't accelerated approval.
18 It's tantamount to approval because it's so
19 extraordinarily hard to withdraw.

20 And my concern is if one truly wants
21 accelerated approval and doesn't want to raise the
22 bar for what it is going to take to achieve an

1 accelerated approval, then doesn't there have to be a
2 clear sense in formulation as to what the
3 expectations are and when, in fact, or what exactly
4 is going to be required and when it's going to be
5 required basically to provide the reassurance.

6 I guess my own sense about this is with
7 the reservations that I had about accelerated
8 approval, I always felt that at least I could be
9 reassured that we would still get at the truth. We
10 would ultimately get at the truth in a timely way.

11 And so we were, in fact, potentially
12 providing earlier access to patients that could be
13 beneficial if this intervention is beneficial. But
14 if it turns out to be biologically active but not
15 clinically effective and potentially toxic, there
16 would be a horizon. There would be an end time frame
17 to this.

18 And my reassurance was with that end time
19 frame, that was a risk that, in fact, could be
20 legitimate in the context of the intended benefit.
21 But if there isn't that horizon and accelerated
22 approval, as even George was pointing out, is based

1 on relatively weak evidence, then my own sense is we
2 have to raise the bar.

3 And if the intention is not to raise the
4 bar, then it can't be, as Dr. Brawley was saying,
5 premature approval. I mean, I have always believed
6 it's conditional approval, and it was, as Bob Temple
7 said, a political aspect or politically incorrect to
8 call it actually what it really is.

9 But the bottom line, as I see it, is if
10 we truly want to maintain the concept of accelerated
11 approval with the lower bar, then something much more
12 specific must be understood about what the
13 expectations are so that we do achieve comparable
14 strength of evidence within an acceptable time frame.

15 CHAIRPERSON PRZEPIORKA: Thank you, Dr.
16 Fleming.

17 Dr. Pelusi.

18 DR. PELUSI: Again, in the spirit of
19 going around and basically saying what these two days
20 have meant, I must say that after being an oncology
21 nurse for 30 years, that puts me in the

1 same age category as Dr. Temple and Mr. Ohye --

2 (Laughter.)

3 DR. PELUSI: -- that big changes have
4 been made, and to see this whole journey, and that's
5 the way patients describe it, as a cancer journey,
6 and to see where we are in drug development and some
7 of the questions that are now being at the table, 30
8 years ago we didn't think we would be at this table.

9 We also didn't have the survivor's
10 movement 30 years ago because we weren't having
11 enough patients long term, and so when I look at what
12 was done in terms of this accelerated approval, we
13 all wanted drugs out there. And I think this has
14 been said over and over again, but we need safe
15 drugs.

16 And I think if really you ask patients
17 and you ask patients' families, yes, they want
18 options, but they want safe options. And in the
19 emotion that gets caught up in many of the
20 discussions, it's because many people who come to the
21 podium, many people who are out there that express
22 their concerns are dealing with this

1 situation right now.

2 Many times when we look at the data, we
3 don't see those faces. We aren't the ones even
4 though we are caring for them, we aren't the ones
5 that are there in that time and effort. And you
6 can't explain all of this in one or two office
7 visits.

8 And I think Otis' point is very well
9 taken in terms of education of the public as a whole,
10 and I think, Rick, you have done this very well in
11 terms of doing this meeting because I think all of us
12 had wide open eyes, and I think the advocacy groups
13 did as well.

14 And the question becomes where do we
15 participate. Back in '71 there were two researchers
16 who made the statement that survival rates, while
17 very justifiable in their right, did not really set
18 the course of what happens when those drugs are put
19 into patients. What is the cost to the patient in
20 terms of their physical functioning, in terms of
21 their social functioning, and in terms of society as
22 a whole?

1 And I think now is the time, as we begin
2 to explore this, is that we do have a lot of
3 survivors. We have a lot of family members who are
4 willing to join in and help with this process and I
5 think with good education, really begin to say what
6 are our options and are they good choices.

7 Because, again, having that knowledge
8 helps make that decision. And many times we don't
9 hear the voices of those patients who did not do well
10 in the trials, and I would, again, encourage as trial
11 designs are done, is to really look at those people
12 who are off study, whether for progression of disease
13 or who have had deaths related to the disease. What
14 happened in those families? Because that gives us
15 guidance maybe in a subjective nature, but when we
16 have to put those drugs in the community, in the
17 homes, it becomes very important that we understand
18 what we need to be prepared for.

19 So I thank you, and I applaud you for
20 doing this meeting. And I would hope that you would
21 look at the role of the public hearing and also of
22 the patient and consumer rep., maybe of taking on a

1 different flair in order to discuss some of these
2 issues, whether it be at different forums or pre-
3 meetings or getting input and presenting kind of
4 overall consensus, and then having something coming
5 from the meetings, Rick, back to some of these
6 advocacy groups, again, about why it's so important
7 to understand what the data truly means, whether it
8 be a newsletter -- as I know from the Oncology
9 Nurses, we get an on-line zip as soon as something
10 happens -- and maybe we need to really look at that
11 for consumers as well.

12 So I just, again -- it's a evolution, and
13 we have done some really positive things. We just
14 need to really look at the process and build on what
15 we've done.

16 Thank you.

17 CHAIRPERSON PRZEPIORKA: Thanks.

18 We're going to be losing some of our
19 members to airlines here soon, and I don't want to
20 cut off conversation, but I do want to acknowledge
21 some folks who are leaving or on the way out the
22 door. Dr. Blayney, Dr. Kelsen, Dr. Lippman, and Dr.

1 Pelusi, who have served this committee very well, and
2 we will not be having a committee meeting in June.
3 So this is their last meeting, and I, for one, thank
4 you all. It has been a pleasure to work with you
5 sincerely.

6 So as you need to tiptoe out the door,
7 please feel free.

8 And Dr. Carpenter had something --

9 DR. PAZDUR: Donna, could I just add
10 something?

11 We from the division would also like to
12 thank these individuals because many times what
13 people do not realize is the intense amount of effort
14 that people play behind the scenes.

15 This is one public forum, but we rely
16 heavily on members of the committee as consultants
17 throughout the year in teleconferences to us, in
18 doing special protocol assessments, in being at
19 company meetings.

20 So I would like to also take this
21 opportunity to thank these individuals that will be
22 leaving the committee.

1 CHAIRPERSON PRZEPIORKA: Thanks.

2 Dr. Carpenter.

3 DR. CARPENTER: Just one brief comment.

4 You had said something about how arcane the
5 information is about accelerated approval. I think
6 the package insert is something that's looked at
7 widely, and some way to indicate that it is, in fact,
8 a different kind of approval and that in some ways
9 it's limited would probably solve some of the
10 communication gap between the agency and the people
11 it's trying to communicate with.

12 So I would just encourage your efforts in
13 that direction.

14 CHAIRPERSON PRZEPIORKA: Dr. Blayney.

15 DR. BLAYNEY: Yes. I wanted to thank
16 you, Rick, and your teams for putting this together.

17 It sounds like a lot of energy, a lot of thought
18 went into this, and I think I've learned something.

19 I won't reiterate the comments, but the
20 comment that Donna made reminds me that institutional
21 memory is short, and if you are going to bring things
22 back to this committee, I would

1 encourage you to incorporate some of this
2 definitional training into the committee
3 orientations.

4 We had a very thorough ethics
5 orientation, but I think it would be useful to
6 introduce new members to the terms that are used,
7 particularly accelerated approval.

8 And you did mention, the last thing, the
9 stick to enforce some of the vigor that you want to
10 infuse the post approval process. One of them was
11 withdrawing the indication. You mentioned earlier
12 that you had also thought about perhaps the niche or
13 the definition of unmet medical need until -- did I
14 understand that you said if the post marketing
15 commitment is not made, you might continue to define
16 an unmet medical need for that indication?

17 DR. PAZDUR: That's an area of
18 discussion, and as I mentioned, one of the
19 possibilities to encourage further development in a
20 particular indication that has not met clinical
21 benefit in that indication might allow other people
22 to come into that indication if the first drug that

1 got accelerated approval demonstrates clinical
2 benefit outside of that indication.

3 But here, again, that's under discussion.

4 DR. TEMPLE: Remember the whole condition
5 for doing accelerated approval is that there can't be
6 something that fills whatever this need is. So you
7 might think that when one drug gets accelerated
8 approval, okay, the need is filled.

9 The question is: does that, without the
10 confirmatory evidence, fill that need?

11 And we're thinking about that.

12 DR. BLAYNEY: And you know, based on what
13 we've heard today, the competition for, if you will,
14 scarce patient resources in a clinical trial, my view
15 would be no. That need is still unmet unless there
16 were the preponderance of evidence shows that it's a
17 --

18 DR. TEMPLE: We may even agree with you.

19 Just to make people who don't like the term
20 "accelerated approval" much, let me tell you that the
21 other condition in which we used "accelerated

1 approval" is where the drug is considered so
2 dangerous that it has to be marketed under restricted
3 distribution.

4 Now, you might wonder what's accelerated
5 about that, but that's the term anyway.

6 DR. BLAYNEY: Thank you.

7 CHAIRPERSON PRZEPIORKA: Dr. Taylor.

8 DR. TAYLOR: Well, I think the meeting
9 has been a learning experience for all of us and for
10 the community. I look at it in two different ways
11 though. I think the first way to look at it is we're
12 all being Monday morning quarterbacks, and it's very
13 easy to be a Monday morning quarterback and be hard
14 on the committee for having made decisions to
15 accelerate something and hard on the drug companies
16 because they haven't carried out projects.

17 But I don't think we can always foresee
18 what we're going to have to do or what's going to
19 happen or even our understandings of things. So I
20 think we should be kind to ourselves and the
21 committee and industry from that point of view.

1 I also see this as learning from history,
2 and I think that's an extremely important thing; that
3 we know in medicine by our QA studies and in the
4 world that if we don't learn from history, then we
5 don't go anywhere.

6 And for myself, I think I have more of a
7 doubt about whether accelerated approval should be
8 given at all, but the fearful thing is when you look
9 at, as he stated, that it will be ten more years
10 before these other trials that are confirmatory
11 trials are done, then you wonder how long you would
12 wait to have these new agents.

13 And you really have to weigh everything
14 very strongly.

15 CHAIRPERSON PRZEPIORKA: Thank you.

16 Mr. Ohye.

17 MR. OHYE: First, I'd like to thank on
18 behalf of industry or maybe, after all of the
19 castigating I heard, on behalf of the "dark side"
20 what a really yeoman's service that Drs. Blayney,
21 Kelsen, Lippman and Pelusi have given the committee,
22 and I will miss them, and I hope that if they have

1 an opportunity to -- I don't know what the term is -
2 - re-up, I think their wise and unbiased counsel
3 would be very graciously received, and godspeed, and
4 thank you very much.

5 I have to respond to a few things, if you
6 don't mind. First, there's this issue of
7 irresponsible promotion of accelerated approval
8 drugs.

9 I don't know if you're aware, but no
10 accelerated approval drug can be approved without
11 having all promotional platforms preapproved by FDA.
12 That's written in the regulations.

13 It doesn't go on forever, but that's a
14 very important aspect here, and I think it should be
15 there.

16 I think with reference to that rare,
17 irresponsible sales rep., we in industry want to hear
18 about these people or he or she because they are not
19 doing what we want them to do, not doing what we've
20 trained them to do, and please, anyone, if you see
21 someone trying to promote a drug outside of the
22 labeling, we want to hear about that because

1 that's wrong, and we will not tolerate that.

2 I think I'd like to end by saying I think
3 accelerated approval works. Good standards are in
4 place, and without accelerated approval, we wouldn't
5 have these drugs started by physicians, for example,
6 like SkyePharma, and for very rare indications see
7 the light of day. We wouldn't have Gleevec on the
8 market. We wouldn't have the advances in HIV
9 therapy.

10 And I think today we've heard about the
11 great difficulties when you have very rare unmet
12 needs and how difficult it is to do all of the
13 sophisticated planning when you're trying to get this
14 important drug made available for patients.

15 So I ask you all to please keep this in
16 mind, that accelerated approval works, and there are
17 a lot of important drugs out there that are doing a
18 lot of good because this provision is in the law.

19 And I remind you that it is in the law,
20 and what we have to do is to make sure that it works.

21 Thank you.

1 CHAIRPERSON PRZEPIORKA: Thanks very
2 much.

3 Any other comments from the comment?

4 (No response.)

5 CHAIRPERSON PRZEPIORKA: I'd like to ask
6 the FDA if they are satisfied with our discussion or
7 if you have other questions.

8 DR. PAZDUR: No, but I have some closing
9 comments.

10 I'd like to thank the committee for their
11 attentiveness and their consideration, and I think
12 through this forum they've seen what we have been
13 seeing over the past years.

14 In my comments yesterday from the
15 microphone, I think I made it real clear to everyone
16 that the division believes in accelerated approval.
17 This is only one aspect of accelerated approval, the
18 completion of Phase 4 commitments, and we believe
19 that this is an extremely important part of the
20 accelerated approval process, but nevertheless, the
21 life of a drug is very complicated and has many
22 avenues to demonstrate clinical benefit, including

1 the practical use in the community.

2 But nevertheless, one cannot ignore these
3 Phase 4 commitments.

4 This has been somewhat sobering for all
5 of us, I think, because you have seen the problems
6 that we have seen of trials not being done on time,
7 problems with trials, delays in trials.

8 I'd just like to echo, you know, the
9 comments that Tom made. If these were registration
10 trials, would they have been done faster? I don't
11 know the answer to that question.

12 I have a little voice inside of me that
13 says, "Probably so." However, that is a bias on my
14 part that I will label as such.

15 I would also like to remind the members
16 although we are sober at this time with the
17 accelerated approval process, I can't tell you how
18 many times I get pelted when I go out and talk and
19 say, "What's wrong with you? How come you haven't
20 approved this drug? It has a six percent response
21 rate and there's nothing else for these patients.
22 Why isn't this drug on the market?"

1 What's the answer to that question? It's
2 a very difficult question, and it's a balance between
3 trying to get out drugs to people that need them,
4 that don't have anything else, yet demand some
5 standards in the drug approval process.

6 And, again, if we were certain that
7 people would do these on a timely basis, it would be
8 very easy to be very positive about letting
9 everything that comes into our purview out as quickly
10 as possible, but I think we do have a responsibility
11 for this.

12 Also, what we see inside the FDA is
13 basically meetings with sponsors after a drug where
14 we approve the drug on a 12 or a 15 percent response
15 rate, and the next week a sponsor comes in and says,
16 "Well, will you take a response rate of ten percent?

17 And will you approve this drug on 100 patients? How
18 about 70 patients? How about 30 patients with four
19 responses? This is an unmet medical need."

20 Where do we draw the line? And we've had
21 this discussion internally, and ultimately we have
22 control over the situation here, but it is a

1 tendency that can be observed, and as Tom says, we
2 believe that many of the pharmaceutical companies are
3 responsible, but here, again, there are financial
4 pressures that come into bear not so much from even
5 the medical community or the physicians that are
6 working in them, but by the external world, their
7 stockholders, et cetera, that want rapid drugs.

8 So I guess the reason why I'm saying this
9 is although we've had this very sobering experience,
10 I hope people will take it forward and not lose that
11 this accelerated approval has two sides of the issue.

12 Not only is it to get the drugs out as quickly as
13 possible to patients who need them that are
14 desperately ill, and everyone at the FDA realizes
15 this. We're one of the few divisions in the review
16 divisions at CDER that have an entire subspecialty
17 staff that works with us. They're all Board
18 certified medical oncologists or surgeons or
19 pediatric medical oncologists.

20 So we fully understand the need of these
21 people, and I think we all need to hear that we're

1 not working against the American public. We're
2 working for them, and when we delay a drug it's not
3 because we're trying to do something nefarious or
4 work against the patient population. It's just the
5 opposite reason, that we're trying to work for the
6 patient population.

7 I'll get off my soapbox, but I'd just
8 like to recommend or thank really the large number of
9 people that really brought this project to fruition.

10 Although I have a lot of ideas, ideas are not any
11 good unless people carry them forward, and I'd really
12 like to thank Dr. Grant Williams, who was very
13 instrumental in this meeting; especially Dr. Ramzi
14 Dagher, who really did most of the work in putting
15 things together; and Diane Spillman, who is a project
16 manager in the division, who really coordinated
17 countless numbers of meetings not only with sponsors,
18 but with you when we had telephone conversations with
19 you regarding your role in this meeting.

20 So, again, we really appreciate your
21 help. We want everyone to realize that we're trying

1 to have a balance here of getting drugs out to
2 desperately ill people, but also having to have some
3 standards in drug development that will serve the
4 medical community and oncology patients in the long
5 run.

6 CHAIRPERSON PRZEPIORKA: Thank you, Dr.
7 Pazdur, and I call the meeting adjourned.

8 Thank you.

9 (Whereupon, 3:19 p.m., the meeting in the
10 above-entitled matter was concluded.)

11

12

13

14

15

16

17

18

19

20

21