

## UNITED STATES OF AMERICA

+ + + + +

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

ENDOCRINOLOGIC AND METABOLIC DRUGS ADVISORY  
COMMITTEE MEETING

+ + + + +

TUESDAY,  
OCTOBER 7, 2003

The Advisory Committee met at 8:00 a.m. in the Versailles Ballroom of the Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, Dr. Michael McClung, Acting Chairman, presiding.

PRESENT

MICHAEL McCLUNG, M.D. Acting Chairman  
HENRY BONE, M.D. Consultant (Voting)  
THOMAS O. CARPENTER, M.D.  
Member  
DEAN FOLLMAN, Ph.D. Member  
BARBARA LUKERT, M.D. Consultant (Voting)  
CLIFFORD ROSEN, M.D. Consultant (Voting)  
DAVID SCHADE, M.D. Member  
MORRIS SCHAMBELAN, M.D.  
Member  
MARTHA N. SOLONCHE Consumer Representative  
(Voting)  
PAUL WOOLF, M.D. Member  
ROBERT ZERBE, M.D. Acting Industry Representative  
DORNETTE SPELL-LESANE, M.H.A., NP-C  
Executive Secretary

WOMEN'S HEALTH INITIATIVE PRESENTERS

GARNET ANDERSON, Ph.D.  
JANE CAULEY, D.Ph.  
ROWAN T. CHLEBOWSKI, M.D., Ph.D.  
JACQUES ROSSOUW, M.D.  
MARCIA STEFANICK, Ph.D.

WYETH PHARMACEUTICALS TEAM

JOSEPH S. CAMARDO, MD.  
CLAUS CHRISTIANSEN, M.D.  
J. CHRISTOPHER GALLAGHER, M.D.  
ROBERT LINDSAY, M.D., Ph.D.  
JAMES H. PICKAR, M.D.

FDA REPRESENTATIVES

ERIC COLMAN, M.D.  
DAVID ORLOFF, M.D.  
BRUCE V. STADEL, M.D., M.P.H.

PUBLIC HEARING SPEAKERS

AMY ALLINA  
DAVID ARCHER, M.D.  
MARIE FOEGH, M.D., D.Sc.  
OMEGA L. SILVA, M.D.  
JAMES A. SIMON, M.D.

## I-N-D-E-X

Call to Order and Introduction Dr. McClung	3
Conflict of Interest Statement Ms. Spell-LeSane	6
Welcome and Introductory Comments Dr. Orloff	8
Women's Health Initiative Study Results: Implications for the use of hormone therapy with estrogen/progestin as a second-line drug for the prevention and treatment of post menopausal osteoporosis in women.	
Open Public Hearing	18
FDA Presentation - Dr. Colman	54
WHI Presentation	
Dr. Rossouw	69
Dr. Stefanick	81
Dr. Chlebowski	96
Dr. Anderson	107
Dr. Cauley	128
Dr. Anderson	143
Dr. Rossouw	149
Questions from the Committee	155
Presentation by Wyeth Pharmaceuticals Dr. Camardo	176
FDA Presentation - Dr. Stadel	246
Charge to the Committee - Dr. Orloff	258
Committee Discussion	260

1 P-R-O-C-E-E-D-I-N-G-S

2 8:07 a.m.

3 CHAIRMAN McCLUNG: Good morning. I'm Dr.  
4 Michael McClung, the acting Chairman of the  
5 Endocrinologic and Metabolic Drugs Advisory Committee.  
6 Let me welcome you to today's meeting. We have a very  
7 busy agenda that looks like will be an interesting and  
8 enlightening day.

9 Let me begin by asking the members of the  
10 Advisory Committee and our invited guests and  
11 consultants who are seated around the table to  
12 introduce ourselves to both each other and to the  
13 audience. So, sir, I'm going to have you start with  
14 your end with your mouth full. Sorry.

15 DR. ZERBE: Sorry. I'm Bob Zerbe, QUATR  
16 Pharmaceuticals and I'm the Industry representative.

17 DR. SCHADE: I'm David Schade,  
18 Endocrinology University of New Mexico, School of  
19 Medicine.

20 DR. SCHAMBELAN: I'm Morrie Schambelan,  
21 Endocrinology, University of California in San  
22 Francisco ("UCSF").

1 DR. FOLLMAN: I'm Dean Follman, a  
2 statistician at the National Institutes of Allergy and  
3 Infectious Diseases.

4 DR. BONE: I'm Henry Bone. I'm an  
5 endocrinologist and the Director of the Michigan Bone  
6 and Mineral Clinic. I guess that's the main thing.

7 DR. LUKERT: I'm Barbara Lukert,  
8 Endocrinology, University of Kansas.

9 DR. CARPENTER: I'm Thomas Carpenter,  
10 Pediatric Endocrinology, Yale University in New Haven.

11 DR. WOOLF: I'm Paul Woolf, Adult  
12 Endocrinologist, Crozer Chester Medical Center.

13 SECRETARY SPELL-LESANE: Dornette Spell-  
14 LeSane, Executive Secretary for the Committee.

15 MS. SOLONCHE: And just in time, Martha  
16 Solonche, New York City, the home of the New York  
17 Yankees, Patient Representative.

18 DR. STADEL: Bruce Stadel, Medical  
19 Officer, Metabolic and Endocrine Division (FDA).

20 DR. COLMAN: Eric Colman, Medical Officer  
21 from Metabolic and Endocrine (FDA).

22 DR. ORLOFF: David Orloff, Director,

1 Division of Metabolic and Endocrine Drug Products  
2 (FDA).

3 CHAIRMAN McCLUNG: I'm Mike McClung, an  
4 endocrinologist at the University of Oregon Health  
5 Sciences Center in the Oregon Osteoporosis Center.  
6 The next item on the agenda will be to have Ms. Spell-  
7 LeSane review the Conflict of Interest Statements  
8 regarding the Committee members.

9 SECRETARY SPELL-LESANE: The following  
10 announcement addresses the issue of conflict of  
11 interest with respect to this meeting and is made a  
12 part of the record to preclude even the parents of  
13 impropriety at this meeting. The topics to be  
14 discussed today will not focus on any particular  
15 product or company but rather may affect all companies  
16 that make hormone therapies with estrogen-progestin  
17 that are prescribed for the prevention and treatment  
18 of postmenopausal osteoporosis.

19 The Conflict of Interest statute prohibits  
20 special Government employees from participating in  
21 matters that could affect their own or their employers  
22 financial interests. All participants have been

1 screened for interest in the products and companies  
2 that could be affected by today's discussions.

3 In accordance with 18 USC 208(b)(3), the  
4 Food and Drug Administration ("FDA") has granted a  
5 full waiver to Dr. Henry Bone because the need for his  
6 services outweighs the potential for a conflict of  
7 interest. A copy of the waiver statement may be  
8 obtained by submitting a written request to the  
9 Freedom of Information Office HF-135, 5600 Fisher's  
10 Lane, Rockville, Maryland 20857.

11 We would like to note that Dr. Jacques  
12 Rossouw, Dr. Leslie Ford, Dr. Joan McGowan and Dr.  
13 Barbara Alving were involved with the Women's Health  
14 Initiative ("WHI") Study as part of their duties as  
15 employees of the National Institutes of Health  
16 ("NIH"). We would also like to note for the record  
17 that Dr. Robert Zerbe is participating in this meeting  
18 as the Acting Industry Representative acting on behalf  
19 of regulated industry.

20 In the even that discussions involve  
21 products or firms not on the agenda for which an FDA  
22 participant has a financial interest, the participants

1 are aware of the need to exclude themselves from such  
2 involvement, and their exclusion will be noted for the  
3 record. With respect to all other participants, we  
4 ask in the interest of fairness that they address any  
5 current or previous financial involvement with any  
6 firm whose products they may wish to comment upon.  
7 Thank you.

8 CHAIRMAN McCLUNG: Questions or comments  
9 about that from the Committee? Let me then invite Dr.  
10 Orloff to make his opening statements to us.

11 DR. ORLOFF: Thank you and good morning.  
12 I'll read my statement from my seat as is my usual.  
13 Good morning. Thanks to the members of the Committee  
14 and the consultants present for their attendance and  
15 to Dr. McClung for agreeing to chair today's session.  
16 Thanks also to Drs. Stadel and Colman for their  
17 important contributions to today's proceedings.

18 I want to recognize in particular Dr.  
19 Stadel for a tremendous amount of work in bringing the  
20 FDA and NIH WHI group together for this conference.  
21 We are of course very grateful to the WHI  
22 investigators for their willingness to be here today



1 to present the trial results and to participate in  
2 discussion and particularly to Dr. Rossouw whose close  
3 contact with the FDA through Dr. Stadel has made this  
4 meeting possible. Thanks finally to Dornette Spell-  
5 LaSane for here work in managing the complex logistics  
6 and legalities and so on of this important conference.

7 This meeting represents the first public  
8 FDA meeting and the first joint FDA and NIH public  
9 conference on the landmark Women's Health Initiative  
10 Study of Premarin (medroxyprogesterone acetate,  
11 "MPA"), the combination therapy, in post menopausal  
12 women. As everyone present is well aware, the results  
13 of this study have dramatically affected the thinking  
14 as to the role of menopausal hormone therapy in women.  
15 The public and individual impacts of at least  
16 combination estrogen-progestin hormone therapy and of  
17 estrogen along therapy by many patients, researchers  
18 and practitioners are being reevaluated in light of  
19 the overall balance of risks and benefits in Prempro  
20 in this study that was terminated early having reached  
21 stopping criteria based on breast cancer incidence.

22 Since the publication of the primary WHI

1 trial results in July 2002, the FDA always in  
2 collaboration and/or discussion with the NIH has taken  
3 several steps. In its role in regulating the  
4 marketing of Prempro in advising physicians and  
5 patients on the safe and effective use of this and  
6 other estrogen-progestin ("E + P") combination  
7 products and of estrogen only ("E alone") products,  
8 FDA has implemented the following:

9 1. Approval of revised product labeling  
10 for Prempro, Premarin as well as for ultimately all  
11 U.S. marketed E + P and E alone products, changes that  
12 were announced formally in early January of this year.  
13 Dr. Colman will take you through these changes in his  
14 presentation.

15 2. Issuance of revised guidances for  
16 industry on clinical development for post menopausal  
17 uses of new estrogen and estrogen plus progestin  
18 products and their labeling. This is with the  
19 particular goal of the development of lowest effective  
20 doses of such products.

21 3. Provision of information resources on  
22 the WHI and on the safe and effective use of

1 menopausal hormones on the FDA website.

2 4. Finally, most recently in early  
3 September 2003, Dr. McClellan, our Commissioner,  
4 launched a nationwide information campaign partnering  
5 with multiple organizations across the United States  
6 to raise awareness on the risks and benefits of  
7 menopausal hormone therapy in light of the results of  
8 the WHI Prempro study.

9 Following on the results of WHI, the basic  
10 recommendations by FDA have been consistent with those  
11 of a number of professional societies and patient  
12 advocacy groups, including the American College of  
13 Obstetricians and Gynecologists from which we'll hear  
14 a statement written today and the North American  
15 Menopause Society. Essentially the same  
16 recommendations have been applied to the use of E + P  
17 products, obviously those with the use most directly  
18 informed by WHI and in the absence of information  
19 supporting a clear difference in risk versus benefit  
20 to E alone products. They are as follows:

21 1. Estrogen and estrogen plus progestin  
22 products should not be used for primary or secondary

1 prevention of coronary or cardiovascular disease.  
2 Indeed FDA had never approved labeling recommending  
3 such use though it had become a common rationale among  
4 others for what had become known as menopausal hormone  
5 replacement therapy, a term we hold as now clearly  
6 inappropriate if not frankly misleading. Instead  
7 alternative cardio-preventive intervention should be  
8 considered.

9           2. Alternative therapy should be  
10 considered for the relief of menopausal symptoms  
11 particularly as a result of vulvovaginal atrophy as  
12 well as for the prevention of post menopausal  
13 osteoporosis ("PMO").

14           3. If estrogens and progestins are  
15 prescribed, they should be used at the lowest doses  
16 for the shortest duration to achieve treatment goals  
17 and women should regularly discuss with their  
18 healthcare providers if they need to continue  
19 treatment.

20           Today's meeting is intended to assess  
21 where we, the broad healthcare communities engaged in  
22 areas of patient care, research and drug development,

1 have come thus far, that is, since July 2002,  
2 regarding understanding of and recommendations for  
3 safe and effective use of female menopausal hormone  
4 drug products and to engage in a public discussion of  
5 where we ought to be going. The specific objective of  
6 this conference is to discuss the ramifications of the  
7 WHI Prempro results for the single chronic use,  
8 prevention directed indication for estrogen-progestin  
9 in women. That is preservation of bone mineral  
10 content after menopause.

11 As referred to earlier, the revised  
12 product labeling for Prempro as well as the actual or  
13 intended labels for E + P and E alone products states  
14 that if the use is solely for the prevention of PMO  
15 then alternative approval therapies should be  
16 considered. As such, these products have essentially  
17 been relegated to second line status in PMO prevention  
18 based on risk versus benefit in chronic use.

19 Any number of complex clinical and  
20 scientific issues remain unanswered by the WHI study  
21 or indeed are raised in its aftermath. These include  
22 but are not restricted to the risk versus benefit of

1 lower doses of Prempro, the risk versus benefit of  
2 other E + P combination products, the risk versus  
3 benefit of Premarin or other estrogen alone products,  
4 that is to say used in the absence of progestin, the  
5 risk versus benefit of estrogen and estrogen plus  
6 progestin products administered by alternative routes,  
7 for example, transdermally, and the impact of  
8 demographic factors as well as baseline risk factors,  
9 for example, osteoporosis, atherosclerotic  
10 cardiovascular disease, breast cancer, venous  
11 thromboembolic disease on the benefit versus risk of  
12 these products.

13 The agenda for today's meeting is in your  
14 package. Following the open public hearing, Dr. Eric  
15 Colman, the team leader for Osteoporosis Drugs and  
16 Metabolic and Endocrine Division at FDA will provide  
17 background on the historical and current regulatory  
18 approach to evaluation of menopausal hormones and  
19 other drug products for osteoporosis prevention and  
20 treatment. A series of presentations from the WHI  
21 group will follow with questions and discussions  
22 afterward. After lunch, Wyeth Pharmaceuticals will

1 present and Dr. Stadel, Medical Officer in Metabolic  
2 and Endocrine, will make a brief presentation based on  
3 his review of the WHI findings.

4 This is not a typical FDA Advisory  
5 Committee meeting. There is no product being  
6 considered for FDA approval today. As such, we have  
7 chosen not to ask at least at the start explicit yes  
8 or no questions but rather to attempt with our  
9 questions or with our laying out of issues to direct  
10 the deliberations and discussions on three principal  
11 topics. They are and Dr. Colman will review these as  
12 well I suspect:

13 1. The accuracy, appropriateness and  
14 usefulness of the revised labeling of Prempro after  
15 the WHI.

16 2. The implications of the WHI results  
17 for the clinical development for prevention of PMO of  
18 new estrogen plus progestin drug products, for  
19 example, vis a vis endpoints, doses studied, among  
20 others.

21 3. Broadly, further discussion and  
22 recommendations regarding FDA regulation of estrogen

1 plus progestin products for the prevention and  
2 treatment of PMO.

3 As you will note, the issues for  
4 discussion focus on E + P drug products as Prempro was  
5 the subject of the arm of the WHI that was terminated  
6 for safety reasons. The Premarin alone arm continues  
7 at present as you will hear from Dr. Rossouw and  
8 others. While we do not wish to exclude totally any  
9 discussion of the E alone products or of other issues  
10 not directly addressed by the WHI Prempro study, we  
11 thought it best at least for the purposes of initial  
12 discussion within the context of the results of this  
13 landmark clinical trial. We fully expect the  
14 discussion to diverge and we welcome it  
15 wholeheartedly. Again, thank you to all for your  
16 attendance and we look forward to a simulating and  
17 informative day. I'll turn it back over to Dr.  
18 McClung.

19 CHAIRMAN McCLUNG: Thank you, Dr. Orloff.  
20 As is the custom for these meetings, input from the  
21 community at large is invited to occur. We will have  
22 presentations by six different speakers during the



1 open public hearing presentation and then a read  
2 comment from one of the major clinical societies.  
3 Other comments are available in information that's on  
4 the desk outside as well. Before inviting the first  
5 speaker though, let me read this comment regarding the  
6 Declaration of a Conflict of Interest from our public  
7 hearing speakers.

8 "Both the FDA and the public believe in a  
9 transparent process for information gathered in  
10 decision making. To ensure such transparency at the  
11 open public hearing session of the Advisory Committee  
12 meeting, the FDA believes that it is important to  
13 understand the context of an individual's  
14 presentation. For this reason, the FDA encourages  
15 you, the open public hearing speakers, at the  
16 beginning of your written or oral statement to advise  
17 the Committee of any financial relationship that you  
18 have with any company or any group that is likely to  
19 be impacted by the topic of this meeting. For  
20 example, the financial information may include a  
21 company's or a group's payment of your travel, lodging  
22 or other expenses in connection with your attendance

1 at this meeting. Likewise, the FDA encourages you at  
2 the beginning of your statement to advise the  
3 Committee if you do not have any such financial  
4 relationships. If you choose not to address this  
5 issue of financial relationships at the beginning of  
6 your statement, it will not preclude you from  
7 speaking."

8 With that stated, let me invite the first  
9 of our open public hearing speakers, Dr. Marie Foegh  
10 from Berlex Laboratories.

11 DR. FOEGH: Good morning and thank you for  
12 giving me the opportunity to give a short presentation  
13 of what I think is exciting and at least to me  
14 surprisingly positive results of a study we have  
15 conducted. Also as you can see from my first slide,  
16 I represent Berlex Laboratories and I'm an employee of  
17 Berlex Laboratories. In my short presentation, I'll  
18 give a short background and then present some of the  
19 data from the study, not all, in the short timeframe,  
20 a conclusion and some slides that brings some source  
21 that we have.

22 I think most of you are aware of the great

1 importance of preventing bone loss in post menopausal  
2 women. Certain women beyond 50 years of age have  
3 osteopenia or osteoporosis and about 14 million women  
4 have osteoporosis of the hip which results in many  
5 women in fracture. Fracture may sound simple but it  
6 may heal. But we all know in older women, this may  
7 be the beginning of the end. It's associated with a  
8 lot of disability and in many instances, death will  
9 follow.

10 I know we all have been used to saying  
11 hormone replacement therapy and replacement in many  
12 have been a wrong term, but if it ever were true, it  
13 may be true for osteoporosis because increased bone  
14 loss is really a lack of estrogen. What does it  
15 result in? You have osteoporosis, osteopenia and you  
16 have apoptosis of the osteocytes and so forth, but  
17 this is not a detailed scientific presentation. This  
18 is just the opening of making the statement that  
19 estrogen certainly would be a natural choice for  
20 treating osteoporosis.

21 We all know from the WHI study that the  
22 risks of using hormones seem bigger than we original

1 thought. It changed our thinking like the Chairman  
2 also said and the risk/benefit, but we also have to  
3 remember that the dose used in the WHI study may not  
4 be the lowest efficacious dose, but it is the most  
5 common used dose today. It's not unreasonably  
6 succinct that the risk may decline the lowering of  
7 dose.

8 What I'm showing you today will be  
9 efficacy of a dose that's 75 percent below a commonly  
10 used dose. This also actually affects the quality of  
11 life. I mean you decrease the estrogen side effects  
12 that is not life-threatening but not pleasant. It may  
13 be feasible to have your cake and eat it.

14 Berlex has sponsored a study on  
15 osteoporosis in women between the age of 60 to 80.  
16 UCSF was the coordinating center and you'll see some  
17 names that are familiar to the osteoporosis field and  
18 estrogen like Dr. Grady, Dr. Cummings and also on the  
19 investigator list, there are names familiar in this  
20 field.

21 This was a double-blind, randomized trial  
22 with 417 women that were as I said between the ages of

1 60 to 80 years and they all had an intact uterus.  
2 They were more than five years post menopausal and the  
3 entrance criteria was a z-score of more equal to 2.0.  
4 The estrogen dose was a weekly transdermal patch which  
5 delivers 0.014 mg of estradiol. That was tested  
6 against a placebo patch.

7 The goal was to increase estradiol just to  
8 10-15 picogram per mL. This is a low level of  
9 estradiol because you may all know that women post  
10 menopausal have levels below 20 picogram per mL and  
11 nearly all men have actually levels about 20 picogram  
12 per mL which may come to a surprise to many that men  
13 have higher estradiol levels than post menopausal  
14 women.

15 All the women took calcium and vitamin D  
16 of reasonable doses and the study lasted for two years  
17 with follow-ups every four months. The primary  
18 endpoint was bone marrow density ("BMD") at lumbar  
19 spine. Another primary endpoint was endometrial  
20 safety. There was a series of secondary endpoints  
21 which I will show you some. The hip, of course, are  
22 bone markers and so on.

1                   But let me focus on the lumbar spine. The  
2 blue represents the placebo group and the red  
3 represents the active arm, the estradiol group. You  
4 have the data at 12 and 24 months. As you can see,  
5 there's a 2.5 percent difference between placebo and  
6 the active arm at 24 months, a highly significant  
7 result of a P-value less than 0.001. This is very  
8 comparable to other estrogen and other compounds that  
9 SERMs use for treatment of prevention of osteoporosis.

10                   To the hip, the results were also highly  
11 statistically significantly different both at 12 and  
12 24 months. Again the blue is the placebo and there is  
13 as you can see an increasing bone loss and that is  
14 counteracted by the estrogen and again a highly  
15 significant difference of 1.5 percent at 24 months.

16                   We also had a secondary endpoint of  
17 fractures. Of course we were aware that the study  
18 wasn't big enough to show any difference in fractures,  
19 but as you can see numerically at least there is a  
20 difference. There is four in the active arm and 10  
21 fractures in the placebo arm. These are women with  
22 fractures. Some of those women had several fractures,

1 but this is women with fractures at any given time.

2 In August, most of you are aware that a  
3 study was published on what I would also call an  
4 ultra-low dose of estradiol. That was Dr. Prestwood  
5 and her collaborators. And Dr. Cummings, one of the  
6 investigators, pooled the data of these two ultra-low  
7 studies and the combined factors were that there were  
8 six fractures for the ultra-low and sixteen for  
9 placebo. This is statistically significantly  
10 different of a p-value of 0.4. This is really  
11 exciting because these are mainly osteopenic women and  
12 these are fractures that we are talking about. So  
13 it's very encouraging.

14 What were the adverse events? Here's  
15 adverse events we worry about namely, breast cancer,  
16 cardiovascular events. These are what they look like  
17 in this study which lasted for two years. We looked  
18 at all but what I've summarized here for you are the  
19 breast cancer and the cardiovascular. It was  
20 interesting when you glance over it. There is really  
21 no difference between the placebo and the active arm.

22 One interesting point is actually that we

1 did not have any venous thromboembolic events. If you  
2 go down to the bottomline, I thought it might be  
3 interesting also to see there were no deaths in this  
4 age group and the hospitalization was not  
5 statistically significantly different. It was 22 in  
6 one group and ten in the other.

7 This is the conclusion. You will notice  
8 that you haven't seen all the data. This is because  
9 I got my talk cut short yesterday. But of course I am  
10 willing to give the data if you ask. What we found is  
11 the prevention of bone loss in all the post menopausal  
12 women with this dose that is 75 percent lower than the  
13 normally used dose. It is safe for the endometrium.  
14 The study lasted for two years so for two years you do  
15 not need to use progestin. There was decrease in the  
16 bone markers and there was no difference in some of  
17 the normal estrogen related side effects like breast  
18 tenderness, headache. If you look at the bottom,  
19 there was also no difference in lipids, sex hormone  
20 binding globin ("SHBG") or C-reactive protein ("CR-P")  
21 between the two groups.

22 So we really think that this effect of



1 this ultra-low dose is kind of a paradigm shift in the  
2 risk-benefit of the hormone use. We showed that it  
3 seems that you would be able to get a fracture  
4 reduction in osteopenic patients. You can give  
5 anapost estrogen at this dose for up to two years. We  
6 do not know what happens after two years. The adverse  
7 event profile is similar to placebo. We have no  
8 increase in the vasomotor symptoms. We don't share of  
9 course bisphosphonates effects because we are  
10 transdermal products. Thank you so much for your  
11 attention.

12 CHAIRMAN McCLUNG: Thank you. Are there  
13 questions or comments? If not, thank you very much.  
14 The second presenter will be Susan Wysocki who is the  
15 President and CEO of National Association of Nurse  
16 Practitioners in Women's Health. If she's not here,  
17 we'll come back to that point in a moment. Next, let  
18 me invite Dr. David Archer, who will speak on behalf  
19 of the American Society for Reproductive Medicine  
20 ("ASRM"). Dr. Archer.

21 DR. ARCHER: Thank you very much, Dr.  
22 McClung. Good morning, ladies and gentlemen. It's a

1 pleasure to be with you this morning in Washington  
2 although it's a little brisk outside. I represent the  
3 American Society for Reproductive Medicine and myself  
4 at this meeting.

5 Both AMRM is composed of physicians and I  
6 am a physician. As such, we've been involved in  
7 medical education and clinical trials for many years.  
8 Because of this, both of us have received grants,  
9 funds, clinical research dollars from I would say  
10 every pharmaceutical company in the United States that  
11 makes a hormone preparation for menopausal women.  
12 That is my disclaimer in terms of our conflict of  
13 interest. I am expecting that ASRM will reimburse me  
14 for my expenses so I am not here as representing a  
15 pharmaceutical company.

16 Currently I am a professor of obstetrics  
17 and gynecology at the Eastern Virginia Medical School.  
18 I'm an obstetrician/gynecologist with advanced  
19 certification in reproduction endocrinology. The ASRM  
20 is really pleased to be a partner with the FDA in  
21 terms of its campaign for educating women as they  
22 consider hormone therapy for post menopausal symptoms.

1           However, we all are concerned with the fact that media  
2           publicity has resulted in symptomatic women who could  
3           benefit from hormone replacement therapy by using  
4           approved and appropriate therapy for the relief of  
5           symptoms.

6                        We know that hormone therapy improves  
7           symptoms and the quality of life for these women. I  
8           think we're all concerned that the media has  
9           characterized hormone therapy as harmful to women,  
10          particularly in cardiovascular disease and breast  
11          cancer. I believe the scientific community and  
12          physicians realize that the relative risk numbers are  
13          often high, but the attributable risks in the  
14          community is a different issue.

15                      Young women between the ages of 45 to 55  
16          who are peri or post menopausal and are symptomatic  
17          are a different class of women than those reported in  
18          the WHI. These younger women are good health. They  
19          are not at apparently increased risk of cardiovascular  
20          disease with the use of hormone therapy. The current  
21          final report from the WHI in July of this year really  
22          did not find overall an increase in coronary heart

1 disease in women receiving hormone therapy. We do  
2 acknowledge that there was an increase in coronary  
3 heart disease in the first year of use but again point  
4 out that the average age of women in this study was  
5 63, significantly older than the 50 year old woman  
6 that we frequently see in our practices.

7 As some example for this risk, if you log  
8 on to the American Heart Association website,  
9 [www.americanheart.org](http://www.americanheart.org) and use the Framingham risk  
10 factor for the identification of heart disease risk in  
11 a 55 year old woman who has a mild elevation in her  
12 total cholesterol level, her actual attributable risk  
13 are her risks of developing heart disease in the next  
14 ten years is less than one percent. So we would  
15 submit that there is very low risk for these women who  
16 are younger and in good health of developing  
17 significant adverse events particularly those related  
18 to the cardiovascular system.

19 We feel that this underscores the fact  
20 that consumers really apply the results of what's  
21 published in the media to themselves inappropriately.  
22 Anecdotally as I've said to other people, I've had a

1 47 year old woman consider hormone therapy be  
2 concerned over the fact that she might develop  
3 Alzheimer's disease in the next several years. So  
4 that all of us take the sound byte from the media and  
5 apply it to our particular lifestyle.

6 Now we all know that breast cancer is a  
7 significant issue for women. However in the WHI,  
8 women who had never used hormone therapy and entered  
9 this trial and were randomized were not found to have  
10 a significant increase in the occurrence of breast  
11 cancer during the five years of the clinical trial.  
12 Only in those women who had previously used hormone  
13 therapy was there an apparent increase in the  
14 incidence of breast cancer.

15 So the average age of the woman 50 to 55  
16 who is symptomatic and requests treatment is really  
17 not at a particularly increased incidence of breast  
18 cancer from the use of hormone therapy using the  
19 relative hazard published in the WHI. I might also  
20 point out that the most important risk factor for  
21 breast cancer from numerous publications is that of  
22 age itself.

1           Now as I pointed out in this anecdotal  
2 case which as I realize is inappropriate in front of  
3 an August body such as this dealing in large numbers  
4 that the issue of cognition in Alzheimer's disease  
5 really need to be clarified for the consumer. The WHI  
6 memory study showed an increase in the occurrence of  
7 cognitive decline in Alzheimer's or probable  
8 Alzheimer's disease after approximately two years of  
9 hormone therapy. However it should be pointed out  
10 which is not pointed out for many of the consumers  
11 that this study occurred in women who were over the  
12 age of 65. So the relevance of this finding to  
13 younger women is at present unknown.

14           I'm not cognitively impaired. I just need  
15 my helper right here in front of me. So it's obvious  
16 for the younger symptomatic woman who is complaining  
17 of hot flashes, night sweats, difficulty sleeping,  
18 mood fluctuations and vulvo-vaginal atrophy that the  
19 message that we should deliver as physicians to this  
20 group of patients is that hormone therapy can relieve  
21 these symptoms without resulting in significant  
22 mortality or morbidity in terms of its outcome.

1 I believe that this message is important  
2 for women who may or may not have risk factors for  
3 bone loss. Current data from the WHI and other  
4 publications indicate that standard and lower doses of  
5 estrogen with progestin or estrogen alone prevent bone  
6 loss in post menopausal women. This is based on the  
7 findings with DXA scanning and this group of  
8 individuals are dual-energy X-ray absorptiometry.

9 The WHI recent publication allows us to I  
10 think unequivocally conclude that estrogen plus  
11 progestin reduces the incidence of fracture of the  
12 hip, spine or vertebral body and wrist in all the  
13 subgroups of post menopausal women. We believe that  
14 these data provide a compelling reason to initiate  
15 hormone therapy for the prevention of bone loss and  
16 fractures in post menopausal women. The position of  
17 the ASRM therefore is supportive of the use of hormone  
18 therapy in post menopausal women with the  
19 understanding that this use is based on the patient's  
20 unique risk/benefit profile. I want to thank you very  
21 much for allowing me to make this presentation this  
22 morning.

1 CHAIRMAN McCLUNG: Thank you, Dr. Archer.  
2 Comments or questions? Thank you very much. Our next  
3 speaker is Dr. Omega Silva, the past President of the  
4 American Medical Women's Association ("AMWA").

5 DR. SILVA: I am Dr. Omega Silva,  
6 Endocrinologist and Past President of the American  
7 Medical Women's Association. I appreciate the  
8 opportunity to present AMWA's views on the  
9 implications of the WHI for the use of hormone therapy  
10 with estrogen and progestin as a second line drug in  
11 the prevention and treatment of post menopausal  
12 osteoporosis in women.

13 Founded in 1915, AMWA is an organization  
14 of 10,000 women physicians and medical students  
15 dedicated to serving as the unique voice for women's  
16 health and the advancement of women in medicine. AMWA  
17 supports the current FDA approved indications for  
18 hormone therapy. Hormone therapy is the most  
19 effective FDA approved treatment for menopausal  
20 symptoms such as hot flashes, night sweats and vulva  
21 and vaginal atrophy. Hormone therapy is also  
22 indicated for the prevention of post menopausal



1 osteoporosis. AMWA is proud to be a partner in FDA's  
2 menopause and hormones information campaign which  
3 provides women with important information about  
4 hormone therapy.

5 As physicians, our role is to review a  
6 patient's family and medical history and assess the  
7 risks and benefits of hormone therapy for that  
8 individual. We can help our patients by putting the  
9 risks into proper perspective. While hormone therapy  
10 may not be appropriate for one woman, it may be  
11 entirely appropriate for another.

12 With regard to osteoporosis, AMWA  
13 recognizes the enormous impact of the disease on the  
14 health of Americans, particularly women. The disease  
15 causes over 1.5 million fractures yearly at a cost of  
16 \$17 billion. Following osteoporotic hip fracture,  
17 there is an excess mortality of 12 to 20 percent. Hip  
18 fracture is the second leading cause of admission to  
19 nursing homes for women.

20 Osteoporosis causes severe and unrelenting  
21 bone pain. It is one of the major debilitating  
22 disorders that contribute to the loss of functional

1 independence and quality of life in older women as  
2 noted in AMWA's position paper on osteoporosis.

3 To prevent osteoporosis, AMWA members  
4 recommend weight-bearing exercise, adequate calcium  
5 and vitamin D intake and the maintenance of a healthy  
6 life style. In addition, medications to prevent  
7 further bone loss may be indicated. Women who think  
8 they are at risk of developing osteoporosis should  
9 consult their physicians. Treatment plans should be  
10 initiated as early as possible because once bone is  
11 lost it's difficult to replace as noted in AMWA's  
12 osteoporosis question and answer fact sheet.

13 The recent study in the October 1, 2003  
14 issue of the Journal of the American Medical  
15 Association ("JAMA") demonstrated that estrogen plus  
16 progestin increased bone mineral density and reduces  
17 the risk of all fractures in healthy post menopausal  
18 women. This decreased risk of fracture appears to be  
19 present in all subgroups of women examined. When  
20 considering effects of hormone therapy on other  
21 important disease outcomes in a global index developed  
22 by the WHI investigators, the study authors concluded

1 that there was net benefit of hormone therapy even in  
2 women considered to be at high risk of fracture.

3 On this point, AMWA notes that the global  
4 index is based on selected risks and selected benefits  
5 and not on all risks and all benefits. For example,  
6 it includes hip fractures but not for tibial fractures  
7 or menopausal symptoms, the primary reason women take  
8 hormone therapy. For some women, the risk/benefit  
9 equation might change when relief from post menopausal  
10 symptoms and prevention of tibial fractures are taken  
11 into account.

12 AMWA agrees with the current FDA approved  
13 labeling for hormone therapy, Prempro, which states  
14 that when prescribing solely for the prevention of  
15 post menopausal osteoporosis therapy should be  
16 considered for women at significant risk of  
17 osteoporosis and non-estrogen medications should be  
18 carefully considered. If hormone therapy is  
19 prescribed, it should be taken at the lowest possible  
20 dose for the shortest duration of time to meet  
21 treatment goals.

22 The WHI results have reinforced what

1 physicians have known all along. Treatment decisions  
2 should be individualized. For this reason, it is  
3 extremely important for FDA to preserve physician and  
4 patient choice of therapeutic agents to prevent and  
5 treat osteoporosis. Hormone therapy remains an  
6 important option for those women at risk of  
7 osteoporosis who are unable to take non-estrogen  
8 medications.

9 On behalf of AMWA, I thank you for the  
10 opportunity to testify before the Committee. I have  
11 no problems with getting money from this person or  
12 that person because nobody gives me any. Now I would  
13 like to become a patient. How much time do I have?  
14 A few minutes.

15 Now I would like to become a patient and  
16 give you a personal scenario. I'm 66 years old and  
17 follow the textbook version of peri-menopause and  
18 menopause and post menopausal symptoms, getting those  
19 first little nasty hot flashes at age 45, becoming a  
20 post menopausal woman at 50.5. When I was 45, the  
21 data on the benefits and risks were very sparse, but  
22 became better when I was about 52 or 53. So I began

1 HRT and continued until May 30, 2003. At that point  
2 I said after the WHI results, "Well, maybe I can do  
3 without this HRT now." Within a week, those nasty  
4 little hot flashes returned. My husband said "For  
5 crying out loud, you're hot one minute and cold the  
6 next." Sleeping was a ritual of getting up and  
7 turning my pillow over on the cool side.

8 Now I was going on an Alaskan cruise in  
9 September. So I said "I'll be damned if I'm going to  
10 sweat and hot flash myself through this cruise."  
11 There was no breast cancer in my family. My aunts on  
12 my mother's side lived to be 90 plus. One just died  
13 of pneumonia at age 100. My mother did die of  
14 cerebral hemorrhage but she had no thromboembolic  
15 events. My father at age 94 just had colon cancer.  
16 Therefore, I weighed by personal risks and benefits  
17 and restarted my HRT and enjoyed my cruise and life  
18 thereafter. Many of you men may not understand and I  
19 wouldn't wish prostate cancer on any of you but if you  
20 do get it and you have to take those anti-testosterone  
21 therapies, you may feel some of these hot flashes and  
22 then you'll know what the women go through. Thank

1 you.

2 CHAIRMAN McCLUNG: Thank you, Dr. Silva.  
3 Questions or comments? Great. Thank you. Next let  
4 me invite Dr. Jim Simon who is the current President  
5 of the North American Menopause Society to deliver his  
6 remarks. Dr. Simon.

7 DR. SIMON: Dr. McClung, members and  
8 guests of the Committee, I would like to suggest that  
9 perhaps everyone can go home early today since today's  
10 Washington Post seems to have published a short  
11 article saying "The whole issue has already been  
12 settled." Page F-2 in today's Washington Post, it's  
13 interesting reading.

14 Today I need to give the following  
15 personal introduction. I'm a clinical professional of  
16 obstetrics and gynecology at George Washington  
17 University here in Washington. I am also President  
18 and CEO of a independent investigative research site  
19 that works with literally the entire pharmaceutical  
20 industry since its inception. So I potentially have  
21 conflicts as mentioned by Dr. Archer. However today  
22 and uncharacteristically of me as a person for those

1 of you who know me which most of the people do, I'm  
2 going to stick closely to the script provided to me by  
3 my colleagues at the North American Menopause Society  
4 and will be uncharacteristically short and non-  
5 controversial.

6 I would like to focus attention on the  
7 estrogen and progesterin use in peri menopausal and post  
8 menopausal women position statement published by the  
9 North American Menopause Society on September 17,  
10 2003. All the Committee members have a copy. This  
11 position statement represents a significant amount of  
12 work by a smattering of true experts on this subject  
13 from around the world including five individuals who  
14 are WHI investigators. Many of them also HERS  
15 ("Hysterectomy Educational Resources and Services")  
16 and WHIMS ("Women's Health Initiative Memory Study")  
17 investigators, and including Dr. Stefanick who is a  
18 member of this Committee. The information is  
19 available to you in this publication. For those who  
20 don't have a copy, on the web at [www.menopause.org](http://www.menopause.org).  
21 It is an update of a former position statement from  
22 last year.

1           I want to focus attention only on a couple  
2 of major points and leave it to the Committee to use  
3 this learned piece of work some 14 pages with  
4 appropriate references for their own purposes. Under  
5 areas for which there was consensus on the Committee,  
6 there is definitive evidence for estrogen-progestin  
7 therapies efficacy in reducing the risk of post  
8 menopausal osteoporotic fracture. There is today no  
9 comparable evidence for estrogen therapy. Many  
10 estrogen-progestin therapies and estrogen therapy  
11 products are Government, that is FDA, approved for  
12 prevention of post menopausal osteoporosis through all  
13 term treatment.

14           Because of the potential risk associated  
15 with hormone therapy for women who require a drug  
16 therapy for osteoporosis risk reduction including  
17 women at high risk of fracture within the next five to  
18 ten years, alternatives to hormone therapy should also  
19 be considered weighing the risks and benefits of each.  
20 Recognition should be given to the fact that there are  
21 no published data on osteoporosis drug therapies  
22 beyond seven years.



1                   The effects of hormone therapy on the risk  
2                   of breast cancer and osteoporotic fracture in  
3                   symptomatic peri-menopausal women have not been  
4                   established in randomized clinical trials ("RCT").  
5                   The findings from trials in different populations, for  
6                   example, the WHI, should therefore be extrapolated  
7                   with caution. There is however no evidence that  
8                   symptomatic women differ from asymptomatic women in  
9                   either cancer or bone outcomes.

10                   Premature menopause and premature ovarian  
11                   failure are conditions associated with earlier onset  
12                   of osteoporosis and cardiovascular disease, but  
13                   there's no clear data as to whether estrogen therapy  
14                   or estrogen-progestin therapy will reduce morbidity or  
15                   mortality from these conditions. The benefits/risks  
16                   ratio may be more favorable for younger women.

17                   There were also some areas where there  
18                   were no consensus that could be reached by this  
19                   Committee. However there were no areas of non-  
20                   consensus vis a vis osteoporosis. I would say with my  
21                   personal hat on and not my North American Menopause  
22                   Society hat on and they are paying for my parking

1       today that I would ask the Committee in their  
2       deliberations to try very hard not to further limit  
3       access to therapies this and any other so that we  
4       working in the trenches may exercise clinical judgment  
5       in the care of our patients one patient at a time.  
6       Thank you.

7                   CHAIRMAN McCLUNG: Thank you, Dr. Simon.  
8       Questions or comments? Let me invite then Amy Allina  
9       who is the Program Director for the National Women's  
10      Health Network who will be our next speaker.

11                   MS. ALLINA: Thank you. I am Amy Allina,  
12      the Program Director of the National Women's Health  
13      Network ("Network"). The Network is a non-profit  
14      organization that works to improve the health of all  
15      women by developing and promoting a critical analysis  
16      of health issues to influence public policy and to  
17      support consumer decision making. We accept no  
18      financial support from pharmaceutical or medical  
19      device companies. We're supported by a national  
20      membership of about 8,000 individuals and 300  
21      organizations.

22                   As many of you here today are aware the

1 Network has a long history of advocacy and consumer  
2 education on the issue of hormone therapy for women at  
3 menopause. We've spoken at numerous FDA Advisory  
4 Committee meetings on the topic over the last 15  
5 years. We were leading advocates calling on the NIH  
6 to conduct the WHI so that women would have well  
7 founded scientific research to guide their decision  
8 making about the use of hormone therapy. We've  
9 produced extensive health education materials for  
10 women who are considering this therapy and we are also  
11 a partner with the FDA in the patient education  
12 campaign that was recently announced by Dr. McClellan.

13           Though we understand the perspective of  
14 the many researchers and clinicians and even some  
15 women who were disappointed with the findings of the  
16 WHI. We are really pleased that there's finally data  
17 from a large long-term RCT of hormone therapy for  
18 healthy women. Hormone therapy has been prescribed to  
19 women for decades without this data to back it up.  
20 Though the WHI results leaves some women with new  
21 questions about what's best for their health, we  
22 believe it's better to know what these questions are

1 than to make healthcare decisions based on unproven  
2 and false assumptions. We're also pleased to be able  
3 to speak here today and thank the FDA for the  
4 opportunity to give input on the implications of the  
5 WHI results for FDA regulation of estrogen plus  
6 progestin drug products, specifically regarding long-  
7 term use of the products for prevention and treatment  
8 of PMO.

9 We'd like to start by commending the  
10 Agency for acting quickly to work with sponsors on a  
11 revision of the prescribing information that  
12 accompanies Prempro and other estrogen plus progestin  
13 products that are approved for prevention of  
14 osteoporosis and for identifying it as a second line  
15 option for this purpose. Although the WHI results  
16 that were released were based only on the study of  
17 women using Prempro, FDA acted based on its  
18 recognition that other estrogen and estrogen plus  
19 progestin products work in similar ways and asked for  
20 revisions on other product labels. We agree and we  
21 believe it's important for women using those products  
22 to have information about the benefits and risks

1 identified by the WHI.

2 In thinking about the implications of the  
3 WHI results for future regulatory decisions, there are  
4 a lot of important questions about study design that  
5 the Agency is going to have to grapple with and that  
6 all of you will have to grapple with. In considering  
7 the conduct of trials or approval of an estrogen plus  
8 progestin drug product for the prevention and  
9 treatment of PMO, how many women need to be studied?  
10 How long do they have to be studied? Are there  
11 surrogate safety endpoints that the Agency can accept?

12 Historically approval's been based on  
13 studies of three year duration or in some cases with  
14 less, but we know that the risks of Prempro didn't  
15 emerge within that timeframe, at least with respect to  
16 breast cancer. Given the knowledge we know have about  
17 the serious health risks with estrogen plus progestin  
18 products that emerged after six years, we believe FDA  
19 cannot approve similar drugs for long-term use without  
20 requiring that they studied for that length of time.  
21 An interim exception might be made for products  
22 containing only estrogen since the arm of WHI studying

1 estrogen alone is on-going. Until those data are  
2 collected and analyzed, it's not known whether all the  
3 same risks will apply to those drug products.

4           Regarding surrogate endpoints, the WHI has  
5 shown that the surrogates that have been used in the  
6 past for cardiovascular disease were not predictive.  
7 Given what's now known about the increased risk of  
8 cardiovascular disease associated with long-term use  
9 of estrogen plus progestin drug products, surrogate  
10 safety points really aren't acceptable.

11           Finally, I wanted to address some of the  
12 points that were brought up in materials that Wyeth  
13 Pharmaceuticals prepared for today's meeting and that  
14 we've heard echoed in messages from a couple of other  
15 groups speaking today regarding the factors that they  
16 believe limit the interpretation or generalize ability  
17 of the WHI results. Wyeth wrote that the WHI  
18 recruited women of relatively old age in comparison to  
19 the onset of menopause and therefore that the risks  
20 associated with hormone therapy can be expected to be  
21 substantially lower than those observed in the WHI.  
22 We just wanted to emphasize - I'm sure that this point

1 will be brought up by the presentation from the WHI -  
2 that a third of the women in the study, the total of  
3 5,702, were in their 50s. This is the largest RCT  
4 ever done of women in this age group.

5 The company also wrote that because the  
6 WHI excluded women with severe menopausal symptoms, it  
7 was examining a population that was not representative  
8 of the women for whom the product is principally  
9 indicated. In fact at the start of the trial, 12  
10 percent of the women enrolled reported experiencing  
11 moderate to severe hot flashes or night sweats and  
12 more over, research conducted among women who were  
13 taking hormones prior to the release of the WHI result  
14 has shown that only a minority said they said taking  
15 hormones because of hot flashes.

16 The company also suggested that the study  
17 population wasn't representative of women for whom the  
18 product is indicated because it included a number of  
19 participants who were overweight, past or current  
20 smokers and being treated for high cholesterol, high  
21 blood pressure and diabetes. These conditions are  
22 common in the general population and like most people

1 who enroll in clinical trials designed to study  
2 disease prevention, the women in the WHI were probably  
3 healthier than the general population due to the  
4 healthy volunteer effect. This supposition is  
5 supported by the fact that the rates of cardiovascular  
6 disease while increased in the treatment arm were  
7 lower in both the estrogen plus progestin group and  
8 the placebo group than they are in the general  
9 population.

10 So in conclusion, I just want to thank the  
11 FDA again for acting promptly and responsibly when the  
12 WHI results were released and to encourage a similar  
13 approach as the Agency moves forward to consider  
14 future research and product approvals. While it is  
15 important to be clear and specific about the regimen  
16 that was studied in the WHI and the regimens for which  
17 we will have data in the future, it's also important  
18 to build on the knowledge that the trial has given us  
19 and to act based on that. Thank you.

20 CHAIRMAN McCLUNG: Thank you for your  
21 comments. Let me invite again Ms. Susan Wysocki if  
22 she's in the audience. If not, let me proceed and ask



1 Ms. Spell-LeSane if she will read into the record the  
2 comment from the American College of Obstetrics and  
3 Gynecology.

4 SECRETARY SPELL-LESANE: Statement of the  
5 American College of Obstetrics and Gynecology ("ACOG")  
6 on Hormone Therapy for the Prevention and Treatment of  
7 Post Menopausal Osteoporosis for the FDA  
8 Endocrinologic and Metabolic Drugs Advisory Committee.  
9 The ACOG representing over 45,000 healthcare  
10 professionals dedicated to women's health is pleased  
11 to offer this statement to the U.S. Food and Drug  
12 Administration Endocrinologic and Metabolic Drugs  
13 Advisory Committee on the use of hormone therapy for  
14 the prevention and treatment of PMO in women.

15 Last week Cauley, et al., published an  
16 updated final analysis of fracture endpoints in WHI  
17 RCT. They found that use of conjugated equine  
18 estrogen ("CEE") 0.625 mg per day and MPA 2.5 mg per  
19 day reduced the risk of hip fracture by 33 percent,  
20 hazard ratio 0.67, nominal 95 percent confidence  
21 interval 0.47 to 0.96. Subgroup analysis showed that  
22 use of estrogen plus progestin resulted in a

1 statistically significant reduced risk of hip fracture  
2 in women who had experienced menopause at least 20  
3 years previously, who had a body mass index ("BMI") of  
4 less than 25, who had at least two falls in the past  
5 year, who reported a daily calcium intake of at least  
6 12,000 mg per day, who had no history of fracture,  
7 who had used hormone therapy for either less than five  
8 or at least ten years. Similarly hormone therapy also  
9 reduced the risk of total fractures by 24 percent,  
10 hazard ratio 0.76, confidence interval 0.69 to 0.83.

11 Benefits were seen in bone mineral density  
12 ("BMD") as well. The change in BMD from baseline was  
13 higher in hormone users in both hip and spine and at  
14 every interval of follow-up reported. After three  
15 years, the percentage difference was 4.5 percent for  
16 lumbar spine and 3.6 percent for total hip. This  
17 final analysis confirms that previously reported data  
18 from the WHI which demonstrates that estrogen plus  
19 progestin is protective against both fractures and  
20 loss of BMD. It is concordant as well with a wealth  
21 of other RCT and observational studies.

22 The evidence is strong and consistent.

1 Use of CEE and MPA helps prevent osteoporosis by  
2 slowing bone loss and is valuable in treating this  
3 condition as well. The WHI report however calculate  
4 a global index to quantify overall benefit versus risk  
5 of estrogen-progestin therapy. Because Cauley, et  
6 al., calculated the global index hazard ratio to range  
7 from 1.23 to 1.03 depending on a woman's risk of  
8 fracture, they concluded that there was no evidence of  
9 a net benefit and recommended that treatment with  
10 estrogen plus progestin not be used for prevention and  
11 treatment of osteoporosis in women without vasomotor  
12 symptoms.

13 We cannot agree with this global index  
14 approach because we believe it is to be biased. In  
15 our analysis of original WHI data on BMD and  
16 fractures, ACOG offered the following guidance:

17 "1. The decision about use of hormone  
18 therapy requires evaluation of the risks and benefits  
19 for each individual woman.

20 2. For women currently using hormone  
21 therapy, it is important to assess their reasons for  
22 using and to evaluate potential risks, benefits and

1 alternatives.

2 3. For patients with osteoporosis, other  
3 preventive therapies such as bisphosphonates and  
4 selective estrogen receptor modulators are available.

5 4. For women at risk of osteoporosis who  
6 have vasomotor symptoms, hormone therapy can be of  
7 benefit.

8 5. Periodic reassessment of the need for  
9 hormone therapy is recommended at least at every  
10 annual visit or more frequently if indicated."

11 We continue to support the judicious  
12 individualized use of estrogen and progestin for bone  
13 protection and believe that it is inappropriate to  
14 withhold this treatment option from those who need it  
15 and would benefit from it. While we noted that there  
16 are other agents approved for prevention and treatment  
17 of osteoporosis, each of these agents has its own  
18 contrary indications and side effects. Some actually  
19 increase hot flashes and they would not be a choice of  
20 women with vasomotor symptoms.

21 In offering the global index hazard ratio,  
22 the WHI investigators attempted to estimate overall

1 benefit versus risk. Although this concept is  
2 potentially useful from a public policy perspective,  
3 it falls short as guidance for care of individual  
4 patients. Ultimately this weighing of benefits and  
5 risks must be done by each individual physician with  
6 each individual patient.

7 ACOG continues to educate its fellows and  
8 their patients on the current understanding of  
9 benefits and risks of hormone therapy and participated  
10 with the FDA in its recently launched menopausal  
11 hormone therapy educational campaign. We look forward  
12 for continuing to work with the FDA on this issue.  
13 Isaac Schiff, M.D., Chair, ACOG Task Force on Hormone  
14 Therapy, Stanley Zinberg, M.D., Vice President,  
15 Practice Activities.

16 CHAIRMAN McCLUNG: Thank you. And I would  
17 like to thank all of the speakers for their comments  
18 and critique this morning to help set the stage for  
19 our subsequent discussion. I'm going to turn and  
20 invite Dr. Eric Colman who is the Team Leader for the  
21 Osteoporosis Drugs of the Division of the  
22 Endocrinologic and Metabolic Drugs of the FDA to

1 review the criteria for the effectiveness and safety  
2 in the evaluation of osteoporosis drug products and  
3 specifically as it applies to the estrogen-containing  
4 compounds. Dr. Colman.

5 DR. COLMAN: Thank you, Mr. McClung. What  
6 I wanted to start with is just an outline of what I'll  
7 be talking about for the next 15 minutes or so  
8 beginning with some terminology that I'll be showing  
9 you and then move into a brief regulatory history of  
10 the estrogens and the estrogen plus progestin and then  
11 show you the actual products that are currently  
12 approved for the prevention of PMO. Finally, I show  
13 you some parts of the labeling that have been changed  
14 in response to the Prempro arm of WHI.

15 You will see that estrogen is denoted as  
16 "E" and progestin "P". Estrogen plus progestin is "E  
17 + P". Conjugated equine estrogens is frequently  
18 abbreviated "CEE". Medroxyprogesterone acetate is  
19 "MPA". Those two compounds together comprise Prempro  
20 and Premphase. The standard post menopausal  
21 osteoporosis "PMO". Bone mineral density "BMD". And  
22 randomized control trials "RCT".

1           The regulatory history of estrogens dates  
2 back to 1942. This was when the Agency approved CEE  
3 or Premarin for menopausal symptoms. It was then  
4 roughly 30 years later when the labeling for estrogen  
5 said they were probably effective for selective cases  
6 of osteoporosis. This was a designation that came by  
7 way of a process called "DESI" which stands for Drug  
8 Efficacy Study Implementation. The National Academy  
9 of Sciences was contracted and they put together some  
10 experts. They looked at the available literature on  
11 estrogens and bone. The best they could come up with  
12 was a phrase saying "Estrogens are probably effective  
13 for select cases of osteoporosis." That's the way  
14 that stood for years.

15           In 1986, that was updated to read  
16 "Estrogens are effective therapy for osteoporosis."  
17 Throughout the 1990s, the labeling for these products  
18 used the words "management and prevention". There was  
19 a certain amount of confusion over what the word  
20 "management" meant to a lot of people. So we thought  
21 the best way to handle that was to take it out. Most  
22 recently, we have taken out the word "management" and

1 the labeling now simply reads "prevention of  
2 osteoporosis". I'd also mention that all of these  
3 labeling claims are based on data related to bone  
4 density and not to fracture data.

5 Prempro CEE/MPA was approved for the  
6 prevention of osteoporosis in 1994. It was a somewhat  
7 of an usual approval in that Premarin CEE was already  
8 approved for the prevention of PMO, the same dose  
9 0.625. The reasoning was we have the same dose of  
10 estrogen. We're adding a progestin. At that time,  
11 some people felt that there was evidence that  
12 progestins had their own independent positive effect  
13 on bone density. The feeling was if we have a  
14 progestin that has a positive effect on bone, maybe we  
15 can lower the dose of estrogen, avoid some of the  
16 known estrogen adverse effects but still end up with  
17 a positive overall effect on bone density.

18 Prempro was approved in 1994 for  
19 osteoporosis. At the same time, Wyeth agreed to do a  
20 post approval study looking at lower doses of Prempro  
21 and Premarin with BMD as the primary outcome. That  
22 study has been published. It's referred to as the



1 "HOPE" trial. In fact, the data from that trial are  
2 the basis of the recent approval of lower doses of  
3 Prempro and Premarin, doses lower than what was used  
4 in WHI. Again those are BMD data. I will mention  
5 that again in a second.

6 The other thing that happened in 1994 was  
7 the Agency updated its osteoporosis guidance. The  
8 guidance had separated out estrogens from non-  
9 estrogens. As far as the estrogens were concerned,  
10 there was a statement there that said "The  
11 epidemiologic data are sufficient to conclude that  
12 estrogens reduce the risk for osteoporotic fracture."  
13 That's somewhat unusual in that the Agency took a  
14 position that epidemiologic data were sufficient to  
15 conclude a fracture benefit of estrogens. That's what  
16 was in the guidance.

17 Subsequently no company other than one  
18 tried to get a treatment indication which would mean  
19 a fracture indication for an estrogen or E + P. From  
20 that day on, we have been dealing primarily with  
21 prevention of PMO for estrogens. For a company to get  
22 a prevention of PMO indication, they had to do a two

1 year trial with lumbar spine BMD as a primary endpoint  
2 and they had to compare their drug again to placebo  
3 and show that their drug led to a statistically  
4 significant increase over placebo.

5 Just briefly to recap, the E and E + P  
6 products approved for the prevention of PMO, the  
7 approval came about in general through one of two  
8 mechanisms. The older products were just designated  
9 as a DESI drug or the company had to do a two-year  
10 randomized placebo controlled trial with lumbar spine  
11 as a primary endpoint. In general, the women in these  
12 trials had normal or osteopenic bone density at  
13 baseline. By and large, the trial sizes were less  
14 than 500 women.

15 I'd like to show you this just as a point  
16 of reference. This outlines the requirements for  
17 approval of the non-estrogens. This would be, for  
18 example, alendronate (Fosamax, Actinal (risedronate)  
19 and in fact, even SERM raloxifene. Here you will see  
20 where treatment becomes synonymous with fracture  
21 reduction and prevention, synonymous with BMD. For a  
22 non-estrogen to gain a treatment indication, the

1 company had to do a three year RCT demonstrating that  
2 their drug significantly reduced the risk of vertebral  
3 fracture relative to placebo.

4           Once that was done and the company wanted  
5 a prevention of PMO indication like with estrogens,  
6 they had to do a two year trial looking at lumbar  
7 spine BMD. That was the same as it was with the  
8 estrogens. On top of that, they had to have a large  
9 favorable preclinical profile for the drug and the  
10 clinical development program for these compounds in  
11 the last eight years have been quite large, anywhere  
12 from 5,000 to 15,000 trial subjects.

13           As of today, there are several E + P  
14 products approved for the prevention of PMO again  
15 based on BMD. There are no E + P products approved  
16 for the treatment of PMO, again treatment synonymous  
17 with fracture efficacy which I basically said at the  
18 bottom of this slide.

19           The next two slides I want to show you the  
20 actual E and E + P products that are approved for PMO.  
21 This slide shows you Prempro and Premarin. You will  
22 notice that I have shown four doses in yellow. You

1 recall that the 0.625 to 2.5 dose of Prempro was what  
2 was used in WHI. Fairly recently, the Agency has  
3 approved the lower doses of 0.45, 0.3, and 1.5 Prempro  
4 for the prevention of PMO. Again those come from BMD  
5 data from the study that Wyeth agreed to do back in  
6 1994. So it's referred to as the HOPE trial.

7 The lower doses of Premarin were also  
8 studied in that trial. Again those data form the  
9 basis for the recent approval of two lower doses of  
10 Premarin. They are all based on BMD.

11 This slide shows you the other products  
12 that are approved. You will notice that there are  
13 different estrogen compounds here. There are two  
14 different progestin compounds. There are several  
15 different doses. You will also notice at the bottom  
16 there are two patches to transdermal preparations. So  
17 there are a host of different E and E + P products  
18 currently available, all limited to BMD data in fairly  
19 small trials, but they do offer some difference in the  
20 composition of the estrogen and the progestin, the  
21 doses and the delivery system through two transdermal.

22 To summarize, there are several E + P

1 products in addition to Prempro that are approved for  
2 the prevention of PMO. There are no E + P products  
3 approved for the treatment, treatment again synonymous  
4 with fracture reduction. This is somewhat ironic.  
5 WHI now provides strong evidence that E + P reduces  
6 the risk for osteoporotic fracture including the hip.

7 My last bullet is taken verbatim from last  
8 week's WHI Fracture paper that was published in JAMA  
9 where the authors concluded that there was "...no net  
10 benefit, even in women considered to be at high risk  
11 of fracture." Of course if you look at the global  
12 index, the women who had the highest baseline risk,  
13 their global index was getting pretty close to one.  
14 The global index does not include vertebral fractures  
15 so those components obviously will lead to I would  
16 think some discussions about "Is there possibility a  
17 subgroup who might benefit particularly with lower  
18 doses" but that's more hypothetical.

19 Let me move on the labeling changes at  
20 this point. I want to show you all the labeling  
21 changes. The labeling changes that I'll show you I've  
22 highlighted three sections, but the changes that have

1        been made to Prempro and Premarin. All manufacturers  
2        of E and E + P had been requested to make the same  
3        changes. I don't know where we stand in terms of  
4        getting the responses back but letters have been sent  
5        to those individuals saying "You need to make these  
6        changes as well even though you're a transdermal, even  
7        though you're a different preparation."

8                    Let's go to the black box warning. This  
9        is a little tedious because I've copied a lot here.  
10       The black box warning is the first portion of the  
11       label on the Prempro label. The first thing it says  
12       is "Estrogens and progestins should not be used for  
13       the prevention of cardiovascular disease. The Women's  
14       Health Initiative study reported increased risk of  
15       myocardial infarction, stroke, invasive breast cancer,  
16       pulmonary emboli and deep vein thrombosis in post  
17       menopausal women during five years of treatment with  
18       CEE combined with MPA."

19                   This gets to the other doses and other  
20       products. "Other doses of conjugated estrogens and  
21       medroxyprogesterone acetate and other combinations of  
22       estrogens and progestins were not studied in the WHI.

1 In the absence of comparable data, these risks should  
2 be assumed to be similar." That is the approach that  
3 the Agency has taken thus far. If you don't have data  
4 to prove you're different, you're going to be assumed  
5 to be the same.

6 "Because of these risks, estrogens with or  
7 without progestin should be prescribed at the lowest  
8 effective dose and for the shortest duration  
9 consistent with treatment goals and risks for the  
10 individual woman." It sounds very logical.

11 Now the indications and usage section, the  
12 first two indications, the first has to do with  
13 vasomotor symptoms. The second has to do with  
14 vulvovaginal atrophy. Those are two of the three  
15 continuing indications for this product. The third  
16 indication is a prevention of PMO which now reads  
17 "When prescribing solely for the prevention of post  
18 menopausal osteoporosis, therapy only should be  
19 considered for women at significant risk of  
20 osteoporosis and non-estrogen medications should be  
21 carefully considered." This is suggesting that this  
22 should be a second line agent or you should have real

1 good reason to use this over other products already  
2 out there.

3 Finally, the dosage and administration,  
4 some more wording that we've seen before. "Use of  
5 estrogens alone in combination with progestin should  
6 be limited to the shortest duration consistent with  
7 treatment goals and risks. Patients should be  
8 reevaluated periodically as clinically necessary."  
9 The top portion here is more about the osteoporosis.  
10 At the bottom, it says "Patients should be treated  
11 with the lowest effective dose. Generally women  
12 should be started at 0.3, 1.5 Prempro." Again this is  
13 a recently approved dose. "Dosage may be adjusted  
14 depending on the individual, clinical and bone mineral  
15 density responses. This dose should be periodically  
16 reassessed by the healthcare provider."

17 That concludes the basis of my  
18 presentation. I just want to leave you with some  
19 issues we hope will be the focus of today's Committee  
20 discussion. Some of these issues Dr. Orloff mentioned  
21 earlier. I just want to reiterate those. At the end  
22 of the day when all is said and done, we're going to



1 ask the Committee to comment on the revisions made  
2 thus far to the Prempro labeling keeping in mind that  
3 these changes have been made to the whole class of E  
4 and E + P. It's not simply Prempro. We also will ask  
5 you to discuss the implications of the WHI trial  
6 results for the future development, testing and  
7 potential approval of E + P drug products for the  
8 prevention and/or treatment of PMO.

9           Again I told you that currently it takes  
10 two years of BMD data to get prevention indication.  
11 You can do that with well under 500 women. We now  
12 have fairly good fracture data from WHI which if the  
13 balances were a little bit more favorable then it's  
14 possible that this Prempro would have a treatment  
15 indication now because we do have good fracture data  
16 now. There are some things to think about. How big  
17 a trial should people undertake? What should the  
18 endpoints be? Should they require to show fracture?

19           Finally it's just a very open-ended  
20 question for you to provide other comments or  
21 recommendations related to the WHI trial or to  
22 regulation of E + P products for the prevention and/or

1 treatment of PMO. Thank you.

2 CHAIRMAN McCLUNG: Thank you, Dr. Colman.  
3 We'll have our discussion about that during the  
4 discussion section but let me invite the Committee  
5 members if there are specific questions to address to  
6 Dr. Colman to clarify issues.

7 DR. FOLLMAN: Yes. I had one question.  
8 You said in the early 1990s you switched from using or  
9 thinking you should use fractures as an endpoint in  
10 your studies to using bone mineral density and the  
11 reason for this was given on the basis I assume strong  
12 epidemiologic data. When you went through that, was  
13 consideration given of the minimally effective bone  
14 mineral density difference between the two groups?  
15 I'm thinking perhaps that you could end up with a  
16 statistically significant change between placebo and  
17 a hormone replacement therapy that wouldn't really be  
18 large enough to reduce the fracture risk. So I just  
19 wanted to know when you made the change, was  
20 consideration given to that issue?

21 DR. COLMAN: Luckily, people were still  
22 studying doses that were what we would perhaps

1 consider too high now, but back then they were the  
2 standard doses. We didn't see a situation where after  
3 two years of study there was a half or a one percent  
4 difference between drug and placebo, but it was  
5 powered to the point where you could still get  
6 statistical significance. We did not put an absolute  
7 minimum on the difference.

8 DR. SCHADE: Just for clarification, you  
9 mentioned this approach using DESI, a term that I  
10 hadn't heard before. Is that something that's still  
11 used by the Agency or is that just historic?

12 DR. COLMAN: That's historic. It was done  
13 around 1962 because up to that point, drugs approved  
14 by the Agency, they only had to show some kind of  
15 rudimentary safety. People thought we have to look  
16 and see how efficacious they are. So the Agency  
17 actually contracted with the National Academy of  
18 Sciences to look at hundreds of drugs. They put  
19 together groups by discipline to review the drugs and  
20 review whatever literature out there that was on the  
21 efficacy of the drug. That's how they came up with  
22 these classifications, probably effective/ineffective.

1 It's an old classification scheme back in the 1960s.

2 DR. LUKERT: If I could just take  
3 advantage of gray hair to amplify Dr. Colman's comment  
4 about the response to the question about why estrogens  
5 were considered approvable for osteoporosis prevention  
6 or treatment on the basis of bone density whereas the  
7 drugs that were in newer classes of the time of the  
8 guidance were not, the estrogens were not at all  
9 suspected of having any effect on bone quality that  
10 would disrupt the relationship between bone mass and  
11 bone strength. Whereas concerns had arisen about, for  
12 example, fluoride. So the drugs that were  
13 unphysiologic, if you want to put it that way, were  
14 held to a higher standard when we developed those  
15 concepts, but estrogen wasn't considered to be in the  
16 same situation at all. But again, no specific  
17 magnitude could be identified.

18 CHAIRMAN McCLUNG: Other comments for Dr.  
19 Colman or questions? Thank you very much. We now  
20 turn to the presentation by representatives from the  
21 Women's Health Initiative and let me first thank Dr.  
22 Rossouw and the team of people he's put together to

1 allow this to happen. There's an integrated set of  
2 presentations that will happen, some before and others  
3 after the break. Let me propose to the Committee that  
4 we listen to the entire set of presentations and then  
5 we'll have time for questions, queries and discussion  
6 with the WHI individuals after that. Let me first  
7 introduce Dr. Jacques Rossouw to lead off the  
8 discussion from the Women's Health Initiative  
9 Investigators Group.

10 DR. ROSSOUW: Thank you. My job is to set  
11 the scene for my colleagues who will give us some  
12 detail. What I want to put before the panel is the  
13 reasons why NIH did this study, why this particular  
14 drug was chosen for the study, why this particular  
15 population was chosen for the study and the snapshot  
16 of the baseline characteristics of that study  
17 population to set the scene for my colleagues who will  
18 discuss the trial design, the results and some  
19 interpretation of the data.

20 The trial that we're going to be  
21 discussing of the WHI is part of a larger entity.  
22 There are also in that WHI trials of dietary

1 modification to look at whether there's reduction in  
2 certain cancers and calcium/vitamin D aimed at  
3 fracture reduction and a very large observational  
4 study. There are two trials of hormones as was here.  
5 We're going to talk about the estrogen plus progestin  
6 trial alone. The study is conducted in 40 clinical  
7 centers across the country and a coordinating center.

8 Now the issue of why did NIH do this study  
9 is best addressed by looking at the state of knowledge  
10 in the early 1990s when this trial was designed. I'm  
11 going to try and illustrate that with this rather  
12 complex slide, but I just want to point out a few  
13 details here. The blue line represents the  
14 prescriptions in millions of estrogens over time  
15 starting in 1960 and the black line the prescriptions  
16 of progestins over time.

17 As we've heard the use of estrogen to  
18 treat menopausal symptoms was approved way back in  
19 1942, but the uptake of estrogen in the general  
20 population wasn't that big until the 1960s when there  
21 was a huge increase. It's interesting that the  
22 increase occurred in the face of rather negative news

1 on the scientific front. By that time, we knew that  
2 oral contraceptives were associated with  
3 cardiovascular problems and conjugate equine estrogen  
4 in men in higher doses did not prevent, in fact,  
5 increased clots and heart attacks. But overwhelming  
6 that apparently was a popular conception to which Dr.  
7 Wilson's book, Feminine Forever, appeared to  
8 contribute that hormones were generally good for  
9 womenkind.

10 So the cells rose dramatically and then  
11 dipped in the mid 1970s when it became known that  
12 estrogen alone caused endometrial cancer by some  
13 observational data. It increased again when it became  
14 known that progestins could prevent that increased  
15 endometrial cancer. So in the 1980s we saw a rise now  
16 concomitantly with a rise in progestin prescriptions.  
17 In the 1980s, we also learned from observation studies  
18 that the benefits appeared to outweigh the risks.  
19 Estrogen use was associated with lower CHD ("coronary  
20 heart disease") risk and with a lower fracture risk.  
21 However it was also associated with a higher breast  
22 cancer risk. Because CHD is the predominant cause of

1 mortality and morbidity in older women, the benefits  
2 were thought to exceed the risks. At this point, NIH  
3 became interested in doing a trial to see whether the  
4 cardiovascular benefits indeed were real.

5 Now in 1991, this is when specific  
6 planning for WHI trials started. In 1991 that was  
7 also the era when evidence by medicine started  
8 dominating thinking in the scientific community and  
9 the era of large randomized controlled clinical trial.  
10 From the early 1990s then, a series of trials were  
11 launched. PEPI was the forerunner of WHI. The  
12 intermediate outcomes looked and generally found  
13 favorable results. And HERS was also planned, a  
14 second prevention trial. As we now know, that didn't  
15 have positive trials for CHD and WHI was planned. So  
16 from the early 1990s on, we started getting into a  
17 higher standard of evidence and WHI is part of that  
18 higher standard of evidence. That's what we're going  
19 to be talking about.

20 Now at the time when this study was being  
21 planned - I must also say there was as you see an  
22 increasing use of estrogen in that period of planning



1 - the interest in looking at hormones for preventing  
2 heart disease were based on a substantial body of data  
3 including small trials of the biological effects  
4 looking at surrogate markers such as lipids and bone  
5 density and that all looked very positive on average  
6 on some animal model data and on a large, growing body  
7 of epidemiological evidence such including some of the  
8 best studies ever done including cohort studies. But  
9 what was deficient was a large clinical trial with  
10 disease endpoints. That was our thinking in looking  
11 at whether this should be studies.

12 Now part of that background, you don't  
13 have to look at the details here. I just wanted to  
14 illustrate to you how large the evidence base is for  
15 thinking that estrogen only will prevent coronary  
16 heart disease. This is a review done by Barrett  
17 Connor and colleagues in 1998. Some of the cohort  
18 studies which are the higher quality studies were  
19 known at the time when WHI was being designed. All of  
20 these were known. If you summarize the data, there  
21 was about a 40 to 50 percent apparent reduction in  
22 risk associated with estrogen only use. That was the

1 primary source of evidence driving the need for the  
2 trial.

3 At the time that we were designing this  
4 trial, there was very little known about the use of  
5 combined hormones, estrogen plus progestin, and its  
6 association with CHD. Some studies emerged during the  
7 development of the study. Except for that one, these  
8 are all in the 90s. That was a small clinical trial.  
9 So there's very little known, but when the data came  
10 out, the relative risk was very similar on average to  
11 that which was found for estrogen only. That was our  
12 assumption going in that. If there was an estrogen  
13 only effect, we would probably find the same or maybe  
14 a slightly attenuated effect based on the lipid  
15 changes for estrogen plus progestin.

16 However, we were aware as you are that  
17 women who used hormone may differ in several  
18 characteristics from those that don't especially those  
19 that use over an extended period of time. Hormone  
20 users are generally less obese, less likely to smoke  
21 and to consume a high-fat or high-salt diet, more  
22 physically active and more highly educated. That came

1 out in some of these observational studies. They are  
2 also more likely to go to doctors more regularly and  
3 have health checks done and treated and some of our  
4 treatments actually work so that may have help prevent  
5 CHD and have mammograms and other screening. So  
6 there's a surveillance bias and a healthy user bias.  
7 They are also more compliant if women who use hormones  
8 for a long time, maybe more compliant in other ways  
9 and therefore have healthy lifestyle and other  
10 attributes that are not measured typically in  
11 observational studies. Of course the long-term  
12 hormone users, we have to remember, are the successful  
13 users. These are the folks who haven't had an adverse  
14 effect. So they are going to look pretty good  
15 compared to non-users on average.

16 The question was whether these differences  
17 could explain why hormone users appear to have a lower  
18 CHD risk. Is the CHD risk reduction real or is part  
19 of all of it due to these various biases?

20 Subsequent to WHI being launched, a  
21 substantial number of second prevention trials were  
22 published. Here are six of them. We don't have to

1 look at the detail. I just wanted to point out that  
2 the clinical outcomes of the secondary prevention  
3 trials. None of them showed any benefit for CHD or  
4 stroke. They either showed no benefit or no benefit  
5 and early harm. The secondary prevention hormone  
6 therapy whether it's E or E + P doesn't work and maybe  
7 harmful. That emerged while we were conducting the  
8 primary prevention trial.

9 The actual idea that NIH needed to do a  
10 trial of hormone therapy and CHD started in the mid  
11 1980s and panels were brought together. Expert advice  
12 was sought. The outcome of that was that the PEPI  
13 trial was done as a forerunner. Generally hormone  
14 therapy was then regarded as a promising but unproven  
15 treatment intervention to prevent CHD. Against this  
16 background of increasing use by millions of healthy  
17 older women, it was of concern that the overall  
18 benefits and risks were not known. Therefore there  
19 was this need for rigorous clinical trial. PEPI was  
20 started. HERS was started. HERS was not an NIH  
21 supported trial and WHI for prime prevention.

22 It's often said and we heard it today that

1 WHI studies the wrong population. Well, it actually  
2 studied the right population for the question it was  
3 asking which was "Whether hormone therapy is a  
4 suitable treatment in older women to prevent chronic  
5 diseases". In the mid 1995s to illustrate that point  
6 - and it was being used increasingly for those  
7 diseases - NHLBI did a survey and found that 85  
8 percent of doctors - these were non-gynecologists -  
9 were prescribing hormone therapy. All gynecologists  
10 were prescribing hormone therapy but two percent of  
11 non-gynecologists were prescribing hormone therapy.  
12 Of those who prescribed hormone therapy, 93 percent  
13 did for so menopausal symptoms, 91 percent for  
14 osteoporosis, 41 percent for high blood cholesterol  
15 and 66 percent for CHD prevention.

16 At that time you will recall both the  
17 National Cholesterol Education Program, AHA, ACC, all  
18 of these bodies recommended hormone therapy as a  
19 treatment for lipid disorders and for CHD prevention.  
20 That was the climate in which we were operating. In  
21 fact, it was quite difficult to recruit for WHI in the  
22 early 1990s because many physicians advised their

1 patients they should not enroll because all women  
2 should have this therapy. So that was the climate.  
3 There was increasing use of hormone therapy to prevent  
4 CHD.

5 Now why did we choose this particular  
6 drug? Conjugate equine estrogens, Premarin, in the  
7 U.S. was and is the most commonly prescribed hormone  
8 therapy and in women with a uterus, MPA is the most  
9 commonly prescribed added progestin. Initially this  
10 was cyclic. Now it's predominantly in a continuous  
11 form in Prempro.

12 An important point from our point of view  
13 was that most epidemiologic data on CHD risk reduction  
14 in hormone users is based on the use of Premarin 0.625  
15 mg. The well-known Nurses Health Study for example 66  
16 percent of the data in those analyses are based on  
17 Premarin. Most of it is at the dose of 0.625 mg where  
18 they looked at the dose of 0.3 mg in their most recent  
19 publications. Their findings for CHD were similar.  
20 They weren't better or worse. They were similar. Now  
21 I've stated the data on combination therapy and CHD  
22 emerged later, but when they did, they looked similar

1 for CHD to that for estrogen only. We didn't have  
2 specific data for Prempro.

3 Let me move on to the study population  
4 then. Why did we choose this study population? They  
5 were post menopausal. They were a wide age range of  
6 50 to 79. We wanted to make it as inclusive as  
7 possible and as representative as possible of the  
8 greater population of post menopausal U.S. women. So  
9 we made an effort to enroll minority women. We had  
10 this wide age range.

11 We had very liberal inclusion and  
12 exclusion criteria so we included women. We had no  
13 exclusion criteria for women with a high body mass  
14 index ("BMI"). Except for very extreme levels, we did  
15 not exclude those with CVD risk factors or with  
16 previous CVD provided it wasn't recent CVD. We did  
17 not exclude those with prior hormone use.

18 Let me turn then to some of the  
19 characteristics of the women that we did enroll. The  
20 mean BMI was 28.5. However when you look at that and  
21 break that into categories of normal weight,  
22 overweight and obese, you'll see that just over 30

1 percent were not overweight or obese. The results as  
2 we'll show in subsequent presentations apply to the  
3 non-obese and the obese generally speaking. To make  
4 a statement that the average BMI was 28.5 misses the  
5 point. We tried to make this enrollment as wide as  
6 possible to be as representative of the population as  
7 possible. Where feasible we do subgroup analyses. So  
8 far we haven't found any subgroups that have a  
9 moderately different experience than the overall.

10 Similarly for the age, this is the age  
11 distribution on the left here. 5.5 thousand (5,522)  
12 of 50 to 59. Even though the average was in the 60s  
13 we have the largest trial ever of women in their 50s.  
14 We also of course have very important information on  
15 older women. We didn't have that many women with past  
16 or current hormone use. The majority had never used  
17 hormones before, but we are able to do some analyses  
18 by prior use.

19 We include women with risk factors. Here  
20 are the percentages who were smokers, diabetic,  
21 hypertension, hyperlipidemia, used statins or ASA.  
22 6.2 percent had prior CVD. I would point out however



1 that these numbers are all quite a bit lower than what  
2 you'll find in NHANES surveys. This population on  
3 average was indeed healthier than the average post  
4 menopausal population.

5 That's borne out by the fact that our CHD  
6 rates were about half of what we had predicted when we  
7 started the study. I would also point out that almost  
8 2,000 of the women did have moderate to severe  
9 menopausal symptoms at baseline and that or the body  
10 mass index or the age or the years since menopause,  
11 any subgroup that you want to look whether they had  
12 risk factors or not, we have not been able to identify  
13 any subgroup that has a markedly or significantly  
14 different experience than the group overall.

15 Having set the scene, I would now like to  
16 ask my colleague Dr. Marsha Stefanick, the Chair of  
17 our Steering Committee, to show you the most important  
18 results and updates of the study. Mr. Chair, is that  
19 okay?

20 DR. STEFANICK: Thank you very much. It's  
21 a pleasure to be here. I'll try and be brief in this  
22 presentation. First of all, I would like to state

1 that the specific aims as you know were to test  
2 whether estrogen plus progestin or estrogen alone  
3 reduced the risk of CHD defined as non-fatal MI and  
4 CHD death or other CVD like stroke, increases the risk  
5 of breast cancer and reduces the risk of hip and other  
6 fractures. But also of equal importance to us was to  
7 determine the overall balance of health risks and  
8 benefits of E + P and E alone.

9 Women were randomly assigned based on  
10 their hysterectomy status. If they had a  
11 hysterectomy, they were assigned to either CEE at the  
12 dose 0.625 mg, essentially Premarin, or to placebo.  
13 If they still had their uterus, they were assigned to  
14 combination therapy, the same estrogen combined with  
15 medroxyprogesterone acetate or placebo. Initially  
16 there were a small group of women who were assigned to  
17 a three-way randomization. Prior to the PEPI results  
18 when the PEPI results came out, the estrogen only arm  
19 was discontinued and women were converted to the  
20 combination therapy.

21 The outcomes monitored by the Data Safety  
22 Monitoring Board ("DSMB") were three cardiovascular

1 endpoints, CHD, strokes, pulmonary emboli; three  
2 cancer endpoints, invasive breast cancer, colorectal  
3 cancer and endometrial cancer; hip fractures and  
4 deaths from other causes. In addition, the global  
5 index that you've heard about was defined as the  
6 earliest occurrence of each of those events to provide  
7 the overall balance of risks and benefits.

8 As you may realize, the DSMB actually  
9 requested that the investigators inform the women  
10 after most of them had completed two years of the  
11 trial that there was an unexpected finding relative to  
12 our hypothesis that there was actually an increase in  
13 the number of heart attacks, strokes and blood clots  
14 in the lungs and the legs in the women receiving  
15 active hormones compared to women taking placebo. So  
16 all the participants in the hormone trial were alerted  
17 to this information.

18 A year later, the DSMB required that we  
19 inform the women that now that we had completed an  
20 average of four years of the trial these excess  
21 cardiovascular events persisted in the active hormone  
22 group compared to the placebo. All of these data were

1 based on the combined E only and E + P trial data.  
2 The investigators were never informed, nor were the  
3 women, what was going on with the E only trial. As  
4 you all know last year, May 2002, the NHLBI accepted  
5 the DSMB recommendation to stop the E + P trial after  
6 an average of 5.2 years because the risks exceeded the  
7 benefits based on the monitoring rules which Dr.  
8 Anderson will elaborate on when she presents her  
9 presentation.

10 In particular, I do want to point out that  
11 we are following these women so they are still being  
12 monitored through the trial. They are just not taking  
13 their hormones at this time so that we can get  
14 information about the long term risks and benefits.  
15 Also the DSMB recommended that the E along trial  
16 continue because the risks and benefits were not yet  
17 certain and the balance was not clear. We were able  
18 to inform the women at that time that there was no  
19 increased risk of breast cancer by the 5.2 year period  
20 and we do continue to monitor these women closely.  
21 They are continuing to take their study pills.

22 To just focus on the E + P trial results

1 then, in the publication from last July, we published  
2 both the nominal confidence intervals for the hazard  
3 ratios for each of the primary events and very  
4 conservative adjustments based on the sequential  
5 monitoring and the multiple outcomes. To just point  
6 out as you see, there was a set of clear harmful  
7 events, CHD, stroke, breast cancer and pulmonary  
8 emboli and there were a series of benefits, colorectal  
9 cancer, hip fractures. Also shown here are total  
10 fractures. Death was neutral.

11 These were all presented in the paper last  
12 year to actually focus on the global index which was  
13 this overall balance. The main point I'd like to make  
14 by showing only one of our many Kaplan-Meier curves is  
15 that when we look at the accumulated incidence as we  
16 add these up, at no point were the E + P women better  
17 off than the placebo. The placebo were always having  
18 a lower overall risk ratio relative to the benefit.  
19 The main point is that the risk clearly exceeded the  
20 benefits in the active group.

21 Also presented in the paper were the  
22 annualized event rates for the primary outcomes. What

1       you see is that the excess risk attributed to E + P  
2       for every 10,000 women were seven more for CHD, eight  
3       more for stroke, eight more for breast cancer and  
4       eight more for pulmonary emboli. And the attributable  
5       benefits were six lower colorectal cancer, five fewer  
6       hip fractures, neutral for endometrial cancer and  
7       neutral for death.

8               These were the events that we published  
9       last year. This basically came out to an overall  
10       summary of 19 health problems for 10,000 women  
11       assigned to E + P versus placebo which essentially  
12       means that over five years there was a net per 100  
13       women in the active treatment group who had a harmful  
14       outcome. Our conclusion was that treatment with E +  
15       P for up to five years is not beneficial to overall  
16       health.

17               Since that time, we've been publishing the  
18       more extensive data. We actually had four months more  
19       of outcomes but they had not been adjudicated and not  
20       built into the analyses when we published the data  
21       last year. Two of them I'll elaborate a bit on, the  
22       coronary heart disease risk and stroke. You'll hear

1 from Rowan Chlebowski the breast cancer data and Jane  
2 Cauley the fracture data when I complete my  
3 presentation.

4 What I'd like to do is start out first of  
5 all with the basic principle of these updated papers.  
6 We now have a mean of 5.6 years of follow-up. That's  
7 the actual length of the overall follow-up time which  
8 means that we have more cases for all of the events  
9 that were published last year. In addition, all of  
10 the major events have been centrally adjudicated. In  
11 the case of the CHD update by cardiologists, in the  
12 case of stroke by neurologists and so forth. In  
13 addition, we have additional endpoints relevant to the  
14 outcome in question and we have analyses on subgroups  
15 trying to get information about many of the questions  
16 that have come our way in terms of "Are there groups  
17 that are better off and are there groups that are  
18 worse off".

19 With respect to the CHD, the main point  
20 that I'd like to make from that paper, the main issue  
21 I'd like to summarize, is that when we looked at all  
22 the data, first of all, I'll point out that the hazard

1 ratio from the updated centrally adjudicated data is  
2 1.24 so 24 percent increase in CHD. But the most  
3 important point that I'd like make is this was  
4 particularly elevated in the first year. The hazard  
5 ratio of 1.81 appeared in the first year.

6 What you see is that each year of follow-  
7 up where the first event is no longer included in each  
8 of the next years we still have an excess risk in Year  
9 2, Year 3, Year 4, Year 5 in the E + P group. Not  
10 until Year 6 when the placebo group had essentially  
11 caught up at this point - They've been surviving all  
12 of this time. They now have their heart attacks -  
13 that's really the explanation for this reverse of the  
14 hazard ratio in the years after year five. At least  
15 that's my judgment of it.

16 Now I would like to point out that there  
17 had been many studies showing benefits to lipids from  
18 E + P and E only starting before the PEPI study but  
19 the PEPI study certainly emphasized that. We did see  
20 those in the subsample of women for whom lipids were  
21 measured. We did see a decrease in total cholesterol  
22 and LDL cholesterol of 12.7 percent, very similar to



1 data published previously. There was also an increase  
2 in HDL cholesterol of 7.0 percent which was actually  
3 a little bit better than the PEPI study. We also saw  
4 decreases in glucose, not significant, but also  
5 insulin. So the lipid benefits that we've talked  
6 about were also seen in WHI, but I think we all  
7 recognize that this is a risk factor for a disease.  
8 The disease was not benefitted. So in this case, we  
9 have to recognize that looking at lipid changes is not  
10 the appropriate approach with respect to CVD and  
11 hormones.

12 Also just to quickly mention in all of the  
13 analyses that are coming out, we are looking at lots  
14 of subgroup analyses. In the case of CHD, age, years  
15 since menopause, hot flashes, with and without night  
16 sweats, obesity status, race, ethnicity, education  
17 level, all of these have been examined and none of  
18 them have shown any effect in terms of the  
19 interaction. So there is no evidence that these  
20 things make a difference with respect to the overall  
21 risk associated with E + P.

22 Similarly we have a large list of

1        biomarkers and other risk factors. I will point out  
2        that one risk factor did show up as significant. LDL  
3        cholesterol, the higher the level the more likely you  
4        were to have a coronary heart attack. But I also want  
5        to point out that there were so many subgroup analyses  
6        done that we can't say this wasn't due to chance. By  
7        the time you've done 20, you have one out of 20 that  
8        could be by chance. But at any rate, we've gone to  
9        quite a bit of effort to look for subgroups that may  
10       be better off or safe. At this point, everything  
11       pretty much comes out to the same answer that the  
12       risks exceed the benefits.

13                    I also want to point out that with respect  
14       to CHD whether women had an event in the past or  
15       whether we talked about a more comprehensive  
16       cardiovascular package or the CHD alone, we still have  
17       a net risk associated with that. So also history of  
18       heart disease did not make a difference in the risk  
19       associated with E + P.

20                    In the stroke paper, we basically  
21       elaborated on the fact that ischemic stroke in  
22       particular was the stroke that was increased. So

1 where we would look at total stroke, we have a  
2 relative risk of 1.31. Ischemic strokes, it's 1.44.  
3 Hemorrhagic stroke is not significant. There weren't  
4 as many hemorrhagic strokes. As you see, the vast  
5 majority of strokes were in fact ischemic strokes.

6 In summary from the stroke data, we now  
7 basically continue to say that we have excess risk.  
8 Seven per 10,000 women per year are having strokes  
9 attributable to E + P in our data. The excess risk is  
10 not explained by blood pressure increase which I  
11 failed to point out that we did see. It was apparent  
12 in hypertensive and normotensives and it was apparent  
13 in all the subgroups that were examined. Also we  
14 looked at quite a few biomarkers and there was no  
15 significant interaction on the biomarkers.

16 Also quickly, we now published the  
17 gynecological cancers. Dr. Anderson is here today.  
18 You'll be hearing from her. Other papers have been  
19 submitted and are forthcoming but we do not yet have  
20 the data published. With respect to the gynecological  
21 cancers, invasive ovarian cancer, 32 cases; hazard  
22 ratio of 1.58; confidence interval, not significant.

1 Endometrial cancer, 58 cases; hazard ratio. 0.81;  
2 confidence interval, not significant. There were  
3 relatively few cases of these cancers with a  
4 suggestion of increased risk for ovarian cancer and a  
5 suggestion of decreased risk for endometrial. No  
6 appreciable differences in the distributions for tumor  
7 histology, stage or grade for either of those cancers.  
8 In the case of cervical cancer, there were 13 cases  
9 out of the 16,000 plus women and the data and the  
10 trial are really too limited to say very much more  
11 about that.

12 I do want to point out that we did have  
13 relatively high discontinuation rate for pill taking.  
14 That's been discussed in many settings. You see that  
15 over the course of time an increasing number of women  
16 were coming off the pills in both the placebo group  
17 shown in yellow and the active group shown in orange.  
18 But also there were an increasing percent of women  
19 going on estrogen and progestin. So that what you see  
20 below is the women who are coming off the pills here  
21 as a substantial portion of them were going on exactly  
22 the same medication but open label with their own

1 physician and twice as many women in the placebo group  
2 were falling into that category. When we actually  
3 look at all of the data I've talked about so far and  
4 look at the data by intention to treat, we have a 24  
5 percent increase in CHD, 31 percent increase in  
6 stroke, 24 increase in breast cancer in the updated  
7 analyses. But when we add on to that the compliance  
8 data looking only at women who were taking at least 80  
9 percent of their pills and censoring the event history  
10 for six months after they stopped taking pills, what  
11 you see is that in fact 50 percent higher CHD, 50  
12 percent higher stroke and 49 percent higher breast  
13 cancer. When we actually look at the highly compliant  
14 women, the risk attributed to these hormones is even  
15 greater.

16 I'm not going to say anything about the  
17 quality of life data. I do want to say a few things  
18 about the WHI Memory Study ("WHIMS"). It's been  
19 pointed out and I'll point out again that this was an  
20 ancillary study restricted to women who were 65 and  
21 over at baseline and included about one-fourth of the  
22 overall study population, 4,532, with more than 90

1 percent of the women who were eligible to be in that  
2 trial actually participating. So it was a fairly good  
3 representative study group. Essentially the data that  
4 we have from that study shows that probable dementia  
5 happened twice as often. It was diagnosed twice as  
6 often in the E + P group relative to placebo. We  
7 actually looked at the rates per 10,000. It was 45  
8 per 10,000 in E + P and 22 per 10,000 for the placebo  
9 which is essentially 23 excess cases per 10,000 women  
10 per year. Dementia twice as high. Mild cognitive  
11 impairment ("MCI") was actually not different between  
12 the two groups.

13 So come back to our new summary, we're  
14 slowly improving these risk estimates. We now can lay  
15 out that we have eight more women with breast cancer  
16 per 10,000 per year, six more with CHD, seven more  
17 with stroke. We have not yet published the updated  
18 data for pulmonary emboli or for colorectal cancer in  
19 which there were six fewer, but we have published the  
20 updated data now for hip fractures which is five  
21 fewer. So we are still in the area of over a five  
22 year period one in 100 women are having unhealthy

1 events related to these hormones.

2 We essentially stand by the same  
3 implications that we published last July. The overall  
4 risks of estrogen and progestin outweigh the benefits  
5 when taken to prevent chronic disease in post  
6 menopausal women. Estrogen and progestin should not  
7 be initiated or continued for primary prevention of  
8 coronary heart disease and the risk for CHD, stroke,  
9 pulmonary emboli and breast cancer must be weighed  
10 against the benefit for fracture in selecting from  
11 available agents to prevent osteoporosis. With that,  
12 I'm going to turn over to my colleague, Rowan  
13 Chlebowski who will present the breast cancer data.

14 CHAIRMAN McCLUNG: Actually, let me take  
15 the prerogative of suggesting that we actually have  
16 our break at this point because we're come back after  
17 the break and talk about specifics about breast cancer  
18 and about bone disease. Plus we're halfway through  
19 the morning. Let me propose that we have our 15  
20 minute break, reconvene at 10:25 a.m. to continue this  
21 discussion. Thanks. Off the record.

22 (Whereupon, the foregoing matter went off

1 the record at 10:12 a.m. and went back on  
2 the record at 10:31 a.m.)

3 CHAIRMAN McCLUNG: On the record. Let me  
4 invite Dr. Chlebowski to the podium to continue the  
5 presentation of data from the Women's Health  
6 Initiative and to specifically address the more  
7 detailed analysis of issues related to the breast  
8 cancer risks.

9 DR. CHLEBOWSKI: Thank you very much. I  
10 also am delighted to be here and to give you a little  
11 bit more detail on breast cancer in the WHI.  
12 Menopausal hormone therapy in breast cancer as we've  
13 heard about CHD also has an extensive background.  
14 There were numerous observational studies suggesting  
15 that longer duration usually meaning by definition, -  
16 short duration used to be five years or less of use -  
17 would result in increased breast cancers. There were  
18 suggestions that these cancers would found at low  
19 stage and have favorable prognosis, the receptor  
20 positive predominance and more lobular in histology.  
21 In essence, the thrust was that E + P or hormones  
22 would offer an earlier diagnosis of cancers which



1 would otherwise anyway come forward.

2 When we talk about the WHI, you heard much  
3 about the characteristics of the population. I just  
4 list here a number of the things that we captured in  
5 terms of known and presumptive breast cancer risk  
6 factors. We won't go over all these data except to  
7 say that none of these characteristics differed  
8 significantly between treatment groups. So we have  
9 much breast cancer risk information.

10 One point that's already been made by Dr.  
11 Rossouw that I want to point back up again in this  
12 setting because one of the issues we'll be attempting  
13 to get at is the duration issue in breast cancer is  
14 how about prior hormone usage. As you've heard before  
15 three-fourths of the women had never prior hormone  
16 exposure. About six percent were current users.  
17 Those users had to wash out or stop therapy for three  
18 months before beginning their baseline evaluation.

19 One of the things that's different again  
20 from the WHI Randomized Perspective Trial were the  
21 issues about case ascertainment and breast safety. So  
22 baseline mammograms and clinical breast exams were

1 required for eligibility. Everyone was screened  
2 before entry. Annual mammograms and clinical breast  
3 exams were required when on study and importantly the  
4 dispensing procedures would not allow dispensing of  
5 the medications if safety procedures were not done.  
6 So if a woman didn't have a report of a mammogram  
7 within a window, at a time for dispensing she could  
8 not be dispensed further medication until she did get  
9 those studies.

10 Here's the summary of the major results  
11 which is again updated from the original publication.  
12 This data was published in JAMA of June of this year  
13 showing that on E + P there was a total of 245 versus  
14 185 cases. Dr. Anderson who will follow me will go  
15 into more detail about the statistical analyses  
16 involved. Here we have invasive breast cancer 199  
17 versus 150 with a hazard ratio of 1.24 and just a  
18 trend of insight to cancers. Those were the numbers  
19 of invasive cancers that we saw on E + P during a  
20 course of follow-up that ended after 5.6 years.

21 Here's what the Kaplan-Meier curves look  
22 like. We'll come back to some of these duration

1 issues. Again unweighted hazard ratio 1.24. You can  
2 see actually that the curves cross at about four years  
3 and then more E + P data. We'll look in more detail  
4 at the hazard ratios in the first two to three years  
5 where there was an apparent lower incidence of breast  
6 cancer seen on the placebo compared to the E + P arm.

7 Similarly to what Dr. Stefanick showed as  
8 well, we did a sensitivity analysis to perhaps allow  
9 a better comparison to some of the existing  
10 observational study data. Again what we did was  
11 participants wereensored six months after becoming  
12 nonadherent. That is not taking 80 percent of their  
13 study medications or taking non-protocol hormones.  
14 What you can see here is that our hazard ratio is now  
15 1.49 with a earlier departure deviation of the two  
16 curves.

17 We looked at many subgroups, none of which  
18 really showed a different relationship of E + P to  
19 development of breast cancer. I'll just show a couple  
20 of these. This is a breakdown by age. You can see  
21 that actually this is a test for interaction. There  
22 is no interaction, 1.2 in the 50 to 59 year olds, 1.22

1 in 60 above. So basically it wasn't as if only the  
2 older individuals were at breast cancer risk.

3 Because there'll be more detail gone over  
4 in the BMI portion for fracture, we include this to  
5 look at the breakdown of hazard ratio for development  
6 of breast cancer by BMI. The trend was actually  
7 nonsignificant, but there was an appearance that maybe  
8 in the older individuals there was somewhat less of an  
9 effect on E + P to increasing breast cancer risk.  
10 Again that interaction was not statistically  
11 significant.

12 Dr. Anderson will go in much more detail  
13 over issues of prior menopausal hormone therapy  
14 exposure. I'll just show you this one illustration of  
15 the overall breakdown of no prior hormone therapy  
16 versus ever prior hormone therapy. You can see the P-  
17 value is 0.10 so the interaction wasn't significant.  
18 More breast cancers on E + P in both groups, a  
19 nonsignificant trend. Ever users were at somewhat  
20 lower risk. We have a question which we'll go into  
21 more detail with at a later presentations about  
22 cumulative exposure versus selection bias.

1           We'll point out a couple of those issues  
2 here. Here's the women with no prior menopausal  
3 hormone therapy E + P/placebo. Their hazard ratios in  
4 the first year were 0.48, 0.65. So like a 50 percent  
5 apparent reduction in the first two years for E + P  
6 compared to placebo. You don't see that in the women  
7 with prior menopausal hormone therapy. Now the other  
8 additional issue is this will provide one possible  
9 explanation for this because this prompted our look at  
10 the mammogram data subsequently.

11           If we look at the breast cancer  
12 characteristics by group, remember there was a  
13 suggestion from especially more recent observational  
14 studies involving E + P that lobular cancers would be  
15 largely responsible for most of the increase.  
16 Actually we saw nothing like that. We saw really that  
17 all types of cancers were the same in both groups.  
18 Again the suggestion on the predominance of the  
19 observational studies that E + P would be associated  
20 with well differentiated cancers wasn't seen. We saw  
21 the same distribution, similar histology and grade on  
22 E + P compared to that on placebo.

1                   How about the receptor status? What we  
2 see here is that both receptor positive and negative  
3 breast cancers were greater on E + P. This number  
4 tests the interaction between E + P for receptor  
5 status. You can see more receptor positive cancers,  
6 more receptor negative cancers, more progestin  
7 receptor positive cancers, more progestin receptor  
8 negative cancers. The P-value suggests that there was  
9 a significant imbalance with respect to the number of  
10 individuals having receptor status determined. This  
11 wasn't based on size difference. We don't have an  
12 explanation for that imbalance. It appears that both  
13 receptor positive and negative breast cancers were  
14 greater on E + P.

15                   Now this is an important data  
16 demonstration because very surprising compared again  
17 to the observational study data, we saw that actually  
18 instead of being more favorable stage, the tumors on  
19 E + P compared to placebo were larger. This  
20 difference was statistically significant. It was more  
21 likely to have node positive and more likely to be at  
22 regional stage. More advanced stage was seen on E +

1 P. I think the other thing I can point out that this  
2 is what you get when you have a population that has 90  
3 percent of that population has yearly mammograms. You  
4 get an average size on the placebo of only 1.5 cm. So  
5 the cancers were larger, more likely to be node  
6 positive on the E + P arm.

7 This finding of similar grade, histology,  
8 and receptor status but more advanced stage where  
9 ascertainment was felt to be equivalent and that  
10 suggestion that there were apparently fewer cancers  
11 seen in the first couple of years on hormone prompted  
12 us to look at the mammograms. Basically it's our  
13 mammogram findings after one year on E + P.

14 As you can see, 90 percent were normal,  
15 but the abnormal were 9.4 percent versus 5.4 percent  
16 on placebo. This is a relative increase of 74  
17 percent in abnormal mammogram frequency after one  
18 year. Most of those abnormal were in the short  
19 interval follow-up category, Category 3, but you can  
20 see that suspicious abnormalities usually leading to  
21 biopsy were also higher.

22 This finding persisted. This is the data

1 you saw before. This is the mammograms abnormal, 9.4  
2 versus 5.4 compared to the baseline of about five  
3 percent in both groups. The cumulative after six plus  
4 years of follow-up were 30 versus 21 percent. This is  
5 the frequency of mammograms by arm. You can see that  
6 after the first year 90 percent of the women had their  
7 assigned mammograms and the cumulative goes up to 97  
8 percent. The people that would drop off that wouldn't  
9 be required to have mammograms before dispensation.  
10 That wasn't an issue. What we have there in summary  
11 was that abnormal mammograms were associated with even  
12 one year of E + P use, a four percent absolute  
13 increase in abnormal mammograms after one year on E +  
14 P, a ten percent absolute increase in abnormal  
15 mammograms after about five years of E + P.

16 Now to inform some of these results  
17 especially our finding of more advanced stage, we can  
18 get some information from the recent results from the  
19 United Kingdom Million Women Study. This is based on  
20 a National Health Service Breast Cancer Screening  
21 Program trial in the United Kingdom. What their study  
22 involved was the National Health Service there invites



1 all women in the United Kingdom 50 to 69 years of age  
2 of have a screening mammography every three years by  
3 letters. A questionnaire regarding hormone therapy  
4 use was added to the screening invitation letter. The  
5 women showed up for their screening and then the data  
6 on their hormone therapy use was linked to National  
7 Health Service Central Registries for Breast Cancer  
8 and Death Outcomes. 1,084,110 million were identified  
9 and 9,364 invasive breast cancers were seen. I should  
10 emphasize this was a perspective cohort study. It was  
11 not randomized. It was very large.

12 What did they see? Now they included if  
13 women had an abnormal mammogram at baseline and were  
14 taking hormones one year before. They would be  
15 considered to be on hormones for one year and that  
16 work-up would count. So they didn't screen and  
17 eliminate cases. They included everyone. But when  
18 they did this, the relative risk of developing a fatal  
19 breast cancer by hormone therapy use at baseline had  
20 a relative risk of 1.22 which was statistically  
21 significant. They found that hormone therapy was  
22 associated with increased breast cancers and

1 mortality in short-term users. By short-term users,  
2 it means they were based on deaths after follow-up of  
3 4.1 years.

4           How about the duration effect? Again it's  
5 really quite different than the WHI in that there's a  
6 number of differences. They included the work-ups  
7 down on baseline. Because they had mammograms done  
8 every three years and they reported the incidence data  
9 after 2.8 years, the majority of these cases would not  
10 be screening detected cancers, but would rather be  
11 clinically detected cancers without mammographic  
12 screening. By this, they get rid some of the  
13 ascertainment issues. What they saw was after one  
14 year a relative hazard ratio of 1.45 going up over  
15 time. This is the data for their E + P which was  
16 associated with increased breast cancer risk in less  
17 than one year.

18           How about the hormone types? Without  
19 showing all their data, they saw an increase also with  
20 E only for all types of estrogen but the risk was  
21 substantially higher for their E + P combinations.  
22 About one-third of the women had conjugated equine

1 estrogens, Premarin, and they had a relative risk of  
2 1.29, conventionally significant. But other estradiol  
3 was also significant and besides medroxyprogesterone  
4 acetate, other progestins also were associated with  
5 increased breast cancer risk.

6 So our conclusion based on these combined  
7 findings is that combined E + P use increases breast  
8 cancers, diagnosed in more advanced stage and  
9 increases more abnormal mammograms. These results  
10 suggest that use of E + P may simulate breast cancer  
11 growth and hinder breast cancer diagnosis. Thank you.  
12 The next speaker will be Dr. Garnet Anderson who will  
13 be going over more details of the prior E + P users.

14 DR. ANDERSON: Good morning. It's a  
15 pleasure to be here. On behalf of colleagues, I  
16 wanted to cover the statistical methods issues, and  
17 I'll try to do that in short order because I know  
18 that's not what most of you get up early in the  
19 morning to hear. Then I will cover some of the  
20 further analyses of prior hormone therapy and breast  
21 cancer risk. These are questions that have been  
22 specifically put to us by members of the FDA.

1           On the statistical methods, I wanted to  
2 point out to you that the design and the primary  
3 analyses of all of our clinical endpoint data is based  
4 on a weighted log rank statistic which I've shown  
5 here. It can be written in the usual observed minus  
6 expected notation. This is trying to look at the  
7 difference in survival curves or incidence curves over  
8 time. The only thing that's unique about this is the  
9 weights which is signified here. So I wanted to  
10 describe what that means.

11           These weights are specified for each  
12 disease endpoint. The motivation is not to weight  
13 different diseases because that's a very difficult  
14 place to go. Rather these weights are defined by time  
15 since randomization. The motivation is actually to  
16 increase the efficiency of the study group at power.  
17 It was based on the idea which is common in prevention  
18 trials that the intervention effects will not be fully  
19 manifested right away. It will take some time for the  
20 differences in clinical endpoints to appear.

21           Let me show you the actual weight we used.  
22 So any differences you see in the early period are

1 more likely to be due to a random occurrence than to  
2 be a true treatment effect. We actually had very good  
3 observational data to say that the effect of hormones  
4 on breast cancer may take a considerable amount of  
5 time to be fully manifested.

6 So the weights for breast cancer were  
7 defined to be linear over a ten year period. The  
8 differences observed in the first year or so would  
9 have very little weight but increasing over time.  
10 Differences at year 10 and beyond would have full  
11 weight. That was the weighting scheme for cancer and  
12 also for mortality or global index calculations.

13 For CVD and fractures, the data were not  
14 so clear. In fact, the observational data tended to  
15 suggest that it was current use of hormones that was  
16 protective for CHD. Nevertheless a lot of the  
17 hypothesis came through the intermediate effects of  
18 lipids which though that might be rather immediate but  
19 its translation into a clinical impact could take some  
20 time. After quite a bit discussion, we used a three-  
21 year weighting period. By the time, we got to the  
22 three years any events occurring after that would

1 receive full weight.

2 That plays into both the analysis and it  
3 also played into the monitoring plan. Dr. Rossouw  
4 gave us a nice summary trying to understand where we  
5 were when we designed this trial. It was a prevention  
6 trial. In developing our monitoring plan which the  
7 development has been published back in 1996, we were  
8 thinking of the issue of benefits and risks with CHD  
9 being a benefit that was at that time considered so  
10 obvious that the question was "Could we really  
11 ethically continue this trial when the benefits might  
12 accrue by year three in the study when we knew the  
13 breast cancer results might take a fair amount of time  
14 to see".

15 The monitoring plan that we used then and  
16 continue to use now for the E only trial was based on  
17 that general idea. We would stop for evidence of CHD  
18 benefit using a standard procedure that looks like the  
19 upper tail of 0.05 level test with .025-level, one-  
20 sided test corrective for multiple looks over time,  
21 the traditional O'Brien-Fleming procedure boundary.  
22 This is exactly the same in many trials used for a

1 single endpoint trial.

2 The only catch to this is that we did  
3 develop the global index specifically for this  
4 monitoring purpose. That was to provide some measure  
5 of the risks and benefits balance at that time.  
6 Though we didn't require this to be as significant or  
7 as clear - we only looked at the .05-level, one-sided  
8 test for this - it was to be clearly weighing on the  
9 side of overall benefit to stop this trial. That was  
10 the only way that we would stop for benefit.

11 Stopping for harm, there were actually two  
12 alternatives. Breast cancer was our primary safety  
13 endpoint. There were prior data suggesting that this  
14 might be a problem so we defined a monitoring boundary  
15 for it alone not adjusted for multiple endpoints.  
16 Because we were interested in proving harm to the same  
17 degree of precision as you might want for benefit, the  
18 stopping level was a .05-level, one-sided test  
19 equivalent to the .10 percent type one error again  
20 adjusted with O'Brien-Fleming procedure for multiple  
21 looks over time.

22 If that boundary were crossed and a global

1 index which was supportive of harm, that's a Z-  
2 statistic less than minus one. So one standard  
3 deviation below the no-hypothesis, we would stop for  
4 harm based on breast cancer. We also defined similar  
5 stopping boundaries for all the other designated  
6 monitored endpoints of CHD, stroke, PE, hip fracture,  
7 colorectal cancer, endometrial cancer and death from  
8 other causes. Death from other causes was just to  
9 pick up anything unforeseen that was serious in terms  
10 of the health of women. These use the same .05 level  
11 tests but it was corrected with a conservative  
12 Bonferroni correction because we were looking at all  
13 those multiple endpoints and didn't want to inflate  
14 our type one error by looking at too many endpoints at  
15 once. Those are our monitoring boundaries. It was  
16 the breast cancer boundary and the global index  
17 boundary for harm that were crossed last spring.

18 A couple of other notes. All the analyses  
19 we present are based on intention to treatment. That  
20 means that every women randomized is analyzed and  
21 included the analysis in the arm in which she was  
22 randomized regardless of whether she stayed with that



1 arm. Even our sensitivity analysis looking at  
2 adherents do not cross the women over. They just  
3 sensor her data at the time she becomes non-adherent.  
4 This is the best in terms of preserving the ideal  
5 quality of a randomized trial.

6 We do provide unweighted hazard ratios  
7 which is a bit of awkwardness given that the trials  
8 were based on the weighted design, the weights over  
9 time. I would say that this was a compromise that we  
10 made based on the fact that we were completely wrong  
11 about our CHD findings. The assumptions underlying  
12 that design were wrong. We didn't reach the full  
13 preventive effect by year 3.

14 Then what do you do with the weights?  
15 Mostly when you don't have an idea of a time to effect  
16 you would do an unweighted type of statistic. We do  
17 provide unweighted hazard ratios and then associated  
18 with those, nominal and adjusted confidence intervals.  
19 The nominal 95 percent confidence intervals for those  
20 hazard ratios probably need no further comment. The  
21 adjusted however taken into account the fact that we  
22 did look at the data every six months for monitoring

1 purposes and we did look at multiple endpoints. We  
2 think it's only fair to bring that note of caution  
3 into the interpretation of these data.

4 Particularily for breast cancer, we also in  
5 some places showed the weighted P-values, P-values  
6 from the weighted analyses, because there is a  
7 discrepancy in the interpretation at points when you  
8 take the weights into account and when you don't. To  
9 be fair, the design and the analysis for these  
10 endpoints did always indicate that we would use  
11 weighted analyses.

12 A lot of what we're doing today and have  
13 been doing in the papers since last year has been  
14 looking subgroup analyses. These are much more  
15 difficult to interpret statistically. In the process  
16 of working through these papers, we've developed our  
17 own WHI sort of policy for how we'll interpret them.  
18 It is that our inference will be based primarily on  
19 the test of interaction.

20 The trial was not designed to test this  
21 specific hypothesis within each subgroup so we  
22 acknowledge that those specific subgroup tests within

1 themselves are low powered. That means we have a high  
2 type two error. We also have a high type one error.  
3 We've looked at many subgroup analyses. It's possible  
4 to find some that are significant by chance alone.

5 To minimize this as best we can, our  
6 inference is primarily based on those tests of  
7 interaction. Then we report unadjusted P-values and  
8 we say that these should be considered as hypothesis  
9 generating, not testing. Then we have asked each  
10 author of each paper to report the number of  
11 interactions they tested and to report the number that  
12 would be expected to be significant by chance alone.  
13 We feel that it is a reasonable approach to this area  
14 which is really very exploratory.

15 On that note, let me go to the specific  
16 subgroup analyses that I've been asked to address  
17 which is prior hormone use and breast cancer risk. I  
18 feel a little embarrassed to tell you that I'm  
19 presenting this to you without having the WHI  
20 investigators as a whole to be able to see this in  
21 advance nor our DSMB which will be reviewing some of  
22 these data for the first time in a few weeks. But

1 that said, this is an important meeting for all of us  
2 so I will take you through these realizing that they  
3 have not been digested by the WHI research community  
4 as they normally would.

5 I've been asked to look at more detailed  
6 analyses of prior hormone exposure including type,  
7 duration and recency of use, the extent of the disease  
8 by prior hormone use and a bit of mammography  
9 performance. This is an amplification of what Dr.  
10 Chlebowski already showed. I'm sorry that some of  
11 these numbers don't show up very well.

12 Looking by prior hormone use and invasive  
13 breast cancer, the hazard ratio is 1.09. You've seen  
14 that before. In invasive cancer, the hazard ratio is  
15 1.86. The unweighted P-value is .04. The weighted P-  
16 value is .10 suggesting some modest evidence of an  
17 interaction with prior hormone use where women who  
18 have been exposed in the past if you looked at that by  
19 itself these Z-values of -2.7 or -3.0 are clearly  
20 statistically significant. Where you don't see that,  
21 it's just a slight trend of an increase in the women  
22 who have not been exposed previously.

1                   But what is rather curious about this  
2 finding and I can't explain it exactly is that the  
3 rate of invasive breast cancer in women who have been  
4 exposed previously but who then were randomized to  
5 placebo is quite low. It's 0.25 here. That's the  
6 annualized incidence rate. Placebo who are not  
7 previously exposed is higher. It's 0.36. That's a  
8 little bit curious and suggests to me some sort of  
9 selection bias probably in the sense that these women  
10 are different, the prior hormone users versus the no-  
11 prior exposed group.

12                   These are the Kaplan-Meier curves in those  
13 two groups. We should especially try to remember this  
14 one because it becomes the reference group for many  
15 other analyses. You can see that the period in which  
16 the E + P group has a lower incidence rate is at least  
17 for four and a half years, but the curves do cross.  
18 The E + P group has a slightly higher rate in the  
19 later years. Therefore the pattern is overall the  
20 same but you see a longer duration of lower rates.  
21 Whereas in the prior exposed, the separation of the  
22 curves does begin much earlier by about Year 2.

1                   Now I want to break it down by type of  
2 prior exposure. Here I've categorized slightly  
3 differently than it was in the JAMA paper. Here prior  
4 E only exposure is only exposed to estrogen alone.  
5 These women never took progestin before. Any prior E  
6 + P, some of these women did have some episodes of E  
7 alone exposure. I wanted to keep the E alone group  
8 pure. This group is the women who had some progestin  
9 exposure. You can the hazard ratio. That's the same  
10 as before. In the prior E alone exposure, the hazard  
11 ration is 1.47. E + P is 2.19. Unweighted P-value  
12 for the interaction is 0.08. The weighted is 0.17.  
13 So again there's some kind of suggestive trends but  
14 not very strong. The suggested prior exposure  
15 particularly prior E + P seems to be associated with  
16 higher risk.

17                   Again we note that the women with prior  
18 exposure to E + P who were randomized to placebo have  
19 a quite low rate, 0.19 percent per year versus the  
20 other two groups with about 0.36 percent per year. So  
21 women with prior exposure to E + P are clearly  
22 different.

1                   Here are those curves.    Prior E alone  
2 exposure, you can see that the crossover is about  
3 three years perhaps and then the separation doesn't  
4 seem to really show up until Year 5.  Whereas, prior  
5 E + P exposure the curves differ around Year 3.

6                   This slide shows duration of use.  Here we  
7 don't see any strong trends.  It looks like the no  
8 prior use as before but the prior year 2, 2 to 4 or 4  
9 plus years was all in the same general area.  
10 Unweighted P and weighted P are basically in the same  
11 region as we've been seeing on those other slides,  
12 suggesting that maybe it is just yes-or-no prior  
13 exposure.  This is one of the questions that was put  
14 to me.  Is that really the case?

15                   Here are those curves.  I personally don't  
16 get a lot out of them.  They all show similar pattern.  
17 There's maybe a slight difference in where the curves  
18 start to diverge.

19                   Then the final one on this is recency of  
20 use.  Here is at initial screen.  So women who were  
21 using hormones at the time we first encountered them  
22 actually had to go through a three-month washout

1 period before they could be randomized. These are  
2 women who were using hormones before the washout  
3 period and then within the last five years but not at  
4 the baseline visit five to ten years ago or ten plus  
5 years ago. You can see all of these are generally in  
6 the same region. The P-values suggest that there's no  
7 interaction between those.

8 There are the curves. Hormone used at  
9 enrollment within the last five years, five to ten  
10 years ago, and more than ten years ago. Maybe the  
11 separation is coming a little bit later for older use.

12 One final question is the combination of  
13 prior use and BMI and I think this motivated more by  
14 the issue of osteoporosis. Here we have classified it  
15 by prior use and obese or not obese. You can see that  
16 prior use in the leaner women - I'm not sure that's  
17 exactly the way we should describe it - the hazard  
18 ratio is 1.18. No prior use and the obese women we  
19 saw no elevation there in that hazard ratio. But the  
20 prior users both of those tend to have an elevation.  
21 The P-values again are not very strong suggesting it's  
22 modest evidence for any interaction there. There are



1 those four curves.

2 In thinking about this though I was  
3 realizing that as soon as we start to make inference  
4 about prior hormone use, we've left the framework of  
5 a randomized trial. We're now starting to talk about  
6 an observational study. So we looked at the  
7 characteristics of the prior hormone users in this  
8 trial. We noticed a lot of the same things that you  
9 all know from observational work. Women who had used  
10 hormones before were younger, leaner, had a lot of  
11 characteristics that make them different. Vasomotor  
12 symptoms, parental history of fracture and had a  
13 mammogram in the last two years, a variety of things.  
14 To what extent could those issues be confounding our  
15 results?

16 The other thing is in terms of looking at  
17 the different hormone preparations the use of E alone  
18 or combined hormones the pattern of use is different  
19 in particular. In about 26 percent of our population,  
20 you can see that they had used hormones previously.  
21 A little bit more had been combined use. Here  
22 actually you can see overlap. The numbers don't add

1 up because the woman could be in either this category  
2 or that one or both. But women who had used E alone  
3 were more likely to have a shorter term exposure to  
4 estrogen than women who had used combined hormones.

5 A stronger contrast is in recency of use.  
6 E alone users 58 percent their exposure to E only was  
7 more than 10 years ago. Whereas combined hormone  
8 users were much more likely to be the current users.  
9 Whenever we are looking at recency of use and we don't  
10 tease a power at those two, we may be confounding that  
11 issue.

12 I started doing multivariate models all of  
13 these things. Controlling for multiple confounders,  
14 this is the E + P hazard ratio. I threw in just about  
15 everything on that first slide, listing the  
16 differences and characteristics plus additional breast  
17 cancer risk factors. So in that multiple variate  
18 model, the unweighted hazard ratio is 1.2. That's  
19 compared to the primary result of 1.24.

20 Separating it out by exposure to prior  
21 hormones, you see in women with no prior exposure the  
22 hazard ratio is now 1.02. Women with prior exposure

1 of any type is almost 2.0. The unweighted P-value is  
2 0.3. The weighted P-value is highly statistically  
3 significant. Now we're getting some stronger evidence  
4 in an observational sense that there is an interaction  
5 here.

6 This is separating it out in the same type  
7 multi-variate model where the main effects now  
8 separate out the type of prior exposure. Women who  
9 are only exposed in the past to E alone their hazard  
10 ratio for E + P is 1.36. Now don't confuse this as  
11 the E alone hazard ratio. That's not what this is.  
12 This is the E + P hazard ratio in women who have been  
13 exposed to E alone. I know I got confused when I put  
14 it against the Million Women Study because their E  
15 alone hazard ratio is 1.3 or so. That's not what this  
16 is. And prior exposure to combined hormones is 2.46.  
17 The P-values here are not so clear. Unweighted P is  
18 0.05. The weighted P is 0.64.

19 This is duration of use. Here you can see  
20 that it now looks a little bit more like an orderly  
21 trend as opposed to our unadjusted analyses. Less  
22 than five years of exposure is about 1.8. Five to ten

1 is 2.14. Ten plus years of exposure is 2.53.  
2 Unweighted P is 0.08. The weighted is highly  
3 statistically significant. I would say that these  
4 tests are based on a continuous variable not in these  
5 categories so it doesn't rely on us choosing the right  
6 category perfectly.

7 This is recency of use. You can see that  
8 at initial screen and last five years or five to ten  
9 years were all thereabout in the twofold increase  
10 range. Last hormones used ten years ago it starts to  
11 fall off. Now remember, this is any prior hormone  
12 exposure. I haven't teased apart the E + P and E  
13 alone. So this is mostly reflecting an E alone prior  
14 exposure. In fact, I couldn't fit them all where I  
15 teased both things apart like this.

16 This is looking at the combination of  
17 prior hormone exposure and BMI. You can see the same  
18 basic trend where it looks like women with no prior  
19 use who are obese are not at elevated risk of breast  
20 cancer. Everyone else is particularly those with  
21 prior hormone exposure and some clear evidence that  
22 this may be real.

1 I also want to look at effective disease  
2 by prior use and randomization assignment. You see  
3 exactly the same pattern in the size of these tumors  
4 in the women who are unexposed before the trial and  
5 those who are. They are not statistically significant  
6 because I've divided the sample size up here. But the  
7 same trend exists.

8 Percent positive nodes in advanced stage  
9 show the same pattern in both groups but again it's  
10 this weird thing where the placebo group in the women  
11 who had been exposed previously have a lower percent  
12 of positive nodes and lower percent of advanced stage  
13 than the placebo group with no prior exposure. So  
14 this is another very curious finding.

15 This is the newest data. Women received  
16 letters from us on July 8 of last year asking them to  
17 stop taking their pills but we've continued to follow  
18 them up. This is the increment of data since that  
19 time. They have not been taking our pills. Some of  
20 them have probably been taking their own pills. But  
21 you can see that we've had 21 new breast cancers in  
22 the E + P trial and 18 new ones in placebo for a

1 hazard ratio of 1.13. Our cumulative, combining the  
2 intervention period with the post intervention period,  
3 is 227 invasive cancers versus 170. The hazard ratio  
4 is 1.26, again especially by our weighted statistic,  
5 very highly statistically significant.

6 Let me try to summarize. We see a  
7 suggestion of greater E + P hazard ratios in women  
8 with prior hormone exposure. I worry when I think  
9 about this by the potential confounding of the  
10 differential characteristics of prior users. We've  
11 done a pretty good job of trying to adjust for those.  
12 There is also the issue of the potential delay in the  
13 diagnosis and how that's differential between these  
14 women with prior exposure and those who are not.  
15 We've not been able to address that. But it seems to  
16 be creeping up in the idea that these women with prior  
17 exposure randomized to placebo have these strangely  
18 lower rates. I think that's the evidence for this  
19 that there's a potential delay-in-diagnosis issue that  
20 is appearing in our data.

21 Our more extensive modeling does suggest  
22 that prior combined hormone use has a stronger effect

1 than prior E alone use. There seems to be an  
2 increasing risk of duration of prior exposure. The  
3 recency of exposure is an unclear factor. That's  
4 because in our data it's confounded with type of prior  
5 hormone use. There is modest evidence for an  
6 interaction of E + P with prior use and BMI.

7 As I was indicating, the data are too  
8 sparse to jointly exam type, duration and recency at  
9 least when I'm accounting for all of the confounders  
10 there. There is a difference in extent of disease by  
11 randomization status but it's consistent across the  
12 prior use groups. We note that there is some hints of  
13 differential effect by prior use, not on E + P but on  
14 the disease itself. The data on the post intervention  
15 comparisons are still quite limited suggesting maybe  
16 in the last 12 months that the hazard ratio has  
17 reduced a little bit but cumulatively we're still  
18 looking at substantial increase very similar to our  
19 own initial findings.

20 I want to briefly point out that we have  
21 also looked at the issue of abnormal mammograms by  
22 prior hormone use. Basically what we see is it's the

1 current use here. So the solid line is no-prior-use  
2 and the dotted lines are prior-use. Here's E + P and  
3 here's placebo. We really can't distinguish those  
4 with prior-use and not-prior-use. These aren't  
5 statistically significantly different, but you can see  
6 the strong E + P effect that Dr. Chlebowski already  
7 mentioned.

8 E + P increased the rates of abnormal  
9 mammograms. This is slightly different than the way  
10 it was presented to you before. Taking out the women  
11 who had breast cancer, any kind, advanced or invasive,  
12 among those who never had breast cancer during the  
13 study period, 32 percent of those had an abnormal  
14 mammogram and 22 percent of the placebo women had an  
15 abnormal mammogram. Those are the false positive  
16 rates. The role of prior hormone use on mammography  
17 performance is quite small. That's all I have to say  
18 on this. I would now like to introduce my colleague,  
19 Dr. Jane Cauley, who will be speaking about our trial  
20 and fracture results.

21 DR. CAULEY: Thank you very much. As was  
22 mentioned earlier, the fracture results were published



1 last week. I'm just going to go through and summarize  
2 the results that were in the paper. The objective of  
3 the analysis was to present the final results of the  
4 trial through the ending of the trial on July 7<sup>th</sup>.  
5 That adds an additional point four years of follow-up.  
6 We also similar to the other follow-up analysis wanted  
7 to test the hypothesis that the effective E + P  
8 differs by risk factors for fractures, identify a  
9 subgroup of women perhaps who are more likely to  
10 benefit from the exposure. We measured BMD in a  
11 subgroup of women. Finally we wanted to test whether  
12 the risk/benefit profile summarized in a global index  
13 differs at women at higher versus lower risk of hip  
14 fracture.

15 All the fracture outcomes in WHI include  
16 all fractures, including both traumatic and non-  
17 traumatic fractures except for the fractures that are  
18 listed here, fractures of the ribs, chest or sternum,  
19 skull, face, fingers and toes and cervical vertebrae  
20 were in fact excluded. All the fractures were  
21 radiographically confirmed. Hip fractures were  
22 centrally adjudicated and we had a 94 percent

1 agreement between central and local adjudication of  
2 hip fractures.

3 BMD was measured at three of the clinical  
4 centers. The three clinical centers were chosen to  
5 maximize the racial and ethnic diversity in women who  
6 would have these measurements. We measured BMD at  
7 baseline, years one and three as well as six although  
8 few of the women as yet had to have their year six  
9 measurements. So our analysis are restricted  
10 primarily to baseline, years one and three.

11 As mentioned, the global index was formed  
12 a priori during the design phase of the trial. This  
13 wasn't a post hoc definition of global index. It  
14 included life threatening conditions that were both  
15 primary and secondary endpoints of the trial. Again  
16 the most important thing here is that all of the  
17 analysis are intended to treat, but I just wanted to  
18 point out that hip fractures we present the adjusted  
19 confidence intervals. For all the other fractures, we  
20 present the nominal confidence intervals. Why the  
21 difference? Well hip fractures were one of eight  
22 clinical outcomes that were monitored by the DSMB.

1 That the only fracture outcome that we presented  
2 adjusted confidence intervals.

3 Now I wanted to give a little background.  
4 We tried to summarize a woman's risk factor for risk  
5 of fracture. There are various different scoring  
6 systems that have been published. Most of them have  
7 been used to identify women who may have osteoporosis.  
8 That is they are used to identify women who would  
9 benefit from having a bone density measurement.  
10 There's really only one fracture risk scoring system  
11 that's been published by Dennis Black from data from  
12 osteoporotic fractures. We followed his model and  
13 developed it within the WHI.

14 Initially the first step is we took the  
15 various risk factors for fractures and looked at the  
16 relative risk of the odds ratio of hip fracture in  
17 age-adjusted logistic regression models. Based on  
18 those models if the P-value is less than 0.10, they  
19 were entered into a multi-variate analysis. Those  
20 variables that were significant in the multi-variate  
21 analysis contributed to the calculation of the summary  
22 score.

1           The four risk factors in WHI that were  
2           significant in this multi-variate model and  
3           contributed to the scoring system are shown here. So  
4           for age, the odds ratio was statistically significant  
5           at 1.14 and a woman was assigned a zero to seven  
6           points for her age. For instance, a woman age 50 to  
7           52 was assigned zero points for her age. Whereas a  
8           woman age 76 to 79 was assigned seven points for her  
9           age.

10           A history of a prior fracture after age 55  
11           again is significant odds ratio. It was assigned two  
12           points. Current smokers were assigned two points and  
13           a low BMI was assigned one point. Essentially for  
14           each individual woman these points were then summed  
15           and we summed for the total fracture score for that  
16           individual woman. We then divided that into tertiles  
17           and looked at the various risks hazard ratios across  
18           these tertiles of the summary score. Now the area  
19           under the curve ("AUC") for the summary score of  
20           predicting hip fracture was 0.79 indicating moderate  
21           predictive strength of our summary score.

22           There was no difference in the summary

1 score by randomized groups. In this slide, we just  
2 combined the E + P and the placebo group to give you  
3 just some descriptive characteristics of women. Who  
4 were the women that we're calling at high risk of  
5 fracture? As you can see for age, the average age of  
6 women who were considered at low risk of hip fracture  
7 was 56 compared to an average age of 72 for women who  
8 were considered at high risk of fracture.

9 BMI went in the opposite direction as  
10 expected. Women who were considered at high risk of  
11 hip fracture had an average BMI of 27 compared to an  
12 average BMI of 30 in women at low osteoporosis low  
13 risk of hip fracture. Percent of Caucasian increases  
14 such that 90 percent of the women who were considered  
15 at high risk were Caucasian compared to 77 percent of  
16 women at low risk of hip fracture.

17 The current smoking was three percent  
18 versus 16 percent. Current hormone therapy was 10  
19 percent in the low risk group compared to three  
20 percent in the high risk group. In terms of a  
21 personal fracture history since age 55, it went from  
22 24 percent in women who were considered at low risk of

1 hip fracture compared to 59 percent considered at high  
2 risk of fracture.

3 In terms of the subgroup of 1,000 women  
4 that we had bone density measurements on, we looked at  
5 the percent of women who had a T-score less than -2.5  
6 using the WHO ("World Health Organization") definition  
7 of osteoporosis. There were about 12 percent of women  
8 considered at low risk who had a T-score less than -  
9 2.5 compared to 41 percent in women who were  
10 considered at high risk.

11 In terms of the overall prevalence of  
12 osteoporosis in the overall population, this is  
13 looking at the WHO definition based on T-scores using  
14 T-scores at the femoral neck. Overall the average T-  
15 score in the hip was about -1.0 and in the spine it  
16 was about -1.3 and did not differ by randomized group.  
17 So overall about 10 percent of women in the E + P were  
18 considered osteoporosis based on their T-score  
19 compared to 12 percent in the placebo group. This was  
20 not statistically significant. The majority of women,  
21 53 percent, were considered to have low bone mass and  
22 about one-third of the women had normal bone density

1 measurements.

2                   Now we'll get into the results. This  
3 shows the data on total fractures. On the right,  
4 there were 733 women who experienced a fracture in the  
5 E + P group which corresponds to about nine percent of  
6 women. There were 986 women who were randomized to  
7 placebo experienced a fracture. That's about 11.1  
8 percent. Overall the annualized incidence of fracture  
9 was 1.5 percent in women on E + P versus 1.99 in women  
10 on placebo corresponding to a 24 percent reduction in  
11 total fractures that reached nominal statistical  
12 significance.

13                   In terms of hip fracture, there were 52  
14 hip fractures in the E + P group compared to 73 in the  
15 placebo. The overall annualized incidence of hip  
16 fracture was 0.11 percent in the E + P group compared  
17 to 0.16 percent in the placebo group. The overall  
18 hazard ratio was 0.65 so a 35 percent reduction in the  
19 risk of hip fracture associated with E + P.

20                   In terms of wrist or lower arm fractures,  
21 189 wrist fractures compared to 245 wrist fractures.  
22 The annualized incidence was 0.43 in women on

1 randomized E + P compared to 0.59 in women on placebo.  
2 The overall hazard ratio was 28 percent reduction in  
3 the risk of wrist and lower arm fractures in women  
4 randomized to E + P.

5 Now in WHI, we were limited to clinical  
6 vertebral fractures. There were 41 women who  
7 experienced a clinical vertebral fracture. That is a  
8 vertebral fracture that comes to medical attention.  
9 In many osteoporosis trials, they are used to looking  
10 at that data. They traditionally have used a  
11 morphometric vertebral fractures which are identified  
12 through serial radiographs. We did not have serial  
13 radiographs in the WHI. These are the clinical  
14 vertebral fractures that come to clinical attention  
15 because of pain. Overall, 0.09 annualized incident  
16 rate in E + P compared to 0.15 in the placebo group.  
17 Overall the hazard ratio was 0.66 corresponding to a  
18 significant reduction in clinical vertebral fractures.

19 Now we looked at various subgroups to see  
20 if the effect was different in these various  
21 subgroups. On this graph, we show the effect now  
22 because we're looking at five year age groups. This



1 analysis is limited to total fractures because the  
2 number other individual site specific fractures would  
3 have been too low to look at five year age groups.

4           There was a previous meta-analysis that  
5 was published a couple of years ago that concluded  
6 that E + P or E products may prevent fractures in  
7 younger post menopausal women but not in older post  
8 menopausal women. That analysis was based primarily  
9 on the conclusion of one study in the younger women  
10 and one study in the older women. It's the HERS study  
11 actually. So we wanted to look to see in WHI do we  
12 see a difference by age of the E + P on total  
13 fractures.

14           Again, the yellow dotted line is the  
15 overall hazard ratio that we observed in the overall  
16 group. The green circles here corresponds to the  
17 point estimates for each of these five-year age groups  
18 along with their 95 percent confidence intervals. The  
19 P-value for the interaction term is here. There was  
20 no evidence that the effect of E + P on fracture  
21 differed across age groups.

22           We also looked at various other subgroups,

1 years since menopause, by race, ethnicity. That was  
2 limited to the total fractures and BMD was also  
3 limited to the total fractures. All the other  
4 subgroups we looked at hip as well as wrist and  
5 clinical vertebral fractures. It didn't matter even  
6 though we looked at a number of subgroups. Dr.  
7 Anderson mentioned that we need to report the number  
8 of subgroups that we look at. If we looked at over  
9 100, just five alone could be statistically  
10 significant by chance alone. Nevertheless in our  
11 analysis, none of the interactions were statistically  
12 significant.

13 The summary score data is shown here. If  
14 you focus first just on the placebo group, the  
15 annualized incidence of fractures in the placebo group  
16 in yellow was 1.33 in women who were considered at low  
17 risk of fracture and it increased to 2.74 about a  
18 doubling of the rate of fractures in women considered  
19 at high risk. But nevertheless whether a woman was  
20 low, moderate or high risk of hip fracture, you can  
21 see that there was no significant interaction between  
22 the summary fracture risk score and the effect of E +

1 P in reducing fractures. Therefore the E + P reduced  
2 fractures equally well in women who were considered at  
3 low risk of fracture as to women who were considered  
4 at high risk of fracture.

5 This just puts the WHI results in  
6 relationship of the data that were published in the  
7 Osteoporosis Research Advisory Group ("ORAG") that  
8 performed several analyses summarizing osteoporosis  
9 treatments. The pooled estimate from this meta-  
10 analysis that was published in 2002 was 0.87 with the  
11 upper confidence interval that went up to 0.08. You  
12 can see the WHI results are consistent with these  
13 previous studies and clearly show us a very strong  
14 definitive result with respect to reducing fractures.

15 What about the BMD results? These are the  
16 lumbar spine. We measured at the lumbar spine the hip  
17 as well as the whole body. We found consistently  
18 higher BMD measurements in women randomized to the E  
19 + P so that by the end after three years of treatment,  
20 the lumbar spine increased over 6.5 percent in the E  
21 + P group compared to about 1.2 percent in the placebo  
22 group which is overall a 4.5 difference in BMD at year

1 three at the lumbar spine with somewhat smaller  
2 differences at the total hip which is consistent with  
3 other osteoporosis therapy showing larger effects on  
4 lumbar spine than on the total hip.

5 Now we turn to the last goal which is try  
6 to identify a subgroup of women who are sufficiently  
7 at high risk of fracture that indeed the risk/benefit  
8 ratio may switch to us seeing more benefits. This is  
9 our summary score again. If you focus on the placebo  
10 group, we know that the high fracture risk women were  
11 much older. That explains somewhat why we see that  
12 most of these global index events are obviously more  
13 common in older women. But nevertheless the actual  
14 overall event rates are much greater in women  
15 considered at high risk of fracture compared to women  
16 at low risk of fracture.

17 Nevertheless the interaction term was not  
18 statistically significant. So the hazard ratio went  
19 from 1.2 in women at low risk of fracture, 1.23 in  
20 women at moderate risk of fracture and 1.03 in women  
21 considered at high risk of fracture. But the overall  
22 interaction term was 0.54. So essentially if you

1 focus on this specific point estimate, the hazard  
2 ratio, it's essentially neutral. We did not identify  
3 a net benefit in those women.

4 The limitations of our analysis have been  
5 pointed out by several of the other WHI speakers. We  
6 studied one E + P regiment. Our fracture risk score,  
7 the ratio of highest to the lowest risk was modest at  
8 about a twofold difference in fracture rates between  
9 women considered low versus high. We could not  
10 incorporate BMD measurements into our fracture risk  
11 score because we didn't have them measured on all of  
12 the women.

13 We also don't have any information on  
14 whether or not the women had a prevalent vertebral  
15 fractures and it's well known that low BMD and  
16 prevalent vertebral fractures are two of the strongest  
17 risk factors for hip fracture. It's possible,  
18 therefore, that the benefit versus risk profile could  
19 differ in women who had severe osteoporosis but we  
20 were unable or limited in our ability to identify  
21 women who had severe osteoporosis. Again we were  
22 limited to clinical vertebral fractures. I added the

1 global index here as a limitation but it's not really  
2 a limitation because it was designed a priori and it  
3 included life-threatening events that were basically  
4 primary and secondary endpoints of the trial. However  
5 it did not include vertebral fractures which are one  
6 of the most common osteoporotic fractures.

7 So in summary E + P increases BMD and  
8 reduces the risk of fracture in healthy predominantly  
9 non-osteoporotic women. The decreased risk of fracture  
10 was present in all subgroups of women examined. The  
11 effect of E + P on fracture is consistent with recent  
12 meta-analyses. Finally, the effect of E + P on the  
13 global index did not differ across tertiles of  
14 fracture risk. There was no evidence of a net benefit  
15 in women at high risk of hip fracture.

16 So the conclusion. Given the overall  
17 unfavorable risk/benefit ratio, the overall global  
18 index indicating more risk events than benefit events  
19 in the total population as well as the availability of  
20 other agents for the prevention and treatment of  
21 osteoporosis, we believe that estrogen plus progestin  
22 cannot be recommended for the prevention or the

1 treatment of osteoporosis in asymptomatic women.  
2 Before the combination of estrogen and progestin is  
3 considered for the purpose of fracture prevention,  
4 women should be fully informed about the potential  
5 adverse effects. Thank you very much. Now I'm going  
6 to turn the podium back over to Dr. Anderson who is  
7 going to address some additional questions that were  
8 posed by the Panel.

9 DR. ANDERSON: Okay. Following up with a  
10 few additional subgroup analyses, I was asked to look  
11 at fracture rates by prior hormone use. For this, I  
12 chose to use total fractures because in subgroup  
13 analyses you start running out of sample size pretty  
14 quickly. Total fractures obviously have the greatest  
15 numbers and the fracture data tend to line up so  
16 beautifully across the fracture site. I thought this  
17 was a reasonable way to do it.

18 You can see the overall results of a 24  
19 percent reduction consistent in both women who are not  
20 exposed to hormones before the trial and those who  
21 took hormones at some point in the past. I didn't do  
22 a test for the interaction here, but I can guarantee

1 you that it's not statistically significant.

2           These are the curves. They also imply no  
3 statistically significant interactions. We did this  
4 sensitivity analysis, the per protocol thing, where  
5 when a woman became non-adherent to her study  
6 medications we stopped counting events that happened  
7 more than six months later. That actually for  
8 fractures never changed the results very much which  
9 suggests to me a certain amount of carry-over effect.  
10 The benefit doesn't stop rapidly.

11           Then probably more interesting is the  
12 interaction between prior hormone use and BMI. What  
13 I basically see is the same pattern. So low BMI, high  
14 BMI here in the no-prior users and the same in the  
15 prior users. It's just really the same pattern. The  
16 P-value for the interaction is 0.71. So being obese  
17 of course protects a little bit. Having prior hormone  
18 exposure protects a little bit. The interaction with  
19 E + P says that E + P is protective in all of those  
20 groups.

21           Those are the four curves associated with  
22 that. You can see a slightly stronger difference in



1 BMI it looks like. I have the scale wrong so you  
2 can't make the comparison there easily. Again  
3 unweighted P-value for the interaction is not  
4 statistically significant. I didn't do the weighted  
5 P-values because again for osteoporosis the difference  
6 between weighted and unweighted is negligible.

7 Several comments about the global index.  
8 I wanted to spend a little bit of time about that.  
9 This is the updated global index which is new data.  
10 It has not been published. This is data through July  
11 7<sup>th</sup> of last year with updated endpoints, an increase  
12 of 12 percent of E + P over placebo. This is showing  
13 it by age group. It's bouncing around a little bit,  
14 but the P-value for interactions saying are these  
15 statistically different is 0.99. Truly this is the  
16 best summary when you are looking at it by age.

17 This is looking at it by BMI. Women with  
18 a BMI less than 25, their hazard ratio for the global  
19 index was 1.16. 1.12 for 25 to 29 and 1.08 for over  
20 30. The P-value for interaction is 0.62. So you  
21 might think that there's a suggestion of a trend here.  
22 I didn't do it as a trend statistic. It could be that

1 the leaner women are slightly more at risk for one of  
2 these events. But based on this test, we don't have  
3 much evidence of that.

4 By some of the other fracture risk  
5 endpoints, as calculated, the P-values also suggest  
6 that the summary of the global index statistic is a  
7 valid estimate for all those subgroups.

8 This is the increment of data since the  
9 intervention stopped. Again this is new data so these  
10 are new events, 13 versus 17 hip fractures. So you  
11 are seeing that protection is continuing in  
12 essentially the 15 months since the trial ended.  
13 Vertebral fractures still benefit, all the fractures.  
14 Interestingly the global index also for the  
15 incremental events since the trial stopped remains  
16 elevated and it is highly statistically significant.  
17 You know the nominal Z-value, the 0.5 level test, is  
18 1.96 or two standard deviations so this unweighted Z  
19 of -3.16 is highly statistically significant.

20 This is the cumulative results. Those  
21 incremental data don't change our picture of benefit  
22 for fractures very much at all. These are all

1 pointing in the same way they did a year ago. The  
2 statistical evidence is strong for that prevention.  
3 But so is our evidence that the overall harm is  
4 greater than the benefit with a 14 percent increase in  
5 the number of women who had one or more of those  
6 events. These are not counts of events but counts of  
7 women who had one of them. It's highly statistically  
8 significant.

9 I don't have a summary slide. Sorry.  
10 But I wanted to make a comment about this. When the  
11 global index was defined, it really was for the  
12 purpose of monitoring the trial because we knew we'd  
13 have risks and benefits. It was a tool to be used,  
14 but it had become more than that. We didn't really  
15 envision it playing such a role in understanding how  
16 these drugs might be used. But I think it brings to  
17 bear on the issue that prevention work is really quite  
18 difficult to do.

19 We didn't anticipate that the trial was  
20 going to come out this way at all. It was going to be  
21 much simpler. All we had to do was worry about  
22 whether the breast cancer was going to show up in time

1 for us to see it. So this global index is pristine in  
2 the sense that it was developed before we saw the data  
3 and it was based on diseases that we thought might be  
4 impacted by these interventions and that had a  
5 significant effect on the mortality of older women.

6 Now there's been some suggestion that it's  
7 not inclusive enough. We would certainly acknowledge  
8 that it doesn't include all the potential impacts of  
9 these medicines. It was never envisioned to. This  
10 was a prevention trial for chronic diseases. We  
11 captured the critical chronic diseases that we were  
12 looking at. We acknowledge some of the effects.

13 I think we need to be very cautious in the  
14 idea of expanding this global index by cherrypicking  
15 particular endpoints that we like. That's a great way  
16 to engineer something to come out the way you want it  
17 to do. But because it's been mentioned several times,  
18 I will note that the difference in vertebral fractures  
19 right here will not cancel out. If you start out in  
20 these benefits, you need to go ask for a vertebral  
21 fracture if that's a benefit. Define the criteria by  
22 which vertebral fractures make it into a new global

1 index and then let's start applying it to other  
2 diseases and make sure that we have captured all the  
3 risks and benefits that satisfy those criteria before  
4 we calculate it. So I think with that I will end and  
5 turn it back to my colleague, Dr. Jacques Rossouw.

6 DR. ROSSOUW: And don't worry, I'm not  
7 going to give my talk over again. I just wanted to  
8 summarize where WHI is going from here. Dr. Stefanick  
9 has mentioned some of the publications that are coming  
10 out in the next few months, but one that the  
11 investigators as a group feels is important is to  
12 summarize, to put everything together, all the major  
13 findings and some of the most important subgroup  
14 analyses some of which you saw today in a final  
15 comprehensive paper much like the paper last year in  
16 JAMA, but with the updated information and the  
17 informative subgroup analyses. That obviously has to  
18 wait until all of the other papers on specific disease  
19 entities have been published. That is something that  
20 we envision doing perhaps next year.

21 The other detailed analysis that Dr.  
22 Anderson showed you some preliminary work on is also

1 one I think that will be of interesting to the  
2 community. That is on breast cancer, specifically by  
3 prior use. Now as she's shown you some of the  
4 preliminary work-up of that, we haven't yet found an  
5 explanation as to why in the trial there was an  
6 apparently lesser increase in breast cancer on E + P  
7 in the women without prior use. But she also showed  
8 you that those women appeared to have baseline if you  
9 look at the placebo group to be of somewhat higher  
10 risk. So you have something strange going on here.

11 Then if you look at the Kaplan-Meier  
12 curves and then the year-by-year data that Dr.  
13 Chlebowski showed you, then you also get the  
14 impression that those without prior use there's  
15 something strange going on in the first three years.  
16 Why is the hazard ratio lower in the E + P group than  
17 in the placebo group in the first three years? Is  
18 that a real effect? Do you have a bimodal effect  
19 where the E + P in those without prior use initially  
20 has a dampening effect on breast cancer and then later  
21 there's an increase? I don't know what the biological  
22 explanation for that would be. Or is it an artifact

1 that we need to try to tease out and explain why we  
2 could not ascertain breast cancer early on in those  
3 without prior use? Those are two important  
4 publications that are coming down the pipe.

5 Now as my colleagues have said, the trial  
6 of Premarin alone, E alone, continues. The plan  
7 termination is 2005. Of course, it undergoes review  
8 every six months with updated data and further  
9 analyses. But the plan termination is 2005. So that  
10 tells you that the results do have some differences  
11 compared to the E + P trial.

12 Now in trying to explain the findings that  
13 you've seen today, the investigators have also  
14 completed and have launched a number of case-control  
15 laboratory analyses for the cardiovascular outcomes.  
16 These have by and large been completed for the major  
17 outcomes. So we're looking at whether baseline or one  
18 year lipids, coagulation, inflammation markers, other  
19 biomarkers such as homocysteine and allelic variations  
20 related to those intermediate factors whether they  
21 influence the E + P effect in the trial. Some of  
22 those have been published in the publications that

1 have come out over the last year, but there are  
2 others, in particular, the genomic investigations that  
3 will be published in the future.

4 For fractures, there is an interest in the  
5 group in looking at whether baseline estradiol and sex  
6 hormone binding globulin ("SHBG") and markers of bone  
7 turnover and allelic variations related to estrogen  
8 metabolism influence the results. Are the results  
9 different in subsets of the population more or less  
10 benefit and similarly for breast cancer again where  
11 the baseline estradiol and also testosterone SHBG and  
12 allelic variations related to hormone metabolism  
13 influenced the results or some of the more important  
14 lab investigations that are in the works?

15 Now for the E + P trial, we plan to  
16 continue surveillance. You saw a little bit of that  
17 data of all clinical outcomes until 2007, in other  
18 words, five years post trial follow-up. This is  
19 geared particularly to following whether the increase  
20 in breast cancer risk persists and if so for how long.

21 Now the E alone trial the investigators  
22 don't know the results but the Institute has agreed to



1 fund post-trial surveillance for two years following  
2 that study. It's basically predicated upon what we  
3 were observed in E + P. There are going to be some  
4 effects in the E alone - I don't know which - that are  
5 going to be worth following up to see whether they  
6 persist or not. They are unspecified at this point.  
7 We don't know what they will be.

8           Then most exciting is that the Institute  
9 has also agreed to fund a larger enterprise to ensure  
10 that the enormous amount of data and the  
11 extraordinarily valuable biological specimen  
12 repository is exploited fully to the benefit of the  
13 entire scientific community and of the population. We  
14 have a cohort of over 160,000 participants in the  
15 various trials and observational studies. We have  
16 citrated blood, EDTA plasma, serum, DNA in the form of  
17 buffy coat and in subset urine samples that we've only  
18 barely utilized a small fraction of that.

19           The principle here is to invite WHI and  
20 other investigators and entities including commercial  
21 entities that in some places have the best expertises  
22 particularly when you think of proteomics and genomics

1 to exam this dataset and to participate in the further  
2 scientific utilization of this resource. To that end,  
3 the Institute will issue a Broad Agency Announcement  
4 towards the end of 2005 with funding for 2006 to 2010  
5 to invite the entire community to address the  
6 scientific questions that this resource can be useful  
7 for. There is some funding set aside for the Broad  
8 Agency Announcement but as part of this activity it  
9 will made clear that other sources of funding from  
10 inside NIH and outside NIH can also be applied to this  
11 resource. Now the exact structure of this and so  
12 forth has to be worked out but I thought it was  
13 important to tell all of you here that we all need to  
14 start thinking about what we can learn from WHI aside  
15 from what has been revealed so far. Thank you very  
16 much.

17 CHAIRMAN McCLUNG: I thank all of you for  
18 a very careful and thoughtful presentation to us that  
19 has I'm sure given us all kinds of thoughts of queries  
20 and questions to ask. We're a little behind schedule.  
21 What I propose is that we still plan to have our lunch  
22 break from noon until 1:00 p.m. We have ten minutes

1 for some questions that we can address to the WHI  
2 panel at the moment. Then we'll have time if we need  
3 to reopen that discussion when we come back from  
4 lunch. So are there questions that the Committee  
5 members have to direct to Dr. Rossouw and to his  
6 colleagues?

7 DR. CARPENTER: I was taken by the  
8 protective effect of BMI in several of the parameters  
9 that you presented. I was wondering if this could  
10 simply represent something like a dosage exposure  
11 effect or if it's even possible to look at this data  
12 with respect to dose on a per unit weight basis or  
13 something that would allow us to tell whether there's  
14 some critical exposure level that would protect you  
15 from some of the consequences.

16 DR. ROSSOUW: So the question is whether  
17 we can do further analyses to see whether BMI  
18 modulates the effect. I guess it gets also to the  
19 issue of what the endogenous levels are to start off  
20 with and what the response is to the treatment. It's  
21 possible, for example, that women with a higher BMI  
22 start with higher estrogen levels but also have a less

1 of an increment in on-treatment levels. We plan to  
2 get at some of this by looking at the baseline levels.  
3 It may also be interesting to look at the on-treatment  
4 levels in the mode and see whether that influences it.  
5 Does that answer your question or were you getting at  
6 something a little different?

7 DR. CARPENTER: No, I was just very simply  
8 trying to think of mechanisms by which that could  
9 happen.

10 DR. ROSSOUW: Right.

11 DR. STEFANICK: I'd just like to comment  
12 that when you say "protective effective BMI" I'm  
13 hoping you're only talking about bone and not breast  
14 because an important thing with the breast is to  
15 realize that we're comparing two groups so it may not  
16 really be protective as much as the fact that the  
17 placebo group is at a high enough risk that adding  
18 that little bit doesn't make a difference. It's like  
19 a dilution effect and I don't know if that's an  
20 appropriate thing to say. Rowan could comment on  
21 that. People have said that on other data that this  
22 BMI seems to be protecting women against the breast

1 cancer. It's really the case that their overall risk  
2 is higher so adding one more little risk like E + P  
3 doesn't make that big of a difference but that's my  
4 perspective.

5 DR. CHLEBOWSKI: As Garn points out, all  
6 these subgroups are really very tricky. One of the  
7 other things that we did not have time to present was  
8 we looked at the Gail model which is another way of  
9 looking at the tertiles of Gail model risk for five  
10 years of risk. The women who were at the absolute  
11 lowest risk again wasn't significant interaction but  
12 they had suggestion of a higher effect on breast  
13 cancer than people who had the highest risk which is  
14 a little counter intuitive to the way we think about  
15 it and that could integrate some of these things like  
16 body mass index.

17 So it gets back to the same question of  
18 "Are these factors such as obesity that give you a  
19 high level means that adding something on top of it  
20 doesn't matter". So the concept that we can find the  
21 low risk group is very hazardous because if anything  
22 there seems to be in some of the lower risk groups at

1 least for breast cancer, a suggestion that maybe E +  
2 P is a little higher relative risk, not absolute risk.

3 CHAIRMAN McCLUNG: And that underscores  
4 the problem in mixing or confusing absolute and  
5 relative risk. When you are taking risk defined on  
6 absolute by either the Gail model or the Black model  
7 and then looking at relative risks among groups based  
8 on absolute risk, you have to be really careful about  
9 our terminology and about how we interpret and  
10 conclude from those sorts of things. Other questions?  
11 Yes.

12 DR. STADEL: This is a technical question.  
13 On statistical analysis, you had outcome analyses that  
14 were both weighted and unweighted depending on  
15 people's beliefs about the nature of the disease.  
16 Were any of the interaction tests weighted based in  
17 particular on rather a known relationship of adiposity  
18 to endogenous estrogen production which could lead to  
19 a weighting of expectation with regard to the  
20 relationship of body mass to outcome? I just wondered  
21 if there was any parallel weighting of interactions  
22 testing as was done with outcome testing.

1 DR. ANDERSON: Yes. For the interaction  
2 test, I mostly showed you both weighted and  
3 unweighted, but I have to say that in developing the  
4 protocol and all that, we never talked about how we  
5 would do interaction tests. It's not clear to me  
6 whether the weighting that we defined for the primary  
7 endpoint comparisons is the right weight to use for  
8 interactions. I put them there out of intellectual  
9 honesty but it's not clear which is the right way to  
10 go.

11 DR. FOLLMAN: I had a comment for Dr.  
12 Stefanick. One thing that you looked at was the  
13 hazard ratio for the CHD over time. You showed that  
14 early on there seemed to be a harmful effect of E + P  
15 and later on it reversed. Your explanation for that  
16 was basically the patients and the women in the E + P  
17 group had already developed their breast cancer so it  
18 wasn't a fair comparison between the two groups at  
19 that point in time. But I was wondering if people had  
20 also looked at an alternative explanation where maybe  
21 the benefit of E + P takes a long time to manifest  
22 itself. I was wondering if this might explain or

1 relate to the epidemiological literature where you did  
2 see an beneficial effect of E + P on CVD thinking that  
3 in the epidemiologic studies women in those studies  
4 would have been followed up and would have a fair  
5 amount of prior hormone therapy as they enrolled.

6 DR. STEFANICK: Okay. Just to clarify,  
7 you said breast cancer but you meant CHD.

8 DR. FOLLMAN: Right.

9 DR. STEFANICK: Right. Well, the  
10 alternative hypothesis is actually the one that we  
11 tested in a HERS follow-up study because people had  
12 that same idea that there's this early harm and later  
13 benefit which they were attributing to the one-year  
14 lipid changes. We've never actually seen the four  
15 year lipid changes from either study.

16 But in terms of to follow up on that  
17 question, we're actually doing some very interesting  
18 analyses now within WHI on the observational study in  
19 which we have 93,000 women, many of whom are hormone  
20 users and the clinical trial. We're trying to tease  
21 that apart. Obviously I'm not going to say anything  
22 about what we're finding in that. The length of use



1 is an important issue. When you look at observational  
2 studies, many of the studies are looking at women who  
3 are current users and then they do a survey two years  
4 later.

5 So you have a very strange mixture of who  
6 is actually a user/non-user in the observational  
7 studies. I'm not really sure that we want to  
8 completely go back to the idea that there is still  
9 benefit because we see what I call the "survivor"  
10 group at the end. I'm actually going to ask Garnet to  
11 comment on this as well.

12 DR. ANDERSON: Yes. I want to sound a  
13 real note of caution for those year-by-year analyses.  
14 The first year comparison is a randomized comparison  
15 because everyone who is randomized goes through that  
16 first year and has an event and is counted. The  
17 second year becomes a woman who didn't have an event  
18 in the first year. That becomes the denominator. So  
19 there are survivor issues. The farther out you go on  
20 that timeline the worse it is.

21 In addition, we have lack of adherence  
22 that starts to feed into that in a big way and later

1 on. So looking at "randomized comparisons" in those  
2 later years in a year-by-year fashion is dangerous  
3 territory and I wouldn't want to make much inference  
4 about that year six data.

5 DR. ROSSOUW: Let me go back to the slide  
6 that prompted Dr. Follman's remark. So what Garnet  
7 was saying is that this is real result. It's actually  
8 quite a strong result, but we have to be cautious  
9 about the results after these subsequent years.  
10 Nevertheless it's interesting if you look at the rates  
11 in the E + P group over time. There's this increase  
12 here but there's no convincing evidence that it  
13 decreases over time. What's happening here, who  
14 knows? But it is striking that it's the year in which  
15 the placebo group is highest. That explains this  
16 apparent risk reduction there. This is very messy  
17 data.

18 I did want to point out that the  
19 observational data on this issue are very messy too.  
20 It turns out that the observational studies are most  
21 of the early events so their estimate of what happens  
22 in the first year or so after studying hormones is

1 very poor. But nonetheless if you look at the  
2 conventional analyses of observational data  
3 particularly the Nurses' Health Study, it suggests  
4 that the benefit is greater in the first few years and  
5 less in later years. So I don't think there's  
6 convincing evidence from the observational studies to  
7 suggest that longer duration is better. If anything,  
8 it may be the other way around.

9 DR. CHLEBOWSKI: Just another comment.  
10 When we're talking about duration effects and getting  
11 back to breast cancer for a second, just reminded me  
12 to really make this point again. If when we talk  
13 about the time-to-events for the breast cancer, it  
14 just reminded me that the mammograms were 74 percent  
15 more likely to be abnormal after one year, but in that  
16 first year, there was about 30 to 40 percent less  
17 cancer seen. So we ended up having almost twice as  
18 many abnormal mammograms, a significantly fewer  
19 cancers seen and more advanced cancers subsequently  
20 being delivered. Those things taken together just  
21 looking at those numbers suggest that cancers are  
22 growing during those initial years but we're not able

1 to see them with mammograms which are much less  
2 effective in finding the cancers. If we're looking at  
3 those first two or three years, we really don't know  
4 what we're seeing because it appears that the E + P is  
5 making the mammographic diagnosis of those cancers  
6 much more difficult. That's why they're being seen  
7 later. So it's the same kind of question of how can  
8 we look at fairly those first two year events when we  
9 know that there's two other things that are occurring  
10 in the background.

11 DR. CAULEY: I just wanted to emphasize  
12 also something that Marcia said when she showed the  
13 Kaplan-Meier of the global index. At no point was the  
14 E + P curve lower showing more benefit than the  
15 placebo group. That's during the entire duration of  
16 the follow-up.

17 CHAIRMAN McCLUNG: All right. Now that  
18 we're warmed up with that discussion and know what the  
19 situation is going to be, let me propose that we now  
20 break for lunch. That will give all of us a chance to  
21 reflect on what we've heard and gather our questions.  
22 Let me encourage the panel members to refrain from

1 working on this over lunch either with each other or  
2 with others from outside our group so that we'll all  
3 come back fresh and new at 1:00 p.m. Thanks. Off the  
4 record.

5 (Whereupon, at 12:02 p.m., the above-  
6 entitled matter recessed to reconvene at  
7 1:06 p.m. the same day.)

8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

1:06 p.m.

CHAIRMAN McCLUNG: On the record. Okay.

Let's follow up with questions and clarifications of the panel to the WHI investigators. So we'll devote 15 minutes to that and then if we need more time, we can do that later in the afternoon. Dr. Lukert, I know had a question.

DR. LUKERT: You know, there's accumulating evidence that there's a connection between vascular disease and osteoporosis, that people with osteoporosis tend to have a higher incidence of atherosclerotic change. What I was wondering is, if there's a preponderance of the people who have cardiovascular events who also were at high risk for osteoporosis. Because that would make some difference, if one of the really high risk populations were the people who had a greater tendency toward osteoporosis, you'd be more hesitant to intervene with that particular form of treatment in that group of patients.

CHAIRMAN McCLUNG: So the question is, can

1 they identify or did they look at --

2 DR. LUKERT: Yes.

3 CHAIRMAN McCLUNG: -- individuals based on  
4 cardiovascular risk --

5 DR. LUKERT: At risk for a fracture.

6 CHAIRMAN McCLUNG: Because they stratified  
7 on the risk of fracture and looked at it the other way  
8 around.

9 DR. LUKERT: Right.

10 CHAIRMAN McCLUNG: So you are looking at  
11 the opposite way. Dr. McCauley.

12 DR. CAULEY: No, we didn't really look at  
13 that. It's an excellent question. The only thing  
14 that I would point out is that the fracture risk score  
15 was based on prediction of hip fracture. So the high  
16 risk group they were much older than the low risk  
17 group. Just by their age alone, they are going to be  
18 a greater risk of CVD.

19 DR. LUKERT: It would be interesting  
20 however to look at that age stratified way also if you  
21 can.

22 DR. CAULEY: Yes, even the factors, age.

1 Smoking was also greater in the high risk group, but  
2 BMI went the opposite way. So some of the risk  
3 factors of CVD would be consistent with an increase of  
4 cardiovascular risk in the high fracture risk group  
5 and some not.

6 CHAIRMAN McCLUNG: Dr. Bone, you had a  
7 question.

8 DR. BONE: Yes, we've had a very nice  
9 presentation of a lot of analyses and subanalyses and  
10 subanalyses. One of the points that was made is that  
11 rather than look at the individual groups in some  
12 cases, there was a test for whether there was an  
13 interaction. We saw P-values of about 0.1 in many  
14 cases that were displayed. When we talk about a  
15 hazard ratio of 1.2 versus a hazard ratio of 1.0, was  
16 there actually testing of the power of this test of  
17 the interaction term to detect a true difference?

18 DR. ANDERSON: No.

19 DR. BONE: So that wasn't tested. Thank  
20 you.

21 CHAIRMAN McCLUNG: Dr. Woolf.

22 DR. WOOLF: Several members of the public



1 this morning indicated they were concerned about the  
2 potential for discontinuing Prempro or conjugated  
3 estrogens anyway for peri-menopausal women because of  
4 the symptomatology. I thought I saw a slide briefly  
5 flashed by me that seemed to indicate that the global  
6 index was equally poor for women in the lowest age  
7 group as with any. Is that a true assessment?

8 DR. ANDERSON: Yes. I showed you global  
9 index by five year age categories and the P-value for  
10 interaction of that was 0.99 saying that we really  
11 have no statistical evidence for a difference by age.

12 DR. WOOLF: Can I follow up on Dr. Bone's  
13 question? Does a failure to do a power analysis say  
14 anything about the validity of the interaction's  
15 statistics?

16 DR. ANDERSON: A power analysis asks  
17 "What's the probability of finding an effect if there  
18 is a true one of a certain size?" So in an  
19 interaction test, it's rather challenging to ask what  
20 the power is for something like that. We have to  
21 acknowledge that there are few women when you cut up  
22 the data so finely. To address that, we tended to do

1 those interactions with a continuous variable instead  
2 of dicing it up into little cells. We just did it  
3 continuously and still didn't find anything. Yes, we  
4 don't have great power in some of these. I would not  
5 want to hazard a guess of what the power would be, but  
6 this is the best data that we're going to have on  
7 that. These data pretty much stand for themselves.

8 DR. FOLLMAN: Just a comment on the power  
9 analysis issue, Dr. Anderson's exactly right. We  
10 don't have good power for these tests of interaction.  
11 That's just the way clinical trials are designed in a  
12 way. You design it to ask the main question and by  
13 definition, you essentially don't have good power for  
14 the interaction. So they give you some comfort if  
15 there is not interaction, but it's understood that  
16 there's not a lot of power for it. They did a lot of  
17 tests and they did some correction for the multiple  
18 tests.

19 I'd like to amplify on a point that  
20 Barbara made. One interesting analyses that I thought  
21 laid everything out on the line was the global index  
22 analysis particularly when you looked by tertiles of

1 fracture risk. But then on reflection, you realize  
2 that for this Committee we're really just interested  
3 in the women who would be getting this probably as a  
4 second line therapy for osteoporosis. So it would be  
5 interesting to look at the global index as a function  
6 of tertile risk amongst those women who would be less  
7 likely to receive hormone replacement therapy for that  
8 indication. So, for example, eliminate those who had  
9 hypertension at baseline or who had high risk for  
10 breast cancer or had dyslipidemia, maybe had prior  
11 breast cancers and so on and rerun the analysis. I  
12 was wondering if you've had thought about that or had  
13 done that kind of sensitivity analysis for the global  
14 risk index as a function of tertiles of fracture risk.

15 DR. CAULEY: No, we have not done that  
16 analysis. All those risk factors that you've  
17 mentioned, the dyslipidemia the prevalence was rather  
18 low. Hypertension about one-third of the women did  
19 report hypertension. For all the other risk factors,  
20 the prevalence was rather low.

21 DR. CHLEBOWSKI: And with respect to the  
22 breast cancer, the women self-selected against that

1           anyway. When we looked at the Gail risk score for the  
2           group, it was 1.5 for a 62 year old population.  
3           That's less than a 60 year old with no risk factors  
4           which is 1.7 percent five year risk. Women have to be  
5           neutral to the question and there was enough noise  
6           about breast cancer risks that those women who were  
7           higher or had more family histories just didn't enter  
8           the study.

9                         DR. ROSSOUW: Let me just briefly respond  
10           to that. Dr. Anderson was right and you were right  
11           that you power this to look at the overall effect and  
12           if you do these subgroup analyses and the interaction  
13           tests and you don't find anything strikingly  
14           different, then you tend to believe the overall result  
15           is the one that probably applies to the subgroups as  
16           well because that is the robust result that you have.  
17           In terms of looking at clinically relevant subgroups  
18           beyond those that we've done, if someone could tell us  
19           who are the patients that are going to get this  
20           treatment for osteoporosis prevention and what are  
21           their characteristics, we could try to run such an  
22           analysis.

1                   But I'm not sure for example that a person  
2                   who has a modestly elevated blood pressure wouldn't  
3                   still be a candidate for osteoporosis prevention for  
4                   example. But if you could give us who are the people.  
5                   Is this a targeted population? My impression in the  
6                   past has been the answer is "No." Basically the  
7                   gestalt was that every post menopausal woman should  
8                   basically get this if she had a low BMD. Right? If  
9                   there is a different kind of gestalt emerging now,  
10                  then we could potentially run some analyses although  
11                  again our palate will be pretty low to get informative  
12                  results.

13                   CHAIRMAN McCLUNG: Dr. Bone.

14                   DR. BONE: Thanks. A couple of comments  
15                   related to the recent discussion of Dr. Follman's  
16                   question in particular and it ties into subsequent  
17                   comments. The investigators did what they could with  
18                   what they had as far as this risk estimate. But I  
19                   think they demonstrated pretty clearly that there was  
20                   some real limitations to the ability of the  
21                   information available to them to classify the patients  
22                   according to their risk of either developing an

1 osteoporotic fracture or even developing osteoporosis  
2 as we know to be defined by bone densitometry because  
3 they didn't have at their disposal the basic tools  
4 that we use for doing those things.

5           So a fair number of patients classified as  
6 low risk actually qualified on their basis of their  
7 bone density as having osteoporosis amongst those whom  
8 bone density were measured. We would ordinarily  
9 expect if we were going to identify a high risk group  
10 to see a much higher relative risk, say a log higher,  
11 who have a tenfold relative risk or something like  
12 that. So some of the questions that may not be  
13 possible to model but I don't know if they would be  
14 impossible to model would be to look at what the  
15 risk/benefit ratio would be in patients who actually  
16 had osteoporosis or try to imagine what would happen  
17 if we had the conventional tools that we would use to  
18 assign risk.

19           The understanding of what's meant by  
20 "prevention" of osteoporosis depends a lot of where  
21 you are. At the time of the U.S. guidelines were  
22 originally formulated, it just meant that your bone

1 density didn't go down. But I think the clinical  
2 practice probably conforms a little more closely in  
3 some cases to what the European regulatory authorities  
4 which is basically prevention of so-called  
5 "osteopenia" progressing to osteoporosis based on bone  
6 density. They actually classify early remedial post  
7 menopausal bone loss and a few years delayed which  
8 their delayed study would correspond more closely to  
9 what was done here except --

10 Just to take a minute. Up to five years  
11 post menopausal with osteopenia, more than five years  
12 with post menopausal to osteopenia are classified  
13 separately in the European guidance. Somebody correct  
14 me if I'm slightly off on that. It might be three  
15 years. I think it's five. So most of the patients  
16 weren't immediately post menopausal. They weren't  
17 classified on the basis of having a somewhat low bone  
18 density. But that would be the group that probably is  
19 more thought of as the prevention population by more  
20 doctors these days just to respond to the other  
21 question that Dr. Woolf so raised.

22 DR. WOOLF: Getting back to Dr. Rossouw's

1 point about who to model, I take the reverse and say  
2 "Who shouldn't be on the drug" and that's clearly the  
3 women who are hypertensive and smoke. They have a far  
4 increased risk of stroke. I think a physician who  
5 uses estrogen in that setting does so at his peril and  
6 the limited malpractice insurance. So you can exclude  
7 some of those folks, certainly risk of stroke and  
8 someone with significant hypertension and/or smokes.  
9 You should model them out because they probably  
10 wouldn't be an ideal candidate for the drug anyway.

11 CHAIRMAN McCLUNG: All right. Let me  
12 propose that we draw this section of the discussion to  
13 a close and move on to the next part of the program.  
14 Representatives from Wyeth Pharmaceuticals have  
15 prepared a presentation. Dr. Joseph Camardo will lead  
16 off and coordinate that.

17 DR. CAMARDO: Thank you very much. Good  
18 afternoon. On behalf of Wyeth, I want to thank the  
19 FDA first of all for inviting us to the Advisory  
20 Committee Meeting and for the Committee giving us the  
21 time. Our presentation today will focus on how we  
22 support the appropriate use of hormone therapy based



1 on the evidence available. That's evidence about the  
2 risks and the benefits, evidence from clinical studies  
3 and evidence from WHI as well.

4 My objective today is not to review a lot  
5 of data. I have some data but not a lot of data. My  
6 objective is really to explain to you how the medical  
7 team at Wyeth interprets the data from these studies,  
8 what data we emphasize and why although we acknowledge  
9 certain risks we continue to support  
10 estrogen/progestin as an option for osteoporosis. I  
11 also want to explain how the company responds when we  
12 receive clinical study data particularly safety data  
13 that will have an impact on the use of the product for  
14 women and practitioners.

15 Now I will be presenting positive data  
16 about Prempro. I want to say in advance that it's not  
17 my intention to ignore or downplay the risks observed  
18 in WHI. You will see that we take these reports very  
19 seriously. I will discuss them, but I did choose to  
20 reduce some of the effective data first. I just  
21 wanted to remind you that I'm the head of Clinical  
22 Research at Wyeth. I'm representing actually a

1 medical team that's been supporting our reaction to  
2 the WHI and actually our support for  
3 estrogen/progestin over the last several years.

4 I have four items I would like to cover  
5 today briefly. First there's an introduction. I want  
6 to explain how we come to the conclusions that E + P,  
7 the combination Prempro, should be used for  
8 osteoporosis. I also want to go over some clinical  
9 data about bone loss and estrogen therapy. This  
10 probably shouldn't be new to any of you but I did want  
11 to review it today. I also want to discuss the WHI  
12 data and it's clinical application to practice and the  
13 risk that were reserved in this trial and how we deal  
14 with them. The fourth thing is that I want to review  
15 the information in the current product label. What I  
16 mean by that is the product-prescribing information  
17 and that was I believe included in the material that  
18 was sent to the Advisory Committee.

19 Let me start with this one slide. These  
20 are five points that the medical team at Wyeth used to  
21 construct our recommendations about Prempro. These  
22 are really the five ideas that I want to convey to you

1 today.

2 1. The first is that prevention of  
3 osteoporosis is an important aspect of healthcare  
4 especially for women in menopause. I think we would  
5 all agree to that.

6 2. The second is that Prempro is  
7 effective for osteoporosis and it is one of a  
8 relatively small number of medical therapies available  
9 for osteoporosis.

10 3. The third point, estrogen/progestin is  
11 the only therapy that can reduce menopausal symptoms  
12 and prevent osteoporosis. I think we would agree with  
13 that too.

14 4. The fourth point is very important to  
15 us and I want to make sure that it's emphasized  
16 properly. Practitioners really do need to determine  
17 the use of hormone therapy for an individual based on  
18 all the evidence available and the goal of treatment.  
19 I think that should be clear from this morning's  
20 discussion and some of the questions that came up in  
21 the afternoon because there are areas about which the  
22 certainty is lacking.

1                   5. And the final thing - and this is very  
2 important from the company's point of view as the  
3 sponsor of the product - is the Prempro label provides  
4 accurate information. The point is the point number  
5 four - practitioners need to make the decision- has to  
6 be supported by point number five which is that  
7 sponsor provides appropriate information.

8                   Now let's go into these in a little bit of  
9 detail. I think we didn't talk about this very much  
10 today but there can be significant disability and  
11 mortality related to fractures in women. It really  
12 does demand our attention. That's why we're having a  
13 meeting today.

14                   An interesting statistic, the National  
15 Osteoporosis Foundation advertises that every 20  
16 seconds there's a fracture related to osteoporosis.  
17 Also I think we know this that at any given level of  
18 trauma someone with bone loss, whatever degree, is at  
19 high risk for fracture than someone without a decrease  
20 in bone density or quality. So prevention of bone  
21 loss is an important aspect of healthcare for women.

22                   Let me summarize just the four points in

1 this slide.

2 1. First, we know bone loss accompanies  
3 menopause.

4 2. We know that bone loss increases the  
5 risk of hip, vertebral and other fractures.

6 3. We know that fracture risk increases  
7 before bone loss has progressed to the level of  
8 osteoporosis.

9 4. We know that hip and vertebral  
10 fractures are associated with increased mortality and  
11 also significant disability. This was alluded to  
12 earlier in the morning. One year mortality after hip  
13 fracture can be as high as 20 percent. Twenty-five  
14 percent of women need nursing home care after hip  
15 fracture. Vertebral and other osteoporotic fractures  
16 can be disabling.

17 Now I said I would talk about the positive  
18 data for Prempro. Prempro is effective for  
19 osteoporosis prevention and treatment of menopausal  
20 symptoms. Remember this is one of the premises that  
21 the medical team at Wyeth has based our discussion and  
22 our recommendations upon. Prempro has been shown to

1 reduce non-vertebral fractures especially hip  
2 fractures now even in women who do not yet have  
3 osteoporosis. You heard that this morning from WHI.

4 You'll also see some data from me about  
5 low dose Prempro which reduces menopausal symptoms and  
6 also increases bone density. I want to emphasize this  
7 is important because symptoms and bone loss may be  
8 concurrent medical problems. We're not really focused  
9 on symptoms today but I don't want to forget about  
10 them because that is part of the clinical presentation  
11 in some women who also have bone loss.

12 We also all know that because the  
13 awareness of osteoporosis has increased, this has  
14 encouraged the development of new medical therapy so  
15 that in 2003 estrogen/progestin is one of a number of  
16 agents available to protect bone health. My point  
17 here is that the availability of different therapies  
18 is an advantage. The therapies have different  
19 mechanisms. They had different side effects. This  
20 allows the women and the practitioners a reasonable  
21 array of choices because each agent has strengths and  
22 weaknesses, effectiveness, tolerability, compliance

1 with each therapy. They may vary with individuals.  
2 I want to emphasize this because the medical team  
3 concluded after looking our product and looking at the  
4 other products that the option to use  
5 estrogen/progestin is an advantage of women and  
6 practitioners, but it's not the only therapy  
7 available.

8 Let me show you something about how we  
9 think about the strengths and weaknesses. First of  
10 bisphosphonates, we know that bisphosphonates prevent  
11 fractures. There are clinical trials supporting that.  
12 But we also know that bisphosphonates may not be  
13 suitable for all women. There are limited data in  
14 non-osteoporotic women and bisphosphonates have gastro-  
15 intestinal side effects.

16 The selective estrogens prevent vertebral  
17 fractures, raloxifene, for example. But so far,  
18 raloxifene hasn't been shown to prevent hip fracture.  
19 Moreover, hot flashes occur in about 20 percent of  
20 women so it's not really an appropriate therapy for  
21 women with menopausal symptoms.

22 The fourth point here we talk about E + P

1 today. We know it prevents vertebral and non-  
2 vertebral fractures. We've also learned that E + P  
3 may be associated with increased risk of breast cancer  
4 and CVD in certain populations. The summary is the  
5 products have strengths and weaknesses and the variety  
6 of agents available helps to support clinical  
7 practice.

8 Now we also concluded - and this was the  
9 fourth point on my first slide - that the decision to  
10 use estrogen/progestin or not to use  
11 estrogen/progestin really needs to be made by the  
12 woman along with a knowledgeable practitioner. Now  
13 it's very clear to everybody that the results of the  
14 WHI study have had a major impact on the assessment of  
15 the risk/benefit for estrogen/progestin. But still a  
16 decision to use estrogen/progestin for osteoporosis  
17 and menopause and particularly in the younger women  
18 cannot be based just on the WHI study.

19 The overall objective of the study was not  
20 necessarily to target a therapy for every woman who  
21 may use E + P. I just remind you women with  
22 significant symptoms were discouraged from



1 participation in the WHI study. There were some women  
2 in the study with symptoms but that was not a major  
3 objective of the study. The study was designed to  
4 assess potential benefits of long term use. We went  
5 through those, fractures, colon cancer, CVD. We know  
6 the outcome and selected long term risks, breast  
7 cancer, DVT. We know the outcome there too. But it  
8 really wasn't designed to assess a question that  
9 physicians do face all the time which is "How to use  
10 estrogen/progestin in women closer to menopause who  
11 have bone loss and menopausal symptoms."

12 Now I intend to discuss the label for  
13 Prempro as the last item on the agenda. The premise  
14 here is that individual judgment requires knowledge.  
15 The label for Prempro represents again what the  
16 medical team concluded is the information to support  
17 clinical decision making. The key points about the  
18 label are shown here. You've actually seen part of  
19 this earlier today. I'll discuss them briefly at the  
20 end of my presentation, but there are four points  
21 here.

22 1. First the pertinent results from

1 numerous trials are included.

2 2. The safety information is updated  
3 regularly after medical review of evidence.

4 3. The WHI data are included in the  
5 current version of the label.

6 4. The information is available both to  
7 practitioners and to women. There is a product-  
8 prescribing information which is available to the  
9 prescriber. There's a patient package insert which  
10 the women will receive when a prescription is filled.  
11 There's also the recent FDA Educational Campaign which  
12 Dr. Orloff referred to in his introduction today.

13 Let me go back to my first five premises.  
14 Prevention of osteoporosis is important. Prempro is  
15 effective for osteoporosis. It's one of a few agents  
16 available. Hormone therapy, that's estrogen/progestin  
17 for the purpose of today is the only therapy that can  
18 reduce menopausal symptoms and prevent osteoporosis.  
19 Fourth and fifth points very important. Practitioners  
20 need to use the product, estrogen/progestin, for the  
21 individual woman after making a decision based on  
22 evidence and based on the goal of treatment. We need

1 to support their decision with the Prempro label  
2 providing accurate information.

3 I want to talk now about the clinical data  
4 for E + P. There are four points here too.

5 1. Rapid and progressive bone loss that  
6 occurs early in menopause can be prevented with E + P.  
7 I want to show you some of that data.

8 2. Most fractures occur in women who are  
9 osteopenic, not osteoporotic so early intervention may  
10 be important.

11 3. Prempro and I'll show this data at all  
12 doses improves bone density in osteopenic women.

13 4. Prempro in the WHI reduced fractures  
14 significantly even in women who were not osteoporotic.

15 Let me review these four points in some  
16 detail. First, this slide which I borrowed from Dr.  
17 Lindsay's Lancet article from 1976 shows that bone  
18 loss follows estrogen loss and it can be prevented  
19 with early post menopausal use of estrogen. The slide  
20 plots metacarpal bone mineral content on the Y-axis  
21 over time on the X-axis for women who were not treated  
22 after ovariectomy which is the blue line and women who

1 were treated immediately after surgery, the red  
2 squares, starting three years after surgery, the green  
3 and starting six years after surgery, the blue  
4 triangles.

5 The data from this study show that the  
6 women who started estrogen immediately after  
7 ovariectomy preserved bone mineral content at or near  
8 their baseline before ovariectomy. The women who  
9 started six years later, the blue triangles,  
10 maintained bone mineral content at about the same  
11 level it was when they started treatment but that was  
12 below their baseline from their ovariectomy. Those  
13 who started estrogen within three years actually fell  
14 in between the two extremes.

15 This is a biological effect and it  
16 suggests that early post menopausal use of estrogen  
17 would maintain higher bone strength. It's one of the  
18 pieces of evidence that has supported estrogen use in  
19 the early post menopausal period.

20 Other evidence about the incidence and the  
21 number of fractures in a large cohort study also  
22 suggests that early intervention would be useful.

1 This slide from Dr. Siris' paper shows that fracture  
2 incidence increases as bone density decreases. The  
3 density is only one of the factors that accounts for  
4 risk. Bone quality which is a reflection of  
5 remodeling is also very important but this does show  
6 that with lower BMD score the number of fractures per  
7 1,000 woman years in this cohort increases several  
8 fold. It's actually highest in the women with WHO  
9 defined osteoporosis which is to the far right of the  
10 graph.

11 But this slide shows that in the same  
12 cohort the actual number of fractures is highest in  
13 the women with osteopenia because there are so many  
14 more women who fall into the category of mild or  
15 moderate BMD loss who are not yet osteoporotic. This  
16 is not too much different from the population in WHI.  
17 So this is not just a theoretical benefit which I  
18 showed you from the early intervention. There appears  
19 to be a practical benefit as well in that more  
20 fractures can be prevented.

21 Based on the biologic effect of estrogen  
22 and the consideration that prevention of further bone

1 loss has a clinical benefit, we evaluated Prempro at  
2 different doses specifically for osteoporosis  
3 prevention in post menopausal women, many of whom were  
4 osteopenic. These studies include the original  
5 studies of Prempro of more than ten years ago and the  
6 most recent study performed as the basis for approval  
7 of the low doses of Prempro. This recent study is the  
8 Women's HOPE study. That's the one I'll discuss  
9 briefly.

10 The study was designed to see if doses  
11 lower than 0.625 mg estrogen and 0.625 mg progestin,  
12 the dose that was used in WHI, would be active for  
13 symptoms and for bone loss. Two thousand, eight  
14 hundred and five women were randomized at various  
15 doses of Prempro, Premarin or placebo. The average  
16 age was 53. The average time since menopause was 4.7  
17 years. The endpoints included among numerous things  
18 most important reduction of vasomotor symptoms and  
19 improvement in bone density and protection of the  
20 endometrium. The bone density substudy which I'll  
21 show in the next slide included 800 women who were  
22 followed for two years. Much of the data from this

1 study have been published.

2 Dr. Lindsay's publication in 2002 showed  
3 the Prempro improves bone density in all doses. On  
4 the left panel is the bone density in the spine. This  
5 declined in the placebo group which was expected.  
6 That's that blue line that's going downward. But it  
7 increased in all the time points starting at six  
8 months in the women who received 0.3 mg, the red  
9 triangles, 0.45 mg, the purple squares, or 0.625 mg.  
10 That's the dose of Prempro that was used in WHI shown  
11 by the green diamonds.

12 At the spine, the 0.45 dose, the purple,  
13 increased density about two percent. The 0.625 dose  
14 about three percent. All the differences reached  
15 significance compared with placebo. On the right  
16 panel are data from the hip. It's the same colors.  
17 Again bone density declined in the placebo group. In  
18 contrast, bone density was increased by all the doses  
19 of Prempro. In this case as with the spine, all the  
20 differences reached significance compared with placebo  
21 at the time points starting at about one year. By 24  
22 months, the results for all the three doses were very

1 close to one another. Moreover the increase in  
2 density compares favorably with data from studies of  
3 raloxifene and bisphosphonates and I won't show you  
4 that data.

5 Now you've heard this in detail already  
6 today. Many epidemiology studies concluded that  
7 estrogen/progestin products were associated with a  
8 decrease in fractures in women. WHI provides evidence  
9 that fractures are indeed prevented even in osteopenic  
10 women. I took these numbers from the publication.  
11 All fractures were reduced by 24 percent. Hip  
12 fractures reduced by 33 percent. Vertebral by 35.  
13 Arm and wrist fractures by 29 percent.

14 But the data also indicate a very reliable  
15 and robust effect. Now I emphasize these data today  
16 because part of my job is to explain how we responded  
17 to the WHI results. Now of us on the medical team  
18 thought that we could or should ignore the highly  
19 favorable fracture results.

20 1. It was particularly impressive that  
21 these results were achieved even though low bone  
22 density was not a requirement for study entry. Only



1 about four to six percent of the women were  
2 osteoporotic. Generally we studied bone sparing agents  
3 in osteoporotic women. So this is a first study to  
4 demonstrate such a benefit in osteopenic women. It's  
5 consistent with what I told you in the last few slides  
6 about fractures in osteopenic women.

7 2. The fracture incidence was probably  
8 underestimated. The endpoint was clinical fractures.  
9 I think this has been explained already. Most studies  
10 we do for regulatory approval includes fine  
11 radiographs so we can detect subclinical fractures.  
12 Of the women who have a radiographically identified  
13 fracture, about 15 to 20 will develop another fracture  
14 within a year or two.

15 3. The reduction was observed within the  
16 first year of treatment. I'm not telling you  
17 something that you don't already probably know, but  
18 what I would emphasize is these are the data that we  
19 evaluated in making our decisions.

20 So there is convincing evidence that  
21 estrogen/progestin can prevent fractures. Let me just  
22 summarize these points again. There is rapid bone

1 loss in early menopause. Fracture incidence increases  
2 as density decreases. Most fractures occur in women  
3 who are osteopenic. Prempro improves bone density in  
4 osteopenic women close to menopause. That's what I  
5 showed you from the HOPE study. The WHI shows that  
6 Prempro reduces fractures even in women who are not  
7 screened for osteoporosis.

8 Now of course it's 2003. It's likely that  
9 every clinical decision includes some discussion about  
10 WHI. Practitioners need to know about it. They read  
11 about it. It's featured in numerous journals. It's  
12 a subject of CME. Women have learned about it through  
13 the media. The results are featured prominently in  
14 the Wyeth Prempro Prescribing Information. The most  
15 recent version of the label is part of that background  
16 and it's also on the website.

17 But as I stated in the beginning, point  
18 four if you remember, applying the results of a  
19 clinical trial really requires informed clinical  
20 judgment. There are some limitations to the evidence  
21 that are related to differences between a clinical  
22 trial and between clinical practice. The

1 investigators who spoke this morning actually alluded  
2 to some of these.

3           Again I want to point out the medical team  
4 at Wyeth reviewed and discussed the WHI data at great  
5 length, internally with the investigators, with the  
6 NIH team, with the FDA. We acted on the data last  
7 year by amending the label and supporting  
8 dissemination of the WHI data. You'll see how we did  
9 this too. But the medical team doesn't agree fully  
10 with some of the broad interpretations of the data,  
11 particularly some of the statements about the  
12 application of the data to all clinical practice  
13 especially some of the subgroup analyses.

14           Now we know that the subgroup analyses are  
15 supposed to be hypothesis generating. They are not  
16 supposed to be definitive. But one of the problems is  
17 that in clinical practice the women whom you actually  
18 see come from one of the subgroups or they have  
19 characteristics of one of the subgroups and some  
20 characteristics of the other. So you have to make an  
21 individual judgment.

22           These are the four points I want to make

1 about the WHI study and about how to apply the data  
2 from that study to clinical practice.

3 1. To remind you in general, the women  
4 who receive hormone therapy than the average age of  
5 the women in WHI. I know some younger women were  
6 studied in WHI, but in fact the most robust effects  
7 were driven mostly by the older women and they have  
8 menopausal symptoms, the women in general in practice  
9 who receive hormone therapy.

10 2. This one was also discussed earlier in  
11 the morning. The risk/benefit assessment in WHI  
12 didn't take into account all vertebral, that includes  
13 the clinical and morphometric fractures, and all of  
14 the nonvertebral fractures as well as some other  
15 benefits and risks. It was defined prospectively but  
16 it wasn't in fact selected.

17 3. Dr. Anderson referred to this one  
18 already. The global index from WHI is a clinical  
19 trial tool, but it cannot be used to assess the  
20 risk/benefit in individual women.

21 4. The data provide important  
22 information, being a little bit repetitious, but

1 clinical practice requires individual patient  
2 judgment.

3 Let me take these one point at a time.  
4 The first point, most women who take  
5 estrogen/progestin are younger than women in WHI. In  
6 the Women's HOPE and the other studies of  
7 estrogen/progestin in menopause, the women in the  
8 study were within five years of menopause. In  
9 general, that's because we tried to enroll women in  
10 the study in whom we can demonstrate a benefit on  
11 vasomotor symptoms, but this age group is  
12 approximately ten years younger than the average age  
13 of the WHI population. Again the robust effects were  
14 driven by the average of the population. The average  
15 age in the Women's HOPE study was about 53. The  
16 average age in the WHI study was about 63. The women  
17 in Women's HOPE were closer to menopause.

18 That's point number two. The women less  
19 than ten years since menopause appear to have no  
20 excess cardiac risk. Now I'm pointing that out  
21 because when you look at the paper for cardiac risk,  
22 it does look as though the women less than ten years

1 have no excess cardiac risk and it's consistent  
2 actually with some common sense clinical practice,  
3 some things that we know about age related risk of  
4 cardiovascular medicine. Again it's a subgroup  
5 analysis of the younger women, but the fact is that if  
6 it's hypothesis generating, one of the hypotheses  
7 could be that younger women have less cardiovascular  
8 risk.

9 In the absence of being able to make a  
10 clear demonstration of that fact, physicians and  
11 practitioners have to be able to make a decision for  
12 the individual woman. That's my point, not to have a  
13 discussion about the pluses and minuses of a subgroup  
14 analysis. It's to have a discussion about when you're  
15 finished with the subgroup analyses, how do the  
16 physicians use the data that you give them. It  
17 generates a hypothesis that the younger women closer  
18 to menopause may have a lower risk of using the  
19 estrogen/progestin.

20 The final thing is that in the younger  
21 women symptoms and osteoporosis are more likely to  
22 coexist and estrogen/progestin is the only therapy

1 that can concomitantly treat menopausal symptoms and  
2 prevent osteoporosis. Remember women will come to the  
3 physician partly because of a desire to treat a  
4 condition or a symptom or a problem, not just to be  
5 put into a trial for a long term prevention. So there  
6 will be a medical issue to address at the time.

7 I don't want to belabor this but the  
8 risk/benefit assessment did not take into account all  
9 of the osteoporotic fractures. The failure to do that  
10 when you calculate the global index may underestimate  
11 the benefit of hormone therapy for osteoporosis in  
12 general.

13 That's not really the point about adding  
14 up the global index. The point that I want to make  
15 and also what the medical team thinks about is that  
16 the disability from any type of fracture may have a  
17 significant impact on an individual woman. It may  
18 change the individual risk/benefit for  
19 estrogen/progestin. That's what actually has to be  
20 decided when someone wants to write a prescription.

21 Let me talk a little bit about the WHI  
22 global index. Dr. Anderson alluded to this. It's a

1 clinical trial tool. It's not really a risk  
2 management tool for individuals. It serves the  
3 purpose of a clinical trial, but it wasn't designed to  
4 serve clinical practice. I just want to point out  
5 that clinical trials evaluate the population. That's  
6 what we analyze. That's what we look at. That's what  
7 we add up. But clinical practice considers the  
8 individual risk/benefit.

9 I alluded to this earlier. The individual  
10 may or may not match closely. The actual population  
11 that was evaluated in the WHI trial may not match the  
12 subgroups. The age of the woman, the BMI, the time  
13 for menopause, the menopausal symptoms, the degree of  
14 osteopenia, the perceived need for osteoporosis  
15 prevention are differences that may characterize an  
16 individual and it may be very hard to characterize  
17 actually all of those differences in the population  
18 analysis that we do. So extending the results beyond  
19 the trial population really again when all of the  
20 discussion is done requires that the practitioner use  
21 judgment.

22 Leading to my next slide, the data provide



1 guidance, but clinical practice requires individual  
2 patient management. Now based on all of the data from  
3 this study and the other studies, I think the decision  
4 making process would be as follows:

5 1. The decision to use estrogen/progestin  
6 in menopause will be influenced by the presence, the  
7 severity of symptoms and the bone density measurement.  
8 The potential benefit of estrogen/progestin therapy on  
9 bone health should not be ignored in younger women in  
10 early post menopause, but the physician and the woman  
11 have to evaluate the benefit in light of the potential  
12 risk of vascular disease, stroke and heart attack and  
13 breast cancer. The individual risk has to be  
14 considered.

15 2. The use of estrogen/progestin in women  
16 with bone loss but no menopausal symptoms will have to  
17 be based on the need to treatment women at high risk. We  
18 heard that the highest risk that was evaluated in WHI  
19 may not be the highest risk that will actually be seen  
20 in practice. Still those are the women who have to be  
21 treated. Also the consideration would be the  
22 unsuitability of the other handful of agents that are

1 available. This actually isn't too far away from the  
2 recommendation that was made by Dr. Cauley.

3           So let me summarize this section. How do  
4 you apply the data from WHI and a lot of other  
5 clinical studies to clinical practice and the  
6 individual woman? First, remember the women who take  
7 estrogen/progestin in general are going to be younger  
8 than the average age of the study. The risk/benefit  
9 assessment did not include all the fractures and a  
10 particular kind of fracture or a concern about a  
11 fracture may be important to a particular woman in  
12 practice. I just want to remind you again that the  
13 global index from WHI is a clinical trial tool, but  
14 it's not being advocated as some way to determine the  
15 risk/benefit for each woman. That still has to be  
16 done. The data provide guidance. Clinical practice  
17 requires individual patient management. The product  
18 information which is going to be the subject of my  
19 next section provides the information useful for  
20 practice decisions. Finally, estrogen/progestin in  
21 our estimation after evaluation by the medical team  
22 remains an important therapeutic option for

1 osteoporosis.

2 I want to talk about the product  
3 information specifically now. Let me start with one  
4 very important point about the product label. The  
5 medical team developed a label that is clear and  
6 balanced. It is the company's policy to revise the  
7 label when appropriate. Now I'm presenting the  
8 medical team's point of view which is that the current  
9 label accurately reflects the state of knowledge and  
10 the recommendations consistent with the evidence.

11 I want to go through these four points.

12 1. The product information strikes a  
13 balance so that the clinical practice is guided but  
14 its use is not appropriately expanded or limited.  
15 Those are important.

16 2. The label information for prescribers  
17 includes some recent results from a variety of  
18 clinical and epidemiological studies. There's a lot  
19 of data on the label.

20 3. The balance includes statements  
21 regarding the risks that have been reported and, with  
22 regard to safety, a conservative interpretation is

1 presented.

2 4. New data are considered for inclusion  
3 as they become available. That's exactly what  
4 happened last year when WHI became available and we  
5 worked with FDA to make changes in the label. That's  
6 exactly the process.

7 Now the recommendations for Prempro use  
8 are based on the evidence that we have today. For  
9 women with menopausal symptoms, Prempro can reduce  
10 symptoms and prevent bone loss. We say that and we  
11 cite the clinical trial results on bone density. For  
12 women without menopausal symptoms, Prempro is  
13 recommended only for women at significant risk for  
14 osteoporosis and for whom non-estrogen treatments have  
15 been considered. This change was made based on the  
16 results of WHI after consultation with our medical  
17 team and with the medical team of the FDA.

18 Let me be more specific. What does the  
19 indication actually say? Prempro or Premphase is  
20 indicated for:

21 1. Treatment of moderate to severe  
22 vasomotor symptoms associated with the menopause.

1 That hasn't changed in the last year.

2 2. Treatment of moderate to severe  
3 symptoms of vulvar and vaginal atrophy associated with  
4 the menopause. This sentence in blue was added in the  
5 labeling as a result of the WHI. It says that "When  
6 prescribing solely for the treatment of symptoms of  
7 vulvar and vaginal atrophy, topical products should be  
8 considered at the same time."

9 3. This indication about preservation of  
10 bone states "Prempro is indicated for mention of post  
11 menopausal osteoporosis." The sentence in blue was  
12 also added after consultation and review of the WHI  
13 data and it says "When prescribing solely for the  
14 prevention of post menopausal osteoporosis, therapy  
15 should only be considered for women at significant  
16 risk of osteoporosis and non-estrogen medication  
17 should be carefully considered."

18 We also highlight certain information to  
19 promote awareness. Estrogen/progestin should not used  
20 for prevention of cardiovascular disease. That's very  
21 prominent. The risk of myocardial infarction, stroke,  
22 invasive breast cancer, pulmonary emboli and DVT as

1 reported in WHI and other studies are prominently and  
2 repeatedly noted. Specific information on breast  
3 cancer, coronary heart disease from WHI and other  
4 studies and information on dementia from the WHIMS  
5 study are also included. In fact the relevant risk of  
6 the outcomes in the global index which I discussed  
7 earlier that was published in JAMA last July is  
8 reproduced in the product information.

9 We also recommend therapy should be  
10 prescribed at the lowest effective dose. We also  
11 recommend that the duration of treatment should be  
12 only as long as required to meet objectives for the  
13 particular woman. As you saw this morning, a boxed  
14 warning was added and that assures that actually the  
15 prominent information is the first thing that's seen  
16 when the label is read.

17 Now the changes in labeling were  
18 accompanied by a communications program. The first  
19 thing was that practitioners were notified by letter  
20 of the results of the WHI and the changes in the  
21 product information. We did that last year. The data  
22 from WHI were distributed to practitioners by mail and

1 the Wyeth representatives were also asked to  
2 distribute a copy if necessary.

3 Information wasn't given just to the  
4 prescribers. There's information in the patient  
5 package insert that includes a clear assessment of the  
6 cardiovascular disease and breast cancer and other  
7 risks that we have determined are associated with the  
8 use of estrogen/progestin. So the patient gets this  
9 information as well.

10 The question we need to answer is "Has all  
11 this made a difference?" The data we have now on the  
12 pattern of use of Prempro is consistent with the new  
13 recommendations that have been made in the last year.  
14 I just want to address two points.

15 1. About 25 percent of the new  
16 prescriptions are for low dose. We're making a  
17 recommendation for low dose. The low dose was made  
18 available only around July of this year. After about  
19 four months after the low dose is available, 25  
20 percent of the prescriptions are actually for the low  
21 dose. So prescribers are following the new  
22 recommendations which is good.

1                   2.    The second point is 94 percent of  
2 women initiate Prempro for menopausal symptom relief.  
3 It's very clear on the labeling that's where the use  
4 is directed. By and large, the substantial majority  
5 of women and prescribers are using the product now  
6 for menopausal symptom relief so younger women  
7 constitute by far the majority treated.

8                   I want to emphasize this. The changes in  
9 labeling had the desired impact. This is very  
10 important. When the clinical research suggested a  
11 change in the use of the product, the medical team at  
12 Wyeth responded. We responded with recommendations  
13 that are consistent with the scientific data. The  
14 result as a pattern of prescribing indicates that  
15 practitioners have changed in response to the new  
16 scientific data as well.

17                   The major conclusion I want to leave with  
18 you is that our medical team in collaboration with the  
19 FDA has been able thus far to respond to new data and  
20 to accomplish the objective I set out in the beginning  
21 which is to support the appropriate use of this  
22 particular product.



1                   My last slide is just a summary of the key  
2 points

3                   1. With the reminder that osteoporosis is  
4 an important medical problem, fractures cause  
5 mortality and significant disability. We don't want  
6 to forget that in our discussions.

7                   2. There are only a handful of treatment  
8 options currently available for osteoporosis.

9                   3. Estrogen/progestin is only one of the  
10 therapies that we know can treat both the menopausal  
11 symptoms that occur and to prevent osteoporosis.

12                   4. We know now that Prempro prevents  
13 osteoporosis and reduces the incidence of all  
14 fractures including hip fractures.

15                   I want to thank you for your attention.  
16 If there are any questions, I or my team will do our  
17 best to answer them. Thank you.

18                   CHAIRMAN McCLUNG: Questions or comments?  
19 While you are gathering yours, let me make a couple.  
20 About the HOPE trial, you've emphasized that bone loss  
21 happens early in menopause and that most of the women  
22 who take estrogen now are younger. The average time

1 since menopause in that trial is 4.7 years.

2 DR. CAMARDO: Correct.

3 CHAIRMAN McCLUNG: The bone loss happens  
4 most rapidly in the first three to five years after  
5 menopause and then slows down. You looked at lower  
6 doses in the HOPE trial to show that it was effective  
7 in preventing bone loss. Have you actually looked at  
8 the women who were closer to menopause, those within  
9 the first three years for example when bone loss we  
10 know is faster and to know whether the lower doses of  
11 Prempro or Premarin are effective in that group of  
12 women that you are focusing our attention on?

13 DR. CAMARDO: The question is did we look  
14 at a subgroup of the women even closer to menopause  
15 than the average 4.7 years?

16 CHAIRMAN McCLUNG: Right.

17 DR. CAMARDO: I'm going to have to ask my  
18 team to help me out on that. Dr. Lindsay or Ginger?

19 DR. LINDSAY: The response to your  
20 question is that we did not look at that because we  
21 had groups of only 80 in size and it would be an  
22 inappropriate subgroup analysis.

1                   CHAIRMAN McCLUNG: The other question I'd  
2 ask has to do with the durability of effect. One of  
3 the points that you made is that the recommendation is  
4 that estrogen be used only as long as necessary to  
5 achieve the treatment objective. Being treated  
6 forever is not a likely circumstance. Then knowing  
7 how long the protective effect of estrogen and  
8 particularly the lower doses of estrogen last becomes  
9 an important consideration. If patients are at very  
10 low risk when they're begun on therapy, treated for  
11 three years or five years and then therapy is  
12 discontinued, it could be that the benefit then last  
13 until they are old enough to be at risk. Or does the  
14 effect disappear? Have you followed the women since  
15 therapy was discontinued in the HOPE trial or in other  
16 studies?

17                   DR. CAMARDO: Not in the HOPE study.  
18 There are actually some data that address that. I had  
19 it in one of my slides but I won't show it. I think  
20 that the conclusion that we came to is that you can  
21 assure the preservation of bone while you're using the  
22 therapy. Once this estrogen/progestin is stopped,

1       there is a decay period. I think that's actually been  
2       published. It's not immediate and it doesn't look  
3       like it's accelerated. What I think will happen in  
4       general and in practice is that if a practitioner and  
5       woman make a decision to use estrogen/progestin for  
6       osteoporosis after a certain period of time which is  
7       going to be hard to determine for sure, they will  
8       likely want to stop the estrogen/progestin for symptom  
9       relief if that was part of the option and continue  
10      something else for bone preservation. I think there  
11      would be no disadvantage to having used  
12      estrogen/progestin.

13                 In fact, you might argue that there would  
14      an advantage because you would be starting from a  
15      higher baseline. I want to make sure that it's clear  
16      that I'm not advocating that if you make the decision  
17      to use the therapy that you have to continue it  
18      forever. You can continue for as long as a reasonable  
19      tolerance for the risk, clear benefit and then after  
20      that you have to use another therapy which seems to  
21      make practice sense and there wouldn't be  
22      disadvantage. Am I supposed to moderate the

1 questions? You're supposed to moderate the questions,  
2 aren't you?

3 DR. ROSEN: Rosen here. I have three  
4 questions, two specific and one general. How closely  
5 tied is bone loss to menopausal symptoms? You've tied  
6 that in several occasions, especially rapid bone loss.  
7 Can you establish for us what that connection and if  
8 you're trying to treat both at the same time, can you  
9 be sure of that as a clinician?

10 DR. CAMARDO: I'm going to give you part  
11 of the answer and I'm going to ask if maybe Dr.  
12 Gallagher could help me with this because he actually  
13 is a clinician in practice. What we've seen is that  
14 if we do a study, we screen several thousand women on  
15 the basis of symptoms. We manage to find a reasonable  
16 percentage of women who actually have osteopenia as  
17 well. So they are concomitant. It's a very common  
18 event in practice, but if it's okay, I'd like to ask  
19 Dr. Gallagher to respond.

20 DR. GALLAGHER: Dr. Gallagher, Creighton  
21 University, School of Medicine. About 50 percent of  
22 women will complain of vasomotor symptoms during the

1       menopause and maybe 40 percent will complain of  
2       vaginal dryness (dyspareunia). Certainly from the  
3       HOPE study, we know that the great majority of women  
4       actually develop bone loss so they are coincident  
5       conditions.

6                   DR. ROSEN: I'm just a little concerned  
7       about the term "rapid" bone loss because as you know,  
8       Chris, this comes up all the time. How many of these  
9       people are actually losing bone rapidly and what is  
10      that definition?

11                   DR. GALLAGHER: I think that the common  
12      figure that goes around and Claus has certainly  
13      pointed this out is that 25 percent of women have  
14      rapid bone loss after the menopause. Still there's a  
15      considerable portion who are having somewhere between  
16      average and that. So we're talking at least 50  
17      percent. Just a point of information for the women in  
18      the HOPE study, the average number of years for  
19      menopause was 2.7, not 4.7.

20                   CHAIRMAN McCLUNG: Not 4.7?

21                   DR. GALLAGHER: No.

22                   CHAIRMAN McCLUNG: Let me ask to follow

1 through with Cliff's question. Is there a  
2 relationship between women who are symptomatic and the  
3 rate at which bone loss occurs? Is that question that  
4 you were asking?

5 DR. ROSEN: That's right.

6 CHAIRMAN McCLUNG: So we appreciate that  
7 the bone loss happens after menopause. We appreciate  
8 that many women have symptoms. Do the women who have  
9 symptoms lose bone more quickly than those who do not  
10 symptoms? I think that's Dr. Rosen's question.

11 DR. GALLAGHER: I think I'd like to hand  
12 the microphone over to Dr. Christiansen.

13 DR. CHRISTIANSEN: There's a tight  
14 relation between rate of bone loss and estradiol  
15 concentration. There's also a tight relation between  
16 serum estradiol and the symptoms. None of that's  
17 close as to the rate of loss but those are very  
18 significant. Therefore of course, there's relation  
19 between symptoms and rate of bone loss. We have shown  
20 that many years ago.

21 DR. ROSEN: Okay. I just want to finish  
22 with two very quick questions. I'm not sure I

1 understand what you're referring to when you say "WHI  
2 did not address some non-vertebral fractures." Can  
3 you clarify for me what you're talking about "didn't  
4 report some non-vertebral fractures"?

5 DR. CAMARDO: Yes, what I was referring to  
6 is arm and wrist fractures. I mean included in the  
7 index calculation. I did not see.

8 DR. ROSEN: In the global index.

9 DR. CAMARDO: In the global index.

10 DR. ROSEN: But it's very important to  
11 appreciate that they reported all non-vertebral  
12 fractures that are standardized.

13 DR. CAMARDO: Yes, they did, but it wasn't  
14 included as part of the side of the benefits.

15 DR. ROSEN: I have one philosophical  
16 question because I am a practitioner as well. I don't  
17 quite understand why you make the distinction between  
18 what we see in clinical trials and what we do in  
19 practice. Can you tell me a little bit about that  
20 reasoning? It seems to me that we have to base what  
21 we do in clinical practice on what the evidence is.  
22 So you constantly make that distinction. This is



1 what's in the trial. This is what we do in practice.  
2 Can you elaborate a little bit on that?

3 DR. CAMARDO: I probably should have  
4 included my original slide which was a quote about how  
5 there are consensus guidelines that are developed on  
6 the basis of trials. Applying the guidelines to  
7 actual patients sometimes can be difficult.

8 DR. ROSEN: Oh, I'm not a fan of  
9 recommendations or guidelines but each of the  
10 practitioners has to weigh the evidence.

11 DR. CAMARDO: I don't disagree with you at  
12 all. I think the practitioners have to weigh the  
13 evidence. In general, when you do a trial, you have  
14 defined a population and you have taken certain steps  
15 to make sure that the population fits into the  
16 criteria that you've set up. Actually if you set up  
17 a trial and try to find the people that you want to  
18 get into it and you go into a practice, you'll find  
19 that a lot of the people may have the disease that  
20 you're trying to treat but they don't actually fit in  
21 the trial. So you have set up a situation that  
22 requires that the results be applied with care.

1 That's all I'm really saying.

2 I do not want anyone to mistake that I  
3 don't believe in the value of clinical trials. Wrong.  
4 That's absolutely not true. But I still think when  
5 you take the data you have to let the practitioners  
6 apply them. That's what I want to tie to our product  
7 information because we feel strongly that we need to  
8 provide the information balanced.

9 CHAIRMAN McCLUNG: Ms. Solonche.

10 MS. SOLONCHE: Yes. Early in your  
11 presentation, you showed a slide from a 1976 article  
12 in the Lancet. The title of it is "Bone Loss Follows  
13 Estrogen Loss and Can Be Prevented With Early Use of  
14 Estrogen." I see that all the participants in this  
15 seem to have had oophorectomies.

16 DR. CAMARDO: That was the study actually.

17 MS. SOLONCHE: My question is the studies  
18 that you've used since then and the WHI study, are  
19 these people who have had oophorectomies, surgical  
20 menopause; or are these women who have what we'll  
21 aphoristically call "natural menopause"? Do you think  
22 that makes a difference in the results?

1 DR. CAMARDO: The latter, natural  
2 menopause. I think it probably does make a difference  
3 in the results, but I really used the 1976 paper as a  
4 model for looking at intervention at a time point when  
5 you could determine when estrogen had disappeared  
6 rather than over time. So it was really a way of  
7 looking at a specific question about the time point of  
8 estrogen replacement when it was known when estrogen  
9 loss occurred. That was a particular situation just  
10 to test the value of estrogen. In the study  
11 participants in Women's HOPE in general are women who  
12 are going through menopause, not women who  
13 ovariectomized.

14 MS. SOLONCHE: Thank you.

15 CHAIRMAN McCLUNG: Dr. Follman.

16 DR. FOLLMAN: You were saying that you  
17 didn't like the idea of using the global index to help  
18 tradeoff the risks and benefits for an individual  
19 patients. The reason you gave was that really this  
20 index had been designed more for monitoring of the  
21 trial. Now it's being put to another purpose. I was  
22 wondering if you had any other reasons why you didn't

1 like the global index or making this individualized  
2 risk/benefit tradeoff and if you had thought about a  
3 different quantitative way of making the risk/benefit  
4 tradeoff because a large part of what we hear today is  
5 trading off risks and benefits.

6 DR. CAMARDO: That's a good question. I  
7 think actually the WHI investigators, not just I,  
8 pointed out some of the limitations of the global  
9 index. I found it a complicated endpoint for the  
10 trial. I think the medical team would agree with me  
11 that it didn't evaluate the benefit of the  
12 intervention the way we often evaluate the benefits of  
13 intervention which is to see what they are, define the  
14 magnitude and then have a discussion about whether the  
15 risks make it worth it. They decided really on the  
16 basis of a number which you can't just apply to a  
17 woman who walks into the office I think. That's in  
18 all cases. It's just a matter that it tends to want  
19 to homogenize the results here and it wasn't designed  
20 to be a tool. It's not like the Gail index or the  
21 Framingham. Those things assessed cardiac risk or  
22 breast cancer risk. It really isn't that. I don't

1 think it was designed to do that.

2 DR. FOLLMAN: So I guess you're saying you  
3 don't want to use that index and just look at all the  
4 data, look at the risks and benefits for the different  
5 endpoints and then make some gestalt decision based on  
6 the patient and her profile and all this information  
7 from the WHI and other studies.

8 DR. CAMARDO: The recommendation we are  
9 trying to make is that the data need to be available  
10 and that since there are some areas of gray there is  
11 a certain point where a physician would have to make  
12 the decision. I think that would be a fair way to say  
13 "I don't know what I would do if I were in practice."  
14 I'm not in practice right now, but I believe that  
15 there's some gray in that even when you look at the  
16 risks there are some cases where either you could  
17 evaluate that the risk is lowered because of some  
18 particular status of the individual such as low blood  
19 pressure, low cholesterol, no history of heart  
20 disease, very young and a lot of reasons that others  
21 have alluded to today and decide that maybe the risk  
22 is really low.

1           There are other circumstances where I  
2 think for an individual woman the risk even if you  
3 take it at face value might be worth the benefit. I  
4 don't think we want to make a judgment about that. I  
5 don't want to advocate for any particular position.  
6 I want to make it clear that our mission is to make  
7 sure that the knowledge base is adequately displayed  
8 in the labeling. I'm telling you what I think the  
9 thought process might be.

10           CHAIRMAN McCLUNG: We've heard that the  
11 global index was put together at the time the study  
12 was started. One of the important things to remember  
13 is that the world is different now in lots of ways  
14 than it was ten years ago. Our understanding and even  
15 the outcomes that were expected turned out to be  
16 different than were planned and predicted. Dr.  
17 Rossouw has already thrown a challenge to the clinical  
18 community that if we can come up with the  
19 justification for a different set of risk factors and  
20 benefits to be included in a different global index.  
21 My sense is that much of that data exists in some  
22 database and in your database to allow us to look at

1 that. So we're struggling with trying to apply in  
2 clinical practice a tool that was put together a long  
3 time ago where our understanding about each of the  
4 diseases and the effects of estrogen has moved on from  
5 that time. Please.

6 DR. CARPENTER: In addressing risk/benefit  
7 issues, you've shown the recent impact of your data  
8 demonstrating that lower doses of Prempro are  
9 effective in preserving bone marrow density and recent  
10 increases in prescriptions for the lower dose  
11 formulations. In that this is potentially a very  
12 useful strategy for maintaining benefit and it appears  
13 to be motivated by the potential for reducing risk, I  
14 wondered to what extent there is data being collected  
15 and what plans there are to organize or collect that  
16 data at the lower doses for these various adverse  
17 actions.

18 DR. CAMARDO: At the current time, we have  
19 the database from the study which is about 3,000 women  
20 followed for about a year. That's very small but we  
21 have some assessment of the cardiovascular risk in  
22 that study which is relatively small actually. That's

1 one thing. The only other thing at this point in time  
2 is really post marketing surveillance. We don't have  
3 at this point a study designed that would answer the  
4 particular question to the same extent that it would  
5 addressed in the larger study that we're talking about  
6 today. So as you said, we have the bone marrow  
7 density data. We have the data on vasomotor disease.  
8 We have the side effect data which we know from the  
9 HOPE study. At this point in time, the product's been  
10 out for a couple of months so we have mostly post  
11 marketing surveillance reports. That's the extent of  
12 it for right now.

13 CHAIRMAN McCLUNG: Dr. Woolf.

14 DR. WOOLF: A question and a comment. On  
15 one of your slides, you state that the duration of  
16 treatment should be only as long as required to meet  
17 objectives for the particular woman. You've talked  
18 about osteoporosis obviously not meant to be lifelong.  
19 But what about flushing? Is this something that a  
20 post menopausal woman for want of a better term  
21 outgrow or will this simply return once the estrogen  
22 preparation has been discontinued?



1 DR. CAMARDO: I think those are both  
2 extremes of what can happen and everything in between.  
3 I don't want to give you a flippant answer, but in  
4 fact what we've done to try to address that is to  
5 point out in the patient information that the  
6 particular objective which is flushing should be  
7 addressed on a regular basis. Our advisors are  
8 telling us that in general - these are the  
9 recommendations from ACOG and others - that a yearly  
10 reevaluation be performed and to consider to  
11 discontinue in some women. With the discontinuation,  
12 flushing will return. We know that. In others, it  
13 does apparently go away.

14 I could ask one of the clinicians in  
15 practice to talk about that, but the way it's been  
16 addressed is actually in the patient information. We  
17 advise that a discussion occur with the practitioner  
18 about whether you still need treatment. That  
19 generally refers to flushing because that's the most  
20 apparent one. There are others. The implication is  
21 if you don't need it anymore for flushing see if you  
22 don't. You have to try that.

1 CHAIRMAN McCLUNG: Dr. Schade.

2 DR. WOOLF: My comment about the global  
3 index really doesn't pertain specifically to Wyeth or  
4 WHI but my understanding about an index is you develop  
5 an index from a population base and then you go and  
6 test it against another population base. From what I  
7 can gather, this has not been done with the global  
8 index. It was simply meant to be a tool for deciding  
9 the severity or the risk and benefit but it really  
10 hasn't been validated in another dataset. To use it  
11 as a tool to decide risk/benefit when it hasn't been  
12 really tested in a new dataset to see its validity may  
13 in fact not be appropriate.

14 CHAIRMAN McCLUNG: Any other comment about  
15 that?

16 DR. ANDERSON: I would like to comment.  
17 I think what you were talking about in terms of  
18 developing and validating in another dataset has to do  
19 with more a risk score such as the Gail model or the  
20 fracture risk score that they were talking about where  
21 you're trying to identify risk factors of individuals  
22 and put them together to then make a simpler

1 stratification of individuals.

2 The global index is something quite  
3 different where it's trying to summarize treatment  
4 effects, not individuals. It's a summary of those  
5 benefits and it's a valid comparison of the randomized  
6 trial endpoint. It's a disease free survival  
7 statistic where the disease now is actually the whole  
8 list of diseases that we're looking for. That's what  
9 it is.

10 DR. WOOLF: But my point is that this  
11 distinction is liable to be lost on the public and  
12 that it is becoming in fact the Gail index or some  
13 other index of global disease. In fact from my  
14 reading of the New York Times and my local paper, the  
15 Philadelphia Inquirer, that's exactly what's happened.  
16 It's become the marker of treatment that it has become  
17 a validated instrument to decide whether to use  
18 estrogen or not. The statistical nuances are clearly  
19 lost on the public. It's hard enough for me to  
20 understand. I don't know if I do, but that  
21 information is not getting across.

22 DR. ANDERSON: Yes. I would say probably

1 none of us here want to take complete responsibility  
2 for what's in the newspapers. It's clearly limited in  
3 the sense that it was designed for this trial and  
4 never meant to go any further.

5 I would say that it's a very valuable tool  
6 for looking at the risk/benefit profile in a  
7 philosophical sense to have some summary index of  
8 these because we need quantitative measures of risks  
9 and benefits to help in evidence base medicine. I  
10 would say in defense of this product is that we lack  
11 that similar risk balance information for a lot of  
12 other products out there. We need to move forward to  
13 have better information like that on all these  
14 products particularly for prevention work.

15 Prevention work is some of the toughest.  
16 You never know with the patient that you're treating  
17 for those clinicians if you give them this medicine  
18 whether you actually prevented that disease or whether  
19 they never would have had it in the first place. The  
20 prevention is really population-based work. I'm not  
21 sure that I really agree with this individualization  
22 for prevention purposes. For treatment, it's a

1 different argument, but I'm going beyond my scope.

2 CHAIRMAN McCLUNG: Okay. Great. Dr.  
3 Schade.

4 DR. SCHADE: I have two short questions.  
5 You showed us data on the lowest dose of estrogens.  
6 What about a dose response curve for symptoms and the  
7 estrogen dose? You didn't show us that. You showed  
8 us with the bone mineral density. In other words,  
9 does the dose response curve look similar to the BMD  
10 response?

11 DR. CAMARDO: I'm going to ask for this  
12 specific question about the study Dr. Pickar to just  
13 remind me of the results for the dose response for  
14 symptoms if you could do that.

15 DR. PICKAR: When you look at the doses of  
16 Prempro that were studied for menopausal symptoms,  
17 they were all very similar.

18 DR. SCHADE: All right. I think I asked  
19 that question because obviously there is a push for  
20 the lowest dose. We're seeing reasons for that even  
21 though right now at least the prescriptions don't  
22 reflect that. That may be as you point out on your

1 slide that the product hasn't been available that  
2 long. The other question I have is on labeling. It's  
3 probably my ignorance. You use a term "should be  
4 seriously considered." In other words, what does that  
5 mean? I'm a practitioner and basically when you have  
6 a choice of treatments in every case you should  
7 seriously consider all the treatments and choose the  
8 optimal treatment. So it seems to me on the label  
9 unless that's a term that the FDA has utilized in many  
10 situations that I'm not aware of but as a practitioner  
11 that doesn't say very much. I just wondered about  
12 your interpretation of that "should be seriously  
13 considered" statement.

14 DR. CAMARDO: It is just short of  
15 requiring that an alternative agent to tried and shown  
16 to fail or be ineffective. Our medical team discussed  
17 this a lot. There's a regulatory implication to some  
18 of this which I think will be discussed later. It  
19 falls short of requiring a demonstration of failure.  
20 We thought about it and discussed it and came to the  
21 conclusion that there are some cases where it wouldn't  
22 really make sense for us to recommend that another

1 product be tried and fail first when you could choose  
2 among -- We thought it was sufficient to recommend  
3 choosing among the options which is not something that  
4 was ever said about the product previously and it's  
5 usually not said about products. It's usually  
6 assumed. We explicitly state that. The reason we  
7 were short of demonstrating is that we thought that  
8 there are some cases where you could predict that the  
9 products might not work anyway.

10 Now I should tell you there is another  
11 discussion about the older women which I would just  
12 like to mention briefly. In older women because of  
13 the incidence of dementia in WHIMS, we're actually  
14 discussing the possibility of requiring that other  
15 agents actually be used first because in the older  
16 women, there seems to be a different risk/benefit  
17 implied by the results of that study. We're  
18 discussing actually in that case maybe we should go on  
19 the other side of that recommendation and make it a  
20 little bit stronger. But it's a bit of a fine line  
21 and as said, some of the evidence suggests that you  
22 just make the recommendation to consider. Other

1 evidence seems to suggest that you may want to make  
2 the recommendation to try other products. Does that  
3 make sense?

4 DR. SCHADE: Yes. Now I understand at  
5 least what you mean.

6 DR. CAMARDO: Okay.

7 CHAIRMAN McCLUNG: Dr. Stadel.

8 DR. STADEL: Yes, I just have a further  
9 question on the issue of summary risk assessment. As  
10 I recall from the presentation of the fracture data in  
11 the group that was defined as being at high risk of  
12 fracture - it got pretty close to one - the global  
13 index was still slightly worse in the treated group  
14 than the placebo group, but it was getting closer. My  
15 question to you is has Wyeth proposed any further  
16 refined analyses aimed at identifying a group within  
17 the total for whom the net would be beneficial?  
18 That's what I hear you saying is that of course as a  
19 practitioner we have to say "How do the risk  
20 characteristics of this patient play against the group  
21 experience that we're using to judge?" Have there ben  
22 any specific recommendations for further analyses



1 using more refinements of definitions coming from  
2 Wyeth back to the WHI people?

3 DR. CAMARDO: Yes, the answer is that the  
4 WHI and Wyeth have actually been working on some  
5 analyses together with the understanding that the lead  
6 is always going to be taken by WHI in terms of  
7 publication and everything else. So we tend to be in  
8 line after the publication results which is  
9 appropriate I think. But we have asked to look at  
10 some of the higher risk and we've also discussed the  
11 possibility of looking at women who may be at high  
12 risk for osteoporosis and low risk for some of the  
13 other side effects.

14 I don't think that's an original idea. I  
15 think it's something that we discussed. I haven't  
16 discussed it. Dr. Stevenson and her epidemiology  
17 group have discussed it. The shorter answer is yes.  
18 It's a little disappointing though that high risk  
19 osteoporosis doesn't seem to be that high risk  
20 compared with the risk scale. So again you may not  
21 see in that population women who you might see in  
22 practice. That's where the limitation would be. The

1 answer is yes. We've actually been discussing other  
2 possible analyses. We've had some ideas. Go ahead.

3 DR. CAULEY: Yes, I just wanted to point  
4 out. I think we talked about the high risk women  
5 being older and this brought to my mind when you  
6 talked about this issue with regard to the risk of  
7 dementia in the older women. The high risk women that  
8 we called "high fracture risk" were actually in their  
9 70s. That was the average age. That's the group  
10 where the dementia finding were limited to women age  
11 65 and over. So caution also when we talk about  
12 including other aspects in the global index. It's  
13 important to include other risks and benefits. That  
14 would be something that would be needed to be included  
15 as well.

16 CHAIRMAN McCLUNG: Dr. Bone.

17 DR. BONE: It seems to me that many of us  
18 have been concerned that our major target population  
19 for treatment with hormone therapy of one kind or  
20 another would be the very early post menopausal woman  
21 within the first year after cessation of menses who  
22 has symptoms with or without low bone density at

1       menopause carried forward a limited number of years,  
2       probably something like three or five years during  
3       which time we would have expected most of the symptoms  
4       to resolve. Perhaps the dose could be tapered over  
5       that time. It sounds to me like one of the things  
6       that would be extremely useful would be a prospective  
7       clinical trial actually representing that group. WHI  
8       has done a commendable job but it didn't really  
9       emphasize the very early post menopausal women  
10       particularly those who are quite symptomatic. This  
11       very early phase of bone loss is also an issue that's  
12       been brought up. My question to Wyeth and it would be  
13       a question for sponsors of other products would be "Do  
14       you have any plans to look at that population  
15       specifically".

16                 DR. CAMARDO: We have plans to continue to  
17       evaluate the low dose. We don't at the current time  
18       have plans for a study of the size and duration of the  
19       study we discussed this morning.

20                 DR. BONE: I'm not exactly sure that it  
21       would be required to obtain quite a bit of useful  
22       information about that very specific segment.

1 DR. CAMARDO: It has been discussed. I  
2 don't have a specific proposal that would be ready for  
3 discussion by this Committee. I think if advice goes  
4 in that direction then it's something that we would  
5 work out with the FDA medical team to actually  
6 determine how big and how long it should be and what  
7 kind of methodologic problems we'd have to face to do  
8 it. I don't want to give an answer about anything in  
9 particular because we've really only discussed it in  
10 general terms.

11 DR. BONE: Having taken your point and  
12 understanding that, it's actually who we're concerned  
13 about and that's actually the treatment model that  
14 we're most focused on.

15 DR. CAMARDO: Okay.

16 CHAIRMAN McCLUNG: Other questions or  
17 comments? Yes.

18 DR. ZERBE: I have a question about total  
19 mortality. There's been a lot of discussion about the  
20 global index and the pros and cons of the various  
21 things that have been included. Total mortality does  
22 not appear to be different. Could you discuss a

1 little bit about strengths and weaknesses of the use  
2 of that as a prominent feature in the evaluation of  
3 the risk/benefit?

4 DR. ROSSOUW: Let me start and then Garnet  
5 can follow up and correct me if necessary. Two points  
6 about total mortality. It's an extremely insensitive  
7 index particularly when you're dealing with a drug  
8 entity that has a variety of effects, some favorable  
9 and some unfavorable. By its nature, it's going to be  
10 insensitive. Also in a relatively short period of  
11 just over five years in a healthy population, the  
12 chances of finding a significant effect on total  
13 mortality even though disease incidence may be tending  
14 in a certain direction are slimmer.

15 My main point is that total mortality may  
16 be an appropriate thing when you're dealing with a  
17 high risk population such as a secondary  
18 cardiovascular prevention study where most of the  
19 subsequent deaths are going to be due to that specific  
20 disease. As your treatment is effective for  
21 preventing incidence, it will also prevent mortality.  
22 We've seen that in the statin trials and hypertension

1 trials and so forth. For this kind of drug in a  
2 prevention study with a variety of effects in a  
3 healthy population, total mortality, you'd have to  
4 have a huge sample size and a very long follow-up to  
5 find an effect.

6 DR. CHLEBOWSKI: Maybe just an example  
7 from the breast cancer area where we have invasive  
8 breast cancer. We had 349 cancers which will  
9 ultimately kill 25 percent of the women even with our  
10 more effective therapies now, but that's going to take  
11 a decade. We have eight deaths now. To come back and  
12 ask that question, we'll have to come back ten years  
13 from now. I think that's true for many of these other  
14 events as well. It's a time related phenomena. It's  
15 like waiting for all of the events to occur or doing  
16 a censored analysis.

17 DR. ZERBE: Yes. I guess the only thing  
18 I suppose emphasizes that there really is not even the  
19 suggestion. So it isn't really an issue totally of  
20 power. There's not even a suggestion at this point  
21 that there's any increase mortality. Is that correct?

22 DR. CHLEBOWSKI: (Off microphone.)

1 CHAIRMAN McCLUNG: Dr. Camardo. Thank you  
2 very much.

3 DR. CAMARDO: Thank you.

4 CHAIRMAN McCLUNG: We are just ahead of  
5 schedule. Are there other questions that we have for  
6 the WHI group? With Dr. Rossouw's permission since we  
7 cut that short, I'm going to make sure we have our  
8 queries and information lined up before we deliberate  
9 later on. Yes. Dr. Woolf.

10 DR. WOOLF: Just a clarification. I  
11 recall a slide that has been shown a couple of times  
12 regarding the incidence of fractures versus the number  
13 of women who fracture in relationship to whether they  
14 are in the osteopenic or osteoporotic category. I  
15 believe one of the slides demonstrated that there are  
16 a greater number of fractures in the osteopenic group.  
17 I wondered if that holds up for both placebo and  
18 hormone treated women and if there is a discrepancy  
19 there, how one might explain it?

20 DR. CAULEY: That wasn't WHI data.

21 CHAIRMAN McCLUNG: No, that was from the  
22 NORA study so it was not a treatment study. It was

1 just an observational study, but a number of studies  
2 were shown that as was pointed out the total number of  
3 patients experienced hip fractures for example who  
4 have osteoporosis is less than half of the hip  
5 fracture of the population. That's because there are  
6 a lot more younger people. So while the absolute risk  
7 is higher in the group of patients with low bone  
8 density and osteoporosis, the proportion of the total  
9 fracture burden falls in younger people at lower risk.  
10 If the relative risk reduction with intervention were  
11 the same across the spectrum of risk, then the number  
12 needed to treat to prevent fractures would be a lot  
13 greater of course in the osteopenic population than in  
14 the osteoporotic population. That's just the way risk  
15 is about that.

16 The other facet about that is that when  
17 you look at all fractures the distribution of the  
18 types of fracture also changes substantially with age.  
19 In several epidemiologic studies in women in their  
20 60s, hip fracture and spine fracture constitute a very  
21 small proportion of the total fractures. In the WHI,  
22 only 15 percent of the total fractures in either of



1 the groups were constituted by clinical spine fracture  
2 or hip fracture. So 85 percent of fractures were  
3 other fractures which to many of us at least my  
4 personal view probably have less clinical import than  
5 do hip fracture and spine fracture.

6 In contrast in women in their 80s, the  
7 majority of fractures that occur are hip fracture and  
8 spine fracture. So not only does the risk of fracture  
9 increase with age but the distribution of the types of  
10 fractures and the severity of the types of fractures  
11 increases with age as well. That's often not factored  
12 in or expressed in the sorts of data that we see.

13 DR. ROSEN: Mike, can I clarify something?

14 CHAIRMAN McCLUNG: Yes.

15 DR. ROSEN: The point is that there are  
16 many more people who are osteopenic than osteoporotic.  
17 So the number of fractures on the Y axis is going to  
18 be greater. That's just when you refer to number of  
19 fractures versus absolute risk. That's the  
20 difference. I do want to point out. Jane had a slide  
21 that she took out but the number needed to treat --  
22 Maybe you can talk about it, Jane, the number needed

1 to treat because estrogen does work across prevention  
2 populations. It is important to emphasize that point  
3 from your data.

4 DR. CAULEY: The slide that Cliff is  
5 referring to is I just calculated the number needed to  
6 treat ("NNT") in WHI for clinical vertebral fractures  
7 and compared it to the numbers that were in the  
8 Osteoporosis Research Advisory Group ("ORAG") report.  
9 Now the problem with doing that is that other report  
10 included morphometric vertebral fractures and we only  
11 had clinical vertebral fractures. And the populations  
12 varied markedly. They define low risk in that report  
13 as BMD. They had BMD measurements on all the women.  
14 So it's difficult to compare numbers needed to treat  
15 across the different agents and across the different  
16 trials.

17 But it did show that in this calculation  
18 about 800 women would be needed to be treated for two  
19 years to prevent one clinical vertebral fracture in  
20 the WHI population. I say that with some limitations,  
21 no inherent and calculating NNTs and in the fact that  
22 we were limited to clinical vertebral fractures.

1                   CHAIRMAN McCLUNG: That's a good point.  
2                   Let me just come back and emphasize for the sake of  
3                   what our subsequent discussion will be that NNT is not  
4                   an index of therapeutical efficacy because it's driven  
5                   almost entirely by the risk in the population rather  
6                   than by the effectiveness of the drug. So the WHI as  
7                   a very low risk population would be expected to have  
8                   a high NNT as opposed to lots of other trials where  
9                   patients are specifically recruited and enrolled in  
10                  the study. From a cost effectiveness standpoint, that  
11                  mostly reflects the population being treated rather  
12                  than the therapy being considered.

13                  DR. ROSEN: Actually I was going to make  
14                  the point that if you look at the NNTs in the ORAG  
15                  trial they are up over 2,000 for the bisphosphonates  
16                  and only 800 for estrogen. So in a low risk group of  
17                  people, actually estrogen looks like it does very  
18                  well. I think it's just consistent with the data and  
19                  again thinking about the caveats that we talked about  
20                  already in terms of different populations.

21                  DR. ROSSOUW: If there's a minute, I can't  
22                  refrain from picking up on a discussion that panel

1 members had earlier and stimulated Dr. Camardo's  
2 presentation which essentially says that practitioners  
3 are back to making individual judgments based on what  
4 they think the risk profile is of the patient. We did  
5 this trial in this population to get some real  
6 evidence to help physicians make that judgment in an  
7 older population on average. I think those are very  
8 useful and you've seen how practice has changed as a  
9 result of that new evidence base.

10 It seems to me that if we now focus in on  
11 the younger patient who is symptomatic to fall back  
12 and say "We don't really have good data in that group  
13 and we're still back to seat-of-pants clinical  
14 judgment" is a an unsatisfactory situation. Now the  
15 data that we have in WHI is actually the best data  
16 available at this point even for that population. It  
17 is the best data.

18 Now for osteoporosis our data are  
19 perfectly consistent with smaller studies. That's not  
20 the issue. The issue is the non-osteoporotic outcomes,  
21 the cardiovascular and the cancer outcomes. Just to  
22 throw it back on the clinician and say "We don't have

1 good data on that so make your own judgment" seems  
2 unsatisfactory.

3 Now it does seem to me that where it's  
4 going now with most bodies and why the self  
5 recommending shortest period of the lowest possible  
6 base is a perfectly sensitive clinical thing to do.  
7 As I say, it makes a lot of sense, but can we be sure  
8 that the adverse effects are in fact less? Now they  
9 probably are less just by virtue of the fact that this  
10 is a younger population and a healthy population so  
11 one could make a very valid argument that the absolute  
12 risks are low.

13 So even if there is a E + P associated  
14 effect, the benefit for symptom relief and  
15 osteoporosis prevention you can assume that the  
16 benefits can outweigh the risks. As we've learned,  
17 assumptions are tricky things. So when people say,  
18 "We really need a large clinical trial to address this  
19 specifically" I must say I personally resonate to that  
20 which is not volunteering NIH to do the trial of  
21 course.

22 CHAIRMAN McCLUNG: All right. Before we

1 take our break, the final formal presentation will be  
2 the FDA review of the WHI data and comments and Dr.  
3 Stadel will lead that discussion.

4 DR. STADEL: As a clarification, my  
5 comments as a reviewer as a large of my job here has  
6 been to work with Dr. Rossouw in communications about  
7 the NHLBI WHI presentation here. I've been  
8 intensively enrolled in reviewing selected parts of  
9 the data. My comments now are really though intended  
10 in a little broader sense. I just reflect for a  
11 moment on the purpose of the trial which was to test  
12 the notion that there was widespread cardio-  
13 protection. It was designed to do that and did that.  
14 The purpose of this meeting is the implications with  
15 regard to the osteoporosis indication for the drugs.  
16 Those two are related but they are not identical.

17 So in going to that focus, let's look for  
18 a moment just as a reminder at what is currently  
19 approved by combination estrogen/progestin drug  
20 products. I merely put this up to emphasize that one  
21 drug product dose was chosen for study. I don't  
22 disagree with that. I just want to emphasize that the

1 class labeling and then the considerations of future  
2 testing apply to a diverse array of doses and  
3 formulations including both the medroxyprogesterone  
4 and 19-nortestosterones in the various doses of  
5 estrogen in the combination products and also in the  
6 estrogen only products, the additional consideration  
7 of transdermal versus oral administration for which  
8 there are various bits of evidence suggesting that  
9 there might be some differences. One of the questions  
10 to the Committee is their deliberations about what  
11 kinds of things should be emphasized in the  
12 development and testing of new products. I'll raise  
13 that as a global comment.

14 Before going ahead, the next slide I'd  
15 like to show is just the drama essentially of the  
16 historical event. This shows total prescriptions per  
17 year for Prempro 2.5 and 5.0. I combined them. It's  
18 mostly 2.5. For the Prempro low dose and for  
19 Premphase also and for the newer formulations also.  
20 Now as you can see, you have this enormous increase  
21 from 1995 on the graph and then in 1998, you have the  
22 publication of the first major paper from the HERS

1 trial. That's where I think you begin to see the  
2 cresting of the wave.

3 The acceleration slows down, tops off and  
4 then in July 3, 2000 you have the second paper from  
5 the HERS, the long term outcome paper and then on the  
6 17<sup>th</sup>, the first paper from the WHI. So you can see on  
7 the national picture the very widespread of use of  
8 this medication. Dr. Rossouw had referred to the  
9 diverse practitioners who were prescribing at the  
10 time. In one area, we have been dealing with a public  
11 health issue having to do with the widespread use of  
12 the drug in an effort to prevent cardiovascular  
13 disease. I think the trial myself accomplished its  
14 goal in that regard and I think the prescribing data  
15 indicate an appropriate response on the part of the  
16 medical profession to learning that the observation  
17 data were not sustained in a large randomized trial.

18 I'd like to now comment briefly on the  
19 breast cancer data because I've been very involved in  
20 discussing this with the investigators. This is a  
21 very simple rendition of what was presented in a far  
22 more elegant and far more statistically rigorous



1 fashion by Dr. Anderson. I put it up this way for a  
2 particular reason and that is to emphasize my view  
3 that absolute differences are the appropriate way to  
4 communicate risk in the clinical application.

5 That relative risk especially when  
6 presented as percentages can easily be misunderstood  
7 by people who do not work with them on a regular  
8 basis. A change from 2:10 to 1:10 and a change from  
9 2:1000 to 1:1000 have the same relative change but a  
10 vastly different meaning. That's a simple statement  
11 but it's one that I recurrently see a problem with in  
12 looking at editorials and the popular and the lay  
13 press information on this topic. I wanted to take  
14 this opportunity to stress it.

15 In the women who had prior use, the top  
16 group here there was an over the trial of 1.22 percent  
17 difference in breast cancer. I've done a very simple  
18 approach to the statistics. I thought that Dr.  
19 Anderson's modeling that used observational techniques  
20 was appropriate to a safety outcome where there are  
21 unexpected things and one has to retrofit. That did  
22 show some rigor in there being a difference between

1 the two groups. I think the difference is fairly  
2 apparent that in the large number of women in this  
3 trial about 74 percent of the patient population. The  
4 duration of exposure only on trial the net was two  
5 percent and not very impressive as a statistical  
6 finding.

7 Now this doesn't contravene that they're  
8 of the notion that it's long term use that matters.  
9 The prior use contributes. And it contributes  
10 something that we don't fully understand. Notice that  
11 the group with the lowest rate was the group that had  
12 the prior stimulus and then went on placebo. One  
13 interpretation is that the prior stimulus had  
14 stimulated cancer in susceptibles and then the  
15 remaining group when they went on placebo were at  
16 fairly low risk. That's a possibility. There are  
17 other possibilities.

18 The highest risk is in women who had prior  
19 use and continued on use. That is entirely consistent  
20 with the notion that very long term use of  
21 estrogen/progestin produces an increase in breast  
22 cancer. There's no disagreement with that.

1                   However I think it's important to note  
2                   that in the women with no prior use the rates are in  
3                   the middle. So there's a message to a very large  
4                   number of women in the country who only use this  
5                   product after it was approved by the FDA and whose use  
6                   would have fallen largely within the duration of use  
7                   accompanied before the trial was stopped. I thought  
8                   it was stopped at the appropriate time in that regard.  
9                   There's a message to those individuals that if they  
10                  have incurred an increase in breast cancer risk it is  
11                  not a very large one and it is not a very clear one.

12                  The next very important issue that comes  
13                  to mind is what happens when women stop. This is of  
14                  great practical importance to women who were taking  
15                  the drug who may have revised their feelings about  
16                  benefit/risk. What happens when they stop? Now this  
17                  is a slide from the Million Women Study that was  
18                  referred to earlier. This is not a trial. It is an  
19                  observational study. I think it's a good  
20                  observational study.

21                  The graph here is one which shows the risk  
22                  in the top for never users as one and for past users

1 by duration of use there is no increase of risk except  
2 for one little blimp at five to nine years. Current  
3 users of estrogen only there is a slight increase in  
4 risk in these data and a much more pronounced increase  
5 with the combination. So in that regard, it's quite  
6 consistent with the experience of the trial.

7 DR. SCHADE: Excuse me. Could you use a  
8 pointer because I can't read the slide from here.

9 DR. STADEL: I'm sorry. I had a lot of  
10 trouble figuring out how to make this. This is never  
11 users. This is past users whose duration was less  
12 than a year. One to four years. Five to nine years.  
13 And greater than ten years. So it's pretty flat.  
14 This is the same sort of data for women who used  
15 estrogen only. One to four years. And at ten year,  
16 there's an increase. It doesn't go up much with  
17 duration sitting around 1.3ish. 1.2 here. 1.25. I  
18 tend to round them off.

19 Now in contrast for the estrogen/progestin  
20 group, it went up from less than one year of 1.45 up  
21 to over 2.0 when you go up to five to nine and greater  
22 than ten sitting out here in these data. Then of

1 unknown HRT, I don't think is entirely relevant to  
2 this discussion.

3 So my main reason for showing this is  
4 twofold.

5 1. The current use findings are  
6 consistent with what's been reported from the  
7 randomized data.

8 2. The past use data are quite flat by  
9 duration of use.

10 I would like to also show the next slide  
11 which is from the same study. This is never users.  
12 This is all current users. This is all past users of  
13 less than five years, five to nine years time since  
14 last user. Less than five years since last use, 1.04.  
15 Within this if you look at less than one year since  
16 last year, the relevant risk is 1.15 and it's  
17 statistically significant reported in the text. Again  
18 I think these findings are consistent with what we're  
19 seeing. It provides some hope for the notion that  
20 when the stimulus is renewed the increase in risk  
21 stops. That we need very much to see more follow-up  
22 of the WHI trial data, but that's the best

1 interpretation I can give at the present time.

2 Also when this was looked at separately  
3 for past use of estrogen only and past use of  
4 estrogen/progestin, there was no increased risk. You  
5 could not isolate that by past use of less than one  
6 year duration in the way they presented the data. So  
7 my only reason in raising this is that the overall  
8 results from breast cancer are rather less frightening  
9 than one would get from reading some interpretations  
10 that I have seen.

11 I'd like to go on now. I only have a  
12 couple more comments. One is a well known element  
13 that needs to be considered in this whole issue.  
14 After menopause, there are many papers showing that  
15 the major source of estrogen after menopause  
16 androstenedione mostly secreted from the adrenals and  
17 and aromatized to estrone which then equilibrates with  
18 estradiol. It's in adipose tissue. I think most  
19 people believe it's in the stromal cells where  
20 aromatized enzymes are located.

21 It is widely believed in many papers that  
22 this accounts for the positive association between

1 post menopausal breast cancer and obesity. Why is  
2 this important? It's because the amount of estrogen  
3 that women make after menopause depends on their  
4 amount of adipose tissue and the functionality of  
5 their aromatizing enzymes. So if you give a specific  
6 dose of estrogen to someone who has estrogen, you  
7 could expect clinically that you might get a different  
8 response than if you give that same dose of an  
9 estrogen to someone who doesn't have estrogen.

10 Now I'm going to go to my last view here  
11 and this is something I very much hope that I'll hear  
12 opinion from members of this Committee from your  
13 endocrine backgrounds and others. These are just two  
14 references that I pulled out that relate to this  
15 issue. In particular, Cummings, et al. using the  
16 osteoporotic cohort study did an investigation in  
17 what's called a case cohort analysis, a technique  
18 that's not terribly important here. But what they  
19 said basically was points straightforward. They  
20 measured serum estradiol levels and the really high  
21 risk of fracture was in people who had virtually  
22 undetectable levels.

1           So I'd ask a question here. We're talking  
2           about "What should be done to develop new products".  
3           Should this include an effort to more highly define  
4           the indication for treatment with hormones. There are  
5           various reasons bone density may be low. One of them  
6           certainly is low estrogen but should we be working  
7           people up with hormone measures at baseline at least  
8           initially in more studies and potentially clinically?

9           The converse of course since this is a  
10          well existed literature and I've just cited one  
11          article which is compatible with the notion that the  
12          increase in breast cancer after menopause is very  
13          related to the increased BMI and there's a large  
14          literature relating this to the increased production  
15          of endogenous estrogen. So then one would say that  
16          giving more estrogen to someone who already has enough  
17          might not be a wise idea. Those are really my only  
18          contributions I hope to this meeting.

19          I will make a very brief comment about the  
20          WHIMS study, only to mention that there is some  
21          indication that endogenous estradiol estrogen in women  
22          is related to the risk of vascular dementia.



1 Cerebrovascular changes are recognized as contributing  
2 to Alzheimer's Disease ("AD"). This is discussed by  
3 Dr. Schumaker in the WHIMS paper and also by Dr. Katz  
4 who might comment if needed on the specific review of  
5 the WHIMS trial by the FDA. Lastly in an autopsy  
6 study, it was found that vascular changes in the  
7 absence of AD were present in patients with histories  
8 of dementia.

9 I put this together to say that vascular  
10 disease may be contributing more here than immediately  
11 apparent. That's important because if we tailor the  
12 doses of estrogen and the doses and types of  
13 progestin, we'll more likely be able to control any  
14 contribution of exogenous treatment to vascular  
15 disease than to other types of dementia. Thank you.

16 CHAIRMAN McCLUNG: All right. Thank you.  
17 Questions or comments or clarifications for Dr. Stadel  
18 from us? If not, let me suggest that we take a 15  
19 minute break and to be back at 3:05 p.m. We will  
20 embark upon our deliberation among ourselves. Thank  
21 you. Off the record.

22 (Whereupon, the foregoing matter went off

1                   the record at 2:50 p.m. and went back on  
2                   the record at 3:10 p.m.)

3                   CHAIRMAN McCLUNG: On the record. So we  
4                   have completed the formal presentations by those who  
5                   were invited or who asked to be a part of the  
6                   presentation. The remainder of the meeting will be  
7                   focused on a discussion among the Committee members to  
8                   share ideas with each other and to address some of the  
9                   specific issues that were posed to us by the FDA.

10                   We're happy to have the audience stay but  
11                   there won't be the opportunity for audience members to  
12                   make comments or presentations unless we, the  
13                   Committee, have some specific issues of clarification  
14                   from either the WHI group or the group from Wyeth. To  
15                   start this session, let me invite Dr. Orloff to make  
16                   comments again and to provide us our charge.

17                   DR. ORLOFF: First of all, I see that most  
18                   of the WHI team has departed. I want to thank the  
19                   doctors who are staying and make sure that you all  
20                   thank the rest of the group for their input. I guess  
21                   I should also comment that never let it be said that  
22                   we "slow-pitch" our advisory committee. This is an

1 extremely complex issue. Also let it never be said  
2 that the FDA's job is an easy one. And with that,  
3 charge. No.

4 This has truly been a fascinating day and  
5 a unique one in bringing together the group of  
6 investigators of a landmark trial and obviously an  
7 extremely important and high profile public health  
8 area to present face-to-face the results, up-to-the  
9 minute and on-going plans for their study to our  
10 Advisory Committee and to have interested public as  
11 well as the particular interested pharmaceutical  
12 sponsor, the most interested perhaps be here to  
13 comment as well.

14 We have really two central issues that  
15 we'd like to hear more comment on. The first one  
16 relates to essentially your satisfaction, your  
17 consideration of the accuracy and appropriateness and  
18 completeness of the labeling changes that have been  
19 made to the labeling for this class of drugs after the  
20 WHI. By and large, the discussions on both sides up  
21 to this point, by the WHI group and by Wyeth, pretty  
22 much inform directly your discussion on that issue.

1 So we really need some more direct input. That might  
2 go fairly quickly I would anticipate.

3 The other one is a much more complicated  
4 issue. That has to do with the true intent of the  
5 meeting which is the implications of the WHI and its  
6 results for the future vis á vis this class of drugs  
7 particularly related to the clinical development of  
8 these drugs for use in post menopausal women. What  
9 we're asking for is some comments on everything from  
10 endpoints to inclusion criteria to duration of trials  
11 to size of trials to whatever else you may want to  
12 speculate on. I'll leave it at that. Thank you.

13 CHAIRMAN McCLUNG: Thank you. And I  
14 propose that we deal with those in order. So let me  
15 ask the Committee to share with me your thoughts and  
16 comments about the first issue which was your feelings  
17 about the revisions and the current prescribing  
18 information for Prempro that's been provided to us and  
19 has been presented today. Are there specific comments  
20 to make about that?

21 DR. SCHAMBELAN: I could continue the  
22 baseball metaphor. Unfortunately the people in the

1 San Francisco Bay area got used to fast pitch baseball  
2 the last weekend and so I guess I don't have to watch  
3 much more television for the next couple of weeks.

4 The question I had about the prescribing  
5 information really focuses on a point that was raised  
6 just before our break and actually directed to folks  
7 at Wyeth about the subtlety of the language in point  
8 three under indications and usage about the careful  
9 consideration of non-estrogen medications versus a  
10 requirement that another medication be tried. I'm not  
11 sure that I have a specific recommendation to make,  
12 but it seems to me of all the recommendations I've  
13 seen here that's the one that strikes me where we need  
14 the greatest amount of thought.

15 I come from the land of Grady and Cummings  
16 and Holly and Black and this has been discussed  
17 obviously since the HERS and WHI trials have come out.  
18 The focus of these individuals has been to recommend  
19 other therapies before and this is in an asymptomatic  
20 patient we're talking about now which would be  
21 presumably point number three for the prevention of  
22 post menopausal osteoporosis. From my point of view

1 in terms of recommendations, that's where this  
2 discussion could best go.

3 CHAIRMAN McCLUNG: Dr. Bone.

4 DR. BONE: Thank you. We certainly want  
5 to commend the investigators for managing an enormous  
6 amount of information. Their forthright recognition  
7 that the osteoporosis related questions were fairly  
8 far down the list in the considerations of the study  
9 design. We have to recognize the challenges to the  
10 FDA in calculating things like risk/benefit balance  
11 from a regulatory standpoint when we are dealing with  
12 information that wouldn't really be considered - I  
13 don't mean this with any disrespect at all for the  
14 work that was done - an adequate and well controlled  
15 trial for the indication, prevention or treatment of  
16 osteoporosis.

17 The patients weren't selected on the basis  
18 of their risk for those conditions. The endpoints  
19 that were measured were fracture but we don't have  
20 comprehensive bone density data. We don't have  
21 turnover markers. We don't have a lot of the  
22 information that we would want to use to relate the

1 risks and benefits. We have a risk scale which  
2 appears to give a relatively shallow gradient between  
3 the highest and lowest tertile. But with that  
4 gradient, it looks as though most of the disadvantage  
5 to being treated is abolished in patients who have  
6 somewhat higher risk of developing an osteoporotic  
7 fracture.

8 I actually think that the Agency has done  
9 a good job of incorporating this information and the  
10 company into the current labeling. It's going to be  
11 difficult to improve on this very much without having  
12 the kind of more precise estimates of effectiveness  
13 for one thing that we would drive in a purpose-built  
14 trial. We're getting to the point where we're pushing  
15 it pretty hard to try to see more than has been said  
16 with some possibility of some nuances.

17 The suggestion that a twofold increase in  
18 the risk of fracture is somewhere near the breakeven  
19 point which is tantalizing as something that might be  
20 incorporated into labeling but I think it's pretty  
21 soft. I'm not sure that I could make that  
22 recommendation. I really think that when we start

1 looking at the limitations of the way in which this  
2 enormous undertaking specifically addresses the  
3 questions that we're dealing with, I'm not sure we can  
4 add a great deal.

5 CHAIRMAN McCLUNG: Other comments? Dr.  
6 Follman.

7 DR. FOLLMAN: Yes, I have a question about  
8 the labeling. It's more my ignorance of this area.  
9 But there's this one phrase "should be prescribed at  
10 the lowest effective dose for the shortest possible  
11 period to achieve treatment goals." I wondered what  
12 "achieving treatment goals" means for osteoporosis.  
13 Does it mean that you have a target-free BMD and you  
14 try and achieve that target and so it sounds like the  
15 therapy could go on forever. I don't know what are  
16 the treatment goals for using this for osteoporosis.  
17 I think if I understood that better I'd have a better  
18 handle on what the duration might be and other points.

19 DR. ROSEN: Mike, can I comment?

20 CHAIRMAN McCLUNG: Sure.

21 DR. ROSEN: Yes, I think that's the  
22 problem in clinical practice. I think we don't have



1 good endpoints. We use bone density as a surrogate  
2 marker but I'm not so sure that it's the endpoint that  
3 we should be looking at. We have women that fracture  
4 on estrogen whose bone density goes up. That clearly  
5 can be misleading and that's a big part of the  
6 problem.

7 When we're talking about the indication  
8 labeling here particularly for prevention which has to  
9 be highlighted not treatment of osteoporosis where we  
10 have endpoints such as fracture, we're in a real gray  
11 zone in terms of what prevention outcomes should be.  
12 Should it just be bone density? Well, 40 percent of  
13 women taking calcium and vitamin D will maintain their  
14 BMD two or three years after menopause. This is a  
15 real gray area that we haven't established in our  
16 "osteopenic population" and that's what makes it very  
17 difficult for you as well as for us who are dealing  
18 with it on a regular basis.

19 DR. BONE: Could I just respond to that?

20 CHAIRMAN McCLUNG: Yes, Dr. Bone.

21 DR. BONE: One of the things here is when  
22 we're talking about prevention "Do we mean

1 stabilization of osteopenia or do we just mean  
2 prevention of any loss whatsoever?" We could argue  
3 that a person whose T-scores averaged plus one who  
4 took a drug and didn't drop over the next 20 years had  
5 prevention of osteoporosis. And she might have, but  
6 another way to look at this is to identify a patient  
7 with increased risk of developing osteoporosis and  
8 then modify that risk in some measurable way. Maybe  
9 that's something that we should be clarifying.

10 I don't think that's something that is a  
11 response of the Agency in the labeling of this  
12 particular medication in response to this particular  
13 set of information but as a general approach that  
14 bears on the next question of going forward. How we  
15 understand ourselves to be preventing osteoporosis or  
16 preventing post menopausal bone loss and how those two  
17 slightly different objectives interrelate is going to  
18 have tremendous implication especially for issue like  
19 risk/benefit analysis.

20 CHAIRMAN McCLUNG: My personal view about  
21 the labeling and indications is that of Dr. Bone.  
22 It's truly hard to get better than we are. The issue

1 of what the endpoint is for prevention is well taken.  
2 While we know that bone density is a very powerful  
3 predictor of fracture risk in untreated patients, the  
4 relationship between the magnitude of the change in  
5 bone density in response to any therapeutic  
6 intervention and the reduction of fracture risk is  
7 less well defined. So it is a hypothetical model in  
8 our head as we imagine that if we preserve bone mass  
9 and prevent the loss of bone architecture and the  
10 deterioration of bone quality that it would make  
11 things be better. But those aren't measurable  
12 endpoints or outcomes.

13 The issue about what to do with non-  
14 estrogen medications and whether they should be used  
15 first or recommended first is more difficult in my  
16 view for two reasons. One is the WHI has given us  
17 this huge set of information with a very large  
18 clinical trial of 16,000 women followed for five  
19 years. So we have 80,000 patient years to deal with.  
20 No other osteoporosis alternative, non-estrogen  
21 alternative, be it a SERM or bisphosphonates has that  
22 kind of information. While we are more confident

1 about the risks associated with longer term estrogen  
2 use, I personally am less confident about the risk  
3 profile of long term use of these other agents too.  
4 So we're not quite comparing apples and apples in that  
5 regard.

6 Lastly if we require that somebody be  
7 treated with another drug first and then fail, the  
8 definition of failure of therapy is an unknown issue  
9 too. Having a fracture on bisphosphonate therapy or  
10 raloxifene therapy or estrogen therapy isn't evidence  
11 of treatment failure because the drugs don't cure  
12 osteoporosis. They just reduce risk. The absence of  
13 fracture doesn't mean that the drugs are effective so  
14 we don't have a way to decide whether a patient has  
15 failed on therapy or not which would make it even more  
16 confusing from a clinical standpoint.

17 So from an indication standpoint, my  
18 personal view is that the changes that have been made  
19 of clarifying that the use of Prempro is for the  
20 prevention of osteoporosis, not for the treatment of  
21 osteoporosis was helpful. That it was recommended  
22 only for women at significant risk. Trying to define

1 that risk more specifically in the context of a label  
2 is really difficult and that's not been imposed upon  
3 any other therapy for osteoporosis. That the reminder  
4 that there are alternatives now for the prevention of  
5 bone loss is included in the statement already.  
6 That's made great progress with the changes that have  
7 been made this past year. Dr. Bone.

8 DR. BONE: Actually one comment that was  
9 made by Dr. Colman I think was kind of provocative.  
10 That was if we were in a better position to assess the  
11 risk/benefit relationship some of the newer data  
12 showing a reduction in hip fracture would actually  
13 support even a specific treatment indication.

14 But the problem as pointed out by a number  
15 of the WHI group is that we don't have the analysis  
16 at least at the moment to look at things like the  
17 effect on cognition in the same population that's at  
18 the highest risk for fracture. So we come back to the  
19 point I was making earlier about trying to go from an  
20 all-purpose trial to a very specific kind of  
21 information. This is one of the places where I'm not  
22 sure we can make that step.

1                   CHAIRMAN McCLUNG: Other comments about  
2 the current labeling issue? Dr. Woolf.

3                   DR. WOOLF: I personally like the wording  
4 on the third bullet point. Clearly physicians need to  
5 know what their options are. While clinical trials  
6 are meant to give us population risk, what is the  
7 appropriate treatment for a woman with significant  
8 breast family history and coronary artery disease  
9 clearly may be very different than somebody who has no  
10 family history of breast cancer and no family history  
11 of coronary artery disease and who has some vague GI  
12 problems. This gives sufficient information to  
13 physicians to take all these individual things into  
14 account and decide what treatment is best for the  
15 patient for osteoporosis.

16                   CHAIRMAN McCLUNG: There are indications  
17 for therapy but the indications are like this. They  
18 are indications for diseases and for problems in  
19 general. They don't usually define how that diagnosis  
20 is made which gets to the point of trying to attempt  
21 to define which patient would be the candidate for in  
22 this case estrogen/progestin therapy. This is not

1 what's usually done in the way we're given information  
2 as clinicians about that. Is my assessment correct?

3 DR. ORLOFF: I think your assessment is  
4 correct. You know the hardest part about labeling a  
5 drug is to -- Put it this way. We can never expect or  
6 even hope to fully direct the practice of medicine via  
7 a drug label nor do we think that it's a good thing.  
8 As has been stated many times, the practice of  
9 medicine although we like it to be evidence-based and  
10 as Dr. Anderson has said particularly in the area of  
11 prevention that has to be based upon population  
12 studies. Nevertheless when we do take care of  
13 patients, it's one-on-one.

14 That said, the purpose of the label is to  
15 convey throughout the extent of the label with a  
16 particular focus within the indications and usage  
17 section that information on expected benefits and  
18 risks within the context of use in the proposed target  
19 population. We wind up hedging a lot and the way we  
20 structure these indications fall short for example of  
21 using the term "second line therapy" but logic directs  
22 that the intent here is that the only primary

1           indication for this use of this product at this point  
2           is for the treatment of vasomotor symptoms because as  
3           has been stated here I guess here and elsewhere that  
4           this is really the only viable therapy for that aspect  
5           of the post menopausal condition in women. We go on  
6           to say then as is clear that if you are treating  
7           because you want to direct an intervention towards the  
8           other known expected benefits of in this case estrogen  
9           plus progestin, think about what your other options  
10          are because on balance, we cannot tell you across the  
11          board that you can expect benefits that outweigh  
12          risks.

13                           CHAIRMAN McCLUNG: Dr. Schade.

14                           DR. SCHADE: I may be the only one who  
15           doesn't like this labeling. I'm convinced that  
16           everybody at this table who sees patients and I see  
17           patients would make the right choice. What bothers me  
18           is many physicians at least at my institution wouldn't  
19           have the background and knowledge of this whole trial  
20           and hear this type of discussion.

21                           I actually think point number three here  
22           where we're talking about prevention of post



1       menopausal osteoporosis doesn't really help the  
2       physician enough. In other words, the minimum I would  
3       do is to extend the last sentence where it says "When  
4       prescribing solely for the prevention of post  
5       menopausal osteoporosis, therapy should only be  
6       considered for women at significant risk for  
7       osteoporosis and non-estrogen medications should be  
8       carefully considered." I would add something to the  
9       nature that "particularly in patients with a family  
10      history of breast cancer, with cardiovascular  
11      disease," etc. The things that we're worried about.

12                 I would simply extend that sentence to be  
13      more helpful to the general practitioner who hasn't  
14      heard a day long discussion of the WHI. I think that  
15      this is not specific or detailed enough to be very  
16      helpful.

17                 CHAIRMAN McCLUNG: Dr. Lukert.

18                 DR. LUKERT: But do we really know that  
19      the people who are most likely to have these adverse  
20      effects when given estrogen were people with a family  
21      history and the other risk factors? It was my  
22      understanding that it really wasn't the case. Maybe

1 it wouldn't be accurate to say that we could limit  
2 this to worry about the people who had these  
3 particular risk factors. It seems to me that this  
4 insert adequately -- anyone who reads it -- If they  
5 don't read it, there's nothing that we can do about  
6 it. But a physician or patient who reads this - in my  
7 experience, the patients read them rather consistently  
8 - the dangers are going to be emphasized to them and  
9 they are going to understand that this is a drug with  
10 considerable risk as well as benefits. I think it's  
11 fairly well balanced in that regard. I'm not sure  
12 that we will be giving them accurate information if we  
13 add those risk factors. I'm not sure that increasing  
14 their susceptibility is the adverse effect in response  
15 to estrogen although we would expect it to be global.

16 DR. ORLOFF: I want to make a quick  
17 comment if I might of clarification.

18 CHAIRMAN McCLUNG: Yes.

19 DR. ORLOFF: Because I do agree with Dr.  
20 Lukert. Unfortunately drug labels are long and they  
21 must be read to be understood. That's a whole other  
22 discussion. But as I said the label in toto addresses

1 expected benefits and risks when used in the proposed  
2 target population. By and large, the indications  
3 reflect expected benefits. Elsewhere in the label you  
4 see the risks and indeed in the sections directly  
5 following indications, there are contraindications  
6 which are the strongest recommendations against the  
7 use of the drug for safety reasons. Then those are  
8 followed by warnings and by precautions.

9 Based upon the results of the WHI, the  
10 warnings and precautions sections of this label have  
11 been changed to add additional information about the  
12 overall risks of the product. And those risks need to  
13 be taken into account when you're sitting across or at  
14 the bedside of the individual patient and making the  
15 consideration about on the one hand whether their risk  
16 for osteoporosis which isn't something you read from  
17 this label, but whether they're at risk for any of the  
18 known potential adverse effects of this drug that your  
19 gestalt would alter your impression of the overall  
20 balance of risk and benefit.

21 CHAIRMAN McCLUNG: Mr. Follman.

22 DR. FOLLMAN: I'd like to talk about the

1 table on page 18 of the insert which goes into the  
2 relative and absolute risks for the various events  
3 comprising global index. I actually like this with  
4 displaying the data. I thought it laid it out in a  
5 lot of its complexity. It showed the pros and cons.  
6 The relative risk numbers are useful to the population  
7 and would be appropriate here in that we usually in  
8 clinical trials think the relative risk for the entire  
9 study is appropriate for all subgroups. That feeling  
10 has been justified by all the analyses that were done  
11 today where we show that the relative risk for the  
12 most part if not entirely were consistent across the  
13 wide variety of subgroups.

14 When you talk about absolute risk though,  
15 the story is a little different. The absolute risks  
16 in this table are for the entire WHI cohort. If we're  
17 thinking of osteoporosis specifically, I'm imagining  
18 this is going to be prescribed for women who are at  
19 high risk for hip fracture. If that's the case, then  
20 these absolute risks given in this table probably are  
21 too low and don't quite fully reflect the benefit you  
22 might get from hormone replacement therapy.

1           I did a little rough calculation based on  
2           Dr. Cauley's article where she looked at the risk of  
3           hip fracture as a function of this risk score. She  
4           showed that overall the relative risk was similar but  
5           there's a huge gradient in the risk of hip fracture as  
6           a function of this risk score. At the highest  
7           tertile, instead of expecting hip fractures in the  
8           placebo group for 10,000 person years, it would be  
9           more like 65 and for the estrogen replacement therapy,  
10          it would be more like 45 instead of 10. So instead of  
11          a difference of five, it would be something more like  
12          20. This isn't actually an exactly correct number  
13          because I couldn't do the exact calculation based on  
14          the information in the JAMA article.

15                 But the larger point is whether we should  
16          give more specific information regarding absolute  
17          risks in aiding the decision. We're trading off risk  
18          and benefit here. We're thinking about absolute risk  
19          for each individual decision and more precise  
20          estimates and more tailored to the individual would be  
21          helpful.

22                         CHAIRMAN McCLUNG: My comment about that

1 is that there are ways that people can find out what  
2 absolute risk is. There are a variety of studies that  
3 had helped us to do and there's a move afoot among the  
4 osteoporosis and bone density community to move away  
5 from expressing bone density values in terms of T-  
6 scores and absolute values, but rather to express them  
7 in terms of absolute risk that incorporates at least  
8 three important dimensions, BMD, age and previous  
9 fractures. So determining the absolute risk in an  
10 individual is an important clinical objective. It's  
11 hard to figure out how to do that in the context in my  
12 view of a specific label that is for one particular  
13 drug. That needs to be a part of the educational  
14 process that we collectively engage in to deal with  
15 improving the understanding of osteoporosis, its risk  
16 and circumstances across the entire population. Dr.  
17 Bone.

18 DR. BONE: Just further to Dr. McClung and  
19 Dr. Follman's comments. If we were truly going to try  
20 to identify a group at what for those of us who make  
21 a large part of our effort in osteoporosis area we  
22 consider high risk, first of all, the risk gradient

1 would be a lot higher than even you're describing.  
2 Secondly, we'd be talking about an indication for the  
3 treatment of osteoporosis which is not part of the  
4 label. We go around in a circle there because once we  
5 start talking about people with a higher risk of hip  
6 fracture, we're talking about a disease for which the  
7 drug isn't actually approved at the moment. I'm not  
8 disagreeing with you. I'm just saying it takes us to  
9 a strange place.

10 CHAIRMAN McCLUNG: Let me attempt to  
11 summarize then what I sense is a prevailing comment.  
12 Let me see if I can do this in the right way. The  
13 current label for the combination estrogen/progestin  
14 that was studied in the WHI has been upgraded and  
15 changed substantially in two separate steps, first on  
16 the basis of results from the HERS trial and then more  
17 recently with the results from the WHI data.

18 The changes that have been made accurately  
19 reflect the information that was provided to the  
20 academic community from those two trials and has put  
21 the use of the medication for the prevention of bone  
22 loss and osteoporosis in a different perspective than

1       existed before. Changes that have been made have been  
2       very useful and positive. Collectively, we can't  
3       think of a better way to express the information than  
4       is stated in the third indication that specifically  
5       focuses on the use of Prempro for the prevention of  
6       osteoporosis.

7               We all recognize that none of this is  
8       perfect and this requires understanding in the  
9       background that's in the rest of the package insert  
10      that has to do specifically with the contraindications  
11      and the other risks that have been described and that  
12      are outlined in subsequent paragraphs. I personally  
13      think that it's not possible to incorporate all of  
14      that information into a succinct paragraph under the  
15      indication and usage circumstance.

16             With that, let me propose that we move on  
17      to the second issue which let me restate it. We're  
18      asked to discuss the implications of the WHI trial  
19      results for the future development, testing and  
20      potential approval of estrogen plus progestin drugs  
21      products for the prevention and/or treatment of post  
22      menopausal osteoporosis. We will expand the



1 discussion beyond what we've worked on. Who would  
2 like to open that discussion?

3 DR. FOLLMAN: I guess one thing that was  
4 talked about consistent with the labeling is lower  
5 dose, shorter duration. I can see that there will be  
6 movement towards doing studies like that where you  
7 have low dose and you'll look at probably a surrogate  
8 endpoint bone mineral density say and see whether that  
9 differs from placebo or not.

10 I worry a little bit about that. This is  
11 consistent with a point that I made earlier that you  
12 could show that there's a difference at a very low  
13 dose between placebo and the treatment in terms of  
14 bone mineral density but it might not be efficacious  
15 in terms of clinical endpoints preventing fractures of  
16 different types. If you are doing such studies you  
17 should probably be mindful of that and want to have a  
18 lowest dose that still gives you what you guess is a  
19 clinically meaningful benefit. By "guess" I guess I  
20 mean that you would use observational data correlating  
21 BMD with the probability of fracture and have some  
22 comfort that the difference in BMD would translate

1 into a clinical benefit. I'm just wary of going as  
2 low as you can.

3 CHAIRMAN McCLUNG: Dr. Lukert.

4 DR. LUKERT: I think that if you could  
5 have done whatever you thought would be most helpful  
6 it would be to look at transdermal estrogens as  
7 opposed to oral because of the effects of the first  
8 pass through the liver, of the effects on coagulation  
9 factors and upon the precursors of angiotensin. Those  
10 all have such vascular implications plus the effect on  
11 growth factors produced by the liver and the potential  
12 implications on those. One of our areas of  
13 investigation should be other forms of delivery.

14 As far as the implications are concerned,  
15 I'm just delighted when I see a patient come in with  
16 such profound vasomotor systems that she has to be  
17 treated with estrogen. I know that at the same time  
18 that this will give us some time to improve her bone  
19 metabolism while we're waiting for her get over her  
20 vasomotor symptoms. Otherwise, we're ethically on  
21 sort of shaky ground given the data we have with  
22 evidence based medicine to use estrogen as a primary

1 form of treatment or prevention of osteoporosis.

2 CHAIRMAN McCLUNG: Dr. Woolf.

3 DR. WOOLF: I think if we learn anything  
4 from HERS and WHI it's that we need hard endpoints and  
5 not surrogate endpoints. Any future estrogen trial  
6 have hard endpoints, fracture data, current and  
7 adverse events and we can't use surrogate endpoints  
8 because they led us astray for God knows how long.  
9 They'll make these trials very long and make them  
10 complex and make them expensive. But I don't see any  
11 alternative.

12 DR. BONE: Can I just respond to one  
13 point?

14 CHAIRMAN McCLUNG: Sure. Dr. Bone.

15 DR. BONE: I think if we look at the  
16 indication treatment of osteoporosis that's one point.  
17 But if we're talking about prevention of osteoporosis,  
18 we're talking about starting with a patient population  
19 at a very low risk of having a fracture in which we  
20 hope to see that the risk does not increase. It  
21 becomes a prohibitive problem to try to see a  
22 difference in fracture rate in the prevention

1           indication. That's the subject of a lot of discussion  
2           and writing as you know. It's the reason why the  
3           endpoints are what they are in the current guidance as  
4           to use of bone density to show prevention of post  
5           menopausal bone loss. The distinction there is  
6           between treatment of osteoporosis and prevention of  
7           post menopausal bone loss.

8                         CHAIRMAN McCLUNG: Dr. Woolf, you're going  
9           to respond to that.

10                        DR. WOOLF: I agree but these other  
11           endpoints are going to take some time and the adverse  
12           events. Typically the prevention trials have been two  
13           to three years and these other things are going to  
14           five or six years to develop which may give you enough  
15           time for those factors. The WHI also showed us that  
16           these are very potent drugs to prevent fractures. The  
17           question is can we leverage in future years to come up  
18           with a dose of estrogen and delivery system for  
19           estrogen that gives us the bone benefits without the  
20           cardiovascular and CNS detriments. The only way to do  
21           that is time and obviously enough patients, but some  
22           of the bisphosphonate trials were three or four

1 thousand patients so they are getting up there.

2 CHAIRMAN McCLUNG: Nine thousand patients.

3 DR. WOOLF: Even better.

4 CHAIRMAN McCLUNG: Right.

5 DR. CARPENTER: I can only echo the  
6 comments made by Barbara and others to pursue for  
7 future investigation both dose and delivery  
8 mechanisms. I think weighing risk and benefit in the  
9 lower doses is clearly an important strategy and one  
10 that in fact with the data coming in through post  
11 marketing would be highly encouraged to aggressively  
12 collect already at this point in time. I also would  
13 being in the role of a pediatrician having to use many  
14 drugs off-label and look at other situations in which  
15 these medications are used perhaps on but also off-  
16 label and that is the life-long effects of using these  
17 medications in women with premature ovarian failure  
18 for various reasons and that data is a smaller set but  
19 clearly everyone is applying data from studies such as  
20 WHI and others that we've heard about today  
21 extrapolating it to long term use. I think we really  
22 don't have that data. It's an important area to

1 pursue.

2 CHAIRMAN McCLUNG: Dr. Rosen.

3 DR. ROSEN: Thank you. One of the areas  
4 that I feel uncomfortable about in practice and also  
5 in research is predicting who is going to go on to  
6 sustain rapid bone loss. This is an area although we  
7 use bone density as a marker, we don't have the large  
8 scale trials to actually tell us what the predictive  
9 factors are. So if you knew a woman who walked in at  
10 50 with a T-score of -1 was not likely to lose a lot  
11 of bone versus somebody who walked in and they only  
12 had a five percent bone loss as Claus has shown that  
13 some subpopulations do have those rapid rates of bone  
14 loss, those are clearly individuals that might be  
15 targeted for short-term, low dose therapy.

16 The truth of the matter is the markers  
17 have not done a very good job certainly not in  
18 practice of predicting that. We're getting to an era  
19 now where it's open for the NIH and other non-  
20 commercial entities to consider supporting this kind  
21 of investigation looking at proteomics, trying to  
22 predict through protein markers what are the factors

1 in post menopausal women that might potentially  
2 predict their subsequent rate of loss or fracture as  
3 well as genetic studies which are just starting to do  
4 in WHI.

5 This is an area of investigation that we  
6 still don't have a real good handle on and I'm afraid  
7 in clinical practice we have a very poor handle on it.  
8 We use bone density, T-scores of -1, but how that  
9 translates into those people five years down the road  
10 still is problematic. That's an area that we really  
11 would need tied to possibly to a prevention trial with  
12 our surrogate markers. It's going to be impossible to  
13 do a fracture study with young post menopausal women  
14 because their absolute risk of fracture is so low.  
15 But we could use another type of trial to pick up  
16 risks of rapid bone loss certainly that is a surrogate  
17 for some aspects of changes in bone quality.

18 CHAIRMAN McCLUNG: Right. Just to  
19 comment, there are some data about that. In the EPI  
20 trial for example with the large population, the only  
21 two things that we've been able to demonstrate  
22 predicated rates of bone loss were body size and how

1 close they were to menopause. The distribution of the  
2 rates of loss was actually amazingly tight. There  
3 weren't a big subset of fast losers and other who  
4 didn't lose at all. It was tightly grouped about  
5 that.

6 Of course, that applies not just to this  
7 drug but to any choice of therapeutic intervention for  
8 prevention. So defining who the person is to initiate  
9 pharmacologic intervention is a general question that  
10 the clinical community is still grappling with and  
11 which individual would be candidate for estrogen as  
12 opposed to an alternative is a subquestion under that  
13 big umbrella.

14 DR. ROSEN: Sorry, Mike. I just wanted to  
15 add that part of the problem may be that we don't have  
16 the right markers yet to predict that. That's an area  
17 of active investigation that we should consider.  
18 There are a couple of different new markers coming out  
19 or need to be explored and those are the kind of  
20 investigations we need to take up.

21 CHAIRMAN McCLUNG: Sure. Dr. Bone.

22 DR. BONE: One of the things that we



1 normally do as endocrinologists is try to achieve very  
2 consistent and precise control of the level of  
3 whatever hormone it is that we're administering. I'm  
4 not sure we've done as much about that in this area as  
5 we could have. Dr. Lukert's, Dr. Rosen's and Dr.  
6 Stadel's comments all make the point that first of all  
7 some of the earlier studies that were done looking at  
8 the serum estradiol levels required to stabilize bone  
9 mass might be revisited with more sensitive testing to  
10 see if much of the benefit could be achieved at  
11 somewhat lower serum estradiol levels.

12 Selby's paper looked like something like  
13 45 picograms per mL or something seemed to be  
14 effective in just about everyone. There may be some  
15 individual interactions that could be in part  
16 genetically determined on that basis. Maybe there's  
17 a group that requires a lower dose where 14 picograms  
18 per mL of estradiol is just fine depending on what the  
19 SHBG is or something.

20 But this is what endocrinologists do.  
21 This is more challenging in the case of CEE because  
22 this is a mixture of the ingredients each of which is

1 going to be metabolized differently. I don't think I  
2 can add anything to the discussion that Dr. Woodcock  
3 gave last year at the NIH meeting on it where she  
4 discussed this whole topic fairly thoroughly, but the  
5 point being that it's more complicated with CEE. If  
6 we were talking about serum estradiol or could pick  
7 out what it is and we really had to be concerned with  
8 and then could thread the therapeutic needle so to  
9 speak, we might find ourselves able to be on the right  
10 place on dose response curve for a desirable effect  
11 with getting too far up the adverse effect curve.

12 I suspect that the dose response curve is  
13 somewhat plateau-shaped as is usually the case. And  
14 that is as often the case, the adverse event curve may  
15 not be. We may find that really understanding the  
16 endocrinology of post menopausal women better and what  
17 our targets are that we should be trying to achieve in  
18 order to mitigate this rate of loss could really put  
19 us into a more elegant, more endocrinologic approach  
20 to solving some of these problems.

21 CHAIRMAN McCLUNG: Other comments? Let me  
22 add a couple of my own. The question of what these

1       implications are for the development of other  
2       estrogen/progestin products for osteoporosis  
3       management, both prevention and treatment, really is  
4       broken down into at least three discrete categories.  
5       We met a year ago to review the guidelines again about  
6       the prevention and treatment indications in the  
7       guidance and the types of trials, the types of  
8       endpoints that were there.

9               Those guidance points have served us I  
10       think extremely well for the last ten years or so.  
11       The distinction of preventing bone loss in low risk  
12       populations with bone density as the primary endpoint  
13       still makes good sense until we've had some other  
14       better determinant of bone strength and bone  
15       architecture and bone quality. As we develop new  
16       imaging studies and new techniques, we may move away  
17       from simple bone density to the more sophisticated  
18       endpoints. To require fracture as an endpoint in  
19       studies where the idea is simply to prevent and  
20       stabilize the skeleton will be beyond the scope of  
21       what anyone can do.

22               For the treatment indication, we all agree

1 that surrogate endpoints aren't sufficient and that  
2 documenting fracture risk is necessary to do that.  
3 Those are already embodied and codified in the current  
4 guidelines. Whether we are talking about  
5 estrogen/progestin drugs, estrogen only drugs,  
6 different routes of administration, different doses,  
7 non-estrogens, all those still fall under that same  
8 rubric.

9 The major issue or another issue though  
10 that makes estrogens be unique is their risk profile  
11 and dealing with evaluating whether different doses,  
12 different preparations, different routes of  
13 administration have differences in risks is a  
14 different both investigative and certainly a different  
15 clinical question to address and may take a great deal  
16 longer time to do. It may not be practical to include  
17 in one study particularly if we're talking about  
18 prevention indications the efficacy endpoints on the  
19 one hand and the entirety of the safety endpoints that  
20 one would like to see and to demand.

21 There are already in the current label for  
22 this preparation and now expanded to the other

1       estrogen preparations the concerns and statements  
2       about risks that are extrapolated from the WHI. My  
3       thought is that for a drug to be approved for the  
4       prevention of osteoporosis it could still get there by  
5       the same route to be distinguished as being different  
6       in terms of its risk profile could be addressed in a  
7       separate question.

8               For an estrogen or an estrogen/progestin  
9       preparation to be assumed to be in the same category  
10      is maybe the most straightforward or the most sound  
11      place to start and require that drugs do the studies  
12      to distinguish themselves from the risks that are  
13      embodied in the WHI. That would be a different type  
14      of study that would change the contraindications  
15      and/or the risks but wouldn't change the indication.

16             The third piece of that is that it would  
17      be really helpful if we could work at identifying the  
18      right people, the ones at risk and whether it's  
19      estradiol levels or whether it's biochemical markers  
20      or new markers or whether it's some other combination  
21      of risk factors. It's a project probably beyond the  
22      scope of the FDA or the sponsors of studies that are

1 submitted to the FDA but is an NIH and/or some other  
2 global approach to things. Dr. Lukert.

3 DR. LUKERT: I really like the way you  
4 sorted out the issues. But I do wonder. It seems to  
5 me that the major question now though about  
6 estrogen/progestin is are there risks. We know that  
7 they do work to protect against bone loss. So I guess  
8 the thing I would question is whether we really need  
9 any other new estrogen product if we need to just  
10 assess its effect on bone. It seems to me that even  
11 the greater need is to look at the risks. That would  
12 be my only difference.

13 CHAIRMAN McCLUNG: Yes.

14 DR. ORLOFF: If I might.

15 CHAIRMAN McCLUNG: Yes. Dr. Orloff.

16 DR. ORLOFF: This is a question that can't  
17 be resolved in the abstract. Some day the data will  
18 have to be produced. What we would probably conclude  
19 from this discussion is that the burden is on the  
20 proposer and on the community involved in this field  
21 to produce a weight of evidence that supports a  
22 favorable risk/benefit profile say for example for an

1 estrogen or estrogen/progestin administered by an  
2 alternative route or for a lower dose of estrogen alone  
3 or estrogen plus progestin. That risk/benefit profile  
4 would thereby be distinguished from the dose and  
5 product and route of administration that was studied  
6 in the WHI. There's been a lot of speculation today  
7 based upon one or another subgroup analysis of the WHI  
8 despite cautions about inferences from those analyses  
9 that there may be reason to believe that the  
10 risk/benefit profile for Prempro for example might be  
11 different in one group versus another for example by  
12 age. Those are interesting speculations but I don't  
13 think we have any data from this trial to bring to  
14 bear on it.

15 I would say that we spent a lot of time  
16 today talking about the global index in the WHI. I'm  
17 not sure that there was any complete agreement on what  
18 the role of the global index was after the fact. But  
19 for a new product coming along, we would be hard  
20 pressed to from the start ask for essentially the same  
21 quality of hard data, to ask for a global index score,  
22 for a new product. We would expect sponsors to

1 propose a data package that would be perhaps more  
2 traditional harkening back to the usual way in which  
3 we evaluate drugs that someone referred to here  
4 earlier which is that we design trials to establish  
5 the benefit based upon our hypothesis and then we look  
6 at the safety profile and we make some judgment as to  
7 whether we think it satisfactorily safe given the  
8 benefits. We use our heads on this.

9 In this particular instance, we would use  
10 our heads as Dr. Bone has suggested that clearly  
11 there's every reason to believe that as you reduce the  
12 dose the risks associated or at least some of the  
13 risks associated with the use of such a product are  
14 also going to be reduced. So also are the benefits,  
15 but we have to understand that the benefits of such an  
16 intervention are monitorable.

17 We go into this with an assumption that  
18 particular for estrogen there is a graded and  
19 continuous relationship albeit not perfect from  
20 patient to patient but there is a graded and  
21 continuous relationship between bone mineral density  
22 and fracture risk. It comes from epidemiology. It



1 comes from intervention. So we believe in BMD and in  
2 practice one can monitor BMD to assess whether an  
3 individual patient has responded to the dose, route of  
4 administration and particular molecular species with  
5 which she is being treated.

6 That's by way of saying that ultimately  
7 we'll know when we know. I don't think that I've  
8 heard here a consensus and correct me if I'm wrong  
9 that we absolutely are looking towards a day when no  
10 estrogen or estrogen/progestin could possibly come to  
11 market for the management for post menopausal bone  
12 loss in the absence of a WHI type study.

13 CHAIRMAN McCLUNG: Comments about that?  
14 Dr. Bone.

15 DR. BONE: Yes. Broadening out a little  
16 bit from that comment from Dr. Orloff and little bit  
17 where we are, we are basically faced with class  
18 labeling based on CEE and medroxyprogesterone acetate.  
19 We faced with some uncertainty about the whole issue  
20 of generalization that's been to other compounds in  
21 these general categories that people have discussed a  
22 lot about, not so much today, but at other times.

1       These variations include the molecular species, the  
2       dose and the route of administration and so forth as  
3       has been mentioned.

4                       What Dr. Orloff's comments lead us to is  
5       the question of how could a sponsor proceed or how  
6       could even an independent organization proceed to try  
7       to investigate some of these questions and have this  
8       reflected in the labeling of the drug product. It  
9       seems to me that it would be extremely difficult for  
10      the division to do away with the class labeling all  
11      together in the absence of a study of at least  
12      comparable rigor. It might not be such a big study  
13      because it could be more focused so that's a fair  
14      point. But it would have to be a very large, very  
15      well designed study to supercede with some other  
16      molecular species for example what class labeling we  
17      seem to be developing.

18                      On the other hand, does this make this  
19      hopeless? Could it not be the case that to the extent  
20      that a treatment, a medication or a combination that  
21      was proposed within the overall umbrella of the class  
22      labeling distinguish itself in some meaningful way by

1 well-documented data that could be incorporated into  
2 the clinical pharmacology section of the labeling?  
3 The sponsor then would basically be able to say "Yes,  
4 we're operating within this WHI class labeling but  
5 we've been able to show that at least one element of  
6 this is somewhat different or may well be or something  
7 like that."

8           It seems to me that the clinical  
9 pharmacology section may be one place for this and  
10 that could result in more nuanced warnings and  
11 precautions if the data are there without disrupting  
12 everything. That's a way that a sponsor could proceed  
13 in the development of a new product to say "Okay,  
14 we're going to concentrate on what we think are two to  
15 three important things where we really think we can  
16 demonstrate an advantage. Then once we have a toehold  
17 maybe we may go for the big trial." It's just a way  
18 of thinking about that.

19           I have to say without wanting to open a  
20 can of worms that there's some overlap of this in the  
21 SERM area because of overlapping effects that some of  
22 these issues may arise there as well in terms of how

1 one distinguishes one product from another and could  
2 that be done in clinical pharmacology?

3 CHAIRMAN McCLUNG: Okay. Other comments?

4 DR. CARPENTER: Just a brief response to  
5 the issue of the global index and its future in  
6 potential other trials, it would be a mistake to  
7 entirely discard this notion - and maybe I'm speaking  
8 from the minority point of view here - but I find that  
9 particularly in the setting where an efficacy endpoint  
10 is very hard to establish where we're talking about  
11 primarily preventive efforts in whatever endpoint  
12 we're looking at. We're generally looking at  
13 continuous variable of reversing a natural phenomenon  
14 with a considerable way of other side effects of this  
15 that need to be weighed in some way against the  
16 endpoint that we're looking for.

17 I haven't seen anything at least to-date  
18 that can integrate this comparison better than what  
19 I've seen today in terms of the global index. So it  
20 may actually be a model by which at least other models  
21 could be amplified or modified for other comparisons.  
22 I don't think that it's something that I would

1 discourage as an indicator of where to go with these  
2 newer therapies.

3 DR. ORLOFF: I wasn't quarreling that for  
4 the purposes of producing or generating definitive  
5 information, the global index didn't have a very  
6 important role. All I was saying is that it would  
7 seem at this point a very high bar to place to ask for  
8 that standard of evidence for every new product that  
9 comes along.

10 CHAIRMAN McCLUNG: Dr. Follman.

11 DR. FOLLMAN: I'd like to comment a little  
12 about the global index also. I like it as a simple  
13 understandable way of coming up with a number that  
14 traded off risks and benefits. I would also mention  
15 that I don't see how we can come with a different  
16 global index for the WHI or try to refine it in some  
17 way. We know the results of this study so it would be  
18 like doing this study without an endpoint defined  
19 beforehand looking at all the data and all the tests  
20 and then trying to come up with the primary endpoint.  
21 It's basically impossible I think.

22 There's a potential refinement of the

1 global health index that I think could be done. It  
2 would be to somehow weight the different categories.  
3 A simple way to do this would be for each of these  
4 events, say breast cancer, hip fracture and so on,  
5 calculate the probability that you'd be dead say in  
6 the next five years if you had one or more of these,  
7 separately for each of the events and then instead of  
8 summing up, you just note whether you had one of these  
9 events or not. Then you would calculate for each  
10 woman the probability you'd be dead in the next five  
11 years based on whether you had hip fractures, a stroke  
12 and so on. That might be a way of trading off in some  
13 way what's worse, breast cancer or hip fracture or  
14 stroke.

15 DR. ORLOFF: I understand that the WHI  
16 investigators considered that approach and figured  
17 when all was said and done that it would just add one  
18 more level complexity to their trial, the planning and  
19 implementation that wasn't going to be worth it.

20 CHAIRMAN McCLUNG: Plus, it would reward  
21 events that happened late in life. They would get a  
22 higher score because the older you are the less likely

1 you are to be alive five years from now. There are  
2 all kind of nuances to that. I think we all agree  
3 that the global index was put together again for  
4 specific purpose of this study. We've learned a lot  
5 about the disease processes that were evaluated and  
6 the outcomes that were evaluated in the study and  
7 nothing precludes the next study if there ever is a  
8 next large study to prospectively define a  
9 modification of that index to include vertebral  
10 fractures or other endpoints that we've now learned  
11 are important as a part of these sorts of things. Dr.  
12 Woolf.

13 DR. WOOLF: I personally would like to  
14 keep the bar high in the next go-around because we  
15 have effective alternative therapies for osteoporosis  
16 that have their own set of baggages. We certainly  
17 know a lot about Premarin and its various forms. Why  
18 have a lesser standard of evidence and a lesser  
19 standard of commitment to the next go-around? Why  
20 pretend that we don't have this information? So I'd  
21 like to keep the bar high.

22 One other thing, when first I read this

1 material about a week or so ago, I really did not like  
2 the global index. I thought it was rather simplistic  
3 and everything was equal. In my own mind, they  
4 weren't equal and there were a whole set of things  
5 that weren't there that may not have been as  
6 devastating as breast cancer but nevertheless were  
7 pretty significant problems.

8 Over the course of the day, my opinion has  
9 changed because I don't know of a better alternative.  
10 I certainly haven't heard of one. We did discuss at  
11 lunch despite the Chairman's prohibition about  
12 weighting the factors of the global index. One  
13 person's weight may not be someone else's weight and  
14 you'd have a whole set of disagreements about the  
15 weighting. We can use quality-of-life years or  
16 something like that. I don't know. I got away from  
17 that. I guess I came around to the global index and  
18 liked it. Have something like that in the next go-  
19 around.

20 DR. ORLOFF: Mike, let me just make one  
21 more comment which is that it's important for the  
22 record for everybody to understand that this is class



1 labeling as Dr. Bone has said. It's class labeling  
2 because at this point we don't have sufficient not to  
3 apply in some qualitative if not direct quantitative  
4 way the results of the WHI study to this broad class  
5 of drugs. In the spirit of disclosure which is what  
6 we do in labeling, we tell people what we know either  
7 specifically or broadly about the risks and benefits.

8 I want to make clear that I'm not  
9 proposing that the bar shouldn't be high in order to  
10 have a drug marketed and promoted as somehow  
11 absolutely not carrying these sorts of potential risks  
12 or this overall balance of risk and benefit. That's  
13 not what I'm saying. There's a very high standard  
14 evidence and quite frankly it's a little bit difficult  
15 to imagine at this point that we're going to get  
16 there.

17 That being said the way we've written this  
18 label now as I said before the only true, first line  
19 use of this product is for the treatment of vasomotor  
20 symptoms. There is no reason not to encourage I  
21 believe the development of lower dose, alternative  
22 routes of administration, estrogen or

1 estrogen/progestin drug products that would  
2 effectively address vasomotor symptoms and then to  
3 study them in order to understand the expected effects  
4 on other aspects of the post menopausal woman's health  
5 and particularly their bone health. It doesn't mean  
6 that we would alter the way we write this label. It's  
7 just that we would have something else in the  
8 armamentarium to go to in lieu of higher dose perhaps  
9 orally administered agents for example not to pass  
10 any judgment.

11 CHAIRMAN McCLUNG: Dr. Zerbe, do you have  
12 a question or comment?

13 DR. ZERBE: Actually all the points have  
14 been very well made and I don't have a lot to add  
15 except that the whole effort is to be applauded in  
16 terms of the data that were generated. There really  
17 does need to be caution with regard to general  
18 application. I guess that's a statement of the  
19 obvious. From an industry perspective, we need to be  
20 cautious about the bar which certainly does need to be  
21 high, but we do need to also balance that against  
22 bringing new products forward to actually replace some

1 of the products that do have the flaws. That would be  
2 the only thing that I would say. Thanks.

3 CHAIRMAN McCLUNG: Dr. Woolf.

4 DR. WOOLF: Speaking of bars now, there is  
5 one area where the bar is incredibly low. In fact, I  
6 think it's below ground. That's the whole notion of  
7 phytoestrogens and natural estrogens that my patients  
8 are coming in with. We don't have to worry about  
9 cancer. This is a natural product here. It will cure  
10 my bones. It will prevent osteoporosis. I understand  
11 the FDA's dilemma on this, but this has really become  
12 a problem bordering on becoming a nightmare. Somehow  
13 or other we're going to have to get a handle on this.  
14 I have no idea of what it would take, but this notion  
15 that this is natural and no data either efficacious or  
16 safe. We have to get a handle on that.

17 CHAIRMAN McCLUNG: I'm glad that wasn't  
18 today's issue but we'll be happy to have you volunteer  
19 to be the chair of that committee.

20 DR. ORLOFF: Let me get right back to you  
21 on that one.

22 CHAIRMAN McCLUNG: That's right. Exactly.

1 Other comments or issues? Let me if I can make again  
2 try to make some generality out of this. If what I  
3 say doesn't resonate with what somebody else thinks  
4 the group said, we can modify it. To address the  
5 issue that we were handed about the implications about  
6 the WHO for the future development, testing and  
7 approval for estrogen/progestin products for the  
8 prevention and treatment of osteoporosis, I have heard  
9 this. The current requirements for the approval of an  
10 estrogen/progestin product or an estrogen product for  
11 the prevention of osteoporosis is based on sound  
12 reasoning and there seems not to be a need or a big  
13 statement to change the guidelines or requirements for  
14 approval for prevention of bone loss.

15 With that approval however comes the class  
16 labeling of the risks that are already a part of the  
17 estrogen/progestin, estrogen labeling process. For a  
18 new product to be able to distinguish itself as being  
19 somehow unique and different in terms of the risk  
20 profile, a specific study that wouldn't necessarily  
21 have to address all of the risks simultaneously but  
22 could address a risk one at a time as Dr. Bone could

1 be done. Then were that data reviewed, then it could  
2 be incorporated somewhere in the package labeling to  
3 reflect that the comparison had been made and that  
4 perhaps a uniqueness for that particular product could  
5 be done. But the absence of that, the concerns about  
6 the risks that we already have before us with estrogen  
7 and progesterin would not be able to be escaped. Does  
8 anybody want to work on that harder?

9 DR. ORLOFF: With the caveat that  
10 comparative safety claims, even implied ones, are  
11 difficult to come by.

12 CHAIRMAN McCLUNG: Sure.

13 DR. BONE: Could I just add one thing?

14 CHAIRMAN McCLUNG: Yes.

15 DR. BONE: One thing that would be  
16 extremely helpful here is if we can obtain more  
17 informative research about the possible distinctions  
18 that have been hinted at in a literature between for  
19 example different progestins and how they might  
20 interact with the risk of breast cancer and that kind  
21 of thing. This is an area not simply for large scale  
22 clinical trials but also for really intensive and

1 well-designed preclinical studies that could inform us  
2 in these areas.

3 CHAIRMAN McCLUNG: Another point that I  
4 reflect on and would just bring back that was made two  
5 or three times was that studying the population of  
6 subjects for whom either the sponsor or the clinical  
7 community thinks that a drug would be most applicable  
8 for would be helpful. We've talked about that there  
9 are different categories of risk or the different  
10 individual patients have different risk profiles and  
11 perhaps to encourage studies to be done where the  
12 clinical profiles are predefined and specific groups  
13 of patients be targeted for evaluation. This would  
14 another thing to come out of the discussions that  
15 we've had today.

16 The third issue was the broadest of all  
17 and was a time if there were comments beyond what  
18 we've already dealt with to make to Dr. Orloff and his  
19 team about outcomes from the WHI trial and how it  
20 relates to the issue of approval for osteoporosis  
21 indications for estrogen and progestin therapy. Any  
22 other comments? Now is the time to add that to the

1 discussion here.

2 DR. ORLOFF: Dr. Woolf already got his  
3 other comment in.

4 CHAIRMAN McCLUNG: Right.

5 DR. WOOLF: I expect a phone call.

6 CHAIRMAN McCLUNG: All right. With that,  
7 Dr. Orloff, we have exhausted our thoughts about this  
8 and hope that we've provided some input that you and  
9 your group can deal with over the next time here.

10 DR. ORLOFF: Again, thank you everybody  
11 for giving up your valuable time. We much appreciate  
12 it and we'll take it from here. Thank you. Off the  
13 record.

14 (Whereupon, the above-entitled matter was  
15 concluded at 4:26 p.m.)

16

17

18

19

20

21

22