

1 | increase in HbA1c. It's important that you understand this
2 | axis. The second interval includes decreases up to 1.5 and
3 | the last interval, decreases greater than 1.5. So, as we
4 | go from left to right in each of these graphs, benefit
5 | increases on the HbA1c scale. The relationship between
6 | lipids and HbA1c changes is very clear here. Smaller
7 | increases in lipids are associated with larger decreases in
8 | HbA1c.

9 | The linear correlation between these measures,
10 | however, is not strong. So, one is not necessarily
11 | predictive of the other. Nevertheless, this relationship
12 | is evident irrespective of how the HbA1c intervals were
13 | defined and it was seen for all rosiglitazone dose groups.

14 | The lipid changes were consistent for subgroups
15 | based on age, baseline weight, body mass index, and percent
16 | of ideal body weight. There was a small difference between
17 | males and females, where males showed a greater increase
18 | than females by a percent change from baseline of about 5
19 | percent. Also for patients with a duration of diabetes
20 | greater than 4 years, the mean percent change from baseline
21 | was again about 5 percent above the others.

22 | Also, changes were baseline related, as we saw
23 | when we were comparing study 20 to the placebo-controlled
24 | studies. Larger baseline values were associated with
25 | smaller changes in the lipids.

1 The last area I will cover will be the
2 differential effects observed for males and females.
3 Significant treatment-by-gender interaction for the primary
4 efficacy variable, HbA1c, was seen in all the monotherapy
5 trials. HbA1c was lower for both men and women with a
6 larger response seen for women. This interaction was not
7 significant in the combination studies.

8 I've chosen one of the placebo-controlled
9 monotherapy trials, study 24, to illustrate the
10 interaction. The graph on the left is for females and on
11 the right for males. The gender differences in the
12 magnitude of response is evident at each dose.

13 This graph depicts the treatment effects at 95
14 percent confidence intervals for the three monotherapy
15 trials by gender. Males are in green and females are in
16 purple. The reference line is at 0. That's right here.
17 This is similar to a graph that the sponsor showed. Points
18 to the left of that line favor rosiglitazone and points to
19 the right favor placebo. The blue shaded area contains the
20 estimates from the glyburide-controlled trial, study 20,
21 and estimates in the white area are from the placebo-
22 controlled trials, so you don't get confused about where
23 these estimates should be.

24 Starting at the top with study 20, the results
25 for males favor glyburide while the results for females

1 favor rosiglitazone. The next four confidence intervals,
2 as we go down the slide, show the comparisons of
3 rosiglitazone to placebo. In both studies, the results for
4 females show a larger treatment effect than the males. The
5 results are particularly interesting considering that only
6 one-third of the patients in these studies are female.

7 Now, adjusting for weight comes to mind when
8 considering gender differences, and I found that adjusting
9 for weight and body mass index had little impact on the
10 estimates, but that adjusting for the percent of ideal body
11 weight did. So, let me explain these four graphs.

12 Both of the graphs on the left are for females,
13 and both of these graphs are for males. The top row shows
14 the subgroup of patients who had an ideal body weight of
15 100 percent or less. So, these would be your leaner
16 patients. And the graphs on the bottom are for patients
17 who had an ideal body weight above 100 percent. So, these
18 would be your heavier patients generally.

19 The gender responses look comparable for the
20 heavier patients. That's the bottom row. For leaner
21 patients, the top row, the female response is clearly
22 larger than the male response. So, leaner males appear to
23 gain less benefit even from the most efficacious dose.

24 On this slide, I've summarized my presentation
25 with a few comments. This is my last slide.

1 Rosiglitazone was shown to be efficacious for
2 lowering HbA1c as monotherapy and as add-on to metformin.

3 Statistically significant increases in LDL,
4 HDL, and LDL to HDL were seen at endpoint.

5 The lipid responses appear to peak after about
6 2 months of therapy.

7 And lastly, women show a larger response than
8 men.

9 Thank you, and now Bob Misbin will give the
10 medical review.

11 DR. MISBIN: I don't think there is any
12 question that rosiglitazone is a highly effective
13 medication when it comes to lowering glucose levels in
14 patients with diabetes, both as monotherapy and also when
15 used in combination with metformin.

16 The issue, however, that I would like to put
17 forward is that lowering glucose levels of itself is not
18 the only issue to be considered in treating patients with
19 diabetes, and the division has considerable concerns about
20 some of the other issues that were raised in the
21 statistical report, particularly the increase in body
22 weight and also the changes in serum lipids, which we
23 interpret as being potentially harmful.

24 Now, I think it's necessary to define various
25 terms and see how different people can look at data in

1 different ways. This comes from study 24, which is one of
2 the placebo-controlled trials that the sponsor presented.
3 I'm only going to be showing the maximum dose of
4 rosiglitazone, just for the sake of not adding information
5 that really doesn't change the concepts that I want to get
6 across.

7 Now, when we evaluate data like this, we always
8 consider, in a placebo-controlled trial of this nature, a
9 treatment effect to be the effect seen with the drug minus
10 the effect seen with the placebo. In this case with
11 respect to hemoglobin A1c, which is our primary efficacy
12 variable, hemoglobin A1c increased in patients on placebo.
13 This was because most of them were being withdrawn from
14 other antidiabetic treatments. There was a fall in
15 patients taking rosiglitazone, and then this was the final
16 treatment effect, a treatment effect of a reduction of
17 1.45, which the sponsor described as being robust and with
18 which I would certainly agree.

19 Now, this was associated with a change in body
20 weight. There was a fall of .9 kilograms on placebo, a
21 rise of 3.3 kilograms on rosiglitazone, and a net rise of
22 4.2 kilograms for the treatment effect which was associated
23 with the reduction in hemoglobin A1c.

24 Now, let's look at the situation with respect
25 to lipid changes. There's one exception, but by and large

1 I'm showing LDL over HDL. Again, Joy Mele has gone through
2 all the lipid classes, as well as the sponsor, and this is
3 really the variable that I'm going to illustrate my point.

4 Now, the sponsor I believe has made the
5 statement that this variable is preserved in patients
6 taking rosiglitazone. By that, I think they mean that
7 there is no change from baseline in patients who were
8 treated. I'm not certain I see this exactly, but I think
9 the baseline value for rosiglitazone was 3.02. It rose to
10 3.12, which was not significantly different. This value is
11 actually a median change, so these don't add up because
12 this is a median of the change rather than the mean. So,
13 this is what I believe is meant by saying that the LDL/HDL
14 ratio is preserved.

15 However, if one compares that to the placebo
16 group, one finds that the LDL/HDL ratio fell in the placebo
17 group, and if one makes the appropriate comparison, what we
18 believe to be the appropriate comparison, the LDL/HDL ratio
19 was not preserved, but in fact went up. And this is
20 invariably found in all of the data sets that we examined.

21 Now, the data that I've shown is for a placebo-
22 controlled trial. We don't ordinarily treat diabetic
23 patients with placebos. That's something which is done in
24 a trial but is not ordinarily done in practice. And I
25 think it's, therefore, important to make a comparison to

1 | the active-controlled trials.

2 | Here I think I would like to congratulate and
3 | to thank the sponsors for having done these trials. The
4 | FDA does not require comparative trials for approval of a
5 | new drug. One can have a new drug approved just on the
6 | basis of placebo-controlled trials.

7 | Nevertheless, the trials that the sponsor did I
8 | think provide a tremendous amount of information about how
9 | these drugs actually will be used in practice, and I think
10 | they should be congratulated for having the courage to do
11 | those trials, particularly since the results, as Dr. Genuth
12 | has already pointed out, are not always complimentary and
13 | sometimes are somewhat ambiguous.

14 | Now, I'll discuss these in some detail. This
15 | is trial number 20 I believe which was a glyburide
16 | titration versus rosiglitazone. Again, I'm only going to
17 | show the maximal dose of rosiglitazone.

18 | The way this trial was done was a glyburide
19 | titration versus a fixed dose of rosiglitazone. Now, this
20 | is the only way this trial could be done, and I'm not
21 | criticizing at all. You can only give glyburide by a
22 | titration because some patients will be very sensitive and
23 | will develop hypoglycemia.

24 | But I think it is important to remember in
25 | making the comparison that the glyburide titration,

1 | according to the protocol, had to end at 12 weeks of a 52-
2 | week trial, and the median dose achieved by patients on
3 | glyburide was only 7.5 milligrams, which is not a maximal
4 | dose of glyburide. One could go up to 20 milligrams. And
5 | so, any comparability statements that could be made at best
6 | would be saying that a maximal dose of rosiglitazone is
7 | comparable to a submaximal dose of glyburide.

8 | Having said that, I think it's interesting to
9 | look at the results. The reduction with glyburide in
10 | hemoglobin A1c was .72. The reduction with rosiglitazone
11 | was .53. The 95 percent confidence intervals of these two
12 | numbers overlap. They barely overlap, but they do in fact
13 | overlap. So, one would be able to support a claim of
14 | comparability based on these data.

15 | With respect to weight gain, there was a weight
16 | gain in both groups not unexpectedly. The glyburide
17 | patients gained weight. This I think is something every
18 | clinician knows, but the rosiglitazone patients gained even
19 | more weight and this was a statistically significant
20 | difference. Again, I think this is a reproducible problem
21 | that one has to face when using rosiglitazone.

22 | Now, there is another problem I think which
23 | deals with statistics. In an ordinary treatment with a
24 | drug like glyburide, if a patient developed hypoglycemia,
25 | one would not necessarily stop treatment. One would just

1 decrease the dose of the drug. In the trial, however,
2 patients who developed significant hypoglycemia were
3 withdrawn from treatment. Now, again, I'm not really
4 criticizing this. That may be perfectly acceptable to do
5 in a trial and certainly is medically important to
6 recognize for patient safety. But it does, I think, lead
7 to certain doubts about the basis of the statistical
8 analysis.

9 The hypoglycemia on glyburide occurred by and
10 large early in the trial. Hemoglobin A1c is the major
11 efficacy variable and that takes months to change, and so
12 taking patients off of glyburide early in the trial because
13 of hypoglycemia does, in my judgment, bias the results
14 because really you're taking out patients who are very
15 sensitive to glyburide. And there was 1 patient in high
16 dose rosiglitazone who was lost to the trial as well, but
17 this is a 6 to 1 ratio here, patients who were being
18 dropped out because of hypoglycemia on glyburide.

19 With respect to lack of efficacy, the situation
20 is the opposite. There were twice as many patients on high
21 dose rosiglitazone who had to be withdrawn than that on
22 glyburide.

23 So, this does kind of set up a heads I win,
24 tails you lose kind of situation, and I think that any
25 claim of comparability based on data really is somewhat

1 suspect unless these data are completely taken into
2 account. And I'm not sure of any valid statistical
3 analysis that really has taken this into account.

4 Now, if the situation with glyburide was
5 ambiguous, I think the situation with metformin is not
6 ambiguous but is also not very good, as Dr. Genuth had
7 pointed out. Now, I think I have to sympathize with the
8 sponsor.

9 This trial was done in a certain way. Patients
10 were given an increasing dose of metformin. They were
11 brought up to a maximal dose of metformin, which is 2.5
12 grams, and then they were divided into three arms.
13 Metformin was continued. Metformin was switched to
14 rosiglitazone or the combination of the two. And the
15 purpose here was to show that the combination of the two is
16 better than either drug alone. And we completely agree
17 with that, and I'm not going to discuss that because it's
18 really not an issue, the synergy between these two drugs.

19 But what is an issue is really to me the
20 monotherapy comparison because we do have two monotherapy
21 arms here. Now, I say I sympathize with the sponsor
22 because I think this is the right way to do the trial, but
23 it is a problem because if you compare monotherapy, there
24 is a selection bias in favor of metformin and against
25 rosiglitazone. In order to be randomized, patients had to

1 | be able to do reasonably well on a maximal dose of
2 | metformin. Those patients that did not have adequate
3 | efficacy would be dropped. Those patients who could not
4 | tolerate this dose of metformin would be dropped also, and
5 | that is a considerable number of patients. So, I think if
6 | the trial had been done differently as a head-on
7 | comparison, I think the effects would also be different as
8 | well. Nevertheless, these are the data we are presented
9 | with, and we can't really ignore them.

10 | Now, these are the data for patients, just
11 | monotherapy. Again, I'm not discussing the combination
12 | because that really is not an issue which is open to much
13 | disagreement.

14 | The monotherapy on metformin, as one would
15 | expect. They were already on metformin, so these changes
16 | are not very large. Hemoglobin A1c continued -- I think
17 | that's a slight rise. Yes, thank you very much. That's a
18 | slight rise of HbA1c on metformin. I believe that's a
19 | decrease of weight. That is an important point to make.
20 | And then there are small changes in the LDL/HDL ratio as
21 | well as VLDL.

22 | With respect to rosiglitazone, however, there
23 | was a rise of 1.3, and even if one subtracts one to the
24 | other, this would be a treatment effect compared to these
25 | two of 1.2 percent. Now, this is not very different really

1 from the effects of rosiglitazone versus placebo, and the
2 sponsor described that effect as being robust and I agree.
3 I think that is also a comparison which is robust. But,
4 unfortunately, in this setting it favors metformin as
5 opposed to rosiglitazone.

6 There's also I think the point made that the
7 improvement in hemoglobin A1c with rosiglitazone is a
8 consequence -- I'm sorry -- the weight gain is a
9 consequence of the improvement in hemoglobin A1c. Well,
10 that's not the case here. Here we had a deterioration of
11 hemoglobin A1c and a weight gain as well. So, clearly this
12 is a point which I think clinicians really do have to take
13 into account.

14 The changes in LDL/HDL ratio was also a rise,
15 which is worthy of note.

16 And for the first time we see a rise in VLDL,
17 which is a rise in the rosiglitazone patients versus
18 metformin. This has not been a consistent finding. This
19 is really the only trial where this has become an issue.

20 It is worthy of note that one would have
21 expected -- at least I would have expected -- that VLDL and
22 triglycerides in general would go down on patients treated
23 with rosiglitazone under various conditions, and it's
24 surprising really that that's not been observed in the
25 trials. The people on the committee know a lot more about

1 lipids than I do and I really would like their input as to
2 why the finding that I had expected really did not occur.

3 Now, I'd like to move on to the major issue we
4 have to wrestle with today and that is the problem of liver
5 toxicity. It may not be exactly clear to people who can't
6 read everything what's being shown. This is not a
7 comparison of liver toxicity of these various drugs. Most
8 of the patients that are being shown here were taking
9 placebo. So, please don't get the misapprehension. This
10 is not a comparison of these drugs. These patients were on
11 placebo by and large.

12 What I'm trying to show, though, is that there
13 is an underlying rate of ALT elevation that occurs in
14 diabetics regardless of their treatment, and to illustrate
15 this point, I've gone over the various databases that I
16 myself have reviewed. This is from the acarbose NDA, the
17 placebo patients in the acarbose NDA, the placebo patients
18 in the miglitol NDA, the placebo patients in the
19 troglitazone NDA. The metformin NDA did not have many
20 placebo patients because most of these trials were
21 comparative. But I pooled all of these just so we don't
22 have to get too many numbers, and there was really no
23 appreciable difference.

24 Now, there are several points that I want to
25 make. I think there are 15 patients that had ALT values of

1 greater than 3 times normal in a combined data set of
2 nearly 3,000 or so. Now, 2 of these patients actually had
3 ALT values of 8 times normal. The rest of the patients had
4 much lower values. I'm presenting this really as an
5 illustration of the spontaneous elevations in ALT levels
6 that occur in diabetic patients regardless of the
7 treatments that they are receiving, and I think it is
8 important to differentiate this spontaneous elevation from
9 real evidence of liver toxicity that occurs in clinical
10 trials.

11 These are the data with troglitazone. This is
12 from the troglitazone NDA and this data is the same as what
13 I discussed in the briefing document that I provided to
14 this committee prior to the meeting last month. The data
15 set was 2,510 patients, and 1.9 percent of these patients
16 had an ALT value of greater than 3 times normal.

17 Now, I'll just go ahead for the sake of the
18 record and read these actual numbers so we have it in the
19 file. There was a total of 48 patients that had a value of
20 greater than 3 times normal. Of those 48, 42 patients had
21 a value greater than 5 times normal. 22 patients had a
22 value greater than 8 times normal, and 5 patients had a
23 value greater than 30 times normal. Of these 5 patients, 2
24 patients were jaundiced.

25 Now, none of these patients had any long-term

1 effects to the best of my knowledge. When the drug was
2 continued, everybody got better. There was no permanent
3 damage as far as I know. So, that needs to be said.

4 Now, there are some other points I think about
5 this data set which are very interesting and quite
6 important. The first thing is that I've divided them
7 between those who were withdrawn from troglitazone and
8 those that had troglitazone continued despite the ALT
9 elevation. Now, this is quite an important point because
10 we recognize that there were patients that could have
11 fairly impressive ALT values and have troglitazone
12 continued and the values would go down. The highest value
13 that I'm aware of was a value of 12 times normal in a
14 patient taking troglitazone. The troglitazone was
15 continued and the value normalized by the end of the trial.

16 Now, this then leads to a potential speculation
17 for differences that you have seen with respect to
18 rosiglitazone and differences with troglitazone. One
19 possibility for the very high values that were seen with
20 troglitazone but not with rosiglitazone is that there might
21 have been a difference in the criteria used to withdraw
22 patients from therapy. In neither trial, to the best of my
23 knowledge, were there any fixed criteria that were used to
24 withdraw the drug. This was done basically at the judgment
25 of the individual physician. But one could make the

1 argument that if in the troglitazone trial, which was done
2 earlier, if patients were left on the drug longer, it's
3 possible that you would see these very high values as a
4 result of their having been exposed to the drug for a
5 longer period of time than, say, in a different trial in
6 which the patients were withdrawn earlier.

7 Now, this is a reasonable hypothesis and it's a
8 testable hypothesis. I have looked at it and I believe
9 that it's incorrect. There were 5 patients that had very
10 high values, unequivocally elevated values, greater than
11 1,000 in every case. Of these 5 patients, there was one
12 case that 2 months prior to the maximal elevation was
13 identified as having an ALT elevation. Troglitazone was
14 not withdrawn. It was continued, and then 2 months later
15 the patient was found to have a value of greater than 30
16 times normal and the drug was withdrawn.

17 In the other four cases, however, this
18 situation did not occur. 3 of the patients came in
19 initially, as their initial manifestation of troglitazone
20 toxicity, with very high values. So, a withdrawal based on
21 a previous minimal elevation was not relevant.

22 In the final case, this patient did in fact
23 have an elevation of ALT. It was elevated to greater than
24 5 times normal. The troglitazone was discontinued, and
25 despite discontinuing troglitazone, the patient went on to

1 have an ALT value of 1,000.

2 So, I think that the argument based on
3 differences between these two data sets based on early
4 withdrawal of treatment in my opinion is not a valid
5 argument. At best one could say that there would only be
6 four cases in the troglitazone data set versus five, and
7 this is in comparison to no cases in the rosiglitazone data
8 set.

9 Now, this then brings us to rosiglitazone. All
10 of the numbers on this slide are very much lower than the
11 numbers that I've just shown you for troglitazone. The
12 only number which is higher is the total number of patients
13 exposed. The data set of exposure on rosiglitazone was
14 almost twice as many as the number of patients exposed to
15 troglitazone. Yet, the number of patients that had any
16 manifestations of ALT elevation was considerably less.

17 Now, there were two cases that had values of 8
18 times normal on rosiglitazone. Both of these normalized
19 despite continuation of the drug. In my evaluation of
20 these two cases, I was rather curious about that. These
21 are two cases that, as I read them, are very similar to
22 cases that I had seen with troglitazone. And this is
23 really the only cause of concern that I have about this
24 data set.

25 I would point out, however, just as I pointed

1 out before, that one does occasionally see this as a
2 spontaneous elevation in patients on placebo, and so it is
3 really not possible to say, with any degree of certainty,
4 particularly since there are only two cases, whether this
5 is really just a manifestation of a spontaneous elevation
6 or if it is in fact a troglitazone-like hepatitis in
7 patients on rosiglitazone. One cannot really make that
8 distinction at the present time.

9 Before I end this, I would like to point out
10 two differences between my presentation and the
11 presentation from the sponsor that you heard a little while
12 ago.

13 In going over these data, we do not have the
14 head-on-head comparison of rosiglitazone to troglitazone.
15 We don't have it. We will never have it. I think the best
16 thing that we can do is to try to look at the data sets
17 using the same yardsticks, and that's really what I went
18 out of my way to try to do.

19 Now, for the sake of being consistent, the
20 sponsor told you about 13 cases, and I've only counted 11.
21 I've eliminated two cases because, as I review them, the
22 ALT elevation occurred before the patient got rosiglitazone
23 and did not get worse, in fact, seemed to get better. So,
24 there really is no reason to consider this as a treatment-
25 emergent elevation in ALT. That's really the same way I

1 | looked at the troglitazone data set.

2 | I would add, since the question has come up,
3 | that there were many patients that had minimal elevations
4 | in ALT, and in general, these elevations went down on
5 | either troglitazone or rosiglitazone.

6 | I've asked the sponsor to get out their data
7 | and we can discuss this later because it is I think an
8 | important point that people don't always understand. But
9 | for the sake of this discussion, I'm only counting 11
10 | patients as being treatment-emergent elevations.

11 | Now, there is one other kind of technical
12 | point. In the troglitazone NDA, Parke Davis used a value
13 | of 34 as the upper limit of normal for ALT. In the
14 | rosiglitazone NDA, the upper limit of normal was 48. Now,
15 | it's not clear to me whether there's a real difference in
16 | the method and the analysis or it's a difference in the
17 | populations that were used to establish normative data.
18 | This is not an easy question to answer after the fact once
19 | the studies are already done.

20 | But in order to eliminate any possibility that
21 | anyone could say that we reviewed these data using an
22 | inappropriate normal value, I actually used the lower value
23 | for the upper limit of normal. I used the troglitazone
24 | upper limit of normal on the rosiglitazone data. Now, I'm
25 | not saying this is analytically correct, but I'm doing it

1 | so that there will be no speculation that we did not apply
2 | the same standards in considering both data sets.

3 | Now, there's only one difference. One of these
4 | patients that I'm counting as greater than 8 times normal,
5 | the sponsor would have had in this category here. But I
6 | just want to make the statement for the record that one
7 | cannot say that there was some artifact in the way we
8 | compared the data because my intention here was to present
9 | a worst case scenario and that's really the way I see it.

10 | Now, let's move on. The next slide, which is
11 | the extra slide.

12 | The question came up -- and I think it's a good
13 | question -- what happens if you were to drop the upper
14 | limit of normal to 2.5 times normal versus 3 times normal?
15 | This is also relevant to this question of what the upper
16 | limit of normal is.

17 | Now, I've asked the sponsor to do this actually
18 | just a few weeks ago, and that's probably why it was not in
19 | their presentation. When you drop the upper limit of
20 | normal, you do, not unexpectedly, pick up a few more cases.
21 | You pick up one more placebo case and you pick up six more
22 | rosiglitazone cases. Three times the upper limit of normal
23 | is the values that I've shown, .2 percent in placebo, .25
24 | percent with rosiglitazone.

25 | If you drop it to 2.5 times the upper limit of

1 normal, you get .4 percent with placebo, .4 percent with
2 rosiglitazone, the exact same numbers, and I would point
3 out that .4 percent is virtually the same as what we've
4 seen in every trial that I've ever reviewed in a data set
5 of well over 3,000 patients.

6 So, the bottom line to all this is that it's my
7 belief that the elevation in ALT values seen in the
8 rosiglitazone NDAs are nothing more than the spontaneous
9 elevations that one will see in diabetic patients
10 regardless of treatment.

11 Now, just to summarize, I've told you all about
12 the disadvantages of rosiglitazone. There is an increased
13 weight. There is an increase in the LDL/HDL ratio. Anemia
14 I think we have discussed. This is a class effect. I
15 think it's very mild and I'm not especially concerned about
16 it. And edema also. These occurred, but it's not anything
17 which I think is of great concern.

18 On the other hand, there are clear advantages
19 to rosiglitazone. We've not discussed the durability
20 issue. The sponsor has presented some data about this, and
21 I believe the data they've presented are correct. In my
22 view of all drugs of this class, the longer patients are on
23 it, the better they are. The blood sugar continues to go
24 down which is really quite different from what we see with
25 other oral hypoglycemic agents. Now, admittedly we do not

1 | have a 20-year follow-up, but to the extent that we have
2 | any long-term data at all, there's no evidence whatsoever
3 | with any of these drugs that the antidiabetic activity
4 | wanes.

5 | Lower insulin levels. As Dr. Genuth pointed
6 | out, this is very speculative. And it's already been
7 | adequately discussed so I won't go into it again. But I
8 | think when you're coming up with a ledger and saying pluses
9 | and minuses, I think that this is speculative and I think
10 | that some of these are fairly speculative as well. I think
11 | that it's really up to the committee to make a
12 | determination, are the lower insulin levels that you see
13 | with rosiglitazone -- does that reasonably offset in your
14 | clinical judgment what I think are negative effects on the
15 | patients' serum lipids?

16 | Then finally, there's clearly less hypoglycemia
17 | in patients taking rosiglitazone than in patients taking
18 | sulfonylureas, and that is obviously another major
19 | advantage.

20 | Thank you.

21 | DR. BONE: Thank you, Dr. Misbin and the other
22 | FDA speakers.

23 | We'll now have an opportunity for the committee
24 | members to ask questions directly pertinent to these
25 | presentations. Remember we're going to have our general

1 discussion this afternoon. I guess the lights are coming
2 up and Dr. Molitch has raised his hand. And then the
3 lights were turned out again. I'm not going to speculate
4 about the causal relationship there.

5 (Laughter.)

6 DR. MOLITCH: I'm going to ask the FDA the
7 similar question that I asked the sponsor about subgroup
8 analysis. Are these normally distributed lipid data and
9 body weight data? Are they dichotomous data to begin with?
10 Is it appropriate to look at parametric measures of looking
11 at changes in LDL and HDL and body weight, or should we be
12 looking at subgroup analyses of the patients for whom
13 there's not going to be any adverse effect versus some
14 subgroup for whom there is an adverse effect? And have you
15 looked at the data in that fashion?

16 DR. MISBIN: I don't think it has been looked
17 at in that fashion, but I would defer to the statistician.

18 MS. MELE: I mentioned in my talk that I did
19 break down the lipids by medians and then I looked at the
20 changes by the median at baseline. And we saw a larger
21 change for patients who had smaller baselines. But I did
22 not break it down further into smaller subgroups, but I did
23 look at it in a more gross fashion.

24 DR. MOLITCH: I'm not sure that's the right way
25 to do things. If patients have abnormal lipid values to

1 begin with, would this drug be an inappropriate drug to use
2 in that kind of a patient, and should it be restricted to
3 those patients who have normal LDL and HDL values?

4 MS. MELE: I did not actually break it down
5 into enough subgroups to look at the highest level, the
6 patients that you're describing, separate from -- I just
7 looked at by median.

8 DR. MOLITCH: The same thing with body weight.
9 How about in people who had normal versus abnormal body
10 weight? Did one group show a difference in change in body
11 weight over the course of the study compared to the other?

12 MS. MELE: I did look at that again by the
13 medians to see if there was any signal there and I didn't
14 see any difference.

15 DR. MISBIN: I think, Dr. Molitch, if you wish
16 to make a recommendation that we do that type of analysis,
17 I think the sponsor could do it. That would be, I think, a
18 reasonable thing that the committee might discuss and I'm
19 sure the data is available. That could potentially be a
20 labeling issue if one's cholesterol is over a particular
21 level, or whatever.

22 DR. BONE: I believe Dr. Lewis had a question.

23 DR. LEWIS: Two questions regarding the ALT
24 values. The duration of therapy for many of these patients
25 was just 6 months. There was a large number that went

1 | between 6 and 12 months. What was the distribution where
2 | the ALTs greater than 3 times normal occurred? Were they
3 | within the first several months of therapy, and how did
4 | that compare to the placebo recipients? Is this a random
5 | type of response that we're seeing, or was there any
6 | aggregate to suggest that it all occurs on treatment within
7 | the first 3- to 6-month interval, something like that?

8 | DR. MISBIN: Well, as you pointed out, most of
9 | the patients were in 6-month trials. There was the one
10 | comparator trial that went to 12 months. The numbers are
11 | very small. There are only 11 patients, and there was no
12 | obvious pattern. But I don't think one would be able to
13 | detect it even if it was there. There were no patients
14 | that had a value within a week or 2 after. I really can't
15 | answer that any better.

16 | I'm sure the sponsor has that information, and
17 | it's actually in the briefing documents. It tells you what
18 | dates the maximal elevation occurred. So, I think we could
19 | all just look at that. We can always go over the cases
20 | individually. But I didn't see any pattern, and if the
21 | sponsor saw any pattern, I think they might comment.

22 | DR. BONE: Let's see. Dr. Hirsch had a
23 | question.

24 | DR. HIRSCH: I think this is a continuation of
25 | the same point. I believe it was in your write-up or

1 | someone's write-up from the agency in which the rather
2 | unusual speculation was made that perhaps the liver
3 | toxicity is a function of the mass of drug given, as well
4 | as time, different from its efficacy. And, therefore,
5 | since a very much smaller mass than troglitazone is given
6 | here, you or someone hypothesized that one really should be
7 | looking at what? 4 or 5 years or something for the onset
8 | of liver -- could you comment on that?

9 | DR. MISBIN: You're quite right. This is just
10 | a speculation, and that's all there is to it. I don't
11 | think we have any data certainly one way or the other.
12 | Rosiglitazone is almost 100 times more potent than
13 | troglitazone. So, I think if one wanted to make a
14 | comparison, it would be 4 to 8 milligrams of rosiglitazone
15 | versus, say, 400 milligrams of troglitazone.

16 | On troglitazone, the median time to a maximal
17 | ALT elevation was 4 months.

18 | Now, I did have a slide showing, just
19 | calculating, what it would take, how long a trial it would
20 | take to pick that up. I was admonished not to show it
21 | because no one would be willing to make that long of a
22 | phase 4 commitment. It actually was 33 years.

23 | (Laughter.)

24 | DR. MISBIN: To my knowledge, that's exceeded
25 | only by the time that the ancient Hebrews looked for the

1 | promised land.

2 | (Laughter.)

3 | DR. MISBIN: I was admonished that the agency
4 | really was in no position to make that kind of
5 | recommendation, so at least in this case, I did do what I
6 | was told and removed that slide.

7 | (Laughter.)

8 | DR. BONE: Are there other questions? Yes, Dr.
9 | Seeff.

10 | DR. SEEFF: I think that your presentation was
11 | very compelling, that the frequency of abnormal enzymes as
12 | a reflection of liver disease is extremely low and clearly
13 | is consistent with what one might anticipate for diabetics
14 | who are not receiving any drug at all because of the
15 | steatohepatitis, which, by the way, is not necessarily a
16 | benign condition. That's another issue we're facing. Is
17 | this something that may progress ultimately to chronic
18 | liver disease, but that's a separate issue.

19 | But when we're looking at toxicity, what are
20 | the things we're concerned about? We're concerned about
21 | acute disease and we're concerned about that because people
22 | may progress to fulminant hepatitis, as we've heard from
23 | some other drugs, and die acutely.

24 | The other possibility is the development of
25 | chronic liver disease, and that may occur with very low

1 grade enzymes. We see in chronic viral hepatitis C
2 regularly that patients don't have to exceed 100 or 2.5
3 times the upper limit of normal to have an intrinsic
4 disease that ultimately leads to cirrhosis and perhaps even
5 hepatocellular carcinoma.

6 The numbers are small and I'm very taken with
7 the data here, that this is not the same as troglitazone
8 with respect to toxicity. But I think we have to be
9 careful about the serum enzymes.

10 I'm also particularly concerned and why I want
11 to know more about it is what about people who have
12 underlying chronic liver disease who are treated with a
13 drug, what may occur?

14 Now, I don't have the frequency of abnormal
15 enzymes in this country as a whole, but we know that 2
16 percent of the country is infected with hepatitis C. It's
17 probably even higher than that. Alcohol is a problem and
18 there are a lot of reasons. I would not be surprised if 5
19 to 8 percent of people in the United States have got
20 abnormal enzymes to begin with. They're going to be put on
21 a drug that is long-term, presumably for life, drug.

22 Dialose Plus, which was the first drug that was
23 associated with autoimmune hepatitis, was used for a long
24 time before cirrhosis occurred. Dialose Plus caused
25 autoimmune hepatitis, but very low grade enzymes.

1 So, the only thing that I'm trying to get to is
2 that I think that we have to think very carefully about
3 serum enzymes. In this case there appear to be other
4 reasons for this, but I think that low grade enzymes should
5 not be ignored.

6 DR. MISBIN: I think you're completely right,
7 and again the numbers are so infrequent that it's hard to
8 really say anything. Looking at the troglitazone database,
9 I was impressed that patients could have reasonably high
10 enzymes, 400 ALT, and come down to normal. But one never
11 knows. That may not have been drug related.

12 DR. SEEFF: This happens with hepatitis C.
13 They can go up spontaneously, come down, go up, go down,
14 and in that instance, that is not a benign effect of the
15 disease itself. Here the drug could be considered in that
16 light.

17 I think long-term follow-up is necessary to see
18 exactly what happens with a drug that has the potential for
19 toxicity, particularly given the history of where we are
20 with this particular class of drugs.

21 DR. MISBIN: Well, this is an issue which I
22 think we really have to wrestle with this afternoon with
23 respect to the phase 4 commitments because your point is
24 very well taken. The advantage of rosiglitazone is that
25 it's durable, which to me means that once a patient takes

1 it, they should take it forever. Now, if one considers
2 this to be first-line treatment, that could easily be 20 or
3 30 years of duration. It would not surprise me whatsoever,
4 based on experience with other drugs, that once
5 rosiglitazone is approved, if it is approved, that a
6 million patients will be taking it within a year or 2.
7 That would mean a million Americans. So, this is a very
8 large number and I think we should all take note of that
9 and deal with it.

10 DR. BONE: Thank you.

11 Other questions concerning the presentations?

12 Dr. Genuth.

13 DR. GENUTH: In your critique of the comparison
14 between rosiglitazone and glyburide, you pointed out that
15 the group getting glyburide reached a median or mean dose
16 of 7.5 milligrams and that that wasn't the maximum that
17 could be given. I don't quite understand that critique.

18 The figure of 20 milligrams that the PDR points
19 to I've always understood to be the maximum dose the FDA
20 thinks anybody could possibly benefit from, but that's not
21 what we're talking about here. We're talking about the
22 maximum dose that could be given to a particular group of
23 patients who are responding in a particular way. And if
24 the dose titration was stopped because of hypoglycemia,
25 that's as you said, a perfectly good reason not to continue

1 | increasing and, in fact, we would all decrease the dose.
2 | So, as far as I'm concerned glyburide was used at its
3 | maximum dose, the maximum that could be taken by this group
4 | of patients.

5 | DR. MISBIN: I'm not criticizing the trial, and
6 | your point is certainly well taken. It's just that they
7 | tend to be kind of blanket comparisons and I think that if
8 | one were to say glyburide has an equal hypoglycemic effect
9 | to rosiglitazone, I would think it's just wise to point out
10 | that that was a particular dose. For instance, you could
11 | take a lower dose of rosiglitazone -- I didn't show that
12 | data, but there was not that comparison.

13 | In ordinary clinical practice, though -- I
14 | don't wish to be misunderstood. I think the trial was very
15 | well done, as I have already said. In ordinary clinical
16 | practice, I think these are essentially equivalent because,
17 | very well, of the problem of hypoglycemia with glyburide.

18 | DR. GENUTH: Well, maybe the right way to look
19 | at it is the two drugs should be compared each at its
20 | clinically optimal dose in the subjects that are being
21 | compared. In that case, the glyburide dose is fine. I
22 | presume they did it well and it was optimized.

23 | DR. MISBIN: Except it should be pointed out
24 | that one would not arbitrarily say 12 weeks. When you
25 | treat patients with glyburide, you wouldn't say 12 weeks I

1 | stop, and this was a 52-week trial. If they had gone
2 | longer, then I think the glyburide group probably would
3 | have been superior based on their reduction in A1c.

4 | DR. GENUTH: Well, it might have been superior.

5 | DR. MISBIN: It might have been.

6 | DR. GENUTH: That's a good critique. I don't
7 | think we know what target was being sought. Was normal
8 | fasting glucose being sought or normal hemoglobin A1c?

9 | DR. MISBIN: I don't remember that
10 | specifically, but I think you should recognize that what
11 | I'm saying is really a critique. That is my job, to
12 | critique it, and you heard what the sponsor had. And there
13 | are whole lot of them. There aren't that many of us. So,
14 | things do --

15 | (Laughter.)

16 | DR. MISBIN: Things do tend to come across as a
17 | critique.

18 | But the bottom line is that I think that the
19 | trial was very, very well done. I think we know quite a
20 | lot about how these two drugs are used or should be used,
21 | and I think that glyburide is more effective at lowering
22 | blood sugar early in treatment, but that that is made up
23 | for later on when you see a loss of effect. So, it's what
24 | you get at the beginning versus what you get at the end.
25 | And I don't mean to come across as being critical. That's

1 just the way things have to be presented.

2 DR. GENUTH: Bob, if you and I had been
3 wandering in that desert for 40 years with the rest of the
4 Israelites and I had brought down the Ten Commandments, I
5 think you might have had a critique.

6 (Laughter.)

7 DR. MISBIN: The only point I would make is
8 that the Ten Commandments are written on two tablets, which
9 is consistent with the b.i.d. dosing we've been discussing.

10 (Laughter.)

11 DR. BONE: Let the record show that everyone in
12 the room is speechless at this point.

13 (Laughter.)

14 DR. BONE: I have one or two questions for the
15 statistician if there are no other questions at the moment.

16 You mentioned that you saw a significant gender
17 difference in the effect on glycemia, but you didn't
18 comment about a gender difference in the effect on lipids.
19 Was this looked at?

20 MS. MELE: Yes, I think I did mention that
21 actually.

22 DR. BONE: I missed it.

23 MS. MELE: Right.

24 (Laughter.)

25 MS. MELE: What I said was that the percent

1 | change for males was slightly higher than the mean percent
2 | change for females of about 5 percent.

3 | DR. BONE: So, we had greater reduction of
4 | glycemia --

5 | MS. MELE: It fits.

6 | DR. BONE: Sorry?

7 | MS. MELE: I said it fits. When we discuss the
8 | relationship between the change in HbA1c and lipids, this
9 | result for the males and females for the lipids fits with
10 | those results because we had a higher response in females,
11 | and remember a higher response was associated with as not a
12 | big a response in the lipids.

13 | DR. BONE: I guess what I'm trying to ask you
14 | is was there an interaction by gender or was this --

15 | MS. MELE: There was not a significant --

16 | DR. BONE: Just a second. Apart from that
17 | predictable based on the hypoglycemic effect, was there an
18 | independent effect of gender?

19 | MS. MELE: No, not in the --

20 | DR. BONE: I'm sorry. I didn't ask my
21 | question --

22 | MS. MELE: Right. It was not a significant
23 | gender --

24 | DR. BONE: All right.

25 | And the other question that arose here is a

1 knotty one, and we may want to discuss this a little
2 further in the afternoon. There was a question of how do
3 you decide when there's an equivalent effect. Would you
4 comment on the power calculation for detection of a
5 difference in the trial where we were discussing
6 equivalence?

7 MS. MELE: In the active-controlled trial?
8 Okay. That trial was powered to show no bigger than a
9 difference of .5 percent between the active control and
10 rosiglitazone. So, in other words, when you do the
11 confidence interval, the upper bound of the confidence
12 interval -- it was powered so that the upper bound would be
13 no bigger than .5. That was their goal. It was adequately
14 powered for that.

15 DR. BONE: Okay.

16 MS. MELE: And so, when you combine the males
17 and females, they achieve that goal.

18 DR. BONE: Thank you.

19 Are there other questions from members of the
20 committee? Are there other questions concerning the
21 presentations by FDA? Dr. Lewis.

22 DR. LEWIS: I just want to ask the division
23 what they thought of the pharm/tox data. We were presented
24 with a conclusion slide that said there's a signal from the
25 animal data, and we sometimes don't know what to do with

1 animal toxicity studies. Assuming that troglitazone is an
2 unpredictable hepatotoxin, rosiglitazone in humans at
3 least, which far outnumbered the dogs in the trial, didn't
4 show a signal of any kind. How did the division interpret
5 those data?

6 DR. STEIGERWALT: That's a good point that
7 you've made there. What I did was refer to that as a
8 finding rather than a specific toxicity. We saw a very
9 high effect at a very high dose in the dogs, which got our
10 attention. The effect, of course, is much lower when
11 you're getting to levels that approximate human exposure.
12 So, what we take that as is a signal to look into the
13 clinical studies as to whether we're going to get some kind
14 of finding in the clinical results. In the development of
15 the drug, we would then probably make recommendations in
16 study design so that those issues are addressed. I don't
17 necessarily mean that the animal findings are indicative of
18 the findings that were seen with troglitazone clinically.

19 DR. BONE: Thank you.

20 Any other questions for FDA? Yes, Dr. Hammes.

21 DR. HAMMES: Somewhere either today or tomorrow
22 we're apparently going to look at class labeling largely in
23 terms of liver toxicity. Recalling our discussions of
24 troglitazone last week, it seemed that one of the big
25 issues was the wide error bars in some of the estimates.

1 | Given that, would it not be appropriate to combine the data
2 | from the troglitazone, rosiglitazone, and tomorrow's
3 | discussion in terms of class action? And will that be
4 | forthcoming or what's your feeling on that?

5 | DR. BONE: Well, I guess what we're going to be
6 | asked to discuss is whether we're dealing with an effect of
7 | individual drugs or whether the drugs should be treated as
8 | a class. So, maybe that's an issue for this afternoon.

9 | Dr. New.

10 | DR. NEW: This is a very brief question. As a
11 | clinician who would deal with these patients and let's say
12 | that you decide to give this drug in combination to lower
13 | the blood glucose and the hemoglobin A1c and then you
14 | observe that the lipids are rising, the clinician would
15 | probably add a lipid lowering drug. Is there any
16 | experience that can be given as to what the combination of
17 | lipid lowering drugs with the troglitazones or any of this
18 | class of drugs to know whether there is a drug-drug
19 | interaction?

20 | DR. BONE: Any comment from FDA on actual
21 | experience with this? Any comment from the sponsor? Do
22 | they have direct experience using --

23 | DR. MISBIN: There were cases that were taking
24 | lipid lowering drugs in the data set, but we don't have any
25 | specific experience. That would be something the sponsor

1 | would have to address.

2 | DR. SOBEL: The cause for concern is there
3 | because the same cytochrome metabolizes the drug, and
4 | actually in our troglitazone labeling, mention is made of
5 | the same cytochrome being involved. But we haven't seen
6 | any empiric data that gives us any alarm yet.

7 | DR. BONE: Anything specific from the sponsor
8 | in response to that question?

9 | DR. WHEADON: Roughly 20 percent of the
10 | patients in our database were on lipid lowering agents at
11 | entry into study. One of the requirements around that was
12 | that the dose could not be altered once they came into the
13 | study, nor could it be stopped. So, they were on lipid
14 | lowering agents. We did not see any differential adverse
15 | effect in those patients versus those that were not on.

16 | Additionally, in terms of the P450 issue, as
17 | Dr. Sobel has indicated, rosiglitazone goes through 2C8
18 | which is a very distinctive pathway as opposed to 3A4.

19 | DR. BONE: Thank you.

20 | I guess I'll ask a somewhat parallel question.
21 | Was there any experience with treatment-emergent edema
22 | using diuretics?

23 | DR. RAPPAPORT: Yes. About 30 percent of the
24 | patients who developed edema did get put on diuretics. We
25 | can only assume that it was effective because very few

1 patients withdrew due to that adverse event of edema.

2 DR. BONE: So, we really don't know for sure.

3 DR. RAPPAPORT: We don't know for sure.

4 DR. BONE: All right. Thank you very much.

5 I think if there are no further questions for
6 the FDA, Dr. Hirsch wanted to give the sponsor one more
7 thing to do during lunch.

8 (Laughter.)

9 DR. HIRSCH: Sorry. I'm still very curious
10 about the hemodynamic effects, even though they're small,
11 about what the pathogenesis of these is. I wonder if you
12 have any data whatsoever on microalbuminuria, for example,
13 in these patients over the course of the study or plasma
14 albumin levels. If you might look to that or anything
15 related to those issues, I'd be very pleased.

16 DR. BONE: Thank you.

17 If there are no further questions pertinent to
18 the FDA presentation, I think we can go to the open public
19 hearing section. I believe we have a presentation by a Dr.
20 Larry Sasich. Will you please give your organization
21 affiliations and list any potential conflicts of interest?
22 Please.

23 DR. SASICH: Thank you very much. Larry
24 Sasich, Public Citizens Health Research Group, Washington,
25 D.C. And no potential conflicts of interest. I'm standing

1 | in today for Dr. Sidney Wolfe who couldn't be here.

2 | The public is at a distinct disadvantage at FDA
3 | advisory committee meetings because we don't have access to
4 | summary safety and efficacy information prior to or even at
5 | the time that the committee is deliberating a topic. We
6 | hope that at some point in the future that this will, in
7 | fact, be remedied.

8 | Since we're not able to have the opportunity to
9 | look at the data in depth, the things that I would like to
10 | say are going to be very brief and very general. I want to
11 | make my comments in light of the troglitazone post-
12 | marketing safety meeting that occurred last month and the
13 | fact that it appears that there's going to be discussion of
14 | class labeling or class effects of these drugs this
15 | afternoon and tomorrow. I would like to quickly or briefly
16 | just sum up by mentioning patient labeling, which is a
17 | topic that was discussed at the troglitazone safety
18 | meeting.

19 | The things that I remember distinctly or that
20 | stand out in my mind most from the troglitazone meeting
21 | were that we don't understand the mechanism of
22 | hepatotoxicity of troglitazone.

23 | Also, one thing that was very striking was that
24 | compliance with liver testing requirements is abysmal. The
25 | word "abysmal" was used by one of the committee members

1 | during that meeting. At least post-marketing and post-
2 | marketing experience with troglitazone, liver testing may
3 | not detect what Dr. David Graham described as rapid risers
4 | and the withdrawal of the drug may not prevent progression
5 | of liver disease.

6 | In regard to class labeling for these drugs,
7 | until shown otherwise, I think it's only prudent to assume
8 | that this drug and other drugs in its class are not safer
9 | than troglitazone. I chose the word "prudent" very
10 | carefully because the word "prudent" is used in the
11 | University Group Diabetes Study warning that is included in
12 | the labeling for all of the sulfonylurea drugs, that even
13 | though we had data in the UGDPS study on one sulfonylurea,
14 | that it may be prudent from a safety standpoint to include
15 | a class warning or class labeling for all of these drugs.

16 | Just to close, regarding patient labeling, as I
17 | mentioned, it was a topic that was raised at the
18 | troglitazone post-marketing safety surveillance meeting.
19 | There is only one way to ensure that patients do, in fact,
20 | receive the labeling that the agency and sponsors intend
21 | for them to have. There are approximately 50 drugs right
22 | now that do have FDA approved patient labeling. At least
23 | the drugs that we've looked at, patients don't receive that
24 | labeling. What they receive are computer printouts from
25 | pharmacists' computer systems that are completely

1 | unregulated.

2 | There will be a rule that will be final on June
3 | 1st this year, a rule that was first proposed by the FDA in
4 | 1995, called the Medication Guide Rule. In these
5 | circumstances, if the agency deems that a drug requires a
6 | medication guide, then the requirement is the mandatory
7 | distribution of medication guides to patients. This can
8 | only be accomplished if the drug is dispensed in unit of
9 | use packaging.

10 | Thank you very much. I hope you would consider
11 | medication guides for these drugs and the fact that it is
12 | very, very important for patients to have this type of
13 | information. Thank you for your attention.

14 | DR. BONE: Thank you very much.

15 | We're going to have a break for lunch now.
16 | We're going to plan to be back here at 10 minutes after
17 | 1:00.

18 | (Whereupon, at 12:03 p.m., the committee was
19 | recessed, to reconvene at 1:10 p.m., this same day.)

20 |

21 |

22 |

23 |

24 |

25 |

AFTERNOON SESSION

(1:15 p.m.)

1 |
2 |
3 | DR. BONE: The committee is back in session.

4 | The first item of business is an item that's
5 | not on the agenda. This will be a brief presentation by
6 | Dr. Sobel concerning some of the valuable members of the
7 | committee who are now achieving emeritus status.

8 | DR. SOBEL: We at FDA are very dependent on the
9 | advisory committee and on its individual members to advise
10 | us in making decisions. Recommendations are extremely
11 | important to us.

12 | Today we want to express our gratitude to two
13 | members who are leaving the committee: Dr. Maria New who
14 | has provided a great deal of help in various endocrinologic
15 | matters, has provided bridges to the pediatric issues that
16 | we sorely need, and also to Cathy Critchlow for her expert
17 | advice in issues of epidemiology.

18 | I have here two letters each, one from our
19 | center Director, Dr. Woodcock, and one from our
20 | Commissioner, Dr. Henney, two letters each which will
21 | elaborate more on our extreme gratitude. So, I'll present
22 | these.

23 | (Applause.)

24 | DR. BONE: Thank you very much, Dr. Sobel.

25 | If I rightly read the intentions of our

1 Executive Secretary and the Advisors and Consultants Staff,
2 this is not the last we'll see of these emeritus members.
3 They will be valuable consultants, I'm sure, in the future.

4 The next item will be a mini or micro-
5 presentation, depending on whether we fall under or over 10
6 minutes, by the company to respond to some of the questions
7 that they were asked to address. They've had the
8 opportunity to see whether the hypoglycemia induced by
9 missing lunch will be offset by the epinephrine level that
10 they will have achieved while preparing the answers to
11 these questions.

12 (Laughter.)

13 DR. WHEADON: Thank you, Dr. Bone.

14 Just to briefly outline our planned micro-
15 presentation, and it will be micro, we'll have Dr. Brunzell
16 lead off with additional comments around the lipid issues
17 which the committee was asking.

18 That will be followed by the adverse
19 experiences database at baseline looking at patients with
20 preexisting hypertension, preexisting edema, and cardiac
21 heart failure, NYHA class I and II, as was asked.

22 We'll then go into the efficacy in terms of the
23 metformin issue that was driven by the 093 data and the
24 questions of patients switched from metformin onto Avandia.

25 And then we'll end with some of the additional

1 | analyses that were asked for in terms of the liver enzyme
2 | elevations.

3 | So, Dr. Brunzell?

4 | DR. BRUNZELL: Yes. I'm John Brunzell,
5 | Professor of Medicine at the University of Washington, and
6 | I'd like to address in the next 2 or 3 minutes the issue of
7 | the LDL/HDL cholesterol.

8 | Dr. Mele presented data from studies 11 and 24
9 | which were studies that covered a period of 26 weeks, and
10 | what I'd like to do is re-present the data that Dr. Rebuck
11 | presented of the 52 weeks, showing at 26 weeks the results
12 | are the same as what Dr. Mele said, but if you follow
13 | further, you get a different answer.

14 | I'm going to show three slides. One is these
15 | are the effects of Avandia on LDL cholesterol at 8
16 | milligrams a day and 4 milligrams a day. You can see that
17 | LDL goes up, and it stays up presumably forever.

18 | The thing that's quite different is the slow
19 | response of HDL cholesterol. Now, she talked about the
20 | effect at 26 weeks here, and you can see that with the oral
21 | sulfonylurea, with 4 milligrams a day and with 8 milligrams
22 | a day, that in fact HDL continues to go up. So, if the LDL
23 | cholesterol stays constant and the HDL cholesterol is going
24 | up, you'd expect to see a decrease in the LDL to HDL
25 | cholesterol which would be the preferred way for it to go.

1 In fact, that's exactly what you see. Here's
2 26 weeks where the LDL/HDL cholesterol ratio is actually
3 higher than it was at baseline, but because of the
4 continued increase in HDL cholesterol, this actually comes
5 back down to baseline or perhaps below. I think that this
6 is a very important observation, that over time both the
7 oral sulfonylureas and the rosiglitazone do this. I think
8 most of the lipid effects, if all of the lipid effects, are
9 probably class effects of this class of drugs.

10 Are there any questions?

11 DR. BONE: Dr. Molitch.

12 DR. MOLITCH: John, what about the people who
13 have baseline lipid abnormalities? Do they follow this
14 same trend or do they act differently?

15 DR. BRUNZELL: I was very interested in the
16 triglyceride and that's how I got involved in this
17 originally. The only data that I know of that had been
18 done on that basis is the initial baseline triglyceride.
19 The people that have the highest triglycerides actually
20 have a decrease in triglyceride with therapy with Avandia.
21 The other people don't. So, overall you don't see much of
22 an effect.

23 I think it's a class effect. You see the same
24 thing with troglitazone presented. It was published in
25 Diabetes Care in 1996.

1 DR. MOLITCH: But if you have somebody who's
2 starting off with an elevated LDL of 160, a low HDL, do
3 they follow the same trend? Do they have a worsening of
4 their LDL to 190 or 200, or what happens?

5 DR. BRUNZELL: I think the HDL part you can
6 answer because of the known effect that change in
7 triglyceride and HDL with that. The data have not been
8 analyzed to actually answer the LDL. Informally, they said
9 there was no effect, but I haven't actually seen the data
10 myself.

11 DR. BONE: Dr. Illingworth.

12 DR. ILLINGWORTH: John, is there any data on
13 changes in APO-B, APO-A1, and potentially Lp-a in response
14 to this drug?

15 And the second question is, any information
16 about the mechanisms of response for the changes?

17 DR. BRUNZELL: Yes. There are data on APO-B
18 and LDL. As I mentioned earlier, the LDL cholesterol to
19 LDL APO-B ratio goes up, suggesting they're getting rid of
20 the small, dense atherogenic LDL.

21 APO-A1, there are some data and I can't tell
22 you what they are. I don't remember. I've seen the data
23 over the last several months.

24 Lp-a, I don't think there are any data.

25 I think the mechanism related to the change in

1 LDL, as I mentioned -- our hypothesis is because of a
2 decrease in free fatty acid, decreasing hepatic lipase.
3 So, we hope to be able to show this, then getting a bigger
4 moiety LDL and more HDL too.

5 It just occurred to me today and this is pure
6 speculation, but if in fact these people get edema and
7 they're putting on hydrochlorothiazide for that, that
8 raises LDL. That's something that can actually be
9 addressed.

10 DR. BONE: Further questions for Dr. Brunzell?

11 This appears to be Dr. Rappaport headed for the
12 podium. You notice I've been associating with
13 statisticians a lot and I said, appears to be Dr.
14 Rappaport.

15 (Laughter.)

16 DR. RAPPAPORT: I'm really not sure what to
17 make of that.

18 I'm going to try to answer some of Dr. Seeff's
19 questions regarding what happens to patients who have
20 elevations in their liver enzymes at baseline, and I think
21 we have a slide for that. This is a look at what happened
22 to patients who began -- actually this answers an earlier
23 question. Dr. Seeff wanted to know how many patients had
24 so-called low grade elevations in their liver enzymes. Is
25 that right? And that's what this slide is.

1 This is the percentage of patients who received
2 Avandia monotherapy, placebo, Avandia plus metformin,
3 metformin alone, Avandia plus sulfonylurea, or sulfonylurea
4 alone who had elevations in their ALTs in the first row
5 here, elevations in ALT that were greater than 1 time, but
6 less than 3 times the upper limit of the reference range at
7 any time during the study. This is the proportion, the
8 percent of patients. These are percents.

9 So, here we have for Avandia alone, 4.6
10 percent; with placebo, 3.4; Avandia plus metformin, 3.3;
11 metformin, 3.9; Avandia plus SU, 6.3; and SU alone, 8.

12 The other percentages are for alkaline
13 phosphatase and bilirubin, and here quite consistently the
14 Avandia percentages are lower than the corresponding
15 comparator groups.

16 Now, I think we have another slide which shows
17 you what happened to the patients who had baseline values
18 that were within the reference range. There were
19 approximately 4,000 such patients who were treated with
20 Avandia alone or in combinations, and about 550 patients on
21 placebo. This shows the percentage that remained within
22 the reference range throughout the study. They were about
23 the same. Patients who went to greater than 1, but less
24 than 3 times the upper limit, and that's similar to what
25 you saw earlier, but this is for all Avandia patients

1 combined. And this is the patients we know about, the ones
2 who went to greater than 3x.

3 I think we have one more slide where we will
4 tell you something about what happened to the 5 or 6
5 percent of patients who started our studies with elevations
6 in ALT at baseline. So, this is the last on-therapy ALT in
7 patients whose baseline values were greater than the upper
8 limit of the reference range. This is for all the Avandia
9 patients. There were 249. All the metformin patients, all
10 the SU patients, and all the placebo patients. So, there
11 really was a small proportion of patients in the studies
12 overall that started above the upper limit of the reference
13 range for ALT.

14 At the end of therapy, the last time we knew
15 about these people, 66 percent were within the reference
16 range. Another 16 percent had had a decrease from their
17 baseline, but they were still a little bit outside the
18 reference range. 6 percent had no change, and 11 percent
19 were above their baseline value but they were still not
20 above 3x because we -- well, that's not true. The few that
21 we know about who were above 3x we've told you about, and
22 the proportions are actually higher for these groups of
23 patients here, although the numbers are very small.

24 Does that address most of your --

25 DR. SEEFF: Let me ask you another question

1 about it. It doesn't compel that the data thus far do not
2 show much with respect to acute hepatotoxicity. We also I
3 think with the previous drug troglitazone also didn't have
4 much at that point and much of the acute problems occurred
5 afterwards in the post-marketing period. So, I just make
6 that point. But I'm compelled that this does not cause
7 acute hepatotoxicity.

8 The question is about chronic disease and
9 particularly if you start with chronic disease. I don't
10 know if it's possible. There's one case here of a patient
11 who had hepatitis C, and I know that one case doesn't give
12 us everything. But one patient with hepatitis C is started
13 on the drug, has I guess normal transaminases, and within a
14 short time suddenly the ALT rises to 600 and then comes
15 down. Of course, that is not inconsistent with chronic
16 hepatitis C anyway.

17 Do we have any information? Did people do
18 viral loads, for example? Is it conceivable that in
19 somebody who already has intrinsic liver disease, in this
20 case chronic hepatitis C, and you add this -- could it
21 conceivably lead to problems further on? I say this one
22 case was at least an example to ask the question about.

23 DR. RAPPAPORT: I can't exclude that
24 possibility. We did not screen patients when they came
25 into our studies or before they came into our studies to

1 | see whether they had evidence of preexisting hepatitis. We
2 | didn't do hepatitis C serology or any other hepatitis
3 | serology on those patients.

4 | This particular patient, the one who had that
5 | very high ALT, actually we don't know when he got hepatitis
6 | C. We do know he had a transfusion in 1991. We do know he
7 | was hep C positive 60 days after he completed our trial.
8 | We really don't know his status at the time he entered the
9 | trial, and we were not able to get any saved serum to see
10 | whether he actually had hepatitis C at the time he was put
11 | on Avandia.

12 | DR. SEEFF: We are struggling with the issue,
13 | and this extends beyond this particular discussion. How do
14 | you monitor a patient who has intrinsic liver disease, who
15 | is put on a drug, and you wonder whether an abnormality
16 | occurs as a result of the underlying disease, or is it the
17 | result of a drug, for example? We don't really have an
18 | answer to that. I know that at the NIDDK we are now trying
19 | to look at this issue to see if we can come up with more
20 | sensitive measures to make that distinction. So, I
21 | understand the problem, but I just mention it for the
22 | record.

23 | DR. WHEADON: I might mention just one other
24 | thing in addition to what Dr. Rappaport said. As she
25 | pointed out in her presentation, the database that you're

1 | looking at is an ongoing database and it includes patients
2 | that are in extensions, ongoing extensions of clinical
3 | trials. We're constantly repopulating that database. So,
4 | it goes beyond the 26 or even 52-week cutoff of the number
5 | of studies that you've seen. So, to sort of get at your
6 | question of long-term use, do you see a change in pattern,
7 | we have not seen that yet.

8 | Dr. Yamada?

9 | DR. YAMADA: I wonder if I might comment, Dr.
10 | Seeff. I think the question you ask is a very important
11 | and a relevant one.

12 | The closest we can come to an answer is that we
13 | did include in our study a large number, 250 more patients
14 | with elevated liver enzymes to begin the study. The vast
15 | majority of them, in fact, improved, and only 4 of that
16 | group went on to have elevated liver enzymes above 3 times
17 | normal. So, if you're worried about basic underlying liver
18 | disease or undetected hepatitis C or other conditions such
19 | as NASH that may be present, the fact is that our study
20 | would have included many of them, and most of them, in
21 | fact, did very well.

22 | DR. BONE: Thank you.

23 | Are there any other questions at this point?

24 | Dr. Rappaport, did you have anything further to show?

25 | DR. RAPPAPORT: There were actually three other

1 | issues that I wanted to answer that came from questions
2 | before lunch.

3 | One was a question about what we saw in
4 | patients who had a baseline condition of edema. There were
5 | relatively few patients in our trials that entered the
6 | study with a history or a current condition of edema.
7 | Among the patients treated with Avandia alone, there were
8 | 76 patients who entered with edema and 2,450 who did not
9 | have edema listed. And in the placebo group, there were 22
10 | who entered with edema in their medical history and 579 who
11 | did not.

12 | So, looking at those groups -- I think we do
13 | have a slide for that. This was part of our evaluation. I
14 | apologize for this being small. This is Avandia
15 | monotherapy which was the preponderance of our patients.
16 | These are 76 patients who had edema at baseline and 2,450
17 | that did not. 22 placebo patients and 579 that did not.
18 | These are the overall adverse event profiles for those
19 | patients. Although we do see that a slightly higher
20 | proportion of those patients who had edema at baseline had
21 | edema listed as an adverse event here and then we have
22 | edema legs and edema peripheral, although we don't know
23 | whether those aren't in some cases the same patients. We
24 | haven't collapsed those adverse events. We also see a
25 | slightly higher proportion out of these very few patients

1 | who have edema on study in placebo.

2 | There was also some question about aggravated
3 | hypertension. Here we have 3 out of these 76 patients had
4 | an adverse event of aggravated hypertension on study, and
5 | there was also 2 placebo patients who had aggravated
6 | hypertension who had had edema at baseline, compared to a
7 | smaller proportion who did not.

8 | DR. BONE: Dr. Rappaport, wouldn't the patients
9 | with prior edema have had that listed as a background
10 | condition rather than an adverse event during the study?

11 | DR. RAPPAPORT: Well, if it worsened, they
12 | would have it listed as an adverse event during the study.

13 | DR. BONE: But if it worsened enough for the
14 | clinician to say that it was clinically worse.

15 | DR. RAPPAPORT: Yes, correct. Correct.

16 | And then to try to address the question of what
17 | happened to patients with hypertension, I think we also
18 | have a slide for that. This is again part of our drug
19 | disease interaction analysis. Here, of course, we have a
20 | much larger proportion of patients. About 40 percent of
21 | the patients that enrolled in our studies had hypertension
22 | at baseline and similar proportions for the placebo
23 | patients. Here again, the overall adverse event profiles
24 | are not different for patients with and without
25 | hypertension.

1 And to address the question of aggravated
2 hypertension, about 3 percent of the patients who started
3 out with hypertension had that listed as an adverse event,
4 and 3.3 percent of the placebo patients who started out
5 with hypertension had that listed as an adverse event
6 during the trials.

7 Finally, there was a question about whether we
8 had any patients with class I or II congestive failure who
9 entered our studies. There were 28 such patients who
10 received Avandia either alone or in combination who entered
11 our trials. Of those, 5 developed edema that was reported
12 as an adverse event during the trials. None of those
13 patients were withdrawn from the studies, and as far as we
14 know, none of the patients on trials had -- well, none of
15 the patients had adverse events that indicated progression
16 of their congestive failure during their time on the
17 trials, but obviously again, the number is quite small.

18 DR. SEEFF: Can I just make one last comment?
19 I'm sorry to get back to this enzyme this business. If I
20 was a surgeon, I'd be cutting. I'm a hepatologist, so an
21 ALT is meaningful to me. If we went around this room over
22 here and tested everybody, we're going to find ALTs in the
23 middle to lower range. Once you get up to, in my lab, 40,
24 if you get up to 41 or 42, this has meaning. There is
25 something going on.

1 So, therefore, I note that I guess 4 percent at
2 least in one group and 3.3 percent in another group had
3 abnormal enzymes to begin with and yet we're dealing with a
4 drug that has potential hepatotoxicity. Why were these
5 people not worked up? How could we just accept the fact
6 that they have an abnormal ALT?

7 Another look-back is beginning in about two
8 weeks time. It's going to be launched and everyone who has
9 got an abnormal ALT, it's going to be suggested they go
10 back and test themselves for hepatitis C.

11 So, I think that we should know what the
12 underlying problem was with these people because it may
13 have relevance to what happens subsequently. I think I
14 wouldn't just accept an ALT and say, well, it's 46, it's
15 okay, we can give any drug. It doesn't have to be this,
16 any drug. I would like to know what's the matter with that
17 patient before I even started treatment.

18 DR. WHEADON: Well, Dr. Seeff, I would also
19 remind you the time period during which these studies were
20 done. They were carried out at a time, if you will, that
21 the issue per se with troglitazone or the question of
22 thiazolidinedione was not prevalent. So, you have to keep
23 that in mind in terms of how we allow patients into the
24 study, the rigor with which we may have done serologies,
25 and what have you.

1 But that notwithstanding, we have to always
2 come back to, as we're fond of saying, the fact that we see
3 no smoke. We have to keep coming back to that.

4 DR. SEEFF: I accept that.

5 DR. BONE: There's always a balance to be drawn
6 between trying to have the purest possible study group and
7 having a group that resembles the group of people that
8 would be treated in clinical practice as well. So, it's a
9 continuing balancing act.

10 DR. WHEADON: One last micro-topic, if you
11 will.

12 DR. REBUCK: First, I'd like to thank Dr.
13 Misbin for his comments concerning the appropriateness of
14 the experimental design of study 93, which was designed in
15 collaboration with the agency.

16 (Laughter.)

17 DR. REBUCK: I'd also like to thank him for
18 confirming that in his evaluation, he felt there was a
19 selection bias which favored metformin, and we certainly
20 agree with that as well.

21 Can I just show an efficacy evaluable plot and
22 remind ourselves of the difference between the experimental
23 design and the clinical lessons that the practitioner might
24 learn? These patients at this point here had had many
25 weeks of maximum dose metformin and had not achieved

1 | glycemic control.

2 | The clinician would then be faced with two
3 | options. Let's run the metformin for another 26 weeks and
4 | see if things gradually improve, and they didn't. They
5 | didn't deteriorate, but they didn't improve. Clinical
6 | logic would say, let's add another agent. Avandia was
7 | added and glycemic control improved.

8 | The one option hopefully the clinician would
9 | not take is to abruptly stop the metformin and say if this
10 | monotherapy maximum dose didn't work, maybe another one
11 | will. And clearly it doesn't.

12 | During the initial period, there will be
13 | patient dropouts and there were several during this study,
14 | but one never catches up.

15 | So, to address the more real-life situation,
16 | over lunch we looked at some other numbers to examine the
17 | question more directly. This is from study 20. These are
18 | changes from screening in hemoglobin A1c in patients who
19 | had previously taken monotherapy with metformin. This is
20 | the ITT population and there are approximately 22 patients
21 | who fell into this group. So, what we have here is
22 | screening, then baseline, and then week 52, and clearly at
23 | week 52, they're better than they were at screening when
24 | they were on metformin.

25 | Thank you very much.

1 DR. BONE: Would you just clarify? These are
2 patients who were on rosiglitazone monotherapy?

3 DR. REBUCK: Yes, that's exactly right. That
4 was from study 20.

5 DR. BONE: And that was the rosiglitazone
6 monotherapy group that you were showing only.

7 DR. REBUCK: That's correct.

8 DR. BONE: Thank you.

9 Dr. Genuth.

10 DR. GENUTH: I agree that as a clinician I
11 wouldn't stop metformin and start rosiglitazone if it's
12 approved. I wouldn't do it because I saw that data.

13 DR. BONE: That's all the prepared slides that
14 the company has put together over the interval. Are there
15 any other items pertinent to these questions and answers
16 from the morning?

17 DR. HIRSCH: Just one more micro-topic. You
18 have no measurements of plasma protein or urinalyses with
19 albumin in diabetics who were edematous.

20 DR. BONE: They do have that.

21 DR. WHEADON: The answer to your question
22 concerning microalbuminuria is those analyses are
23 relatively new. We've not had a chance to share those with
24 the agency. In agreement with the agency, we're not able
25 to show those today.

1 However, we do have I think data concerning
2 albumin. Can we summarize that?

3 DR. RAPPAPORT: This slide shows you the mean
4 baseline albumin measured in grams per liter for patients
5 who received Avandia monotherapy, for patients who were
6 receiving placebo, SU, and metformin alone. This was the
7 mean value for this group of patients. It's actually a
8 slightly smaller group because some people left the study
9 at between 91 and 196 days. So, the change during the
10 first 6 months of treatment was approximately 1.5 for the
11 Avandia monotherapy patients, 1.1 for placebo, 1 and .7
12 grams per liter for the corresponding comparator groups,
13 and the percentage changes are given here.

14 DR. BONE: Let's see. I think that then wraps
15 up the carryover from the morning. Is that right? No.
16 Dr. Genuth has another carryover point.

17 DR. GENUTH: Is it still open season on the
18 liver for questions?

19 DR. BONE: I'm trying to just deal with have we
20 covered the topics that we asked the company to prepare
21 answers for.

22 DR. GENUTH: Oh.

23 DR. BONE: And have we done that? Other
24 questions or comments about that?

25 Now, since Dr. Genuth is about the lead us into

1 a discussion of the liver. Obviously today's presentation
2 concerns itself with rosiglitazone and we are not planning
3 to discuss the other drug in this class that's under
4 review, pioglitazone, until tomorrow, however it's been
5 brought to my attention it may be helpful for today's
6 discussion to just ask a very general question of Dr.
7 Misbin about whether it appears that there are any problems
8 with liver safety that arise with pioglitazone beyond the
9 level that we've seen with rosiglitazone.

10 DR. MISBIN: No.

11 DR. BONE: Thank you.

12 Just if we start branching out a little bit
13 beyond the specific drug, we've got a little background.
14 Obviously, we'll have the opportunity to go into detail
15 tomorrow. We can't do two drugs at the same time. So,
16 we're just doing it this way. And we have the opportunity
17 to have our hepatologists here with us.

18 What I think we will do is have some general
19 discussion, make sure everybody has covered all the
20 important points that they want to bring up, and then we'll
21 work toward the specific questions that we've been asked to
22 address a little later this afternoon.

23 Dr. Genuth, you can lead off.

24 DR. GENUTH: I'd like to ask the sponsor a
25 pharmacology question. If you look at page 36 in the

1 sponsor's blue book, you have the structures of
2 rosiglitazone and troglitazone, and I think that the person
3 presenting for the sponsor implied at least as a
4 speculation, but I thought implied pretty strongly, that
5 the liver toxicity associated with troglitazone was due to
6 the left side of the molecule looking at the sheet of
7 paper, and that either the process of it's being oxidized
8 to a quinone or a quinone structure itself was causing the
9 toxicity. And by inference, we shouldn't worry so much
10 about rosiglitazone because it doesn't have that same left
11 side of the molecule.

12 What I'd like to ask the sponsor is, did you
13 synthesize any compounds which just have the left side of
14 the molecule attached to something other than a
15 thiazolidinedione, and when you gave that to dogs, rats, et
16 cetera, did you in fact get liver toxicity?

17 DR. WHEADON: I can give you the brief answer,
18 but Dr. Gwyn Morgan can probably the more specific. But
19 the answer is no, we've not done that.

20 DR. GENUTH: You haven't made any compounds
21 like that. It might not be a bad thing to do if you want
22 to prove your thesis then.

23 DR. BONE: Anything further from the sponsor on
24 that topic? No. Thank you.

25 Other questions, comments from the committee

1 | members concerning the efficacy or safety? Maybe we'll
2 | just for a little while focus on efficacy topics and then
3 | we'll come back to the safety issues in a little while.
4 | Maybe that will help us structure a discussion a little
5 | bit.

6 | Dr. Genuth has an efficacy comment or question.

7 | DR. GENUTH: No. A liver question actually I'd
8 | like to ask one of my fellow committee members.

9 | DR. BONE: All right.

10 | DR. GENUTH: I think, Dr. Seeff, you said that
11 | in your laboratory 40 or 41 ALT would be abnormal. I'm
12 | just curious, how do you set the upper limit of normal in
13 | your laboratory?

14 | DR. SEEFF: Well, let me answer that in two
15 | parts. We take a mean and two standard deviations and
16 | anything outside of that is considered abnormal.

17 | My point is -- and actually they have some data
18 | to show this -- we take the upper limit of abnormality as,
19 | let's assume, about 40. In many labs this seems to be the
20 | case. I don't know what the meaning is of 38 as compared,
21 | for example, to 25. My understanding is that if we
22 | measured everybody here, we're going to have an AST higher
23 | than an ALT even within the normal range, and there are
24 | instances in which you have an ALT higher than an AST even
25 | within the normal range, but a high abnormality.

1 There is a paper that has actually looked at
2 this in patients with hepatitis C because there's been a
3 whole issue about what about individuals with hepatitis C
4 who are viremic but have normal enzymes. Is this a
5 problem?

6 Well, there is a paper that was presented at
7 the liver meetings in Chicago at the end of last year where
8 they looked at people who have transaminases above -- I
9 can't remember exactly the cutoff -- maybe it was above 30,
10 between 30 and 40, therefore normal, and compared it to
11 people who had values below that but who were viremic. And
12 they biopsied them. It's not what we all do, but they did.
13 And, indeed, they found that people with high normal
14 transaminases had much more in the way of inflammation in
15 the liver than those people who had low ALTs.

16 The only thing I'm trying to get across is that
17 this doesn't make a terrible disease, but hepatitis C,
18 which is now what we're all so caught up with has these
19 fluctuating enzymes and the height of the enzymes really
20 doesn't have much relevance to the severity of the disease
21 or the severity of outcome. You can have patients with
22 transaminases in the 40s and 50s, taking 40 as the upper
23 limit of normal, and the disease will still progress to go
24 on to develop cirrhosis and perhaps to liver cancer.

25 So, I'm just very wary of the ALT. I think a

1 low normal ALT, when there's a ratio reversal with the ALT
2 higher than the AST, has some meaning. Now, I don't know
3 that I know enough about what that meaning is, but it's a
4 caution to me to be very careful about what this person may
5 have and to follow up on that.

6 DR. GENUTH: I asked the question. I
7 appreciate the answer. I asked the question because if you
8 set, as most laboratories do, two standard deviations above
9 normal as your upper limit of normal, then 2.5 percent of
10 the population is going to exceed that upper normal limit.

11 DR. SEEFF: Yes, I understand.

12 DR. GENUTH: So, that's kind of a blank which
13 has to be subtracted from the numbers we looked at.

14 DR. SEEFF: Absolutely. I agree.

15 DR. GENUTH: Now, maybe to enlarge this
16 slightly, are you suggesting that we're defining normal the
17 wrong way, that we should define normal ALT like we're now
18 defining cholesterol, et cetera on the basis of patient
19 outcomes long term rather than on the basis of two standard
20 deviations in a supposedly healthy population?

21 DR. SEEFF: If we had the opportunity to do a
22 study of that nature, which would probably take 30 to 40
23 years to come up with an answer for because even in those
24 who have chronic hepatitis C, for example, we have to
25 follow these people for 30 years before we see really an

1 outcome that we are concerned about.

2 We can't change what we do. We set an upper
3 limit of normal and that's what we stick with. I think,
4 though, we need some better measurements of toxicity and of
5 liver damage. The ALT has served us extremely well, but
6 I'm not sure that we don't need better things. And it's
7 something that I know I would like to try to stimulate --
8 well, I have already got some thoughts going at the NIH to
9 begin to look at this in some detail.

10 I accept the fact that a normal ALT is the best
11 we can do, but I tell you that as a clinician, if a patient
12 comes in and they have an ALT of 38 and an AST of 25, I
13 worry a little bit about that patient. I keep a close eye
14 on that patient and make sure that I check the patient
15 fairly regularly to see what happens. If it stays below
16 that point, that's fine, but sometimes it goes up. And
17 this may happen. This fluctuates quite regularly.

18 I'm not sure that I'm confusing everybody here.
19 I probably am.

20 DR. GENUTH: No. You're not confusing me.
21 You're enlightening me, but it's making me wonder, if we
22 have any concerns still about this drug, whether we should
23 make some recommendation about restricting its use to
24 people whose ALT is less than some value if you're
25 concerned that those people are more vulnerable to

1 toxicity.

2 DR. SEEFF: Well, I don't know that. I mean, I
3 don't know that. My assumption is that once you have
4 intrinsic liver disease, if you add something else, it's
5 conceivable certainly to add another viral infection on
6 chronic hepatitis C, you're causing a lot of problems.
7 Now, this is not a virus we're talking about. This is a
8 particular drug.

9 You see, the mechanism of troglitazone I don't
10 understand. I don't know exactly why it causes the
11 hepatotoxicity. Maybe it's the left side of the molecule
12 and not the right side.

13 DR. BONE: But, Dr. Seeff, have we had any
14 evidence of serious hepatotoxicity with troglitazone in the
15 absence of substantial ALT elevations?

16 DR. SEEFF: Well, obviously patients who die of
17 fulminant hepatitis have abnormal enzymes.

18 DR. BONE: Yes.

19 DR. SEEFF: I don't know the long-term outcome
20 over many years. That would take a long time.

21 I think that this drug is far safer with
22 respect to the liver than troglitazone is. I see no
23 evidence at this point that it's associated with acute
24 disease.

25 My only concern is, what about people with

1 chronic liver disease? Is there a way of monitoring that?
2 Because I'm assuming that maybe 10 percent of the
3 population who will be treated ultimately with this will
4 have abnormal enzymes. Now, that may be benign, if we call
5 steatohepatitis benign, or it may be something else. And
6 the question always is when you have patients with abnormal
7 enzymes, how do you approach treatment because we struggle
8 with this.

9 DR. BONE: Well, I guess we're not in a
10 position to develop a new test today. Do you have a
11 specific recommendation?

12 DR. SEEFF: Are we moving to recommendations?

13 DR. BONE: No. I mean, are you going to make
14 one later? I think we're either going to have to make a
15 specific suggestion or let that be a topic for another day,
16 aren't we? I'm not sure what else we can do.

17 DR. SEEFF: Yes.

18 DR. BONE: We can worry but I don't think it's
19 going to be --

20 DR. SEEFF: You've asked us to come here as
21 presumably the experts in this area, maybe not. And Dr.
22 Lewis and I will have to draft our -- I'd like to discuss
23 this with him about what I would do about following these
24 people, if at all, if we even do measurements, if we
25 require enzyme abnormalities, or are we simply going to

1 | monitor them for manifest and overt liver disease.

2 | DR. BONE: We'll be talking about specific
3 | recommendations like that I think a little later today.

4 | Dr. Lewis I think has a comment or question.

5 | DR. LEWIS: Yes. It's hard to ignore the data
6 | we just saw. We have 5 percent of the patients who had
7 | chronic liver enzyme elevations of some etiology. We can
8 | presume that some of them are NASH. Some of them got
9 | better. 4 out of 250 getting worse without any serious
10 | clinical development is pretty reassuring. So, in most
11 | studies we never even get to see enzyme elevations in
12 | patients at baseline where they're kept in a study. Here
13 | we have that. So, they've helped us at least determine
14 | that for these 250 patients, nothing bad happened. Some of
15 | them actually improved.

16 | There are patients on troglitazone who have
17 | NASH and whose enzymes have improved. They have not gotten
18 | worse. We're dealing there with idiosyncratic,
19 | unpredictable injury. All the monitoring in the world may
20 | not have predicted all of the things that we saw there.

21 | DR. BONE: Could you just take a second to
22 | explain for members of the audience about NASH?

23 | DR. LEWIS: NASH is the acronym for non-
24 | alcoholic steatohepatitis, which is a condition we see.
25 | It's not unique to diabetics. It happens in thin people,

1 | in obese people, sometimes diabetics, people on steroids, a
2 | number of things where there's more than just fat
3 | deposition in the liver. There's actually inflammation. I
4 | call it fatty hepatitis for my patients as opposed to just
5 | fatty liver.

6 | The etiology of that is unknown. It may
7 | another virus that's found one day because it seems to
8 | behave in 20 percent like chronic viral hepatitis where
9 | they can go on to develop severe scarring in the liver and
10 | even cirrhosis. So, we don't have a good handle on it.

11 | There is no treatment for it. Right now we
12 | tell patients who are overweight to lose weight. We tell
13 | hypertriglyceridemic patients to go on a low fat diet or
14 | put them on anti-lipid lowering drugs. But for those who
15 | are diabetic, we tell them to keep their glucose under
16 | control and lose weight and whatever. So, it's very
17 | nonspecific therapy that we offer.

18 | Here we have information that some of them got
19 | better. Most of them didn't change, and these were
20 | individuals who already had these elevations up to two-and-
21 | a-half-fold normal. So, we're not dealing with just a
22 | little bit of elevation. It was almost the cutoff of the
23 | three times normal. So, for me that's pretty reassuring
24 | for that group. We've actually got the information at hand
25 | to look at.

1 DR. BONE: Thank you.

2 To continue this topic, Dr. Molitch looks like
3 he has a comment on this topic.

4 DR. MOLITCH: Not about liver.

5 DR. BONE: Dr. Hirsch has a liver point.

6 DR. HIRSCH: I've got a question to ask the
7 experts. Is there any reason to believe that effects might
8 occur in 2 or 3 or whatever years? Is there any other
9 similar drug situation that you know of that takes many
10 years to accumulate before there's such a hepatic effect?

11 DR. LEWIS: There's methotrexate which can lead
12 to fibrosis in people. It generally takes years. There
13 are certain other medications that can cause chronic injury
14 very slowly, insidiously over time. Nitrofurantoin is one
15 of those drugs used chronically.

16 We have no indication that any of these
17 individuals has developed chronic injury. All of the
18 enzyme elevations that occurred became normal, and we
19 wouldn't expect necessarily that injury is occurring when
20 the enzymes return to normal. Now, that's not always the
21 case with things like methotrexate, which is why we do
22 biopsies in some patients, because you can't necessarily
23 correlate enzymes with fibrosis. But we don't see the
24 acute fulminant hepatitis in those individuals, and the
25 treatment for the methotrexate patient is stop the

1 | methotrexate and hope that they don't get any worse.

2 | So, we don't have a lot of drugs that cause the
3 | chronic injury to begin with, and we don't predict that
4 | necessarily from acute injury.

5 | DR. BONE: Further questions or comments on the
6 | liver issue?

7 | Maybe we'll come back to the question of
8 | recommendations a little bit later. Let's talk about some
9 | of the physiological issues, if we will. And Dr. Molitch
10 | is about to lead off the next phase.

11 | DR. MOLITCH: I have three questions under the
12 | topic of sort of reproduction if you will that are
13 | unrelated to each other.

14 | The first is the incidence in rats. There's a
15 | mention in the animal toxicity data of lactotroph
16 | hyperplasia that was seen. I was wondering if prolactin
17 | levels were measured in those rats, and have prolactin
18 | levels been measured in the humans?

19 | The second question has to do with these so-
20 | called abnormality in steroidogenesis. That was sort of a
21 | very nonspecific term, and I'd like to hear more about what
22 | that specifically is. Was there a dose-response effect?
23 | Was there changes just in ovarian steroid production or how
24 | about adrenal steroid production, testicular steroid
25 | production? What do we know about this and have such been

1 | looked for in humans?

2 | And the third issue deals with the increase in
3 | fetal loss that occurs. Do we have any known mechanism
4 | that might be occurring for that? Is this somehow related
5 | to activation of the PPAR receptor, long-term cytokine
6 | activation that might occur? Is this something that, for
7 | example, if we were to approve this drug, should the drug
8 | be stopped months ahead of preparing for pregnancy or is it
9 | something where the person could just switch a day before
10 | deciding they wanted to get pregnant?

11 | DR. BONE: Thank you.

12 | Somebody is approaching the microphone for the
13 | sponsor who has the answers to all those questions.

14 | (Laughter.)

15 | DR. WIER: My name is Dr. Patrick Wier. I'm in
16 | safety assessment with SmithKline Beecham Pharmaceuticals.

17 | I think I've captured all your questions and
18 | I'll try to go through them in the same order you've
19 | presented them.

20 | First of all, you asked a question about the
21 | observation of lactotroph hyperplasia in rats. Now, let me
22 | start by showing you what happens in the rat when we
23 | measure hormone levels. We see on this figure, the dose is
24 | shown going from 0 to 80 milligram per kilogram. We're
25 | treating rats and we're measuring estradiol and

1 progesterone levels, in this case on the day of diestrus.
2 You'll see a nice dose-response for reduction in
3 progesterone levels. The progesterone levels fall up to
4 about 60 percent.

5 Now, you also see it at higher doses and, to a
6 lesser degree, a reduction in estradiol levels.

7 What you'll see here is that this occurs
8 without a deficiency of gonadotrophin and if anything,
9 there's a slight elevation in FSH, probably in response to
10 the lowering of steroid levels. We also measured prolactin
11 levels in these rats and there was no effect on prolactin.
12 But this experiment is a short-term experiment. These rats
13 were treated for about 2 weeks.

14 Now, let's talk about the lactotroph
15 hyperplasia. Now, as I've just indicated, in rats we have
16 this phenomenon of a greater reduction in progesterone
17 levels than estradiol. Specifically in the rat then it's
18 important to focus on the ratio of these two because the
19 ratio of estradiol to progesterone in the rat is what is
20 most important to consider the pituitary response. So, in
21 this case you actually have lower estradiol levels and
22 lower progesterone levels, but you have then this increased
23 ratio which is sufficient to stimulate lactotroph
24 hyperplasia.

25 At the time that this is newly induced in the

1 animals, at the induction phase, we don't see any effect on
2 prolactin, but of course, it's reasonable to speculate, as
3 I think you are, that if you would get lactotroph
4 hyperplasia in the rats and subsequently look, when there's
5 a large number of lactotrophs, it's reasonable to expect
6 prolactin might go up.

7 But it's important to recognize that lactotroph
8 hyperplasia was a species-specific phenomenon for this
9 compound. It's not seen in any other species, and it's
10 probably related to this unique aspect of the rat
11 endocrinology in responding to this ratio.

12 Now, you asked about exactly what is the basis
13 for this so-called abnormality in steroidogenesis. What
14 I've taken you through so far is that it affects both
15 estradiol and progesterone levels.

16 I'd like to draw your attention to some work
17 that was published in December in Endocrinology, and this
18 work was done in Randy Urbin's laboratory with porcine
19 ovary granulosa cells in culture. Dr. Urbin and colleagues
20 showed that this was a class effect for the
21 thiazolidinediones, that all of these compounds, and most
22 potently troglitazone in his experiment, were capable of
23 reducing progesterone synthesis in this ovarian cell
24 culture.

25 He also went on to study this a bit at the

1 mechanistic level. It was shown to be actually independent
2 of FSH stimulation. The effect is still evident, for
3 example, when the cells are stimulated with forskolin.

4 His suggestion is that this class effect
5 relates to a competitive inhibition of the enzyme 3-beta-
6 hydroxysteroid dehydrogenase, which is the enzyme, of
7 course, that among the reactions converts pregnenolone to
8 progesterone. In fact, in his cell cultures as the
9 progesterone levels go down, the pregnenolone levels go up.

10 So, I think at this point I'm sort of taking
11 inventory.

12 DR. MOLITCH: Can you go back also to the prior
13 slide that you had up just a moment before this one?

14 DR. WIER: Yes, sure.

15 DR. MOLITCH: Maybe you could comment about the
16 bottom of that slide also.

17 DR. WIER: Yes.

18 Having observed this phenomenon in rats, which
19 of course have an estrus cycle, we decided to conduct a
20 study in nonhuman primates, in this case synomologous
21 monkeys. In this experiment, monkeys were treated with
22 either 0.6 mg per kg or 4.6 mg per kg. Now, this lower
23 dose, 0.6 mg per kg, I'd like to point out is about 3 times
24 the clinical dose on a mg per kg basis, also about 3 times
25 the actual exposure level seen in patients given 8 mg per

1 | day.

2 | In this experiment, we followed the monkeys
3 | initially for a baseline period of evaluation. So, it was
4 | a longitudinal study.

5 | And these animals were selected for having
6 | perfect 28-day cycles essentially. They showed the
7 | classical cyclical changes in their hormone levels. We
8 | observed the normal follicular phase rise in estradiol, a
9 | sharp LH surge, followed by the broad luteal phase
10 | progesterone rise.

11 | Now, some of the animals showed a reduction in
12 | the follicular phase rise of estradiol, and this was then
13 | associated with reduced or absent LH surge, followed by a
14 | lower or, in fact in some cases, absent luteal phase
15 | progesterone rise. It was absent specifically in the
16 | animals who then subsequently failed to show menses.

17 | So, in this case what we've done is make an
18 | association between specifically the lowering of steroid
19 | levels, which is apparently a direct effect within the
20 | ovary -- and I want to speak specifically to follicles in
21 | the ovary, and that this has an impact on reproductive
22 | cycling in rats and monkeys at these exposure levels.

23 | Now, you asked a question about dose response
24 | -- I hope I've addressed that aspect of it -- and then
25 | said, well, what about other organs and what about

1 steroidogenesis in other aspects?

2 Let me take you back to the fact that the way
3 these types of findings are typically investigated is we're
4 conducting toxicological studies, and we start with apical
5 endpoints, for example, estrus cyclicity or organ weights
6 or histopathology. And then if we pick up a signal there,
7 then we first seek, well, is there a clinical biomarker
8 such as a hormone level, and then what's the mechanistic
9 basis to explain this?

10 Now, in contrast to what we had in the female
11 where we had a clear physiological change that should have
12 been studied, in male animals we didn't have much of a
13 signal.

14 Now, this summarizes the findings of looking at
15 the male reproductive organs. We did in one case find some
16 effect. It was a very slight reduction in these organ
17 weights. But recognize that this is more than 100-fold the
18 human exposure level in terms of AUC. At 10 milligram per
19 kilogram in male animals -- and by the way, I want to
20 emphasize that those changes in organ weights were not
21 correlated with histopathological changes in the organs.

22 At also a very high dose, 10 milligrams per
23 kilogram -- here now we're talking a 30-fold or more the
24 clinical exposure -- we had absolutely no effects. Of
25 course, we recognize that the male dogs are sensitive to a

1 number of endpoints to this compound, but there was
2 absolutely no signal in dogs. So, on this basis we felt
3 that there was not a sufficient physiological signal to
4 warrant looking at the hormone profiles in male animals.
5 So, that work wasn't done.

6 And similarly, there has not been specifically
7 an investigation of adrenal steroidogenesis because, again,
8 we didn't have the physiological signal to suggest such a
9 finding.

10 At this point, I think it's best to summarize
11 the steroidogenesis as a finding that is so far restricted
12 to developing ovarian follicle cells, their
13 steroidogenesis, and appears to be a class effect.

14 Now, moving on from steroidogenesis, you asked
15 a question about the fetal loss. Again, when we conduct
16 animal toxicology studies and we're starting with treating
17 animals through broad periods of time and we're just
18 looking for a signal, in the case of rosiglitazone,
19 treatment of rats with a pretty high dose resulted in fetal
20 deaths. Then we went back to specifically ask the
21 question, well, what part of pregnancy might be sensitive
22 to this phenomenon?

23 We went back and looked especially during early
24 pregnancy, recognizing that many drug exposures are
25 inadvertent, and this is the time that drug exposure in

1 pregnancy is most likely to occur. Even at this extremely
2 high dose -- now, recognize the clinical exposure is about
3 3 microgram hour per ml, so we're about 200-fold now human
4 exposure levels -- we've got no effect on pre-implantation
5 development or implantation or even early organogenesis.
6 In two species, when we've looked exhaustively at fetal
7 development, we've not found any morphogenetic defect to
8 explain the fetal deaths that were observed.

9 So, then what do we know about these fetal or
10 neonatal deaths? First of all, it's a finding that's seen
11 in rats and rabbits at these exposure levels. Again, the
12 clinical exposure is about 3, so you're about 20-fold times
13 human exposure levels in the rats and about 70-fold higher
14 in rabbits.

15 Again, rat is where we did our most
16 sophisticated evaluation, and we found that treatment at
17 this point in pregnancy -- and for reference, a rat has
18 about a 21-day gestation -- treatment at this period of
19 time, which is mid to middle/late pregnancy in the rat
20 caused fetal death. And this fetal death was not evident
21 when we looked just at that treatment time, but only a
22 couple of days later. Again, we did careful evaluation of
23 these fetuses, including some histology, no malformation to
24 explain this fetal death. As you would expect then in
25 these kinds of studies, there would be a reduced number of

1 live-born.

2 Now, this is still I suppose phenomenology. It
3 helps us really understand what is the hazard, what are the
4 conditions in which it can occur both in terms of timing
5 and in exposure. You asked the question about mechanism.
6 The mechanism for these effects on fetal development is not
7 known. We do know that PPAR gamma can be found in fetal
8 rat tissue in later aspects of gestation. That's where
9 information is available to date, but whether or not this
10 reflects any relationship to this finding would be pure
11 speculation. We only know that we have a compound with
12 this activity and we have this phenomenology occurring in
13 late pregnancy.

14 The last thing I'd like to point out is that,
15 once again, there's reason to believe that this effect is
16 not unique to rosiglitazone. For example, it's been
17 published in the Japanese literature with treatment of rats
18 in pregnancy with troglitazone, there's significant
19 decreases in fetal weight. In one of these studies, in
20 fact, if you look at the offspring, newly born, the rate of
21 neonatal deaths is higher in the troglitazone group than
22 the control group, 10.5 versus 2.9 percent. So, there's
23 reason to believe that these compounds share some
24 properties both in terms of their effects on ovarian
25 steroidogenesis specifically and their effects on fetal

1 development.

2 I think I've covered the inventory, but let me
3 know if I've missed something.

4 DR. BONE: That's pretty thorough, isn't it,
5 Dr. Molitch?

6 DR. MOLITCH: Thank you very much for your very
7 complete answer.

8 I guess one concern I have is that the effects
9 on blocking ovulation occurred at just a threefold increase
10 in dosing compared to humans, so that somehow to me fits
11 within the overall, relatively close to what we might find
12 in some humans compared to others with the dose
13 variability, et cetera, so that it's a little bit of a
14 concern. I think this is something we're going to need to
15 follow along over the course of time to see if fertility is
16 impaired or whether amenorrhea occurs.

17 I'd be curious to ask Dr. New, do you have any
18 comments about the steroidogenesis?

19 DR. NEW: I guess that you're going to have to
20 say that the inhibition of 3-beta-HSD is mild or you
21 wouldn't have gotten implantation at all.

22 And the second is I think we were told that all
23 the women were on contraception, so you couldn't test
24 fertility in the women. But what about the men who were
25 getting rosiglitazone? Were they fertile?

1 DR. RAPPAPORT: Maria, there is no good answer
2 to that question. We did not ask specifically about
3 fertility in the men who participated in our studies. I
4 suppose we could have had a questionnaire, but we didn't.
5 And I didn't see any adverse event reports of altered
6 libido or decreased sexual function, but we did not ask
7 them about their fertility.

8 DR. NEW: I think it might be interesting to
9 those who don't work with steroids every day that there's a
10 bit of a paradox in the rodent because the rodent doesn't
11 express the enzyme that is able to convert the 17-
12 desoxysteroids to 17-hydroxylated steroids. And that's
13 necessary to make estrogen. So, the question comes up, how
14 do they make estrogen, how do they make testosterone which
15 require this enzyme? The fact is that it eventually gets
16 expressed in the gonads but doesn't get expressed in the
17 adrenals.

18 But you need that enzyme that was found to be
19 deficient in order to get implantation. So, I suspect that
20 whatever this drug does to that enzyme, it must be very
21 little.

22 DR. WIER: I would support that evaluation. I
23 think you make an excellent point reminding us that the
24 fact that we did not see an effect on implantation points
25 to a very specific effect because again, as far as we know,

1 | it seems to be fairly unique to developing follicular cell
2 | steroidogenesis.

3 | DR. BONE: Any further comments or questions
4 | from the committee on steroid metabolism?

5 | Does Dr. Illingworth or anyone else want to
6 | discuss the lipid questions any further?

7 | DR. ILLINGWORTH: I think we've had the data
8 | presented to us.

9 | DR. BONE: But what does it mean, Roger?
10 | (Laughter.)

11 | DR. ILLINGWORTH: It depends who you talk to.
12 | I personally take the view that without knowing -- an
13 | increase in LDL is clearly bad news. In the NCP
14 | guidelines, LDL is the main lipoprotein for treatment, diet
15 | and drug therapy, with secondary measures being lowering
16 | triglycerides and raising HDL.

17 | The consistency of the association between
18 | increased levels of LDL and heart disease is very, very
19 | consistent. There's inconsistency, though, with situations
20 | affecting HDL. Using patients, there are some families
21 | with a disorder called cholesterol ester transfer protein
22 | deficiency. These shuttle cholesterol esters between HDL
23 | and other lipoproteins. So, they have a delayed clearance
24 | in HDL. They have very high levels of HDL. This does not
25 | protect them against heart disease in the setting of a high

1 | LDL.

2 | So, I would urge the sponsors to look into the
3 | mechanism or mechanisms responsible for the change in
4 | lipoproteins, particularly the rise in HDL. If this is due
5 | to increase in HDL production, then this may be beneficial,
6 | but I'm not personally convinced that using the ratio,
7 | total cholesterol to HDL, or LDL to HDL ratio, is viable
8 | means of doing it. We don't treat the ratio. We treat the
9 | level of LDL cholesterol. And something that raises LDL I
10 | would view as potentially a detrimental effect.

11 | DR. BONE: Any further comments or discussions
12 | from within the committee concerning this point? Dr.
13 | Molitch?

14 | DR. MOLITCH: I have another question about the
15 | decrease in blood pressure that was seen. This was a
16 | relatively mild effect. I think I understood the sponsor
17 | to say this was due to decrease in peripheral vascular
18 | resistance. Is that correct? Or is that what that's
19 | thought to be? What is the mechanism of the mild decrease
20 | in blood pressure?

21 | DR. WHEADON: I don't think we speculated as to
22 | the mechanism of the reason for the decrease in diastolic
23 | blood pressure. At least in that study 80, we showed the
24 | ambulatory 24-hour measurements. You do see a significant
25 | drop. In terms of the mechanism, we haven't studied it

1 sufficiently to give a full answer to that.

2 DR. MOLITCH: Was this associated with any
3 change in heart rate? You should have that data.

4 DR. WHEADON: Actually that slide also shows
5 heart rate, if I remember correctly. You see a minimal
6 change in heart rate. The most dramatic change is on
7 diastolic. If anything, the heart rate is comparable to
8 baseline if I remember correctly.

9 DR. MOLITCH: And that is a generalized
10 phenomenon that everybody has a little bit of decrease in
11 diastolic blood pressure or was this restricted to a select
12 few people that brought down the mean?

13 DR. WHEADON: Again, in study 80, which was the
14 cardiographic study, we looked specifically at 24-hour
15 values. If you look at the overall database, if we look at
16 mean changes, there is a trend for a drop in diastolic, but
17 we did not have the same sort of intensive measurement as
18 we had in study 80.

19 DR. BONE: Dr. Hirsch.

20 DR. HIRSCH: This brings up the broader
21 question of the vascular or potential vascular effects of
22 this drug. It seems to me at least that one reasonable
23 hypothesis is that something is opening up the whole after-
24 load; that is, there's an increase in the size of the post-
25 cardiac vascular bed, which accounts for what you're

1 finding, which is a dilution and even a little bit of
2 dilution of albumin or a little loss of it perhaps,
3 whatever.

4 But it does bring up the issue of what's the
5 vascular situation of this because with diabetes, we're
6 dealing with a disease, the major complications of which
7 are both microvascular as well as the macrovascular ones
8 that we deal with so much. That's why I would sort of
9 direct everyone's attention to look much more closely at
10 the progression of nephropathy and retinopathy in these
11 individuals and all of the associated surrogate indices of
12 these like microscopic albuminuria, which I gather is under
13 investigation already. But this concerns me deeply, as
14 well as the obesity thing which I'll wait for a moment to
15 get into that.

16 DR. WHEADON: I think we can comment certainly
17 from a preclinical standpoint in terms of looking at some
18 of the issues that you've raised. So, Dr. Morgan?

19 DR. MORGAN: If that is appropriate, I can
20 follow up on some of the very interesting observations that
21 you made because we've seen them occurring in parallel in
22 our preclinical studies.

23 We've conducted integrated telemetry studies
24 which allows us to monitor for 24 hours the effects on most
25 of the cardiovascular indices that you mentioned at very

1 high doses in rats and dogs. We have made a number of
2 observations, but we are not yet certain of all the
3 mechanisms involved. But we do observe both an acute and a
4 chronic effect on lowering blood pressure. The early
5 effect may be related to the drug's calcium channel
6 blocking activity at very high dose.

7 But most importantly and particularly
8 interesting in light of your comment about effects on
9 peripheral vasculature, peripheral vasculature resistance
10 is lowered we believe as a consequence of quite a
11 significant increase in regional plasma flow, which may be
12 related to local metabolic events, autocrine, paracrine
13 factors, possibly related to the drug's pharmacological
14 mechanism of action. I must emphasize none of which we
15 have studied in great detail, but this is a very
16 interesting observation.

17 It may be as a result of opening up collateral
18 channels which causes a generalized reduction in peripheral
19 vascular resistance, a fall in diastolic pressure, and then
20 we believe as a consequence, a physiological response by
21 the kidney, sodium and water retention, and increased
22 plasma volume. That, in turn, results in increased
23 preload, which we have measured, and all the attendant
24 effects on the heart. There is no effect on after-load.
25 There is no increase in systemic pressure.

1 If I may comment a little about our
2 observations in toxicology studies where the pathology has
3 been studied at length, we have no evidence of peripheral
4 edema, no tissue edema, no ascites. And the drug is very
5 well tolerated in that respect, up to levels 50 times the
6 human therapeutic dose.

7 However, we have observed cardiac hypertrophy
8 and at very, very high doses, 100 or 200 times a
9 therapeutic dose, we have seen evidence of hydropericardium
10 and hydrothorax, but in all of those animals, there has
11 been an increase in relative heart weight up to 38 to 40
12 percent. So, it's not surprising.

13 There is no evidence of impaired venous
14 lymphatic drainage either.

15 From the pathology standpoint, there is no
16 evidence of microvascular disease and no evidence of
17 macrovascular disease, no basement membrane changes in the
18 microvasculature that one would associated with an effect
19 of the drug, and no toxicity on endothelial cells.

20 Interestingly -- and I say this with some
21 reservation because the effects of aging and chronic
22 nephropathy in rats is not representative of the human
23 disease -- we have seen a remarkable decrease in the
24 incidence of chronic nephropathy and the associated
25 microvascular pathologies in the kidney in rats and mice in

1 our lifetime studies.

2 DR. HIRSCH: I noticed also there were marked
3 regional differences in blood flow changes in some of the
4 animal studies. So, I guess this could be very good or it
5 could be bad. We simply don't know, do we, what these
6 microvascular changes, if they're occurring, might mean?

7 DR. MORGAN: There is no pathology to reflect
8 any increase in perfusion pressure in the microvasculature
9 of the tissues that experience the increase in regional
10 blood flow. That in the main is fat and subcutaneous
11 tissue and the GI tract, which we assume, but without
12 proof, are rich in PPAR gamma receptors. So, indeed, this
13 might be a beneficial effect in terms of lowering of
14 systemic pressure and a reduction of peripheral vascular
15 resistance overall. If those make a contribution to
16 microvascular disease, then I think there's a prospect of
17 long-term benefit.

18 DR. MOLITCH: With the reduction in pressure
19 like that, was there any change in catecholamines? Was
20 there a secondary rise in catecholamines?

21 DR. MORGAN: We have not measured catecholamine
22 levels and there are a variety of other neurohormonal
23 factors that we would like to measure, but we have not done
24 that so far.

25 DR. BONE: What about the regulatory system for

1 salt and water balance, for example, renin angiotensin
2 effects in animals or humans? Aldosterone obviously.

3 DR. MORGAN: We have not assessed aldosterone.
4 We have not assessed renin angiotensin levels either.

5 DR. BONE: Do you still have some frozen sera
6 from your clinical studies? This would be something that
7 would be potentially doable.

8 DR. MORGAN: Indeed, we're very interested in
9 that. All I might say in mitigation is that these
10 telemetry systems are so very, very carefully controlled,
11 and take quite a long time to complete. We felt it was
12 wiser not to burden those studies with critical
13 measurements of hormonal levels which, as you well know,
14 need to be done under very tightly controlled conditions.
15 So, separate experiments might be considered for that
16 purpose.

17 DR. BONE: Anything further on this dilution or
18 extracellular fluid expansion issue from anyone?

19 Just a further question of my own. I'm not
20 seeing anyone's hands raised. I asked earlier about the
21 extent to which the weight gain could be attributed to
22 this, and I think Dr. Rappaport was not enthusiastic about
23 the ability to analyze this. But it seems to me that the
24 sulfonylurea study actually gives some indication about
25 this because there was a gain of about 2 kilograms in the

1 | rosiglitazone patients and about 1 kilogram in the
2 | sulfonylurea patients. So, there was a difference of about
3 | 1 kilogram which one might conceivably attribute to the
4 | fluid rather than increased insulin effect. I'm just
5 | asking for you to comment on that.

6 | Dr. Greene is approaching the microphone.

7 | DR. GREENE: I think you're exactly right, that
8 | it's the 52-week study that provides the best answer. If I
9 | remember correctly in the 52-week study, the weight gain
10 | with glyburide was similar to the weight gain with the
11 | lower dose of rosiglitazone, that the high dose of
12 | rosiglitazone was about a kilogram or so more. So, it
13 | would seem that some of this is just due to the fact that
14 | glucose control has been affected.

15 | In fact, although it's not engraved on stone
16 | tablets, I carry with me my UKPDS reprints, and if you look
17 | at the end of a year, almost all of the intensive therapies
18 | in the UKPDS were associated with about a 2.5 kilogram
19 | increase in weight. So, I think that probably 50 percent
20 | of what we see is in fact related to the changes in
21 | glycemic control. The other kilogram or so is probably
22 | related to rosiglitazone. And I think in that range, it
23 | could very well be due to the changes in fluid.

24 | DR. BONE: That would be just about the same
25 | percentage as increase in ECF as you saw decrease in

1 hematocrit.

2 DR. GREENE: Yes. The numbers would
3 potentially be in the same range.

4 DR. BONE: Dr. Hirsch.

5 DR. HIRSCH: One of the interesting things
6 about PPAR gamma and what thiazolidinedione does to it and
7 we know how this came about altogether is that adipocyte
8 differentiation is enhanced. So, it's very important to
9 find out whether people did become fatter or didn't become
10 fatter to any degree because you'd really like to know
11 that. I'm sort of mildly surprised because the techniques
12 are very available to do that. So, representing the
13 obesity community and my colleagues therein, let me urge
14 that someone find out whether this is adipose tissue or
15 not. This is very possible to do with considerable
16 accuracy.

17 DR. WHEADON: In fact, we do have an ongoing
18 study where we are looking into that issue.

19 DR. BONE: Further comments on the topics of
20 salt, water, or fat? Any questions? The three essential
21 substances.

22 (No response.)

23 DR. BONE: All right. Let's talk a little bit,
24 if we can, about this question of cardiac effects. Do we
25 really think there's an effect on the heart, and what do we

1 think it is?

2 I guess I'm going to ask the sponsor to comment
3 on whether they think they're seeing a direct cardiac
4 effect or are they seeing indirect effects related to this
5 fluid balance issue.

6 DR. WHEADON: Well, we're deliberating.

7 DR. BONE: They're voting. The sponsor is
8 voting.

9 (Laughter.)

10 DR. WHEADON: We'll let our preclinical folks
11 at least take the initial pass at answering that since
12 that's where we have the wealth of information.

13 DR. BONE: I'm bringing this up because we're
14 going to be asked to talk about this later, so we want to
15 get everything on the table.

16 DR. MORGAN: By all the indications in our
17 studies in animals --

18 DR. BONE: Identify please.

19 DR. MORGAN: Dr. Gwyn Morgan, Safety
20 Assessment.

21 By all the indications in our studies in
22 animals at doses within the pharmacological range and
23 therefore somewhat comparable to those in humans, we have
24 seen no adverse effect whatsoever resulting from increased
25 plasma volume nor evidence of cardiac hypertrophy. When

1 | such has occurred, we consider it, as I said a moment ago,
2 | related to physiologic reflexes, if you will, and an
3 | adaptive response on the part of the heart. So, if I may
4 | make that clear in the context of human safety.

5 | So, therefore, the schematic that I'm now
6 | presenting is, in effect, an illustration of the hypothesis
7 | that I was constructing in my previous remarks. We do
8 | believe that as a consequence of all the observations we've
9 | made in our telemetry studies and also by echocardiography
10 | in rats and mice at very high doses, nevertheless
11 | sufficient to evoke responses that we can measure, we have
12 | I believe, on the weight of evidence, sufficient indication
13 | to say that this is entirely the result of increased
14 | preload and increased volume with attendant hemodilution
15 | and a fall in hematocrit, as seen in man. Nevertheless,
16 | the fall in hematocrit in itself is not a hazard as such;
17 | it is not a biomarker of cardiac hypertrophy.

18 | There are several benefits to cardiac function
19 | which I will not enlist here, but it is worth pointing out
20 | that there is an increase in stroke volume, an increase in
21 | ejection fraction, so therefore an improvement in heart
22 | function, albeit in normal animals, and certainly adequate
23 | cardiac reserve in dobutamine challenge studies that we
24 | did.

25 | My point in drawing attention to the fact that

1 the evidence seems to be overwhelmingly in favor of
2 increased preload is to say that on the other hand, we
3 don't believe that the cardiac hypertrophy that we observe
4 at very high doses in animals is related to a direct
5 trophic effect of the drug, if you will. I distinguish the
6 word trophic from hypertrophic by reference to growth-like
7 factor effects which perhaps you have in mind, those that
8 you might see with T-3 and other growth factors.

9 In our gene expression studies, in which we've
10 taken mRNA from hypertrophied hearts, the evidence again
11 points to the results of hypertrophy, a workload generated
12 hypertrophy, and not an effect elicited by growth factor-
13 like influences.

14 So, I hope that I have addressed the mechanism
15 from the standpoint of your question, that it is largely an
16 indirect response of the heart, an adaptive response.
17 There is not an equivalent effect in human patients at
18 pharmacologically active doses and that we do not believe
19 these effects to be related to a growth factor-like effect
20 of the drug in the main, but we cannot exclude it
21 completely at very high doses.

22 DR. BONE: Any comment from FDA on this topic?
23 No comment I guess from the FDA. Okay.

24 Any further discussion related to
25 cardiovascular effects? Dr. Molitch.

1 DR. MOLITCH: I would just make one comment
2 that maybe some people who haven't used troglitazone, at
3 least in clinical practice, haven't realized is that in
4 occasional patients who already have quite significant
5 heart failure, the troglitazone can certainly make a very
6 significant worsening of congestive heart failure with
7 edema that's quite refractory to other types of therapies,
8 requiring very large dose of diuretics and other agents, so
9 that this is not a totally clinically insignificant
10 problem. Based upon the mechanism we've heard here, I
11 would guess we may well see the same kind of a problem that
12 might occur with rosiglitazone again in patients who are
13 otherwise susceptible to this kind of a problem.

14 DR. BONE: Was this sufficient that you just
15 had to discontinue the drug as opposed to trying to treat
16 them with diuretics?

17 DR. MOLITCH: Actually to the point where you
18 had to discontinue the drug to get a satisfactory response.
19 Patients were unresponsive to conventional therapy.

20 DR. BONE: Including loop diuretics and so on.
21 Dr. Molitch nods.

22 Do we have further discussion on any of the
23 safety related issues that we are going to be asked to
24 address in a little while? We talked about liver. We
25 talked about lipids.

1 Dr. Illingworth expressed his concern about the
2 implication of the LDL question in the absence of certain
3 knowledge as to the mechanism of change in HDL. Can we get
4 into that a little further? People here know a lot about
5 this, particularly Dr. Illingworth. Do we have an idea
6 what the likely mechanism of this is and how that would
7 influence your thinking?

8 DR. ILLINGWORTH: The thing that surprises me
9 most is that in the population looked at, there wasn't a
10 decrease in triglycerides, although Dr. Brunzell mentioned
11 that in patients with higher triglyceride levels, you did
12 see a reduction in triglycerides. It would be interesting
13 to see that data presented if you have this, if you look at
14 patients, say, with triglycerides of over 400 or 500, how
15 do they respond? Because the most common lipid abnormality
16 in diabetic patients is hypertriglyceridemia.

17 With respect to the LDL effects, again if the
18 cholesterol to APO-B ratio changes, that indicates you're
19 changing the composition of LDL, but I'd be interested to
20 know, does the number of LDL particles increase measured by
21 APO-B? Does the APO-B concentration itself increase
22 indicating an increase in number of particles? The same is
23 true for A1.

24 DR. BONE: Comments from the sponsor?

25 DR. WHEADON: As Dr. Brunzell is approaching