

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + + + +

PUBLIC HEALTH SERVICE

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

CARDIOVASCULAR AND RENAL DRUGS
ADVISORY COMMITTEE

+ + + + +

88TH MEETING

+ + + + +

THURSDAY,

APRIL 29, 1999

+ + + + +

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

The meeting took place in the Jack Masur Auditorium, Clinical Center, Building 10, National Institutes of Health, 9000 Rockville Pike, Bethesda, Maryland at 9:00 a.m., Milton Packer, M.D., Chairperson, presiding.

PRESENT:

- MILTON PACKER, M.D., Chairperson
- JOAN C. STANDAERT, Executive Secretary
- ROBERT CALIFF, M.D., Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

PRESENT: (CONT'D.)

THOMAS GRABOYS, M.D., Consumer Representative
CINDY GRINES, M.D., Member
MARVIN KONSTAM, M.D., Member
JoANN LINDENFELD, M.D., Member
LemUEL MOYÉ, M.D., Ph.D., Member
ILEANA PIÑA, M.D., Member
UDHO THADANI, M.D., FRCP, Member
J. THOMAS BIGGER, M.D., Guest Expert
MICHAEL CAIN, M.D., Guest Expert
ROBERT FENICHEL, M.D., FDA Representative
PRAN MARROTT, M.D., Sponsor Representative
PETER KOWEY, M.D., Sponsor Representative

ALSO PRESENT:

Lloyd Fisher, Ph.D.
Ed Pritchett, M.D.,
John Williams, M.D.
Daniel MacNeil, M.D.
Alexandra Kapatou, Ph.D.
Judy Jin, Ph.D.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

I-N-D-E-X

Call To Order and Opening Comments, by Chairman Packer	4
Introductions,	4
Administrative Matters,	5
Open Public Hearing, NDA 19-865, Betapace (sotalol HCL), Berlex Laboratories	8
Sponsor's Presentation,	
Introduction, by Dr. Marrott	9
Clinical Pharmacology, by Dr. Kowey	13
Efficacy, by Dr. Kowey	16
Safety, by Dr. Kowey	231
Dosing Recommendations, by Dr. Kowey	248
Concluding Remarks, by Dr. Marrott	297
Committee Discussion and Review,	299
Committe Recommendation,	381
Adjourn,	412

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(9:03 a.m.)

1
2
3 CHAIRMAN PACKER: This is the 88th meeting
4 of the Cardiovascular and Renal Drugs Advisory
5 Committee. At today's meeting, we have the usual
6 members of the committee. We have, also, two experts
7 who have been invited specifically to join us for
8 today's deliberations. And just so that we can do
9 this in the appropriate fashion, I'll ask the -- those
10 who are seated at the -- on the podium today to simply
11 go down and introduce themselves.

12 Lem, why you start. And just name and
13 affiliation.

14 DR. MOYÉ: Sure. Lem Moyé, University of
15 Texas, School of Public Health.

16 DR. BIGGER: Tom Bigger, Columbia
17 University.

18 DR. GRABOYS: Tom Graboys, Brigham and
19 Women's Hospital, Harvard.

20 DR. KONSTAM: Marv Konstam, Tufts
21 University, New England Medical Center.

22 DR. CALIFF: Rob Califf from Duke

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 University.

2 MS. STANDAERT: Joan Standaert, Executive
3 Secretary.

4 CHAIRMAN PACKER: Milton Packer, Columbia
5 University.

6 DR. LINDENFELD: JoAnn Lindendorf,
7 University of Colorado.

8 DR. CAIN: Michael Cain, Washington
9 University in St. Louis.

10 DR. PIÑA: Ileana Piña, Temple University,
11 Philadelphia.

12 DR. THADANI: Udho Thadani, Oklahoma
13 University Health Sciences Center.

14 DR. FENICHEL: Bob Fenichel, Division of
15 Cardiorenal Drug Products, FDA.

16 CHAIRMAN PACKER: We'll ask Joan Standaert
17 to read the administrative matters for today.

18 Joan.

19 MS. STANDAERT: Yes, the following
20 announcement addresses the issue of conflict of
21 interest with regard to this meeting and is made a
22 part of the record to preclude even the appearance of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 conflict at this meeting.

2 Based on the submitted agenda for the
3 meeting and all financial interests reported by the
4 participants, it has been determined that all interest
5 in firms regulated by the Center for Drug Evaluation
6 and Research, which has been reported by the
7 participants, sees that no potential for a conflict of
8 interest at this meeting with the following
9 exceptions.

10 In accordance with 18 USC Section
11 208(b)(3), waivers have been granted to Dr. Milton
12 Packer, Dr. Cindy Grines, and Dr. Marvin Konstam. A
13 copy of these waiver statements may be obtained by
14 submitting a written request to the Agency's Freedom
15 of Information Office, Room 12A30 of the Parklawn
16 Building.

17 In addition, we would like to disclose for
18 the record that Dr. Robert Califf and Dr. Lemuel
19 Moyé's employers have interests which do not
20 constitute a financial interest in the particular
21 matter within the meeting at 18 USC 208, but which
22 would create the appearance of a conflict. The Agency

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has determined notwithstanding these interests, that
2 the interest in the government in Dr. Califf's and Dr.
3 Moyé's participation outweighs the concern that the
4 integrity of the Agency's program and operations may
5 be questioned. Therefore, Doctors Califf and Moyé may
6 participate fully in the committee's discussions and
7 vote concerning Betapace.

8 With respect to FDA's invited guests,
9 there are reported interests that we believe should be
10 made public to allow the participants to object and
11 reevaluate their comments. Dr. Michael Cain would
12 like to disclose that he has been invited to attend an
13 arrhythmia board meeting sponsored by Proctor &
14 Gamble. In the event that the discussions involve any
15 other products or firms not already on the agenda for
16 which an FDA participant has a financial interest, the
17 participants are aware of the need to exclude
18 themselves from such involvement and their exclusion
19 will be noted for the record.

20 With respect to all other participants, we
21 ask in the interest of fairness that they address any
22 current or previous involvements with any firms or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 products they may wish to comment upon.

2 And that concludes the statement for April
3 29th, 1999.

4 CHAIRMAN PACKER: Thank you, Joan.

5 We'll call for any public comment.

6 There being none, we'll move on to
7 evaluation of today's NDA. It's NDA 19-865, sotalol
8 or Betapace. The sponsor is Berlex Laboratories.
9 Proposed indication for the treatment of, or
10 prevention of, recurrence of atrial
11 fibrillation/atrial flutter. And I think that Dr.
12 Marrott that will be the presentation, please.

13 DR. MOYÉ: I'm just asking what the
14 preference is for asking questions today?

15 CHAIRMAN PACKER: Well, I think the
16 sponsor would always like to have the questions held
17 or segregated in distinct groups and I think that in
18 general we have followed that policy. If there are
19 certain issues of immediacy in clarification that you
20 feel shouldn't or cannot be held to a specific break
21 in the presentation, simply ask for a clarification.

22 DR. MOYÉ: But questions should occur at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the conclusion of each presenter's session?

2 CHAIRMAN PACKER: Well, we're going to
3 probably divide the presentation this morning into the
4 distinct categories which are listed on the agenda and
5 we'll take questions after each of them.

6 DR. MOYÉ: Thank you.

7 DR. MARROTT: Mr. Chairman, members of the
8 advisory committee, and Dr. Fenichel, good morning.
9 I would like to thank you, first of all, on behalf of
10 Berlex Laboratories, the sponsor, for inviting the
11 sponsor to make a presentation. Details of our
12 presentation can be seen on the slides.

13 After a brief introduction, Dr. Peter
14 Kowey, Professor of Medicine at Jefferson Medical
15 College, will provide an overview covering clinical
16 pharmacology, efficacy, safety, and dosing
17 recommendations.

18 The conclusion will be presented by
19 myself.

20 Betapace or sotalol, or d,l-sotalol as our
21 products will be referred today, has been approved in
22 57 countries worldwide and is being used in both the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 beta blockers as well as the arrhythmia indications.
2 And NDA's files by Bristol-Myers Squibb, the previous
3 owner, was approved by the FDA in October '92 for the
4 indication life threatening ventricular arrhythmia.
5 Soon thereafter, the product was licensed in the U.S.
6 only to Berlex and Berlex launched Betapace in January
7 1993.

8 You will see from this slide that between
9 1993 and 1998, a considerable proportion of total
10 prescriptions, 60 to 77 percent, have been written for
11 patients suffering from supra ventricular arrhythmia,
12 chiefly atrial fibrillation and flutters. Thus, of
13 the total 3.6 million prescriptions, or thereabout,
14 2.5 million have been written for this disease.

15 This degree of use in atrial flutter and
16 fibrillation does not come as a total surprise to the
17 sponsor. Published articles in peer review journals
18 provide evidence of efficacy, safety, and benefit risk
19 to the physician of d,l-sotalol in atrial
20 fibrillation. Leading physicians have participated in
21 investigation trials undertaken by Bristol-Myers
22 Squibb in this population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And last, treatment algorithms for atrial
2 flutter and fibrillation presented and discussed by
3 academic cardiac electro-physiologists at heart
4 meetings emphasize the use of sotalol in patients with
5 and without structural heart disease but in the
6 absence of heart failure.

7 Ever since we heard of this use in atrial
8 fibrillation, we have begun to consider what steps the
9 company should take because we would have liked to be
10 in a position to provide detailed information
11 regarding the safety of our product to the physicians
12 in this disease population. The next logical step for
13 us, therefore, was to complete the clinical program of
14 studies initiated by Bristol-Myers Squibb and which,
15 by the way, was well underway. This, we did, and we
16 filed a supplemental NDA in June of 1998 for the
17 atrial fibrillation flutter indication.

18 Our proposed indication reads as follows.
19 d,l-sotalol is indicated for extending the time to
20 symptomatic recurrence of chronic or paroxysmal atrial
21 fibrillation or flutter in patients without or with
22 structural heart disease in the absence of heart

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 failure. We have present here today our consultants
2 who will participate in today's discussion. I have
3 already mentioned that Dr. Kowey will present the
4 overview on our behalf. In addition, participating in
5 the discussions are Doctors Pritchett, Fisher, and
6 Barbey. The titles and the affiliations of these
7 experts is mentioned on the slide.

8 We also have here today Dr. Dan MacNeil,
9 Executive Director of Clinical Research at Bristol-
10 Myers Squibb. Dr. MacNeil was responsible for some of
11 the clinical trials undertaken by Bristol-Myers Squibb
12 for d-sotalol; d,l-sotalol.

13 That concludes the introduction, Mr.
14 Chairman. I thank you for your attention. And with
15 your permission, I would like to ask Dr. Kowey to come
16 forward to present his overview.

17 Thank you.

18 CHAIRMAN PACKER: As Dr. Kowey is coming
19 forward, let me just, to facilitate communication, I
20 think it would be entirely appropriate for the
21 committee to refer to this drug as sotalol as opposed
22 to continuing to say d,l-sotalol unless someone wants

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to. And that when specific reference is made to d-
2 sotalol, that a clear distinction be made. But I
3 think it would be perfectly okay just to refer to
4 sotalol all through today's presentation except when
5 the distinction is important.

6 DR. MARROTT: Thank you very much.

7 DR. KOWEY: Mr. Chairman, Dr. Fenichel,
8 Dr. Lipicky, welcome back, members of the advisory
9 committee and ladies and gentlemen. It is with a good
10 deal of pleasure that I represent the sponsor this
11 morning to present information regarding the use of
12 sotalol in patients with atrial fibrillation and
13 atrial flutter. I will present this in four distinct
14 sections and as Dr. Packer already said, we will pause
15 between sections in order to take questions. But if
16 you have any points of clarification when the slides
17 are up, please feel free to let me know.

18 We're going to talk about clinical
19 pharmacology first, followed by efficacy, safety, and
20 dosing recommendations. We'll start with clinical
21 pharmacology.

22 A good deal of this information that I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to show you this morning is already contained in
2 the package insert for sotalol since the pharmaco-
3 kinetics are the same for the compound that's
4 currently being used for patients with ventricular
5 arrhythmias.

6 This is a drug which has linear dose
7 proportional and predictable pharmacokinetics. It is
8 nearly 100 percent bioavailable. It's t-max is 2.5
9 four hours. In cases of normal renal function, the
10 half life of the drug is 12 hours. In case with
11 abnormal renal function, the half life is prolonged.

12 Notably, the drug is not metabolized by
13 any enzyme system in the liver. Most importantly, not
14 by the P-450 enzyme system. It is excreted -- More
15 than 75 percent of the drug is excreted in urine.
16 It's renal elimination is mainly by glomerular
17 filtration and protein binding is negligible.

18 We would like to make a few comments about
19 special populations because this is important in
20 dosing the drug. Most importantly are patients who
21 have renal dysfunction. Remember, the plasma
22 clearance is reduced and the half life is prolonged in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients who have renal dysfunction described by
2 creatinine clearance. Therefore, in all of the
3 clinical trials, dose adjustment was needed and was
4 carried out in patients who had reduced creatinine
5 clearance, or patients were excluded from the clinical
6 protocol on that basis.

7 The observed effects in patients who are
8 old, and males versus females, are almost entirely
9 accounted by differences in renal function. Hepatic
10 dysfunction has no effect on the kinetics of the drug.

11 Finally, a statement regarding the
12 pharmacokinetic drug interactions: There is a 20
13 percent reduction in area under the curve in patients
14 who have been fed. There is a specific drug
15 interaction with Maalox and not to our knowledge with
16 other antacids which causes about a 20 to 25 percent
17 reduction in C max in area under the curve.

18 There are no demonstrable interactions
19 between hydrochlorothiazide, warfarin, or digoxin. I
20 would point out that for hydrochlorothiazide and
21 warfarin, there is no effect either on sotalol or
22 warfarin or hydrochlorothiazide blood concentrations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Digoxin levels are not increased in patients who
2 receive d,l-sotalol but there have not been sufficient
3 studies to document what happens to d,l-sotalol in the
4 presence of digoxin.

5 Dr. Packer, that concludes my section on
6 clinical pharmacology.

7 CHAIRMAN PACKER: Okay. I don't see any
8 questions. Why don't you proceed.

9 DR. KOWEY: Thank you.

10 I'll now cover efficacy. This is a
11 somewhat longer part of the presentation. We're going
12 to be presenting information regarding a number of the
13 clinical trials in the d,l-sotalol efficacy database.
14 I want to point out that we will be discussing the
15 eight control trials in the database and in addition,
16 we will be presenting a bit of information regarding
17 the use of sotalol as it occurred in dofetilide,
18 database Study 345, which you're familiar with and was
19 presented at the last advisory committee meeting in
20 January.

21 On the top, I have listed the categories,
22 the broad categories, of atrial fibrillation type,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prevention, for chronic atrial fibrillation
2 prevention, which really, according to the indication
3 that we've listed, doesn't really mean prevention but
4 extension of time in recurrence. For paroxysmal
5 atrial fibrillation, one study that considered not
6 only prevention of chronic atrial fibrillation but
7 also conversion of the arrhythmia. And then finally
8 two studies which examine the interaction between the
9 drug and digoxin.

10 The studies which are in pink are those
11 studies for which I will provide fairly detailed
12 information. We do have information regarding Study
13 G which is a subpopulation study in AF and for the two
14 digoxin studies, and we have that available if you
15 have questions about those trials. They will be
16 included in the safety database, but for efficacy I
17 won't be covering them this morning.

18 Let me start with Study 004 which was a
19 study in patients with chronic atrial fibrillation and
20 atrial flutter. And "chronic" in this study, and in
21 most of the clinical trials I'll describe to you this
22 morning, is defined as greater than two weeks in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 duration and less than one year. These patients were
2 cardioverted and were in normal sinus rhythm at the
3 time that they were randomized. And they needed to be
4 in normal sinus rhythm for greater than two hours
5 before they were randomized.

6 This was a study that was done in out-
7 patients and patients were randomized to placebo d,l-
8 sotalol. The d,l-sotalol dose was 80 to 160
9 milligrams twice per day. And this drug was given in
10 a blinded titration fashion. Or d-sotalol in doses
11 between 100 and 200 milligrams twice per day. Again,
12 this dose was blindly titrated.

13 I would point out in this study, patients
14 who had a creatinine clearance of less than 50 ccs per
15 minute were excluded from the study. Patients not
16 tolerating d,l-sotalol at a BID regimen received the
17 drug 80 milligrams once per day.

18 This dose titration process went on for
19 two weeks and was followed by 22 weeks of maintenance
20 at the fixed titrated dose. There was an opportunity
21 to, again, titrate to tolerance. I want to point out
22 that discontinued patients were followed in this study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for the full six months of the trial.

2 This study had three distinct primary
3 endpoints: time to recurrence of symptomatic ECG
4 documented atrial fibrillation, the time to recurrence
5 of ECG documented atrial fibrillation including
6 patients who did and did not have symptoms (so
7 asymptomatic patients were found on routine telemetry
8 monitoring), and the number of patients remaining in
9 sinus rhythm after six months of therapy as
10 proportioned. There was a secondary endpoint, change
11 in defibrillar rate in patients prior to therapy and on
12 therapy, which I won't discuss in detail but we can
13 show you, if you'd like.

14 Let me discuss each of these primary
15 endpoints when we get to the efficacy evaluation.

16 First of all, I want to point out, in the
17 statistical analysis of efficacy, for this and for
18 most of the subsequent studies that I'm going to show
19 you, that the pre-specified analysis was done by log
20 rank with Kaplan Meier survival. Included in your
21 briefing document and in the analysis is a second
22 statistical test, a generalized Wilcoxon test called

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Gehan statistic. The Gehan statistic is useful
2 for demonstrating efficacy in the early portion of the
3 Kaplan Meier. Whereas, the log rank is more valuable
4 in the latter portions of the Kaplan Meier.

5 This is a chi squared for the number of
6 the patients remaining in normal sinus rhythm, which
7 was one of the endpoints of the study. I want to
8 point out in this and several of the subsequent
9 studies that we carried out a Cox proportional hazards
10 model to describe the relative risk of sotalol use
11 compared to placebo. And we also used this analysis
12 to determine the effect of prognostic risk factors,
13 which I'll show you. And then finally, for
14 quantitative data, we used an analysis of variance, an
15 ANOVA which was a one-way analysis of variance.

16 These are the demographics for Study 004:
17 age, gender, race, and creatinine clearance, pointing
18 out that there were patients in the trial with
19 creatinine clearance of less than 60 ccs per minute
20 who were not excluded from the study because its cut
21 off, as you'll recall, was 50 ccs per minute. So
22 these patients were in sort of the borderline range.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The groups were well matched according to
2 the clinical characteristics. Similarly, they were
3 well matched with regard to the cardiac history.
4 Majority of the patients in this study were a New York
5 Heart Association Class I and II. About half the
6 patients had structural heart disease. You see the
7 percentage here: patients who had coronary artery
8 disease; and a smaller subset of those patients who
9 had a previous myocardial infarction; and a 20, 30
10 percent, 40 percent incidence of having had
11 hypertension.

12 Remember, this was a study in which the
13 endpoint of the study was symptomatic recurrence of
14 atrial fibrillation or atrial flutter. This is a
15 slide showing you what the symptoms were in these
16 patients, and what their arrhythmia history had been.
17 This, on the top line, is the number of months since
18 the first episode of atrial fibrillation that the
19 patient reported. This is the duration of the atrial
20 fibrillation episode that got the patient into the
21 study. And as you can see, it was about four months.

22 These are sort of the typical symptoms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that you would expect in patients who have atrial
2 fibrillation: weakness, palpitation, shortness of
3 breath, and dizziness, chest pain being the most
4 common.

5 Now, remember, in the sotalol arm of the
6 study, patients were titrated between 80 and 160
7 milligrams twice per day. And so it's important for
8 you to know that the majority of patients, two-thirds
9 of the patients, in the maintenance phase of the
10 study, during that 22 week period, were actually on
11 160 milligrams twice per day. Smaller percentage on
12 the lower doses.

13 This is the Kaplan Meier curve for the
14 first primary pre-specified endpoint in the clinical
15 trial which was time to first ECG-documented
16 recurrence of symptomatic atrial arrhythmia since
17 randomization. And you can see how the groups are
18 colored here: sotalol in blue, d-sotalol in yellow,
19 placebo in red. And these are the statistics for the
20 analysis. This is the log rank statistic, and this is
21 the Gehan statistic. And in all the Kaplan Meier
22 curves that I'll be showing you, you'll be seeing this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 kind of a lay out for the statistical analysis.

2 Following several of the Kaplan Meier
3 curves I'm going to show you, I'll also show you
4 tabular data which comes from the same data set. This
5 is medium time to recurrence in days with placebo
6 group, for the d,l-sotalol group, and for the -- I'm
7 sorry, Milton. I'll try not to do that too many
8 times. For the sotalol group and for the d-sotalol
9 group.

10 The reason why this is greater than 180
11 days is because fewer than 50 percent of the patients
12 had a recurrence of arrhythmia in those groups at the
13 endpoint of the study.

14 Percentage of relapse-free patients. This
15 is the p value you've already seen. And this is the
16 relative risk by the Cox method that I describe in the
17 statistical slide. And these are the confidence
18 intervals for those observations. Point 56 for
19 sotalol at these confidence intervals.

20 Let me just back up to that. Can I back
21 up to that slide? I'm sorry.

22 I just want to point out that two deaths

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 did occur in this study. Neither one was on sotalol.
2 One was on d-sotalol, and one was in placebo. And
3 it's important for you to know that they were censored
4 in the analysis at the time of the death for the
5 Kaplan Meier curve that I showed you.

6 This is effect of prognostic factors on
7 the hazard risk of sotalol versus placebo at six
8 months after randomization. What this slides lends is
9 the fact that the covariates did not provide an
10 alternative explanation of the clinical benefit. This
11 is the unadjusted clinical benefit. This is the
12 clinical benefit adjusted for the baseline factors.
13 And you can see that they line up, indicating that
14 there was balance in the randomization.

15 I also want to show you a subgroup
16 analysis of these data using what we consider to be
17 important clinical variables; and that is age, gender,
18 structural heart disease, New York Heart Association
19 class, years since the development of the arrhythmia.
20 And you can see that there is good consistency of the
21 data with the point estimates lining up on the side
22 favoring sotalol.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I do want to point out that this
2 consistency held for patients older and younger than
3 65, for men as well as women, and for patients who did
4 and did not have structural heart disease in this
5 clinical trial.

6 I also want to point out that this is the
7 remainder of that same subgroup analysis. This is
8 part two. I want to point out that it also held up
9 for patients who had a creatinine clearance less than
10 60 ccs per minute and greater than 60 ccs per minute.

11 This is the Kaplan Meier curve of similar
12 data from Study 004. This is time to first ECG-
13 documented recurrence of symptomatic atrial
14 fibrillation or atrial flutter. We now have added in
15 death or discontinuation since randomization. Since
16 there were very few deaths in the study, and since
17 there were actually very few discontinuations in the
18 study, the log rank p value looks very similar to what
19 you had already seen and so does the statistical Gehan
20 analysis.

21 You remember that the second primary
22 endpoint in this clinical trial was time to ECG-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 documented recurrence of any atrial fibrillation or
2 atrial flutter since randomization. This is the
3 Kaplan Meier analysis for that data set, again showing
4 separation between sotalol, d-sotalol, and placebo;
5 and these are the p values for that observation.

6 Finally, the third primary endpoint in
7 Study 004 was the percentage of patients in normal
8 sinus rhythm at six months as a proportion. There
9 were 32 percent of the placebo patients in normal
10 sinus rhythm at six months compared to 50 percent of
11 patients in the sotalol group with this p value.

12 You have received the communication from
13 the Food and Drug Administration and the staff
14 regarding a possible concern about Study 29. Study
15 29 was a center in Stockholm which enrolled patients
16 in the latter phases of the trial. And as you can see
17 from these numbers, for d,l-sotalol and for d-sotalol,
18 that there was a robust treatment effect for Study 29
19 or for Center 29.

20 We have a difficult time understanding why
21 data are being extracted for a single center. And
22 this is more or less to play chance on a clinical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trial. We want to point out that there was a center
2 in this study, Center 24, that had a particularly bad
3 effect. And in fact, if the data for Center 24 and
4 Center 29 are both taken away from the analysis, the
5 best and the worst, the p values remain statistically
6 significant.

7 We've prepared more of a discussion
8 regarding this issue which we'd be very happy to have
9 with you. Dr. Lloyd Fisher, who is here with us
10 today, has looked at these data very carefully and is
11 prepared to offer some of his interpretation of the
12 data as well.

13 The second study in the efficacy database
14 which I'd like to address briefly is Study 345 which,
15 again, is a study that you've seen in January, which
16 was the dofetilide Study 345. In Study 345, which
17 consisted of 671 patients, 137 patients received d,l-
18 sotalolol, and the same number of patients received
19 placebo. As you'll recall, these are patients who had
20 chronic atrial fibrillation or atrial flutter at
21 entry. The duration was one week to two years which
22 looks familiar to the enrollment criteria for our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trials. And these were all patients who had been
2 successfully converted to normal sinus rhythm either
3 pharmacologically or electrically.

4 This study was a 12-month randomized
5 parallel group, double-blind, placebo and active
6 control study. And again, the active comparator in
7 the study was racemic sotalol. The primary endpoint
8 of the study was time from conversion to normal sinus
9 rhythm. So once the patients were in normal sinus
10 rhythm, it's the time it took for them to recur with
11 atrial fibrillation, atrial flutter. The statistical
12 analysis for the Study 345 is the same as the
13 statistical analysis that we had used for our data.

14 I apologize. This slide is not colored in
15 the same manner as the slides that we've used this
16 morning, but that's because we obtained this
17 information from the Freedom of Information and we
18 weren't able to really do much with it. It was
19 scanned. But I just want to point out that we have
20 put a red arrow on this for you so you can see the 80
21 milligrams twice per day of sotalol dose arm, and this
22 is the placebo arm. Remember that this is the lower

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 end of our dose range for our clinical trials but it
2 was the dose that was included in the dofetilide
3 experience. And this is the p value for the
4 observation of the difference between sotalol and
5 placebo. This is the percentage of patients in normal
6 sinus rhythm at 12 months.

7 I should point out that we will return to
8 Study 345 in the safety analysis because we do have
9 some safety information to show you also from that
10 trial.

11 I want to now move from the chronic atrial
12 fibrillation cohort to move into the patients in the
13 paroxysmal atrial fibrillation cohort.

14 CHAIRMAN PACKER: Peter, if I could just
15 have you pause. If there is anyone from the sponsor's
16 point of view for dofetilide 345, I think it would be
17 appropriate for us to hear their comments later on.
18 I just want to give everyone a heads up on that.

19 Second is just a clarification. Freedom
20 of Information normally applies to access of
21 information for drugs that have been approved. I
22 don't know of any specific action on the approval of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dofetilide. How does Freedom of Information apply
2 here?

3 DR. KOWEY: I don't know. Milton, it was
4 presented at a public hearing in January. So I would
5 have assumed that that means that it is in the public
6 domain, but I --

7 CHAIRMAN PACKER: No, I --

8 DR. KOWEY: I'm not an attorney, so I
9 can't really tell you.

10 CHAIRMAN PACKER: I think the reason I'm
11 bringing it up is that I think it is in the public
12 domain. But I don't think it could possibly have been
13 obtained by Freedom of Information.

14 DR. KOWEY: Okay. I stand corrected. But
15 I don't think there's anything wrong with having shown
16 the information on the other hand. Do you agree?

17 CHAIRMAN PACKER: I'm sorry?

18 DR. KOWEY: There's nothing wrong with
19 having shown the information?

20 CHAIRMAN PACKER: No, no. There's nothing
21 wrong. I just want to clarify.

22 DR. KOWEY: And there's nothing wrong with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the FDA taking this into account in the approval
2 process route for racemic sotalol.

3 So I apologize if I misspoke. Actually,
4 all I was trying to do was tell you why it was such a
5 crappy slide. I probably should have just kept my
6 mouth shut.

7 Let me move on to Study 05 which is the
8 paroxysmal atrial fibrillation cohort. Again, this
9 says prevention. I want to make sure that everybody's
10 very clear. We read the indication. Milton read the
11 indication. Pran read the indication. It's
12 prolongation to time to recurrence. Not overall
13 prevention of the arrhythmia.

14 Study 05 was a study that included
15 patients who had atrial fibrillation within the last
16 three months. But at the time that they were actually
17 enrolled in the clinical trial, they were in normal
18 sinus rhythm. The majority of these patients, the
19 vast majority of these patients, had spontaneous
20 reversion to normal sinus rhythm. It did not require
21 cardioversion in order to have them in sinus rhythm at
22 the time of randomization.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'd like to point out that this is a
2 unique study in the database because it is the only
3 study in which inpatient dosing was mandatory, was
4 mandated. And it was mandated for patients who had
5 structural heart disease. Investigators had the
6 option of using the drug outpatient for patients who
7 did not have structural heart disease but they didn't
8 have to use it outpatient. So inpatient was
9 mandatory; outpatient wasn't. Patients were
10 randomized to placebo and to one of three doses of
11 sotalol, 80 milligrams twice per day, 120 milligrams
12 twice per day, and 160 milligrams twice per day.

13 Now in this study, in contrast to 04,
14 patients who had creatinine clearances of 40 to 60 ccs
15 per minute received the drug once a day rather than
16 being excluded from the protocol. If they were under
17 40 ccs per minute, they were out. Open label
18 treatment, as I would point out here, was optional for
19 the remainder of the 12 months if the patients had a
20 recurrence. So the patients could have treatment for
21 12 months open label after recurrence; and the
22 duration of the study, as you can see here, was 12

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 months.

2 I want to point out and it's important to
3 realize and remember that these patients were titrated
4 to their dose and that was the dose that they had to
5 receive. If they couldn't tolerate the dose, they
6 were dropped from the study.

7 The primary pre-specified endpoint in the
8 analysis was the time of the first recurring
9 symptomatic episode of atrial fibrillation or atrial
10 flutter during the efficacy evaluation period. What
11 does that mean? That means that after the patients
12 had been dosed for three days if they were receiving
13 the drug twice a day, or six days if they were
14 receiving the dose once a day, to get to a presumed
15 steady state plasma concentration.

16 There were a number of secondary endpoints
17 in this trial. We will present you some of this
18 information. For example, time to the first recurring
19 symptomatic episode of arrhythmia after the first dose
20 of study medication, which has been referred to by
21 some people as the intention-to-treat analysis. Also,
22 the proportion of patients free of recurring

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 symptomatic atrial fibrillation and flutter at six and
2 12 months, another secondary endpoint. And time to
3 occurrence in patients who were receiving the drug
4 twice a day or once a day.

5 Again, I won't go through this. It's
6 exactly the same statistical methods that were used in
7 004. These are the pre-specified analyses. The Gehan
8 was not pre-specified. It was used post hoc in order
9 to examine the data because of the high incidence of
10 early recurrence. And this is the Cox proportional
11 hazards for relative risk, prognostic risk factors,
12 and dose response relationship.

13 These are the demographics of the study.
14 I want to point out that about a quarter to a third of
15 the patients had creatinine clearances of less than 50
16 ccs per minute and may have therefore received a once-
17 a-day dose of the medication. These are the patients'
18 race, female, gender, et cetera, which are well
19 matched.

20 This is structural heart disease by dose
21 groups. Pointing out patients, again, a relatively
22 similar percentage of patients with coronary artery

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disease. This is the subgroup with myocardial
2 infarction. And as I pointed out already, the
3 majority of patients in this clinical trial had been
4 designated by the investigator to have had defined
5 paroxysmal atrial fibrillation. The remainder had
6 what the investigator called chronic atrial
7 fibrillation.

8 This is the time for the first ECG-
9 documented recurrence of symptomatic arrhythmia from
10 presumed steady state. So this is the primary pre-
11 specified analysis. Again, looking at log rank and
12 Gehan statistic, the Gehan showing a more robust p
13 value than the log rank, 120 milligrams used in this
14 analysis, showing a more robust p value than the 160
15 milligram group.

16 These are the tabular data. We begin by
17 the number of patients in the trial who discontinued
18 because of adverse events. Again, I would point out
19 that because the patients were placed in a dose arm
20 and could not leave that dose arm or be titrated,
21 there was a higher, and an expectedly higher,
22 discontinuation rate in patients who received 160

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 milligrams, either twice a day if they had normal
2 creatinine clearances or once a day. Median time to
3 recurrence: Again, you can confer from the Kaplan
4 Meier that it would have been longer for the d,l-
5 sotalol group. This is the percentage of patients at
6 the end of the 12 month period who are relapse free,
7 one of the secondary endpoints. Because the 160/120
8 milligram lines crossed towards the end of the study,
9 it turned out that more patients for 160 milligrams
10 group by that analysis were in sinus rhythm.

11 These are the p values by log rank and
12 Gehan. And these are the same point estimates for
13 relative risk of the confidence intervals for each of
14 the dose groups.

15 This is time to first ECG-documented
16 recurrence of symptomatic atrial fibrillation or
17 atrial flutter since the patient had been randomized.
18 So this starts from the first time the patient took a
19 dose which is obviously earlier than the time of
20 presumed steady state.

21 There were actually more patients in this
22 analysis because the number of patients were dropped

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 during that initial phase of the study. And because
2 of that, the p values are perhaps a bit more robust
3 for both the Gehan and the log rank. And you can see
4 here that there is a step up to 160 milligrams with a
5 -- there is a significant p value attached to the 160
6 milligram dose for the log rank and also for the
7 Gehan.

8 This is an analysis which is a very
9 draconian look at the data. It involves taking
10 patients who not only had recurrences in the study,
11 but were also were discontinued since randomization.
12 It is an analysis that I showed you for 004 which
13 happened to show a better outcome because there were
14 few dropouts. In this analysis, it cancels out the
15 statistical benefit by log rank although not by Gehan.
16 Since, again, in the higher dose groups, as expected,
17 there were a larger number of dropouts. This is a
18 question that's been addressed to the committee, and
19 we think it's a very important statistical question.
20 And actually, Dr. Fisher also has some comments
21 perhaps we could have later during the discussion
22 regarding this question of handling of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discontinuations in patients in clinical trials of
2 this nature.

3 This is the same evaluation to determine
4 the covariant. Covariants do not provide an
5 alternative explanation for the clinical benefit.
6 We're looking at several of the clinical
7 characteristics that I showed you from the last study:
8 age, gender, structural heart disease, coronary artery
9 disease, et cetera, in- versus outpatient initiation.
10 And you can see that because of balance there really
11 isn't much of a difference from the unadjusted point
12 estimate.

13 This is the subgroup analysis as I showed
14 you in the last study. Looking at important clinical
15 variables -- age, gender, structural heart disease,
16 paroxysmal versus chronic atrial fibrillation -- most
17 of the patients here in this analysis obviously were
18 paroxysmal. I'd point out that this particular
19 analysis is done in patients who had received 120
20 milligrams of sotalol versus placebo, and this is at
21 12 month since randomization.

22 This continues the subject analysis I did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the last study showing that whether or not the
2 creatinine clearance was high or greater than 60 or
3 less than 60, the benefit treatment effect was
4 consistent.

5 I want to move on to Study 9A which was
6 also a study in patients with paroxysmal atrial
7 fibrillation as a subpopulation of a larger clinical
8 trial.

9 CHAIRMAN PACKER: Peter, hold on one
10 second, please.

11 DR. KOWEY: Yes.

12 DR. CALIFF: Peter, just a point of
13 clarification. On the odds ratios that you're
14 showing, those are the non-intention to treat odds
15 ratios?

16 DR. KOWEY: That is the non-intention to
17 treat. That was -- let me go back. Can I go back a
18 slide.

19 DR. CALIFF: That's been true for all the
20 odds ratios you've shown?

21 DR. CALIFF: Yes. Well, this one is --
22 you can see here. If you call intention to treat from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 randomization -- is that what you mean, Robert?

2 DR. CALIFF: It's usually what we call
3 intention to treat.

4 DR. KOWEY: Yes. No, this is not for that
5 analysis. This is for the time-to-presume steady
6 state which was the primary analysis in the trial.

7 The primary analysis in the trial -- Oh,
8 this is from randomization. I'm sorry, Robert. This
9 is from randomization. So this is the intention to
10 treat.

11 DR. CALIFF: But it includes patients who
12 came off the drug, even if they weren't --

13 DR. KOWEY: This does not have the
14 discontinuation. Correct. Yes. These were where the
15 discontinuations were censored.

16 DR. CALIFF: Right. And we'll come back
17 to it later, but I wouldn't call that intention to
18 treat. I just want to clarify which analyses were
19 being shown as odds ratios.

20 DR. KOWEY: Yes, this analysis is -- let
21 me just clarify so everybody understands. It is from
22 the time of randomization, and it does not include

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients who were discontinued for adverse effects.

2 CHAIRMAN PACKER: Peter, just a
3 clarification. You referred to the p value for the
4 log rank as 160 milligrams, .029 as being
5 statistically significant. The alpha assigned to that
6 is .025.

7 DR. KOWEY: That's correct. You're right.
8 You're right.

9 CHAIRMAN PACKER: It is statistically
10 significant.

11 DR. KOWEY: That's correct. You are
12 correct.

13 The next study in the paroxysmal atrial
14 fibrillation strata is patients with -- in Study 9A
15 which was a sub-study of a larger study of patients
16 with paroxysmal supra ventricular tachycardia. This
17 had a relatively complicated baseline period. Let me
18 just explain to you how this was done.

19 Patients were observed for the first week.
20 If they had one episode of arrhythmia within the first
21 week, those patients then went through two more one-
22 week observation periods. These were patients that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 obviously had fairly frequent arrhythmia, and
2 therefore the period of observation was shorter.

3 On the other hand, at the other end of the
4 extreme, patients who had an episode every four weeks,
5 or once a month, in the first four-week period, then
6 went on to have two more four-week periods of
7 observation for a total of 12 weeks.

8 Once they had this baseline quantification
9 of arrhythmia frequency, they were randomized and
10 stratified by their baseline observation period to
11 d,l-sotalol, regular sotalol; or d-sotalol; or
12 placebo. Just to show you that about 60 percent of
13 the patients were in the yellow group, about 25
14 percent of the patients were in the green group in
15 terms of the frequency of arrhythmia, and about 15
16 percent were in the red group, randomized to these
17 drugs during a dose escalation phase, and then for the
18 last two periods of the study they were observed.

19 The endpoints of the study were time to
20 first recurrence of supra ventricular arrhythmia and
21 the percentage of patients without recurrence. The
22 statistical analysis for this was a Kaplan Meier

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 survival curve and a Cox proportional hazards model,
2 as you've seen before. This is the Kaplan Meier curve
3 for the intention-to-treat analysis for the patients
4 with supra ventricular arrhythmia, including patients
5 with PSVT as well as atrial fibrillation, showing you
6 each of the doses of sotalol and d-sotalol lining up
7 compared to placebo. These are the p values for those
8 overall observations which was the primary pre-
9 specified analysis.

10 On this slide, we've shown the
11 subpopulation of patients with paroxysmal atrial
12 fibrillation by history looking at sotalol, d-sotalol,
13 and placebo. What is striking about the results is
14 the relatively short time to relapse in patients in
15 the placebo group and the difference between that
16 observation and the time to relapse in patients on
17 sotalol. Yielding a p value which was highly
18 statistically significant with these confident
19 intervals for the relative risk observations, which
20 are here, the point estimates.

21 There was a single study in patients with
22 chronic atrial fibrillation that examined two issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One is conversion of atrial fibrillation or flutter in
2 normal sinus rhythm. And the second was exploration
3 of a higher dose of the drug. That was Study 014 of
4 161 patients.

5 This is a study, as I said, in patients
6 with chronic atrial fibrillation, again, defined the
7 same way that the other studies were defined. And
8 these patients were randomized between sotalol and
9 placebo. There was a dose titration phase in the
10 first part of the study. Patients were started on 160
11 milligrams twice a day and then titrated with 320
12 milligrams, twice per day at three-day intervals. If
13 intolerance occurred at the 160 milligram twice per
14 day dose, they could be titrated downward to 80
15 milligrams twice per day. Patients with creatinine
16 clearances in this study of less than 50 ccs per
17 minute were excluded from the protocol. Patients
18 following this period of titration, if they had not
19 converted to sinus rhythm on the drug, underwent
20 direct cardioversion.

21 After completion of the double-blind
22 treatment phase, an open label treatment for one year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was an option for the patients.

2 There were three endpoints that were
3 described in the protocol for this particular study.
4 A portion of patients achieving sinus rhythm with
5 double-blind treatment by Fisher exact tests, the time
6 between restoration in sinus rhythm and relapse into
7 atrial fibrillation and atrial flutter analyzed by log
8 rank, and the proportion of patients remaining in
9 sinus rhythm at the end of six months of double-blind
10 treatment.

11 These are the demographics: New York
12 Heart Association class, percentage of patients with
13 structural heart disease, percentage of patients with
14 coronary disease or previous myocardial infarction.
15 This should look very familiar. This is for the
16 placebo group and the d,l-sotalol group.

17 Now remember that the first endpoint of
18 the trial and the unique endpoint of the trial was
19 conversion of atrial fibrillation or flutter to normal
20 sinus rhythm with drugs. So this is the pharmacologic
21 conversion rate during the dose titration phase of the
22 study showing a 30 percent conversion rate for d,l-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sotalol compared to one percent of patients on
2 placebo. The 30 percent value is very much in the
3 range of what we've seen with oral Class III for
4 conversion of atrial arrhythmia to sinus. This is the
5 p value like Fisher's exact.

6 This is the Kaplan Meier analysis, time to
7 relapse of atrial fibrillation or flutter since
8 restoration of normal sinus rhythm. And these are the
9 p values which are attached by log rank and by Gehan.
10 Deaths were censored in this particular Kaplan Meier
11 analysis.

12 These are the tabular data from those
13 observations. We're looking at number of patients who
14 were discontinued due to adverse events. There was a
15 fairly large number of patients who were discontinued
16 in this trial, remembering that we were using doses in
17 this trial which are higher than the doses which we
18 are recommending today for treatment of patients with
19 this arrhythmia. This is median time to recurrence in
20 days, percentage of patients relapse free, and the
21 statistical tests, log rank and Gehan, and the point
22 estimate with confidence intervals for the relative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 risk.

2 This is adding deaths or discontinuations
3 to relapse of atrial fibrillation or flutter in
4 patients in Study 014. These were the statistical
5 results by log rank and Gehan, remembering, again,
6 that there was a high discontinuation rate in patients
7 who received the drug at these doses.

8 Finally, the last study that I'd like to
9 outline for you is Study H which was in patients with
10 chronic atrial fibrillation and which sotalol was
11 compared to quinidine.

12 Again, the same group of patients with
13 atrial fibrillation and atrial flutter now more than
14 two months and less than one year. These patients
15 were cardioverted and needed to remain in normal sinus
16 rhythm following cardioversion for more than two hours
17 before randomization. This was an open label study.
18 And treatment was randomized between sotalol at a dose
19 of 80 to 160 milligrams twice per day including
20 sulphate, 400 to 600 milligrams twice per day. These
21 are the number of patients that were treated with each
22 of these regiments.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This is a cardiovascular history. It's
2 worth pointing out that in this trial, as not in the
3 other trials that I've shown you, that there were
4 patients who had congestive heart failure by history.
5 There were more patients who had cardiomegaly. And in
6 fact, there were about 16 to 18 percent of patients
7 who had Class III New York Heart Association class.
8 The distribution of patients with coronary disease and
9 previous myocardial infarction should look familiar.

10 This is the Kaplan Meier analysis in which
11 we have analyzed the time to recurrence of atrial
12 fibrillation or atrial flutter, or discontinuation for
13 an adverse effect. And although this was not powered
14 to be an equivalent study, it's clear that these lines
15 are very near each other with this p value.

16 This is number of patients who are relapse
17 free. We begin with the number of patients who are in
18 sinus rhythm at six months on study drugs and the p
19 value. These are the number of patients who relapsed.
20 These are the number of patients who were discontinued
21 for adverse events. Seventeen percent in the
22 quinidine arm, 10 percent in the sotalol arm. There

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was one death on quinidine due to a stroke and there
2 was one death on sotalol due to myocardial infarction.

3 You would expect that a drug that had beta
4 blocker effect as part of its electrophysiologic
5 profile to slow heart rate at the time of a rhythm
6 relapse. And so another endpoint in this trial which
7 was examined was the mean resting ventricular rate
8 when patients relapsed back into atrial fibrillation
9 or atrial flutter. These are the data for d,l-
10 sotalol. Borderline statistical difference between
11 the value for relapse and baseline. These are the
12 data for quinidine baseline relapse. There was a
13 highly statistically significant difference between
14 relapse heart rate on sotalol versus quinidine with
15 this p value by two sample t-test.

16 Another unique part about this protocol is
17 that patients were interrogated for symptoms at
18 baseline, and they were then re-interrogated for
19 symptoms at one month after treatment. I would point
20 out that the Ns for these observations are lower than
21 the Ns for the patients that were actually randomized
22 into these arms because a patient dropped out of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 protocol either because of adverse effects or
2 inefficacy within this one-month time period. Twelve
3 for sotalol, 24 for quinidine.

4 I would point out that symptoms of
5 palpitation and weakness decreased in both sides of
6 the study, both for quinidine as well as for sotalol.
7 It would be expected in patients who were achieving
8 some kind of a therapeutic effect with these
9 antiarrhythmic drugs.

10 DR. CALIFF: Peter, just to make sure I
11 understand. What you're saying is that, for people
12 who didn't have side effects, they had -- they looked
13 better?

14 DR. KOWEY: They felt better if they were
15 in sinus rhythm.

16 DR. CALIFF: If they didn't drop out
17 because of side effects?

18 DR. KOWEY: Correct. That's correct.

19 CHAIRMAN PACKER: Let me see if I
20 understand. They were in sinus rhythm at the start at
21 the trial?

22 DR. KOWEY: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN PACKER: They were at sinus
2 rhythm at one month and they felt better?

3 DR. KOWEY: No, no. The symptoms were --
4 the way they were interrogated at baseline was, What
5 were your symptoms when you were in atrial
6 fibrillation, not, What were your symptoms when you
7 entered the study.

8 CHAIRMAN PACKER: So it's not history.

9 DR. KOWEY: It was not concurrently with
10 randomization.

11 CHAIRMAN PACKER: It's not the baseline.

12 DR. KOWEY: It is not the baseline
13 symptoms. It's the symptoms the patient had before
14 they were treated and when they were in atrial
15 fibrillation.

16 DR. KOWEY: Again, a further comparison.
17 These data you've already seen on a preceding slide.
18 I just want to point out that this is the proportion
19 of patients in the clinical trial who were reported to
20 have had adverse events. These are the
21 discontinuations. These are the values for patients
22 who actually had adverse events. Fifty percent in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 quinidine arm, 28 percent in the sotalol arm.

2 I want to just summarize, Milton, if I
3 may, with just a couple of slides and then we can
4 answer questions about efficacy.

5 What's done in this slide, and the two
6 succeeding slides, is look at the clinical trials that
7 I presented to you in each category and describe on
8 the slide the percentage of patients relapse free, the
9 p values for the log rank and the relative risk versus
10 control. This is for the chronic atrial fibrillation
11 and atrial flutter strata. And we're looking at
12 treatment versus control. I'd point out for Study H,
13 the control was not placebo; the control was
14 quinidine.

15 This is for Study 004. These were also
16 the primary analysis, .56 with this p value. We do
17 not have a point estimate for the dofetilide
18 experience. All we have is the p value for the log
19 rank which you can see here. This is 014, which was
20 the high dose study showing you the relative risk
21 versus control of the point estimate. And this is the
22 quinidine/sotalol comparator study in which there was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really not much to choose between the two therapies.

2 This is an analysis for the paroxysmal
3 atrial fibrillation, atrial flutter cohort. I've
4 actually -- the reason why there's two slides for PAF
5 is because in the first slide, I'm showing you the
6 data. Rob, this is from randomization. So this is
7 the from randomization analysis, the relative risk
8 versus placebo point estimates and log rank for
9 sotalol at 80; d,l-sotalol at 120, and d,l-sotalol at
10 160. Remember, this could be once a day or twice a
11 day in this study. And these are the log rank p
12 values.

13 This is the analysis for 9A at the low
14 dose, 80 milligrams twice per day; and at the higher
15 dose, 160 milligrams twice per day. Again, these are
16 the relative risk point values and confidence
17 intervals.

18 Let me show you --

19 DR. CALIFF: These are again -- this is
20 censoring patients when they stop taking the drug?

21 DR. KOWEY: Yes, that's correct. That's
22 correct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And let me just show you, Rob, the other
2 analysis which was from presumed steady state plasma
3 concentration for Study 5. So this was what was the
4 pre-specified analysis in the protocol. The relative
5 risk estimates are a bit different here. The p values
6 are a bit less small. And the reason, again, is
7 because there are patients who were lost during the
8 early phases of the trial. These data here are
9 exactly the same as what I've just shown you.

10 So I would just like to conclude the
11 efficacy portion of this presentation by pointing out
12 that d,l-sotalol extends the time to symptomatic
13 recurrence of these arrhythmias in patients with both
14 chronic and paroxysmal atrial fibrillation and atrial
15 flutter. It would appear that patients with and
16 without structural heart disease obtained a similar
17 benefit.

18 The study had doses ranging between 80 and
19 160 milligrams twice per day in some of the trials,
20 with once a day dosing in patients with ultra
21 creatinine clearance. And these appear to be
22 effective.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Conversion rates to sinus rhythm is 30
2 percent in Study 014. However, doses above what we
3 are recommending for clinical use were required in
4 order to achieve that clinical benefit. Dose
5 dependent increase in recurrence-free rate was seen in
6 Study 05 which was the randomized comparison of dose.

7 That concludes my efficacy presentation,
8 and I'd be happy to take questions.

9 CHAIRMAN PACKER: What I'd like to do is
10 to pause here for questions from the committee. In
11 all cases, we're going to begin our questions with
12 JoAnn Lindenfeld who is the primary reviewer for this
13 NDA.

14 I also think it would be very useful to
15 take the questions in a systematic fashion per study.
16 And so what I would ask the committee not to do is
17 jump around from study to study. We're going to go
18 through all the studies individually. Some of the
19 studies have common issues. Some of the studies have
20 distinct issues. And let me begin, as the briefing
21 document does, with Study 05. So we're going to start
22 the questions with Study 05.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 JoAnn.

2 DR. LINDENFELD: I just want to start--
3 this will involve the entire discussion. Could you
4 give us a rough idea of the average age and the
5 average percent of women with atrial fibrillation in
6 the United States?

7 DR. KOWEY: In the United States?

8 DR. LINDENFELD: Just what's the average
9 age of these patients and what percentage are women?

10 DR. KOWEY: I'm going to take a wild stab
11 at this, JoAnn. I don't know. I don't have precise
12 data, but I do know that it's an elderly population.
13 So this is a group of patients that should be greater
14 than 65 for the most part. And although men may have
15 more disease when they're younger because of their
16 coronary disease, women certainly catch up with them
17 and they have a higher incidence in the elderly.

18 DR. LINDENFELD: Yes. I think one thing
19 that will go through all of these studies is that this
20 is a relatively young population for this disease and
21 a relatively high percentage of men, I think, for
22 atrial fibrillation. And this becomes important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because creatinine clearance becomes so important with
2 age, I think.

3 DR. KOWEY: I agree.

4 DR. LINDENFELD: But that's just to start
5 off.

6 Now in terms of excluded drugs in Study
7 05, I want to just address this issue of calcium
8 blockers. Am I correct in saying that dotiazam and
9 verapamil were excluded drugs?

10 DR. KOWEY: That is correct.

11 DR. LINDENFELD: And I think that is in
12 all of these studies; is that correct? That will
13 become an important point later on as we talk about
14 adverse effects and bradycardia. At least in 00 --
15 we'll stick to 05, but I believe that's true in 004 as
16 well.

17 Let's come back to that because I think
18 it's important dotiazam would be a commonly used drug
19 in this population of patients.

20 DR. KOWEY: Can we have back up, please,
21 slide 190? This is, JoAnn, specifically Study 05,
22 concomitant therapy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LINDENFELD: These calcium channel
2 blockers, that excluded dotiazam and verapamil; is
3 that correct?

4 DR. KOWEY: That was in the protocol, yes.

5 DR. LINDENFELD: Because I think the point
6 would be that those would be relatively common drugs
7 that these patients might be taking. So just an
8 important point for the future.

9 And is it also true that the therapy was
10 blinded but the dose was not? In other words, the
11 physicians and patients didn't know which therapy, but
12 the potential dose of therapy was known?

13 DR. MARROTT: In 35, that is correct.

14 DR. LINDENFELD: So just as a point of --
15 people might know that the dose was higher but that
16 would apply to both, of course.

17 Now I want to get to this issue that I
18 think everybody wants to get to about the dropouts and
19 we'll come back to the dropouts. In including the
20 dropouts, if a worse case scenario is included, that
21 is all the dropouts are considered failures, then the
22 study is non-significant, at least, I think, according

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the FDA analysis. And the analysis that we read
2 suggested that perhaps the truth lies somewhere in
3 between.

4 But I'm a little bit concerned, and I want
5 to get some opinions from everyone because I'm a
6 little bit more concerned than what I saw in the FDA
7 document that the people who drop out may actually be
8 the people -- people who drop out on sotalol for
9 adverse events may actually be the people at highest
10 risk of recurrence for atrial fibrillation.
11 Particularly, I know, that at least in a few of the
12 studies when it was documented, those were clearly
13 more often elderly people. So maybe we could have
14 some comments on that and maybe from the committee,
15 too. I'm concerned that actually the worse case
16 scenario may apply here.

17 CHAIRMAN PACKER: Let me just outline what
18 the issue is so that it is clear to everyone what
19 we're talking about because, as JoAnn says, this is an
20 issue which is on the minds of I think every member of
21 the committee, as well as noted as an important issue
22 in the FDA review.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And that is, in Study 05 as well as in
2 nearly all the trials presented on behalf of sotalol,
3 patients who discontinue the drug were not observed to
4 the end of the planned therapy for the occurrence or
5 recurrence of atrial arrhythmias. Consequently, we do
6 not know whether patients assigned to a specific dose
7 of sotalol or placebo had a recurrence of atrial
8 fibrillation. In other words, the data were censored
9 at the time of discontinuation, and we are all
10 concerned that that censoring is informative. That
11 is, it's not random, that censoring was not random.

12 The FDA reviewer had asked the sponsor to
13 try to gauge the degree of difficulty created by this
14 by including the time of discontinuation in the
15 analysis. And this was done for both treatment arms.
16 This is referred to as the so-called "worst case"
17 analysis.

18 Let me just make a comment here. This is
19 not a worst case analysis. A worst case analysis
20 would be to censor all of the placebo and to assign
21 events at the time of discontinuation to all the
22 patients receiving active therapy. That would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 worst case.

2 So an analysis in which all patients are
3 considered to have an event at the time of
4 discontinuation is not a worst case analysis.

5 DR. KOWEY: Right. Because it will pick
6 up the even worse.

7 CHAIRMAN PACKER: It could even be worse
8 than that.

9 DR. KOWEY: Right.

10 CHAIRMAN PACKER: And therefore, the
11 analysis presented here is not the most conservative
12 analysis. And one could be more conservative than the
13 analysis being presented. But I think it's important
14 to talk about this because it has implications not
15 only for sotalol but it also has implications for
16 almost every long-term trial this committee sees, for
17 any drug, for any indication.

18 And it is also an issue that is brought up
19 in the committee questions. And so I would like to
20 take JoAnn's lead here and have the committee spend a
21 little bit of time on this because it is so important.
22 And let me do so by --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Rob, do you want to comment on this?

2 DR. CALIFF: I can just make a few
3 comments because in general, I think, that whenever we
4 discontinue follow up in patients who have been
5 randomized, then we have a violation of the intention-
6 to-treat principle. And we're left with some
7 uncertainty about the implications that has for the
8 analysis.

9 I certainly agree -- Lloyd, just sit down
10 for a minute here.

11 Someone mentioned that Dr. Fisher was
12 going to be on the edge of his seat within
13 milliseconds and indeed he is.

14 I certainly agree that when a few patients
15 get lost, then that would be a reason to censor. But
16 I think the problem that I'm seeing is that trials are
17 being designed where, by design, patients are no
18 longer followed when they stop taking the drug, which
19 I think is a very dangerous approach in doing clinical
20 trials but it seems to be the norm rather than the
21 exception.

22 Now one could also argue, and I think it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has been reasonably argued, that in practice you try
2 a drug and if the patient has a side effect, stop the
3 drug and try something else. So it's likely that the
4 right answer is somewhere in between but it's
5 certainly not -- the right answer is certainly not at
6 the point of censoring because I think as you and
7 JoAnn pointed out, the patients most likely to drop
8 out -- and this is another thing that worries me --
9 are not only the ones most likely to fail therapy but
10 in the case of the drug that may cause toxicity --
11 most particularly related to things like renal
12 function, drug accumulation, electrophysiologic
13 property -- that toxicity is likely to be very much
14 concentrated in a very small group of patients who are
15 at high risk.

16 And so it leaves you uncertain about
17 judging both the efficacy and safety, I think, of what
18 will happen when this thing is unleashed on the
19 public.

20 CHAIRMAN PACKER: Now, Lem, do you want to
21 comment?

22 DR. MOYÉ: Yes. I think that sometimes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 investigators don't really know what they're getting
2 into when they start a clinical trial. Much of the
3 emphasis is placed on randomization. There's a
4 tremendous full-court press to randomize patients.
5 And sometimes what gets lost is that when a patient is
6 randomized, that investigator essentially buys that
7 patient for the duration. Essentially, the study pays
8 a price for having that patient enter into the study
9 because the study analysis assumes that patient is
10 going to be followed until the very end of the
11 experiment.

12 Now sometimes people are fooled by the
13 fact that we randomize so many patients in this study.
14 Sometimes we randomize thousands or tens of thousands
15 of patients. And there tends to be a sense that
16 there's some play in these numbers, that because you
17 randomize so many patients, you can afford to lose a
18 few and still not wind up vitiating the findings.

19 This is a trap. This is a great trap.
20 Because the findings in the end come down to the
21 delta, the difference in the number of patients who
22 have the endpoint in the placebo group versus the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number of patients who have the endpoint in the active
2 group. And even though you may randomize hundreds of
3 thousands of patients, the delta winds up being five
4 or ten, or 15, or 20 patients. So the entire efficacy
5 of the study pivots on what happens to those ten or 15
6 people.

7 If the investigators, of course, knew who
8 these people were in the beginning, they would give
9 them tremendous care. But the investigators don't.
10 So the best that they can do is treat each patient
11 like that patient is the patient that's going to make
12 the difference. That translates to following
13 everybody for as long as you can, or certainly for the
14 duration of the experiment, perhaps longer if
15 possible.

16 If that does not happen, you have what, to
17 me, is a discordancy. That is to say, that the
18 protocol essentially specified there would be one mode
19 of execution and in fact the actual execution was
20 different. Now, in some sense, the investigators have
21 let us down because we haven't been able to -- we
22 cannot look at the data as we would have expected to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 see it from the protocol.

2 And so the question then becomes, Can you
3 make some kind of adjustment? Well, here you really
4 can't make a persuasive adjustment. I think that
5 probably Lloyd and I could spend all day throwing
6 scenarios back and forth at one another about what
7 would be reasonable and what is not. Essentially, the
8 computation for the effect size and the p value is
9 beyond adjustment. In my word, it's corrupted.
10 There's no way you can compute the p value which
11 actually assesses what is the truth in this experiment
12 in that what -- by that I mean, what it actually
13 mirrors what it tells us about the population.

14 The best we could hope for, of course,
15 which we don't have here, is the absolute worst case
16 analysis, and I second what Milton's comments were
17 heré, and that is we assume the active patients who
18 are lost were the ones who had the bad clinical
19 outcomes and the placebo patients do not.

20 If you don't have this extreme worst case
21 analysis which lines up with the initial analysis, I
22 think that we must go by the most conservative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 analysis. And the most conservative analysis here is
2 that the p values in fact are not significant. This
3 is a conclusion that I am reluctant to reach.
4 However, since the investigators are not able to
5 follow patients as they had initially planned, then I
6 think in terms of -- since the implications of what we
7 decide here are not just for this trial, but the
8 implications are for what the side effects are going
9 to be in the community, the most conservative approach
10 here I believe is the best one.

11 CHAIRMAN PACKER: Yes. Maybe, let me just
12 see if I can get a clarification.

13 Lem, you're suggesting that the
14 investigators sort of violated a commitment to the
15 trial. But if I understand correctly, the trial
16 protocol actually said they wouldn't be followed. So
17 it wasn't the investigators violating their
18 commitment. It was the design of the study that
19 encouraged the lack of follow up in the patients who
20 dropped out because of an adverse event.

21 Is that correct?

22 DR. KOWEY: That's correct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN PACKER: But a different
2 philosophy was followed for Study 04. Why were they
3 different?

4 DR. KOWEY: 04 was a study that predated
5 05 and was not done by the sponsor. And I don't have
6 an explanation for why there was a change in the
7 philosophy of follow up. I wasn't privy to that.

8 DR. KONSTAM: Could we get -- I'd just
9 like this clarified. The analyses that we saw for 004
10 was a true intent-to-treat analysis without censoring
11 of dropouts?

12 DR. FISHER: Could I make one comment
13 about terminology? I won't go into my other comments.
14 But I think it would be useful, the term "intent to
15 treat" means everybody's included in the group to
16 which are randomized. And I would maintain that --
17 for example, you will later see an ICD trial. I don't
18 actually mind an ICD trial which considered a person
19 to have an endpoint at the time there's a discharge
20 for VF to consider that the equivalent of an endpoint
21 had they not had a defibrillator. I would call that
22 "intent to treat," although you can follow them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 further for subsequent discharges.

2 So I would like to distinguish between
3 "intent to treat" where everybody is included in the
4 groups to which they're randomized and maybe we can
5 call it "complete follow up", being even if they
6 discontinue, they go to the end of the study period,
7 just for logical consistency because I can think of
8 situations where I'm relatively happy with
9 discontinuations and others where I am not.

10 DR. KONSTAM: So in 004, all patients,
11 whether they were discontinued or not, are included in
12 the efficacy analysis that we saw; is that correct?

13 And in 05, the primary efficacy analysis
14 excluded patients who were excluded because of adverse
15 events, discontinued drug because of adverse events.

16 DR. MARROTT: In Study 004 -- can you hear
17 me now? In Study 004, all patients were followed
18 until the end of the trial. In Study 05, patients
19 were not followed if they discontinued due to side
20 effects or if they had a relapse. The sponsor's point
21 of view was that being a fixed-dose trial, we expected
22 a larger number of side effects; and it was difficult

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to ask the investigator that the patient be followed
2 because it is not easy to follow patients who have
3 discontinued in the atrial fibrillation population
4 because they would have gone on to other treatments
5 and other types of management.

6 CHAIRMAN PACKER: It is actually easy to
7 follow them. It is very easy to follow them for the
8 planned duration of therapy. It is not hard to do the
9 right thing. What is hard is to accept the
10 consequences of what happens after the discontinuation
11 of treatment.

12 Because what we're really talking about
13 here is not an intention-to-treat issue. It's an
14 issue of informative censoring, whether the censoring
15 here is informative or non-informative. And I guess
16 that would be the correct terminology. What we're
17 concerned about here is not only is the censoring
18 informative, but it is frequent and it was planned.

19 Udho.

20 DR. THADANI: There are several issues
21 which come to mind here. Investigators in some of the
22 trials have medical knowledge. For example, Karl

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Rillow's study got around this issue by dropping
2 patients who had adverse effects during open label
3 phase. And those patients were dropped out. So
4 that's one way to do the trial. Unfortunately, this
5 trial was not designed by this, so I think you're
6 stuck with it. You randomize a patient. You will
7 count them all. Had you taken the, say, one month
8 period and done a study in those patients with side
9 effects were the reason they were not randomized, you
10 would not be arguing with this. Also, there are
11 problems there, too.

12 CHAIRMAN PACKER: Udho, I think --

13 DR. THADANI: I'm just mentioning --

14 CHAIRMAN PACKER: You might be fixing a
15 problem with another problem.

16 DR. THADANI: I'm not fixing. I'm raising
17 some of the issues. So I think what I'm trying to say
18 is that once you randomize, intent to treat analysis
19 should include all the patients. And you might have
20 been actually benefited had these patients who had
21 side effects gone on to other therapy, which is
22 helpful in a fib, might have had less arrhythmia.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You could not have stopped the provisions,
2 and so you said you that you did not follow because
3 it's hard to follow patients. I don't think I buy
4 that. I think probably you would have come out
5 beneficial had they gone on to ALD drugs which also
6 prevent a fib. But that is still intent to treat and
7 that's a real medicine.

8 So I don't think the FDA reviewing all the
9 files and what is presented, it's not a worse case
10 scenario hasn't identified it out. I think that's
11 intent to treat. So you're going to have to live with
12 it. One is a bit shaky and I sympathize with your
13 patients. The trial was written that way and the
14 investigator didn't follow that.

15 But, I'm concerned that intent to treat
16 did not show a difference and if you drop the patient
17 -- and it seems like the higher doses, the higher drop
18 out rate. And since the 80 milligram did not work,
19 you are recommending 120 or a higher dose with,
20 unfortunately, a higher drop out rate. And it's
21 possible that had these patients been seen at months
22 3 to 6, they would have had a higher recurrence and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they would have neutralized the effects.

2 So I think there are some concerns and all
3 of us have concerns when we looked at the FDA
4 documents as well as the database.

5 CHAIRMAN PACKER: Lloyd.

6 DR. FISHER: I wonder if I could make a
7 few comments. First, it's wonderful to see Dr.
8 Lipicky back and he has gone home to watch our
9 festivities on the internet. And hopefully he's there
10 and hears this.

11 I was actually glad to see this point
12 brought up and I think it deserves very careful
13 consideration. And I would take a very parochial
14 view. I view the cardiorenal committee as the best
15 division and committee within the Bureau of Drugs.
16 And I've now had enough experience with other
17 committees that that may be a true statement. I
18 certainly haven't seen every committee.

19 First, I'd like to note that the question
20 the FDA stated is not, strictly speaking, correct.
21 The question talks about the assumptions being
22 violated because there's different drop out rates at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 different doses. That in itself is not enough to
2 invalidate the censoring.

3 What has to happen is you have a
4 differential treatment effect, as Milton implied,
5 associated with this censoring. So the real issue is
6 how differential that treatment effect would have
7 been. How robust are the findings. And what Dr. Moyé
8 was talking about is absolutely correct. You're left
9 with mathematically what's called an unidentifiable
10 problem. You can hypothesize different sorts of
11 scenarios, none of which you can tell from the data
12 once you have the censoring. It's sort of like a Zen
13 poem, sound of one hand clapping. What would have
14 happened if these people could have tolerated the drug
15 and taken it.

16 And I'd like to note also that many areas
17 where we usually don't think about this problem it
18 truly exists. And that is where we measure things
19 continuously and have our last observation carried
20 forward analyses. When the people go out, those
21 observations definitely could have changed also. So
22 this is a problem that cuts across almost every drug

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 development area.

2 I think when take Dr. Moyé's hard line, as
3 he tends to take on each and every issue, we will kill
4 drug development in all kinds of areas. It just will
5 not be conceivable because of the tolerability of
6 drugs to get a positive study.

7 So I think it would be very poor policy to
8 make discontinuations, or even worse, the worse case,
9 a primary analysis that had to be satisfied
10 necessarily for approval, despite all those caveats
11 I've just spread. Normally what we do in observing
12 the amount of discontinuation is, if it's quite low,
13 we tend to ignore it. And in the past, even when it's
14 somewhat moderate like this, we tended to ignore it
15 perhaps inappropriately.

16 Parenthetically, I push the sponsor, and
17 Dr. Pritchett who is here said I shouldn't introduce
18 his name in my comments, why weren't these people
19 followed up. And he assured -- I don't want to start
20 a big fight among the Duke medical faculty, but he
21 assured me that this was not possible and I'm
22 mentioning him, hopefully, hoping that he will give

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this comment first-hand because I think it's very
2 relevant in this particular discussion.

3 Anyway, what you have to do, then, is use
4 your judgment of the biological plausibility of what's
5 gone on. I would first note, if we could get slide
6 195. For this particular study, the discussion is
7 going as if this analysis destroyed everything. But
8 in fact, you can see the 120 p value of .034, the
9 sponsor said they would look at the two higher doses
10 and use a Bonferroni correction, which is a little too
11 conservative, of .025. So this is not significant but
12 it's not as if you've destroyed the whole study. And
13 certainly, you would have to agree there's a very
14 strong trend, if not significant. And I think that's
15 important when you integrate all this data in your
16 mind, that you not think of this as a study where when
17 you considered the discontinuation failures,
18 everything fell apart. That's not true. What did
19 happen is the statistical significance dropped.

20 So what you have to do is use your
21 biological judgment of plausibility and you people are
22 the medical and biological people and I'm the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 statistician. But I would suggest that there's a lot
2 of comfort in 04 which, because they had upward
3 titration or because the Europeans are more stoical,
4 I mean, there are a variety of reasons. But they had
5 very few dropouts. And they have the same type of
6 pattern.

7 So there was a case where this problem
8 didn't enter in and the data are somewhat consistent.
9 And there's a number of other ethics to these studies
10 that you heard about from Peter. So what you would
11 have to do in your own mind is make a judgment about
12 just how robust the findings are, how much the
13 discontinuation might have effected things, and it's
14 hard for a statistician. If we take a very hard
15 line, we would take the absolute worse case. I think
16 in practice, actually I consider this actually a
17 fairly draconian correction and I would agree with, I
18 think, Dr. Califf's comment, the truth is somewhere in
19 between this and the center's situation. And
20 precisely where that truth lies is a very difficult
21 matter of judgment.

22 DR. THADANI: Rob, before -- sorry, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 believe it's 05, this is 05, right? Right. If I'm
2 looking at the FDA document, I believe on page 10,
3 there's a small booklet. The p value there is point--
4 is different than what you're showing here.

5 DR. FISHER: I don't have the document.

6 DR. THADANI: Point 042. Whether the
7 statistician reviewed the work as which is intent to
8 treat, he found no significance at all. And p values
9 there are -- given are much different. Log rank is
10 .62.

11 DR. FISHER: I think the difference is the
12 --

13 DR. THADANI: Why there are differences in
14 that?

15 DR. FISHER: Okay. I think the difference
16 is the following. The investigators preferred
17 analysis, which is not my preferred analysis nor I
18 think the agency's, was from steady state. Because
19 the theory being until you reach steady state, what
20 could happen. If you -- So they probably have this
21 value.

22 If you do it from steady state, because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some people drop out before steady state, you lose the
2 protection of the randomized process, just as we do
3 for the discontinuation.

4 DR. THADANI: No, this isn't
5 randomization. All patients were included and the p
6 values for log rank is point -- this is on page 10 of
7 the document, p value is .62 for 80, .098 for 120.

8 DR. FISHER: Yes, that's --

9 DR. THADANI: And .912 for this. And if
10 you look at it against it's .042. It's not what
11 you're showing here with significant. But there is no
12 significant --

13 CHAIRMAN PACKER: Udho, those numbers are
14 the same numbers that are on the slide. Can you just
15 check?

16 DR. FISHER: Oh, no, I had a prior -- I
17 apologize. I can explain the difference.

18 The difference was in the slide that I
19 hastily picked up, did not refer to symptomatic. But
20 it referred to any ECG documented. And .098, which I
21 still say is the symptomatic return on a
22 randomization.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I apologize. I didn't do that knowingly
2 with knowledge.

3 CHAIRMAN PACKER: I think we have to --
4 there's two separate issues here. The issue number
5 one is what does the committee think the right kind of
6 design and analysis should be as a general issue. The
7 second is, how does that feeling influence the
8 interpretation of the data on sotalol. And I think,
9 Roy, I think you've actually said that.

10 DR. FISHER: I would just suggest, before
11 you begin your general assessment, we hear from Dr.
12 Pritchett about the possible practicality. I mean,
13 maybe his views are incorrect but --

14 DR. CALIFF: But, Lloyd, I would at least
15 like you to make a statement as to what you think the
16 proper study design is, if at all possible.

17 DR. FISHER: If at all possible, the
18 proper design, the advice I give to people, is you
19 follow them until they -- everybody, even if they
20 discontinue their study drug, they're in the study.
21 You follow them to the end of the study and you try to
22 select all the interim observations. If it's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 survival trial, that's usually very possible. It may
2 be a little difficult depending upon the reason that
3 people discontinue things, and so on, and --

4 DR. CALIFF: Not to sound like a lawyer,
5 but it can be difficult but it should be the goal to
6 follow every patient to the end of the --

7 DR. FISHER: Well, I don't know. I mean,
8 I'd like to hear from Dr. Pritchett who has given me -
9 -

10 DR. CALIFF: He can say all the reasons
11 why it's hard to do it, but as a principle, it should
12 be done.

13 DR. FISHER: If you can -- to the extent
14 you can do it, you should do it. I agree with that.

15 CHAIRMAN PACKER: I don't know if we want
16 to get into extreme detail as to why it's hard and I'm
17 sure, Ed, you would tell us why it's hard. But, I'm
18 not certain it would change the underlying principles
19 that are important here which apparently, from what I
20 can tell, there's unanimity of opinion. And that is
21 that there should always be a concerted, systematic
22 effort to follow all patients until the planned end of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the duration of therapy for all factors related to the
2 primary or secondary analyses.

3 And although it could be difficult, and in
4 fact doing so might end up diluting the treatment
5 effect, that is the most interpretable way of looking
6 at the data from any trial. It's a principle which
7 has been exceedingly well established for mortality
8 analyses. And all I think we're saying, and Lloyd,
9 you're agreeing, and I don't hear anyone disagreeing,
10 is that if it's good enough for a fatal outcome, it's
11 good enough for non-fatal outcome.

12 DR. FISHER: Well, I have no problems with
13 a perfect world. All I'm saying is, I think you have
14 to consider it by particularly the reality of what
15 you're doing. I'm not -- You can judge better than I
16 can.

17 CHAIRMAN PACKER: Lloyd, we're not looking
18 for perfection. What we're looking for --

19 DR. FISHER: I understand perfectly. As
20 the guideline.

21 CHAIRMAN PACKER: What we're looking for
22 is to send out a -- the strongest possible message

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that to design trials so that data are systematically
2 omitted is wrong.

3 DR. FISHER: No, I -- In fact, I have said
4 the same thing, not to the sponsors, not to the
5 sponsor. I was not around when these studies were
6 planned and so on, so I don't -- And one of the
7 replies I get, well, why do that? They're off the
8 drug. They're discontinued. We're going to be
9 censored, then, and people accept that. And I think
10 it is a good thing to change that perception and in
11 the future do things. And it would have been nice if
12 this trial had been done the same way.

13 DR. THADANI: Lloyd, also, I think that if
14 you allow censoring and excluding patients, we are
15 saying why bother looking at intent to treat and might
16 as well throw it down the drain. Because what you're
17 encouraging then the patient drops out, don't have to
18 follow them. So, I think we can't set the principle
19 that patients who have side effects withdraw them from
20 the study are not counted, even when you're shown they
21 are not statistically significant at the end it's
22 included. So, I think we must insist that once a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patient in a trial, whether it's a mortality trial or
2 not a mortality trial, they should be followed. And
3 what happens, other treatment does it, I think that's
4 different. But otherwise, we would be violating all
5 the rules and at the end as a clinician, I would not
6 be sure. I know, my practice would not change if I
7 have the trial results or no trial results. I'd be
8 doing the same because I would not be any better off
9 looking at this result or any other result if you're
10 censoring patients.

11 DR. FISHER: Well, just to complicate the
12 issue, it is not always fair what is the best
13 analysis. Let's say you're doing an equivalence trial
14 and everybody who discontinues, then gets put on the
15 drug you're trying to show equivalence to. And then
16 you do the intent to treat analysis and take the
17 entire time period where an awful lot of the people
18 are on the drug to which you're trying to show
19 equivalence. One would argue, then, that probably the
20 best analysis is to censor at the time they went on
21 the actual drug to show an equivalence.

22 So, this is a complex issue where it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hard to make across the board general statements. But
2 as a general principle, I think it is valuable, I
3 agree, to follow up for endpoints as best you can
4 after people discontinue study medication.

5 DR. CALIFF: Yes, I guess my point is
6 we're cheated of the opportunity to deal with the
7 uncertainty in the most rational way if we don't have
8 all the data. I would recognize that the answer is
9 somewhere in between.

10 DR. FISHER: And I certainly agree with
11 that statement.

12 CHAIRMAN PACKER: We rarely achieve
13 unanimity of opinion amongst the members of the
14 committee or with the committee and sponsor. This is
15 a special moment.

16 DR. FISHER: We haven't heard from Dr.
17 Pritchett yet.

18 CHAIRMAN PACKER: Ed, is it okay if we
19 just say it's hard or do you want to tell us how hard?

20 DR. PRITCHETT: Rob, I think that's the
21 point, that it's hard. If you're following a
22 mortality endpoint, you can get that always, even from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the national death registry if you need it. If you're
2 following some non-fatal endpoint, particularly one
3 like documentation of a symptomatic arrhythmia that
4 required a certain amount of cooperation from the
5 patient, it is much more difficult. And I think that
6 that is one of the principles that has guided the
7 design of these trials as we've worked on them over
8 the past several years, along with the attempt that
9 the outcome of the trial, the conclusions drawn from
10 the trial, could mimic the way clinicians think. And
11 I don't think that clinicians equate a recurrence of
12 an arrhythmia with "I discontinued the drug because
13 the patient didn't like it." Just like I don't think
14 physicians equate a recurrence of an arrhythmia on the
15 second day of therapy when the patient has only had a
16 couple of doses of medication with one that occurs two
17 or three weeks later when they're at steady state.

18 So, these trials were designed to mimics
19 the clinical practice as well as to deal with the
20 practical difficulty trying to document an outcome in
21 patients who won't cooperate with you any more.

22 DR. CALIFF: But there are clinicians

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 don't, when a patient fails a drug, don't say I'm
2 going to forget about you for the rest of your life.
3 They actually follow the patients after that, maybe on
4 another therapy. So, I think your analogy is actually
5 flawed in that respect.

6 DR. PRITCHETT: I think that sometimes the
7 physicians following patients in clinical trials are
8 the physicians who follow the patients forever and
9 other times they're not. So, I think we do see many
10 patients in clinical trials that, once they're
11 finished with the clinical trial, are lost to the
12 investigator after they lose their ability to document
13 the outcome.

14 CHAIRMAN PACKER: I think we're actually
15 all saying the same thing. I think that we're saying
16 that to systematically design the trial so that the
17 information is not collected is not a good idea. That
18 every effort must be made to obtain the information
19 and we understand it would be hard to do so under some
20 circumstances. And some trials may lend itself to
21 more completeness, to greater completeness, of data
22 than others. And certain indications might lend

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 itself to that.

2 But, I think we're -- it's really
3 important to emphasize the principle. Having
4 emphasized the principle, maybe we should go back to
5 sotalol.

6 DR. KOWEY: Just one point about what
7 could have been done in this study was with something
8 that was like what we have done in 004, which was to
9 allow a titration down on the dose for the patients
10 who have been randomized for maximum dose. That's a
11 much more conventional way of handling it. Then you
12 do your first period analysis. You have your data.
13 And the patient may be able to stay on this drug and
14 you can follow forever its effects. And that probably
15 should have been the design and unfortunately we all
16 agree that it wasn't.

17 But it was -- Just to make sure that
18 everybody understands that the intention of the study
19 was a dose ranging study and patients report on a
20 dose, and they had no option once they were at that
21 dose.

22 CHAIRMAN PACKER: But, Peter, even in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 normal, usual, conventional dose ranging studies, the
2 dose to which a patient is randomized is not
3 considered to be an all or nothing phenomenon. It's
4 usually considered to be a target dose. It's the
5 intention to achieve that dose, not the perfection of
6 achieving that dose.

7 DR. KOWEY: I agree.

8 CHAIRMAN PACKER: So, this trial is doubly
9 confounded in the sense that there was no follow up
10 after discontinuation and that in fact clinical
11 practice was subverted here. There would normally be
12 down titration. One normally designs dose response
13 trials with an intended target as opposed to, "gee, if
14 you don't take this dose, you're out." So, there are
15 lots of things that could have been done better with
16 this trial.

17 DR. KOWEY: Which was really what was done
18 in 9A, which allowed the people to have one or two
19 doses in a titration mode trial.

20 CHAIRMAN PACKER: JoAnn, while we continue
21 with comments on 05.

22 DR. LINDENFELD: This is just a point of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clarification. I think when drugs have beta blocker
2 effects and we measure time to symptomatic recurrence,
3 one has to wonder if that's because the -- when they
4 recur, the rate is slowed and they have less symptoms,
5 or in fact the duration is prolonged.

6 So, can you, just to clarify for me, show
7 me the difference in this study in terms of time to
8 symptomatic recurrence and time to EKG documented
9 recurrence? Were those substantially different?

10 In other words, I believe when I look at
11 this, that if one just takes the EKGs that were
12 measured, I think by telephone line every two weeks,
13 that the study was less significant if one measured
14 the time to EKG documented recurrence irrespective of
15 symptoms. But, I just want to be sure that's a
16 correct statement.

17 DR. KOWEY: This is for the symptomatic.
18 Can we have all ECG -- all A fib flutter recurrences
19 for 05. I don't believe that we have that analysis in
20 our back ups. We'll look for it, JoAnn.

21 DR. LINDENFELD: I just want to know if
22 some of this beta blocking as opposed to the other.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KOWEY: We do have that analysis for,
2 you saw, for 04. And there was really no difference
3 between the two analyses. That is, no real big
4 differences.

5 DR. LINDENFELD: I thought 04 was less
6 significant for the EKG as opposed to --

7 DR. KOWEY: We show you the two for 04 if
8 you'd like.

9 DR. LINDENFELD: We can probably come back
10 to that.

11 DR. KOWEY: Would you like to see those?
12 Yes?

13 DR. THADANI: JoAnn, while on this point,
14 this slide really shows the patient had a symptomatic
15 and then were documented to be an A fib on ECG. I
16 didn't see any data when I was reading that you might
17 have that is data showing that repeat ECGs were done
18 was recurrence rate. I didn't see that either. These
19 are patients who complain of symptoms and they were
20 collaborated to be an A fib and the ECG showed that.

21 Is that correct, Peter, or they were
22 symptomatic and then you happen to do the ECG. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I've not seen any in my reading. You might have seen
2 it because you are the primary reviewer, but I didn't.

3 DR. LINDENFELD: No, I think that's really
4 what I'm asking because I think that patients are less
5 likely to be symptomatic in atrial fib if they're on
6 sotalol than placebo. So, less likely to be picked
7 up.

8 DR. KONSTAM: Could we get this clarified
9 some more. So, if the patient was symptomatic, the
10 first endpoint, symptomatic A fib, I assume, and maybe
11 you can correct me if I'm wrong, that, then, was
12 confirmed by ECG?

13 DR. KOWEY: Correct.

14 DR. KONSTAM: So, the symptoms, what we're
15 calling symptomatic A fib was symptomatic, then
16 confirmed by electrocardiogram?

17 DR. KOWEY: Correct.

18 DR. KONSTAM: Now, this is -- now, this
19 one is different. How -- were you doing screening
20 electrocardiograms? That's what this is.

21 DR. KOWEY: Right. That's in the 04 study
22 which we already showed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You have the slide number? Number 24.

2 DR. LINDENFELD: People need to use the
3 microphone here so that we can get this.

4 DR. KOWEY: And go forward one.

5 DR. KONSTAM: Well, I guess I'm asking for
6 clarification about what these endpoints mean.

7 DR. KOWEY: One more. And then one more
8 after that. This is any atrial fibrillation, atrial
9 flutter, even without symptoms. The patients were
10 being periodically monitored in the absence of
11 symptoms.

12 DR. KONSTAM: Can --

13 DR. KOWEY: We don't have the data for 05.

14 DR. KONSTAM: Well, wait a minute. Can
15 you tell us more about that? In other words, tell us
16 about these screening EKGs. Tell us whether there
17 were additional EKGs done if the patients were
18 symptomatic. I think I'm concerned about the same --

19 DR. KOWEY: Yes. We'll go through that
20 methodology for you.

21 Ed.

22 DR. PRITCHETT: This study, this is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one that was done in Scandinavia, Europe. It did not
2 use trans-telephonic monitoring. It used -- patients
3 were asked to come back periodically. If they had
4 symptoms of atrial fibrillation, they could come back
5 to the site to have an ECG recorded. They were also
6 asked to come back at specific months of follow up.
7 And if they were symptomatic and had an atrial fib,
8 they went into the symptomatic. If they were
9 asymptomatic or symptomatic, they wound up in this
10 analysis.

11 DR. KONSTAM: So, this endpoint includes
12 the time when patients showed up?

13 DR. KOWEY: Yes. This is any atrial
14 fibrillation.

15 DR. KONSTAM: So, it's still, I think-- I
16 think the concern that JoAnn raised still stays. I
17 think that this is influenced by the fact that
18 patients are experiencing symptoms. Then we have to
19 get into interpretation about the implication with
20 regard to heart rate.

21 But, it doesn't dispel the problem, I
22 don't think, based on what I'm hearing about how this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 endpoint was derived.

2 DR. GRABOYS: Can I --

3 CHAIRMAN PACKER: Yes, Tom.

4 DR. GRABOYS: Let me just comment on that.

5 Those of us taking care of large volumes
6 of patients with AF realize that a large fraction of
7 patient with this rhythm present with stroke. I think
8 it's extremely soft data in terms of what the actual
9 time from the recurrence of the arrhythmia is based on
10 clinical grounds in which frequently we see patients,
11 whether or not their rate is slowed or not by
12 concomitant therapy or not, who present in atrial
13 fibrillation having never had any kind of symptoms.

14 So, it's, to me, disquieting and very
15 difficult that we're basing judgments upon data
16 potentially coming from very soft sources.

17 CHAIRMAN PACKER: This -- let me see if I
18 understand it. The concern, Marv and Tom, just so we
19 can clarify this specifically, is indeed a sampling
20 approach in the trials. Are you convinced that either
21 the 05, and I hate to move into 04 because we're not
22 quite there yet, but it's a related question, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 either 05 or 04 did it the way you think it should be
2 done? In other words, in order to avoid the issue of
3 bias?

4 DR. KONSTAM: I'm not sure what you're
5 asking.

6 JoAnn raised the point that, the question
7 is, are we actually -- if I may paraphrase, are we
8 actually looking for -- looking at recurrences A fib
9 or are we looking at something else; perhaps, and
10 recurrence of a fib driven by the fact that patients
11 had to be symptomatic to be identified. This drug has
12 a beta blocker effect. It slows, we believe, it slows
13 heart rate at time of recurrence. Therefore, a
14 recurrence of atrial fibrillation may tend to be less
15 symptomatic than if the drug were not on board.

16 DR. GRABOYS: And therefore, what may
17 happen is if you've got a patient presenting who is
18 now symptomatic but in fact had the onset of atrial
19 fibrillation, a good bit of time prior to when they
20 were symptomatic.

21 CHAIRMAN PACKER: But I don't understand
22 how -- I understand the issue that you're bringing up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and I don't disagree with the issues. I'm just trying
2 to figure out how would the sponsor have fixed this
3 problem?

4 DR. GRABOYS: Well, short of continuous
5 monitoring, there's no way he can -- there's no way
6 that we can be assured that this data is in fact what
7 it is.

8 CHAIRMAN PACKER: But specificity --

9 DR. GRABOYS: It is only dependent upon
10 symptoms --

11 CHAIRMAN PACKER: No, I understand. But
12 you can say this is a problem here with the approach,
13 the sampling approach. But I'm just trying to figure
14 out, is there an approach they should have used.

15 DR. KONSTAM: Let's, before answering that
16 question, I mean, let me just point out. This isn't
17 generic to all antiarrhythmics, necessarily, because
18 this agent has a beta blocker effect. So, I think
19 it's more important in this drug than perhaps in other
20 drugs, first of all. And, secondly, it is a very
21 important problem for the reasons that Tom raised.

22 Now, in terms of how it might have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dealt with, I don't, maybe JoAnn had an answer to
2 that.

3 DR. LINDENFELD: Well, didn't 05 look at
4 monitoring every two weeks? Is that correct?

5 DR. MARROTT: Yes.

6 DR. LINDENFELD: And 004 was just
7 symptomatic recurrence plus a much longer duration of
8 routine follow up. So, if we could have the same data
9 for 05, I think we all probably would give a couple of
10 weeks as a reasonable time.

11 DR. KONSTAM: Well, I'm not sure about it
12 but that's why I was asking about the nature of this
13 ECG derived endpoint in 05.

14 DR. MARROTT: Mr. Chairman, if you don't
15 mind, I would just like to clarify. In both studies,
16 004 and 05, there's a TTM when the patient was
17 symptomatic. But there were routine TTMs performed
18 every two weeks. In addition to that, of course, when
19 the patient visited the outpatient clinic, there was
20 an opportunity to see what the rhythm was.

21 DR. LINDENFELD: So, in 004, I thought you
22 said earlier that this wasn't done every two weeks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It was done every two weeks?

2 DR. MARROTT: Yes, it was.

3 DR. THADANI: One of the issues, I think,
4 comes up even when you're just doing monitoring, the
5 05 trial is a paroxysmal method trial. And you know,
6 to be confident, I realize that symptomatic isn't
7 shown, would have liked to see at least 48 hours
8 Holter data because these patients were in sinus
9 rhythm from zero to three months before they were
10 entered in the trial.

11 And it's possible, even if you're just
12 doing a routine ECG, they could be in sinus rhythm and
13 once -- even I realize Holter is not adequate because
14 even 48 hour Holter will miss it unless you've got the
15 now cardio-beeper device or something else.

16 DR. KOWEY: But with the information that
17 you now know that the patients were monitored --

18 DR. THADANI: But we haven't seen any data
19 on that.

20 DR. KOWEY: Well, no, we have it right
21 here. We have Kaplan Meier for --

22 DR. THADANI: No, no. 05. This is 004.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KOWEY: Well, we don't have the data,
2 unfortunately. We can -- I'm sure we can get the
3 data. We don't have it. But for 004, the Kaplan
4 Meier values are exactly the same for any AF
5 recurrence --

6 DR. KONSTAM: Peter, how often were ECGs
7 done in 004 routinely?

8 DR. KOWEY: There was trans-telephonic
9 monitoring, I'm told, every two weeks in 004. This is
10 the European trial.

11 Is that true -- Every two weeks.

12 DR. THADANI: 004 is the chronic effort
13 trial, right?

14 DR. KOWEY: That's correct.

15 DR. THADANI: And this is the paroxysmal -
16 -

17 DR. KOWEY: No, this is --

18 DR. THADANI: 05 is -- 05 is the
19 paroxysmal.

20 The data we're discussing at the moment is
21 05.

22 DR. KOWEY: The data you're looking at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701