

1 are clear indications now for anticoagulation.

2 CHAIRMAN PACKER: Okay. Therefore no
3 mention? Anyone disagree?

4 DR. THADANI: I think all patients should
5 be anticoagulated who are--

6 CHAIRMAN PACKER: That is not the
7 question. The question is should the labeling say so?
8 We said not to say it in dofetilide. So the same here
9 as for dofetilide? Tom? That is fine. Okay. Last
10 two questions, should a -- what program should be
11 instituted to determine what fraction of patients are
12 receiving sotalol in accordance with the dosing
13 regimen that would be recommended? This is a
14 requirement for a formal comprehensive post-marketing
15 surveillance program. Something like that was
16 discussed with dofetilide. It wasn't entirely
17 clarified. Do you think that kind of surveillance is
18 important for this drug? JoAnn?

19 DR. LINDENFELD: This is a hard one. I
20 think that the things that every physician should know
21 are obviously the calculated creatinine clearance and
22 the QT interval. And that is what everybody should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know with dofetilide too, in addition to some other
2 things. So I think probably they should be required.

3 DR. FENICHEL: Well, that is not the
4 question unfortunately, because that is easy. I mean,
5 the question that you are answering is --

6 CHAIRMAN PACKER: The question is what is
7 it.

8 DR. FENICHEL: Well, the question that
9 JoAnn was answering was how should the drug be given.
10 Well, of course, that is what you have been answering
11 for much of the day. The question that we are asking
12 here, and it is the same question that came up with
13 dofetilide, is there something that should be part of
14 the approval package that guarantees -- or guarantee
15 may be too strong -- that makes it more likely that
16 this advice that will be in the labeling is in fact
17 being heeded. And the extreme example that is given
18 in the question is that used with Clozaril, where the
19 problem in that case is a matter of getting repeated
20 CBC's to look for neutropenia, which is caused by that
21 drug in something like 1 percent of the patients. And
22 what is done there is that patients may not obtain the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 drug without demonstrating that they have shown up and
2 got their blood drawn. Now that is about the most
3 radical case that I know about in terms of making sure
4 that the drug is being given right. Actually, there
5 is another one that is in progress for the
6 reappearance for some age-related and other
7 indications for thalidomide, where you really want to
8 make sure that people are getting the drug right. So
9 that was the question that was raised with dofetilide.
10 Not how do you give it, but rather what should be done
11 to make sure that people are doing that or following
12 those instructions that you just made up so nicely.
13 It is a toughie. You said it was a toughie when you
14 thought it was the easier one.

15 DR. LINDENFELD: I know. That is why I
16 answered the easier one. Boy, I don't know. I think
17 whatever we do would have to be done with dofetilide
18 as well, the same issues. I think that what could be
19 done, of course, is to require at least for an initial
20 prescription a QT interval and a calculated creatinine
21 clearance. I think people would be upset by that, but
22 probably -- physicians -- but probably they shouldn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be because that is what you should have to prescribe
2 it. And if you haven't -- as I said about with the
3 example of the 70-year-old lady, if you haven't sat
4 down and calculated the creatinine clearance, you are
5 going to get a surprise in a lot of these people. So
6 if we are going to do a program, at least -- now it is
7 going to be a problem, because I am not sure that has
8 to be done for every single recurrent prescription.
9 I don't think it does. But at least for an initial
10 prescription, a calculated creatinine clearance and a
11 QT interval for the prescription.

12 CHAIRMAN PACKER: I am curious, I
13 understand something like this was discussed for
14 dofetilide as well. There is a difference here, and
15 the difference is that this is a drug which is already
16 on the market. And the requirements that you are
17 talking about are not imposed for the present use of
18 the drug although the present use of the drug includes
19 the possibility of doses higher than the ones being
20 recommended for atrial fibrillation, and no such
21 surveillance is mandated at the present time. So we
22 would be in a sort of interesting situation of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 requiring greater surveillance for a lower dose in a
2 lower risk patient population, but no surveillance for
3 a higher dose in a higher risk patient population.
4 Now we have done crazy things like that before. I
5 just want to know whether you think we should do a
6 crazy thing like that again.

7 DR. LINDENFELD: Well, I think maybe we
8 should. I think I understand the point you are
9 making. But also I think as Tom has said several
10 times, this is a population of people -- a population
11 of people with life-threatening ventricular
12 arrhythmias. Indeed, the risk may be higher, but they
13 have a substantial benefit. And here we may have a
14 bigger risk/benefit ratio than in the other
15 population. I think that is possible. So although it
16 is a conundrum, if we were approving this for the
17 first time, I think I would say -- if it were not on
18 the market, I think I would say yes. I mean, we want
19 to do everything we can do. We have said we are not
20 sure this drug has an overall benefit and it does have
21 a risk.

22 DR. KOWEY: Milton, if you do what you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 said in the preceding part, which was to mandate an
2 in-hospital start for all patients, then doing what
3 JoAnn is suggesting isn't really such a big deal. I
4 mean, they are in the hospital and they get a
5 creatinine and they have an EKG. So it is -- this
6 really is a sting, especially for the initial dosing,
7 is if you ever let somebody do it out of hospital.
8 But let me just tell you that having said that you
9 don't want it started out of hospital, unfortunately
10 there will be that that will happen. And I guess the
11 question I have is admitting that, do you want to talk
12 about out-of-hospital starts even though you are not
13 telling people to do that? Because it is going to
14 happen. You see, JoAnn's question is -- JoAnn's
15 answer is easy if you are doing it in the hospital.
16 But what happens if you are starting it out of the
17 hospital, as you are not supposed to be doing. It is
18 sort of an interesting kind of conundrum.

19 CHAIRMAN PACKER: Right. In other words,
20 the patients who require the greatest -- who would be
21 the source of the greatest concern would be the ones
22 in which the physician isn't doing the right thing in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the first place and therefore is more likely to not --
2 to continue to do the wrong thing?

3 DR. KOWEY: Correct. That is correct.

4 DR. PIÑA: I have a question for Bob.

5 DR. KOWEY: That is what you have set up
6 basically.

7 DR. PIÑA: I have a question for Bob. How
8 is the Clozaril program being handled? Is it the
9 pharmacist who has to dispense the drug but can't
10 dispense it unless he or she sees the white count, and
11 in this case it would be the pharmacist who wouldn't
12 dispense the drug until they see the EKG and the
13 creatinine clearance and know the QT and know the
14 creatinine clearance?

15 DR. FENICHEL: Yes. It is a good question
16 and the answer is I don't know it. I don't know the
17 answer. Clozapine is part of -- I mean, there is
18 something of the sort I described that is in use right
19 now and it is part of Agency folklore, but I
20 personally don't know what the details are.

21 DR. THADANI: You know, Milton, there are
22 almost 3 million prescriptions written already on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 drug, which is greater than the indicating use of VT.
2 You know, it is mind boggling the numbers. Obviously
3 if you are saying that this drug must be used as an
4 inpatient, then I think we also should say that the
5 patient must have creatinine clearance measured,
6 formula given provided by the company on a little
7 caliper or whatever, and also make sure that the ECG
8 is done before any dose escalation to safeguard the
9 patient, which should not be difficult. Now, if the
10 patient -- if people are going to -- how are you going
11 to collect data on people who are going to use it
12 outside unless the Agency or the company is going to
13 track all the prescriptions outside. It would be
14 impossible. So I think at least inpatient you could
15 try it.

16 DR. PIÑA: You know, what I do think the
17 company would need to do to my satisfaction -- and
18 even though I do a lot of teaching and sometimes I
19 don't think that physicians always listen to
20 everything that we have to say -- that the company
21 does have to embark on a very strong educational
22 program to teach physicians who are likely to use the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 drug how to use it and how to use it appropriately.
2 And I don't care if you have to hand them something to
3 show them how to calculate a creatinine clearance.
4 Even though it is so simple, most people don't know
5 how to calculate a creatinine clearance unless they
6 actually order the 24-hour urine clearance. So other
7 than that, I don't see how you would enforce this.

8 DR. THADANI: It might work negative
9 against the company. They are already using our
10 prescription and now you are going to decline them.

11 CHAIRMAN PACKER: Yes. I don't actually
12 think we have to go further with this. I think, Bob,
13 you have a sense of the kinds of discussions that one
14 could have, and I think we have reached the limit as
15 to how precisely we can define it. The last question
16 to the committee, and that is what post-marketing
17 commitments should be made? This would include any of
18 the above-listed in the question or action studies and
19 studies in patient population, head-to-head
20 comparisons. JoAnn, what do you think?

21 DR. LINDENFELD: Well, I think it would be
22 wonderful to have a study in the actual population of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients that will be treated average age 75, half or
2 close to half women. That was the one I would like to
3 see most with enough patients at least followed up for
4 a minimum of six months and probably a year.

5 CHAIRMAN PACKER: We are talking about
6 things that would be required. Would you require that
7 study?

8 DR. LINDENFELD: I don't think I would
9 require it, no.

10 CHAIRMAN PACKER: Okay. Who would require
11 -- there are all sorts of studies that one could
12 imagine here and maybe the best thing --

13 DR. FENICHEL: Well, Milton, we didn't use
14 the word require.

15 CHAIRMAN PACKER: Soft?

16 DR. FENICHEL: In part because we have no
17 legal authority to use the word require.

18 CHAIRMAN PACKER: I understand.

19 DR. FENICHEL: If it is approved -- and
20 this is important and perhaps I should have mentioned
21 this before you voted about approval, but I don't know
22 that it would have changed the decision or should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have. But the fact is that if you think, well it
2 should be approved but only because we know they are
3 going to do such and such study, well then you
4 shouldn't vote to approve it. We don't have the
5 facility to make conditional approvals. And so all we
6 can do is seek such studies.

7 CHAIRMAN PACKER: Okay. I think -- let me
8 -- I think the best way, therefore, to do it is to
9 answer the question the way it is framed, which is
10 should certain studies be sought. And let me just
11 propose the following, only because they came up
12 during the course of the meeting. Elderly patients --
13 should such a study be sought? Anyone disagree?
14 Okay. Interaction studies with calcium channel
15 blockers and/or beta blockers, anyone disagree?

16 DR. THADANI: I don't know whether we
17 would need with all the calcium channel blockers. I
18 would go for the calcium channel blockers which reduce
19 or affect the AV node. I am not sure the four
20 hydroproteins would make a difference, unless you
21 believe they are both cardio-depressants.

22 CHAIRMAN PACKER: Okay. Any other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 populations that -- yes, please, Michael?

2 DR. CAIN: I think the other one is the
3 NIH is putting out another application for scores in
4 sudden death in African Americans because of the high
5 incidence or higher incidence of sudden death in
6 blacks, and one of the presumed mechanisms of that is
7 left ventricular hypertrophy, which fits into
8 hypertension, left ventricular hypertrophy, atrial
9 fibrillation, and there really are no or very few data
10 on non-whites. And so I think that becomes critical.

11 DR. THADANI: One question didn't come up.
12 At least sometimes we use beta blockers and amiodarone
13 in certain populations. There is no data on it. So
14 are we going to say this should not be used
15 concomitantly with amiodarone? Because both could be
16 used for the same indication. And I could see a
17 patient with coronary artery disease goes into a fib
18 and gets put on this drug for whatever reason and
19 later on he might have VT and gets put on amiodarone.
20 Do we need more data? How comfortable do you feel?
21 Or we should make a recommendation that there is no
22 data and should not be used?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN PACKER: That is really actually
2 more of an addition to the list of calcium channel
3 blockers that there is also no concomitant data on.
4 Any other suggestions or modifications or any other
5 comments? Bob, have we addressed the questions from
6 the Division?

7 DR. FENICHEL: Yes.

8 CHAIRMAN PACKER: We are adjourned.

9 (Whereupon, at 5:28 p.m., the meeting was
10 adjourned.)

11

12

13

14

15

16

17

18

19

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

CERTIFICATE


This is to certify that the foregoing transcript in the
matter of: Cardiovascular and Renal Drugs
 Advisory Committee Meeting #88

Before: DHHS/PHS/FDA/CDER

Date: April 29, 1999

Place: Bethesda, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

A handwritten signature in black ink, appearing to read "K. M. [unclear]", is written over a horizontal line.