

1 been conducted with epirubicin. As agreed with the
2 FDA, these studies were selected because they were
3 conducted in patients with breast cancer, because they
4 were completed, well controlled, randomized, Phase III
5 studies, and because their symmetrical designs allowed
6 for specific evaluation of epirubicin's effects at the
7 proposed starting doses of greater than or equal to
8 100 milligrams per meter squared.

9 In addition, full study reports were
10 available for these studies, and electronic data were
11 available or the data could be made available on
12 request from the study group.

13 Based on the results of these seven trials
14 conducted in over 3,000 patients, Pharmacia and Upjohn
15 proposes that epirubicin be indicated as a component
16 of adjuvant therapy in patients with evidence of
17 axillary node tumor involvement following resection of
18 primary breast cancer at starting doses of 100 to 120
19 milligrams per meter squared.

20 The company also proposes that epirubicin
21 be indicated for the therapy of patients with locally
22 advanced or metastatic breast cancer at starting doses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of 100 to 135 milligrams per meter squared.

2 I would now like to describe to you the
3 results of the adjuvant studies of epirubicin as
4 therapy for early breast cancer. Data from three
5 multi-center, randomized, controlled studies support
6 the use of epirubicin based therapy for the adjuvant
7 treatment of patients with axillary node positive
8 breast cancer.

9 A total of 1,885 women participated in
10 these studies. The pivotal study, designated here as
11 EBC-1, evaluated the use of cyclophosphamide,
12 epirubicin, and fluorouracil, in which epirubicin was
13 administered at a starting dose of 120 milligrams per
14 meter squared per cycle or ECF 120 as shown on this
15 slide.

16 Comparison was made with patients
17 receiving a standard regimen of cyclophosphamide,
18 methotrexate, and fluorouracil, or CMF.

19 In support of study EBC-2, epirubicin dose
20 response was prospectively evaluated. Patients in
21 both arms were randomized to receive CEF. The only
22 difference between arms was the epirubicin starting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dose, which was 100 milligrams per meter squared in
2 the experimental arm, CEF 100, and 50 milligrams per
3 meter squared in the control arm, CEF 50.

4 In support of study EBC-3, postmenopausal
5 patients with early breast cancer were randomized to
6 receive epirubicin and Tamoxifen, with epirubicin
7 given at a starting dose of 100 milligrams per meter
8 squared, E 100 plus T as designated on this slide.

9 Alternatively, patients were randomized to
10 receive Tamoxifen alone.

11 Please note that for the ease of
12 discussion, we have codified the early breast cancer
13 trials as EBC-1, EBC-2, and EBC-3, as shown on the left
14 of the slide. For clarity, the corresponding original
15 protocol numbers are also included on each slide where
16 applicable in parentheses.

17 The pivotal study, EBC-1, was a Phase III
18 trial that evaluated the benefits of epirubicin based
19 CEF regimen versus CMF. This study was conducted as
20 adjuvant therapy, pre and perimenopausal women with
21 axillary node positive breast cancer.

22 The trial was sponsored by the NCIC, or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 National Cancer Institute of Canada, at 37 centers and
2 enrolled patients between 1989 and 1993. Dr. Mark
3 Levine of the Hamilton, Ontario Cancer Center was the
4 principal investigator.

5 Dr. Levine, as well as Dr. Kathleen
6 Pritchard and Dr. Dongsheng Tu, also of the NCIC, are
7 here with us today to assist in answering any
8 questions that you may have.

9 Following surgery, patients were
10 stratified on the basis of the type of primary
11 surgical procedure, receptor status, and number of
12 positive axillary lymph nodes. Patients were assigned
13 to treatment with CEF or CMF in a one to one
14 randomization. Patients in the CEF 120 group were to
15 receive prophylactic antibiotic therapy with
16 cotrimoxisol or a fluoroquinolone for the duration of
17 their chemotherapy.

18 Patients who had undergone a partial
19 mastectomy were to receive radiotherapy at the
20 completion of the six cycles of chemotherapy.

21 Now, please note by design the
22 cyclophosphamide does and the fluorouracil dose in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CEF regimen, both of these doses were lower than those
2 of the corresponding agents in the CMF regimen. This
3 design was based on extensive pilot work and insured
4 that any incremental beneficial effects of the CEF
5 regimen could be attributed definitively to
6 epirubicin.

7 An additional comment upon the selection
8 of the CMF control arm is also in order. The conduct
9 of a CEF versus CMF comparison allowed isolation of
10 beneficial epirubicin effects versus a standard
11 regimen.

12 It is also important to note that CMF was
13 the North American adjuvant standard when the EBC-1
14 trial began in 1989, and in fact, CMF remains a
15 widespread standard adjuvant therapy today in 1999.
16 Use estimates indicate that of the approximately
17 23,600 patients with Stage II breast cancer currently
18 receiving adjuvant therapy in the United States, a
19 full 39 percent are receiving CMF.

20 The primary endpoint of the EBC-1 trial
21 was relapse free survival. Secondary endpoints
22 included overall survival, safety as assessed by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standard NCIC common toxicity criteria, and quality of
2 life as measured by the breast cancer chemotherapy
3 questionnaire.

4 The hypothesis of this study was that CEF
5 would be associated with a ten percent absolute
6 improvement in the five year relapse free survival.
7 Consistent with NCIC practice and with the stratified
8 design of the study, the primary analysis of
9 differences in relapse free and overall survival
10 employed the stratified two tailed log rank test.

11 To be included in the trial, patients were
12 required to be pre or perimenopausal and to have
13 histologically proven breast cancer amenable to
14 primary surgical resection. Demonstration of axillary
15 nodal involvement was requisite, but patients could
16 have no evidence of distant metastases. Patients were
17 not permitted to have received prior systemic therapy.
18 Adequate baseline cardiac and other organ function was
19 required.

20 This extensive list of patient evaluations
21 is provided in order to indicate that patients were
22 regularly and thoroughly assessed for adverse events,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 quality of life, laboratory abnormalities, and cardiac
2 function during and after chemotherapy.

3 Altogether 716 patients were randomized.
4 Three hundred and fifty-six were assigned to treatment
5 with CEF, and 360 patients were assigned to therapy
6 with CMF. Of note, one patient in each group never
7 received study drug, and one patient who was to have
8 received CMF -- I'm sorry -- CEF was erroneously
9 treated with CMF instead. However, these patients
10 were included in all efficacy analyses as part of the
11 intent to treat study population.

12 As shown here, patients characteristics of
13 age, of performance status, of menopausal status, and
14 of clinical stage were well balanced between the two
15 treatment groups.

16 The type of primary surgery performed was
17 similar in each of the treatment arms. Nodal sampling
18 was extensive, with more than ten nodes examined in
19 the majority of patients. Patients with one to three
20 positive nodes predominated. Approximately 40 percent
21 of patients had four or more positive nodes. Again,
22 all of these treatment characteristics were well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 balanced between the two groups.

2 Estrogen and progesterone receptor
3 positivity were also similar between the two groups.

4 In assessing treatment administration,
5 virtually all patients completed the full six cycles
6 of chemotherapy. In practice, as in design, the
7 cyclophosphamide and fluorouracil doses or dose
8 intensities, as shown here, were lower in the CEF
9 group than in the CMF group. Relative median dose
10 intensities were approximately 80 percent of planned
11 with CEF and 96 percent of planned with CMF.

12 Breast irradiation was to be administered
13 after the completion of chemotherapy to patients who
14 had undergone partial mastectomy. The proportion of
15 patients who received radiation therapy was comparable
16 in the two treatment groups.

17 Despite the lower cyclophosphamide and
18 fluorouracil doses, relapse free survival was
19 significantly longer with CEF, emphasizing the
20 critical role of epirubicin in the combination. With
21 a median follow-up of 54 months, the five-year relapse
22 free survival is 62 percent in the CEF group and 53

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent in the CMF group.

2 When comparing the differences between the
3 curves using the stratified log rank P value was
4 0.013. A similar P value, 0.011 was obtained with an
5 unstratified test.

6 A multiple regression analysis was
7 performed to evaluate the effect of treatment in the
8 context of assessing the effects of other baseline
9 variables on relapse free survival. As shown in this
10 slide, when significant baseline patient
11 characteristics, including tumor size and nodal
12 status, were taken into account, CEF treatment was
13 still significantly associated with improved relapse
14 free survival.

15 The risk ratio indicates a 24 percent
16 reduction in the risk of relapse with a P value of
17 0.021.

18 Most gratifying was the CEF treatment also
19 benefitted patients in terms of survival. The five
20 year survival was 77 percent in the CEF group and 70
21 percent in the CMF group. When comparing the
22 differences between the curves using the stratified

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 log rank test, the comparison of overall survival was
2 statistically significant with a P value of 0.043.

3 Of note, an unstratified analysis showed
4 a P value of 0.13.

5 When accounting for the impact of
6 significant prognostic factors of tumor size, receptor
7 status, and nodal status on survival in a multiple
8 regression analysis, CEF treatment was, again,
9 significantly associated with improved survival. The
10 risk ratio indicates a 29 percent reduction in the
11 risk of death with a P value of 0.034.

12 This slide summarizes clinically relevant
13 adverse events. As expected, Grade 3/4 neutropenia
14 was common and was greater in the CEF arm. However,
15 neutropenic fever was infrequent in both arms, perhaps
16 in part due to the use of prophylactic antibiotics in
17 the CEF arm.

18 Grade 3/4 anemia or thrombocytopenia also
19 occurred more frequently in the CEF treated patients
20 than in the CMF treated patients. However, these
21 toxicities occurred in less than ten percent of the
22 patients in either arm.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Grade 3/4 non-hematologic events of
2 alopecia, stomatitis, and vomiting occurred more
3 frequently in the CEF treated patients than in the CMF
4 treated group, whereas Grade 3/4 diarrhea was more
5 common with CMF.

6 Cutaneous toxicities were quite infrequent
7 in either group. Although not shown on this slide,
8 Hepatic toxicity was actually more common with CMF,
9 although usually of Grade 1 or 2 in severity. Fewer
10 than two percent of patients in either group
11 discontinued therapy due to adverse events during
12 treatment. There were no drug related deaths.

13 One patient in the CEF group died of an
14 intracerebral hemorrhage that was not considered by
15 the investigator to be drug related.

16 Now, as with any anthracycline or
17 anthracenedione treatment, cardiac toxicity is a
18 potential concern and did occur in 3.4 percent of the
19 patients receiving CEF and 1.1 percent of the patients
20 receive CMF.

21 In most instances, this manifested as an
22 asymptomatic decline in left ventricular ejection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fraction. Symptomatic congestive heart failure was
2 observed in five of the 354 patients in the CEF group,
3 and this occurred after two to five years of follow-
4 up. In one of the 360 patients on CMF, CHF was also
5 noted at 15 months after follow-up. None of the
6 episodes of CHF was fatal.

7 Secondary leukemias are also another low
8 frequency event that can be observed following therapy
9 with topoisomerase II inhibitors or alkylating agents.
10 Leukemias were reported in five of the 354 patients in
11 the CEF group and in one of the 360 patients in the
12 CMF group.

13 The five cases of leukemia in the CEF
14 group included four cases of acute myelogenous
15 leukemia and one case of acute lymphocytic leukemia.

16 One patient in the CMF group developed
17 AML.

18 As expected with topoisomerase II
19 inhibiting agents, the leukemias occurred relatively
20 early in the course of follow-up after a completion of
21 chemotherapy, that is, from approximately 13 to 39
22 months after randomization.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This plot may help to put the AML risk
2 into perspective. It depicts a life table analysis of
3 both AML risk and overall survival. The life table
4 analysis shows the same survival data that I
5 previously showed you in the Kaplan Meier survival
6 curves, but divided into one year intervals which
7 results in a more stairstepped graphical appearance.

8 The curves at the top of the graph show
9 the likelihood of remaining leukemia free over the
10 seven years of follow-up. As you can see, the
11 likelihood of remaining leukemia free is very high,
12 and in fact, the occurrences of leukemia with CEF are
13 confined to a period early in the course follow-up, as
14 is typically the case with topoisomerase II
15 inhibitors.

16 The curves in the middle of the graph show
17 the likelihood of remaining alive over seven years of
18 follow-up. These curves show that the risk of death
19 from recurrent breast cancer is large and continuous.

20 Even taking the AML risk into account, as
21 has already been done in calculating the overall
22 survival curves, the likelihood that a woman will live

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is enhanced with CEF as compared to CMF. The benefit
2 clearly outweighs the risk.

3 Let us now turn to the quality of life
4 assessment in the EBC-1 trial. The BCQ is an
5 instrument specifically designed to measure quality of
6 life in women receiving adjuvant therapy for early
7 breast cancer. It consists of 30 questions which
8 focus on emotional and physical symptoms. Each
9 question has a seven point scale. A mean summary
10 score is computed using information from all of the
11 scales.

12 Of note, less than a 0.5 unit change in
13 the summary score is not considered clinically
14 important.

15 Quality of life was analyzed in a total of
16 715 patients. Now, this experience represents one of
17 the most comprehensive quality of life analyses done
18 in an adjuvant clinical trial.

19 This slide shows the mean summary quality
20 of life scores in the two treatment groups. Despite
21 an early statistically significant, but transient
22 decrease in the mean summary score in the CEF treated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients, the mean quality of life scores remained in
2 the upper range of the scale throughout treatment and
3 follow-up. No clinically relevant differences in the
4 mean summary BCQ scores ere apparent between the two
5 groups of patients.

6 In conclusion, the results of this well
7 controlled trial demonstrate that superiority of
8 epirubicin based CEF over CMF in approving both
9 relapse free survival and overall survival in
10 premenopausal patients with axillary node positive
11 breast cancer. The critical role of epirubicin in
12 obtaining these clinical benefits was emphasized by
13 the greater efficacy of CEF despite the lower doses of
14 cyclophosphamide and fluorouracil in the CEF treated
15 patients.

16 Although the frequencies of acute adverse
17 events were generally greater for the CEF regimen than
18 the CMF regimen, 96 percent of CEF treatment patients
19 completed therapy, and there were no drug related
20 deaths during treatment. Late toxicities were
21 infrequence, and on treatment decrements in quality of
22 life were small and of short duration.

1 Let us turn now to study EBC-2, which was
2 a Phase III trial that evaluated the benefit of giving
3 an epirubicin based CEF 100 regimen versus a regimen
4 of CEF 50 as adjuvant therapy of pre and
5 postmenopausal women with axillary node positive
6 breast cancer.

7 The trial was sponsored by the FESG, or
8 French epirubicin study group, at 20 centers between
9 1990 and 1993. Professor Jacques Bonneterre of the
10 Centre Ascer L'Ambrais at Lille was the principal
11 investigator. Professor Bonneterre is also here with
12 us today to assist in answering any questions.

13 Pre or postmenopausal women with axillary
14 node positive breast cancer could be enrolled to this
15 trial. After stratification, patients were assigned
16 to treatment with CEF 50 or CEF 100. The only
17 difference in the planned treatment administration
18 between the two groups was the starting dose of
19 epirubicin.

20 A total of 565 patients were enrolled.
21 Patient characteristics were well balanced between the
22 two arms. Overall treatment administration was,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again, excellent in this study as it had been in EBC-
2 1. Virtually all patients completed the full six
3 cycles of therapy.

4 As shown here, doubling of the epirubicin
5 dose was actually achieved in this trial as had been
6 planned. Relative median dose intensities were
7 greater than 90 percent in both treatment groups.

8 Radiotherapy was administered to
9 comparable proportions of patients in each treatment
10 arm.

11 With a median follow-up of approximately
12 five years, relapse free survival and overall survival
13 data from the CEF 100 regimen in EBC-2 strongly
14 corroborate the findings from the CEF 120 experience
15 in the NCIC EBC-1 trial.

16 Five year relapse free survival was 65
17 percent with CEF 100 versus 52 percent with CEF 50.
18 When comparing the differences between the curves, the
19 log rank P value for the unstratified test was 0.007.

20 Five year survival was 76 percent with CEF
21 100 and 65 percent with CEF 50. The overall
22 difference in the survival curves between groups was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 statistically significant, again, with a P value of
2 0.007 for the unstratified test.

3 This slide summarizes the clinically
4 relevant Grade 3/4 events in EBC-2. Grade 3/4
5 neutropenia was modest in both arms, and neutropenia
6 plus fever or infection was infrequent at only four
7 percent.

8 The lesser frequencies of hematologic
9 toxicities in this trial as compared with EBC-1 may be
10 related to differences in chemotherapy doses,
11 schedules and routes of administration, as well as to
12 differences in data collection methods.

13 Grade 3/4 nonhematologic events of
14 alopecia, nausea and vomiting and stomatitis occurred
15 more frequently in the CEF 100 treated patients than
16 in the CEF 50 treated patients.

17 Serious diarrhea or cutaneous toxicities
18 were not observed.

19 Discontinuation of therapy due to adverse
20 events was acceptably low in both arms of the trial.
21 As in EBC-1, there were no drug related deaths.

22 Based on our review of the data, three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent of the patients in the CEF 100 group and 1.7
2 percent of the patients in the CEF 50 group had
3 evidence of cardiac toxicity. This included patients
4 with asymptomatic declines in left ventricular
5 ejection fraction and a small number of patients who
6 also had CHF.

7 One leukemia was reported in each of the
8 study arms.

9 In conclusion, the results of this trial
10 prospectively demonstrate a clear dose response effect
11 for epirubicin. The data indicate the superiority of
12 CEF 100 in improving both relapse free survival and
13 overall survival in women with axillary node positive
14 early breast cancer.

15 Toxicities were readily manageable, as
16 evidenced by the high rates of completion of
17 chemotherapy, the high relative dose intensities, and
18 the lack of toxic deaths.

19 The results of this trial strongly
20 corroborate those from EBC-1, again documenting the
21 clinical benefits of epirubicin based adjuvant
22 treatment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Turning to study EBC-3, this was a Phase
2 III study that evaluated the benefit of giving
3 epirubicin at a starting dose of 100 milligrams per
4 meter squared with Tamoxifen versus Tamoxifen alone as
5 adjuvant therapy in postmenopausal women with axillary
6 node positive breast cancer.

7 This trial was sponsored by the ICGC or
8 International Collaborative Cancer Group at 13 centers
9 between 1988 and 1995. Dr. Jacques Wils of the St.
10 Laurentius Hospital in Roermond in the Netherlands was
11 the principal investigator for this study.

12 Postmenopausal women with axillary node
13 positive breast cancer could be enrolled to the study.
14 Patients were stratified by study center and were
15 assigned to treatment with epirubicin and Tamoxifen or
16 Tamoxifen alone.

17 Epirubicin was to be given every four
18 weeks for six cycles. Tamoxifen was to be given daily
19 for four years.

20 A total of 604 patients were enrolled.
21 Patient characteristics including estrogen receptor
22 status were well balanced between the treatment arms,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 although there was a trend toward larger clinical
2 tumor size in the epirubicin containing arm. The
3 median epirubicin dose intensity was 95 percent of
4 that planned.

5 Patients who had undergone a partial
6 mastectomy were to receive radiation therapy at the
7 completion of six cycles of epirubicin. Radiation
8 therapy was balanced in the two treatment groups.

9 With a median follow-up of 4.3 years, the
10 relapse free survival in the epirubicin containing arm
11 was 74 percent as compared with 62 percent with
12 Tamoxifen alone.

13 The difference in the relapse free
14 survival curves was statistically significant, with a
15 P value of 0.023 for the unstratified log rank test.
16 The difference in the survival curves is currently not
17 statistically significant.

18 The toxicities of the epirubicin based
19 therapy were modest. Grade 3/4 leukopenia was rare,
20 and leukopenic fever was not observed. Grade 3/4 non-
21 hematologic toxicities of alopecia, nausea and
22 vomiting and stomatitis occurred more frequently in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the epirubicin treated patients than in the Tamoxifen
2 treated group, as might be expected.

3 Discontinuation of therapy due to adverse
4 events was acceptably low. One patient receiving
5 epirubicin based therapy died following the fifth
6 cycle of chemotherapy after a Grade 4 leukopenia. A
7 potential relationship to epirubicin could not be
8 excluded.

9 Four of the 303 patients in the epirubicin
10 plus Tamoxifen group developed congestive heart
11 failure. Two instances of AML were recorded in
12 patients receiving epirubicin.

13 The results of this trial demonstrate that
14 the addition of epirubicin to Tamoxifen resulted in
15 significantly improved relapse free survival. The on
16 treatment toxicities of epirubicin were modest. The
17 results of this trial confirm the clinical benefit of
18 epirubicin as a component of adjuvant therapy and add
19 additional documentation of the benefits of epirubicin
20 treatment for post menopausal patients with early
21 breast cancer.

22 In summary, adjuvant use of epirubicin at

1 doses of greater than or equal to 100 milligrams per
2 meter squared in CEF and when combined with Tamoxifen
3 consistently improved relapse free survival in
4 patients with early breast cancer.

5 More importantly, epirubicin based
6 adjuvant therapy can significantly improve overall
7 survival.

8 We would now like to describe to you the
9 results of studies of epirubicin given at starting
10 doses, again, of greater than 100 milligrams per meter
11 squared in the therapy of advanced breast cancer,
12 focusing first on the efficacy results from each trial
13 and subsequently on an overview of safety from the two
14 largest studies.

15 Data from four multi-center, Phase III,
16 randomized controlled trials involving the therapy of
17 1,231 women support the use of epirubicin based
18 therapy for the treatment of advanced or metastatic
19 breast cancer.

20 The pivotal study, ABC-1, was a multi-
21 national trial that evaluated the first line use of
22 CEF 100. The comparison was made with a standard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regimen of CMF.

2 In supportive study ABC-2, epirubicin dose
3 response was prospectively evaluated in the first line
4 setting. Patients in this study were randomized to
5 receive either CEF 100 or CEF 50.

6 Two additional supportive studies were
7 also submitted to the FDA.

8 Study ABC-3 was similar in design to ABC-
9 2, that is, comparing CEF 100 versus CEF 50.

10 In support of study ABC-4, patients who
11 had experienced failure of first line CMF were
12 randomized to receive single agent epirubicin, given
13 either at a starting dose of 135 milligrams per meter
14 squared or 75 milligrams per meter squared.

15 In study ABC-1, patients were stratified
16 and then randomized to treatment with CEF or CMF. As
17 in study EBC-1, the cyclophosphamide and fluorouracil
18 doses in the CEF regimen were lower than those in the
19 CMF regiment in order to accommodate the escalated
20 epirubicin treatment and allow specific assessment of
21 epirubicin effect.

22 A total of 460 patients were enrolled at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 48 centers in multiple countries. Dr. Steve Ackland
2 from Newcastle, Australia was the principal
3 investigator. A majority of the patients had
4 recurrent disease with visceral involvement of two or
5 more organ sites. Approximately 30 percent had
6 received prior adjuvant therapy.

7 Patient characteristics were well balanced
8 between the two treatment arms. The median relative
9 dose intensities for all agents were approximately 75
10 percent in both treatment groups, that is, both in CEF
11 and in CMF.

12 Compared with CMF, CEF 100 therapy induced
13 a significantly higher objective response rate, a
14 trend toward an improved response duration, a
15 significantly longer time to tumor progression, and a
16 significant improvement in time to treatment failure.

17 While somewhat longer with CEF 100,
18 survival was not significantly different between the
19 two groups.

20 The lack of a survival advantage for CEF
21 100 may have been due to the fact that a substantial
22 number of patients in the CMF group subsequently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 received an anthracycline or anthracenedione based
2 chemotherapy regimen after study treatment.

3 As shown here, 44 percent of the CMF
4 treated patients subsequently received one of these
5 therapies.

6 Study ABC 2 evaluated the impact of
7 epirubicin dose response in the first line therapy of
8 metastatic breast cancer. After stratification,
9 patients were randomized to treatment with CEF 100 or
10 CEF 50.

11 A total of 456 patients were enrolled at
12 38 centers in many countries. Dr. George Bruffman
13 (phonetic) from Israel served as the principal
14 investigator.

15 As in ABC-1, a majority of the patients
16 had recurrent disease with visceral involvement of
17 multiple sites. Approximately 30 percent had
18 undergone prior adjuvant therapy. Patient
19 characteristics were well balanced across the two
20 treatment arms.

21 The median relative dose intensities were
22 quite good for all agents, approximately 88 percent in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the CEF 100 group and 93 percent in the CEF 50 group.

2 An epirubicin dose response effect was
3 documented in this trial. CEF 100 induced a
4 significantly higher response rate than CEF 50, with
5 a P value for the comparison of 0.009.

6 Other endpoints, while generally improved
7 with higher dose epirubicin, were not statistically
8 significantly different between the groups.

9 In study ABC-3, epirubicin dose response
10 was again evaluated. In this trial both patients with
11 locally advanced primary disease and metastatic breast
12 cancer were enrolled. Patients were stratified by
13 study center, menopausal status, and whether disease
14 was locally advanced or metastatic.

15 Patients were then randomized to treatment
16 with CEF 100 or CEF 50. A total of 164 patients were
17 enrolled at nine centers in Belgium under the
18 direction of Dr. Focan.

19 Approximately one-third of the patients in
20 each group had locally advanced disease. Patient
21 characteristics were well balanced in the two arms.
22 Median relative dose intensities for all agents were,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again, good, 80 percent in the CEF 100 group and 90
2 percent in the CEF 50 group.

3 A dose response effect for epirubicin was
4 again confirmed in this trial as it had been in ABC-2.
5 CEF 100 induced a significantly higher response rate,
6 a longer response duration, and a larger time to
7 treatment failure than did CEF 50. Although not
8 significant, a longer median survival was noted with
9 the higher dose CEF regimen.

10 Study ABC-4 evaluated single agent
11 epirubicin dose response in patients who had received
12 prior CMF therapy. Patients were stratified by site
13 of metastases and response to prior CMF.

14 Patients were then randomized to treatment
15 with single agent epirubicin given either a starting
16 dose of 135 milligrams per meter squared or 75
17 milligrams per meter squared.

18 A total of 151 patients were enrolled in
19 19 centers in Canada. Dr. Blackstein was the
20 principal investigator.

21 Approximately 75 percent of patients in
22 this trial had visceral metastases, and three-quarters

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had relapsed within six months of prior CMF.

2 Patients characteristics were well
3 balanced in the two arms.

4 The median relative dose intensities were
5 excellent, again, 90 percent in the epi. 135 group and
6 97 percent in the epi. 75 group.

7 The trial again documented an epirubicin
8 dose response effect. Epirubicin 135 therapy resulted
9 in significantly higher response rates and a longer
10 time to tumor progression in previously treated
11 patients.

12 Also note that there was a trend favoring
13 survival in the epirubicin 135 group.

14 This slide describes the clinically
15 relevant adverse events noted in studies ABC-1 and
16 ABC-2, the pivotal and main supporting studies for the
17 advanced breast cancer indication. Data for patients
18 who received CEF 100 are show in the first two columns
19 on the left, followed by data for the patients who
20 received CMF or those then on the right who received
21 CEF 50.

22 Grade 3/4 neutropenia were observed in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 majority of patients who received either CEF 100 or
2 CMF. The rates of neutropenic fever were modest, and
3 other hematologic toxicities were of relatively low
4 frequency with both regimens.

5 Grade 3/4 non-hematologic events of
6 alopecia, nausea and vomiting occurred more frequently
7 in the CEF treated patients than in those treated with
8 CMF. The incidence of Grade 3/4 stomatitis. Severe
9 diarrhea or cutaneous toxicities were quite uncommon
10 with any of the regimens.

11 Congestive heart failure occurred, but was
12 uncommon and never resulted in death. Rates of
13 potentially drug related deaths were quite low in all
14 of the treatment arms.

15 In summary, epirubicin can consistently
16 provide highly significant improvements in tumor
17 shrinkage as measured by objective response rates in
18 all of these studies. Complete response rates were
19 also consistently higher in the treatment arms than in
20 the control arms on these trials.

21 And a theme of improvements in tumor
22 control as assessed by time to tumor progression or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 time to treatment failure is evident when comparing
2 the arms of these studies.

3 In final summary, we propose that
4 epirubicin be indicated as a component of adjuvant
5 therapy in patients with evidence of axillary node
6 tumor involvement following resection of primary
7 breast cancer. The results of three large,
8 randomized, well controlled studies demonstrate that
9 epirubicin improves relapse free survival and overall
10 survival.

11 We also propose that epirubicin be
12 indicated for the therapy of patients with locally
13 advanced or metastatic breast cancer. The results of
14 four well controlled trials document that epirubicin
15 improves time to tumor progression and increases
16 overall and complete response rates.

17 Taken together with a clear clinical
18 benefits seen in the adjuvant setting, these data in
19 advanced disease support the inclusion of this
20 indication in the labeling for epirubicin.

21 Thank you very much for you attention. My
22 colleagues at Pharmacia and Upjohn, as well as Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Levine, Dr. Pritchard, Dr. Tu, Professor Bonneterre,
2 and I, would be pleased to answer any questions that
3 you may have.

4 CHAIRPERSON DUTCHER: Thank you.

5 Questions from members of the committee
6 for the sponsor?

7 MS. BEAMAN: I'd like to know do you have
8 a sampling of the VCQ assessment that was used?

9 DR. MILLER: Do you mean a demonstration
10 of the questions?

11 MS. BEAMAN: Yes.

12 DR. MILLER: Yes. It will take just a
13 moment to pull it up here.

14 Here are some examples of questions. Can
15 you hear me?

16 CHAIRPERSON DUTCHER: You need the
17 microphone.

18 DR. MILLER: Okay. Here are examples of
19 some of the types of questions. There were 30
20 questions altogether, and these focused on issues of
21 hair loss, for example, more general questions about
22 overall outlook. There were questions related to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neurotoxicity, while not commonly expected with the
2 agents given in this trial. The instrument was
3 designed at a time when vincristine, for instance, was
4 included in chemotherapy regimens, and so this
5 question was considered appropriate.

6 Issues related to inconvenience. There
7 are issues related to then other symptoms of various
8 types, and I can go on if you'd like.

9 MS. BEAMAN: Yes.

10 DR. MILLER: Okay. You want to see some
11 others? Okay.

12 So here a question that probably focuses
13 primarily on asthenia as a concern for patients. Have
14 you felt low in terms of energy? And in general --
15 and issues related to depression. Have you felt down
16 in the dumps or tearful?

17 PARTICIPANT: This is on MA-5, right?

18 DR. MILLER: This is on the MA-5 or EBC-1
19 trial.

20 Questions regarding nausea and vomiting,
21 and also questions regarding appearance and feelings
22 of loss of attractiveness.

1 Yes, Mark you may want to comment.

2 CHAIRPERSON DUTCHER: Please identify
3 yourself for the recording.

4 DR. LEVINE: My name is Mark Levine. I'm
5 the principal investigator of the study.

6 The breast cancer chemotherapy
7 questionnaire was developed in the mid-'80s, published
8 in the Journal of Clinical Oncology, validated on a
9 cohort of women with node positive breast cancer
10 receiving adjuvant therapy.

11 It has seven domains that focus on loss of
12 attractiveness, fatigue, physical symptoms such as
13 Langdon described, the inconvenience of waiting in
14 clinic and so on, emotional distress, and feelings of
15 hope and support.

16 DR. KROOK: What percent of people
17 completed that, the majority of the questionnaire?

18 DR. MILLER: Do we have the slide with the
19 actual numbers on it?

20 Altogether 715 patients were evaluated.
21 As might be expected, not all patients completed the
22 questionnaire at all occasions, but in general, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 compliance with this questionnaire was excellent and
2 largely better than one might expect with many other
3 experiences.

4 DR. KROOK: I think when you presented the
5 slide you said there was an early difference in the
6 quality of life issue with the CEF arm, and it
7 appeared later to come together. Is there an
8 explanation for that?

9 DR. MILLER: Yeah, I think that there is.
10 Let me just comment briefly first on the issue of
11 completely.

12 So as you can see here, the number of
13 patients completing the questionnaire was universally
14 over 70 percent at each prescribed visit and
15 approached 90 percent on some occasions. Okay?

16 In terms of that initial early drop, I
17 think it's likely related to the fact that CEF was
18 acutely more toxic for patients; that the patients
19 received the initial intensive chemotherapy, and then
20 as doses were modulated to find a comfort dose of
21 chemotherapy for each patient, that there was
22 subsequent recovery of the toxicities or the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 diminution in quality of life that came up then to
2 parallel that of CMF.

3 DR. KROOK: Right. My one comment, having
4 watched people who have taken anthracycline versus
5 CMF, perhaps it was the hair loss issue, which is,
6 again, predominantly in the CEF and females.

7 DR. MILLER: Right.

8 CHAIRPERSON DUTCHER: Dr. Honig had a
9 comment.

10 DR. HONIG: I was just going to comment
11 that in your study report it says that 19 percent of
12 patients overall completed all of the questionnaires
13 at each visit, and that only about, I think, 30
14 percent of patients filled out at least a portion of
15 the questionnaire at subsequent visits, and there was
16 a substantial amount of missing information.

17 DR. MILLER: Well, as with any instrument,
18 there may be some missing information, but I think,
19 again, we would maintain that these rates of
20 completion, 70 percent or greater, visit by visit
21 provide some considerable validity to the use of the
22 instrument.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Mark, you may want to address this, as
2 well.

3 DR. LEVINE: May I address the -- Mark
4 Levine again.

5 When you analyze a quality of life
6 questionnaire in a cancer trial, there is, firstly,
7 was the questionnaire completely and then was every
8 question of the questionnaire completed.

9 When we published our manuscript, we chose
10 to in the quality of life comparison include all of
11 the patients who completed the questionnaire, of which
12 there were 270 of the patients, so where you had
13 perfect compliance.

14 I think nonetheless, I think to ask, for
15 example, at time zero when a patient has just been
16 randomized and it's an emotional situation and you ask
17 them in the questionnaire about hair loss, some people
18 choose not to fill out the questionnaire, fill out
19 that question, and that's perfectly reasonable.

20 But to get randomized to this trial, you
21 had to have completed the questionnaire, although you
22 may not have completed all 30 questions. That was an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 eligibility criteria for randomization.

2 DR. MILLER: Sorry for the interruption.

3 The other thing that I should point out
4 and, I believe, was in the FDA review of the trial was
5 that we looked at all patients completing and then at
6 the patients across all 715 patients who participated
7 at all in the quality of life, and the curves were
8 essentially the same in shape no matter how the
9 analysis was done, I think, adding some validity to
10 the results here.

11 DR. LEVINE: If I may add one final
12 comment. Sorry. Mark Levine again.

13 As you all know, the standard approach in
14 cancer trials is to complete toxicity scales, and
15 this, as Langdon pointed out, was done.

16 Over and above this, we collected quality
17 of life information, which is now being advocated
18 quite a lot in cancer trials, but the point is this is
19 almost over and above what is the usual pattern in the
20 cancer clinical trial.

21 CHAIRPERSON DUTCHER: Dr. Krook.

22 DR. KROOK: A couple, going back to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 perhaps the early discussions of MA-5. There
2 obviously was a discussion of why the dose of the
3 cytonan. I guess I would be interested in why that
4 was somewhat different. In the other early breast
5 cancers the doses were very similar. I realize one is
6 a CMF arm, but that's going back historically.

7 It's of interest that the dose intensity
8 of the cytonan in the 5 FU is greater in the CMF arm
9 and despite that, the results are as you showed them.

10 DR. MILLER: Right.

11 DR. KROOK: I mean it's to your favor.

12 DR. MILLER: Right, exactly. Again, I
13 think it really emphasizes the critical role of
14 epirubicin.

15 DR. KROOK: Right.

16 DR. MILLER: This is truly epirubicin
17 based therapy when given in this fashion.

18 The other thing that's important to
19 mention is that the NCIC carried out an extensive
20 pilot study, Phase I study, but in dozens of patients,
21 not just the usual three to six per patient cohort, to
22 determine the best dose of epirubicin to use in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 context of the cyclophosphamide and 5 FU that's
2 applied here.

3 So this was something that was very well
4 piloted before it was brought into the adjuvant
5 setting.

6 DR. KROOK: Are there any adjuvant trials
7 that you are aware of that use epi. as one arm and
8 adriamycin as the other? I don't know of any. I'm
9 asking do you know of any.

10 DR. MILLER: Yes, there have been studies
11 of that type. Generally those studies have not been
12 -- a number of them have not been completed, and in
13 general, there are studies that have been done
14 elsewhere that have looked at that type of comparison.

15 Oftentimes though the problem has been
16 that the comparison was made at lower doses of
17 epirubicin. The other thing that has occurred is that
18 the designs were not symmetrical. That's one of the
19 fundamental problems in so many trials. In terms of
20 trying to isolate the effect of the drug under test,
21 most of the other trials were not symmetrical in
22 design.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I think that's the beauty of these
2 particular trials.

3 CHAIRPERSON DUTCHER: Any other questions?
4 Dr. Santana.

5 DR. SANTANA: Yeah, Langdon. Obviously
6 the occurrence of leukemia as a second event is a
7 devastating occurrence even if the numbers are not
8 very high in terms of incidence rates, but for the
9 individual patients it is devastating.

10 Can you tell us in the trials that you
11 presented how many of those patients developed second
12 leukemia as an event were salvaged?

13 And the second question related to that is
14 you presented data in the first set, but you didn't
15 tell us whether there was any second leukemia in the
16 advanced breast cancer trials. I know the median
17 survival of those patients is much, much lower. So
18 there may have been an overlap of competition between
19 relapse and second leukemia, but I was just curious if
20 you did see it also in the advantage breast cancer, in
21 which the intensity of epirubicin was much higher.

22 DR. MILLER: Yes. Here are the data from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the patients who developed AML, and the FAB
2 classification, as might be expected, was that
3 consistent with the topoisomerase II inhibitor type of
4 leukemia.

5 The time to onset, relatively early, and
6 then unfortunately these patients did die.

7 Now, we do have data. I think the
8 leukemia data for overall, our pharmacovigilance data.

9 We have examined this issue and probably
10 the most concerted of any group in the world, and
11 have looked at thousands of patients in trying to
12 determine what this risk entails, and as I think most
13 people on the committee know, acute leukemia -- sorry.
14 Let me just adjust this here -- is a well documented,
15 rare toxicity of topoisomerase II inhibitors,
16 etoposide, particularly in the pediatric setting,
17 anthracyclines and anthracenediones. It classically
18 manifests as a FAB M4/M5, myelomonocytic leukemia
19 within three years of treatment, and potential risk
20 factors include concurrent administration of an
21 alkylating agent or a starting dose or dose intensity.

22 We've conducted a large surveillance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 program at Pharmacia and Upjohn, and in the course of
2 the last nine years, between 1990 and 1999, have
3 documented 43 total cases of leukemia. This is in
4 spontaneous reports, clinical trials, literature
5 reviews, and so on.

6 We also have established a clinical trials
7 database looking at 27 large, randomized trials that
8 were selected because we had adequate follow-up and we
9 could really look at survival.

10 And so over 11,000 patients were looked at
11 in these trials. Twenty-two total cases of leukemia
12 were documented, 19 AML, three ALL, but most of these
13 cases were in early breast cancer. Very low actual
14 incidence as you can see, and only two cases of AML in
15 advanced breast cancer.

16 DR. SANTANA: Have there been any cases
17 reported of AML in patients receiving Tamoxifen and
18 epirubicin or another anthracycline?

19 DR. MILLER: Yes, in the EBC-3 trial there
20 was one patient, yes.

21 So we feel that we have very extensive
22 documentation of this issue and have gone to great

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lengths to try to collate those data, and of course,
2 those data would be reflected in our package insert.

3 CHAIRPERSON DUTCHER: Do you have
4 cytogenetic data?

5 DR. MILLER: There are some cytogenetic
6 data available from some of the patients. It hasn't
7 been as uniformly done as one might hope. In a number
8 of patients, as might be expected, chromosome 11
9 abnormalities have occurred, yeah.

10 CHAIRPERSON DUTCHER: But also
11 (inaudible).

12 DR. MILLER: That's been relatively
13 infrequent. In some of the cases you occasionally see
14 an M-1 or M-2 histology. There have been such
15 abnormalities. There has been a 15-17 promyelocytic
16 leukemia. Whether that was related or not I don't
17 know.

18 Yeah?

19 DR. MARGOLIN: It seems as though if you
20 get this drug approved and people start using it in
21 the adjuvant setting, you have patients that are
22 getting something like two-thirds to three-quarters of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what would be considered the cumulative dose
2 associated with a steep rise in the cardiotoxicity
3 incidence, and if this drug in combinations works well
4 in the adjuvant setting, you may see a fair number of
5 patients getting it first line and then doing well for
6 a while and then being considered for something like
7 the second line at first relapse.

8 And so the question is how much research
9 efforts are being addressed at use of dextrosoxane,
10 use of alternative schedules perhaps for lowering the
11 risk of cardiotoxicity without compromising the anti-
12 tumor effect.

13 DR. MILLER: Well, what we know about the
14 late cardiotoxicity associated with epirubicin is that
15 it is a low frequency event, as with doxorubicin or
16 with mitoxantrone; manifests primarily as congestive
17 heart failure, and the biggest risk factor, of course,
18 as with doxorubicin, is cumulative anthracycline dose.

19 CHAIRPERSON DUTCHER: Excuse me. Can you
20 push yours up just a little bit higher for the back of
21 the room?

22 DR. MILLER: Too low? Is that okay?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DUTCHER: Better. Thanks.

2 DR. MILLER: Okay. There's something
3 here. Let me just adjust this.

4 (Laughter.)

5 DR. MILLER: Tastefully presented.

6 And cumulative anthracycline doses, of
7 course, the greatest risk factor, prior irradiation is
8 well established as a risk factor, and age is a known
9 risk factor. Particularly pediatric patients are very
10 susceptible to doxorubicin induced cardiotoxicity, and
11 so it's a particular concern there.

12 We have evaluated the risk of CHF. This
13 is symptomatic CHF, in over 9,000 patients on clinical
14 trials, and this graph, the numbers are a little small
15 here, but basically a four percent incidence, which is
16 often that quoted, occurs at about 900 milligrams per
17 meter squared cumulative dose.

18 Now, it's not the optimal way to do. This
19 is a different way of plotting those same data from
20 the same patients. It's not optimal. It's a life
21 table sort of analysis, but compares the results in a
22 way historically with the old data from Dr. Von Hoff,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and you can see or get a sense of the relatively
2 likelihood of cardiotoxicity at a given dose of either
3 epirubicin in blue or doxorubicin in yellow.

4 I think that the important message here is
5 that it's unlikely that clinicians are going to give
6 excessive epirubicin. If this relationship were the
7 other way around, one might be concerned, but this
8 actually adds a safety factory for the use of
9 epirubicin in breast cancer.

10 DR. KROOK: But that's a little bit
11 misleading because the starting dose that you're
12 recommending for epirubicin is 100, and we may be
13 between 60 or 70 from either squared --

14 DR. MILLER: Right.

15 DR. KROOK: -- for the adriamycin. So --

16 DR. MILLER: No, I want to be clear. I'm
17 not indicating to compare cardiac risk per se. All
18 I'm saying is that to get to the same level of
19 cardiotoxicity, you have to give more drug. We're
20 giving more drug, so we may get there sooner.

21 The thing is that if you look at the MA-5
22 study, for example, 720 milligrams per meter squared

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be the planned cumulative dose, and about 85
2 percent of patients got six -- the median dose was 600
3 milligrams per meter squared, and about 85 percent of
4 the patients got over 500.

5 So a fair amount was given. The one
6 percent incidence of cardiac toxicity of CHF fits
7 exactly on this curve and so is quite consistent with
8 what we would expect from the drug. In other words,
9 you know, we're at roughly 600 milligrams per meter
10 squared, about a one, one and a half percent
11 likelihood of cardiotoxicity.

12 So I think that the trial is quite
13 reflective of our experience in large numbers of
14 patients with the drug.

15 DR. TEMPLE: That didn't answer the
16 question. You didn't answer the question.

17 DR. MILLER: Okay.

18 DR. TEMPLE: You've now used up some of
19 your anthracycline capacity. What are you doing to
20 find out how someone who does get a tumor can be
21 treated?

22 Isn't that was your question was, not that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you can't defend yourself?

2 (Laughter.)

3 DR. MARGOLIN: I was going to just let it
4 go.

5 DR. MILLER: Well, as you well know,
6 Pharmacia and Upjohn also has dexrazoxane as one of
7 its drugs, and we have conducted studies with
8 dexrazoxane in conjunction with epirubicin, and it is
9 very clear that dexrazoxane can protect patients from
10 cardiotoxicity from either doxorubicin or epirubicin.
11 So the drug is effective in that regard.

12 And further studies are clearly warranted.
13 It may be very interesting to consider the combination
14 of both of these drugs in some sequence or combination
15 ultimately with herceptin, for instance, particularly
16 if an animal model could be examined that would show
17 protection from cardiotoxicity from the three drugs
18 together or the two drugs together, the anthracycline
19 and herceptin.

20 DR. KROOK: The question may come up with
21 the FDA reviews though, and I bring it up now, the
22 radiotherapy issue. If I look at in the MA-5, again,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the partial mastectomy, 49 percent, 45 percent, and 46
2 percent received radiotherapy, and the sequencing of
3 that particularly in the pivotal trial, pertinent to
4 questions that are now before the whole community.

5 DR. MILLER: Mark, did you want to address
6 that?

7 DR. LEVINE: The 49 percent is a little
8 misleading. Remember in this trial half the patients
9 had lumpectomy and half of the patients had
10 mastectomy. So of the 50 percent of women who had
11 lumpectomy, virtually all of them, virtually all of
12 them, 99 percent, underwent breast irradiation post
13 completion of chemotherapy, which is the standard
14 approach amongst all of the cooperative groups.

15 So it's not 49 percent. It's 49 percent
16 of all 720, but not of the patients who had
17 lumpectomy.

18 DR. MILLER: Dr. Krook, I have the data
19 here actually.

20 DR. KROOK: Okay.

21 DR. MILLER: As you can see, those with
22 partial mastectomy, 75 patients here, 76 patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 here, essentially all of them or near all of them got
2 the irradiation. Those with total mastectomy, it was
3 quite infrequent that they would get irradiation as
4 per protocol.

5 DR. KROOK: Okay.

6 CHAIRPERSON DUTCHER: Dr. Simon.

7 DR. SIMON: Could you clarify the
8 selection of the studies? It's always a little
9 troubling to me when studies are selected after
10 they're completed from a larger database and when the
11 results are essentially available.

12 Were there other studies that isolated
13 epirubicin, the contribution of epirubicin, or other
14 studies at around that dose that isolated epirubicin?
15 I wonder if you could clarify those issues.

16 DR. MILLER: Yes. This --

17 DR. SIMON: Particularly for the adjuvant
18 situation.

19 DR. MILLER: Yeah. This represents the
20 basis for selection of the studies, and obviously the
21 trials had to be conducted in breast cancer. They had
22 to be completed, well controlled, and randomized in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Phase III, and a critically important issue was the
2 symmetrical design.

3 And as I mentioned before, too many of
4 these trials that have been done have used three drugs
5 versus two or four drugs versus two and that sort of
6 thing or changed the doses and schedules of the drugs
7 in ways that made it difficult to assess the specific
8 effect of each drug. So we focused on studies that
9 had symmetrical designs.

10 The other thing was that for --

11 DR. SIMON: Were these the only studies
12 that had symmetrical designs?

13 DR. MILLER: In essence, yes, and then the
14 other issue was the epirubicin starting dose, 100
15 milligrams per meter squared. We were focusing on
16 studies that had used this as the starting dose for
17 the agent, and the initial issue was that the studies
18 had to be available so that the FDA could review them,
19 and so that was also --

20 DR. SIMON: Were there other studies with
21 symmetrical designs that had starting doses of, say,
22 75 or higher for adjuvant studies?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MILLER: Yeah, the highest dose was
2 60. Here's the data. In one study, with 50
3 milligrams per meter squared, CEF 50 versus IV CMF, a
4 totally symmetrical design, increased relapse free
5 survival and overall survival; when the CEF 50 IV was
6 compared with the MCF oral -- so here again changes in
7 schedule, and these is some sense that oral CMF may
8 deliver more dose intensity -- you don't see the same
9 result.

10 On the other hand, here, again, a
11 symmetrical design reported at ASCO this year, CEF 60
12 versus CMF in premenopausal patients particularly,
13 there was an improvement, significant improvement,
14 both relapse free and overall survival, and in all
15 patients when the data were looked at as well.

16 There are two additional trials ongoing,
17 one looking at CF 50 versus CMF and one looking at a
18 CEF dose response, but these trials, of course, the
19 results aren't available yet.

20 DR. SIMON: Now, what does this slide
21 represent? All of the studies with symmetrical
22 designs?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MILLER: Yes, exactly.

2 So I think that the results, in general,
3 corroborate those, but we felt that we have the best
4 data with the CEF 100 versus a community standard CMF.
5 That's why the importance of MA-5, and then
6 corroborating evidence from the EBC-2 trial looking at
7 those same doses of epirubicin.

8 DR. WILLIAMS: Dr. Simon, I should also
9 mention that at a pre-NDA meeting we were involved in,
10 it had a table of all the different studies and helped
11 with the criteria for selection. So we felt that no
12 important studies were overlooked.

13 DR. SIMON: Okay, because that's
14 important. You know, it's potentially impossible to
15 pick a dose threshold, you know, after the fact.

16 CHAIRPERSON DUTCHER: Could you just
17 comment? It looked like the ongoing studies were
18 actually at a somewhat lower dose, 75, right?

19 DR. MILLER: Yeah, that's correct.

20 CHAIRPERSON DUTCHER: So, I mean, are you
21 not certain about your dose range yet?

22 DR. MILLER: No, I think we're quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 confident about our dose range. It's greater than 100
2 milligrams per meter squared in the adjuvant setting
3 in the --

4 CHAIRPERSON DUTCHER: But you had
5 comparative studies at 50 that were better. At least
6 one, I think.

7 DR. MILLER: Yeah.

8 CHAIRPERSON DUTCHER: And the others are
9 at 75.

10 DR. MILLER: Yeah, but I think for
11 simplicity of labeling, we're willing to propose one
12 set of doses.

13 DR. KROOK: When you first started, you
14 said that epirubicin is approved elsewhere as a single
15 agent between 60 and 90, in combination 50 to 75. If
16 I'm reading right, you're now coming in at 100.

17 DR. MILLER: Right.

18 DR. KROOK: In this country. It's --

19 DR. MILLER: Yeah. I think that it's
20 important to understand that initial doses were
21 defined. Part of this was related to the fact that
22 the glucuronidation patterns and murine models of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drug were very similar to those of doxorubicin.

2 DR. KROOK: Okay.

3 DR. MILLER: Once the drug was actually
4 tested in human beings, we found out that the
5 glucuronidation was enhanced, and this allowed then
6 expiration of dose more than had initially been
7 anticipated, and as I showed you, doses of 180
8 milligrams per meter squared of epirubicin can be
9 given, in part related to the fact that it has, on an
10 equimolar level, has less neutropenia and less hand-
11 foot syndrome as a problem as compared with
12 doxorubicin.

13 Yeah, Kathleen.

14 DR. PRITCHARD: -- 100 milligrams in the
15 dose we chose in our study.

16 CHAIRPERSON DUTCHER: Give your name.

17 DR. PRITCHARD: It's Kathy Pritchard from
18 the NCIC.

19 I'd just like to make a comment about the
20 dose and the comparison. I think the other studies
21 you're looking at do show it at a lower dose compared
22 to some other comparator, or not standard comparator,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that 50 or lower doses may be better. The question is
2 better than what.

3 For example, in Morrison's study, it's
4 better than IV CMF, which we know from randomized
5 studies at least in advanced disease is not as good as
6 classic Bonadonna CMF, and I think the NCIC study
7 compares to a standard adjuvant regimen, which is
8 classic PO-cyclo-Bonadonna CMF. I think that's the
9 issue.

10 CHAIRPERSON DUTCHER: Dr. Margolin?

11 DR. MARGOLIN: I think this is more
12 rhetorical than anything because I don't think there's
13 an answer, but I'd just like to hear your comments.

14 I don't think CMF is standard adjuvant
15 therapy anymore for most patients with breast cancer,
16 and you know, the question is: what do we say about
17 this drug that isn't already being said about
18 doxorubicin?

19 You know, we're moving towards more
20 complicated, more dose intensive therapy in the
21 adjuvant treatment of breast cancer. We're also using
22 more conservation surgery with, therefore, more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radiation, as well as more radiation even in those
2 patients who had a mastectomy who have positive lymph
3 nodes that wasn't the case a few years ago.

4 I think that opens up a lot of questions
5 about what will be unique about this drug that we
6 don't already have.

7 DR. MILLER: Well, I think if we go back
8 to 1989 when the study was designed, CMF was the North
9 American standard at that time. You have to remember
10 that the study, the NSAPB 15 study was not published
11 until 1990 that established that AC was equivalent,
12 and in 1,400 patients, was equivalent to CMF, and the
13 reason that AC was chosen, as they say in the paper,
14 was because it was more convenient for patients, in
15 essence, to get four cycles rather than six.

16 We're coming here. I know that one can't
17 compare across studies, but we're coming here with
18 data that say that CEF, when given in this fashion, is
19 better than CMF, and I think that that is the message
20 that needs to be conveyed to American women.

21 I think this gives us an opportunity, too,
22 to start to do some new things in terms of moving from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what Mark and Kathy and others at the NCIC have done,
2 the new trials that look at dose intensive or dose
3 escalated EC, for example, followed tax taxitere and
4 start to build on this result in the future.

5 CHAIRPERSON DUTCHER: Other questions,
6 comments?

7 (No response.)

8 CHAIRPERSON DUTCHER: No? Okay. Thank
9 you very much.

10 DR. MILLER: Okay.

11 CHAIRPERSON DUTCHER: Great. A quick
12 break, 15 minutes, while the FDA gets ready to
13 present.

14 (Whereupon, the foregoing matter went off
15 the record at 3:34 p.m. and went back on
16 the record at 3:54 p.m.)

17 CHAIRPERSON DUTCHER: Okay. We're going
18 to go ahead with the FDA presentation. Dr. Honig.

19 DR. HONIG: Thank you.

20 I'm going to present the FDA analysis of
21 epirubicin, and as you've heard, there are two
22 separate indications under consideration, one for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 early stage breast cancer and one for the first line
2 treatment of metastatic breast cancer.

3 I'd first like to acknowledge all of the
4 members of the epirubicin review team. As you've
5 already heard, epirubicin has been extensively
6 marketed worldwide. There's a tremendous amount of
7 data both published and unpublished, and it took a lot
8 of effort from a lot of people to be able to look at
9 all of this in detail.

10 Again, I don't want to repeat things that
11 have already been said previously. The point that I
12 would make from this slide is one that you have heard
13 before: that epirubicin is widely marketed; that an
14 NDA was first submitted in July of '84 for treatment
15 of advanced breast cancer. That was not first line
16 treatment, as you're heard, the application was
17 essentially incomplete, resulting in a not approvable
18 letter.

19 So it was not that there was some major
20 lack of efficacy that was found in the FDA review. I
21 think it's fairer instead to characterize it as
22 incomplete data, not permitting any kind of conclusion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to be drawn at that time.

2 The current NDA, however, includes new
3 data, studies that were not previously submitted, as
4 well as two different indications from those in the
5 original NDA submission.

6 Again, Dr. Miller has already discussed
7 these points, but again, I think it's worth
8 reiterating the fact that the original recommended
9 dose for epirubicin came from some early Phase I
10 trials in the late '70s which said that a dose of 50
11 to 75 milligrams per meter squared in combination was
12 the recommended dose.

13 A new set of Phase I trials that were
14 performed for a variety of reasons redefined the
15 optimal dose as somewhere between 100 to 150
16 milligrams per meter squared as a single agent, and
17 that doses of 100 or even higher in some cases have
18 been used in combination therapy, and that these are
19 the doses, around 100 or so, that we are discussing
20 today.

21 I'm going to discuss the trials MA-5 and
22 GFEA-05 submitted in support of the adjuvant breast

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 cancer recommendation. You've already heard about a
2 third adjuvant trial. We received a study report, but
3 did not receive primary data from this trial, and so
4 I'm not going to discuss that particular study. That
5 was one of epirubicin plus Tamoxifen versus Tamoxifen.

6 These trial had a number of features in
7 common. Both enrolled women that had node positive
8 breast cancer. Women with T-4 tumors were excluded.
9 Both trials used six cycles of chemotherapy. As was
10 mentioned in the discussion, for women who had a
11 lumpectomy the radiation therapy was delayed until the
12 completion of chemotherapy, and in both trials there
13 was stratification by nodal groups.

14 The primary endpoints were disease free
15 survival and overall survival with a follow-up in both
16 trials of approximately five years or so.

17 Quality of life was a stated endpoint in
18 MA-5, and honestly, I had not planned to spend any
19 time on that because in our estimation there was a
20 significant amount of missing data. It was very
21 difficult to analyze it well or in a meaningful
22 fashion, and I think that the best statement that Ruth

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Anna Davey, our statistician, and I could come up with
2 was that the curves for CEF looked somewhat lower than
3 CMF throughout therapy, and that at the conclusion of
4 treatment, the quality of life on both arms improved
5 substantially, probably beyond the level seen at
6 baseline by about month 12 to 15 or so.

7 What were the differences between these
8 two adjuvant studies? First of all, the patient
9 population. MA-5 enrolled only premenopausal women.
10 GFEA-04 also included postmenopausal women as well.

11 Also in the selection of the nodal groups,
12 to get onto MA-5, patients were required only to have
13 one or more involved lymph node. GFEA-05 was designed
14 to theoretically have a higher risk group, and so
15 these criteria were designed along those lines. You
16 could either have four or more positive nodes. Women
17 with one to three positive nodes were eligible,
18 provided that their tumors were estrogen and
19 progesterone receptor negative and had a histologic
20 tumor grade of two to three.

21 What were some of the other differences?
22 These involved permissible concomitant therapy. On

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MA-5 you've already heard that antibiotic prophylaxis
2 was used on the CEF 120 arm. In GFEA-05, post
3 mastectomy chest wall irradiation was permitted. I
4 mention this predominantly because of recent
5 literature suggesting that post mastectomy chest wall
6 irradiation could influence survival.

7 In fact though the use of this modality
8 was balanced between treatment arms. In addition, on
9 this study post menopausal women were to receive
10 Tamoxifen 30 milligrams daily for three years. Again,
11 it's unlikely that this substantially influenced the
12 outcome. The distribution of postmenopausal women was
13 similar between the two treatment arms, and not only
14 was receptor status balanced between the arms. It was
15 also balanced within the subset of postmenopausal
16 women, so that that should have been approximately
17 equal on both arms of the study.

18 In addition to stratifying by the number
19 of nodes, on MA-5 patients were stratified by the type
20 of surgery in their receptor results, and on GFEA-05,
21 randomization was balanced by center.

22 I don't want to go through these in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 detail. You've already seen the trial regimens that
2 were used on these two studies. If one looks at the
3 differences in doses and schedule, one can look at the
4 different arms within each study and can then compare
5 arms in a general sense between studies.

6 On the MA-5 study, as you're already hear,
7 the doses of cytonan and 5 FU were higher on the CMF
8 arm than on the CEF 120 arm, presumably then allowing
9 one to attribute any effects of therapy to the
10 epirubicin itself on this arm.

11 In FEC 50 versus FEC 100 on the GFEA-05
12 study, the only difference was in the dose of
13 epirubicin since this was designed to be a dose
14 response study. The cytonan and 5 FU doses were
15 constant.

16 If one looks across the studies, the dose
17 of epirubicin was higher on MA-5. It was 125
18 milligrams per meter squared per cycle and 100
19 milligrams per meter squared per cycle on the 05
20 study.

21 The schedules were also slightly
22 different. MA-5 used a day one, day eight, every 28

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 day schedule. GFEA-05 gave all drugs intravenously on
2 day one and repeated them every 21 days, and again,
3 the doses of CMF were slightly higher on MA-5 than on
4 GFEA-05.

5 These are the efficacy results for the
6 study MA-5. This looks at disease free survival and
7 overall survival, and the green are the CEF 120 arms;
8 the red are the CMF.

9 And I will come back to this P value in
10 just a couple of slides, but you can see on both sides
11 here, the CEF 120 arm curves are on top, statistically
12 significant here, and as I said, we'll come back to
13 this P value in just a minute.

14 In GFEA-05, the red line is the FEC 100
15 arm and the green is the FEC 50, and you can see again
16 that in both cases the FEC 100 treatment was
17 associated with statistically significant improvements
18 in both disease free and overall survival.

19 This slide summarizes it in terms of the
20 Kaplan Meier estimates of relapse free survival and
21 overall survival at five years. These numbers you've
22 seen before and are those that were reported by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 applicant.

2 What are the differences between what
3 you're heard from the applicant and what I've
4 presented here?

5 The original protocol for MA-5 was unclear
6 on the exact nature of the statistical comparison. It
7 did say the disease free survival and overall survival
8 would be compared using a life table and Mantel
9 Haentzel test.

10 It was not specific at all about whether
11 the stratification factors used to balance
12 randomization would be used in the analysis or not.
13 In general, it has been our default position to look
14 at a nonstratified intent to treat analysis overall,
15 and that's what we had performed.

16 The applicant had presented analyses that
17 were stratified by the randomization factors, which I
18 understand has been the common practice in the NCIC.

19 There really is no difference in the
20 results that we got. We actually got curves that were
21 very similar. The CEF 120 arm was consistently on top
22 no matter how you did it with the same five-year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Kaplan Meier estimates. The only difference is that
2 the P value for overall survival is either significant
3 or non-significant depending on how you look at that.

4 We discussed this issue in detail with our
5 statistician who felt that in this particular case,
6 the use of the stratified log rank test was acceptable
7 because when you look at the overall population and
8 then you look at the individual randomization strata,
9 you see the same results consistently. The strata
10 were not powered to show a statistically significant
11 effect within the randomization strata, but it does
12 not appear that one particular subset accounted for
13 the entire effect seen consistently.

14 I'm sure Dr. Simon will have more to say
15 about that or questions about that, but I think that
16 the bottom line, the take home message for us is that
17 we see a positive effect associated with FEC 120 that
18 we can reproduce and we can believe, that appears to
19 be clinically meaningful regardless of the P value
20 that you would assign to that.

21 One other thing that we were concerned
22 about between MA-5 and GFEA-05 was whether all of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 benefit was accounted for by premenopausal women.
2 Remember that in MA-5 there were only premenopausal
3 women. We saw benefits. Could we see it also in the
4 postmenopausal women?

5 And, yes, in fact, when you analyze by
6 menopausal status, again, they were not powered to
7 look at a statistically significant difference there,
8 but you do see the same effect and generally the same
9 magnitude of effect, which suggests that both groups
10 are benefitting.

11 Toxicity, again this slide is intended not
12 to be a comprehensive listing of all of the toxicities
13 observed in this trial. Clearly there are more
14 toxicities that are associated with chemotherapy, but
15 to highlight just a few points, febrile neutropenia,
16 as you've already heard, was greater on CEF 120 than
17 CMF, and again, appeared to be higher on the FEC 100
18 versus the FEC 50 arm.

19 Nausea and vomiting was fairly
20 significant. I would point out that serotonin
21 specific antiemetic therapies, such as endansetron and
22 granesitron, were not available when these studies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were done or were not used.

2 Diarrhea on MA-5 was somewhat higher on
3 CMF compared to the CEF 120, and was relatively low
4 incidence on the GFEA-05 study.

5 Finally, stomatitis was greater with CEF
6 120 than CMF and also was more predominant on the FEC
7 100 than the FEC 50 arm, although this incidence was
8 less here than on MA-5.

9 What about long term toxicities of
10 therapy? Again, this shows all deaths that occurred
11 on study during the course of treatment, and we agree
12 with the applicant's assessment that none of these
13 were related to specific drug toxicity.

14 Leukemia though and cardiac toxicity are
15 problems that we worry about whenever we look at
16 adjuvant therapy. Again, I don't want to spend a lot
17 of time on this because I think Dr. Miller addressed
18 some of these points during the committee's questions.

19 In these two trials, the leukemias were
20 associated with cumulative doses of 495 milligrams per
21 meter squared or higher. It has occurred at lower
22 doses in the database that Dr. Miller also referred

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to.

2 These have the typical characteristics of
3 treatment related leukemias with a short latency,
4 M4/M5 subtypes. Many of these patients did not have
5 chromosomal analysis done, but in the few cases where
6 it was performed, it was consistent with the treatment
7 related change, and again, I think that the applicant
8 in their reporting really did a very nice job of
9 estimating the entire group of women that were treated
10 in trying to present some meaningful percentages.

11 It's always very difficult to look at even
12 a 716 patient adjuvant trial and get a true sense of
13 what the incidence is going to be if it's used more
14 widely. These were their best estimates from their
15 database of .24 percent risk at three years and .77
16 percent at give years.

17 In terms of cardiac toxicity, on study MA-
18 5, LVEF, left ventricular ejection fraction,
19 measurements were mandated at regular intervals
20 throughout the study. Five patients on CEF 120 and
21 one on CMF developed congestive heart failure, and as
22 you might expect, a higher number experienced drops in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 LVEF that were asymptomatic.

2 On GFEA-05, it's very difficult probably
3 to get a true sense of cardiac toxicity because the
4 cardiac evaluations were optional at the completion of
5 chemotherapy, which is really when you would expect to
6 see most of the events, sometime afterwards in follow-
7 up.

8 Nonetheless there were four patients on
9 FEC 100 versus one on FEC 50 that were reported to
10 have congestive heart failure.

11 The applicant performed a similar analysis
12 of their database as they did for leukemia and
13 estimated, again with the curves that you saw during
14 the discussion period that there was about a four
15 percent incidence of CHF at a cumulative dose of 900
16 milligrams per meter squared.

17 And just to put this in perspective, I
18 listed what the maximum epirubicin doses were
19 anticipated to be from the protocol specified
20 treatment: 720 on MA-5, 600 on GFEA-05.

21 So if we look at adjuvant breast cancer
22 and the trials that were submitted overall, we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 talk about the strengths, the weaknesses, and perhaps
2 some neutral findings that we see in these trials.

3 For MA-5, CEF 120 was compared to CMF, and
4 again as has been mentioned during the discussion, the
5 most dose intense CMF comparator was chosen, the
6 classic Bonadonna regimen. In GFEA-05, which was
7 designed as a dose intensity study, that was able to
8 be accomplished with maintenance of a two to one ratio
9 of epi. dose between the two arms, and there was a
10 significant difference in overall survival for the
11 high dose arm compared to the lower dose arm.

12 Both studies showed significant
13 differences in disease free survival in favor of the
14 higher dose epirubicin arm.

15 The weaknesses, again, you may want to
16 move this around on the slide depending on your
17 interpretation of the statistical analysis. There was
18 a survival trend that was seen for CEF 120 compared to
19 CMF. It was not statistically significant if you
20 performed an unstratified P value overall intent to
21 treat. If you stratified it by the randomization
22 factors, it moves over to the significant level.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Again, these higher doses of epirubicin
2 were associated with a significant incidence of acute
3 toxicity, and the benefits of this treatment need to
4 be weighed in comparison to the cardiac toxicity that
5 was observed, as well as the leukemia risk.

6 In the neutral category, I simply put this
7 point, which was that at least from the reported rates
8 of in breast recurrence, there did not appear to any
9 difference between the two treatment arms in that the
10 reported rates were comparable to those that have been
11 reported for delaying radiation therapy after
12 chemotherapy in some of the other publications, such
13 as the Joint Center randomized trial.

14 So in summary, there was an improvement
15 seen in both disease free survival and overall
16 survival with epirubicin given at the planned doses of
17 100 and 120 milligrams per meter squared, and that the
18 delivered dose intensity for cytonan and 5 FU was
19 higher on the CMF arm than the CEF 120 arm in the MA-5
20 study, again suggesting that we could attribute this
21 effect directly to the effect of epirubicin rather
22 than an additional effect of cytonan/5 FU interaction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the regimen.

2 Now, if we look at the advanced breast
3 cancer trials, I will talk about HEPI-013, HEPI-010,
4 which were the applicant's advanced breast cancer
5 trials one and two. Again, I will not discuss trials
6 three and four. We had study reports for those, but
7 no primary data.

8 These also shared a number of common
9 design features. Both of them enrolled metastatic
10 breast cancer patients with no prior chemotherapy for
11 metastatic disease. Patients with measurable or
12 evaluable disease were eligible and were required to
13 have had a disease free interval of greater than 12
14 months.

15 Patients were stratified by the number of
16 organ sites of disease and by the presence or absence
17 of visceral disease, and both of these studies
18 incorporated a design where six cycles of treatment
19 were given followed by observation. There were some
20 provisions to give additional cycles to responding
21 patients, either two or three.

22 What were the differences? You could have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had prior adjuvant anthracycline therapy on the 010
2 study, but a relatively small amount, less than 60
3 milligrams per meter squared.

4 The other difference was the way that the
5 endpoints in these trials were prospectively defined.
6 For study HEPI-013, the first study, the primary
7 planned endpoint was time to progression followed by
8 response rate, followed by quality of life, followed
9 by survival.

10 In the 010 study, which was the dose
11 response study, overall survival was the protocol
12 specified primary endpoint. Response rate was second,
13 then time to progression, and then quality of life.

14 Unfortunately, the quality of the quality
15 of life data in both of these studies was poor. There
16 was incomplete data collection, and it precluded any
17 analysis at all. So we will not be discussing quality
18 of life for these studies either.

19 Again, you've seen the trial regimens for
20 these studies. What were the differences? In the
21 first trial which compared CMF to FEC 100, again, the
22 cytonan and 5 FU doses were higher on CMF than on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 FEC arm, and again, for the 010 study, which was the
2 dose response study, only the epirubicin dose
3 differed.

4 There was some difference between these
5 two studies. The high dose epirubicin arms in both
6 the 013 study and the 010 study gave the identical
7 dose of epirubicin, 100 milligrams per meter squared
8 per cycle, but delivered it with a differing schedule:
9 day one, day eight, every 21 days compared to all IV
10 day one every 21 days, and again, some differences in
11 the cytoxan and 5 FU doses between studies.

12 This shows the results of these studies.
13 The first one is HEPI-013, where again the green curve
14 on top is the FEC arm. The red is CMF.

15 There was a statistically significant
16 improvement in time to progression. There was no
17 difference at all in the overall survival, although,
18 again, you can see the green curve is here.

19 The median time to progression on this
20 trial was 8.8 months for the FEC 100 arm compared to
21 6.3 months for the CMF arm with a significant P value.

22 Median overall survivals showed a somewhat

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 longer survival for FEC 100 compared to CMF, but these
2 were not statistically significantly different.

3 As you have already heard, as well, 44
4 percent of patients on the CMF arm went on to receive
5 anthracycline based therapy, raising a question echoed
6 from this morning's discussion as to whether second
7 line therapy can obscure a potential survival benefit
8 from first line therapy. This speaks, I think, to Dr.
9 Temple's example this morning.

10 And, again, no matter how we analyze this,
11 there were some issues here again about whether the
12 analyses should be stratified, nonstratified, et
13 cetera. We really come up with the same findings.

14 In the 010 study, there was no difference
15 in overall survival or time to progression. The only
16 observed difference was in response rates. Forty-nine
17 percent on FEC 100, 36 percent on FEC 50, with a
18 significant P value, and we were able to go back to
19 the primary tumor data and verify these response
20 rates.

21 What about the toxicities that were
22 observed? First are deaths on study, five percent on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the FEC 100 compared to three percent on CMF, three
2 percent versus one percent.

3 I will show you the details of these
4 deaths on study because I think this is a little
5 misleading. I mean we tend to think about deaths on
6 study as the ones that are directly related to toxic
7 effects of the drug. So I will detail those in just
8 a minute.

9 Cardiac toxicity we'll also talk more
10 about. Febrile neutropenia here, ten percent on FEC
11 100 versus eight percent on CMF; eight percent versus
12 .4 percent on the dose response study. Again,
13 significant incidence of Grade 3 to 4 nausea and
14 vomiting. Again, serotonin specific antiemetic
15 therapy was either not available or used in a minority
16 of the patients on these trials.

17 Anemia was also observed in this study, 12
18 percent on FEC 100 compared to nine percent on CMF,
19 seven percent versus one percent for the dose response
20 study.

21 If one looked at the incidence of blood
22 transfusions, they were fairly constant across all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 trials. So that patients certainly had this observed
2 and reported. It's not entirely clear what the
3 clinical consequences of this were. It may have
4 contributed to lethargy, et cetera, but certainly not
5 to an increased need in blood transfusions.

6 Mucositis, 12 percent on FEC 100 and in
7 contrast to the adjuvant studied was actually higher
8 slightly at 15 percent on the CMF arm in the
9 metastatic studies, but again, a difference here with
10 FEC 50 compared to FEC 100, .4 percent compared to ten
11 percent, suggesting that there is an increase.

12 The deaths on study I said I would show
13 you overall. Some of these were potentially related
14 to complications of therapy. A number of them were
15 due to progressive disease.

16 Two deaths on each arm in 013 were due to
17 febrile neutropenia compared to two on FEC 100 versus
18 none on FEC 50. Pulmonary emboli were seen presumably
19 related more to malignancy and to the general
20 administration of chemotherapy rather than an
21 epirubicin specific effect.

22 And a number of other problems that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 although they look ominous, were not always clearly
2 related to drug administration, such as respiratory
3 failure or cerebral infarction.

4 In terms of the cardiac toxicity, on HEPI-
5 013, there were serial mandated evaluations, as you
6 can see, and 71 percent of patients randomized to the
7 CEF arm were compliant with those. Remember that on
8 this study responders could receive up to 900
9 milligrams per meter squared of epirubicin, and that
10 in this trial, by my analysis, ten patients or 4.5
11 percent on the FEC arm had congestive failure. None
12 were observed on the CMF.

13 This is a little bit different from what
14 was reported by the applicant where the numbers are
15 four and zero, and we will discuss this further as to
16 how we view these cases.

17 For HEPI-010, evaluations were also
18 mandated, but overall there was relatively poor
19 compliance with the schedule. Patients on this trial
20 who were in complete remission could have received up
21 to 800 milligrams per meter square of epirubicin, and
22 here the incidence of congestive heart failure was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 quite low, one patient on FEC 100, two patients on the
2 FEC 50 arm.

3 So how can we look overall at the advanced
4 breast cancer studies? Again, the 013 trial was
5 designed to look at a dose intense CMF regimen. This
6 was an IV one. This was not classic oral Bonadonna,
7 but this particular IV schedule was chosen because it
8 was the IV schedule that came as close as possible to
9 the Bonadonna delivered dose intensity.

10 There was a statistically significant
11 difference in time to progression for FEC compared to
12 CMF. I'm going to leave that in the strength column
13 for now, and the committee will be discussing that
14 shortly.

15 In 010, this study was able to maintain a
16 two to one ratio of the epirubicin dose in this
17 planned study, but again, there was no difference in
18 time to progression at all in this study, and in
19 neither study was there any difference in overall
20 survival.

21 There was increased incidence of acute
22 toxicity with epirubicin. There is the incidence of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cardiac toxicity to consider in the risk-benefit ratio
2 as well, and what I left in the neutral ground here is
3 the better response rate for FEC 100 compared to FEC
4 50 on 101.

5 Also as we've alluded to in the
6 discussions this morning, we usually consider response
7 rate in the context of accelerated approval, and this
8 is not an accelerated approval application.

9 One other thing that we've talked about
10 through the morning's discussion of time to
11 progression is this. What do we think first line
12 treatment conveys in terms of the survival benefit for
13 metastatic breast cancer? And in general, doxorubicin
14 has been considered to be the standard. We have often
15 said it conveys a six month survival benefit. We've
16 heard this morning it could really be anywhere from
17 two to six, depending on the literature.

18 And it has been generally FDA's position
19 that new drugs for first line treatment of metastatic
20 breast cancer should demonstrate that this benefit is
21 preserved, that you're not losing a survival benefit
22 by using a new therapy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So at our request, the applicant performed
2 a mini meta analysis looking at doxorubicin in first
3 line treatment of breast cancer and comparing their
4 drug. Again, I don't want to spend a lot of time
5 going through the statistical analysis for this. They
6 did provide a prospective statistical plan for us,
7 look at the literature and do it.

8 The overall odds ratio of doxorubicin to
9 epirubicin for survival was 0.98 with 95 percent
10 confidence intervals of .8 and 1.20. Because the
11 lower bound of the confidence interval here is .8,
12 this has been our general standard for comparability
13 and would suggest that the two treatments are
14 comparable in this situation.

15 This clearly is not a perfect comparison.
16 There's always a problem with publication bias.
17 Positive studies are published more often than
18 negative.

19 Also the difficult of what's actually
20 included in a publication. This was looked at by our
21 statisticians. They noted the same drawbacks and
22 potential problems that the applicant noticed in their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 review of this issue, but also stated that this was
2 about the best analysis that one could expect given
3 the limitations of this kind of analysis.

4 So what are the regulatory issues for
5 metastatic breast cancer with epirubicin? In 013,
6 benefit was measured by time to progression, but not
7 by survival. There is the argument that was discussed
8 this morning that survival could be potentially
9 confounded by the 44 percent crossover rate or I
10 should say subsequent use of anthracycline rate on the
11 CMF arm.

12 In the 010 study, response rate was the
13 only endpoint that was significantly different between
14 the two arms. Why is this the case? Is it that the
15 outcome is somehow sensitive to the schedule? Is it
16 that there needs to be a threshold dose rather than a
17 dose response relationship for epirubicin? And would
18 this be different in the metastatic setting rather
19 than the adjuvant setting where we did see a dose
20 response benefit?

21 So this slide summarizes a few of the
22 questions that you'll be discussing specifically with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regard to the metastatic indication. I don't want to
2 spend time reading them, but I think that they pick up
3 on the discussion this morning and look at the
4 endpoints that were measured in these trials and
5 hopefully we look forward to some interesting
6 discussion by the committee and some input.

7 Be happy to answer any questions if there
8 are ny.

9 CHAIRPERSON DUTCHER: Thank you.

10 Are there questions from the committee for
11 Dr. Honig?

12 Ms. Zook-Fischler.

13 MS. ZOOK FISCHLER: Yeah, I have a very
14 general question. It's not specific, and I guess I
15 could have asked it of the drug company as well.

16 I see some benefits, but I don't see
17 significant ones, and I hear you saying no survival
18 benefit, and I just wonder why the time, money, and
19 energy is being invested in drugs that don't provide
20 the patient with any really significant long term
21 benefits of survival. I mean I see it's comparable to
22 doxorubicin, and I see in some instances they do show

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some benefit, but when we talk about a two month
2 survival rate, it goes back to what we were discussing
3 this morning. It doesn't answer the needs of the
4 patients.

5 So it's just a very general question as to
6 why.

7 CHAIRPERSON DUTCHER: Anybody have a
8 comment for her? What is the niche for this drug?
9 Dr. Miller, do you have any comment?

10 (Laughter.)

11 DR. MILLER: Yeah. Well, I just want to
12 make sure to emphasize again there is a survival
13 benefit with this drug, and the survival benefit is
14 clearly documented in the adjuvant setting in two
15 studies, major studies.

16 And I think what we're saying here in
17 essence is the drug provides tumor control and tumor
18 shrinkage that in the early setting can result -- in
19 the adjuvant setting can result in survival advantage
20 and disease control and in the advanced setting can
21 result in disease control, and that the two, in
22 essence, go together, very symmetrical designs of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 studies which document, I think, the same basic
2 principles for this drug in both early and advanced
3 breast cancer.

4 MS. ZOOK-FISCHLER: I guess my concern has
5 to do with the cardiac toxicity, which for me, you
6 know, it's a risk that I'm not sure for me offsets the
7 benefits. I guess I'm just posing -- I'm just
8 expounding some of my concerns. I'm not sure there
9 are concrete answers, but as a patient and as an
10 advocate, I would love to see those drugs that offer
11 substantial survival benefit, and if they can't offer
12 substantial survival benefit, then significantly less
13 toxic effects, one or the other.

14 But in this case I see it has some
15 survival benefit, but with significant toxic effects.
16 So when you propose it to the average patient, I'm not
17 quite sure how excited about it she can be, and
18 statistically it may be very exciting.

19 CHAIRPERSON DUTCHER: Well, I think one of
20 the comments made by one of the speakers at the open
21 public hearing was that it might provide some economic
22 competition.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Levine, did you want to make a
2 comment?

3 DR. LEVINE: If I may.

4 I'm very sympathetic to the comments that
5 were just made, but nonetheless if you look at the
6 survival benefits demonstrated in adjuvant
7 chemotherapy, if we look at the PETO overview, the
8 meta analyses, which is what's quoted to most patients
9 by physicians, the magnitude of the survival benefit
10 from chemotherapy in general in the adjuvant setting
11 is small or modest, at best, and many of those trials
12 that went into that overview were with CMF.

13 The benefit that you're seeing with this
14 epirubicin containing regimen or regimens is almost of
15 the same magnitude improvement over and above that
16 with CMF. So that when Kathy and I use this in Canada
17 and we explain it very carefully to patients, the
18 risks and the benefits, some people do choose to take
19 the medication or the regimen because the magnitude of
20 the benefit is over and above that which is commonly
21 accepted. So it is an improvement.

22 CHAIRPERSON DUTCHER: Dr. Margolin.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MARGOLIN: Dr. Levine, don't sit down
2 yet.

3 (Laughter.)

4 DR. MARGOLIN: I think that brings up an
5 interesting questions for those of us who are still
6 concerned about what this drug will do that
7 doxorubicin doesn't or not do that dox. does. In
8 Canada you have both drugs available?

9 DR. LEVINE: Yes.

10 DR. MARGOLIN: So when do you decide which
11 drug to use and what do you tell the patient?

12 DR. LEVINE: Madame Chair, can I answer
13 the question?

14 CHAIRPERSON DUTCHER: Please.

15 DR. LEVINE: Well, the first issue is I
16 think I'm addressing the issue first from the early
17 stage breast cancer adjuvant therapy, and the
18 literature as it is does not demonstrate an advantage
19 for CAF or CAF or FAC containing regimens compared to
20 CMF. Not too many trials, mind you, but the SEG study
21 which was only published in abstract form was a
22 negative trial. That's the first point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So the SEF trials are the only ones that
2 are positive in terms of benefit over SMF.

3 The second point is dox. and epi. are not
4 the same in the early setting, in the early disease
5 setting, because the typical Bull and Tormey CAF
6 regimen, which is a day one/day eight schedule exactly
7 like we used, the total milligram -- it's 30
8 milligrams per meter squared day one and day eight.
9 So it's 60 milligrams compared to 100 of epi.

10 If I would try to double the dose of
11 adria. in CAF to make it comparable to the doses of
12 epirubicin in CEF, I could not give it even with
13 growth factor because of the toxicity to the patient.
14 One hundred and twenty milligrams per meter squared
15 per month of adria. or doxorubicin in a day one/day
16 eight regimen, the toxicity because of mucositis and
17 myelosuppression, you couldn't do it. It would be too
18 toxic.

19 So we take the premise that, you know, CAF
20 cannot be substituted for CEF in the adjuvant setting,
21 and when we explain this to patients, we explain that
22 a common standard in Canada had been CMF. We did this

1 national trial in which CEF was superior, and we
2 presented the data like was so eloquently presented by
3 all speaker this afternoon.

4 We do present the toxicity and the
5 problems with heart failure and leukemia, and we, you
6 know, try to help the patient make the right choice
7 for them, a fully informed patient.

8 If they choose to take CMF, not to take
9 CEF, that's okay, and we would offer them CMF or AC or
10 something like that, but that's the way we go about
11 it, and some women who are fully informed choose to
12 take -- actually many choose to take CEF, but some
13 decline.

14 DR. KROOK: Can you put a number on that,
15 three to one, four to one, in your own experience?

16 DR. LEVINE: Am I allowed to do that,
17 Madame Chair?

18 CHAIRPERSON DUTCHER: Sure.

19 DR. LEVINE: In our center, in our center,
20 and in Kathy's center, two large cancer centers that
21 see about 7,000 new cancer patients a year, not all
22 breast, but so they're tertiary, large cancer centers,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in our new node positive premenopausal women, I would
2 estimate that between 60 and 70 percent of Canadian
3 women are opting to receive CEF.

4 DR. KROOK: Of those who choose
5 chemotherapy?

6 DR. LEVINE: Correct. Most premenopausal
7 women, as you know, node positive, would opt for
8 chemotherapy. So it's --

9 CHAIRPERSON DUTCHER: Dr. Temple.

10 DR. TEMPLE: Do I understand that even
11 though everyone would like to see studies against
12 adriamycin, there are no data showing that an
13 adriamycin regimen is better than CMF, and there are
14 data showing that this epirubicin regimen is better
15 than CMF? So that there's a survival advantage over
16 an active survival increasing regimen. That's what
17 you're contending is the benefit here.

18 DR. LEVINE: Yes, sir. There --

19 DR. TEMPLE: That's why someone might
20 choose this you're saying?

21 DR. LEVINE: Yes, that CAF has not been
22 compared to CEF, and the trials of CMF versus CAF have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been negative.

2 CHAIRPERSON DUTCHER: Any other comments?

3 Thank you. Dr. Simon.

4 DR. SIMON: I just wanted a clarification
5 in one of the metastatic trials. What's the
6 distinction between time to progression and time to
7 treatment failure? And if it's in time to treatment
8 failure you're counting other events as events, like
9 withdrawal from study for toxicity, how were they
10 handled in time to progression analysis?

11 DR. HONIG: I'll have to refer that over.
12 Time to treatment failure was not a prospectively
13 defined endpoint. So we at the FDA limited ourselves
14 to time to progression. Time to treatment failure
15 I'll let Dr. Miller discuss. That came up afterwards.

16 DR. MILLER: Well, we used what we
17 consider a fairly standard approach in that for
18 patients to general progression, at times general
19 progression was censored if they went off study or
20 there wasn't an ability to assess progression. In
21 patients in the case of time to treatment failure,
22 patients who discontinued due to death, to toxicity,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 loss to follow-up -- I'm sorry. Just death and
2 toxicity, those sorts of things would constitute
3 failure, as well as progression would be considered
4 failed. So it's a matter of censoring.

5 DR. SIMON: I would just like to say I
6 think censoring patients who die or go off study
7 because of toxicity is a very questionable thing to
8 do. I would actually favor the time to treatment
9 failure endpoint because censoring the others, that
10 their subsequent prognosis would be no different than
11 had they not -- that they would be sort of
12 representative patients, I think, is very
13 questionable.

14 DR. MILLER: But I would want to emphasize
15 that we did analyze TTF for that very reason in these
16 studies and did show significant benefits in that
17 endpoint.

18 DR. SIMON: Well, with time to treatment
19 failure, the difference was between -- the median was
20 five months to 6.2 months.

21 DR. MILLER: Yeah.

22 DR. KROOK: Turn this on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Following this morning's discussion, I
2 take for granted that those people who were on the
3 metastatic studies were basically asymptomatic. As I
4 look at it, they were all performance status of zero
5 to one.

6 DR. HONIG: That's correct, and I did mean
7 to mention that. There was a nice submission in the
8 NDA, too, that had collected a number of symptoms at
9 baseline, and nearly everybody was scored as grade
10 zero on those selected symptoms.

11 DR. KROOK: As you read the case reports,
12 and I realize the limitations, judgments, you're
13 reading somebody else's, did you get the feeling that
14 the quality -- and we're coming back to this --

15 DR. HONIG: Yes.

16 DR. KROOK: -- the quality changed as the
17 perception, and I realize that when I as a physician
18 in the presence of somebody who is receiving these
19 drugs, it's what I put in the notes. Did you have a
20 perception of improvement of whatever that global
21 assessment is?

22 I realize there's not scales.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HONIG: Right. I mean, it's a good
2 question, and it's a multi-focal issue. I mean, first
3 of all, as you said, most of the patients were
4 asymptomatic at baseline so that a lot of the case
5 report forms simply reflect the fact that they had a
6 fair amount of nausea, vomiting, some mucositis, and
7 ultimately would develop progressive disease and go
8 off.

9 But the case report forms, you know,
10 sometimes you get room for an investigator to write in
11 a lot of additional information, but these case report
12 forms were not designed that way. So they're really
13 check boxes.

14 I mean I think the best you can do overall
15 is to say that most people were asymptomatic in terms
16 of their tumor as best you could sort out, had
17 treatment related effects, and that there did not seem
18 to be excessive dropout by the patients by patient
19 choice.

20 DR. KROOK: And one short question only
21 because it comes up, toxicity. Did those people who
22 had decreased left ventricular ejection fraction, were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these the same people that got heart failure or were
2 they two separate -- in other words, they did
3 correlate?

4 DR. HONIG: Yes, there was overlap.

5 DR. KROOK: Okay.

6 CHAIRPERSON DUTCHER: Thank you.

7 We're going to have one more comment for
8 open public hearing, very briefly. Ms. Fonfa.

9 MS. FONFA: Thank you very much for
10 allowing me to speak.

11 This is not at all to be taken as
12 specifically against this drug. I want to say a sort
13 of global thing.

14 CHAIRPERSON DUTCHER: Name and --

15 MS. FONFA: I'm Ann Fonfa, representing
16 the Annie Appleseed Project, New York City.

17 My perspective is, and I think it was
18 brought out by the word "significant," I don't see
19 significant change in survival, and I don't see
20 significant change in time to disease progression or
21 any other thing, and as a cancer patient, I want to
22 reiterate long term survival is what we care about.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Advances in quality of life, and if it has to be time
2 to disease progression, we want a lot of time, and I
3 don't see that here, and I don't see it on anything
4 that we have.

5 And I want to say that if we don't hold
6 drug companies to very high standards, we get drugs
7 that are only an eensy-teensy (phonetic) bit, and
8 this is the measurement I use, better than what we
9 have.

10 It's no good. We have to get you folks to
11 look a little higher. You're spending millions of
12 dollars, and you're not getting anything that matters
13 to cancer patients, and now it's 30 years later. For
14 me it's personally six and a half years later. I'm
15 very unhappy, and I represent thousands, hundreds of
16 thousands of people who feel the same way.

17 Please, please, aim higher. I beg you.

18 CHAIRPERSON DUTCHER: Thank you. Thank
19 you very much.

20 So we have some issues. We'll go on to
21 the questions at hand. Okay. The first question,
22 talking about the two randomized controlled trials,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for adjuvant therapy in the evidence of axillary node
2 involvement following resection of primary breast
3 cancer, Stage II and III. Study MA-5, randomized, pre
4 and perimenopausal women with lymph node positive
5 breast cancer to receive FEC 120 versus CMF. GFEA-05
6 in women with high risk, node positive, greater than
7 four positive nodes or one to three with ER negative,
8 and Grade 2/3 tumors, and randomize them to receive
9 FEC 100 or FEC 50.

10 The table presents the results of these
11 trials. The actual delivered dose intensity in both
12 trials was about 100 milligrams per meter squared per
13 cycle.

14 Question to the committee: do these
15 randomized trial demonstrate that epirubicin at the
16 planned doses of 100 and 120 milligrams per meter
17 squared in combination with 5 FU and cyclophosphamide
18 is effective for the proposed indication?

19 And that's looking at both relapse free
20 survival and overall survival. Dr. Nerenstone.

21 DR. NERENSTONE: I'm just confused as to
22 which recipe they want us to consider for up front

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701