

sh

1 wants to say anything of concern, let's do it that way, that
2 if there are serious concerns about this, let's discuss
3 them. If there aren't, let's assume that there's consensus
4 on this in the affirmative and we'll go ahead.

5 DR. ANDERSON: Mr. Chairman, I don't really have
6 any concerns. I view this sort of like--this study has been
7 going on for a long time and I sort of view this as walking
8 into a quantum mechanics exam after not having taken the
9 course.

10 I don't disagree. I'm still trying to sort out
11 the issues and define the problem. So I would like to not
12 vote on any issue but rather to express my confidence in the
13 people who have been doing this, studying this for all these
14 years.

15 So that my maybe abstinence from voting, if we
16 vote, is not to be construed as disagreeing with it but not
17 having enough information to make an intelligent judgment
18 about this.

19 DR. BYRN: Other comments?

20 [No response.]

21 DR. BYRN: Why don't we go ahead with the general
22 idea without any vote that there's an affirmative feeling on
23 the committee on discussion topic 1? Let's discuss the
24 other topics and then at the end let's come back and we'll
25 go over discussion topic 1 and we'll determine whether all

1 the provisos we put on it through the rest of the day make
2 people less comfortable or more comfortable.

3 Okay, so let's go ahead with Roger's scenario that
4 was presented, Kim, just the next transparency, which
5 was--and maybe Roger could walk us through that as a
6 hypothetical. This would be a hypothetical study?

7 DR. WILLIAMS: Well, I think the committee now is
8 going to get into one of the tougher questions and let me
9 see if I can explain it this way.

10 I call it what's in the box? And what's in the
11 box would be what the agency would recommend in terms of
12 some drug products that would be recommended to have
13 replicate study designs.

14 So Kimberly, I've got some graphics and Steve, if
15 they help the committee, I'll put them up quickly. And if
16 they don't help the committee, you can take them down real
17 fast.

18 Now I would say this is the box that's recommended
19 by the agency in the general guidance that Vinod talked
20 about. The way the agency conceived it was sort of put
21 everything in the box and then take some things out.

22 Now as you can see, we took a lot out. We took
23 out long half-life drugs, anything that needed a steady
24 state study, anything that had a safety or blood concern,
25 anything that was subject to BCS Class I, Biopharmacy

1 Classification System Class I, which I think we're
2 estimating now is about 10 percent of all the drug products.
3 Non-oral dose forms. What's that last one?

4 Oh, we wanted to take out particularly for
5 pioneers anything that wasn't related to the pivotal study
6 to the to-be-marketed dose form. So we would never want to
7 say to a pioneer that some of those early studies that you
8 do to optimize your formulation would be subject to
9 individual bioequivalence.

10 Food effects is off the table. And then we wanted
11 to give--you know, all of these things are recommendations
12 so any sponsor who wanted to come in and talk to us about
13 why they didn't think they needed to do a replicate study
14 design would certainly be appropriate.

15 Now I think this should be clear. We started with
16 everything and then we take a lot out.

17 Now the next one sort of starts from a different
18 thing, which is that the box is empty and you put certain
19 things in it. I think here now I tried to capture the
20 thought that Les spoke to from the expert panel, which is
21 that you put certain things in the box. And some other
22 things that you could think about putting in that box are
23 listed there in terms of the Biopharmaceutical Classification
24 System II, III and IV.

25 There's one in there that speaks to that root mean

1 square error that I talked about earlier today, that if you
2 do a replicate study and it's less than .15, you'd be okay.
3 There may be some other things that could be put in the box.

4 Now the last overhead actually captures both
5 thoughts, where now we say we're putting things in the box
6 and we're taking things out of the box.

7 Now Steve, I appreciate that this may not be
8 helpful but I hope it helps the committee begin the
9 discussion.

10 DR. BYRN: Let's leave this up and let me ask Les
11 Benet to--as I understand it, Les, your committee put in the
12 box modified release drugs, right?

13 DR. BENET: That's correct.

14 DR. BYRN: Did you not put in highly variable IR
15 drugs?

16 DR. BENET: That's correct. We could not reach
17 consensus because the only category where the expert panel
18 could reach consensus was on modified release drugs.

19 And let me come back to the point raised in
20 question number 1 because there we expected you have a
21 rationale for seeing a potential subject-by-formulation
22 difference. There is a complete rationale in modified
23 release drugs.

24 So we felt that that was the one category we all
25 agreed on, but we also said in our second recommendation we

1 would like to see these highly variable IR drugs carried out
2 that way but we cannot recommend that it be required by the
3 agency.

4 DR. BYRN: Am I to understand, too, that by
5 implication, your panel answered affirmatively to the first
6 question?

7 DR. BENET: Oh, yes. My panel is 100 percent for
8 topic number 1 and feels in answer to everything else here,
9 you know, that this will work, that modified release
10 products should be the stalking horse to provide the kinds
11 of information that would be useful to the scientific
12 community in learning whether this would be something that
13 would be useful.

14 And I think my committee would also say, in answer
15 to the rollback question, if it's not useful, if we come out
16 with all that kind of information and there's nothing there,
17 you stop it.

18 DR. BYRN: Okay. Now you didn't put in also--your
19 committee did not put in BCS Class II, III, IV drugs?

20 DR. BENET: That's correct.

21 DR. BYRN: You specifically discussed those and
22 didn't think they should be in there?

23 DR. BENET: No, we could not reach consensus. We
24 specifically discussed lots of things but we could not reach
25 consensus.

1 DR. BYRN: Okay. And what about the RMSE 0.15?

2 DR. BENET: That's something that you have to
3 actually run the study to find out in the first place.

4 DR. BYRN: Okay. So I think what we ought to
5 discuss is among ourselves now it's clear that we have an
6 expert panel that is favoring modified release drugs in the
7 box, everything else out of the box.

8 I think we should discuss first whether we think
9 highly variable IR drugs should be in the box and then these
10 other issues.

11 Yes, Arthur?

12 DR. GOLDBERG: I have a question for
13 clarification. Does the agency believe the data that we saw
14 this morning on the Levothyroxine is a subject-by-
15 formulation interaction?

16 And the other question, does the data we saw this
17 morning on the sorbitol in Ranitidine, was that a subject-
18 by-formulation interaction?

19 MR. HUSSAIN: With respect to sorbitol--

20 DR. WILLIAMS: This is Ajaz--

21 MR. HUSSAIN: Ajaz Hussain, FDA.

22 With respect to sorbitol, our hypothesis was that
23 sorbitol would tend to decrease radiant extended absorption
24 of a low permeable drug like Ranitidine and sucrose might
25 help improve that.

1 So we're in the process of testing that hypothesis
2 and hope by the end of next month we'll have a complete
3 analysis. Until then I can't comment on that.

4 DR. GOLDBERG: Let's assume that the data you had
5 now stands. You don't do anything else. Would that be
6 considered a subject-by-formulation interaction?

7 MR. HUSSAIN: No, not at this point, no.

8 DR. GOLDBERG: And what about the Levothyroxine?

9 MR. HUSSAIN: Levothyroxine, I'm not fully
10 familiar with that but based on the differences in
11 dissolution, I think there were differences so I'd rather
12 not comment on that.

13 DR. BYRN: Bill, can you comment on that?

14 DR. BARR: Sure, I'll be glad to.

15 DR. BYRN: Introduce yourself.

16 DR. BARR: Bill Barr, Medical College of Virginia,
17 the individual who presented the data on the Levothyroxine.

18 In this, to me it's clearly subject-treatment
19 interaction. If you have people who have a long transit
20 time, there will not be a difference between these two
21 formulations. That was the majority of individuals.

22 On the other hand, if you do have people who have
23 a short transit time, you will have differences between
24 those two formulations such that it would be clinically
25 relevant. And I think that meets all the qualifications

1 that we've talked about in terms of the subject-treatment
2 interaction if there indeed are physiological variables that
3 will make a difference between individuals in the response
4 to two different formulations.

5 I think that is the definition that we've used, so
6 I think it fits those criteria.

7 Now one could argue that had you simply looked at
8 the in vitro dissolution, you might have been able to pick
9 that up but the truth is nobody did.

10 DR. BYRN: Kathleen?

11 DR. LAMBORN: Actually that was coming very close
12 to my question. My question was would some of the other
13 measures that are currently required to get bioequivalence
14 have detected that without the requirements of actually
15 clinically looking at subject-by-formulation interaction?

16 DR. BARR: As I commented, if you looked at the in
17 vitro now retrospectively and said yes, with this new
18 information we have we should probably not let products on
19 that way and call them bioequivalent, I think that you would
20 pick that up. But the point is no one did without these
21 kinds of data.

22 And furthermore, there were two studies that I
23 know that were identical to this that people didn't pick it
24 up simply because they weren't looking for it. The data
25 were identical. They saw the same interaction in studies

1 that were done almost identically that were submitted to the
2 FDA and they thought they simply were outliers, although the
3 mechanisms seemed to be exactly the same with the same
4 product.

5 DR. BYRN: Now Robert's going to ask a question
6 but Levothyroxine is an considered an IR product?

7 DR. BARR: Yes, very much so. Well, I'm not sure;
8 if you looked at the reference drug that we looked at, you
9 may disagree with that based upon the solubility. But the
10 intent, I think--there are three generics that we've looked
11 at the in vitro and they were virtually all the same, very
12 much IR drugs.

13 DR. BYRN: So the question, the issue and why
14 we're talking about Levothyroxine is that that would be a
15 highly variable IR drug and we're trying to decide whether
16 to put it in or out.

17 I think Bob is first and then--

18 DR. BARR: Let me just comment that I'm not sure
19 it would be highly variable if you look at a specific
20 product. I think that in general, the drug itself might be
21 considered to be an NTI drug but not necessarily a highly
22 variable drug.

23 DR. BYRN: Okay. Now is it variable from product
24 to product or it's not even highly variable in that regard?

25 DR. BARR: I don't remember the exact amounts but

1 we did look at intrasubject variability in terms of this one
2 term and we found that I think the intrasubject variability
3 for the reference drug was something like about .3 and the
4 intrasubject variability for the generic drugs was something
5 like about .1 or .2.

6 So they would not be considered highly variable, I
7 think, for the generic drugs, which performed better than
8 the reference drug in this case.

9 DR. BYRN: Okay.

10 DR. BRANCH: Just before leaving that project,
11 Bill, I had two questions of clarification.

12 The first was did you actually measure intestinal
13 transit times in these people?

14 DR. BARR: No, we didn't. We plan to do that.

15 DR. BRANCH: So this is speculation in terms of
16 the transit times?

17 DR. BARR: That is correct.

18 DR. BRANCH: And secondly, in those people who had
19 an elevated TSH level, did you actually look and see whether
20 those people had lower thyroxine levels, because you were
21 presenting mean data, not the individualized data and you're
22 really focussing on the outliers. Was there any
23 relationship between the actual plasma levels and the TSH
24 response?

25 DR. BARR: They did look a little bit lower in

1 those cases in terms if you looked at the combined sum of
2 those. The problem, of course, is that this is a steady
3 state level that we're viewing, whereas the TSH is really
4 that day that it picked up. So it would be two successive
5 days.

6 So it's really much more sensitive than that mean
7 level that we're looking at steady state. I think we
8 probably would have seen greater differences had we done
9 this as a single dose study perhaps.

10 DR. BRANCH: So as a sort of general comment, it
11 would be really helpful as examples are identified where a
12 subject-by-formulation interaction is being imputed is
13 trying to find the mechanisms and whether they have clinical
14 consequences.

15 DR. BARR: We certainly agree. Unfortunately,
16 this was a funded study, that these results just happened to
17 come out and there really are no funds really to go back and
18 do those kinds of studies that I'm aware of. That's one of
19 the difficulties we have in terms of really getting at some
20 of the more concrete mechanistic implications.

21 DR. BYRN: Roger?

22 DR. WILLIAMS: I don't want to interrupt the
23 committee discussion.

24 DR. BYRN: Okay, Mary? Mary and then Judy.

25 DR. BERG: Dr. Barr, sorry to keep you up there so

1 long.

2 In regard to the TSH that you showed this morning,
3 there is a question of circadian rhythm with TSH levels; in
4 other words, the chronobiology. And were all those samples
5 taken roughly at the same time? Because we know that TSH
6 peaks literally after midnight. So I was curious, since you
7 showed mean data--

8 DR. BARR: They were taken at exactly the same
9 time. All the replicate samples--

10 DR. BERG: Because that's actually a very
11 important point to note when doing that kind of study,
12 because that is a biomarker then, that TSH really becomes,
13 and you want to know in relationship to when the dose was
14 taken, your sampling of the blood samples--

15 DR. BARR: Each of those were, according to
16 protocol, taken exactly plus or minus one minute apart from
17 each other.

18 DR. BERG: But I'm talking about with regard to
19 the timing as such and taking into account the chronobiology
20 of the TSH itself.

21 DR. BARR: All I can tell you is that each TSH
22 level was taken at exactly the same time for each individual
23 and the same time for the same individual each time it was
24 taken.

25 DR. BYRN: Judy?

1 DR. BOEHLERT: Don't go away. Maybe I'm missing
2 something here but it seems to me we're perhaps
3 overinterpreting this data because when I looked at the
4 dissolution data, these products do indeed behave in very
5 different manners. And what we probably have is a
6 nondiscriminating dissolution test. If, indeed, the
7 products had been equivalent under dissolution conditions
8 then maybe you would not have seen a subject formulation
9 difference.

10 And so we're saying it's a subject formulation
11 difference when I really think it's a basic difference in
12 the products themselves, in the way they dissolve.

13 DR. BARR: Well, I think it's how you define
14 subject-treatment interaction. What we see is a true
15 subject-treatment interaction. Whether or not it should be
16 there in terms of other regulatory things like in vitro
17 dissolution is a second question but this is a formulation
18 which behaves basically the same or gives the same
19 bioavailability in most cases, but because of the
20 formulation differences between this, which in this case can
21 be identified as being a slower dissolution--at least we
22 presume it can be--then that does produce an interaction in
23 the subset.

24 So it fits the criteria. How we might change it?
25 It may not take a bioavailability study to change that but

1 point is it took one in order to get that information. And
2 I think that's where we are today and that's why I strongly
3 support going ahead and looking at this.

4 I agree very much with Dr. Sheiner that if we
5 don't do the study, if we don't look at these things, we'll
6 never see them. The reason we've never seen these, we've
7 done two studies. I went back in the literature for
8 Cyclosporine looking for these and they're there. There's
9 one study that I mentioned to you that people had looked at
10 and thrown it out because they didn't believe it was there.

11 Until we examine this from a scientific method,
12 until we go out and prospectively look for these, we're not
13 going to know whether they're there. And to throw this out
14 now and say we don't know they're there; therefore we won't
15 look--to me, just doesn't make sense.

16 So I think this is a good example of having found
17 information simply because we looked.

18 DR. LAMBORN: I have another question for
19 clarification. We heard this morning from Dr. Lesko about
20 some instances when you would expect to have subject-by-
21 formulation interactions and instances when you wouldn't and
22 I can't do a terribly good translation between those factors
23 and that list.

24 So as we move forward to trying to at least get
25 some sort of consensus, could somebody help me a little bit

1 with how that set of risk factors fits with that?

2 DR. BYRN: Okay, Ajaz?

3 MR. HUSSAIN: Yes. Let me go back to what Larry
4 presented. In a sense, the likelihood of seeing a subject-
5 by-formulation interaction would increase when there are
6 multiple risk factors present--immediate dosage forms.

7 And if you look at Class II drugs, BCS Class II
8 drugs, they exhibit low solubility and that would be a risk
9 factor. And Class III drugs are low permeability drugs.
10 Class IV are low solubility and low permeability.

11 So in a sense, there's the hierarchy of increasing
12 "risk variables" that we think might lead to subject-by-
13 formulation interaction. Modified release would be an
14 additional set of factors.

15 I just wanted to add with BCS Class I also, what
16 we have recommended is for rapidly dissolving Class I drugs,
17 we are suggesting bioavailables, not for all BCS Class I
18 drugs. That's a clarification.

19 DR. BYRN: Ajaz, would you recommend that we put
20 those in the box, BCS Class II, BCS Class III and BCS Class
21 IV? You don't have to answer it if you don't want to.
22 That's information for the committee. Your response is
23 important information.

24 MR. HUSSAIN: When we were working on BCS and
25 examined biowaivers for Class I drugs, we were not willing

1 to assume lack of subject-by-formulation interaction, even
2 for BCS Class I drugs when dissolution rate was either slow
3 or slightly different.

4 For example, Levothyroxine, if that was a Class I
5 drug, that would not escape the stringent dissolution
6 requirement that we have placed.

7 So the willingness to assume that there's no
8 subject-by-formulation interaction, we were not willing to
9 do that for those classes of products, and for many reasons
10 that Larry pointed out.

11 DR. BYRN: Roger?

12 DR. WILLIAMS: Sorry, Steve. I'd just like to add
13 to some of the comments.

14 First of all, you mentioned the Tennessee study
15 with sorbitol and sucrose. We did do that as a replicate
16 study in a broader population than just healthy males, so we
17 will have some information as to whether there is a subject-
18 by-formulation interaction from that study. We don't have
19 it yet.

20 Second of all, maybe I'd just like to add to what
21 was thought there in terms of Bob Branch's question. If we
22 wanted to roll forward into an expansion of waiver of in
23 vivo studies, we could probably only do it by getting the
24 data for those question marks that are in the box in terms
25 of BCS II, III and IV. We will never probably waive an in

1 vivo study for a modified release drug product.

2 Another comment to state is modified release drug
3 products are a fairly small fraction of the universe. I'm
4 going to guesstimate that if we get 200 bioequivalence
5 studies in the course of a year in the Office of Generic
6 Drugs, only about 10 percent of those are modified release.
7 So it's really a very small universe.

8 Highly variable drugs, if I may add a final
9 comment, if you think about it, it really relates, I think,
10 to question 3. Highly variable drugs and the possibility of
11 scaling is sort of a benefit to industry. And as a matter
12 of fact, I think that we have the further thought that a
13 subject-by-formulation interaction for a highly variable
14 drug isn't such a public health problem. I think we've had
15 that discussion internally. Because of the marked widening
16 of the goalposts, it just doesn't matter.

17 So if we want to think about carrots and sticks, I
18 would put the highly variable in the carrot category with
19 the understanding that we use the individual criterion to
20 allow market access, which I believe is question 3.

21 DR. BYRN: So Roger, you're in favor of putting
22 in--I think, to translate what I thought I heard you say,
23 you're favoring putting in BCS Class II, III and IV, say, to
24 increase the number of compounds in the study, and the
25 highly variable is more the carrot for industry than it is

1 critical for this study.

2 DR. WILLIAMS: If you allow use of the criterion.
3 I'm afraid to answer that question without looking at
4 Kimberly. Can I give an opinion as an agency?

5 MS. TOPPER: They can take it for what it's worth.

6 DR. WILLIAMS: Well, I like the way the box is
7 shaping up. Let me put it that way.

8 DR. BYRN: Oh, we need the box because I think our
9 main question or one of our main questions is what's going
10 to be in the box. I think we need that.

11 I think we've heard from all the experts pretty
12 well. Are there any questions? Let's discuss among
13 ourselves what goes in the box.

14 I think we heard from Les Benet, just to
15 summarize, that modified release, that the expert panel
16 reached consensus that modified release should be in the box
17 but nothing else.

18 Then we heard from Roger that BCS Class II, III
19 and IV, it would be helpful if those were in the box to
20 increase the number of compounds in the box and also I guess
21 there was a carrot there of providing some information that
22 might lead to regulatory relief. So that would be an
23 advantage.

24 And then highly variable IR drugs is a lower
25 priority.

1 So what's the sense of the committee? We don't
2 have to decide this now. We can go on to some other topics
3 but that's the question.

4 Yes, Bob?

5 DR. BRANCH: I was under the impression that the
6 expert committee had advocated recommending or supporting
7 the other groups of drugs being done but on a more voluntary
8 basis.

9 So I guess my question--is that a further option
10 that we could adopt the expert opinion approach?

11 DR. BYRN: Les?

12 DR. BENET: That is correct, Bob. It was the
13 recommendation, a unanimous recommendation of the panel that
14 we encourage those kinds of studies but that it not be a
15 regulatory requirement.

16 DR. BYRN: Is there reason to believe that there
17 would be substantial numbers of those put into the study?

18 DR. BENET: Well, we are starting to see studies
19 now. A few years ago we had no data. So now we have the
20 FDA datasets. We were presented in Montreal with a lot of
21 additional interesting studies.

22 So I think we are going to see that kind of data.
23 I think if we have a regulatory requirement we're going to
24 see more. I think we're going to see it because scientists
25 are interested in it and people want to know those

1 questions. And in some cases it is viewed as being the kind
2 of information that would be very useful to a company to
3 have, so from their own point of view, they will want to
4 know this.

5 DR. BYRN: Roger, could I just ask historically if
6 there's a voluntary request for data by the agency, does
7 that usually materialize?

8 DR. WILLIAMS: I can't say that's been my
9 experience, but maybe I can clarify for the committee.

10 First of all, none of these things that we're
11 talking about are requirements. Requirements have to be
12 expressed in regulations. All our guidances are
13 recommendations. We sort of add teeth to a recommendation
14 by saying if you want to come in with an alternate approach,
15 please do so with justification.

16 But I think there could be an element that we
17 would do via some clever wording in the guidance that would
18 make it a little more voluntary for some of these drug
19 substances.

20 DR. BYRN: Go ahead, Judy.

21 DR. BOEHLERT: I just ask a question that perhaps
22 has been answered but these guidances, do they remain draft
23 during this two-year interim period? It would seem to me a
24 draft guidance is even more of a recommendation than a real
25 guidance.

1 DR. WILLIAMS: Judy, I don't think we can do that.
2 I think we have to finalize them because the reality is you
3 don't have to follow a draft guidance at all.

4 But I do think the recommendation could be
5 something like if you want to look at these, we recommend it
6 but if you choose to deviate, you don't have to submit a
7 justification. I've come to some nimble words there that I
8 would have to check with our lawyers but I think we're
9 getting to something.

10 DR. BYRN: I think what Roger's proposing is that
11 we would put modified release in the box and we would use
12 appropriate wording to strongly encourage the BCS Class II,
13 III and IV to be in the box.

14 Is there further discussion on the committee about
15 that? Kathleen?

16 DR. LAMBORN: I'm sorry but I'd like to go back to
17 just a restatement of the issue of, in a sense, the goal of
18 the experiment. Obviously more data is good. I mean as
19 sort of the statistician, I have to say more data is good.

20 But do you anticipate when you complete the two-
21 year period looking at the number of cases in which you saw
22 a certain characteristic or what do you anticipate beyond
23 just "Let's look at the data; what's the focus of what you
24 want to learn from this experiment?" Can you define it a
25 little bit better?

1 DR. WILLIAMS: Again Kathleen, an excellent
2 question and I think we're going to get into that very
3 clearly in topic 6. But let me say for now, let's say we
4 saw in some of these replicate datasets large subject-by-
5 formulation interactions. I think we would start to engage
6 in the process that you saw Larry present, looking at
7 excipients, looking at subject population, looking at drug
8 substances--I think there's another factor in there--and see
9 if we can come to a hypothesis as to what's causing it.

10 Some of the things we might also do is just to see
11 if we could replicate the observation. You know, did we see
12 it by chance or is it really there?

13 So I'm getting to sort of what I'd like to talk
14 about in topic 6. I think that's what you're asking about.

15 DR. LAMBORN: If that's what you meant by further
16 research programs. The thing I'm having trouble with is if
17 the goal is to identify cases where you have subject-by-
18 formulation interaction and then look for the
19 characteristics that go with that, then you have to assume
20 that there are going to be enough cases--you know, you don't
21 gain information--well, you gain some information, for
22 instance, where you have no subject-by-formulation
23 interaction but again if you have instances where you have
24 very little likelihood of it, the burden of the additional
25 information relative to what you gain from it is less.

1 And then linked to that also is the question,
2 which has come in a variety of ways, which is if, in fact,
3 this interaction is going to be related with subgroups, what
4 do we know about the likelihood that you're actually going
5 to be able to identify the interactions, given the few
6 number of individuals that you're planning to have per
7 study.

8 So again it goes to what are we going to hope to
9 get when we get done, realistically? I mean we can hope for
10 anything but what we realistically expect to be able to get
11 when we get done.

12 DR. WILLIAMS: Well, Kathleen, you're asking some
13 terrific questions, which I always say are covered a little
14 bit later on for some of them. And I think we're getting
15 right into topic area 6.

16 You know, in some ways we're talking about an
17 observational period that is not a controlled public health
18 experiment. I mean if I had endless resources, I'd like to
19 design formulations, you know, hundreds of different
20 formulations in hundreds of different drug substances and do
21 replicate studies in broad populations. I can't do that.

22 So the reality is we're trying a perhaps not quite
23 as optimal path from a clinical trial, a statistician
24 approach, to say over a multi-year period we may get 400
25 replicate studies, which would be maybe eight times what we

1 have now. We would be looking more in the general
2 population or even specific populations than what we do now.
3 We would have a broad range of excipients.

4 And I guess it goes back to some of what Bill and
5 Lewis said, you know, if you don't look, you won't find.

6 On the other hand, I'm sensitive to what industry
7 calls--what do they call it?--a data-dredging? Fishing
8 expedition.

9 So I think we're sort of a situation: can we do
10 this as a public health agency? And I think if you think
11 about it in a very interesting way, it's a very novel
12 experiment. It's certainly something we never did for
13 efficacy or safety. We just did it.

14 DR. BYRN: One idea I had, Roger, when you were
15 talking about the protocol, if protocols were written to
16 this level of detail and then discussed with the expert
17 panel, it would be a way to define--address some of these
18 questions that Kathleen is--I'm not sure we can address them
19 but if a protocol were written by the agency with some flow
20 charts and decision trees in it and then the expert panel
21 reviewed it, then that may be a way to provide some
22 assurance that it wasn't a total fishing expedition, if you
23 will.

24 DR. WILLIAMS: And Steve, I don't have to point
25 out to you that we have the Product Quality Research

1 Institute, which is also a forum for some of this research.

2 DR. BYRN: In fact, it occurred to me that that
3 could be a very nice forum for some of this research.

4 Okay, so what's the feeling? We're still trying
5 to--the general idea, I think, we're discussing is that
6 modified release, as Kimberly has checked, goes in and then
7 again, just to repeat, BCS Class II, III and IV stay in,
8 with some type of wording that doesn't require them to be in
9 but strongly encourages their inclusion.

10 Do you want to go on to the next topic? So that
11 seems reasonable.

12 DR. WILLIAMS: Jeff is looking perplexed. I can
13 do it, Jeff. Give me a chance.

14 DR. BYRN: Okay Roger, do you want to explain this
15 question, just introduce this question, explain it for us?

16 DR. WILLIAMS: I don't think there was an
17 accompanying overhead on this. Was there, Kimberly? I
18 think we go right into the next topic area.

19 Oh, yes. Now I actually think this is a very
20 critical public health question, which is could we use the
21 individual criterion to allow market access? And in the
22 agency guidance that Vinod spoke to, the General Guidance
23 for Orally Administered Drug Products, if you look at number
24 3 you'll see that we would say to a sponsor, "If you specify
25 in your bioequivalence protocol which criterion you would

1 like to use, you could use either an average or individual
2 criterion to allow market access."

3 And if we allow the individual criterion, we would
4 allow scaling, because that's an elemental part of it, and
5 it really refers back to the carrot that I offered for
6 highly variable drugs. If you really think you have a
7 highly variable drug and you specify in your protocol, we
8 would allow market access with scaling.

9 And I will emphasize for the committee these
10 boundaries can get quite wide--you saw that--because of the
11 variability of the reference. And it also relates to your
12 faith in this criterion to perform, if you will, adequately.

13 It also leads into the further question, which is
14 Dr. Beice's question about constraining the means, but I
15 think we wanted to start with this one first because if you
16 said no, there's no point in talking about constraining the
17 means.

18 DR. BYRN: Yes, Robert?

19 DR. BRANCH: I basically have a problem with the
20 issue of this total study design. The focus right now has
21 been on saying you're going to make arbitrary decisions
22 using one model or another model and you're going to focus
23 that back to try and then understand mechanisms.

24 But the public health issue is do either of these
25 make a difference to people? If you're introducing this as

1 an experiment, this is the only time you will ever have an
2 opportunity to find out if there is any difference in
3 outcome.

4 So I would urge that some thought be given to this
5 question of the overall study design because you're going to
6 have some drugs that are going to be passed on one, failed
7 on another criterion, other drugs that are going to be
8 failed on the first one and passed on the second, and which
9 is right? Which is actually in the population's best
10 interest?

11 If you are currently working under one procedure,
12 it would seem to me that if you maintain that and don't
13 change the rules in terms of the approval criteria, you will
14 at least be able to assess what's the impact of those drugs
15 that fail these new, more expensive higher criteria. Does
16 it really matter?

17 This will involve some additional study and focus,
18 and that's part of the nature of the research, but it seems
19 to me that you really need to clearly define your experiment
20 before you start-- does society use your measures that are
21 coming out of your experiment to actually make regulatory
22 decisions? I would feel uncomfortable in trying to do that
23 without a very clear clarification of what you're trying to
24 do.

25 DR. BYRN: Is Larry Gould here?

1 DR. GOULD: Oh, yes.

2 DR. BYRN: Larry, could you put up that slide that
3 had the broad reference and then the two narrow--you know
4 the one I'm talking about?

5 DR. GOULD: Which one did you want?

6 DR. BYRN: The one that had the narrow--the broad
7 reference and then two narrow--doesn't that directly relate
8 to this question? If you could put that up and then let me
9 ask you a question or two about it. I think it might relate
10 to this.

11 In this bottom case, is this a case where you
12 could have--the reference would be the reference compound.

13 DR. GOULD: Yes, the pioneer compound.

14 DR. BYRN: And then two bioequivalent--under this
15 scenario you could have two bioequivalent generic products.

16 DR. GOULD: Yes.

17 DR. BYRN: That were not the same or close to the
18 same but both were ruled bioequivalent to the reference. Is
19 that correct?

20 DR. GOULD: Yes. As I pointed out when I gave the
21 presentation, I exaggerated for the sake of making a point
22 but the point remains, and that's inherent in how the
23 criteria are defined.

24 Now whether that's a likely scenario or not is not
25 something that I know enough about data that have been

1 submitted to tell you that. But nonetheless, it's in
2 principle possible.

3 DR. BYRN: Obviously if that were possible, that
4 would be a concern with allowing IBE data to approve drug
5 products, right?

6 DR. GOULD: Yes. But that's also a point that
7 Laszlo Endrenyi has made. The problem is this trade-off.

8 MR. SHEINER: You could have that now and not know
9 it.

10 DR. GOULD: True.

11 DR. BYRN: That was Lew--

12 MR. SHEINER: Lew Sheiner.

13 DR. BYRN: And that point's well taken. I think I
14 was just responding to Robert's concern that if we answered
15 affirmatively to discussion topic 3 without knowing more
16 about the situation, we may be getting ourselves into
17 trouble. Is that your concern, Robert?

18 DR. BRANCH: I guess so. I'm also trying to step
19 back a bit, go back one step and say one of the key elements
20 of the whole interaction between the generic industry and
21 the FDA is to get public confidence in the system. And the
22 whole of this exercise, as I see it, is to try and improve
23 that level of confidence or to go through to an improved
24 level of confidence.

25 We had a statement earlier on about the fact that

1 in the epilepsy population there may be a perception that
2 generic equivalence is not there for every patient, but
3 there's very little solid data to support it.

4 So it seems to me that if we're going to be
5 looking for the issue, we're going to take a much harder
6 look at our current levels or current approaches to
7 bioequivalence--appropriate? Should they be modified?
8 Should they be individualized for narrow therapeutic drugs?
9 Should they be tightened, which is essentially what this
10 individualized is, is tightening the regulatory requirement.

11 Out of that experience is likely to come some
12 observations. Now the question comes back, and it's been
13 raised repeatedly: What's the relevance of these
14 observations? Do you set your criteria at 10 percent, 5
15 percent, 20 percent, 30 percent? Should it be
16 individualized to the individual drug, depending on the
17 efficacy-safety profile of that drug?

18 It's not a simple story but it seems that before
19 we go into applying the regulatory rules of a new approach,
20 we should at least look and say does it make sense when you
21 start seeing the data?

22 So I'm just urging us not to use information that
23 is being analyzed in the form of an experiment as a
24 regulatory tool until we know what it means.

25 DR. BYRN: Other comments by the committee?

1 Actually, I think discussion topic 4 is addressing what I
2 was just asking.

3 Other comments by the committee?

4 [No response.]

5 DR. BYRN: Okay, it doesn't sound like there's a
6 lot of support. Are there some concerns on topic 3?

7 [No response.]

8 DR. BYRN: Let's go on to topic 4. I think,
9 Roger, this was the question I was asking, isn't it, in
10 effect?

11 DR. WILLIAMS: Well, it seems to me I heard some
12 reluctance on the part of the committee to allow market
13 access.

14 DR. BYRN: Right.

15 DR. WILLIAMS: If that's the case, I think you can
16 skip this question.

17 DR. BYRN: This is a moot point.

18 Is there anybody that would like to discuss this?
19 I mean my take from this is that it's exactly what we were
20 talking about. If your reference product was broad and your
21 new product was very narrow, it could have a mean a long
22 distance from the mean of the reference product and get
23 approved, and this question would limit that difference. Is
24 that what this was?

25 DR. WILLIAMS: Yes. Maybe I could revisit this a

1 little bit, Steve, because I'd like to say to the committee
2 this, that I think their comments were very careful and very
3 conservative and I certainly agree with Bob that we need to
4 look at the protocol and exactly what we intend to do before
5 we make a decision about market access.

6 But I will say that imbedded in that general
7 guidance that Vinod spoke to is the thought that we might
8 use the criterion to allow market access, say, to avoid a
9 steady state study for a modified release product.

10 And I guess what I'd like to do is note the
11 committee's conservative and thoughtful approach but also
12 allow that further thinking in the agency might say that
13 under circumstances we could use it.

14 DR. BYRN: Well, there's always an option, you
15 know, as we've done, to bring issues back to the committee
16 as more data's available and so on.

17 DR. WILLIAMS: And we could do that, too,
18 certainly.

19 DR. BYRN: Okay, let's go to 5.

20 DR. WILLIAMS: Now 5, I think, comes back to--I
21 want to give Bob credit for the question and I'm sure
22 Kathleen was thinking of it, too, and perhaps all the
23 committee is--you know, if we really just study 12 subjects,
24 what are we going to see?

25 I think the expert panel was a bit more thoughtful

1 in that when they recommended inclusion of certain numbers
2 of people, like both genders and the elderly, and I've
3 got--Les left me his overheads if the committee would like
4 to see those. So we would be very interested in what the
5 committee feels about that.

6 DR. BYRN: Kathleen?

7 DR. LAMBORN: I think that it's very important
8 that if this is to be a useful exercise in any way that we,
9 at minimum, ought to try to get some of the key variables
10 that are expected to frequently be related to subject-by-
11 formulation interaction in terms of patient groups included.
12 And I think to just say that the population is welcome to be
13 broader, if you want to still get things through, the
14 logical thing would still be to use a very homogeneous
15 group.

16 So I think the idea of in some ways mandating for
17 some subgroups to be included I think makes sense and I like
18 what the expert panel was suggesting.

19 DR. BYRN: Would the appropriate place for this be
20 in this protocol or would it be in the wording in the
21 guidance?

22 DR. WILLIAMS: Do we have a slide on this?

23 DR. BYRN: Okay, let's put up Les's slide, Les's
24 recommendation.

25 DR. WILLIAMS: Is that Les's slide or our slide?

1 DR. BYRN: Okay, let's put up the FDA's slide.

2 DR. WILLIAMS: Oh, yes. This is the wording from
3 our guidance that is fairly general and without specific
4 stipulations. The expert panel in terms of their modified
5 release was a little bit more specific.

6 So it's a question not only of N, the number, but
7 also the type.

8 DR. LAMBORN: I guess I'm supporting that we
9 should encourage the expert panel recommendation being a
10 little bit more specific. I'm not sure that the general
11 guidance would produce what you would hope to produce in
12 terms of datasets.

13 DR. WILLIAMS: I'd just like to make a public
14 health statement. One of the remarkable things about the
15 United States is the diversity of its population and I think
16 if we look around this room we see that diversity. And I
17 think the concept of including as many types of people as we
18 could is a very interesting thing.

19 And I will also say we don't need 12, 12, 12, 12
20 to get 60 people. We could have 12 elderly, some of whom
21 are women or something like that. Haven't we talked about
22 that within the internal group, Stella, that you can get
23 much information in a matrix sort of way. I'm not saying it
24 right. I'll leave it to the statisticians to say that.

25 DR. BYRN: Okay, Judy?

1 DR. BOEHLERT: I agree that you can probably
2 design studies to get age and gender in there at reasonable
3 levels. How do you deal with the absorption subsets that we
4 heard about this morning? Because that, you don't know
5 going in where that can occur. And then when you're only
6 looking at 12 in a study, you have a good chance of not
7 seeing it because those you don't know going in unless you
8 start screening your study participants for those kinds of
9 factors, like achlorhydrate or transit time or whatever
10 matters.

11 DR. WILLIAMS: Judy, I can't argue the point.
12 Sometimes we've heard people say before the committee like
13 Gehard Levy that the study should be conducted in the
14 patient population for whom the drug is intended. We didn't
15 quite go that far because that seemed especially burdensome.

16 I think whatever we do will be a balancing between
17 burden and--you know, realistic things to do versus the most
18 wonderful things to do.

19 DR. BYRN: Other thoughts of the committee? Yes,
20 go ahead, Sandy. Identify yourself, please.

21 DR. BOLTON: I'm Sandy Bolton. Many affiliations,
22 so I don't want to get into that.

23 I want to mention something about the sample size
24 here. If we're dealing with, let's say, modified release
25 products, we're not talking about 12 subjects anymore, I

1 think in principle, because the variability is generally
2 relatively high.

3 So considering the possibilities of interaction
4 and so on, I think we would be talking of more than 20
5 subjects in a replicate design for modified release
6 products, based on the variability of those products.
7 That's not great, you know, but it's better than 12.

8 So I think that 12 is something we shouldn't be
9 thinking about.

10 DR. WILLIAMS: I think the agency agrees with
11 that, Steve. I think that number 12, we realized as we put
12 it out it was very small.

13 DR. BYRN: Roger, I'm not a statistician but you
14 also pointed out that under this scenario there'd be a large
15 number of studies, so there would be variability in that
16 way, although statistically I'm not sure of the relevance of
17 that.

18 DR. WILLIAMS: I think that's a very good thought,
19 that there may be some kind of meta-analysis that we can do
20 here. Did that scare you, Kathleen? Oh, it scared Walter;
21 I'm sorry.

22 DR. BYRN: That's why I said I'm not a
23 statistician.

24 DR. HAUCK: I wouldn't go so far as to call it a
25 formal meta-analysis but we certainly are proposing that we

1 will do analyses that combine across studies, actually
2 picking up on a proposal that Laszlo Endrenyi had made
3 really that looks at the distribution of estimates across
4 studies and it compares that to what would be expected in
5 the absence of anything going on.

6 Laszlo has pointed out we have to deal with the
7 within-subject variability and we do that. As we presented
8 in Montreal, you also have to deal with the sample size.
9 And then we also need to correct, assuming no change in this
10 policy, we also need to correct for the studies that didn't
11 pass weren't submitted.

12 So there's a bias in the data that we'll have to
13 correct for but it's certainly our intention to do all that
14 and to look then at how the estimates we obtain across
15 whatever the studies are compared to chance expectation.
16 That's not a meta-analysis, I don't think, in a formal
17 sense.

18 DR. LAMBORN: I've been wondering when I was going
19 to get around to putting in my two cents worth, which I've
20 said many times before and Walter just gave me the lead-in.

21 Just for the record I would like to say that I
22 think it's critical that whatever be done be done to
23 encourage the sponsors to, over this interim period, provide
24 failed studies, as well as successful studies if we're going
25 to be able to truly interpret this data. If the only thing

1 that's submitted is the ones which worked, we will miss a
2 major piece and a major ability to learn what the whole
3 thing was intended to do.

4 DR. BYRN: Okay, are there any other comments on
5 item 5?

6 I think to summarize, there seems to be sentiment
7 for the expert panel recommendation, as well as the
8 committee is obviously interested in having a wide
9 representation of diversity.

10 Okay, are we ready for question 6?

11 DR. WILLIAMS: Now this question obviously gets to
12 issues that the committee has brought up several times in
13 the course of discussion this afternoon and to again help
14 the committee, if it does, is a graphic. Everybody knows I
15 like pictures with boxes.

16 Now let me see if I can walk through this very
17 quickly to see if I can create these areas of focus. And
18 some of this was based on comments that we heard in
19 Montreal, as well as from the expert panel.

20 I think this is what we're talking about. Somehow
21 we'll start seeing more replicate studies that will generate
22 hypotheses that will be subject to the kind of mechanistic
23 understanding that Larry gave such a good talk on earlier
24 today. And Larry has created these sort of risk factors in
25 terms of patients, excipients, substance and product.

1 We certainly take PhRMA's point that further
2 modeling and simulations may be needed. We wanted to take
3 into account Larry Gould's suggestions for other criteria
4 and other approaches. There's sort of the concept of an
5 average with scaling criterion which we'd like to explore.
6 So there's that.

7 Before I leave this box I will just say there is
8 also that thought of finding an observation and then
9 repeating a study somehow to see if it is, in fact, a true
10 observation.

11 Now over here we get more into the realm of
12 clinical pharmacology studies. I would say here the thought
13 is if we saw a significant subject-by-formulation
14 interaction, at least in terms of a number, could we take
15 that into the clinic somehow and show that it had clinical
16 meaning? I think that's the intent behind that study.

17 Bob, I would argue that that's a proposal you gave
18 to us a year or two ago at a prior discussion.

19 There is also a goalpost study and I guess one of
20 my dreams in life would be to take a model drug and see if
21 we could build these individual goalposts to yield the kind
22 of data that I would say we usually never see now.

23 And then finally there is study population. I
24 think that merits some discussion in terms of the protocol
25 along the lines of what the committee just said to us, and

1 so we would certainly want to focus on that. I will stop
2 there.

3 DR. BYRN: Okay, comments from committee on this
4 topic?

5 DR. BRANCH: It seems to me as a comment that
6 we've really been evolving over the last few years from
7 looking at populations, going down to special groups,
8 starting to look at special situations in those groups, and
9 that's going through things like drug interactions, disease-
10 drug interactions. Now we're talking about formulation in
11 special groups interactions.

12 I would really endorse this idea that the proof of
13 concept would be done but I would like to introduce the idea
14 that the initial studies have an opportunity for identifying
15 individuals who are apparent outliers. And a huge amount of
16 time and effort can often be saved if that identification
17 process is used to then study the more detailed mechanisms.
18 All the pharmacogenetics is sort of based on that, taking
19 advantage of an opportunity by observation of an outlier.

20 And it would seem to me that somehow linking the
21 replicate studies to the clinical pharmacology component
22 could be very attractive in terms of developing the
23 mechanistic understandings and the hypotheses.

24 DR. BYRN: One thing, Roger. I think this is
25 maybe an outline of the sections of the protocol, in a way,

1 that we've been talking about.

2 DR. WILLIAMS: Which would become text with--

3 DR. BYRN: Right, and would be passed through the
4 expert panel, I guess. Is that consistent with your
5 thinking, Robert?

6 DR. BRANCH: [Nods.]

7 DR. BYRN: Are there other comments by the
8 committee or thoughts on this matter?

9 DR. BRANCH: I've got a question. If we go back
10 to the calcium channel blocker, if we've got gender-specific
11 differences and you've got nonequivalence in that group,
12 what actual regulatory decisions are going to be made about
13 that?

14 DR. WILLIAMS: In what regard?

15 DR. BRANCH: As I was hearing Larry present that,
16 it seemed to me that here was a situation in which you had a
17 generic that has come on the market at which you can never
18 theoretically get equivalent dosages for both genders,
19 unless you create two different formulations for the two
20 different genders.

21 So does that mean that the generic can never
22 replace or compete with the incumbent drug? When you have a
23 special subgroup that handles the drug differently, how can
24 the generic market address this? It's really a dosage
25 modification. Going back to Lew's analogy, it's how much of

1 the yellow or blue packet do you put into your coffee. It's
2 a question of titration.

3 But from the regulatory point of view it's a
4 question of do you allow it or don't you allow it? So how
5 do you cope with this concept that you will never have an
6 absolute one-to-one equivalent?

7 DR. WILLIAMS: Bob, that's probably one of the
8 great questions of all time. Put the regulator on the spot.

9 I'd like to talk about a little bit, recognizing
10 that I don't think I have a solution. If you think about
11 it, the subject-by-formulation interaction for that
12 particular dataset was in the pioneer and theoretically the
13 pioneer was allowed market access based on safety and
14 efficacy data studied in both genders.

15 So they were allowed to enter the market as being
16 safe and effective with the subject-by-formulation
17 interaction being present, the gender-based subject-by-
18 formulation interaction being present.

19 Now the reality is we want generics to be the
20 same. So I think what you're asking is would we ask the
21 generic to recreate the subject-by-formulation
22 interaction--the gender-based. I find that hard to ask a
23 generic firm to do but maybe that's what they need to do to
24 be allowed market access.

25 But I think it's a key question and I would argue

1 what we would really like to propose perhaps, and this gets
2 back into that replicate study from the pivotal clinical
3 trial to the to-be-marketed dose form because you would be
4 able to detect with a replicate study there if you had a
5 subject-by-formulation interaction. And my guess is in the
6 final analysis a pioneer wouldn't want that.

7 DR. BYRN: Okay, identify yourself.

8 MS. LANE: Elizabeth Lane. I'd just like to
9 clarify that I'm familial with those study data and that the
10 results of that study did not meet the average
11 bioequivalence criteria. The study has not been submitted
12 for approval. The product has not been submitted for
13 approval.

14 DR. WILLIAMS: I can tell you that when I was
15 asked about the dataset and what they should do, I said, "I
16 don't know what to do. What do you want to do?" And he
17 said, "Well, we want to study it only in men."

18 DR. BYRN: Okay, any more discussion on question
19 6?

20 [No response.]

21 DR. BYRN: Okay, shall we try to go through and
22 summarize where we are, where we think we are with these six
23 questions in mind and just kind of do a review for the
24 committee?

25 I think we are talking about--maybe we need our

1 box up there again, Kimberly, just to review this. We're
2 talking about a position that sounds to me to be very close
3 to what the expert panel recommended, with some variations,
4 and that would be that we would, in the affirmative--answer
5 question 1 in the affirmative, the modified question 1 as
6 Kathleen wrote it. That would be answered in the
7 affirmative. Maybe we should put that one up there first.
8 Kimberly, do you have that modified?

9 So let's just spend a moment. We would have a
10 general consensus that this would be in the affirmative,
11 that it's reasonable and appropriate for the FDA to
12 recommend replicate study designs for some drug products for
13 an interim two-year period under the conditions that we've
14 just discussed, all the conditions.

15 And if you'll put the box up, this is question 2.
16 Does anybody want to discuss that any further? Are we still
17 okay under the conditions?

18 [No response.]

19 DR. BYRN: Now what we discussed, I think, is that
20 modified release dosage forms were in and BCS II, III and
21 IV, there would be wording that would encourage them to be
22 included. And Roger would work on that wording in
23 discussion with the FDA lawyers. Is that okay with
24 everybody?

25 I think the only deviation really if you think

1 about from the expert panel is that we have put BCS II, III
2 and IV in, with some wording, but it's not really much of a
3 deviation from the expert panel.

4 We have a comment from the audience. Yes?
5 Identify yourself, please.

6 MR. ENDRENYI: Laszlo Endrenyi.

7 It would seem to me, and I wonder whether the only
8 carrot which is being recommended, namely the voluntary
9 inclusion of highly variable IR drugs, if you really would
10 or would not want to be included.

11 DR. BYRN: Okay, so we have a question which I
12 think is a good one, which is that it might be appropriate
13 to include a carrot.

14 Yes, Kathleen?

15 DR. LAMBORN: Since our later recommendation is
16 that we stay with the average bioavailability and do not
17 change the approval criteria, I'm not sure it becomes a
18 carrot. I think the concept of the carrot was if we were
19 going to do scalability.

20 DR. BYRN: Okay. So that is actually a moot
21 point; that's correct.

22 Okay, so under this scenario the modified release
23 would go in, as well as wording for BCS Class II, III and
24 IV.

25 Okay, and then, as Kathleen said, there is not as

1 much support for topic 3, with the proviso that we discussed
2 with Roger that if it became apparent that the committee
3 needed further education on this topic, it could be brought
4 back to us.

5 And question 4 was rendered moot. Is that
6 correct? Yes, Arthur?

7 DR. GOLDBERG: I have a comment on 3 and the way
8 it's worded. I would prefer saying that we use average
9 bioequivalence unless there are compelling reasons not to.

10 DR. BYRN: Okay. With that change, Arthur has
11 suggested that we use average bioequivalence unless there
12 are compelling reasons not to, rather than it would be
13 brought back to the committee. Is that okay with
14 everybody?

15 [No response.]

16 DR. BYRN: And then topic 5, again we need the
17 expert--do we have a transparency that has the expert panel
18 recommendation of the number of--that's 6. It had a
19 statement right at the bottom. There it is right there.

20 And then on topic 5 the committee seemed to
21 support this expert panel recommendation on the diversity of
22 subjects.

23 Yes, Arthur?

24 DR. GOLDBERG: Being over 60, I want to change
25 that to 70.

1 DR. BYRN: Okay. That'll be noted in the record,
2 anyway.

3 And then discussion topic 6, there was general
4 sentiment for that wording that would reflect that
5 methodology. We need the other boxes, Kimberly. The
6 protocol would reflect that series of studies and
7 methodology.

8 Okay, are there any other comments from the
9 committee?

10 [No response.]

11 DR. BYRN: Okay, I think we thank you all very
12 much. I'd like to thank all the speakers, excellent input,
13 and thank the committee very much and we'll assemble
14 tomorrow at 8:30. Then we'll meet later on at 6:30. Check
15 with Kimberly.

16 [Whereupon, at 4:42 p.m., the meeting was
17 adjourned, to reconvene at 8:30 a.m. on Friday, September
18 24, 1999.]

19

- - -

C E R T I F I C A T E

I, **SUSAN A. HARRIS**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Susan A. Harris". The signature is written in black ink and is positioned above a solid horizontal line.

SUSAN A. HARRIS