

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + + + +

PUBLIC HEALTH SERVICE

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION & RESEARCH

+ + + + +

NATIONAL INSTITUTES OF HEALTH

+ + + + +

NATIONAL HEART, LUNG & BLOOD INSTITUTE

AND

UNITED STATES ARMY

+ + + + +

WORKSHOP ON CRITERIA FOR SAFETY AND

EFFICACY EVALUATION OF OXYGEN

THERAPEUTICS AS RED CELL SUBSTITUTES

+ + + + +

TUESDAY, SEPTEMBER 28, 1999

+ + + + +

The Workshop took place in the Natcher Conference Center, NIH, Rockville, MD, at 8:00 a.m., Harvey Klein, M.D., and Michael Fitzpatrick, Ph.D., Chairpersons, presiding.

PRESENT:

HARVEY KLEIN, M.D., Chairperson

MICHAEL FITZPATRICK, Ph.D., Chairperson

JEFFREY L. CARSON, M.D., Panel Member

STEPHEN M. COHN, M.D., Panel Member

JAMES J. HOLCROFT, M.D., Panel Member

MICHAEL J. JOYNER, M.D., Panel Member

MARGOT S. KRUSKALL, M.D., Panel Member

PAUL M. NESS, M.D., Panel Member

RICHARD B. WEISKOPF, M.D., Panel Member

GUS J. VLAHAKES, M.D., Panel Member

ALSO PRESENT:

ABDU ALAYASH, Ph.D.

PAUL AEBERSOLD, Ph.D.

TOBY SILVERMAN, M.D.

A-G-E-N-D-A

Page No.

SESSION III: Panel Discussion and Questions ..... 5

Chairperson, **HARVEY KLEIN, M.D., NIH**

Discussion on Close Linkage Between

Preclinical Studies and Clinical  
 Studies for Safety; Single pivotal  
 trial in stable elective  
 surgery population, what safety  
 endpoints are most likely to  
 predict adverse events at higher  
 risk?

SESSION IV: Roundtable Discussion of ..... 22  
Surgical StudiesChairperson, **HARVEY KLEIN, M.D., NIH**

Risk/benefit Assessments; Criteria  
 For Efficacy Evaluation; Clinical  
 Trial Design Issues

SESSION V: Panel Discussion and Questions ..... 107

Chairperson, **MICHAEL FITZPATRICK,  
Ph.D. U.S. Army**

Responses to FDA Questions;  
 Recommendations for Clinical Trial  
 Design;  
 Recommendations for Future Research

P-R-O-C-E-E-D-I-N-G-S

8:02 a.m.

CHAIRPERSON KLEIN: If I could ask the panelists to please take a seat at the front of the room. I=ve been told it=s 8:00, and it=s time that we get underway.

I=m Harvey Klein, from the Clinical Center here at the National Institutes of Health, and I understand that someone has absconded with the place tags and the names that we were using yesterday, so in starting this morning=s session I=m going to ask each of the panelists to introduce himself or herself, so that our transcriber can identify them.

DR. HOLCROFT: I=m Jim Holcroft, from the University of California at Davis.

DR. WEISKOPF: Richard Weiskopf, University of California, San Francisco.

DR. KRUSKALL: I=m Margot Kruskall, from Beth Israel Deaconess in Boston.

DR. CARSON: Jeff Carson, from the Robert Wood Johnson Medical School.

DR. NESS: I=m Paul Ness, from Johns Hopkins in Baltimore.

DR. COHN: Steve Cohn, from the University of Miami.

1 DR. JOYNER: Mike Joyner, from the Mayo  
2 Clinic.

3 DR. VLAHAKES: Gus Vlahakes, Mass General  
4 Hospital, Boston.

5 CHAIRPERSON KLEIN: Thank you very much.

6 Now, our session has to do with the  
7 surgical issues, but I'm going to take the Chair's  
8 prerogative in warming up this morning and start off  
9 with a question that's a little different for the  
10 panelists here, and that is that we heard yesterday  
11 about the close linkage, at least the hope for a close  
12 linkage between preclinical studies and clinical  
13 studies for safety. What I'd like to ask the panelists  
14 is whether there are any consensus models that the FDA  
15 ought to be requiring? For example, we know that  
16 there's the possum esophageal sphincter model, the rat  
17 mesentery, the dehydrated swine, the splenectomized fox  
18 hound, and hundreds of others. All of these compounds  
19 are a little different. In terms of moving from  
20 preclinical to clinical studies, are there models that  
21 ought to be compulsories that every compound should go  
22 through in order to say this is now ready for a  
23 clinical study?

24 DR. WEISKOPF: Let me begin by answering  
25 your question with a question, and that is, do we

1 really know as yet where the prominent toxicities lie  
2 for these compounds? Now, given we are dealing with at  
3 least two different classes of compounds, and within  
4 those classes the compounds vary, so there may be  
5 differing toxicities. For example, I was struck  
6 yesterday by some data that Doctor Saunders presented,  
7 but we haven't had an opportunity to discuss, and that  
8 was that in one Baxter's trials, perhaps, Mike might be  
9 able to comment on this, I'm not sure if this was  
10 overall or just one trial, Baxter noticed an increasing  
11 severity of stroke in the treatment arm, and is there  
12 an issue there? Do we need to have models that look at  
13 neurologic injury?

14 DR. COHN: Doctor Klein, I also have a  
15 question for you.

16 Are you asking this question for future  
17 products or for the existing products, because my  
18 understanding is that these products have gone through  
19 some acceptable preclinical evaluation and are now  
20 going through their Phase II and III.

21 CHAIRPERSON KLEIN: No, precisely, I am  
22 asking for future studies of future compounds, because,  
23 in fact, I think there's some question in many people's  
24 minds as to whether the appropriate preclinical studies  
25 were, in fact, done for some of the compounds that then

1 went into clinical studies.

2 DR. JOYNER: I think there are two issues  
3 here. One is, if you are trying to use them in a shock  
4 trauma resuscitation model, where typically people have  
5 assumed the potential patients are going to be  
6 reasonably young, reasonably otherwise healthy and so  
7 forth, although we heard yesterday that trauma is now -  
8 - the demography of trauma is changing, so I think  
9 that's one issue.

10 The second issue is use in elective  
11 surgery, like in the hemodilution trials that people  
12 are talking about here, and I think the issue there is,  
13 in general, why do people die in the perioperative  
14 period? People don't die from the surgery typically,  
15 they don't die from the anesthesia, they die because  
16 something happens to their co-existing disease which  
17 causes a problem. And, if you look at who gets blood,  
18 and everybody here knows that 50 percent of the people  
19 who get blood are 65. The average person who has  
20 surgery at our place is like 62. And, these people, if  
21 you look at the Medicare database, you look at any  
22 database you want to talk about, these people, a high  
23 fraction of them have hypertension, a reasonable  
24 fraction have reduced ventricular function, a  
25 reasonable fraction have lung disease, a reasonable

1 fraction have either overt or covert renal disease and  
2 so on and so forth.

3 So, the thing is, if you want to use this  
4 stuff in elective surgery, ask yourself what causes  
5 problems in the perioperative period, and, again, in  
6 general it=s not the operation, in general it=s not the  
7 anesthesia, it=s some interaction of those things with  
8 the patient=s co-existing disease.

9 And so the thing that has struck me is, for  
10 example, the SHR rat, which has been a terrific model  
11 of hypertension or to follow up on what Doctor Weiskopf  
12 said the stroke-prone rats. Some of those models might  
13 be very helpful in trying to understand how these  
14 animals, especially a small animal model, how some of  
15 these compounds or future compounds interact with  
16 common co-existing disease.

17 For example, maybe these products would  
18 cause less hypertension or less relative hypertension  
19 in SHR rats because there=s some evidence that their  
20 nitric oxide system is already messed up and so you  
21 can=t inhibit something that already is kind of not  
22 there. So, there=s been some discussion about that.

23 So, to reiterate, one is, distinguish  
24 between whether you are trying to look at a  
25 resuscitation model or a resuscitation use versus an



1 elective surgery use. If you are going to do an  
2 elective surgery use, I think you have to start asking  
3 questions about co-existing disease that the patients  
4 are likely to have.

5 DR. HOLCROFT: In addition to all that, I=d  
6 make a vote for at least some studies in unanesthetized  
7 models. Of course, these products are going to be --  
8 for this morning=s discussion -- are going to be used  
9 in anesthetized patients, so you might argue, what=s  
10 the point of studying the unanesthetized animal. But,  
11 at least in the shock studies, the anesthetized models  
12 and the unanesthetized models are different.

13 And then second, I=d make a plea for at  
14 least some studies in primates, and that=s just -- I  
15 guess it=s just a gut feeling, but I think we are  
16 kidding ourselves when -- let=s say it this way, it  
17 just seems that the primates are more like us than the  
18 others, and so if just ten or 20 baboons are thrown in  
19 there somewhere I think that=s worth it, and it=s not  
20 that much more expensive to do that.

21 DR. COHN: The other point, I think to make  
22 it clinically relevant we really need to focus on, in  
23 terms of the shock resuscitation models, really need to  
24 be uncontrolled hemorrhage rather than controlled  
25 hemorrhage, because I really don=t know how the

1 controlled hemorrhage model relates to the typical  
2 trauma patient who we are resuscitating at a time when  
3 they are still having, you know, a high degree of  
4 ongoing hemorrhage.

5 So, if we are going to devise the perfect  
6 model to mimic the clinical situation, Doctor  
7 Holcroft=s gut feeling may emanate from the fact that  
8 he does unanesthetized baboon research, or at least  
9 did, but I have to agree with him, I think that that  
10 would be ideal.

11 And, of course, some of our products have  
12 gone through that process.

13 DR. HOLCROFT: The controlled hemorrhage  
14 versus uncontrolled hemorrhage, I wouldn=t require all  
15 of the studies to be done in an uncontrolled hemorrhage  
16 model, just because those models are very tricky. You  
17 can pretty much, in our experience at least, we=ve been  
18 able to pretty much make uncontrolled hemorrhage models  
19 do anything we want.

20 The argument in the literature is whether  
21 in uncontrolled hemorrhage, resuscitation increases  
22 bleeding, and the literature is split right down the  
23 middle, and the people who think that it does have been  
24 able to, you know, design models where resuscitation  
25 does increase the bleeding, and those who think it

1 doesn't have been able to devise models where it  
2 doesn't.

3           So the advantage of the controlled  
4 hemorrhage model is that it is clear cut, everybody  
5 knows what you are dealing with, there's a rich body of  
6 literature on it, and experience. The disadvantage of  
7 the controlled hemorrhage model, fixed volume model, is  
8 that it's not realistic. So, I think both models have  
9 a place, but I wouldn't restrict my research to just  
10 one of the two.

11           CHAIRPERSON KLEIN: Let me just push this a  
12 little bit further, if I might, and that is to ask  
13 those panel members who are willing to commit  
14 themselves, since you can change a model with almost  
15 any slight physiologic change that you wish, and make  
16 it do many different kinds of things, or show different  
17 kinds of things, or not show different kinds of things,  
18 would it be helpful to have three, or four, or five  
19 consensus models that every product is tested in before  
20 they go to clinicals? Well defined, well structured,  
21 all the conditions known, does anyone want to comment?

22           DR. HOLCROFT: Sure. I don't think we could  
23 agree on that, I don't think. We could try. I bet we  
24 can't even agree here among the models.

25           DR. COHN: I agree that it would be hard to

1 get agreement.

2 DR. NESS: It would seem that one of the  
3 things that we=d want to do in selecting models for  
4 these situations would be to try to reproduce the  
5 toxicity that you think you=ve seen in the clinical  
6 studies or in some of the other animal models. For  
7 example, the neurotoxicity, infectious complications,  
8 those things.

9 I=m kind of concerned that unless we try to  
10 understand what causes them in these toxicities, if  
11 they exist in early studies, we=re going to sort of be  
12 plagued forever, you know, showing that they don=t  
13 exist in humans, and the field is never going to move  
14 ahead.

15 So, for example, the neurotoxicity which  
16 seems to be an issue, it would seem to me to be very  
17 important to try to understand mechanistically in some  
18 way why this seems to be occurring. Is it a  
19 vasoconstrictive event, is it a direct toxicity, what  
20 is it, so that one can then study it in models, study  
21 it in patients, and either say this is real, we need to  
22 deal with it, or it=s not real and we don=t have to  
23 worry about it in licensure studies.

24 CHAIRPERSON KLEIN: Any other comments?

25 Doctor Kruskall?

1 DR. KRUSKALL: I think it would also be  
2 worth thinking about rechallenge experiments. I'm  
3 trying to think of the ways in which any of these  
4 products could be used once they've been licensed for  
5 one indication, and I envision scenarios where patients  
6 are given this material over a longer period of time  
7 than just in an immediate surgical setting, or are  
8 rechallenged with the material some weeks or months  
9 afterwards. And I'd like to understand in an animal  
10 model whether there are additive or anamnestic effects  
11 to the substance.

12 DR. JOYNER: I think it would be ideal if  
13 you could have three or four common models. Again, it  
14 would be difficult to agree on them, and part of that  
15 goes to whether you are thinking about this in terms of  
16 a resuscitation fluid or use for an elective surgery to  
17 spare blood.

18 I think it would take some time, but I  
19 think if you came with three or four, or if the FDA  
20 perhaps gave people kind of a Chinese menu approach,  
21 and said that there were six or eight acceptable  
22 models, and depending on what those folks, what  
23 indication that they were aiming toward, whether it's  
24 like the Alliance folks who are looking more for the  
25 hemodilution approach, versus Doctor Gould's group,

1 which is looking more for volume resuscitation, they  
2 may pick three or four of the six or eight, and that  
3 way you would ensure there would be some overlap  
4 between one or two, so maybe four out of six. So  
5 everybody would have two in common, and there would be  
6 some overlap, and then the people would be able to have  
7 specific models if they are not going to be using it in  
8 volume resuscitation maybe, or don=t want it licensed  
9 for that, maybe it wouldn=t be as necessary to do one  
10 of these uncontrolled hemorrhage models.

11 CHAIRPERSON KLEIN: Yes, another comment?

12 DR. COHN: I don=t know, it bothers me that  
13 we are going to force industry to comply with -- to use  
14 a set of models which are just as arbitrary as any they  
15 might choose. You know, I think it=s one thing to say  
16 we have a model, we know that this is the right model,  
17 it=s been validated for the use, you know, we don=t have  
18 that, and we could get a group of panelists together  
19 here to talk about hemorrhagic shock, and we would all  
20 agree on what we don=t know, but I=m not sure that we  
21 could all agree on what the perfect model was on  
22 whatever subset of patients you want to look at.

23 So, I think -- I happen to know that the  
24 Baxter product, for example, had gone through some of  
25 the kinds of testing, top off, top load, repeat,

1 studies that we're talking about. They also did a  
2 series of studies in shock models, showing that it was  
3 actually beneficial in the setting where parts of the  
4 cerebral circulation were occluded. So, I mean, it  
5 just underscores that while some people are pigs, all  
6 pigs are not people, and that, you know, you can't  
7 generalize from an animal model without actually  
8 looking at it in -- yes, you can, you know, I'm not  
9 sure you can generalize.

10 So, I mean, probably whatever industry does  
11 has to meet some level of acceptability at the FDA, and  
12 the FDA may change its opinion, you know, over a period  
13 of five or six years, which happens. I would hate to  
14 tell them that these are the three or four perfect  
15 models and you have to all go through them, so they all  
16 go back to the drawing board, prove it in those models,  
17 and then the FDA changes its opinion and now those  
18 models are no longer acceptable, because our knowledge  
19 scientifically is changing. What used to be a  
20 perfectly acceptable Ringer's model 20 years ago is now  
21 felt to be, well, it's nice to go from the uncontrolled  
22 to a controlled -- a controlled to an uncontrolled  
23 model in the setting of shock.

24 So, I think our understanding is in  
25 evolution, it's hard to, you know, lay down the Ten

1 Commandments here.

2 CHAIRPERSON KLEIN: And, all people are not  
3 pigs, I guess, is the final.

4 I=d like to go back to one other safety  
5 question before we go back to elective surgery, and  
6 that is, under safety question number one we have the  
7 question, are there other potential toxicities in  
8 addition to the ones listed in the first paragraph that  
9 you think should be added to this list? And I=d also  
10 like to ask the panel a corollary to that, and that is,  
11 we frequently hear, well, there is neurotoxicity, show  
12 us some studies that tell us your compound doesn=t have  
13 neurotoxicity, or doesn=t generate free radicals. Do  
14 you have any specific recommendations on how to look  
15 for some of the clinically relevant toxicities  
16 mentioned in this first paragraph or not mentioned in  
17 the first paragraph?

18 DR. HOLCROFT: In an animal model, Doctor  
19 Klein, or clinically?

20 CHAIRPERSON KLEIN: Either one.

21 DR. HOLCROFT: Animal models, I wouldn=t  
22 know how to do it, but the clinical question is easy.  
23 You just look at Glasgow outcome scales, scale scores.  
24 So, that=s straightforward.

25 The animals, I wouldn=t know how to do.



1 DR. CARSON: Harvey, what are the  
2 neurotoxicities that have been described?

3 CHAIRPERSON KLEIN: There are a variety of  
4 neurotoxicities described in vitro, and we just heard  
5 about the possibility of stroke being one that=s  
6 actually not on this list in specific, but may be a  
7 very important one. And, I guess the question is, is  
8 there -- are there specific assays that would help  
9 predict these, either in the preclinical setting or  
10 that ought to be looked at specifically in the clinical  
11 setting?

12 DR. VLAHAKES: This is a hard one, because a  
13 lot of these things are going to be dependent on the  
14 substrate you are starting with, namely, pre-existing  
15 conditions, many of which may be undiagnosed like small  
16 vessel cerebral vascular disease. And, for example, if  
17 hypothetically a material caused thrombosis to occur in  
18 small, diseased vessels you are not going to really be  
19 able to make a preclinical decision based on studies of  
20 the coagulation system or studies of rheology.  
21 Rheologic studies would predict, for example, that  
22 adding oxygen content and diminishing the viscosity of  
23 the blood favors oxygen delivery. So, the ultimate  
24 studies are going to come out of Phase I and the  
25 extension into patients in Phase II.

1           The safety data accumulated in Phase II  
2 trials with this class of materials at this stage of  
3 the development, I think is key.

4           DR. WEISKOPF: In addition to what is  
5 labeled here as cardiovascular/hemodynamic changes, I  
6 would add the possibility of direct myocardial injury.

7           There are a number of preclinical studies, I think,  
8 from various of the hemoglobin based compounds that  
9 have noted some myocardial issues in various animal  
10 models, and I think we heard yesterday that at least  
11 one of the studies noted an incidence of myocardial  
12 ischemia.

13           CHAIRPERSON KLEIN: Are there specific  
14 assays that you would recommend, or anyone else on the  
15 panel?

16           DR. JOYNER: In all the data I=ve seen with  
17 this generation that=s been published, people have been  
18 quite rigorous I think in looking at some renal issues,  
19 and I=m surprised that renal toxicity isn=t there. I  
20 think that the class of compounds that have been talked  
21 about now have done a good job trying to demonstrate in  
22 animal models that those issues are not huge, but  
23 certainly everybody is aware of the potential impact of  
24 hemoglobin on the kidneys. And so, I think that should  
25 continue to be monitored closely.

1 DR. KRUSKALL: I would agree with that, and  
2 it would seem to me that we ought to require careful  
3 studies of kidney, liver, pancreatic and perhaps muscle  
4 injury as well, in terms of the endpoints that are  
5 looked at, both in animal studies and in human studies.

6 I don=t want to see those brushed under the rug, as  
7 enzyme changes of unclear significance. I think they  
8 have a real phenomenological meaning we have to  
9 understand.

10 CHAIRPERSON KLEIN: Dick.

11 DR. WEISKOPF: One further comment. I  
12 think most, if perhaps not all of these, are addressing  
13 hemoglobin-based compounds, but, again, we are talking  
14 about at least two different classes of compounds,  
15 whereas these may apply to the hemoglobin-based. Are  
16 there issues and toxicity issues that we need to  
17 address for the fluorocarbons?

18 PARTICIPANT: (Speaker speaking from an  
19 unmiked location)

20 DR. WEISKOPF: Sorry, I was only reading the  
21 first paragraph.

22 CHAIRPERSON KLEIN: Doctor Ness?

23 DR. NESS: Yes. We heard yesterday, for  
24 example, of some preclinical stuff, or even first phase  
25 stuff, where patients were being infused while awake,

1 and had nausea, vomiting, GI upset, fever. It would  
2 seem to me that those are potentially important signals  
3 of something that may actually get worse in patients  
4 who are stressed receiving these compounds in surgery,  
5 or in trauma, or whatever else we would choose to do  
6 with them. And, therefore, at the very least I think  
7 we would want to know, what is the mechanism behind  
8 these toxicities or symptoms that these patients,  
9 healthy subjects, are encountering? Can they be  
10 pharmacologically blocked? Are they the sort of  
11 harbinger of something more serious? It seemed to me  
12 that we were being a little casual about some of these  
13 sort of side effects in healthy subjects, which could  
14 be potentially dangerous.

15 CHAIRPERSON KLEIN: Does anyone want to  
16 comment on specific methods of detecting some of the  
17 generic toxicities, renal toxicity, neurotoxicity,  
18 free radical generation, or any of the others that are  
19 listed in paragraph one?

20 DR. JOYNER: I mean, I keep -- I hate to  
21 keep beating a dead horse, but I think that looking at  
22 these models of co-existing disease would be reasonable  
23 in animal models, for hypertension. It would be  
24 reasonable to look at some of the cardiovascular  
25 issues, and also with the animal model of reduced renal

1 function. I think those are essential, because whether  
2 you are talking about trauma or using elective surgery,  
3 again, in elective surgery, especially in older  
4 patients, in some cases a majority, but certainly a  
5 lot, in all cases those folks are going to have  
6 compromised renal function and hypertension.

7 DR. COHN: I think without naming a specific  
8 test, I think that the last thing there, decreased host  
9 resistance to overwhelming infection, and specifically  
10 multi-organ failure, would be a very important thing to  
11 follow in these patients, honestly not so much, or as  
12 much for the new product, experimental product, as for  
13 blood itself.

14 One thing I don't think we've talked about  
15 much at this symposium is the immune-suppressive  
16 effects of blood itself, and that one of the potential  
17 benefits of the blood substitutes is that they may have  
18 a much less immune-suppressive effect. So, that may  
19 be, while maybe mortality is equivalent in the two  
20 resuscitation arms, that it may be beneficial to use  
21 the new products in terms of their immune-suppressive  
22 effect. So, I think it's important to evaluate that  
23 and, you know, look at a whole variety of different  
24 areas there.

25 Recently, there was a paper presented where

1 they looked at neutrophil priming in the PolyHeme  
2 resuscitated patients versus patients receiving blood  
3 in the setting of trauma, and they found that the ones  
4 that received PolyHeme actually had a markedly  
5 diminished neutrophil priming as evidenced by CD11b,  
6 super oxide anion and elastase. So, I mean, the  
7 neutrophil priming was diminished in the humans, the  
8 patients I should say, who received the blood  
9 substitute, and I think that may be a potential  
10 benefit. So, I certainly would, while I think we  
11 certainly need to follow safety issues, there may be  
12 some potential benefits that could be uncovered by  
13 closely monitoring their multi-organ failure and their  
14 incidence of overwhelming infections.

15 CHAIRPERSON KLEIN: If there are no other  
16 comments on this issue, let's move to the item three,  
17 which is elective surgery, and is the topic for this  
18 morning's first panel, and we can start right on with  
19 question A, shouldn't oxygen therapeutic be evaluated  
20 in a controlled clinical trial in hemodynamically  
21 unstable patients requiring blood prior to licensure  
22 for elective surgery, to ensure that its use in  
23 surgical patients at the highest risk would not lead to  
24 a worse outcome than if blood were used?

25 Anyone.

1 DR. VLAHAKES: Can you tell us what you mean  
2 by hemodynamically unstable, at least the degree.

3 CHAIRPERSON KLEIN: Well, I'm going to ask  
4 about the gamut of patients, clearly not the patient  
5 that's totally elective that comes in for their bypass.

6 DR. WEISKOPF: Well, this says, the title  
7 here says elective surgery, so now you are talking  
8 about a hemodynamically unstable patient undergoing  
9 elective surgery. I'm not sure how you would do a  
10 study like that. That means either you select somebody  
11 who is going for elective surgery who was  
12 hemodynamically unstable prior to elective surgery,  
13 that's difficult to comprehend, that it's actually truly  
14 elective, if the patient is hemodynamically unstable.

15 DR. SILVERMAN: Toby Silverman. Let's make  
16 it simple, let's call it perioperative use rather than  
17 elective surgery.

18 CHAIRPERSON KLEIN: Toby, just one  
19 clarification, do you mean for the unstable patient  
20 perioperative use as sort of a worst case scenario,  
21 before you would take such a compound into the strictly  
22 elective surgery stable patient venue?

23 DR. SILVERMAN: Right. If you take a look  
24 at the rest of the questions, one of the questions has  
25 to do with when you study someone undergoing orthopedic

1 surgery, we want a broad distinction between unstable  
2 patients and a stable population.

3 DR. JOYNER: But, in general, people that  
4 have orthopedic surgery, it's truly elective, and they  
5 are worked up and they have their echo, and they go to  
6 see the cardiologist and they are about as tuned up as  
7 they can get, some have angioplasty beforehand, or they  
8 are people that have long bone fractures and are done  
9 on an urgent or emergent basis. I mean, so there's --  
10 I mean, I think Doctor Weiskopf is saying that an  
11 unstable elective patient is kind of a contradiction in  
12 terms. Either the patient is stable and it's elective,  
13 or there's an urgency where we can't work the system  
14 out.

15 DR. SILVERMAN: Let's just change the title  
16 to perioperative use outside of the trauma setting.

17 DR. VLAHAKES: Well, there's two kinds -- to  
18 try to take this down one road or the other -- there  
19 are two general types of clinical scenarios that might  
20 come up in a perioperative setting. Number one, the  
21 patient who is euvolemic, who has received, let's say,  
22 crystalloid or non-heme, non-oxygen-carrying colloid  
23 replacement, and who has become anemic, typically it  
24 will be, for example, the cardiac surgery patient who  
25 has been rewarming in the ICU and is getting fluid



1 repletion.

2           So, you have a euvolemic, stable,  
3 perioperative patient for whom you are going to treat  
4 anemia, for concerns about oxygen carrying capacity,  
5 perhaps the SVR is low, versus a patient, on the other  
6 hand, who might be hypovolemic from blood loss in the  
7 operating room.

8           So, if I can give a definition to what=s  
9 written in the document, let=s say that unstable means  
10 the patient who is hypovolemic because of surgical  
11 blood loss that is occurring at the time the decision  
12 needs to be made to infuse and let=s work from there.  
13 Those are two different scenarios, number one. Number  
14 two, they may have different -- they may have different  
15 implications for a safety profile. They also have  
16 different implications for the range of data that you  
17 could collect because of the rapidity with which  
18 transients may occur. And, I think they have to be  
19 designed differently in clinical trials.

20           DR. WEISKOPF: Well, as any clinician in the  
21 room knows, those of us who work in this environment  
22 have a goal of preventing somebody from becoming  
23 unstable. And so, and transfusions are ordinarily  
24 given, not to somebody, except in a trauma setting, not  
25 to somebody who is unstable, but because of acute

1 anemia or ongoing blood loss, but not to the degree  
2 that makes the patient unstable.

3           If one seeks, for whatever reason, to  
4 produce a study in somebody who is unstable in the  
5 perioperative period, it's difficult to imagine a  
6 satisfactory design of such a study where those  
7 patients can be adequately captured in an appropriate  
8 time period, that to gather an end that would satisfy,  
9 I think, regulatory authorities to be sufficiently  
10 powered to catch whatever safety issues one is looking  
11 for in this population, I think would be exceedingly  
12 difficult.

13           DR. COHN: I would add to that by saying  
14 that the kinds of patients you are talking about are,  
15 you know, I mean you could come up with a couple of  
16 different categories, liver transplantation, massive  
17 upper GI bleeding requiring gastrectomy, massive lower  
18 GI, I mean there are a number of different situations  
19 where a patient is having ongoing hemorrhage in the  
20 elective or semi-emergent situation. There's a whole  
21 lot of background noise in those patients. I think it  
22 would be very difficult to separate out the fact that  
23 the guy has got underlying cardiac disease, is on  
24 anticoagulation for his valve, and has developed an  
25 upper GI bleed where a normal person would have

1 responded to endoscopy, you know, or endoscopic  
2 cauterization. I think we are looking at a complex  
3 group of patients who become unstable. I don=t think  
4 it=s the routine patient, and I think it would be very  
5 difficult to separate out all the underlying things  
6 that led to them becoming unstable. I think it would be  
7 a very difficult thing to dissect out the impact --  
8 safety issues that might come along with a new product.  
9 I think it would be very hard, even harder than  
10 trauma.

11 DR. VLAHAKES: Let me expand on that by  
12 putting a suggestion on the table. New class of  
13 products, not a tremendous clinical experience out in  
14 the field, and you also, not only from the standpoint  
15 of the FDA, but also from the standpoint of people  
16 interested in using these materials, and certainly from  
17 the standpoint of companies, would like to have, number  
18 one, the safest environment to ensure success in the  
19 regulatory process, but secondly, the best opportunity  
20 to gather data, which is desperately needed with  
21 something that is very new, and the best opportunity to  
22 detect an adverse occurrence, and to understand it, so  
23 that it can be, you know, fixed or done away in future  
24 materials.

25 I would urge that this, for initial

1 clinical trials in this setting, that we consider using  
2 the euvoletic patient who is anemic, where materials  
3 are being given for their oxygen carrying capacity, and  
4 you have the best opportunity to gather data that's not  
5 going to be confounded by other transients that are  
6 occurring.

7 DR. HOLCROFT: I'd vote on that, too. I  
8 would think it would be easier and overall better to  
9 start out in a controlled situation, with a patient who  
10 is fully monitored and so on. And, I think you are  
11 more likely to get good safety information, at least  
12 initially, on those patients, and then take it to the  
13 more complex hemodynamically unstable patients.

14 DR. VLAHAKES: That would include  
15 postoperative anemia, and it could include --

16 DR. HOLCROFT: Yes, sure.

17 DR. VLAHAKES: -- the ANH, the acute  
18 autologous donation protocol that was described.

19 DR. HOLCROFT: It would include any of  
20 those, and then a very careful look at things like  
21 enzyme changes and so on.

22 DR. JOYNER: I would like to echo what  
23 Doctor Weiskopf said. As anesthesiologists, we spend a  
24 great deal of time and effort making sure that patients  
25 don't become unstable. Most of the -- I don't know, I

1 always thought that most of the transfusions that we  
2 give, in fact, are preemptive. We give them before the  
3 patients become unstable, based on the type of  
4 operation the patient is having, our estimates of  
5 ongoing blood loss, the fact that we can monitor  
6 patients, maybe we have their hemoglobin and so forth.

7 The second thing is, is if you think about  
8 operations like liver resections, Whipple procedure,  
9 and so forth, we do a lot of those in Rochester, and  
10 the patients are all treated as if they are going to  
11 have, you know, massive interop hemorrhages, and very  
12 few do, but we are there with, you know, blood in the  
13 room and so forth for the three, or four, five, or ten  
14 percent who need it, but the rest of the people just  
15 kind of sail through and maybe get one unit, maybe get  
16 two units, a lot of them get none.

17 And so, a lot of what we do, at least in  
18 the ORs and in anesthesia, is preemptive, and our goal  
19 is exactly what Doctor Weiskopf said, it=s to never  
20 have anybody get unstable, never have anybody get close  
21 to unstable.

22 And, at big centers, in large part, people  
23 are quite successful doing that in very sick patients  
24 having very big operations electively. It=s not as  
25 dramatic as people think.

1 DR. KRUSKALL: So, rather than the unstable  
2 patient, I=d actually prefer to see the stable  
3 situation, but I=d like to ensure that it=s pushed to  
4 its extreme. It would be frustrating and not a  
5 guarantee of safety if the typical hemodilution that  
6 many people use or envision doing comprise the majority  
7 of this trial, in other words, a two or three unit  
8 dilution. I=d like to see us push and define the  
9 extremes and ensure that those are included for at  
10 least some of these patients. So, for example, getting  
11 down to hemoglobins of five or so.

12 DR. NESS: Yes. There are a couple of -- we  
13 certainly could do a lot of elective surgery and  
14 hemodilution stuff and find out, perhaps, what the  
15 safety profile in patients, whether we=ll really learn  
16 very much about efficacy under those situations, in  
17 terms of really doing anything for the patient, is  
18 unclear. But, there are, I think, a couple of sort of  
19 elective surgery situations where there is high blood  
20 volume used, that the patients, I=m sure, become  
21 hypovolemic, and these go on in big centers in things  
22 like thoraco-abdominal aneurysms. We seem to do one  
23 every Wednesday, and a couple of coolers of blood go up  
24 there for about 30 units each case. These are  
25 electives. The patients are studied pretty extensively

1     beforehand. I think there are a number of centers who  
2     are dealing with these types of cases, and I think it  
3     is reasonably controlled. Liver transplant, obviously,  
4     because of liver failure up front, makes it a much more  
5     complicated case to study, but there are some very  
6     large cardiac or vascular cases that could be studied  
7     which might put more stretch into these studies.

8             DR. JOYNER: Instrumented backs, too.

9             DR. WEISKOPF: Sure, and I'm sure some of  
10     the sponsors are investigating their compound in those  
11     sorts of patients, but large blood loss does not  
12     necessarily mean an unstable patient. One can maintain  
13     stability despite an enormous amount of blood loss,  
14     excluding the trauma circumstances that we were talking  
15     about yesterday.

16            CHAIRPERSON KLEIN: Doctor Carson, you had a  
17     comment?

18            DR. CARSON: I think it would be desirable  
19     to try to deal with the hemodynamically unstable  
20     patients if you could, but I think trying to generate  
21     the kinds of numbers and logistics of implementing a  
22     protocol like that probably makes it unlikely to be  
23     successful.

24            So, I support the general consensus that  
25     I'm hearing, which is that you are going to need to do

1 this in a more routine, oriented type of patient,  
2 recognizing that there will be a component of those  
3 cases who turn out to be hemodynamically unstable, and  
4 there's a lot going on with them, you know, and you can  
5 stratify your analysis in that group. And, if you have  
6 a big enough number then you may have reasonable  
7 numbers of patients to look at.

8 It may turn out that your trauma model  
9 really is your best model to try to look at the  
10 hemodynamically unstable patients, and that trying to  
11 do it in a more general surgical environment probably  
12 you are not going to have the ability to do that.

13 DR. AEBERSOLD: Paul Aebersold, FDA. I think  
14 your point is well taken on trauma and, perhaps, one  
15 way of rewording this question would be to say, should  
16 these products be evaluated both in the surgical  
17 setting and in the trauma setting independently, two  
18 different types of trials, rather than just surgery  
19 alone.

20 DR. CARSON: I would think that would be  
21 highly desirable, because I think that the volumes of  
22 this drug that are going to be given in two settings  
23 would be completely different, I suspect. You know,  
24 what are the implications of the hemodynamic changes  
25 that are occurring in a trauma setting, and does that



1 change the way these patients tolerate these drugs?  
2 You know, is the stress of that environment going to  
3 bring out adverse effects that you won't see in other  
4 settings?

5 So, I think it would be desirable to do it  
6 that way, if you could.

7 DR. WEISKOPF: I'll disagree with that. I  
8 think as highlighted by the study we saw from Baxter  
9 yesterday, trauma patients are not a homogeneous,  
10 normally distributed population. You cannot -- I don't  
11 think one can ever expect to get a reasonably matched  
12 group, a control versus treated arms, given the  
13 diversity of injury that can occur, and the difference  
14 in duration of time until patients get to the site of  
15 treatment. The pathology is just so diverse that I'm  
16 not sure what information one ever will get out of that  
17 kind of study. I think that was highlighted by some of  
18 the information that we heard yesterday from Doctor  
19 Saunders, and if a manufacturer decides they don't want  
20 to have a trauma indication, is it our place to force  
21 them to do that?

22 DR. CARSON: If you have a large enough  
23 study these things will randomize out and the  
24 heterogeneity will be distributed equally.

25 I agree that you will wind up with probably

1 a pretty heterogeneous population, and, therefore, it  
2 may be harder to isolate the effect of your  
3 intervention from background noise, which is what I  
4 think you are saying. But, the adverse experience that  
5 occurred in the Baxter trial, if you had enough  
6 numbers, and you had central randomization, you conceal  
7 randomization, that if you had reasonable numbers those  
8 things will fall out and will be equally distributed  
9 among the two groups.

10 I'm not sure about, you know, how important  
11 the fact that you have very different patients and how  
12 these people have many different problems, whether that  
13 will make it possible to figure out what's going on.  
14 But, if the common theme is hemodynamic instability,  
15 and you are replacing, you are giving them one of these  
16 new drugs compared to giving them allogeneic blood, I  
17 think you should be able to look at that question and  
18 see whether these drugs seem to behave and do the  
19 things that we want them to.

20 DR. WEISKOPF: Without wanting to press the  
21 point beyond reason, whereas, hemodynamically certainly  
22 the products are being tested in trauma setting for  
23 hemodynamic instability and for oxygen carrying, that  
24 is not necessarily the overriding issue that is the  
25 pathology for that given patient. They may have

1 important bleeding and simultaneously have an injury  
2 that is equally as important or even more important,  
3 for example, as closed head injury. And, we all know  
4 there will be a certain percentage of patients that  
5 will not have that closed head injury determined at the  
6 time of treatment, or initiation of treatment, and  
7 there are other things that go on as well. So, I think  
8 these are important confounders that I don=t think can  
9 be made to be equivalent at the end of the study, even  
10 with a large "n".

11 DR. KRUSKALL: But, I'll weigh in also, I  
12 mean to the extent that we are considering these oxygen  
13 carriers, and the subtlety that we are trying to  
14 forget, but the users won=t, is that these are blood  
15 substitutes, I think that there will be a temptation to  
16 want to use these in situations beyond elective  
17 surgery.

18 And so, I think relying on statistics in a  
19 well-designed trial to help us appreciate the  
20 confounders, to me the trauma situation is essential  
21 for understanding the extremes in which a blood  
22 substitute could create a problem where the use of  
23 blood would not, and I would want to see that done,  
24 even in a substitute that was being proposed only for  
25 elective surgery, just because one knows the limits

1 would be pushed beyond the very stable patients for  
2 whom this was originally designed.

3 DR. CARSON: One other thing is, and then  
4 I'll stop arguing, if you look at the A Baer trial, the  
5 TRICK trial in the New England Journal in February this  
6 year, that's an ICU trial and, in fact, it's a very  
7 heterogeneous group of patients. They are surgical  
8 patients and medical cases. You know, they are sick as  
9 hell, like most ICU patients in North America, so I  
10 don't know that it's that dissimilar a situation to the  
11 trauma.

12 I think the head injury issue, I agree with  
13 you that it seems unlikely that blood is going to  
14 influence the outcome in relation to the head injuries,  
15 and so you are going to have to think about strategies  
16 to keep those cases out of the trial. And, if they get  
17 in the trial, then what that means is you are basically  
18 getting subjects who are not going to contribute  
19 information to the question you are trying to answer,  
20 because it's not likely that blood will influence  
21 neurologic outcome in, you know, someone who has an  
22 intra-cerebral hemorrhage, or, you know, some awful  
23 neurologic event.

24 DR. KRUSKALL: But, that's just as true at  
25 the other end. I mean, there are many patients who are

1 going to be studied in elective surgery whose outcomes  
2 are going to be independent of the use of the blood  
3 substitute, and we are not throwing them out. We are  
4 just taking them as part of the study.

5 DR. CARSON: Oh, I'm not suggesting you  
6 throw them out. I think when you think about your  
7 power, it just means that you are going to have  
8 subjects that are probably not going to contribute to  
9 the information you are seeking. So, you know, it  
10 makes it that much more challenging, you've got to  
11 crank up the numbers even further.

12 DR. WEISKOPF: I think I'm beginning to hear  
13 support for what I said yesterday, that death is not a  
14 sufficiently sensitive outcome, and not necessarily  
15 appropriate for the thing that's being studied.

16 DR. COHN: But, it would have to be shown to  
17 be equivalent. It may not be, you may determine that  
18 it's not your only endpoint, or even what turns out to  
19 be the most important endpoint, but it's a key  
20 endpoint. I think it would be number one on my list.  
21 I just want to make a comment about, at the risk of,  
22 you know, the old proverb, you know, if you are a  
23 hammer the whole world looks like a nail, I think that  
24 if we don't do a trauma trial somewhere along the way  
25 here, that an area where there is very likely to have a

1 great use of the blood substitute is going to be  
2 inadequately studied, i.e., I think that we are going  
3 to use these blood substitutes as a bridge in  
4 situations where we have uncontrolled hemorrhage. I  
5 mean, I don't see this as, you know, going to replace  
6 blood in the setting of cardiac surgery in a patient  
7 who has inadequate circulating hemoglobin. Okay.

8 In fact, even in the early PolyHeme trial,  
9 I keep coming back to that, where they did not find a  
10 difference at 48 hours in the amount of blood  
11 transfused. Well, I mean, it may be that we have to  
12 give the blood later, but one potential major benefit  
13 of this would be as a bridge, the liver transplant that  
14 goes bad and needs 100 units of blood now, may get 99  
15 units of a blood substitute and the next day get ten  
16 units of blood. So, we probably ought to look at it in  
17 the uncontrolled hemorrhage situation at some point,  
18 maybe to get licensure the FDA will determine that  
19 we'll do a controlled, you know, orthopedic back trial  
20 or something like that, or a coronary bypass trial.  
21 But, I would think we would be somewhat uncomfortable  
22 about, you know, feeling it was acceptable when the  
23 chief use of this may well be as a bridge in a variety  
24 of situations that are very difficult to study, but,  
25 nevertheless, that's where it probably will be used.

1 DR. HOLCROFT: The point has already been  
2 made by the panel at the other end earlier on, but I=d  
3 like to -- maybe I should just state my biases right up  
4 front, and then I=ll tell you why I=m going to say what  
5 I=m going to say.

6 I=m skeptical that using these substitutes  
7 in the elective surgical cases is going to prove to be  
8 that much benefit. The facts are, as Doctor Klein  
9 pointed out yesterday, we have an extremely good  
10 product now, it=s very safe, one in a million chance of  
11 getting AIDS, one in a 100,000 or so of getting  
12 hepatitis, and even if you get the hepatitis the chance  
13 of its killing you is not that high. So, we have a  
14 real good product now that can be used in elective  
15 surgery.

16 The only reason for giving a substitute  
17 would be to somehow avoid using this extremely good  
18 product that=s already available, and somehow keep the  
19 -- just keep everything pure so the patient only  
20 receives his or her own blood. The potential benefit  
21 there I think is minor. Now, there might be something  
22 to it, I may be wrong, and I=d be happy to be proved  
23 wrong, but that=s my bias right now.

24 On the other hand, I can see some of these  
25 compounds being extremely useful in the combat casualty

1 area and in pre-hospital care of trauma patients. I  
2 could easily imagine these products savings lives and  
3 saving neurologic function. I think that requires no  
4 stretch whatsoever of my imagination.

5 Okay. Now, if that=s case if you start  
6 with that bias, and I do, at least until, you know, I  
7 can hear some more, then it seems to me that the way  
8 you=ve got to do the studies is that first of all you  
9 have to have some assurance that the products are safe,  
10 because only then can an investigator in good  
11 conscience carry out a study in which no permission is  
12 going to be obtained from the patients.

13 So the next step is to see if it=s safe,  
14 and that=s why I think this question is so critical,  
15 because the question reads in IIIa, what should be done  
16 first, elective surgery or trauma surgery? That=s kind  
17 of what it is saying. And then I would say, well,  
18 actually for safety purposes the elective situation is  
19 where you can get the really good data, even though I  
20 doubt very much if it=s going to -- if these products  
21 are going to be effective, or better than what we now  
22 have.

23 So I would vote for purposes of getting to  
24 the trauma trials, which is what I think we need, I  
25 would propose my answer to that question would be, do



1 the studies in the elective case, especially for those  
2 who believe that, indeed, there might be some benefit  
3 to the patients in the elective case, then from these  
4 elective patients you should get an idea of how safe  
5 the compounds are, at least safe enough so an  
6 investigator could use them in a setting without  
7 informed consent, with the understanding that it may  
8 well be that there will be complications in the  
9 unstable patient, in a trial patient, that didn't show  
10 up in the elective cases. I'd be more than willing to  
11 accept that concept, that it's true.

12 On the other hand, in the trial cases  
13 there's also the chance that you can really benefit a  
14 patient, and then that will come out in the mortality  
15 data or in other endpoints like neurologic outcome.

16 So, my vote would be, I'd like to see the  
17 safety trials done under the elective setting, so that  
18 we can get on with the trials that I think are going to  
19 really count.

20 DR. CARSON: I think that's a well-reasoned  
21 argument, but it all hinges on whether you are  
22 comfortable sending patients -- whether you are  
23 comfortable entering patients into a trauma trial  
24 without consent, because, ultimately, I think you are  
25 largely going to have a hard time doing it in many

1 situations.

2 And, you know, I'm not sure that I know or  
3 understand the ethical part of this thing as well,  
4 because, see, I think you are right that the best  
5 chance for these drugs to truly impact outcome is in  
6 that setting. So, in essence, what you are saying is  
7 that we're going to wait to study the setting where we  
8 have the greatest potential to improve outcome to try  
9 to establish safety first, and so it has the  
10 disadvantage of delaying the evaluation in the place  
11 that it could have its biggest outcome. But I think  
12 it's a well-reasoned argument.

13 DR. HOLCROFT: With respect to entering a  
14 patient into a trial without permission, or without  
15 consent, you see, patients who have a systolic pressure  
16 of 89 or less in the field, I'm talking about blunt  
17 trauma patients now, and a Glasgow coma scale score of  
18 eight or less, have something like an 85 percent chance  
19 of dying. Those are the facts.

20 So, I'd figure, all right, 85 percent  
21 chance of dying --- oh, and you can't do too much worse  
22 than that, so I'm more than willing to try something to  
23 try to save some of those lives. We have evidence that  
24 it's possible to do that with other modes of  
25 resuscitation.

1 DR. CARSON: Jim, you've just convinced me  
2 that you should do that trial and not wait for the  
3 safety data, because, I mean, how much worse are these  
4 folks going to do even if these drugs cause trouble?

5 DR. HOLCROFT: Well, on that one -- well, I  
6 think that's the way Baxter trial should have been  
7 designed actually, but I wasn't asked. But, I think --  
8 and there are patients out there, and not only is it  
9 death, but it's morbidity, these are patients who end  
10 up permanently impaired, and a tremendous burden to  
11 themselves and to their families, and to society.  
12 Nonetheless, I think you need to pick those patients to  
13 do -- eventually that's -- I think you can justify  
14 doing studies without informed consent if you pick  
15 patients who really have a pretty dismal prognosis, and  
16 that's a problem I'm going to have with the elective  
17 surgery, you see, because elective surgery the facts  
18 are, even doing thoraco-abdominal aneurysms, which I do  
19 just about Tuesday in our place, the facts are is that  
20 the great majority of those patients do fine, thanks to  
21 our anesthesiologists who keep them from crashing.

22 But, the patients with the head injuries,  
23 and the hypotension, they don't do well.

24 CHAIRPERSON KLEIN: I think you may have in  
25 part already answered the second part of this question.

1 If we take the definition of unstable out of the mix,  
2 and say, if we are going to do elective surgery for  
3 safety, should we select a population that=s relatively  
4 high-risk rather than a population that=s relatively  
5 low risk, and, if so, what is that population?

6 DR. HOLCROFT: We=ve named some of the high  
7 risks, thoraco-abdominal aneurysms, that=s a high risk,  
8 liver transplants, that=s high risk, I think we=ve named  
9 it, we do cardiac surgery maybe, I=m not sure, so we  
10 can tell you the high-risk procedures, that=s no  
11 problem.

12 DR. VLAHAKES: Those settings also have the  
13 advantage that the patients tend to be very well  
14 instrumented, and, again, I think this entire endeavor  
15 is in a relatively young phase compared to other things  
16 that are out there in the pharmaceutical world, and  
17 getting the data, accumulating a database, not only for  
18 the vendor, but also just for the regulatory process  
19 and for the field, is important.

20 So I echo that using those sort of big  
21 operation-type patients where you have the  
22 instrumentation and the follow up, the patients tend to  
23 be a little bit more well characterized in terms of co-  
24 morbidities.

25 DR. WEISKOPF: Let me get around this

1 question for a moment, and that is in order to show  
2 efficacy for any of these compounds that we've been  
3 hearing about for the past day and a half, for  
4 interoperative elective surgery there must be a  
5 substantial amount of blood loss. That makes all those  
6 patients high-risk patients by the nature of the  
7 operation that's going on, and by the nature of blood  
8 loss. So I think these are being done in high-risk  
9 patients to begin with.

10 Now, if you are talking about some  
11 additional co-existing disease preoperatively, that's a  
12 separate issue.

13 DR. JOYNER: I agree with that comment, and  
14 if you think about using them in orthopedic patients,  
15 orthopedic surgery may not be risky, but the patients  
16 are old.

17 So, when you start getting a cohort of  
18 people that are 65 or 70, they are all going to be --  
19 there's two types of risk here, high risk for bleeding  
20 and then high risk for -- they'll all have co-existing  
21 disease, and high risk for perioperative problems that  
22 would be associated primarily with their co-existing  
23 disease.

24 So, I think that you can't get around it.  
25 And, there are a lot of really -- I mean, people don't

1 realize this, there=s a lot of really sick people  
2 having hip replacements, people with 25 and 30 percent  
3 ejection fractions, COPD, bad COPD, diabetics and so  
4 forth. They are stable and they are Amedically tuned  
5 up,= but it happens all the time.

6 I mean, Doctor Weiskopf has been at it  
7 longer than I have, but the thing people have to  
8 realize is that we routinely put, or anesthetize,  
9 sometimes it=s regional anesthesia, do this to really  
10 very sick people, and almost nothing ever happens.  
11 It=s boring down there. I mean, it=s boring, I don=t  
12 know how it is at your place, but there=s weeks that go  
13 by in the hallway where I work where there=s 14  
14 operating rooms and about 16,000 cases a year, I mean  
15 occasionally it=s a nine-ring circus, but there=s weeks  
16 that go by that nothing really very interesting  
17 happens. The cases get put on, they get taken off,  
18 and, you know, there=s no codes, nothing. I mean, it=s  
19 just --

20 DR. CARSON: We really feel bad for you.

21 DR. JOYNER: No, it just goes along. And,  
22 you know, I mean, what is it, it=s 99 percent boredom  
23 and one percent terror, and when something happens you  
24 have to be able to respond, but there=s a lot of weeks  
25 where nothing happens.

1 CHAIRPERSON KLEIN: I think we are all  
2 gratified to hear that, actually, since most of us are  
3 asleep when we are exposed to this.

4 But, I guess the point is, in that setting,  
5 especially with the potential co-morbidities that you  
6 mentioned, perhaps then that might be an ideal setting  
7 to look for toxicities of these compounds, as you said,  
8 even though there are co-morbidities, and they are  
9 elderly patients with a variety of disorders, generally  
10 things go reasonably well. If they don=t, then we  
11 ought to be able to see that fairly easily against the  
12 noise background and, perhaps, that answers some of  
13 Doctor Kruskall=s issues with trying to push the  
14 system, is that sufficient to push the system?

15 DR. KRUSKALL: Yes, I=m wondering, and I  
16 need help as much from the panel as from the members of  
17 the FDA, as to whether we still haven=t covered the  
18 situations where we might find ourselves using blood  
19 substitutes, for example, in an older patient with  
20 renal failure, or the patient undergoing surgery who  
21 has chronic liver disease, is it sufficient to let the  
22 role of the dice get us these patients through elective  
23 surgery, or through situations of trauma, or would it  
24 be necessary and valuable to insist that some of these  
25 infusions be done in patients with decreased creatinine

1 clearances, or with chronic hepatitis, viral hepatitis,  
2 or other hepatic abnormalities?

3 DR. VLAHAKES: Those have been exclusion  
4 criteria of clinical trials in the past. The question  
5 is, looking at it from the company=s standpoint, the  
6 doctor=s standpoint, and the regulatory standpoint,  
7 when do you cross the river Styx with respect to those  
8 kind, particularly hepatic and renal insufficiency, you  
9 know, and I don=t know the regulatory process to know  
10 how you do that, or what the recommendations are, or is  
11 that something done after market approval in simple  
12 kinds of patients, and I=d be interested in what folks  
13 from the agency have to say about that.

14 DR. SILVERMAN: Toby Silverman, FDA. We  
15 have recommended that all patients cleared for the  
16 surgical procedure be eligible for enrollment. We have  
17 not mandated that particular groups of patients at  
18 these high risks be enrolled, but we have asked that  
19 all patients cleared for the procedure, for just the  
20 reasons you are talking about, be eligible.

21 DR. CARSON: Traditionally, when you look  
22 at trials for new compounds, these kinds of patients  
23 are always excluded from these trials, and I would urge  
24 that the FDA require these patients, that you take a  
25 consecutive group of patients, and unless there=s a



1 clear contra-indication to drug that they be enrolled  
2 in the trial, and that the sick ones not be allowed out  
3 of the trial, so that you can get this information.

4 Traditionally, in the evaluation of drugs,  
5 these patients are not there, therefore, the population  
6 that regulatory approval is based upon are the patients  
7 that are the healthiest and, therefore, the least  
8 likely to find the adverse effects we are worried  
9 about.

10 And so, if we require them, then we are  
11 more likely to learn about this in the pre-marketing  
12 phase of this evaluation.

13 DR. SILVERMAN: Again, Toby Silverman, FDA.

14 By saying that all patients cleared for the procedure  
15 are eligible, and by saying that patients may not be  
16 excluded by whim of the investigator, I think that  
17 we've gone a long way to including the patients that  
18 we're most interested in seeing here.

19 We've recommended that specific exclusion  
20 criteria for liver disease and renal disease be  
21 removed. We've really limited the exclusions for these  
22 clinical trials. So we are trying to get all comers  
23 here.

24 DR. WEISKOPF: Well, I think that's a  
25 reasonable approach. On the other hand, I think, at

1 least in the elective surgery environment, one is  
2 unlikely to accumulate very many of those patients. If  
3 one takes electively cases that are going -- that have  
4 substantial blood loss, which is what the studies are  
5 going to be in elective surgery, in order to show some  
6 sort of efficacy, by and large those patients will not  
7 have substantial renal disease or exceedingly important  
8 pulmonary disease, because, remember, it=s elective  
9 surgery and they will have been excluded by the surgeon  
10 as not appropriate for this type of surgery.

11 So, whereas, I think that=s the appropriate  
12 approach, it=s going to be difficult to collect the  
13 data, and, whereas, we may want that kind of data it  
14 would be also difficult to say, take a population of  
15 patients who have important renal disease, say, coming  
16 for AV fistula creation, to say we are going to give  
17 you a compound just for toxicity testing, because  
18 there=s no chance of showing any efficacy here because  
19 they are very unlikely to need any blood to begin with.

20 DR. SILVERMAN: Toby Silverman, FDA. Please  
21 tell me how I can mandate that a patient undergo a  
22 surgical procedure that the surgeon doesn=t want the  
23 patient to undergo in the first place.

24 DR. WEISKOPF: Well, exactly, that=s what  
25 I=m saying, is that I think you=ve taken precisely --

1 the only approach you can take, and I'm trying to do is  
2 pointing out that you are unlikely to accumulate the  
3 sort of data that some members of the panel would like  
4 to see. That's the world we are living in, and that's -  
5 - you can't do any better.

6 DR. VLAHAKES: I disagree. The patient  
7 population we are seeing has tremendous co-morbidities,  
8 at least looking at it from the standpoint of cardiac  
9 surgery, particularly, with respect to other --  
10 particularly with respect to renal and pulmonary  
11 problems. They are elective because they walk in with  
12 a suitcase, or, you know, they are not going to the  
13 operating room at midnight, and then there are some  
14 subtleties to the definition of elective.

15 But, if you want to study patients that  
16 have important co-morbidities, the cardiac, the  
17 vascular, and the elderly orthopedic, they are going to  
18 be there.

19 DR. WEISKOPF: That's certainly true for the  
20 cardiac, you are correct, and I wasn't thinking about  
21 those, but certainly these compounds are being studied  
22 in populations other than just cardiac. Vasculars,  
23 whereas they have the disease, most vascular surgeons  
24 have gotten sufficiently good these days, that  
25 transfusion is becoming, if not vanishingly small, far

1 decreased, and there are few elective vascular cases  
2 that require a fair amount of transfusion these days.

3 DR. JOYNER: You're right, and that's part  
4 of the problem, people are getting good enough where  
5 there are a lot of minimal transfusions with big  
6 operations.

7 The second question, the second issue,  
8 though, I agree with Doctor Vlahakes, is even if  
9 people, orthopedic patients for example, who may or may  
10 not have surgery that will require transfusion, if they  
11 are older, and even if they have normal creatinines and  
12 so forth, if you go and do the calculations their renal  
13 function is really pretty low. And so, there are a lot  
14 of these people that look pretty good, but if you  
15 actually go and say what fraction of their renal  
16 function is normal, what fraction of their pulmonary  
17 function is normal, the age related changes and mild  
18 co-existing disease put these people, while they are  
19 not in overt renal failure or anything like that, I  
20 always like to say that these people are just a few  
21 nephrons away. So, there's a lot of really well  
22 compensated old people that are doing pretty well and  
23 things are going well, so the question becomes, will  
24 these compounds either keep them from decompensating or  
25 make them decompensate at a greater rate.

1 DR. NESS: Part of the problem with some of  
2 the co-morbidities in elective surgery for some of  
3 these studies may be that we've coupled them with ANH,  
4 where in general, in terms of controlled patients, most  
5 people who have major renal failure, major cardiac  
6 disease, you wouldn't want to do a hemodilution in any  
7 case, and then doing the hemodilution using the blood  
8 substitute as a vehicle would seem to be particularly  
9 even, perhaps, more risky.

10 So that, perhaps, what one would need to  
11 do, in terms of considering elective surgery studies,  
12 is use patients who are likely to have hemorrhage, who  
13 are going to either need blood or need something else,  
14 and have them as the vehicle, rather than always trying  
15 to do the elective surgery studies coupled with an ANH  
16 sort of model.

17 CHAIRPERSON KLEIN: I'm not sure whether I  
18 heard consensus here or not. In fact, I am reasonably  
19 sure -- I'm reasonably sure I haven't heard consensus  
20 here.

21 DR. CARSON: Well, let me, I mean, since it  
22 seems to be Dick and I who are disagreeing all the  
23 time, but I actually don't disagree with much of what  
24 he said, because you are clearly not going to have  
25 lots, and lots, and lots of patients with, you know,

1 creatinines of five, and, you know, FED ls of 900 ccs,  
2 but you are going to have a mixture of patients, and if  
3 you take all comers you will begin to accumulate a  
4 database, whether it will be enough to -- it won't be  
5 enough to have, you know, great confidence that you are  
6 not dealing with problems, but you'll begin to  
7 accumulate a database. And if events are occurring  
8 commonly, you'll pick it up. If they are occurring in  
9 the usual rates then you won't get -- you won't be able  
10 to detect those kinds of problems until Phase IV.

11 And, the last point to make is that, who  
12 gets blood? Older people get blood, and older people  
13 have co-morbidity, so there will be a modest amount of  
14 co-morbidity in whatever population you choose to  
15 study, but it won't be -- you are not going to have  
16 huge numbers.

17 So, I don't think we actually disagree very  
18 much on this question.

19 DR. WEISKOPF: No, I wasn't suggesting that  
20 we did, I think we were agreeing, and it's only an  
21 issue of quantitation that we are talking about,  
22 whether or not enough data will be accumulated to be  
23 meaningful.

24 DR. KRUSKALL: There's an interesting orphan  
25 group of patients that I've been struggling with how we

1 are going to get data, in terms of how to treat them,  
2 and those are the individuals with warm autoimmune  
3 hemolytic anemia, for whom blood is difficult to find,  
4 and for whom I know I'll be sorely tempted to want to  
5 use one of these substitutes should they come close to  
6 or reach licensing.

7 And, it's a particular worrisome subgroup  
8 because they are largely older patients, so they have  
9 the co-morbidity we are already talking about, plus  
10 they are struggling with their own increased free  
11 hemoglobin loads based on the hemolysis.

12 And, I'm concerned that whatever models we  
13 use push and study co-morbidity so that we can  
14 understand whether we can safely use blood in the  
15 situation.

16 I also am wondering whether it's possible  
17 to put together a study that allows us to accumulate  
18 these patients in a small fashion, because I think many  
19 people have contacted the FDA for compassionate use for  
20 these substitutes already, and there will continue to  
21 be some pressure to do that. It might be worth its own  
22 separate study as we go forward.

23 DR. NESS: Well, I would share that issue,  
24 obviously, for the warm autoimmunes, but I think an  
25 even potentially larger group who could really benefit

1 from this that we haven=t talked about, and it=s often  
2 in perioperative situations, is patients with sickle  
3 cell anemia, where the standard of care now is that for  
4 many large surgeries many people still do a  
5 perioperative exchange with four or five units of  
6 blood, about a third of the patients who have been  
7 chronically transfused are already allo-immunized, such  
8 that it is difficult in many of the cases to find the  
9 blood to use, and then if you do the exchange a couple  
10 of days before, they often have made a new antibody at  
11 the point where they are in surgery, so their bottom is  
12 falling out, and then you have nothing to give back  
13 because they=ve made a new antibody, everything is  
14 incompatible, and you are really in a mess.

15           And, it would seem to me that one of the  
16 very attractive potential uses of one of these oxygen  
17 carriers would be that instead of doing the exchange  
18 you could do an infusion of one of these materials.  
19 You could raise the hemoglobin transiently, which is  
20 not a problem because you only want it transiently  
21 raised. You could, perhaps, lower the viscosity. You  
22 wouldn=t be exposing to new blood with the risk of  
23 developing new allo-antibodies in the perioperative  
24 setting, or even for other kinds of acute events, chest  
25 syndrome or other kinds of things in sickle cell



1 anemia. These materials, I think, have tremendous  
2 potential and I think it would be nice to have the  
3 group or the FDA consider applications in these needs  
4 as well.

5 CHAIRPERSON KLEIN: Just a quick follow-up  
6 on that, Paul. Are we sure that there aren't allo-  
7 antibodies produced? How carefully has that been  
8 looked at? I know it's extremely difficult to produce  
9 an antibody against native human hemoglobin. These  
10 compounds aren't native, and in most instances they are  
11 given once, and frequently the search for antibodies to  
12 neo-antigens ends a couple of weeks after the  
13 administration of the compound.

14 DR. NESS: Well, I obviously don't know the  
15 answer to that, but I assume that the manufacturers  
16 have been asked by the FDA to show evidence of that  
17 sort. I think, even with the products that are made  
18 from outdated red cells, that the purification is  
19 sufficient, so there isn't enough membrane left that  
20 probably would be allo-immunizing, have capacity to  
21 allo-immunize, and, obviously, that would be a concern  
22 that would need to be addressed.

23 CHAIRPERSON KLEIN: This actually takes us  
24 relatively nicely into the second part of Section III,  
25 and we addressed this, or you all addressed this to

1 some extent yesterday already in the trauma setting,  
2 but we are moving on to safety endpoints in the  
3 surgical setting, and if you have a single pivotal  
4 trial in a stable elective surgery population, what  
5 safety endpoints are most likely to predict adverse  
6 events at higher risk? And, we're going to be talking  
7 again about how one might power a study with such  
8 adverse events.

9 Would anyone like to start with the  
10 surgical patients? We heard something about the trauma  
11 patients yesterday.

12 DR. VLAHAKES: Well, if you are designing a  
13 clinical trial in a large number of patients, that the  
14 patient population should be broad and should encompass  
15 a wide age range, and the purpose of doing this would  
16 be to also include patients that may have undiagnosed  
17 co-morbid conditions, and I'm thinking specifically of  
18 cerebral vascular and cardiac vascular disease. The  
19 safety endpoints should look at all organ systems, as  
20 have been done in Phase I and Phase II of clinical  
21 trials, with the idea being that you will have, in a  
22 large number of people, patients at risk.

23 I think some decisions have to be made about  
24 known coronary disease, for example, patients that have  
25 either mild stable angina managed under medical

1 therapy, or having elective surgery, there has to be  
2 some discussion about whether or not those patients  
3 should be included, since a broad application will  
4 include patients who may have occult disease, I would  
5 suggest that they be included. Some patients who have  
6 pre-existing mild chronic renal failure, COPD should be  
7 included, and a decision made about whether or not  
8 patients with known cerebral vascular disease to some  
9 degree should be included, but a broad patient  
10 population covering a wide range of ages where  
11 toxicities in all organ systems are examined.

12 CHAIRPERSON KLEIN: Given the known  
13 toxicities, or what we think are the known toxicities,  
14 are there specific things we ought to be looking for  
15 right now in these kinds of studies?

16 DR. VLAHAKES: Hemodynamics, the GI, the  
17 cardiac, and for including the fluorocarbons, the  
18 hematologic, infection issues following surgery with  
19 respect to host resistance.

20 DR. CARSON: I think it's the traditional  
21 things that we worry about in a clinical environment,  
22 you know, the post-op infarcts, heart failure. I think  
23 that infection is an especially important one that is  
24 one of the big problems that people develop post-op,  
25 and all the hematological stuff, there's, you know,

1 drugs, the unexpected things that happen are often  
2 related there, and you are worried about the renal  
3 function and hepatitis. You know, I think it=s all the  
4 traditional issues that we face, you know, in a  
5 clinical environment.

6 DR. VLAHAKES: The issues to be examined in  
7 the pivotal trial between Phase I and Phase II,  
8 extended Phase II studies for a given material under  
9 consideration, the potential issues should come out  
10 from the database generated for a given product in  
11 those early studies.

12 CHAIRPERSON KLEIN: Well, we=ve heard  
13 increasingly about the increased numbers that are being  
14 required to try and be relatively certain that we are,  
15 in fact, finding the common toxicities powering up the  
16 studies for safety. Do you have any comments about  
17 that that might be of help to the agency?

18 DR. VLAHAKES: If you are looking at -- if  
19 we are saying today that in Phase I and Phase II  
20 studies we=ve developed a notion of what the material  
21 safety profile may be, but we are all concerned that  
22 when we expand its use to the older patients and more  
23 co-morbidities, if you are thinking of expanding the  
24 spectrum of patients you are going to have to have the  
25 numbers. If you are looking for things that may not

1 have shown up in Phase I and Phase II studies, you are  
2 going to have to have the numbers.

3 And also, as a clinician I=d want to see  
4 those, I=d want to know that if something proved to --  
5 in very stable studies, and a stable patient  
6 population, and normal volunteers in Phase II studies  
7 that nothing turned up, I=d like the envelope pushed a  
8 little bit because my clinical practice anyway requires  
9 that I do that with almost everything that I have used  
10 in the past, where things have turned up following  
11 approval, most notably, the agent aproteinine that is  
12 used a lot in cardiac surgery. When that started to be  
13 used in the patient population we are seeing, we began  
14 to see renal failure that was really not a major issue  
15 either in the European studies or in clinical trials in  
16 the U.S.

17 So the numbers, although it makes the  
18 pivotal studies more complex, it makes them more  
19 expensive, it makes them take longer, we have to have  
20 the data obtained from large numbers of patients.

21 DR. WEISKOPF: I agree in concept that we  
22 want to be finding out as much information about  
23 toxicity, about all of these compounds, as we do about  
24 all drugs before they are used for the general  
25 population. On the other hand, I don=t think it=s

1 reasonable for us to tell or recommend to the FDA that  
2 they have criteria that are more stringent than they  
3 use in general for any compound or any drug that they  
4 seek to -- that has asked for approval, that we be  
5 careful not to single out this class of compounds for  
6 some special notoriety that requires an "n" of several  
7 fold more than other compounds might be.

8 If there are special issues that we think  
9 ought to be investigated particularly, then I think we  
10 should definitely make recommendations about that, but  
11 to just, in general, accumulate more data than is  
12 ordinarily asked, to me doesn't seem like a fair thing  
13 to do.

14 DR. VLAHAKES: Well, if you design a  
15 clinical trial where you have pages of exclusion  
16 criteria, as you might have in Phase II trials to  
17 eliminate people that you are worried about where  
18 toxicities may show up, yet clinicians may want to  
19 apply the materials to these so-called Asuper  
20 patients, your hands are tied. I mean, you know, if a  
21 vendor goes into the regulatory process with exclusion  
22 criteria that have been applied to the clinical trial,  
23 don't they have to live with those following approval?  
24 And it ties a clinician's hands medico-legally, for  
25 example.

1           So, the question is, you know, how do you  
2 break out of the envelope you create by defining the  
3 risk profile of the patients? The only way I can see  
4 you break out of that is that you build it into your  
5 pivotal trial.

6           DR. CARSON: The traditional number of  
7 patients that I think the FDA is requiring has been  
8 3,000, which maybe -- you know, that would pick up --  
9 that would exclude, at that rate, greater than one per  
10 1,000 in people exposed.

11           The problem here, and I'm sensitive to the  
12 issues that Dick is bringing up, is that we think we  
13 have a very safe alternative product, which is  
14 allogeneic blood, and so if you were to contrast, the  
15 whole rationale, except for a lot of the situations  
16 that Jim's been mentioning, but in elective surgery the  
17 whole rationale to use these agents is because they are  
18 safer, we hope. And so, it seems like the burden of  
19 proof here needs to consider that, and I think that's a  
20 dilemma. I'm sure that's the reason why this question  
21 is on the table.

22           And so, you know, it's not the usual  
23 situation that we face in approving a new drug. We  
24 think we have a very safe alternative treatment here.

25           DR. WEISKOPF: I understand and appreciate

1 what you say, and in large measure it=s correct. On  
2 the other hand, many drugs are approved that are not  
3 necessarily any more efficacious than the drugs that  
4 are already marketed.

5 I=m sure in our daily practice we can think  
6 of many examples where a new drug comes on board, which  
7 really isn=t much different from its predecessor, and  
8 yet, there it is.

9 DR. CARSON: You mean like the 30 non-  
10 steroidal anti-inflammatory drugs, as an example?

11 DR. WEISKOPF: Well, in our practice like  
12 neuromuscular blocking agents are used in the operating  
13 room. We have any number that are perfectly safe to  
14 use, and yet, every year or two there=s a new one that=s  
15 marketed.

16 DR. COHN: Making the assumption that we  
17 want it to be as safe as blood, I=m not sure it  
18 necessarily has to be safer, because there are many  
19 situations where this will be invaluable and blood is  
20 not available, and I=m not just talking about the pre-  
21 hospital and combat casualties, I=m also talking about  
22 all the hospitals where there is an insufficient blood  
23 bank, or there is no blood bank, places where they load  
24 up a trauma patient or a sick GI bleeder and ship it  
25 into the medical center, they don=t have blood



1 available. But they could have blood substitute  
2 available, and they wouldn't need a blood bank to type  
3 and cross patients, et cetera.

4 So, I think that in terms of safety, you  
5 know, we would like it to be not harmful to the  
6 patient, but it's certainly going to be -- these  
7 products are going to be available in ways that, you  
8 know, the blood is just not available. So, I think that  
9 there are many opportunities. This is not like another  
10 anti-hypertensive drug, this is to say, a hypertensive  
11 drug where there is nothing right now, you know.

12 So, I really, I urge us to try to find a  
13 way to show reasonable safety in an expeditious way, so  
14 we can get this to the point where we can use it.

15 CHAIRPERSON KLEIN: This is in keeping with  
16 the comments that were made at the end of yesterday's  
17 session, if one could demonstrate that any of these  
18 compounds were as safe as blood, and carry oxygen, that  
19 that would be sufficient in your mind for getting  
20 something on the market, and let the market determine  
21 then whether and to what extent these are better than  
22 blood.

23 DR. COHN: You know, unfortunately, you've  
24 got sort of a risk -- you are trying to analyze the  
25 risk benefit. Doctor Holcroft has -- this is something

1 I have to deal with every day, he commented on the  
2 person who has a head injury and is hypotensive.  
3 That=s an every-day occurrence. We have millions of  
4 head injuries, literally 2 million head injuries in the  
5 United States, and they are dying at a great rate.

6 When you contrast, you know, that with the  
7 fact that maybe a couple of patients may have a little  
8 bit of pancreatitis, okay, that receive this  
9 medication, versus saving a considerable number of  
10 lives potentially with the use of a blood substitute in  
11 the pre-hospital setting, I have to react to the fact  
12 that I=m very frustrated by the fact that I have  
13 nothing I can do for these folks.

14 Pancreatitis, I can put the person in PO  
15 for a week, right? If they get a little bump in their  
16 renal function, I won=t give them a contrast load the  
17 next day, all right, but I have nothing that I can do  
18 for all of these scores of patients that are dying  
19 because there is no way to give them an adequate oxygen  
20 delivery out in the pre-hospital setting. We are  
21 talking about, you know, an epidemic proportion of  
22 patients.

23 DR. HOLCROFT: Couldn=t agree more. I mean,  
24 that=s the point. We are talking, trauma kills  
25 patients, and about half the trauma deaths are caused

1 by head injuries, or the head injury plays a major role  
2 in it. So, I guess, but with respect to your -- as I  
3 understood your question, Doctor Klein, the one you  
4 just posed, you were saying if we could show that it  
5 was equivalently safe to allogeneic blood would that be  
6 reason to license it, I think that was your question.  
7 Is that right?

8 CHAIRPERSON KLEIN: And carries oxygen.

9 DR. HOLCROFT: And carries oxygen, right,  
10 yes, that=s a good point. Thank you.

11 DR. CARSON: And, delivers it, too, right?

12 DR. HOLCROFT: And, delivers it, too, and  
13 off loads it, that=s right, it=s got to do a lot of  
14 things.

15 As much as I would like to say yes to that,  
16 I would still say no. I would still want to see a  
17 study showing that it actually makes a difference,  
18 again, in trauma patients, or maybe in the sickle  
19 patients. Now, that=s something I hadn=t thought of at  
20 all, or a patient with hemolytic anemias and so on.

21 I=d like to see a benefit in those  
22 patients, because it=s possible, you see, that for the  
23 head injury patient that maybe you don=t need the  
24 hemoglobin, maybe all you really need is just volume  
25 and pressure, and so it might be possible to achieve

1 that with acellular solutions, it might be. So I=d  
2 like to see some efficacy for those patients.

3 DR. KRUSKALL: I think if it=s equivalent in  
4 safety to blood, and it carries -- transports and  
5 delivers oxygen, that it is licensable for the  
6 indications that Paul and I have been talking about.  
7 And, I think the magnitude of the market doesn=t  
8 concern me as much as the fact that there would be a  
9 starting utility for it.

10 I still am worried about organ damage. I  
11 appreciate in the setting of trauma that this would be  
12 wonderful to have and the tradeoffs are terrific, but I  
13 think about the horrendous problems that could occur if  
14 the organ injury is additive in an older patient, and  
15 also the potential for the mess that=s created medico-  
16 legally if it=s used in a situation where, perhaps, its  
17 indications were questionable and these complications  
18 occurred.

19 I think the problem is that we have too  
20 many markets that are of interest, in terms of this  
21 product. We have no choice but to hedge our bets and  
22 simultaneously look at trauma trials and something  
23 that=s very elective. In fact, if we look at what are  
24 really poles of extremes of use, I think we actually  
25 would cover a very big array of potential indications,

1 but we have to have studies at both ends.

2 DR. CARSON: Harvey, you brought up equally  
3 safe to blood. I mean --

4 CHAIRPERSON KLEIN: Not identical, but  
5 equally safe.

6 DR. CARSON: -- but, you know, I mean,  
7 that=s not achievable in these kinds of studies. I  
8 mean, then we could pull Steve Gould=s slide out of  
9 64,000 and those are the kind of numbers you are going  
10 to start to look at. I mean, it=s going to be -- you  
11 are only going to be able to get that kind of safety  
12 parameters in post-marketing, and I think these drugs,  
13 if they get marketed, and I hope they do at some point,  
14 then the FDA should require a post-marketing study so  
15 that we have that information.

16 Can I bring up another issue, which is not  
17 on here, about efficacy, how we are going to prove  
18 efficacy in elective surgery?

19 CHAIRPERSON KLEIN: Yes, but before you do  
20 that I think we=ve got a comment that was waiting.

21 DR. JOYNER: I want to make a comment about  
22 the safety issue and the trauma trials, and the trauma  
23 surgeons here, true to their training, personality  
24 type, MMPI scores and so forth are anxious to have  
25 something to do to these desperately ill patients who

1 they see die at regular intervals, and they would  
2 rather do something than not do something, which is why  
3 they are good at it.

4 And, Doctor Holcroft=s comments about head  
5 injury are important, and maybe you could help those  
6 people, maybe these compounds would be terrific for  
7 them and they would have less neurologic injury, in  
8 addition to savings lives, and even if you didn=t save  
9 any lives if you just had people that were more  
10 functional when they got out of the rehab unit that  
11 would be a great, great, great achievement, you know,  
12 for your people in wheelchairs slobbering.

13 But, the issue is, in many of these  
14 injuries you are going to have disruption of the blood-  
15 brain barrier, and with some of these hemoglobin  
16 compounds I think that for a variety of reasons you  
17 want to be careful before you put hemoglobin next to  
18 the brain, that=s the first thing, and the second thing  
19 is, even though these compounds might have been seen as  
20 safe in one environment, they may not be safe in  
21 another environment. I really agree with Doctor  
22 Holcroft, you are going to have to do a trial because I  
23 think you license something, you say it=s safe, or it=s  
24 good enough, and, you know, you need to be brutally  
25 intellectually honest with yourself before you don=t

1 make somebody do a trial, a well-designed trial. I  
2 think you are really playing with fire there.

3 DR. WEISKOPF: I=d like to bring up an issue  
4 which we have been talking about but sort of skirting  
5 around. I really would like to confront it head on,  
6 because it has been bothering me for the past day and a  
7 half, and that has to do with off-label use.

8 I=ve heard a lot of the panel discuss  
9 issues related to safety, which are generated by the  
10 concern for off-label use, and it is my understanding,  
11 and I would like, I guess, comment from somebody, some  
12 relatively senior person in the FDA about this, because  
13 it will straighten out, perhaps, my understanding --  
14 it=s been my understanding that a product is licensed  
15 for whatever the studies can support, and that then it  
16 is up to an individual physician to decide whether or  
17 not they want to take whatever risks are associated  
18 with off-label use, and that the FDA does not take any  
19 official position about that. And so, the issue here  
20 is, are we -- is it appropriate for us to be concerned  
21 about off-label use? And, many of us, for many years,  
22 have used many drugs in off-label use. We all take  
23 that individual risk and go on with it.

24 CHAIRPERSON KLEIN: Does anyone else on the  
25 panel want to comment on that?

1 DR. CARSON: Happens all the time, and it=s  
2 going to happen here. I mean, there=s not a chance it=s  
3 not going to happen.

4 DR. WEISKOPF: Well, yes, it=s going to  
5 happen. The question is, do we force somebody to do  
6 studies for which they have no desire for that in their  
7 label? Is that an appropriate thing?

8 DR. SILVERMAN: Let me just give you my  
9 basic philosophy here. You are right, people get a  
10 label for what they study. It does not absolve us from  
11 responsibility when we know that a product will be  
12 used, and in this case probably massively, off- label.

13 I think we have a responsibility to ask manufacturers  
14 to study a product when they know that it will be used  
15 in that way.

16 I also think that, you know, each of them  
17 probably has an intent at some point to market for most  
18 of these indications.

19 DR. AEBERSOLD: If the agency has a clear  
20 indication that a drug is sold vastly in excess of the  
21 labeled indication, the agency actually has legal  
22 authority to force the company to study the off-label  
23 use, so that it can be demonstrated or assessed whether  
24 it=s safe and efficacious in that, and that=s for a  
25 marketed drug. What we are asking, you know, let=s



1 consider this question up front, rather than, you know,  
2 find out ten years after a drug is on the market that  
3 it may have been unsafe. We do have the legal  
4 authority to force a company to do studies in an off-  
5 label indication.

6 DR. WEISKOPF: After it is marketed, if it=s  
7 used after it=s marketed in an off-label indication.

8 DR. AEBERSOLD: Right. Let us recognize  
9 that, you know, there are beachhead indications where  
10 you get on the beach and you want, you know, to market  
11 it to the whole continent.

12 DR. HOLCROFT: How many times has the agency  
13 done that?

14 DR. AEBERSOLD: I don=t know the answer to  
15 that.

16 DR. SILVERMAN: I don=t know the answer  
17 either.

18 DR. WEISKOPF: You=re talking about after it  
19 is marketed and there is substantial off-label use, as  
20 opposed to prior to marketing, am I understanding you  
21 correctly?

22 DR. AEBERSOLD: I know that that can be done  
23 for a marketed drug.

24 DR. WEISKOPF: Sure, right.

25 It was different from what Doctor Silverman

1 was talking about, about a responsibility beforehand  
2 when one has certain beliefs in indications.

3 DR. AEBERSOLD: If it=s widely known that  
4 the marketed indication would be vastly in excess of  
5 the sought indication, I think we can address the issue  
6 in the pre-licensing stage as well. I mean, we=ve all  
7 heard, I think Doctor Joyner said very clearly  
8 yesterday that, you know, if it was shown safe for two  
9 units in surgery, he=d love to use ten units in the  
10 trauma setting, if I=m remembering that comment  
11 accurately, and I think that that indicates a potential  
12 for use in areas that haven=t been studied.

13 DR. JOYNER: I don=t want that quote  
14 ascribed to me, somebody else said it.

15 DR. AEBERSOLD: Sorry.

16 DR. COHN: Just to comment, in the 95  
17 minutes of this meeting, 332 people have died in the  
18 United States from trauma, okay? This is not a minor  
19 problem. As many people as in this room have died from  
20 traumatic injury, and I think that this is not your  
21 typical situation where we are talking about another  
22 anti-hypertensive medication, we are talking about the  
23 potential of using something that has got a  
24 considerable amount of benefit.

25 I=m not ignoring, you know, the fact that

1 we want this to be safe, and I agree that you cannot  
2 show, you know, in a trial of two 100,000-person arms I  
3 think that there is a certain urgency here, and I think  
4 that we need to consider that when we are talking about  
5 this drug. Maybe these drugs are things that need to  
6 be looked at in a planned post-licensure marketing  
7 analysis, so that we can get, recognizing that there is  
8 going to be -- frankly, let=s look at it a different  
9 way -- the cardiac surgeons are not going to start  
10 using this in cardiac surgery tomorrow because they  
11 have a perfect -- if it was licensed today it=s not  
12 going to be used because we have good alternatives.

13 Fibrin glue was approved last year, we  
14 don=t use hardly any fibrin glue at our institution,  
15 despite the fact that we are doing a whole lot of  
16 surgery that could benefit from fibrin glue, because  
17 it=s very expensive and there are certain logistic  
18 problems with using it. Okay. Just you because you  
19 license it doesn=t mean everybody is going to abuse it,  
20 but there are situations where it will be extremely  
21 valuable and that the risk benefit ratio is going to be  
22 far outweighed by the benefits.

23 And, yes, maybe it will be shown to cause  
24 some unexpected small incidence of adverse effects, but  
25 we=re talking about using it in situations where the

1 mortality is already 90 percent. If a few people get  
2 unexpected pancreatitis or something like that, I mean,  
3 this is a unique situation.

4 And, while I'm speaking, more people are  
5 dying. So, I'm not trying to be theatrical here, this  
6 is a fact, and we don't have any answers to it right  
7 now.

8 DR. VLAHAKES: Speaking about rapidity of  
9 deployment and use in clinical practice, the vendors  
10 should be aware, and I'm sure you are, if this was  
11 approved tomorrow, and given the fact that blood is the  
12 alternative, the budgetary process in most large  
13 hospitals is immense. And, again, this is separate --  
14 probably separate from the regulatory issues, but a  
15 concern that all of a sudden it's going to go into  
16 100,000 people the day after approval is very unlikely,  
17 given the kinds of fiscal restraint that we will face  
18 if we want to use it in clinical practice.

19 We'll have to justify it on a risk/benefit  
20 cost basis, probably to the Blood Bank Committee.

21 DR. CARSON: But, I'll predict that  
22 patients' demands for these drugs will move these drugs  
23 rapidly into the marketplace, because, I mean, you only  
24 have to watch the trend in blood safety that is present  
25 now with all these new tests that are picking up three

1 cases of HIV in 13 million units of blood transfused,  
2 that there=s such a demand to get zero-risk blood that  
3 alternatives are going to be warmly received, even  
4 though, even though it may be completely irrational  
5 because, you know, the prospects that these drugs are  
6 going to be as safe as blood is -- I mean, that=s, I  
7 mean, statistically I think it=s unlikely, just by --

8 DR. COHN: But, if a patient -- I=m sorry --  
9 but, if a patient came in and said I want to use the  
10 new blood substitute, and you said to him, if I used it  
11 24 hours later it will be gone and, therefore, I would  
12 have to give you a unit of blood anyway, you have to  
13 clearly explain it to them. This is not the lap. coli.  
14 issue, or the patient saying I want a smaller scar.  
15 They are going to rely on us, okay, to do the best  
16 thing for them.

17 And, if we are going to end up giving them  
18 the cost and the risk of a blood substitute followed by  
19 the unit of blood anyway, then, you know, I just think  
20 that it=s not going to be lay people charging in line  
21 to get a bridging blood substitute when they are in  
22 hemorrhagic shock. I just doubt that that=s where we  
23 are going to be using it, and for some of the financial  
24 reasons you have mentioned.

25 DR. JOYNER: The financial reasons are quite

1 interesting. Again, I want to distinguish between  
2 elective use and I think what Doctor Carson is talking  
3 about in these shock issues. All of us here work at  
4 academic centers where there=s reasonable peer review.

5 There=s a Pharmacy and Therapeutics Committee, to get  
6 certain antibiotics it takes an act of God, plus an ID  
7 consult, all sorts of other things.

8 But, if you look at data that=s been in the  
9 New England Journal and JAMA, only 30 percent of the  
10 people that could benefit from beta blockers that have  
11 cardiac events in community hospitals are getting beta  
12 blockers. If you look at aspirin use in post-MI  
13 patients in community hospitals, it=s way down, as  
14 opposed to academic centers where people are doing  
15 pretty well.

16 So, I think for us to think that the  
17 average physician out there is going to, (A) keep up  
18 with the literature; (B) deal with the use of these  
19 products in a subtle and intelligent way, or if they  
20 have any interest in it, is nuts, and there=s no  
21 evidence to support it.

22 I mean, the practice out there is pretty  
23 bad in a lot of areas. That=s point one.

24 Point two is that you are a private  
25 practice orthopedic group, and you start promising

1 every grandma that comes in that you are not going to  
2 give them blood, you know, how long will it be until  
3 that=s an advertisement in the Miami Herald? Pretty  
4 darn fast. And so, I think that if the public=s  
5 perception of risk of blood is way of whack in  
6 comparison to things not like non-steroidal anti-  
7 inflammatory drugs that do, in fact, kill a lot of  
8 people every year, I think that the public is second  
9 only to the many private practice clinicians who don=t  
10 understand some very straightforward and simple things.

11 I think we=ve got to be careful. I think  
12 it would be used completely -- like, using aprotinine,  
13 I mean, again, in our institution fibrin glue, you are  
14 right, all these things have been used intelligently,  
15 but I=m not sure they=d be used intelligently in the  
16 outside world.

17 CHAIRPERSON KLEIN: We=re a little off the  
18 point, but I think we have time.

19 DR. JOYNER: No, I don=t think we are off  
20 the point, because I think off-label use is going to be  
21 a problem. I think expecting people to -- the  
22 assumption here, because we work in academic centers,  
23 that people are going to use these rationally is  
24 subject to challenge.

25 CHAIRPERSON KLEIN: We have time, and I

1 think this is an important issue, and I want to ask the  
2 panel members, many of whom have practices dissimilar  
3 from my own, and that is, assuming that something is  
4 marketed, say, for a surgical indication, and yet,  
5 people want to use it for a number of off-label uses,  
6 I'm assuming, (A) that the average practicing general  
7 physician is not going to have access to these kinds of  
8 drugs for either financial reasons or because there's a  
9 practice guideline that's set up by the equivalent of a  
10 PT committee at an academic center. Is that  
11 essentially what you are saying? It's not going to  
12 there like aspirin to give, even if the average  
13 physician had read the literature and said, yes, this  
14 is something that we need to use.

15 DR. VLAHAKES: I think, just to look ahead a  
16 little bit, using this in sufficient quantity or with  
17 repeated dosing to ultimately avoid one or two units of  
18 blood exposure for, let's say, a cardiac surgery  
19 patient, that's going to wind up adding, let's say, a  
20 four figure amount of money to the patient's bill. It  
21 will go to committee, there will be a risk/benefit  
22 analysis against blood, and there will be a practice  
23 guideline. Practice guidelines used to be suggestions  
24 in the past, they are now coming down on us in a  
25 slightly more forceful manner.



1           And, just for the vendors who are going to  
2 be marketing later on, the television commercials aimed  
3 at the patient population work for some things, but  
4 they are not going to work against panels of experts  
5 within hospitals. So, keep that in mind when you price  
6 and ultimately go to sell.

7           DR. JOYNER: I think that there won't be  
8 panels of experts at hospitals. I think if you look at  
9 my home town in Tucson, the cardiac surgery data in  
10 private practice hospitals in Tucson indicates that  
11 nobody has ever read those, nobody is even aware of  
12 that New York State experience, because they violate  
13 every key element of it. So, I think that our faith  
14 that people out in the community are going to be  
15 subject to even 50 percent of the types of restraints  
16 or peer review we are is just not supported (A), and  
17 (B) that in many of these community hospitals in  
18 highly-competitive areas where there's a couple cardiac  
19 surgery programs, if some cardiac surgeon wanted to do  
20 this, and was told no at one place, he would threaten  
21 to move his program, his bed days and his ICU use  
22 elsewhere, and they'd be welcoming him with open arms.

23           So, I think that these people are not  
24 subject to the type of peer review we are, and I don't  
25 think there's any -- and the financial stuff may be

1 helping, but I don=t know if it will do as good a job  
2 as the types of P&T committees and mandatory consults  
3 they use B and stuff like that do for us.

4 DR. WEISKOPF: I agree with the thought that  
5 to a large extent the use of these compounds will be  
6 fiscally controlled. I would guess, and I guess I have  
7 no firm reason to believe, but I would guess that these  
8 compounds will be relatively expensive to use, and any  
9 individual unit, however it is marketed as a unit, will  
10 be relatively expensive, and that these days any  
11 hospital administrator, in some form, whether it be  
12 through committee, or whether it be through a pharmacy,  
13 or whether it be through a blood bank, wherever these  
14 get eventually dispensed in a given institution, will  
15 look at that cost very carefully.

16 Even for relatively low-cost drugs, we are  
17 now -- many institutions are now under great pressure  
18 to reduce costs of even what we used to consider as  
19 relatively low-cost drugs.

20 DR. KRUSKALL: Well, we don=t actually know  
21 what the cost of this is going to be, and it  
22 embarrasses me a little bit to think that we would  
23 abrogate our responsibility to the hopes that the  
24 finances would work out in our favor. If they don=t,  
25 or if there is some creative solution that short term

1 allows these to be disseminated, then, in fact, we  
2 haven't plugged a hole that we're trying to deal with.  
3 So, I wouldn't count on that.

4 DR. WEISKOPF: Well, it embarrasses me as  
5 well, but that's what's happened to the medical system,  
6 and what's happening, you know, to much of our medical  
7 system is an embarrassment.

8 CHAIRPERSON KLEIN: I think we'll move back  
9 a little bit and try to address at least one of the  
10 aspects of the question that was posed to us by the  
11 agency, and that is, in the situation where you have a  
12 single pivotal trial in an elective surgery situation,  
13 and we have a number of those ongoing right now, what  
14 is the increase in adverse event rate that should be  
15 ruled out before commercial availability? That's your  
16 last question here. And, should this increase vary  
17 depending upon the rate of adverse events in the  
18 controlled population? If so, how? Not an easy  
19 question, but let me open that up.

20 DR. HOLCROFT: I'm willing to start.

21 If, say, a sickle cell patient, or a  
22 patient with a hemolytic anemia, then I'd accept some  
23 adverse events. If it's just a routine, I don't know,  
24 let's say, a routine hip, or an aneurism resection or  
25 something, where we have a very good product which is

1 very safe, then I=d demand that the adverse event rate  
2 be very low.

3 DR. KRUSKALL: See, that=s because you are a  
4 trauma surgeon. I would feel exactly the opposite.  
5 I=d be very concerned about adverse events in warm  
6 autoimmune hemolysis, it is a very tricky situation,  
7 and there certainly have been patients who have died  
8 for lack of blood. But, we sweat as we do find ways  
9 around the situation, and although I would like to  
10 improve the speed with which we find blood, I=m not  
11 sure that I could tolerate an adverse event rate the  
12 way I could imagine tolerating it in trauma where the  
13 mortality is so high.

14 DR. HOLCROFT: Well, I don=t know anything  
15 about hemolytic anemia, so that=s fine. I=ll be happy  
16 to accept your analysis.

17 But, I guess what I=m saying, if there are  
18 cases in elective surgery, and that=s what I kind of  
19 thought you were saying earlier, where the problems of  
20 giving allogeneic blood were enormous, then under those  
21 circumstances, and I don=t know what those  
22 circumstances would be, and you guys would know, then  
23 I=d be more willing to accept a side effect. That=s  
24 all.

25 Now, maybe there aren=t any cases. Maybe

1 you -- it seems in our hospital that, at least in my  
2 patients, in surgical patients, our blood bank somehow  
3 always manages to come through, and I don=t know how  
4 they do it, but they just -- they do it. Now,  
5 sometimes it takes them 24 hours for an elective case.

6 And, if that=s the situation, then I=d have  
7 to concede your point. But, are there cases where  
8 blood banks can=t come through in elective surgery?

9 DR. KRUSKALL: I think that there are  
10 relatively few, and it is the work, and the risk, and  
11 the resource allocation that goes into solving these  
12 problems that makes this, to me, so appealing, and also  
13 makes a high rate of adverse events less tolerable.

14 But, we don=t know that we are there, I  
15 mean we may find a product that is equivalent in terms  
16 of adverse events that would be very -- has very few  
17 adverse events and would be very acceptable. I don=t  
18 know how to put a limit on it, but my threshold would  
19 be low.

20 DR. NESS: Yes, I think that at a place like  
21 Hopkins, we=d probably have a handful of cases a year  
22 where we get to a situation either for elective surgery  
23 or somebody even in the ICU, I would really want to use  
24 a blood substitute if it were available because there  
25 really is no other alternative that I think is not

1 within an acceptable degree of risk.

2           Having said that, I think the real problem  
3 is that I think I can make that decision pretty  
4 clearly, because I think I know the risks of going  
5 ahead with blood in those situations, and I think I  
6 would know the benefits of the blood substitute, but  
7 not everybody is going to be in the same situation to  
8 make that judgment and, obviously, the concern would be  
9 that some people would quickly leap to doing something  
10 rather than wait the 24 hours to find compatible blood,  
11 which might be the ultimate solution if they were  
12 willing to wait.

13           DR. HOLCROFT: I stand corrected.

14           DR. JOYNER: I would have to talk to the  
15 blood bankers in Rochester, but it=s my impression,  
16 because I talk to them all the time, that they have  
17 similar numbers that you have. Through hard work and,  
18 you know, we even have a number of stored frozen units  
19 that can be thawed for these really difficult cross  
20 matches, and it=s difficult but it never failed us.

21           And, what=s amazing to me is not the --  
22 it=s the number of people that can do it in less than  
23 24 hours, and the number of people that you have to  
24 wait a while for it is vanishingly small, the number of  
25 people that they can get stuff for you in three, or

1 four, or six hours is incredible.

2 DR. NESS: But, there is a real need. I  
3 mean, these materials would be very -- you know, we had  
4 a couple cases in the last foreseeable memory that  
5 would not have died had these things been available.

6 CHAIRPERSON KLEIN: Again, this is, in many  
7 ways, a temporizing effort, where it may take you 24  
8 hours to get the blood from the frozen storage in  
9 Rochester to the coast of North Carolina, and with the  
10 24-hour half life you may, in fact, be able to deliver  
11 oxygen, even if the toxicity of the compound is  
12 slightly higher than it might be for blood.

13 Does anyone want to attempt to put numbers  
14 on this for the agency? Don=t feel that you must do  
15 so.

16 DR. WEISKOPF: You know, I think they are  
17 asking us because they=ve had difficulty answering this  
18 question, and I don=t know that it=s any easier for us  
19 to answer the question than it was for the agency  
20 itself.

21 You=ve heard a variety of opinions, and  
22 there are an enormous number of circumstances that one  
23 might alter the risk benefit ratio. There are a  
24 variety of different times where the risks vary, and  
25 say the clinical risk varies, then there has to -- how

1 can you put that variable risk into a finite one number  
2 for risk for the projected use of a compound or drug.

3 DR. COHN: On the other hand, I think  
4 possibly we could think of this, not as -- I mean, I  
5 think it would be -- it would have to be incredibly  
6 safe to be replacing blood in the simple elective  
7 situation, but if we say that we are going to use it  
8 when the, you know, redo whatever case goes bad, or the  
9 person who is GI bleeding, or the person who is  
10 traumatized, in that situation possibly the level of  
11 adverse effects could be a little bit higher. That=s  
12 all I was going to say. So, I mean, if you were going  
13 to say it has to be one in 60,000, if it was going to  
14 be the replacement for conventional blood transfusion,  
15 where I think it will be very infrequently, I hope it  
16 will be very infrequently used, that would require a  
17 different level of safety than if you think it=s going  
18 to be used in a liver transplant with 100 units where  
19 just having something available will be advantageous.

20 DR. CARSON: I would ditto the concept  
21 that=s being expressed, is that we would be much more  
22 tolerant of adverse effects in the trauma situations  
23 that Jim is describing for us, and have little  
24 tolerance for significant effects in an elective  
25 surgery situation where we have, you know, allogeneic



1 blood available to us.

2 So, I think the standards would be very  
3 different in two settings.

4 CHAIRPERSON KLEIN: If there are no other  
5 comments on this, I cut Doctor Carson off a little  
6 while ago, he was going to make a comment on efficacy,  
7 or, Doctor Silverman, do you want to comment first?

8 DR. SILVERMAN: Yes, Toby Silverman, FDA.

9 The question is very specifically framed,  
10 if you are going to have only one pivotal trial, what  
11 would you want to see?

12 CHAIRPERSON KLEIN: Does that make it  
13 easier?

14 DR. CARSON: But, I think it=s the same  
15 answer. I think it=s the same answer. If it=s with  
16 pivotal trials in trauma then, you know, what we are  
17 worried about is different than if an elective.

18 But, really, what the number you want is  
19 elective, you know, and that=s the one, of course,  
20 that=s really hard. I mean, if you were to follow  
21 through Dick=s earlier comment that, why should we have  
22 a standard that=s different than we have for new other  
23 drugs, then I guess the one in a 1,000 standard is  
24 commonly used. But, I don=t know, it=s very subjective  
25 and I=m not sure, I don=t know what the right answer is.

1 I certainly don't feel strongly about any of these  
2 other, and I'd sort of want it in the magnitude, you  
3 know, in that rate, in the magnitude of one in a 1,000  
4 kind of rate, which, you know, I mean, we are talking  
5 about exposures of 3,000 patients to be able to get  
6 those kinds of numbers.

7 CHAIRPERSON KLEIN: Jeff, did you want to  
8 comment on efficacy?

9 DR. CARSON: Yes, I mean, I would like to  
10 bring up an issue that's not on your list, but it's come  
11 to mind as I've begun to think a lot about these drugs.

12 My understanding is that one of the tests of efficacy  
13 is reduction of allogeneic blood use, that if you can  
14 demonstrate that there's less allogeneic blood use in  
15 patients randomized to receive these drugs, that that  
16 would be considered efficacy.

17 And, my problem with that is on several  
18 levels, and what I'm going to do is just create a  
19 scenario for you. I think that the studies as they are  
20 currently designed are biased towards finding effect,  
21 for the following reasons. One is that most of these  
22 studies are set up where basically you randomize  
23 patients to be given an allogeneic unit of blood or a  
24 blood substitute, that then these people are followed  
25 forward in time and then you are counting units of

1 allogeneic blood on follow up.

2 I think that design guarantees that you are  
3 going to find a difference, even if the blood  
4 substitute is doing nothing, and the reason is that,  
5 there=s at least two reasons. First is that in the  
6 very early parts of the perioperative recovery period  
7 those are when people look sickest, that=s when they  
8 are physiologically challenged the most, and I think  
9 it=s when most blood is given.

10 And so, you=ve guaranteed that there will  
11 be a delay in administration of allogeneic blood to the  
12 group randomized to the blood substitute by study  
13 protocol, and so when you are then considering whether  
14 you should give additional allogeneic blood those  
15 patients are less sick than they were in the very  
16 immediately post-op period, so that clinicians are  
17 going to be less -- are going to be more comfortable  
18 withholding blood under those circumstances.

19 Two is that we are assuming that people  
20 need blood in these situations, and I think many of us  
21 would agree that we don=t know when they need blood,  
22 that many of these trials involve, you know, giving  
23 blood at nine and ten grams, and that we don=t even  
24 know if they need blood at that level, and certainly if  
25 they do the kinds of sample sizes that we are looking

1 at aren=t going to have a prayer of identifying it.

2 So that, if you are going to use efficacy  
3 as a reduction in allogeneic blood use as one of your  
4 standards, then I would argue you have to have a  
5 placebo group as a third arm in that trial, because,  
6 you know, what you want to show is, you want to prove  
7 that, in fact, that that patient didn=t require the  
8 blood, and by giving placebo if it turns out that --  
9 actually, I need to think through this some more, but  
10 if you don=t have a placebo group then it may appear  
11 than you are giving less allogeneic blood, and all you  
12 are really doing is creating a situation where they  
13 didn=t need blood to begin with.

14 Am I being clear with this description?  
15 And, I think every single trial I=ve seen doesn=t have a  
16 placebo group and, therefore, it=s biased to showing an  
17 effect, it=s guaranteed to show an effect in efficacy,  
18 and I think it=s, therefore, a biased observation.

19 DR. WEISKOPF: I understand what you are  
20 saying, Jeff, and what you are doing, and what you say  
21 to a certain extent is reasonable. What you are asking  
22 for, though, I think is -- what you are saying is that  
23 the vast majority of blood in the United States is  
24 given without a firm indication that, in fact, it is  
25 needed. But, that is the way we practice, to do the

1 sort of study that you ask would ask for a paradigm  
2 shift in how blood -- how people think about giving  
3 blood, and what the indications for giving blood, that  
4 would need to change.

5 And so, whereas the study designs do have  
6 certain faults, they reflect the real world practice.  
7 What you are asking for is a study that would also  
8 combine a study that would say, any sort of option  
9 carrier needed at the specific points of study. That=s  
10 a different study. It=s an important question, but it=s  
11 a completely different study.

12 DR. COHN: The unfortunate thing is, and I  
13 completely agree with what you said, is that if you --  
14 most of these trials are done at the moment that  
15 someone decides to give a unit of blood, they either  
16 give a unit of blood or give a unit of the blood  
17 substitute.

18 What you=d be asking is that some -- that  
19 an anesthesiologist looking at ST segment changes at  
20 three millimeters in a patient who just had major  
21 bleeding from a cystectomy that got away from the  
22 surgeon, and just got a -- you know, this patient is  
23 hypotensive, that he has a unit of something in his  
24 hand which turns out to be lactated Ringer=s, that he  
25 accept that while current practice would be in this

1 patient who is possibly going to have an MI, that we  
2 would give him something that carries oxygen, we are  
3 now going to give him something that doesn't carry  
4 oxygen. So, I think there's a problem with that,  
5 because the transfusion trigger has been more or less  
6 the anesthesiologist's lack of comfort with the way  
7 things are going, in terms of ongoing blood loss, let's  
8 say.

9 DR. CARSON: Well first, I'm not suggesting  
10 that patient be enrolled in this trial, that obviously  
11 if someone is having active ischemia I don't know that  
12 that's the setting that we'd want to do this kind of  
13 analysis in, one.

14 Two is that most blood is not given  
15 intraoperatively, but rather is given post-operatively,  
16 at least in studies that I've been involved with the  
17 vast majority of it is given post-operatively.

18 And third is that most blood is given for  
19 much less clear reasons. So, you know, it's not  
20 usually three millimeters ST segment compression, it's  
21 usually given for much more subjective reasons.

22 DR. HOLCROFT: I agree with Jeff's point  
23 that, as I understand it, that if you -- but, maybe  
24 saying it another way, doesn't it have to do with using  
25 a surrogate endpoint, or saying it this way, if we set

1 up the studies for the elective surgery, which is the  
2 topic this morning, if we are going to say diminished  
3 use of allogeneic blood is reason to license the  
4 product, in a sense that=s what we are saying, then I  
5 would say no. I wouldn=t accept that as a reason to  
6 license a product, because of the reason that Doctor  
7 Carson just pointed out, because the way these studies  
8 are going to be set up, sure, you are not going to use  
9 as much allogeneic blood if you have a substitute that  
10 would tide a patient over to a questionable time during  
11 their care.

12 So, I think maybe in a sense the question  
13 is, what should -- is there a surrogate endpoint?

14 DR. COHN: Address the comment about  
15 placebo. Do you think that you can get -- that you  
16 would be willing to give your patients no blood or  
17 blood substitute in one arm?

18 DR. HOLCROFT: Well, let=s say it this way,  
19 I=ll avoid answering that question by saying I wouldn=t  
20 accept a surrogate endpoint, that=s all. I wouldn=t  
21 accept a squishy surrogate endpoint, and I think this  
22 is a squishy surrogate endpoint. It=s somehow saying,  
23 giving an allogeneic unit of blood is intrinsically  
24 bad, as opposed to giving a unit of a hemoglobin-based  
25 substitute that is inherently good, and we don=t know

1 that it's inherently good, and we won't know it's  
2 inherently good until we have decades of use with the  
3 subjects and to sort of experience with it that we now  
4 have with the allogeneic blood, and we have that  
5 experience with allogeneic blood, and we know it's  
6 safe, as Doctor Klein pointed out yesterday.

7 So, I'd be unwilling to substitute  
8 something that I know is safe for something that might  
9 be dangerous, just for the sake of eliminating the use  
10 of allogeneic blood.

11 DR. COHN: So, I guess -- but I think Jeff's  
12 point is correct, I think that in order to prove this,  
13 that the substitute is somehow better than the  
14 allogeneic blood, you'd have to have a placebo arm, and  
15 I don't think I would be willing to put a patient in a  
16 placebo arm, to answer your question at last as I think  
17 this through, but then neither would I be willing to  
18 accept the -- neither would I be willing to accept that  
19 particular surrogate endpoint.

20 DR. KRUSKALL: But, the biggest  
21 embarrassment of this situation is that we have really  
22 no good endpoint. I mean, transfusion is an endpoint,  
23 it's a terrible endpoint, we have no better way of  
24 measuring what we are doing. We can't distinguish  
25 between treatment and prophylaxis. We are fuzzy on the



1 edges, and so I think that we will cripple ourselves if  
2 we, at the same time as we are using allogeneic blood  
3 transfusion as the gold standard, try to throw it out.

4 So, I think we have to start somewhere, and  
5 as bad as it sounds, in fact, that is a real endpoint.

6 If we use allogeneic blood repeatedly and regularly in  
7 the immediate post-operative period to stabilize a  
8 patient, that becomes the standard that we are trying  
9 to compare against, and it=s a whole separate question  
10 as to whether that=s proper for us to do it.

11 DR. WEISKOPF: I agree with Margot.  
12 Unfortunately, we have nothing but squishy surrogate  
13 endpoints for transfusion of any red cell component or  
14 product, and to then -- so, we have no other way of  
15 assessing any artificial oxygen carrier that is  
16 proposed to replace red cells for whatever indication.

17 We have to use the same surrogate endpoints. We have  
18 no other choice.

19 To do the hard study that Jeff is  
20 suggesting, while maybe scientifically appropriate, is  
21 an impracticality under current circumstances.

22 DR. KRUSKALL: But now having said that, one  
23 of the dangers, I think, in these trials is that when  
24 the indications for transfusion or use of the oxygen  
25 carrier aren=t very rigorously established, then there=s

1 tremendous room for bias in use of the products, and I  
2 think that to whatever extent we can completely codify  
3 and rigidify how an allogeneic transfusion is used will  
4 make these studies stronger.

5           Otherwise, there will be a natural tendency  
6 to lean in favor of that that we want to work, and it  
7 is so easy to manipulate when one should use blood and  
8 when one thinks it=s actually effective, since there=s  
9 no measure.

10           DR. WEISKOPF: No, I agree that some studies  
11 that we=ve seen in various formats are greatly subject  
12 to bias, because if they are not blinded then the only  
13 other way that one can -- sometimes it=s very  
14 impractical -- difficult in these studies to create a  
15 blind, and if we can=t do that the only other control  
16 that one could possibly have to eliminate that bias is  
17 to have as rigorous as possible indications for  
18 transfusion with as little room for maneuvering.

19           DR. KRUSKALL: I think blinding here,  
20 although it=s done, is of necessity almost impossible,  
21 just thinking about it from the laboratory point of  
22 view. I know about every hemoglobin-based substitution  
23 trial that=s going on because of the appearance of the  
24 specimens when they arrive in the laboratory.  
25 Actually, the same was true for fluorocarbons when we

1 used those. So, it may, perhaps, have an element of  
2 blinding at the bedside, but not for very long, and the  
3 information gets exchanged between the laboratory and  
4 the clinical services, so I think that even while we  
5 blind to the extent we can, rigor is really absolutely  
6 critical.

7 DR. NESS: Yes. Perhaps, part of the  
8 problem is compounded by the fact that at least some of  
9 the studies we heard about yesterday seem to be  
10 searching for very small increments in blood use  
11 reduction, for instance, is one unit not used enough of  
12 a reduction to really be clinically meaningful, and are  
13 we ever going to believe that that one unit that wasn't  
14 used really had to be used? So that, perhaps, in terms  
15 of trial design, if this is going to be an endpoint in  
16 terms of the reduction of the use of allogeneic blood,  
17 that it be designed to get at cases where the reduction  
18 would be large, such that the typical patient would use  
19 nothing compared to three or four units of allogeneic  
20 blood if they had the blood substitute. That, to me,  
21 would be a more convincing argument of efficacy than  
22 some of these other trials that we are hearing about,  
23 where the mean reduction of allogeneic blood use is  
24 maybe one unit per case.

25 DR. JOYNER: The issue about a placebo

1 group, I=d like to echo comments previously made, is  
2 that nobody knows why people get blood, and there=s a  
3 complex series of cultural things. Sometimes the  
4 physicians are treating themselves because they are  
5 nervous, anxious, and so forth. And, you know, this  
6 conference is sponsored by both the FDA and the NIH, I  
7 don=t know if we want the FDA to force the  
8 manufacturers of these products to conduct complex,  
9 kind of anthropologic studies about why blood is given,  
10 do cultural -- you know, where is Margaret Mead when  
11 you need her -- to do cultural anthropology in the  
12 hospital, but I think that as the NIH thinks about  
13 things, they need to maybe make an effort to try to  
14 understand why blood is given, under what  
15 circumstances, you know, how can we get people to give  
16 less, and whether other things like ANH actually work.  
17 S, I think that there=s a whole separate set of issues  
18 here that the folks at the NIH should think about, and  
19 that is trying to learn more about how and why blood is  
20 given, how we might change people=s behavior, and, you  
21 know, have like a behavior modification program at the  
22 hospital.

23 And, I think that=s one place you could get  
24 maybe more mileage out of your 12 million units a year,  
25 but I don=t think that=s the FDA=s job, to tack that on

1 to blood substitute studies, I think that=s something  
2 the NIH should think about, and I don=t know how you do  
3 it, but there=s got to be ways to think about it.

4 DR. COHN: Just to underscore something that  
5 Paul said, you know, when you do this transfusion  
6 avoidance arm in these studies, in other words, you  
7 give three units of blood substitute followed by  
8 allogeneic blood, and basically postpone the use of  
9 allogeneic blood until the point at which the patient  
10 is less sick, the so-called abridging idea, you know,  
11 what we may be doing, if we did a placebo arm, would be  
12 to demonstrate that they never needed it in the first  
13 place, and that just as many people never got  
14 allogeneic blood, just the way -- I think it=s the  
15 equivalent of what we=ve recently discovered, I think,  
16 in the critically ill area, which is, our transfusion  
17 threshold, because the patients were critically ill, of  
18 ten is now dropped down to seven, you know, at least in  
19 some institutions because of data that supports that  
20 giving allogeneic blood in the critically ill  
21 population where patients require two to four units per  
22 week on average is unnecessary, and that in having a  
23 more restrictive transfusion policy a third of patients  
24 in the critically area, at least in this large  
25 perspective randomized trial, never got any units of

1 blood at all.

2           So, I think avoidance of transfusion, while  
3 it was an admirable goal in the efficacy studies that  
4 were being done with the blood substitutes, the lack of  
5 a control arm may just be looking at a cultural  
6 problem, I mean, basically amplifying a cultural  
7 problem, which is, we want to give something, so right  
8 now we give allogeneic blood, which is unnecessary, or  
9 we give a blood substitute, which are unnecessary, when  
10 we could have just given lactated Ringer=s, I guess is  
11 what you are saying to underscore that. I don=t have  
12 an answer, but I do think that avoidance of blood  
13 transfusion, my understanding was, the accepted Phase  
14 III outcome endpoint that the FDA had suggested in the  
15 past. Is that not true?

16           DR. SILVERMAN: Toby Silverman, FDA. If you  
17 go back to the talk that I gave yesterday, what we said  
18 was that it was a surrogate for avoidance in a clinical  
19 trial of unmeasurable risks of blood, and that we  
20 understood that you couldn=t -- an enormous trial would  
21 be necessary if you wanted to actually measure that  
22 avoidance. So, it is a surrogate.

23           I also said that we would be asking  
24 companies to tally up how many units of oxygen-  
25 carrying, and hopefully delivering, solution, be it the

1 substitute or blood, they would have to give in order  
2 to avoid an allogeneic transfusion altogether in the  
3 patients. In other words, you'll be tallying it up in  
4 both arms, in a control arm where the patients are  
5 getting blood, and in the test arm, and we will know  
6 the answer to how much needs to be given in one group  
7 versus the other.

8 But, it is a surrogate, and we understand  
9 that it is a surrogate, for avoidance of the risks of  
10 blood. That is why we have put such an emphasis,  
11 particularly in the perioperative setting, on the  
12 safety side, because we also understand that many of  
13 the adverse events that occur post-operatively might be  
14 replicated or added to by administration of these  
15 products.

16 So, the safety arm, as I said, is also the  
17 efficacy arm.

18 DR. FRATANTONI: Let me just make a  
19 historical point. Lots of people here were involved in  
20 the workshop that was held in 1994 on efficacy. A  
21 couple of people chaired some of the sessions.

22 The background to that meeting was that  
23 there have been studies going on, some clinical studies  
24 and some safety data was being gathered, and in 1992  
25 the American College of Physicians came out with a

1 statement that was representative of the attitude of  
2 the times, which stated that, AAllogeneic transfusion  
3 is an outcome to be avoided,≡ which is a shockingly  
4 different type of statement than was coming from that  
5 organization in previous years.

6 With that as background, and with no other  
7 good ideas coming out of that conference, and the  
8 people who presented data were surgeons, trauma  
9 surgeons, medical people, and people talking about  
10 using oxygen carriers for local regional perfusion, the  
11 idea of avoidance of allogeneic transfusion as an  
12 endpoint was essentially the last thing left on the  
13 table, and it was left as the only thing that the FDA  
14 said it would accept at that point. FDA also said  
15 they=d accept any other good ideas that may come along.

16 No other good ideas came along.

17 CHAIRPERSON KLEIN: Thank you.

18 I think we=ve pretty much covered the  
19 waterfront on safety issues and elective surgery or  
20 surgical trials. Are there any other comments that any  
21 of the panel members want to make, or are there any  
22 other issues that you think we haven=t covered that the  
23 agency would like the opinion of this panel on?

24 DR. VLAHAKES: Are you looking for a  
25 consensus?



1 CHAIRPERSON KLEIN: Well, this really isn't  
2 a consensus conference, and I doubt that unless we all  
3 went out this evening and had -- yes, enough to drink -  
4 - that we'd come up with a general consensus on many of  
5 those. But, if you have a consensus proposal, I'm  
6 delighted to hear it.

7 Hearing none, are there any other comments  
8 or issues that the agency wishes this panel to address  
9 on the surgical trials? If not, I'd like to thank all  
10 the participants this morning. We've finished with  
11 about ten minutes to spare, and so we'll take a break  
12 at this point and come back at 11:00.

13 Thank you all.

14 (Whereupon, at 10:20 a.m., a recess until  
15 11:03 a.m.)

16 CHAIRPERSON FITZPATRICK: I'll try and read  
17 these to you, I know it's a little awkward and I  
18 apologize for that.

19 I'm Colonel Mike Fitzpatrick, and, yes, I  
20 am in the Army, and I am a Colonel. I work for the  
21 Assistant Secretary of Defense for Health Affairs, and  
22 as moderator we didn't want to construe this as a DoD  
23 forum, so I'm here in civilian clothes. Since I'm  
24 moderator, I won't be supplying opinions, and if I do  
25 you can slap me, and they do not reflect the Department

1 of Defense.

2 In the last session, what I have been asked  
3 to do is help the panel summarize what's gone on for  
4 the past day and a half, and, perhaps, get some points  
5 of clarification from them, and if there is someone in  
6 the audience who has a compelling need to speak to some  
7 of these points we might be able to entertain that,  
8 depending on time constraints. If not, Paul Aebersold  
9 and Toby Silverman will gladly accept any written  
10 comments from anyone at their offices, by E-mail, by  
11 phone, and if you have comments pertinent to the  
12 questions, or relating to questions asked of the panel,  
13 please feel free to contact them and submit your  
14 comments to them.

15 The first question the panel was asked, and  
16 I've been trying to summarize, certainly not made all  
17 the points that were made, I've tried to kind of get  
18 the Gestalt on what the panel has, we will have a  
19 transcript, we will go through that in detail. We want  
20 to make sure that we have the essence of what you felt  
21 before you leave.

22 The first question was, should mortality be  
23 the endpoint of choice in hemorrhagic shock or  
24 exsanguinating hemorrhaging. We had a number of people  
25 say yes, but there was a lot of discussion on that,

1 that it could be ambiguous, that surrogate outcomes may  
2 not be necessary, but on the other hand there are  
3 surrogate markers that should be evaluated, that  
4 there's a subset of clinically significant parameters,  
5 that I'm not sure we defined exactly what that subset  
6 is, that needs to be looked at, and that this may not  
7 be the all-encompassing group of parameters that have  
8 been previously looked at. And, please jump in here if  
9 you have comments or have specifics you'd like to add.

10 DR. HOLCROFT: I'll talk a lot in the next  
11 25 minutes, because I have to catch a plane, and then  
12 you won't have to listen to me anymore.

13 But I agree with what you say, although I  
14 don't -- I think mortality is about as unambiguous as  
15 you can get, so I don't think that's a problem.

16 In terms of clinically significant in vitro  
17 parameters, you just said we are not sure what those  
18 are. I'm sure what they are. It's neurologic outcome,  
19 and that's clearly definable, and that is significant.

20 I suppose if you showed that you had an  
21 agent that had kind of plus/minus effects on survival,  
22 but the patients who received the experimental agent,  
23 all of them went into liver failure, then I'd say,  
24 sure, that won't do, but unless it's something like that  
25 I would be satisfied with survival and neurologic

1 outcome. And I wouldn't accept anything less than  
2 that.

3 Thus, use of allogeneic blood, I wouldn't  
4 accept that, not in this setting, not in this setting  
5 in trauma. Cost, I probably wouldn't even accept that.

6 So, I would want one of those -- I would want one of  
7 those two, mortality, neurologic outcome, that would be  
8 my vote.

9 CHAIRPERSON FITZPATRICK: Right. Thanks.

10 Anyone else have comments about the  
11 clinically significant issues?

12 DR. HOLCROFT: And, I'll make one last point  
13 on this. You won't need 64,000 patients in each arm,  
14 because if you select the patients properly, again, the  
15 patients with the head injuries, if you put those  
16 patients in, then you are going to have a very high  
17 mortality rate, which means that you have the potential  
18 for improving it, and so it's going to be on the order  
19 of hundreds of patients, perhaps, in an arm. It won't  
20 be anything more than that. So, maybe 500 in an arm,  
21 something like that, would do the trick.

22 DR. WEISKOPF: As you know from my comments  
23 yesterday, I'm not particularly happy with the thought  
24 of only using mortality as an endpoint. I think  
25 something more sensitive, again, ought to be used. I

1 can go along with Jim=s idea of neurologic outcome in a  
2 graded way, and I would take the other approach, I  
3 would exclude patients who have a neurologic injury  
4 upon entering the study. That=s a separate issue.

5 Looking for neurologic toxicity, I believe, is  
6 important based on some of the preclinical and clinical  
7 data that we=ve heard about, but that is, I think, a  
8 separate issue, maybe there needs to be a separate  
9 study in just neurologically injured patients, whether  
10 that makes an impact, and to determine any neurologic  
11 impact upon patients who have not had a neurologic  
12 injury I think is an important issue.

13 In terms of something that is -- that  
14 death, while as you say is unambiguous, you just go  
15 around and count the toes, does not tell you about  
16 lesser important injuries which can be very important.

17 DR. HOLCROFT: If you exclude the patients  
18 with the head injuries, or saying it more specifically  
19 for purposes of a study, if you exclude patients with  
20 low Glasgow coma scale scores, and I=ll define that as  
21 eight or less, then the survival is going to be so high  
22 that you are not going to prove any benefit from  
23 introduction of an experimental arm.

24 In our studies, if the patients have a  
25 Glasgow coma scale score of nine or more, so that

1 includes some patients who are not all there, but if  
2 they are hypotensive, and they have a Glasgow coma  
3 scale of nine or more, they have a 95 percent chance of  
4 surviving. So, if you introduce something else, if you  
5 think you are going to improve on 95 percent, you are  
6 just not going to do it, because the problem with these  
7 studies, the trauma studies, as has been said, and I'll  
8 just say it again, the patients fall into three  
9 categories. They are going to be -- the largest group  
10 of patients that would be entered into the study are  
11 going to survive no matter what you do, no matter how  
12 inept you are as a surgeon, no matter how poor your  
13 pre-hospital care may be, the great majority of  
14 patients are going to do fine, they are going to  
15 survive.

16 There's going to be a minority of patients  
17 who are going to die no matter what you do, no matter  
18 how great a surgeon you are, no matter how good your  
19 emergency department is, and so on, they are going to  
20 die.

21 So, the only patients that you have a  
22 chance of making a difference is going to be that  
23 intermediate group. If you exclude the patients with  
24 head injuries you are going to be dealing with a group  
25 of patients in whom it's going to be very difficult to

1 improve upon current therapy, so that=s why I think  
2 that the head injury is the key in all of this. If not  
3 the head injury, the low Glasgow coma scale score,  
4 keeping in mind that a lot of the patients who have  
5 Glasgow coma scale scores that are low actually won=t  
6 have a head injury. In fact, about half of them won=t.

7 But, even so, that identifies the patients who are  
8 likely to die, and those are the patients you can help.

9 In fact, it may be that some of these solutions have  
10 their greatest potential in the patients who had the  
11 low Glasgow coma scale scores, who had it on the basis  
12 of shock, and the low score just indicated the very  
13 virulent in-stage form of shock, and that=s the  
14 patient that you want to do something different on.

15 DR. WEISKOPF: No, I would agree with that.

16 What I was trying to exclude, Jim, were the patients  
17 with direct head injury because it=s hard -- well, it=s  
18 not -- one, would not necessarily a priori believe that  
19 this sort of therapy would have an impact upon that,  
20 and that would be biasing the results.

21 DR. HOLCROFT: No, I=m just saying that  
22 actually this is the group of patients that you can  
23 help. We already have, we might as well say we already  
24 have a lot of experience with hypertonic saline in  
25 these patients, and in those studies, every study

1 that=s been done on that has shown an improvement in  
2 survival, and in some of the studies it=s been twice,  
3 that is, the survival rates have doubled in those  
4 patients. And, the reason why there=s potential for  
5 the patients with the head injuries, and why I don=t  
6 think we should say it=s hopeless, is because the  
7 patients suffer a direct injury initially. That you  
8 can=t do anything about. But then, they have edema  
9 around the area, hemorrhage around the area, if they  
10 are hypotensive from a ruptured spleen or some other  
11 injury, if they are hypoxemic because their respiratory  
12 drive is lowered, then those things add up and that  
13 will convert brain that=s kind of on the margins into  
14 dead brain.

15 And so, there is hope, I think, in some of  
16 those patients, keeping in mind the point that Doctor  
17 Joyner made, that you=ve got to make sure that your new  
18 agent doesn=t make things worse by having an adverse  
19 effect on oxygen reactive species, or having an adverse  
20 effect on extravasation into the brain of a potentially  
21 noxious material or something, so that=s why you have  
22 to have the endpoint of mortality.

23 But, there is hope for some of those  
24 patients with head injuries. I=ve got to believe that.

25 If you don=t believe that, then there=s not much hope



1 for changing much of anything we do, because that=s  
2 where the deaths are.

3 DR. CARSON: If I might change the  
4 commentary just slightly, it=s obvious mortality is  
5 important, but we need to look at morbidity events as  
6 well. And so, I don=t think any of us are implying  
7 that it=s mortality alone. Clearly, you want the total  
8 clinical picture, which would include infections, and  
9 ARDS, and, you know, renal failure, all the kind of  
10 traditional outcomes that occur in this environment  
11 would be very important to evaluate as well in the  
12 composite evaluation of these drugs.

13 And, if you don=t show mortality  
14 differences, but you show some of these other outcomes  
15 are affected, that would be really important as well.

16 It=s Carson -- you are looking -- I moved,  
17 sorry about that. I=m just seeing if you are paying  
18 attention. Okay.

19 CHAIRPERSON FITZPATRICK: Given those  
20 parameters, what I also heard yesterday was that the  
21 panel would be willing to accept a less than  
22 Astatistical= significance or a statistically powered  
23 study, if it was impossible to obtain the number of  
24 patients required to get the statistical power you  
25 would want, and that=s the last comment.

1           There is some -- there's a relative degree  
2 of comfort with a less than truly statistically  
3 significant result, given clinical parameters.

4           DR. JOYNER: I would agree with that,  
5 especially in the context of the fact that our current  
6 transfusion practices are based primarily on issues  
7 which could be described as our own clinical comfort.

8           DR. KRUSKALL: I hate to tread on  
9 statistics, because it's so dangerous and Jeff will hit  
10 me, but --

11           DR. CARSON: I'll have to move again.

12           DR. KRUSKALL: -- I don't think the power  
13 has to be as strong as we've ascribed, and I think the  
14 Baxter trial has a lot to teach us. This was a trial  
15 with increased mortality, it was not a 64,000 subject  
16 trial, and the mortality did not come out of the blue.

17           It had corollaries in terms of the serious adverse  
18 events and the adverse events which mirrored the  
19 problems that contributed to the mortality. So, I  
20 think that the biological power can be done with fewer  
21 subjects, provided that you can look at both morbidity  
22 and mortality and make sense of them as you interpret  
23 the data.

24           DR. NESS: Yes, and the other caveat I think  
25 for that, your Phase III study can be smaller and,

1 perhaps, not statistically significant if you've taken  
2 a product to it, which in all the preclinical and early  
3 phase clinical stuff appears to be relatively innocuous  
4 and bland. It doesn't have any hints of toxicity, so I  
5 think that's a big caveat for determining the final  
6 size of your ultimate study.

7 DR. CARSON: Since my name has been used in  
8 vain, I'll -- see, I completely agree with the common  
9 sense issue. The way you characterize it statistically  
10 is, you are just willing to accept, you define  
11 equivalence with broader criteria, so that, you know,  
12 if you require 15 percent versus 15.5 percent, which is  
13 where that 64,000 patient number, you know, that's  
14 equivalence to a level of precision which none of us  
15 think is reasonable.

16 If you define precision as plus or minus  
17 five percent then you begin to get into a number that  
18 starts to become achievable, maybe it's still not  
19 achievable, maybe it needs to be a little bit wider,  
20 but I think you can define it, you just need to apply  
21 common sense to this in terms of what are clinically  
22 important differences that you are willing to miss.

23 CHAIRPERSON FITZPATRICK: Just to clarify,  
24 these are my really quick takes, and the reason I have  
25 them up there is just so that we get these points made,

1 and that they go in the record for the transcript.

2 As we go on through hemorrhage and  
3 hemorrhagic shock, a point has been made by several  
4 members to include head injuries to increase the number  
5 of included patients, to be able to clearly see the  
6 difference in survival. A question of safety has been  
7 raised several times in head injury, head trauma  
8 patients, and that it clearly has to be defined that  
9 the product would be safe for those patients in order  
10 to include them.

11 Efficacy markers have been discussed a  
12 number of times, and what is efficacy of this product.

13 To simplify, does it carry and deliver oxygen and is  
14 it safe, and is the mortality a factor, and other than  
15 mortality should you have other factors for efficacy,  
16 such as lactate, base excess, in vitro parameters, and  
17 other clinical things to look at that could give you a  
18 sense of efficacy or define it.

19 Any comments on those?

20 DR. HOLCROFT: You've already heard me about  
21 the lactate business. My problem with the lactate is  
22 the following. First, I don't think it's been confirmed  
23 that definitively, and if it has been then we should be  
24 able to duplicate it in the study, that's number one.

25 Number two, is some of the patients who

1 have suffered the most severe injuries, when they  
2 arrive in the emergency department as an example, will  
3 have fairly normal lactates, or lactic acids, and will  
4 have fairly normal base deficits, and the reason for  
5 that is they've got all these evil humors out there in  
6 their periphery, including hydrogen ion, that's just  
7 hanging around there, and there's no perfusion. Once  
8 you resuscitate them, then the hydrogen ion and what  
9 not comes back into the central circulation where you  
10 measure the actual value.

11 So, that's a major problem with using some  
12 of these surrogate endpoints, such as the ones you  
13 mentioned, lactic acid and the base excess and so on,  
14 or deficit in base excess.

15 So, I wouldn't accept those, and I wouldn't  
16 even accept them at 24 hours, because if we knew for  
17 sure what the endpoints were we would -- well, let's  
18 say it this way -- I just don't think any of us really  
19 can agree on what the endpoints for resuscitation are.

20 I bet if you went down this panel I bet you'd get  
21 different opinions about even something as  
22 straightforward as blood pressure, something that's  
23 been measured now for 100 years in patients, and I bet  
24 we couldn't even agree on that as a group, much less  
25 agree on something like this.

1           So, I think we should look at those things,  
2 they would be of interest, but I sure wouldn't use it  
3 as a surrogate endpoint, or use it in making decisions  
4 about whether to license a product for this particular  
5 indication.

6           DR. COHN: I agree.

7           CHAIRPERSON FITZPATRICK: Okay.

8           One of the comments during the Baxter  
9 trial, and one of the comments when we were discussing  
10 statistical sampling, was that by ending the trial  
11 early you defeat the purpose of the statistical  
12 sampling. So, I took from that that to conduct a study  
13 to its conclusion, if at all possible, and  
14 understanding that you are not putting patients at  
15 risk, because canceling early can negate the power of  
16 the sampling, we also discussed that the Apache scoring  
17 system had inherent difficulties and flaws and might  
18 not be the best tool to use for inclusion/exclusion,  
19 but there could be a subset of indicators, such as  
20 Doctor Holcroft suggested, that were simpler and might  
21 be able to be used.

22           DR. COHN: The only thing I was going to say  
23 was that, there is probably -- it's probably reasonable  
24 to exclude patients who you feel are not going to  
25 survive 24 hours, and at the extremes of the Apache

1 score, you know, Apache greater than 30, that seems to  
2 be a reasonable extreme that would identify patients  
3 that are likely to not survive. You may decide not to  
4 do that, but to admit patients into a trial such as  
5 this, where you feel that they are certain to die, you  
6 know, the person with a transcranial gunshot wound and  
7 a blood pressure of 40, you know, that would probably  
8 not be reasonable, that=s all I was going to say.

9 DR. WEISKOPF: I have to take substantial  
10 issue with the first bullet. Studies are designed for  
11 certain power based on a null hypothesis, that is, that  
12 the treatment arm will not differ from the control arm.

13 The entire purpose of the Data Safety  
14 Monitoring Board is to look at the data to ensure that  
15 we are doing -- or the study, the drug, whatever it is,  
16 is doing no harm.

17 That stems from the fact that the  
18 hypothesis may be wrong, that the purpose of doing the  
19 study, one of the purposes is, you don=t know what the  
20 effect is going to be, and if it turns out that the  
21 effect is different from what one anticipates, that=s  
22 the purpose of the Data Safety Monitoring Board, to  
23 step in and say, well, despite everybody=s best  
24 intentions this isn=t working out, we are doing harm,  
25 stop it.

1 DR. KRUSKALL: Yeah, and it seems to me that  
2 there are very clear-cut statistical tools for  
3 determining that, in terms of early stopping rules and  
4 boundaries, and to me this would be a very black and  
5 white thing, and we should take that bullet out.

6 DR. CARSON: Since I'm probably the source  
7 of that bullet, I don't agree with it. I agree with --  
8 you know, the Baxter trial had a huge difference that  
9 you couldn't make go away, that when you set up  
10 stopping rules the statistical criteria for stopping  
11 rules earlier are more rigorous than -- they are not  
12 .05, they are much, much more rigorous. There are  
13 certain standard time periods that you look at data,  
14 and the p value required is much larger -- I mean, much  
15 smaller than you traditionally use.

16 So, I don't want -- I think that should be  
17 removed. That's not the point I was trying to make.  
18 It may very well be that there was bad luck in this,  
19 that there were small numbers and randomization didn't  
20 work, and all those other issues that, you know, could  
21 explain why you got burned with this small trial, but  
22 you can't look at that big mortality difference that  
23 exists in the Baxter trial and ethically let it go  
24 forward. I would never have. So --

25 DR. JOYNER: I'd like to take small



1 exception with that, and that is, I think you have to,  
2 before you cancel anything, I think you have to look at  
3 this, you know, the folks from Baxter believe that the  
4 luck of the draw was working against them for whatever  
5 reason. And, I think studies should be canceled on the  
6 basis of what the Data Safety Monitoring Board says,  
7 provided people are adequately convinced that you just  
8 did -- that=s why you do large trials, as you pointed  
9 out many times, so that heterogeneity comes out in the  
10 wash. And, if your first 50 or 100 patients in each  
11 arm are really different, then I think it=s incumbent  
12 on people to have some discipline, as difficult as it,  
13 because I think any time you step away, and we=ve  
14 talked about whether these things should be approved  
15 and let people, you know, rely on post-marketing  
16 surveys and so on and so forth, but I think any time  
17 you step away from these disciplined randomized trials  
18 you are asking for trouble.

19 DR. KRUSKALL: And see, I don=t agree with  
20 that. I think the issue isn=t do you stop when you hit  
21 the boundaries, it=s do you stop before you hit the  
22 boundaries. I think that=s, perhaps, what this bullet  
23 was talking about, in other words, do you get cold feet  
24 as you are getting close to a stopping rule.

25 And, maybe what the spirit you were trying

1 to capture was, adhere to the rules and don't stop  
2 until you get to the boundary. But, I don't know that  
3 it's really necessary to say that, and I think it would  
4 be foolhardy to continue after you've crossed over  
5 those boundaries.

6 DR. CARSON: I wouldn't stop if you are not  
7 at those boundaries. Those boundaries are set up to  
8 consider the things that were raised here, and to  
9 recognize that you are going to see these variations in  
10 small numbers, and to protect against stopping  
11 prematurely, that's why they are set up that way.

12 CHAIRPERSON FITZPATRICK: Thank you.

13 In the face of a trauma trial, there was a  
14 lot of discussion about resuscitation and the impact  
15 that could have, along with all the other complicating  
16 factors, and the Baxter study may have been better than  
17 we thought, that we just may not have liked the answer.

18 Can equivalence be a basis for licensure?  
19 At some points, the panel seemed to say yes, and make  
20 it simple. What I'm going to ask the panel to do  
21 during this session is consider what they said this  
22 morning about the clinical and preclinical trials and  
23 the number of parameters they looked at as needing  
24 exploration and data collection in those trials, versus  
25 what they said yesterday about the equivalence basis,

1 and saying that, yes, we need the trials, but make them  
2 simple. We can't answer all the questions with one  
3 study. Power is difficult, back to the common sense  
4 versus statistics argument, and that the  
5 inclusion/exclusion criteria need to be examined.  
6 There's going to be some redundancy as we go through  
7 here, because there was redundancy. We talked about  
8 several things throughout yesterday and this morning.  
9 But, is there a conflict between what you said this  
10 morning and what you said yesterday?

11 DR. CARSON: In every study there's a  
12 compromise between how much data you collect and  
13 resources and practicality, and the questions that you  
14 want to answer. But, I still think you can answer most  
15 of the general safety issues that we've raised this  
16 morning with a modest amount of data collection. It  
17 does not have to be super long to do that. I still  
18 think you can do it with, you know, two or three pages  
19 of outcome information, and keep the actual process  
20 pretty simple.

21 I mean, I'm quite sure that you can do  
22 that, and I'd be happy to share, you know, some data  
23 collection instruments that we've developed for other  
24 trials that look at a lot of these kinds of outcomes,  
25 and they are short, and they are sweet, but they get --

1 they are using validated measures, but, you know, they  
2 are collecting limited information.

3 I mean, as an example, if you could collect  
4 every bacterial infection that you wanted to, you know,  
5 but it turns out, at least in some of the settings that  
6 I'm interested in studying, that 90 percent of the  
7 infections are pneumonia, so I don't collect UTIs  
8 because they are common and generally not that  
9 important, but I measure all my pneumonias. And, I  
10 give up some of those other ones because they are not  
11 as important.

12 So, I think if you are selective and  
13 thoughtful about it you can -- you'll start with a very  
14 long list, and then as you start calculating rates and  
15 so forth you can try to cut it down.

16 Now, the problem with that is that you are  
17 very interested in rare adverse effects here, because  
18 you are still looking at low rates, and so there's  
19 going to be a compromise, and, you know, I don't know  
20 that I understand what that compromise is yet, but it  
21 does not need to be a 100 page data collection  
22 instrument, even to get some of those relatively  
23 uncommon things, I think.

24 DR. NESS: Well, I somewhat agree, but  
25 somewhat don't agree. I'm a little concerned that when

1 you talk about doing something which is sort of quick  
2 and dirty it=s always dirty and often not so quick, in  
3 terms of separating out what you end up with. So, I=m  
4 not sure that I wouldn=t rather have a smaller study of  
5 very well-studied patients than a larger study where I  
6 don=t really know what I=m collecting.

7 DR. CARSON: It does not have to be dirty.  
8 They are never quick. There=s not a study I=ve ever  
9 been involved with that=s ever quick. They are always  
10 painful, but you still can keep your data collection  
11 limited. It=s a limited data collection.

12 DR. JOYNER: I think these responses reflect  
13 -- I think one thing there is consensus on is that this  
14 is a very, very difficult issue to study in the trauma  
15 patients, in a difficult group of patients, difficult  
16 environment to study, and these are really hard things  
17 to do. This isn=t like getting any of the anti-  
18 hypertensive or cholesterol-lowering drugs approved.  
19 So, I think a lot of that reflects this, and it also  
20 reflects kind of the bimodal distribution of the types  
21 of studies we=ve been talking about.

22 One is a trauma study where there=s going  
23 to be high mortality, and you really have nothing to  
24 offer these patients, and everybody is anxious to do  
25 something that makes things even a little bit better,

1 versus use in elective surgery where we have, the  
2 current therapy is pretty good.

3 So, I see this as really, you know, how do  
4 we -- how does industry work with the FDA to design  
5 reasonable trials about a very difficult product given  
6 to sick patients under the most trying data collection  
7 circumstances you can imagine, as opposed to some of  
8 the specifics you could agree or disagree with, but I  
9 think everybody would agree with those. And, that's  
10 the real challenge in all this, if you ask me.

11 DR. COHN: Personally, I think that the  
12 safety trial or the licensure trial should be the  
13 general surgical or, not general, the elective surgical  
14 trial, to get an adequate number, to study them in  
15 great detail, to convince us to whatever degree that  
16 this is safe, and then that things like trauma trials,  
17 which will be difficult to perform and could be very  
18 easily left to Phase IV trials, I think could happen  
19 after licensure occurred.

20 I don't believe that there is going to be a  
21 high abuse rate in the trauma field, because I think  
22 that the trauma directors who take care of the great  
23 majority of the trauma patients will want to study this  
24 before they just blanketly use it, and I don't think  
25 any of us would stand up here and say that we are just

1 going to apply something without any data whatsoever.  
2 That is both expensive and potentially harmful to our  
3 patients in the pre-hospital area, that we=d want to do  
4 a Phase IV trial. But, I don=t think that that=s the  
5 ideal way to answer safety questions, because I think  
6 there=s just too much noise. I think it=s sort of the  
7 equivalent of trying to study this in liver transplant  
8 patients. I just don=t think that we can answer safety  
9 data in liver transplants when everybody has an adverse  
10 effect, you know.

11 CHAIRPERSON FITZPATRICK: Okay.

12 One of the comments yesterday was to  
13 include all patients in hemorrhagic shock, including  
14 head trauma, and we discussed that.

15 There was a lot of debate about concurrent  
16 control. Doctor Gould felt very strongly that that  
17 could be construed as not providing therapy to a  
18 patient that needed it, not providing beneficial  
19 therapy to a patient that could benefit from a product,  
20 versus the clinical arguments of having concurrent  
21 controls.

22 The question is, if we got to a safety  
23 point would a full-blown study answer this question?  
24 In other words, just product versus just red cells, and  
25 if mortality is the endpoint could you establish

1       equivalency based on a study like that?

2                   DR. HOLCROFT: It seems to me, are we  
3 talking about the elective surgery case or the trauma  
4 case, and that to me is where everything -- I just make  
5 a clear distinction in my own mind. So, if this is  
6 trauma, then I say absolutely, this has to be a double-  
7 blinded control, absolutely, no question whatsoever.

8                   And, I would also say you should collect a  
9 lot of data on those patients, because if, indeed, this  
10 stuff is going to save lives you'll be able to  
11 demonstrate it with a relatively small number of  
12 patients, and it will be possible to do the study,  
13 collect a lot of data, and you'll be able to do a good  
14 Cox proportional hazards analysis or some sort of  
15 analysis to look at potentially confounding  
16 covariables.

17                   So, I would say in the trauma case, if  
18 that's what we are talking about right now, absolutely,  
19 you have to have concurrent controls, and it has to be  
20 double blinded, too.

21                   CHAIRPERSON FITZPATRICK: Okay.

22                   DR. HOLCROFT: At least in my opinion.

23                   Now, with respect to an ER trial, I suspect  
24 the ER trial is kind of neither fish nor fowl. It's  
25 highly unlikely we are going to have any benefit from



1 using those solutions in the emergency department.  
2 After all, I mean, the goal in the trauma patient is  
3 not to transfuse them at all in the emergency  
4 department. I mean, when we transfuse somebody in the  
5 emergency department, we regard that, at least  
6 initially, as a failure, or saying it another way, if  
7 the patient needs blood we figure they should have been  
8 in the operating room, and then we review the video  
9 tapes and we say, how come. You are giving this  
10 patient blood, and meanwhile he=s just bleeding out  
11 from his spleen. You can give a lot of blood that way,  
12 you know, that=s not the treatment for a ruptured  
13 spleen.

14 So, that=s the problem with the emergency  
15 room trial. I don=t think this product is likely to be  
16 any better than what we already have, so it=s neither  
17 fish nor fowl in that regard, and then it=s neither  
18 fish nor fowl in terms of safety, because there are all  
19 kinds of things going on in the emergency department  
20 that just confuse all of us. It=s just hard to study.

21 So, you don=t really get good safety data  
22 out of those studies either. So, I would speak against  
23 doing ER trials. It seems to me you do it one way or  
24 you do it the other way.

25 CHAIRPERSON FITZPATRICK: If equivalency is

1 a requirement for licensure, and we have to have power  
2 trials, one of the questions raised was, will we ever  
3 see a licensed product if equivalence is the endpoint?

4 Can you do an appropriate trial for equivalence? And,  
5 we've discussed that allogeneic transfusion may not be  
6 a very good surrogate endpoint.

7 DR. CARSON: The answer is yes you can do an  
8 equivalence trial, but you just have to be --

9 CHAIRPERSON FITZPATRICK: Doctor Holcroft  
10 has to leave, so any further comments?

11 DR. HOLCROFT: I've said more than enough.

12 CHAIRPERSON FITZPATRICK: Okay.

13 Thank you very much.

14 DR. HOLCROFT: I learned a great deal, this  
15 was very educational.

16 CHAIRPERSON FITZPATRICK: Thank you.

17 I'm sorry.

18 DR. CARSON: -- it's an identical comment to  
19 a few slides ago. You can do an equivalence trial, you  
20 just have to be -- how you define equivalence needs to  
21 be, perhaps, defined a little more broadly in this  
22 situation.

23 CHAIRPERSON FITZPATRICK: Going back to the  
24 acceptance of the level of risk.

25 DR. CARSON: Right. You know, you can

1 define equivalence as 0.5 percent, you can define it as  
2 five percent equivalent, or ten percent, and your  
3 sample size is driven by how small a difference you  
4 want to consider equivalent.

5 CHAIRPERSON FITZPATRICK: I think one of the  
6 questions the FDA wanted us to consider was what would  
7 you, as a panel, be comfortable with in defining?  
8 Would you be comfortable with five percent, or would  
9 you require the .5 percent?

10 DR. WEISKOPF: You're talking about  
11 equivalence of adverse effects?

12 CHAIRPERSON FITZPATRICK: Yes, and there's a  
13 range been given there, 0.5 to five, there was a lot of  
14 discussion about safety of red cells versus this  
15 compound, and there was discussion about different  
16 patient groups. You'd be willing to accept a higher  
17 risk in one patient group versus another patient group.  
18 Is there a way to bring that together?

19 DR. VLAHAKES: I think it has to be cast as  
20 a percentage of what the baseline risk is, and that  
21 baseline risk may vary over ten to one. So, if you  
22 wanted to say ten percent of base -- make it ten  
23 percent of the baseline risk, or five percent of the  
24 baseline risk, that might be a better way to sort of  
25 organize the study.

1 DR. CARSON: So, we=re really contrasting  
2 two concepts. One is what=s called a relative risk  
3 reduction, which is a percent, you know, this drug  
4 reduced mortality by 25 percent, but the absolute risk  
5 reduction is the difference between the two groups.  
6 And, if we use the example from yesterday, 15 percent  
7 was the baseline, and 20 percent with the other group,  
8 there would be a five percent absolute risk reduction.

9 So, it depends on which number we are talking about.  
10 I think what Gus is suggesting is thinking about in  
11 relative risk reduction a percentage.

12 CHAIRPERSON FITZPATRICK: Most of the  
13 discussion seemed to revolve around absolute risk, and  
14 what I heard today was that that=s going to depend on  
15 the patient group that the product is going to be used  
16 in.

17 DR. WEISKOPF: That=s compounded by the  
18 issue of how well are we going to know that risk, and  
19 we haven=t got our arms around that answer either.

20 DR. CARSON: Yes, but every sample size that  
21 you ever do is, you know, you are going to look at the  
22 numbers, you are going to look at what=s achievable,  
23 what=s realistic, there=s a lot of judgment that goes  
24 into these things, and I don=t want to give a number,  
25 because I think that number might trap people into

1 unachievable goals that aren't in the interest of our  
2 patients.

3 And you have to look at these individually  
4 and have to -- you know, it depends on what the rates  
5 turn out to be, it depends on, you know, what's  
6 achievable. There are a lot of issues that go into  
7 sort of deciding on a basic number, and I think it's a  
8 bad idea to have us suggest a number that you think  
9 it's held to. I wouldn't do that.

10 DR. JOYNER: I think it will be different if  
11 you are talking about the trauma trial than an elective  
12 surgery trial. Just basically what we are saying, I  
13 think that also has to be balanced with the fact that  
14 the \$64,000.00 slide, the 64,000 patient slide of  
15 Doctor Gould, and the fact that these types of trials,  
16 all drug trials are costly, but these are going to be  
17 particularly costly, due to the nature of the data  
18 collection, where it is going to be collected, what  
19 kind of patients are studied.

20 CHAIRPERSON FITZPATRICK: Doctor Klein  
21 brought in some new questions this morning about the  
22 preclinical trials and appropriate animal models. Some  
23 of the discussion there revolved around shock trauma  
24 models, anesthetized versus non-anesthetized models,  
25 primates, of the need to define the toxicities to be

1 addressed, the need for re-challenge for immunogenicity  
2 studies.

3 While the panel was asked about three or  
4 four common models, it seemed apparent that there  
5 didn't seem to be a way to come to grasp with three to  
6 four common models for the product. Does that seem  
7 reasonable? I see a frown.

8 DR. WEISKOPF: I'm not sure we can mandate  
9 specific preclinical trials based on the current level  
10 of knowledge.

11 Furthermore, I am uncomfortable with  
12 mandating trials in primates, unless somebody can show  
13 that the data collected from primates can be collected  
14 no other place, which is what frequently IRBs demand in  
15 any event, because primates are so difficult to obtain  
16 and to work with. I don't see that particular need.

17 CHAIRPERSON FITZPATRICK: Along those same  
18 lines, there was discussion about the need for  
19 controlled and uncontrolled hemorrhage models, and  
20 looking at other potential toxicities, neurotoxicity,  
21 the Glasgow outcomes, scores, stroke and others. Does  
22 anyone have specifics they'd like to add to that?

23 DR. JOYNER: I'd just like to reiterate the  
24 need to, at least for the elective surgery type trials,  
25 to study these in potentially or at least address some

1 common co-existing diseases associated with aging,  
2 because older people are going to get these, which  
3 would mainly be hypertension, subclinical renal  
4 disorders and reduced ejection fractions in potentially  
5 pulmonary disease. I think those would be the four big  
6 ones. And, to the extent you wanted to study maybe  
7 diabetes as well. I think those are the five biggies  
8 with aging.

9 DR. VLAHAKES: And, I=d include in the list  
10 this silent atherosclerosis.

11 DR. JOYNER: Yes.

12 DR. NESS: One of the issues in trauma that  
13 I=ve been thinking about, I=m not sure how the FDA or  
14 anybody can deal with this, but a high percentage of  
15 the trauma, potential trauma recipients, will cease to  
16 be patients and become organ donors. Are there any  
17 studies, or is this an issue that we need to deal with  
18 in terms of if there is a toxicity, vasoconstrictive  
19 effect in giving this terminally, for example, would  
20 that make organ harvesting worse?

21 DR. VLAHAKES: I don=t think that will be an  
22 issue, because these are going to be cleared and your  
23 end drugging system tests to qualify them for donation  
24 will be done. The time from the termination of therapy  
25 to the trauma patient until the time of organ donation

1 is sufficient time, I think, for that to occur, at  
2 least based on what happens in New England.

3 CHAIRPERSON FITZPATRICK: That raises  
4 another point, Doctor Ness, that hasn't really been  
5 considered before.

6 DR. COHN: Right. The fact is the majority  
7 of people who are our organ donors, and there aren't  
8 all that many of them, are folks with devastating head  
9 injuries that we might possibly be able to identify  
10 very early on as someone who is not a good candidate  
11 for the study. You know, the person with brain coming  
12 out of the side of their head is not a good person to  
13 be putting in this, and even though they might survive  
14 12 hours to become an organ donor they generally are  
15 identifiable. I mean, there are some patients who  
16 definitely could get this and two days later herniate  
17 and I think it's a reasonable question, but I think it  
18 won't be commonly encountered.

19 DR. WEISKOPF: Unless my right and left ears  
20 are connected to two different brains, I thought I  
21 heard arguments earlier this morning to include all  
22 those patients in the trial.

23 DR. COHN: I'm sorry, we shouldn't include  
24 patients who have -- who are unlikely to survive 24  
25 hours. That's what I was saying. The patient that



1 comes in that has got evidence of a devastating brain  
2 injury, such as the one with brain extruding, okay, or  
3 the one who is herniating in front of you and goes to  
4 CAT scan and is going to be let go, that person I  
5 wouldn't include. The rest of them I would.

6 DR. WEISKOPF: Well, I understand, that's  
7 what I was trying to say earlier but, perhaps, not in  
8 as elegant a manner, but what I thought I heard Jim say  
9 was that, no, the neurologically injured patients, the  
10 majority of those will be helped.

11 DR. COHN: What he was saying was that  
12 people with a GCS less than nine, even the ones with a  
13 brain injury, there are folks -- he also said that  
14 there is a population that we cannot help, that's what  
15 I'm saying, recognizing that, you know, we only  
16 understand a certain small percentage of those, but  
17 there are a bunch of people with a fairly significant  
18 head injury who may benefit because their penumbra, the  
19 area that can go either way, may benefit from this.

20 What I'm saying is that most of the people  
21 who are organ donors, or some of them, may come in and  
22 be actually sort of not included in the trial because  
23 we look at them and say, there ain't no way this guy is  
24 going to make it 24 hours.

25 DR. NESS: Leaving aside the issues of the

1 trial, I think one of the questions that you could ask  
2 is, would this make a potential organ donor worse or  
3 even make it better for the organ recipient further on  
4 to have better perfusion early on.

5 CHAIRPERSON FITZPATRICK: That is a  
6 possibility.

7 In discussing the linkage, a number of  
8 measurement parameters were discussed in the models.  
9 That=s the listing that I got trying to take quick  
10 notes. I=m sure the transcript will maybe have a few  
11 more, but we had myocardial injury, ischemia, renal  
12 toxicity, liver damage, pancreatitis, muscle injury,  
13 nausea, vomiting, GI distress, perhaps, inclusion of  
14 animal models with co-existing disease, multiple organ  
15 failure.

16 DR. CARSON: Add pulmonary.

17 CHAIRPERSON FITZPATRICK: Okay.

18 DR. WEISKOPF: Neurologic.

19 CHAIRPERSON FITZPATRICK: That=s another  
20 one.

21 One of the other questions was, shouldn=t  
22 oxygen therapeutic be evaluated in a perioperative  
23 setting in high-risk patients? I think that=s what we  
24 ended up modifying that question. I got from the panel  
25 that controlled clinical trials are necessary, and that

1 a high-risk patient population would be required to see  
2 large volumes used, that there is a difference between  
3 a euvolemic stable patient versus a hypovolemic  
4 Aunstable= patient, knowing that the goal of surgery is  
5 to keep all patients stable throughout the entire  
6 process, that we have different risk acceptance for  
7 different patient need groups, that high-volume  
8 procedures, this is my own comment, you could have a  
9 pre-consent for a patient going to a procedure that  
10 might be a high-volume or high-risk procedure, that  
11 should it become necessary they could be pre-consented  
12 to use this product, be enrolled in the study that way.

13 Trauma, in some panel members= opinions, provided the  
14 best patient group for high-volume studies, but we need  
15 controlled studies on safety and toxicity before we can  
16 go to the trauma studies and use it on high-volume  
17 patients in trauma, because of the heterogeneity  
18 problems, because of trying to sort out what's the  
19 toxicity, what contributed to mortality, what didn=t,  
20 how did the product affect the outcome.

21 DR. WEISKOPF: I almost hesitate to bring  
22 this up, with only 35 minutes left to this conference,  
23 but the risks that we have been talking about for a day  
24 and a half, we haven=t addressed the issue as to  
25 whether these risks are dose related or not dose

1 related. And, for the ones that are dose related, do  
2 we need to talk about studies with respect to what dose  
3 level is required to be looking for those toxicities?

4 CHAIRPERSON FITZPATRICK: Do you want to  
5 comment on that, Doctor Silverman, since you had some  
6 comments to that yesterday.

7 DR. SILVERMAN: Toby Silverman, FDA.

8 We've always said that the purpose of a  
9 dose escalation study is to look at maximum tolerated  
10 dose. You absolutely must know the parameters in which  
11 you are working. For trauma, I think you really would  
12 like to have a product where you can go, no holds  
13 barred, and you really would like to know if you can do  
14 that.

15 DR. WEISKOPF: Sure, I understand, but  
16 ordinarily dose escalation studies are not -- we are  
17 talking about just a pure dose escalation study as  
18 opposed to a Phase III clinical trial, those dose  
19 escalation studies generally are relative compared to  
20 the Phase III clinical trial, are much smaller  
21 populations. What I'm asking, I guess, is are there  
22 specific doses that we ought to be -- that need to be  
23 looked at in the Phase III trial that will be  
24 accumulating these sort of toxicity data.

25 DR. SILVERMAN: That's a very difficult

1 question. I think that the answer to that is yes, you  
2 want to go, you want to confirm your maximum tolerated  
3 dose from the Phase II, and you do want to be looking  
4 for any additional rare toxicities at the highest  
5 doses, so we will be looking. If you ask for a label  
6 that goes to 30 units, we are going to want to see a  
7 certain amount of data, you know, in a sizeable number  
8 of patients at that dose level. How could I write a  
9 label that says you can administer to the putative 30  
10 units if I don't have the information.

11 CHAIRPERSON FITZPATRICK: Other patient  
12 groups that we looked at were commented on as being  
13 potential for high volume or high risk for aortic  
14 aneurysms. Redo CABGs, the warm autoimmune hemolytic  
15 anemia, sickle cell, the ideas for entry of patient  
16 groups into studies to look at these parameters.

17 The potential of off-label use was  
18 discussed, the FDA said when predictable it should be  
19 studied. The panel seemed to agree with that comment,  
20 and went back to the trauma victims and Doctor  
21 Holcroft's comments about inclusion of those patients.

22 Trial design is one of the topics we are  
23 supposed to get through this morning. We discussed  
24 that throughout the conference. My understanding of  
25 what we heard was that additional trials in a

1 controlled study at large doses are needed before  
2 conducting a full-blown trauma trial to assure safety.  
3 Dose limiting was just discussed. One of the questions  
4 would be, is there a dose limit that the panel would  
5 consider before going to a trauma trial, ten units, 15  
6 units, what would be a parameter in that dose  
7 escalation study that would give you confidence to use  
8 it in a trauma trial.

9 DR. KRUSKALL: Well, there's a rationale to  
10 ten units, because it represents a blood volume, a  
11 definition of massive transfusion. The problem that I  
12 have is imagining getting an elective surgical trial in  
13 which we get up to those doses, so I think practically  
14 we are not going to be able to get to that level before  
15 we move to a trauma trial.

16 DR. CARSON: But, I thought that there was a  
17 consensus towards the end of the discussion that a  
18 trauma trial should be the first place to go for data  
19 to establish efficacy, and that treatment and that  
20 safety, some safety information would result from that,  
21 because, I mean, Jim's point was that the place that  
22 these drugs are most likely to really affect outcome is  
23 in those kinds of cases, and are much less likely to  
24 affect outcome in an elective setting, and that, you  
25 know, he had talked about originally the concept of

1 proving safety in elective settings and then bringing  
2 it to a trauma setting, and I think -- I thought we got  
3 to the point where there was a sense that, the trauma  
4 setting is where we can really affect outcome, let's go  
5 and figure out if it works in that setting and begin to  
6 assess safety's part of that process, and not to put it  
7 off until later. At least that's what I came away  
8 with, maybe I'm in the minority on that one.

9 DR. KRUSKALL: It's probably where any  
10 residual semblance of consensus disappears, but I think  
11 that our hands are all tied because we can't do -- or  
12 I'm told we can't do two studies, that we have to focus  
13 on one. And, as tempting as it is to follow Willie  
14 Sutton's law and go for the money, because I think that  
15 the efficacy and the utility of this, these materials,  
16 are going to be in trauma, trying to decipher safety  
17 and efficacy is going to be so challenging that I  
18 thought we were headed toward an elective surgical  
19 trial to at least get a handle on safety, so that we  
20 had, to the limits that we could, in terms of the  
21 volumes that we would have liked to have seen, some  
22 idea of safety that we then translated to trauma  
23 trials, perhaps, in a Phase IV trial.

24 DR. COHN: I think paraphrasing Jim's  
25 comment that he would be uncomfortable doing any kind

1 of a waiver of consent, or a pre-hospital, or a trauma  
2 trial where consent would be highly difficult to obtain  
3 he=d be uncomfortable doing that unless the preparation  
4 appeared to be at least safe in some volume.

5 CHAIRPERSON FITZPATRICK: That was one of  
6 his comments to me before he left also.

7 DR. WEISKOPF: And, I think as a practical  
8 matter, if any sponsor is going to conduct a large-  
9 scale elective surgery safety trial, they will also  
10 power it for efficacy as well, so they are likely to do  
11 both simultaneously.

12 CHAIRPERSON FITZPATRICK: Doctor Vlahakes.

13 DR. VLAHAKES: No, I was going to comment  
14 about the discussions, recall that we did have  
15 differences of opinion, it did go back and forth.

16 CHAIRPERSON FITZPATRICK: Okay.

17 Another issue was that if we get -- when  
18 and if we get to the point of a trauma trial, it should  
19 be conducted in an all or none format.

20 DR. KRUSKALL: I hate to make us go back,  
21 but assuming that we do this surgical trial and we get  
22 satisfactory safety, but can=t prove efficacy, do we  
23 hamper ourselves in any way in terms of the need to go  
24 on to a trauma trial by virtue of the fact that this  
25 may not get licensed? What dilemma do we face if we



1 show that we have a safe material, but that it is not  
2 efficacious? Is equivalent enough to get it licensed  
3 then at that point?

4 CHAIRPERSON FITZPATRICK: I have to defer to  
5 the FDA on that.

6 DR. KRUSKALL: If we conduct these surgical  
7 trials, large trials, safety is assured, but the  
8 material is not shown to be efficacious, equivalent to  
9 or, perhaps, barely equivalent to blood. No blood is  
10 saved, I guess is our endpoint. Is the product not  
11 licensable, and what does that do to our interest in  
12 doing this for trauma?

13 DR. AEBERSOLD: The Phase III trials that  
14 have been described use reduction or avoidance of  
15 allogeneic blood as a surrogate endpoint. If one  
16 doesn't accomplish that goal, I mean, and many members  
17 on the panel even questioned whether that is a measure  
18 of efficacy at all or can be achieved, because blood is  
19 very safe, if you don't at least avoid or reduce  
20 allogeneic blood what have you done? I mean, why would  
21 you use this product if -- I mean, the FDA has always,  
22 in our discussions with sponsors, pointed out if all  
23 you do with a short half-life product is delay the  
24 allogeneic blood you might as well give the allogeneic  
25 blood up first. We have heard no reason not to do

1 that, and I just want to point out that even though  
2 some of the questions were framed as if one is doing, a  
3 manufacturer is doing a single pivotal trial, that=s  
4 not to suggest that that would be what FDA would  
5 prefer.

6 I think it=s very clear from the discussion  
7 that there=s potential for use on both ends of the  
8 scale, and different questions on both ends of the  
9 scale, and would we prefer that a company do a trauma  
10 trial and a surgery trial? Yes, I think we would,  
11 that=s what Baxter was doing.

12 DR. KRUSKALL: All right, that was really  
13 what I was getting at, because if we are preordained to  
14 suggest one trial, we actually slow ourselves down if  
15 the surgical trial does not produce data that allows a  
16 marketable product.

17 DR. WEISKOPF: I certainly understand your  
18 point about the need to demonstrate efficacy. That=s,  
19 I suppose, a given, with the possible exception, and  
20 we=ve talked about it in the issue of trauma and in  
21 other circumstances, but it=s broader in scope, and  
22 that is availability. We=ve talked about specific  
23 isolated circumstances, but what about if we reach the  
24 point next year, which was predicted by the NIH meeting  
25 earlier this year, that there is not going to be just

1 spotty shortages of blood, but we will have a national  
2 chronic shortage of blood. I'm not proposing an answer  
3 here, just suggesting that the problem is a little more  
4 complex.

5 DR. AEBERSOLD: I have the same response for  
6 surgery. If all you do is delay the need for  
7 allogeneic blood, and you are giving the same amount of  
8 allogeneic blood, you are not helping a shortage at  
9 all. As a matter of fact, you are making it worse  
10 because there may be some competition for human blood  
11 derived blood substitutes. This is all outdated blood  
12 right now, but if you don't reduce the amount of  
13 allogeneic blood used, you are not helping the shortage  
14 either.

15 DR. JOYNER: A surgical trial may be a  
16 little different, though, than a trauma trial, where  
17 you, to use Doctor Cohn's phrase, you are using it as a  
18 bridge to transfusion in places where you can't give  
19 blood, a helicopter, out in the field, whatever.

20 DR. WEISKOPF: Unless -- sorry.

21 DR. JOYNER: So, that would be -- so, the  
22 bridge to transfusion idea versus not in a controlled  
23 hospital base, showing that you give a couple units  
24 during surgery and have to give a couple more later,  
25 versus just giving a couple, a couple of units of RBCs

1 up front. I think that=s a separate issue.

2 DR. AEBERSOLD: Yes, I agree it=s a  
3 separate issue, I was addressing my comments to the  
4 question about in elective surgery, if one didn=t show  
5 an avoidance or reduction of allogeneic blood.

6 Clearly, in a trauma setting, I think that  
7 my take is that everybody on the panel thinks that  
8 there=s some patients who would potentially be saved in  
9 the transport setting of having an oxygen carrier  
10 available, although I think I also heard it would be  
11 very difficult to conduct a clinical trial, not  
12 impossible, though.

13 DR. WEISKOPF: Your second bullet point I  
14 think is not possible, given the current half life of  
15 the compounds that we heard about, which range from  
16 some hours to a day or so, dose dependent, but in that  
17 range, that eventually those patients will need, if you  
18 are talking about substantial hemorrhage, substantial  
19 blood loss in the trauma patients, they will need  
20 something following once the product dissipates. So, I  
21 don=t think it=s going to possible to a priori in  
22 advance, have a prospective randomized study, in which  
23 you would expect one arm to be completely transfusion  
24 of ordinary blood components free.

25 DR. VLAHAKES: I think the discussions that

1 were taking place on that point yesterday centered  
2 around this definition of stable, and stable means when  
3 the surgical bleeding is controlled in the operating  
4 room and the turnover of blood volume, rapid turnover  
5 of blood volume from surgical loss ceases.

6 CHAIRPERSON FITZPATRICK: There were  
7 comments to that effect, and also to a time limit, say  
8 12 hours, 24 hours. There were a variety of comments  
9 as to what comprised that period of providing the  
10 oxygen carrier versus red cells, knowing that the  
11 patient at some time might need to be weaned to red  
12 cells and that factored in.

13 DR. CARSON: But, the principle is that  
14 someone comes in with a vessel that=s cut, someone is  
15 bleeding like crazy, that at that time you are using  
16 the blood substitute to see that patient through. Once  
17 hemostasis is established then you are going to  
18 typically want to go to allogeneic blood then, because  
19 these drugs don=t hang around long enough for that.  
20 So, it=s kind of following the bridging concept that  
21 seems like the ideal way to use these drugs.

22 CHAIRPERSON FITZPATRICK: But surpassing the  
23 ten or 20 unit limit in the study that is currently  
24 set, allowing them to go beyond that.

25 DR. CARSON: Yes. I mean, that=s just

1 common sense.

2 CHAIRPERSON FITZPATRICK: Comments were made  
3 that rather than being spread over 18 centers for  
4 control purposes, and data collection might be better  
5 to look at four or five high-volume trauma centers.  
6 There was continued concern over dosage, which has been  
7 discussed today, complications that result from  
8 patients receiving both an oxygen carrier and red cells  
9 that need to be factored into the trial design or  
10 factored out of the trial design.

11 DR. CARSON: The number of centers you need  
12 is going to be determined by the sample size. You  
13 know, clearly, you are better off dealing with fewer  
14 centers with higher volumes if you can meet your  
15 recruitment needs. That may not be possible for five,  
16 and you just -- you know, you need to build into these  
17 trials really, really careful quality control, and  
18 training, and piloting, and, you know, you maybe want  
19 to start it in a few centers, figure out how to do the  
20 study right, get through your, you know, figure out all  
21 the pitfalls and work them out, and, you know, then  
22 expand the number of centers that you need to meet your  
23 recruitment needs.

24 But, there's a lot of experience throughout  
25 the world in doing multi-center trials. The key is to

1 get the protocol right, make it, you know, figure out  
2 the logistics, train people really well, monitor them  
3 really well. You know, so big multi-center trials have  
4 done many, many, many times, you just need to do them  
5 real carefully and step them in.

6 DR. JOYNER: Could I suggest, I agree with  
7 your comments, but I think that this, the environment  
8 and what they are trying to do here is, with the  
9 exception of maybe a few things that require cardiac  
10 catheterization, is about as hostile as you can find,  
11 and I think the data from all sorts of sources show  
12 that until people start doing 100-200 of whatever it  
13 is, you know, until you overcome the original learning  
14 curve, you are going to have deep, deep trouble. So,  
15 whether it=s four centers or 18, but the key is to have  
16 enough people at each one so that the rate of -- so  
17 that the confusion associated with adding a difficult  
18 protocol to an already hostile and confusing  
19 environment is minimized. And, that=s why, I think,  
20 again, these folks have been asked to do very difficult  
21 things with very difficult products in a very difficult  
22 environment, and anything that we can do and the FDA  
23 can do to help them just limit additional sources of  
24 confusion would be helpful.

25 DR. CARSON: I think the key thing that you

1 said was, centers that have significant volumes, so  
2 that they learn to do the studies quickly and they  
3 learn to do it well. And, if you have lots of centers  
4 that do lots of volume it will work.

5 I absolutely agree with you, if you have  
6 lots of centers, some of which that do small numbers,  
7 you are never going to learn the protocol well enough,  
8 you are not going to get good at it, there=s going to  
9 be lots of protocol violations, and it=s going to be a  
10 mess.

11 DR. JOYNER: Just based on a lot of things,  
12 but I would almost require that the centers have proof  
13 that their study coordinators have actually been  
14 involved in something like this before, because they  
15 are going to be so essential to trying to make this  
16 work.

17 CHAIRPERSON FITZPATRICK: On trial design  
18 also, we had discussion about trials in a remote or on  
19 ambulance setting, are they necessary, could it be done  
20 as a post-market analysis after a trauma trial? And,  
21 there was discussion, I=m not sure we got a feeling as  
22 to what -- if there was consensus what that might be.

23 DR. VLAHAKES: Well, I=ll put an opinion out  
24 for discussion. I think it=s a hard trial to do,  
25 consent issues, et cetera, and it might be perfect for



1 a post-market analysis study, the consent issue is a  
2 lot less at that time.

3 DR. NESS: Yes, I originally argued against  
4 the idea of doing this kind of study, because I thought  
5 the variables, in terms of care delivery, would be so  
6 confusing that you wouldn't know what you've got, but in  
7 thinking about the very difficult problems with sample  
8 size and all that, to do a study in the hospital  
9 setting, emergency hospital surgery, trauma setting,  
10 where you are going to do a sort of heads up comparison  
11 between giving blood versus giving a substitute until  
12 the patient is stable, and the sample sizes and all  
13 that you need, and if that's going to be the  
14 determinant of efficacy it may ultimately be a lot  
15 easier to determine efficacy in one of these remote  
16 settings where you are really going to do the real  
17 comparison, which is blood to no blood, because that's  
18 a real efficacy comparison that if we are really  
19 talking about this treatment as a bridge to transfusion  
20 that's really where I think all of us were in agreement  
21 that is the real utility, the major utility of this  
22 product.

23 DR. WEISKOPF: Well, I think if you do this  
24 sort of study, you'll satisfy Jeff's requirements about  
25 minimal data collection and then some. The amount of

1 data collection will approach and achieve zero.

2 So, if the FDA is satisfied with zero data,  
3 that will be a great study.

4 DR. COHN: Obviously, you=d have to be very  
5 selective if you had a group of paramedics, a select  
6 group of paramedics, say, in Life flight helicopters,  
7 who were very well trained and focused, you could  
8 gather a tremendous amount of data.

9 But, I just want to ask, where exactly is  
10 the large trauma trail and how do I get on it?

11 DR. CARSON: Dick and I can=t help but  
12 discuss these issues. See, what I=ve learned from my  
13 trauma friends here is that, it seems like this is the  
14 place where you have your best chance of showing  
15 something, and I guess, I don=t know, I=ve watched EMS  
16 groups, some on TV I recognize, I mean, they are  
17 impressive, they are good. And, you know, I think they  
18 could do this, and I don=t think they have to collect  
19 almost any data at the time that they scoop these folks  
20 off their site. And, you know, they need to get them  
21 in an ambulance, they need to stick a line in them, and  
22 they need to start infusing this stuff and transport to  
23 the hospital.

24 And probably all the data collection that=s  
25 necessary could happen later. And so, I don=t think

1 any of these studies aren't -- they are all really  
2 hard, and I'm not sure that this is any harder than  
3 some of the other ones that we've been talking about.

4 I think they are all hard, and, I mean, there's been  
5 EMS studies done in, I guess, Seattle, which  
6 established what CPR worked, and I wouldn't reject  
7 this, again, for those reasons.

8 DR. JOYNER: Somebody in our department is a  
9 medical director of the local ambulance, and they've  
10 collected -- and they've also collected work for the  
11 police department on the automated defibrillators, and  
12 the dedicated senior people who have been doing it for  
13 a while, the EMTs and so forth, have a terrific  
14 relationship with the physicians, and the nurses and  
15 staff and so forth, these people can be trained and  
16 indoctrinated to do, you know, almost anything and  
17 they'll do it. If you give them a defined scope they'll  
18 do it with real zeal, real zeal. I mean, you know,  
19 it's like a dog bringing you a bone, they are so happy  
20 when they do a good job because they know you are  
21 happy.

22 DR. CARSON: I mean, imagine that you do  
23 this, you know, in any of the major cities, even San  
24 Francisco, and, you know, you get those hard core EMS  
25 folks that work in our major cities and you train them,

1 I don=t know. I don=t know if it would work, I mean,  
2 you=d have to try it, but I think every one of these  
3 trials are really hard.

4 DR. WEISKOPF: I agree, the study could --  
5 you could do it, the question is, what sort of data  
6 will you have with respect to what the patient was like  
7 prior to administration of therapy. Well, there=s a  
8 lot to discuss about it, but I have difficult  
9 envisioning that you=d get the kind of information that  
10 you really would like to see prior to therapy.

11 DR. COHN: I mean, it=s routine for our  
12 paramedics to gather the two pieces of information that  
13 we heard that are essential. Well, one would be pulse  
14 and blood pressure, and the other would be their  
15 Glasgow coma score, just the motor component, and that  
16 they can get before anything was infused. So, we  
17 basically have time zero.

18 And then, the second important time point  
19 is on arrival to the emergency room, so if they can,  
20 and they do reliably give us the amount of time, we  
21 have all the dispatch times available, so we can -- I  
22 think as long as there=s not too much that you are  
23 asking, I think that in terms of data that we can get  
24 some of those essential things, and let=s face it, if  
25 you had absolutely no data, other than the blood

1 pressure, all right, and you just knew what the  
2 systolic blood pressure was, and they called in and  
3 they got randomized to one or the other, that might, in  
4 itself, just looking at survival to the hospital, might  
5 be different. I don=t know.

6 DR. VLAHAKES: The EMT person would get  
7 consent?

8 DR. COHN: Hum?

9 DR. VLAHAKES: The EMT person would get  
10 consent?

11 DR. COHN: No, they would have -- by  
12 definition you wouldn=t be able to get consent, you  
13 couldn=t have them ask for -- even if the person could  
14 respond, you wouldn=t want them to say, well, look, I  
15 know I should be putting you on a back board now and  
16 putting a collar on you, but I have this little study  
17 I=d like to explain, do you have five minutes?

18 DR. CARSON: Consent is 25 pages long, I  
19 want you to read every word and initial every page.

20 CHAIRPERSON KLEIN: I don=t know what you  
21 guys do, and Rochester is a small town, but when we=ve  
22 done studies in the emergency department we have like  
23 these kind of town hall meetings, and we get some sort  
24 of community-based informed consent and so on, and it=s  
25 a big process, and the lawyers are involved and so

1       forth.

2                   CHAIRPERSON FITZPATRICK: I think Doctor  
3 Holcroft agreed with that concept, too. Things would  
4 have to be done without consent.

5                   DR. COHN: To do that in Miami, we=d have to  
6 use like the Orange Bowl, you know.

7                   CHAIRPERSON FITZPATRICK: It may be empty.

8                   One of the other things we discussed were,  
9 and we=ve talked about these, were high-volume blood  
10 loss, high-risk patients, age stratification,  
11 randomized controls and, again, powered for the  
12 toxicities that we need to look for.

13                   Equivalency still seems to be a question  
14 after this morning, and the question came up, do we  
15 need a benefit, should we define a benefit, or is  
16 equivalency okay without a benefit. Those parameters,  
17 I think, remain to be seen.

18                   We are supposed to take a little time and  
19 look at recommendations for the future, and we=ve got  
20 about ten minutes left, which isn=t much time to do  
21 that, but would the panel have recommendations to the  
22 manufacturers and FDA for directions that they should  
23 go with this research in the future?

24                   DR. CARSON: I think as Jim said, we=ve all  
25 done a lot of talking, and maybe -- it=s hard to

1 believe that there=s much more that we can add.

2 CHAIRPERSON FITZPATRICK: I think a lot has  
3 been said, and I=d like to turn it over to Abdu.

4 DR. ALAYASH: Well, thank you very much.  
5 I=ll be very brief. On behalf of the organizing  
6 committee, steering committee, I=d like to thank you  
7 extremely much for your help and your input. I=d like  
8 to thank the moderators and the representatives of  
9 industry for their willingness to take part, and also  
10 take part, not only in the presentation of the data,  
11 but in the actual debate.

12 Thank you very much, and have a safe trip.

13 (Whereupon, the meeting was concluded at  
14 12:20 p.m.)

15

16

17

18

19

20

21

22

23

24

25

1  
2  
3