

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE
ARTHRITIS ADVISORY COMMITTEE

8:05 a.m

Monday, June 23, 2003

Versailles Ballroom
Holiday Inn
8120 Wisconsin Avenue
Bethesda, Maryland

ATTENDEES

COMMITTEE MEMBERS:

GARY S. FIRESTEIN, M.D., Chair
Chief, Division of Rheumatology,
Allergy and Immunology
Department of Medicine
University of California at San Diego
9500 Gilman Drive
Basic Science Building, Room 5098
La Jolla, California 92093

JOHANNA M. CLIFFORD, M.S., R.N., B.S.N.
Advisors and Consultants Staff (HFD-21)
Center for Drug Evaluation and Research
Food and Drug Administration
5600 Fishers Lane, Building 5630, Room 1093
Rockville, Maryland 20857

JENNIFER J. ANDERSON, PH.D.
Research Professor of Public Health
Department of Epidemiology and Biostatistics
Boston University School of Medicine
A-203
715 Albany Street
Boston, Massachusetts 02118

JOHN J. CUSH, M.D.
Chief of Rheumatology and Clinical Immunology
Presbyterian Hospital
8200 Walnut Hill Lane
Dallas, Texas 75231-4496

WENDY McBRAIR, R.N., M.S., C.H.E.S.
Consumer Representative
Director
Southern New Jersey Regional Arthritis Center
Virtua Health
1 Carnie Boulevard
Voorhees, New Jersey 08043

HOWARD J. WILLIAMS, JR., M.D.
Department of Internal Medicine
Division of Rheumatology
University of Utah School of Medicine
50 North Medical Drive
Salt Lake City, Utah 84132

ATTENDEES (Continued)

CONSULTANTS: (Voting)

STEVEN ABRAMSON, M.D.
Chairman of Rheumatology and Immunology
Hospital for Joint Diseases
301 East 17th Street
New York, New York 10003

LAURENCE BRADLEY, PH.D.
Professor of Medicine
University of Alabama at Birmingham
467 Boshell Diabetes Building
Birmingham, Alabama 35294

ALLAN GIBOFSKY, M.D., J.D.
Hospital for Special Surgery
Weill Medical College
Cornell University
425 East 79th Street
New York, New York 10021

MICHAEL FINLEY, D.O.
Western University
College of Osteopathic Medicine
309 East Second Street
College Plaza
Pamona, California 91766-1854

GARY HOFFMAN, M.D., M.S.
Chairman, Department of Rheumatic and
Immunologic Diseases
The Cleveland Clinic Foundation A/50
9500 Euclid Avenue
Cleveland, Ohio 44195

NATHANIEL KATZ, M.D.
Assistant Professor of Anesthesiology
Harvard Medical School
Pain Trials Center
75 Francis Street
Boston, Massachusetts 02115

ATTENDEES (Continued)

CONSULTANTS: (Voting)

ROLAND STAUD, M.D.
Associate Professor of Medicine
University of Florida
Division of Rheumatology and
Clinical Immunology
1600 SW Archer Road
Gainesville, Florida 32610-0221

DENNIS TURK, PH.D.
Professor
Department of Anesthesiology
University of Washington
School of Medicine
1959 NE Pacific Street
Room BB1425, HSB
Seattle, Washington 91895-2958

CONSULTANTS: (Non-voting)

DANIEL CLAUW, M.D.
(Center for Devices and Radiologic Health)
Professor
University of Michigan
Chronic Pain & Fatigue Research Program
24 Frank Lloyd Wright Drive
Ann Arbor, Michigan 48106

ACTING INDUSTRY REPRESENTATIVE: (Non-voting)

FRED LASKY, PH.D.
Director, Regulatory Affairs
Genzyme Diagnostics
1 Kendall Square
Cambridge, Massachusetts 02139-1562

ATTENDEES (Continued)

GUEST SPEAKERS: (Non-voting)

LESLIE CROFFORD, M.D.
Associate Professor of Internal Medicine
University of Michigan
Division of Rheumatology
5510 MSRB-1
Ann Arbor, Michigan 48109

GEORGE WELLS, M.S.C., PH.D.
Chair and Professor
Department of Epidemiology and
Community Medicine
University of Ottawa
Ontario, Canada K1H 8M5

PATIENT REPRESENTATIVE: (Voting)

Lynne Kennedy Matallana, M.S., B.A.
National Fibromyalgia Association
Orange, California

FOOD AND DRUG ADMINISTRATION STAFF:

MARIA LOURDES-VILLALBA, M.D.
LEE SIMON, M.D.
JAMES WITTER, M.D., PH.D.

ALSO PRESENT:

VIBEKE STRAND, M.D., FACP

C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Ms. Johanna Clifford	9
OPENING REMARKS by Dr. James Witter	11
HISTORICAL BACKGROUND OF FIBROMYALGIA Pre-ACR Diagnostic Criteria by Dr. Laurence Bradley	31
Basic Mechanisms by Dr. Leslie Crofford	58
Post-ACR Diagnostic Criteria by Dr. Daniel Clauw	86
A Patient's Perspective by Ms. Lynne Matallana	129
Outcomes: Multi-System Impact by Dr. George Wells	138
OPEN PUBLIC HEARING	159
CHARGE TO THE COMMITTEE by Dr. Lee Simon	159
COMMITTEE DISCUSSION AND RESPONSE TO FDA QUESTIONS	177

P R O C E E D I N G S

(8:05 a.m.)

1
2
3 DR. FIRESTEIN: Thank you very much, and
4 welcome to everybody, to this meeting of the Arthritis
5 Advisory Committee.

6 I'm Gary Firestein, currently the Chair, and we
7 have a number of new people sitting at the table. So I
8 think the first thing that we ought to do is go around the
9 table and introduce everybody. Why don't we start with our
10 august leader?

11 DR. SIMON: Hi. Good morning. I'm Lee Simon.
12 I'm the Division Director of Analgesic, Anti-inflammatory
13 and Ophthalmologic Drug Products, and a rheumatologist.

14 DR. WITTER: Good morning. Jim Witter, waking
15 up here, clinical team leader in 550.

16 DR. ABRAMSON: Steve Abramson, rheumatologist,
17 NYU and Hospital for Joint Diseases.

18 DR. GIBOFSKY: Allan Gibofsky, rheumatologist,
19 Hospital for Special Surgery, Cornell.

20 DR. WILLIAMS: Jim Williams, rheumatologist,
21 University of Utah.

22 MS. McBRAIR: Wendy McBair, Director of
23 Arthritis Services, Virtua Health, in New Jersey, consumer
24 rep.

25 DR. HOFFMAN: Gary Hoffman, rheumatologist,

1 Cleveland Clinic.

2 DR. BRADLEY: Larry Bradley, psychologist,
3 Division of Rheumatology, University of Alabama at
4 Birmingham.

5 MS. CLIFFORD: Johanna Clifford, Food and Drug
6 Administration, Executive Secretary to this meeting.

7 DR. KATZ: Nathaniel Katz, a neurologist in
8 Boston, Massachusetts.

9 MS. MATAALLANA: Lynne Matallana, patient
10 representative, Founder and President of the National
11 Fibromyalgia Association.

12 DR. FINLEY: Michael Finley, rheumatologist,
13 Western University.

14 DR. ANDERSON: Jennifer Anderson, statistician,
15 Boston University.

16 DR. CUSH: Jack Cush, rheumatologist,
17 Presbyterian Hospital, Dallas.

18 DR. STAUD: Roland Staud, rheumatologist,
19 University of Florida.

20 DR. TURK: Dennis Turk, psychologist,
21 University of Washington.

22 DR. LASKY: Fred Lasky, Director of Regulatory
23 Affairs, Genzyme, industry representative.

24 DR. FIRESTEIN: Thank you very much.

25 And before we get started, one minor change in

1 the schedule. Because there were no requests for
2 presenting at the open public hearing, that is going to be
3 canceled, and Dr. Simon's charge to committee will replace
4 that at 11:30.

5 So why don't we go ahead and get started with
6 the "Conflict of Interest Statement" from Ms. Clifford.

7 MS. CLIFFORD: The following announcement
8 addresses conflict of interest issues with respect to this
9 meeting and is made a part of the record to preclude even
10 the appearance of impropriety at this meeting.

11 The topics to be discussed today will not focus
12 on any particular product or company but rather may affect
13 those companies developing and studying products for
14 treatment of fibromyalgia. The conflict of interest
15 statutes prohibit special government employees from
16 participating in matters that could affect their own or
17 their employer's financial interests. All participants
18 have been screened for interests in the products and
19 companies that could be affected by today's discussions.

20 In accordance with 18 United States Code,
21 section 208(b)(3), the Food and Drug Administration has
22 granted waivers for the following individuals, because the
23 agency has determined that the need for their services
24 outweighs the potential for conflict of interest. They
25 include Gary Firestein, Dr. Gary Hoffman, Dr. Steven

1 Abramson, Dr. Allan Gibofsky, Dr. Dennis Turk, Dr.
2 Nathaniel Katz, and Dr. Laurence Bradley.

3 In addition, Dr. Daniel Clauw has been granted
4 a limited waiver that permits him to give his presentation
5 on "Post-ACR Diagnostic Criteria" and to answer questions
6 directly related to his presentation. Dr. Clauw is
7 excluded from participating in the remainder of the
8 committee's discussion.

9 A copy of the waiver statements may be obtained
10 by submitting a written request to the agency's Freedom of
11 Information Act Office, room 12A-30 in the Parklawn
12 Building.

13 With respect to FDA's invited guests, there are
14 reported interests that we believe should be made public to
15 allow the participants to objectively evaluate their
16 comments.

17 Dr. Leslie Crofford has been involved in
18 studies of Pfizer's pregabalin and Eli Lilly's duloxetine.
19 She consults for Pfizer and Wyeth and previously consulted
20 with Cypress. Dr. Crofford also receives speaker fees and
21 is a scientific advisory for Pfizer.

22 Dr. Fred Lasky is participating as a non-voting
23 industry representative, acting on behalf of regulated
24 industry. Dr. Lasky is a full-time employee of Genzyme and
25 has a sales relationship with Wyeth. He would like to

1 disclose that he owns a nominal amount of stock in Johnson
2 & Johnson.

3 In the event the discussions involve products
4 or firms not on the agenda for which a FDA participant has
5 a financial interest, the participants are aware of the
6 need to exclude themselves from such involvement and their
7 exclusion will be noted for the record.

8 With respect to all other participants, we ask
9 in the interest of fairness that they address any current
10 or previous financial involvement with any firm whose
11 products they may wish to comment upon.

12 DR. FIRESTEIN: Thank you very much.

13 The first item on the agenda is from Dr.
14 Witter, who's going to make some opening remarks.

15 DR. WITTER: Good morning.

16 We arranged for some sun for you today. We
17 haven't had that around here a lot, so please enjoy it in
18 here.

19 We have an interesting day, I think, set up.
20 This has a potential to be an historic day. We're going to
21 be discussing something today that we have not at this
22 point really discussed in any great detail at an advisory
23 committee meeting, and we have a task today, which is
24 essentially to go about and have a discussion about
25 creating a claim for fibromyalgia. So I'm sure we'll find

1 it interesting, and some folks would hope that at the next
2 meeting, we are actually talking about approving something
3 for fibromyalgia. Time will tell.

4 So we have several goals for the meeting. I'd
5 like to just review those for today. One of those is
6 essentially to gather input then regarding the development
7 and approval for drugs that treat fibromyalgia. This
8 discussion will help us and will enrich the analgesic
9 guidance process in rewriting the document. I think most
10 of you know that we are in the process of revising the 1992
11 guidance documents. So this will be an informative meeting
12 in that regard as well.

13 We hope to address what we've come to
14 understand is an important public health issue. Estimates
15 are, depending on where you read, it affects anywhere from
16 4 to 10 million people in the United States alone, and we
17 hope that this discussion will also help us to better
18 understand how fibromyalgia represents a "model" of chronic
19 pain. I'll be discussing a bit later what we mean by the
20 term "model".

21 So we talk about claims and labels. Let's make
22 sure that we are on the same page. It's stated quite often
23 that although label claims have legal and regulatory uses,
24 their central purpose is to inform health care providers
25 and patients about the documented, and I stress documented,

1 benefits and risks associated with a product. So claims,
2 therefore, describe clinical benefits and that's really
3 what we're going to be trying to address today. What are
4 those clinical benefits? The better that a product is
5 labeled, the more effective it is then to allow for a
6 useful risk management program which is something that
7 we're all very much concerned about these days.

8 So fibromyalgia. What is it? Well, if you
9 look at the Arthritis Foundation's web page, you'll find
10 some of the following. They describe it as an arthritis-
11 related condition, characterized by generalized muscular
12 pain and fatigue. I'd like to stress the word "and". It's
13 described as a condition, referred to really as a syndrome,
14 because it is a set of signs and symptoms that occur
15 together. It's confusing. It's often misunderstood and a
16 lot of people, including health care providers, maybe don't
17 even believe that it exists. Part of the problem is that
18 it has very common symptoms with no specific laboratory
19 criteria.

20 How does the American College of Rheumatology
21 classify fibromyalgia? I know that that'll be a big part
22 of our discussion today. Well, there are really two
23 criteria that need to be satisfied. One is that you have a
24 history of chronic, in this case defined as 3 months,
25 widespread pain. The pain needs to be on the left side and

1 the right side. It needs to be both above and below the
2 waist. It needs to involve the axial skeleton, and then
3 you have to have pain when you digitally palpate in 11 of
4 18 tender spots. This palpation has to be with the force
5 of 4 kilograms and this has to be described as pain, not
6 tenderness. So what we'll be discussing today, I'm sure,
7 is whether or not this is a viable and workable inclusion
8 criteria for some of the clinical trials that will be
9 coming.

10 Well, how do we treat fibromyalgia? Again
11 turning to the Arthritis Foundation's web page, there are a
12 variety of strategies. One important one is education, so
13 that patients can understand and hopefully better manage
14 what this condition is or isn't. Relaxation techniques,
15 which are intended to ease tension and anxiety. Various
16 forms of exercise to increase one's flexibility and
17 cardiovascular fitness, and then certain drugs, which are
18 intended to decrease pain and improve sleep, and again I
19 stress the word "and".

20 There are some interesting drugs here, anti-
21 depressants, such as tricyclics and select serotonin
22 receptor inhibitors, and benzodiazepines. What is not on
23 this list that's interesting are things like NSAIDs and
24 Cox-2s and opioids. It may be telling us something about
25 this disease in particular.

1 So I'd like to just take a few minutes and kind
2 of get us all on the same page, so to speak, as to how it
3 is that we came to be having this particular meeting today,
4 and I think that there were two meetings that occurred last
5 year that were particularly informative. One of those was
6 the NIH-FDA workshop that occurred in March of 2002. I'll
7 be describing this, in a bit, more. But one of the
8 important features of this meeting was that we came to an
9 agreement at this meeting that chronic pain is in fact an
10 important unmet medical need and needs to be addressed, and
11 during that discussion, we had a breakout session with Dr.
12 Clauw looking at fibromyalgia as an example of chronic
13 pain.

14 A few months later, we had an Arthritis
15 Advisory Committee meeting -- and I believe it was in this
16 room -- that really was focusing on pain. We talked about
17 a variety of claims for marketing for analgesics. I will
18 describe that in a bit, and I'd just like to point out that
19 all of this information is available from our committee
20 meetings on our website. There's just a tremendous amount
21 of information available on the websites in general at FDA.

22 Speaking of pages, I'd like to point out about
23 eight of those. This is a recent publication that just
24 came out. It's entitled "NIH-FDA Analgesic Drug
25 Development Workshop: Translating Scientific Advances into

1 Improved Pain Relief." This is a fairly complete summary
2 of that meeting back in 2002. So if you haven't had a
3 chance to look at it yet, please do so. It's worth the
4 time.

5 At that meeting then, we, as I indicated,
6 discussed about chronic pain, and we had a discussion about
7 looking for new models, and again I'll describe models,
8 what I mean by that term, in just a second, but we thought
9 it was important at this meeting to get better models so
10 that we could understand some of the important clinical
11 aspects of chronic pain, certainly part of what we'll be
12 discussing today, and if we could also then better
13 understand the chronic pain mechanisms which may serve as
14 treatment targets down the road, this would hopefully allow
15 the design of better clinical trials and, in the long run,
16 hopefully ultimately improve the treatment of chronic pain
17 which is the goal.

18 Now, as I've alluded to twice already, we
19 talked about models of chronic pain at this meeting, and
20 what we mean by a model is really a setting that's adapted
21 to a clinical trial to understand one of the conditions
22 listed here, for example. It's not necessarily the same
23 kind of thing that you have in clinical practice. In fact,
24 it may be quite different, but it allows us to make certain
25 kinds of decisions from a regulatory perspective. So we

1 looked at osteoarthritis, chronic mechanical lower back
2 pain, diabetic neuropathy, cancer pain, fibromyalgia, AIDS,
3 and temporomandibular disease as potential models of
4 chronic pain.

5 We also discussed at that meeting what should
6 be some of the clinical outcomes that should be studied in
7 any particular chronic pain situation. Pain, of course,
8 was first on the list, not surprisingly. We also talked
9 about the use of the patient global, health-related quality
10 of life. Those that are specific to the disease itself
11 were considered to be better, as well as we talked about
12 physical function, again anything that is specific for the
13 disease was felt to be better than if it was just a general
14 questionnaire. We talked about the use of rescue
15 medications, interesting economic considerations which we
16 don't usually get into at FDA, and also how to position
17 adverse events as an outcome measure.

18 Now, a few months later then at the July
19 Arthritis Advisory Committee, we talked about pain and we
20 had an interesting two-day discussion about various types
21 of claims that we might be granting for pain in general,
22 and we broke this up into really two categories. First,
23 clinical claims. So we talked about a claim for acute pain
24 and those of you that were there will recall our discussion
25 of the ABCs of acute pain which we won't describe today,

1 but they are at the website. We also talked about chronic
2 pain which will be, again, the focus for today, and the
3 potential for mechanistic claims, that this might be a way
4 to facilitate bridging studies and also a way to push the
5 field forward in the sense of understanding what mechanisms
6 may be. So, for example, for fibromyalgia, one might
7 envision, just as a for instance, a claim to prevent
8 autonomic dysfunction as an example, and that's the
9 discussion that we had at that point in time.

10 We wrestled with the idea, as we often do,
11 about what is a minimally clinically-important difference
12 in pain relief. We talked about a responder approach in
13 analgesia, which we'll be describing again today, and we
14 talked about the need to revise the analgesic guidance
15 document.

16 So at the meeting, we specifically talked about
17 claim structures. We talked about a variety of ways to
18 approach this. One of the first things we talked about was
19 to continue to grant, which we've been doing to a certain
20 extent, a claim for general pain, and this affectionately
21 became known as the "six pack" for those of you that were
22 there, and what it really described was a situation where
23 any particular analgesic should really treat a variety of
24 pain conditions from a variety of mechanistic situations.
25 So, for example, anything that would be given and granted

1 this general claim would treat something, for example, like
2 osteoarthritis, fibromyalgia, and cancer pain, trying to
3 get at a broad swath of mechanisms and etiologies for
4 chronic pain. This was thought to be too high of a hurdle
5 as the discussion went on.

6 We then had a limited discussion about the
7 possibility for a more limited claim, for example,
8 something that might treat all musculoskeletal pain. So,
9 for example, this would be a combination of something that
10 treats osteoarthritis, fibromyalgia, and chronic lower back
11 pain. But as the discussion continued at that point in
12 time, it seemed the best as we thought through what we had
13 heard that we should continue to push forward with what
14 we've been doing, which is really granting claims for
15 specific diseases. You know about osteoarthritis, today's
16 discussion being fibromyalgia and chronic lower back pain.

17 So that's the current tactic and again that's another
18 reason for today's meeting.

19 So this is all history. Today, we need to push
20 forward, and so the charge and the challenge for today is
21 in how do we structure a claim. We now know what a claim
22 is. It's a clinical benefit. So how should we approach
23 it? There are fundamentally two different ways. One would
24 be to approach fibromyalgia as a symptom or cluster of
25 symptoms, as is indicated on the Arthritis Foundation web

1 page, for example. Another way, which may be more useful,
2 is to consider fibromyalgia as a complex disease state with
3 varying clinical presentations, and I'll be describing both
4 of those briefly.

5 So taking a symptoms approach, we could then
6 look at a pain outcome. Again, this is an obvious and
7 necessary outcome, but I think we need to think it through
8 in more of a deeper fashion. For example, we don't want to
9 get into the situation of overpowering clinical trials to
10 drive meaningless endpoints, clinical endpoints that may be
11 statistically important but have no clinical relevance.

12 We also should be considering the use of the
13 patient global outcome. As we've been thinking this
14 through in the division, what we are after for this
15 particular outcome is something that is not another look at
16 efficacy. It's not really another look at safety. It's
17 that something in between, that gray zone in between.

18 And we maybe then should be discussing the
19 inclusion of a physical function or a health-related
20 quality of life outcome. This seems to make sense because
21 these are quite often adversely impacted by pain,
22 particularly chronic pain, and analgesics should improve
23 this or at least they certainly should not worsen it.

24 I think it's safe to say that it's the feeling
25 of the division that a combination of these really allows

1 us, we feel, to get a better and improved assessment of the
2 patient's experience with the analgesic which is a key
3 feature of what we're after and will be the discussion
4 today.

5 Well, what about if we take a disease approach
6 to fibromyalgia? There has been a lot of discussion that
7 fibromyalgia represents, and in fact it was at the NIH-FDA
8 meeting, a chronic pain state. It's a centrally-mediated
9 process. So if we look at fibromyalgia as a chronic pain
10 state, like we do chronic diseases, in chronic diseases,
11 we're comfortable in thinking through treating the disease,
12 curing the disease, even potentially preventing the
13 disease. So should we be taking that same kind of
14 mentality here with fibromyalgia, and would that be useful?

15 So as we then have positioned, as is on this
16 cartoon, pain as the central player, is it more useful then
17 to think this through, that pain causes, for example, sleep
18 disturbances and pain can cause fatigue, can diminish your
19 quality of life, can lead to cognitive difficulties, and
20 can lead to dysfunction, either autonomic or some kind of
21 loss of functional ability and that may then be all a
22 result of the pain? So, really, we need to address the
23 pain, but it's not sufficient.

24 So as we take a step back then from the
25 hypothetical and deal with the challenge today then, in

1 either fibromyalgia or chronic pain, what really is
2 important to the patient? I think we need to keep that as
3 a focus for our discussion today. There's a large effort
4 underway at FDA, as well as outside, for something that has
5 become to be known as the PRO, or patient reported
6 outcomes, and in fact, there's a draft guidance that should
7 be coming out before the end of the year from us.

8 So what are PROs? They are essentially a
9 patient report of a health condition or treatment. They
10 are scientific, patient-centered measures that can evaluate
11 change in health outcomes. They are handled much like
12 other outcomes for both drug approval and promotion, which
13 I think is a very interesting aspect to think through
14 today, and their selection, their development, and their
15 validation have issues very similar to any other clinical
16 measure, and in particular for pain-related outcomes, we
17 need to then think through psychosocial and all the various
18 other aspects that can be impacted.

19 Well, what are some of the ideal
20 characteristics for a metric in, for example, pain? It
21 should, of course, be understandable to patients and
22 clinicians. We all know that pain is the fifth vital sign
23 nowadays, and so it seems to make sense that as we
24 transition from the information that we gather in a
25 clinical trial and try and write that into a product label,

1 we should be doing as much as we can to make that a
2 seamless transition, so that one understands what was
3 studied in the clinical trial when you look through the
4 label.

5 It should also be applicable across various
6 studies to allow across-trial comparisons. One of the
7 reasons a lot of people feel that pain, particularly
8 chronic pain, hasn't moved forward in a more rapid fashion
9 is because you can't do rigorous and robust meta-analyses
10 because the outcomes just don't allow it, and so we should
11 be thinking forward in that regard to prevent that
12 situation in the future. It should, as I've been
13 describing, detect a clinically-meaningful result. The
14 metric should be responsive to differences in analgesia,
15 and, of course, it should be valid.

16 So I'd just like to take a second and talk
17 about a highly-valid index that we utilize in the division
18 for WOMAC, in particular the WOMAC pain index subscale, and
19 WOMAC stands, for those of you that may not remember, the
20 Western Ontario and McMaster Universities. I still don't
21 know how they get MAC out of that. But what it really is
22 is a combination of five questions, and as you read through
23 the questions, these are not simple questions about pain.
24 They have in them, as you can see, a functional component,
25 at least some of the questions. So, for example, walking

1 on a flat surface, pain going up or down stairs, pain at
2 night while in bed, sitting or lying or standing upright.

3 These questions are really intended to get at
4 the overall pain experienced in OA. As those of us that
5 take care of patients know, the pain of OA has many
6 different faces, and so I think these questions really do a
7 fairly good job of looking at all of these various
8 situations as we study them in osteoarthritis.

9 And as is on this slide then, we do grant for
10 osteoarthritis, for the treatment of signs and symptoms
11 claim, something that has to be based upon -- we've become
12 comfortable with utilizing three co-primary endpoints of
13 pain, function, and global in a trial that is 3 months in
14 length. So the WOMAC pain subscale, for example, is quite
15 often utilized for the pain component.

16 So then as we think through fibromyalgia and
17 consider some of what needs to be thought through, whatever
18 the outcome may be, some of the important points are as
19 follows. For example, as we just discussed with the pain,
20 should this be a single question or is it better to come
21 through with a composite question to get a more robust
22 assessment of the outcome? Of course, it has to be both
23 statistically and clinically meaningful.

24 We have to think through who is included and
25 excluded from the trials because it has an impact on the

1 labeling and the generalizability once this is released.

2 We need to think through whether a landmark
3 analysis, meaning at the end of the trial as compared to
4 the beginning, is the better way to go, or should we be
5 thinking through a time-weighted approach, trying to get
6 more of a feel for what happens during the entire trial,
7 not just at the end?

8 We need to think through about the issue of
9 daily, in this case I've written here, pain, whether it
10 should be on a daily basis or on a weekly basis. There are
11 pluses and minuses for both. There's a lot of effort
12 nowadays in looking at diaries, particularly electronic
13 diaries, as that may be better to capture the moment pain.
14 That appears to be important for fibromyalgia.

15 We need to discuss the length of the clinical
16 trials. Is 3 months enough? Is 6 months better? And
17 then, we're going to be wrestling, I'm sure today, with the
18 issue of superiority to placebo, and do we need to continue
19 to follow that paradigm?

20 So another way to look through and consider how
21 we might fashion a label and get at a response in
22 fibromyalgia would be to look at the responder approach.
23 As I said, we've discussed this at other venues. It has
24 some potential advantages to it. One of those is that it
25 can allow the outcomes of interest to really be explored

1 and studied in the same patient which can be highly useful.

2 It may lessen or eliminate data imputation which is always
3 a problem, as we're all aware. It allows a certain
4 flexibility in design to capture different aspects of the
5 condition, and it's something that is widely utilized in
6 rheumatoid arthritis. We've become very comfortable with
7 it.

8 So I thought I'd just take a moment to refresh
9 our memories as to what the ACR 20 responder index is. ACR
10 again stands for the American College of Rheumatology. The
11 20 stands for 20 percent improvement. So it comes also as
12 a 50 and 70 percent variety.

13 There are two components to this index. One is
14 a required component where you have to have in this case a
15 20 percent improvement in swollen and tender joints. In
16 addition, you have to have a 20 percent improvement in
17 three of the five following: patient and physician global,
18 patient pain score, a modified health assessment
19 questionnaire, and acute phase reactant. In this case,
20 I've written here C-reactive protein or sedimentation rate.

21 So is this useful, this particular responder
22 approach, in terms of fibromyalgia, and if it is, how could
23 we fashion a particular responder endpoint? I've put in
24 this slide a "for instance." This is not at all intended
25 to say that this is what we would like to do. This is just

1 a for instance.

2 So we could envision that pain would be the
3 required outcome, again makes sense.

4 And then we have other important outcomes that
5 I think we need to be considering, as we've been
6 discussing: quality of life outcome, either a general or a
7 specific; a function or, in this case I've written, a
8 dysfunction outcome; looking at sleep disturbance, fatigue,
9 cognitive impairment as outcomes; and then patient global.

10 Would it be then, for example, that we would
11 say that someone is a responder if they have achieved four
12 of the important outcomes, plus pain, and then should we be
13 also thinking through that we want to have this in a tiered
14 structure like we do with the ACR 20/50/70? Would that be
15 useful for this condition?

16 I'd like to just take a minute and close out
17 here by bringing everybody up to speed on a process that is
18 ongoing. It's called the IMMPACT process. The acronym
19 stands for Initiative in Metrics and Measurements in
20 Analgesic Clinical Trials. This is an international
21 organization which has really been devoting itself recently
22 to looking at chronic pain, and in fact, there is a
23 publication which has been submitted entitled Selecting
24 Core Outcome Domains in Chronic Pain Clinical Trials.

25 It's interesting to look at the six

1 recommendations from this group, being as I've listed here,
2 pain, physical functioning, emotional functioning, patient
3 global, negative health states, and patient disposition, as
4 being representative and overlapping, in fact, what we've
5 been discussing at other meetings.

6 So when all is said and done and when we're
7 finally writing a label, we need to remember that the label
8 is, as I've been trying to stress here, the end product of
9 all these efforts. It's the end result of all the
10 randomized, controlled trials and everything that's gone
11 into their thinking.

12 So what should the label mean? To the health
13 care provider, for example, the label needs to be
14 describing for this person who can take it, and what type
15 of risk management should be involved in thinking through
16 any particular issues, and importantly, what should it mean
17 to the patient. What can they expect in terms of relief of
18 pain? What can they expect in terms of relief of
19 associated symptoms? And what is the duration of this
20 relief and the degree of this relief? All important issues
21 we need to think through today.

22 This is from the latest issue of a magazine
23 entitled Fibromyalgia Aware. It's reminding us that
24 fibromyalgia does not just involve women, but let's hope
25 that today's discussion will lead to a future where more

1 patients look like this gentleman than less that have
2 fibromyalgia.

3 And I'd like to close with something that was
4 also the close of the second meeting of the IMMPACT
5 process, which I think is an important reminder for us as
6 well today and that I think I've been stressing throughout
7 here, is that it's important really to think about the
8 patient, to assess the patient, and not just the pain.

9 So thank you very much.

10 (Applause.)

11 DR. FIRESTEIN: We have a minute or two for
12 questions from the committee.

13 Yes, Dr. Cush?

14 DR. CUSH: Jim, that was a good overview.

15 Do you think, though, that we can as an
16 advisory body make recommendations on outcome measures or
17 composite outcome measures when clearly there are none that
18 have been tested or validated and whatnot? So we could
19 throw it out there, but how useful is that to the agency
20 without any sort of testing or confirmation of its value?

21 DR. WITTER: I think you've hit on really the
22 core of the problem, that we need to bring that discussion
23 forward, and then I think all of us wrestle whether or not
24 we can actually do this. If things are not validated in
25 the other areas, can we be pushing forward without those

1 kind of indices like we've had, for example, with
2 rheumatoid arthritis, with osteoarthritis? What do we do?

3 So I think you've hit on the head. That really is what we
4 need to be discussing today.

5 DR. FIRESTEIN: One of the advantages that we
6 had in those other indications is that there were effective
7 agents that could be then used to validate the endpoints,
8 and do you have some notion in terms of how one is going to
9 be able to validate an endpoint when there are no truly
10 effective agents?

11 DR. WITTER: Well, yes, but I'd prefer to hear
12 your discussion later.

13 DR. FIRESTEIN: Okay.
14 Lee?

15 DR. SIMON: Well, isn't this always the
16 dilemma, Jack? The reality is, is that, what came first,
17 the chicken or the egg, and without a discussion that's
18 public and with the experts to determine what may be useful
19 things to look at and what is this real process, based on
20 whatever science exists, then the ability to validate the
21 outcomes in the context of applying potential therapies
22 becomes very difficult until we have that discussion, the
23 fundamental beginning step-off to understand what we as
24 some experienced clinicians believe might be a useful way
25 to approach the particular conundrum. So that's really the

1 reason. Although we don't have good validation of the
2 outcomes, we don't have great therapies to date, we do have
3 to make that leap to be able to begin to target what we
4 believe, based on the science, will be useful, and then
5 hopefully people will respond by coming in with potential
6 therapeutics that will actually then allow us to test and
7 validate the outcomes.

8 DR. FIRESTEIN: Thanks very much.

9 The next presentation on Pre-ACR Diagnostic
10 Criteria will be given by Dr. Bradley.

11 DR. BRADLEY: Thank you very much.

12 I'm going to fumble here, the requisite
13 fumbling at the podium, while I get my presentation up.

14 I want to thank you very much for inviting me
15 here today, and I am going to try today to provide
16 something of a historical perspective on the way we think
17 about fibromyalgia, but really the primary points that I'm
18 going to try to make today are that, one, the abnormal
19 processing of sensory information in fibromyalgia is
20 something that is identifiable, it's been reliably observed
21 among different investigators and different clinicians, and
22 this abnormal processing or abnormal sensitivity to pain is
23 something that's not, at least from the data we have so
24 far, highly affected by psychosocial factors. However,
25 what people say about their pain, how they report their

1 pain, how they behave in response to pain or their pain
2 behavior is highly modifiable by psychosocial factors.

3 Then, I'll also try to conclude by some
4 speculations regarding what types of changes might we
5 expect from compounds that are in development or about to
6 be tested for chronic pain conditions, such as
7 fibromyalgia.

8 First of all, as you've already seen from Dr.
9 Witter, fibromyalgia is characterized by several symptoms
10 and the primary characteristics of fibromyalgia include
11 widespread generalized pain and abnormal pain sensitivity
12 evoked by low-intensity stimuli that really vary in nature.

13 These include pressure stimulation, heat stimulation, cold
14 stimulation and so on. And all the criteria that have been
15 developed over the years have really focused on those two
16 primary characteristics.

17 In addition, just as Dr. Witter mentioned, there's a
18 variety of other symptoms that occur with fibromyalgia,
19 such as headache, fatigue, sleep disturbance, and a number
20 of other symptoms, too.

21 Now, there are also alterations in behavior, so
22 that fibromyalgia symptoms are associated with behavioral
23 disturbances and activity levels, social interaction,
24 functional ability, avoidance of events that evoke pain,
25 affective distress and relatively high usage of the health

1 care system.

2 Historically, these abnormalities and pain
3 sensitivity, difficulties in function and affect, in the
4 absence of reliable biological markers, have led
5 investigators to take different types of research and
6 clinical pathways. For many years, I think there was sort
7 of a dichotomy between those investigators who were
8 searching for a single source of symptoms versus people who
9 tended to attribute fibromyalgia to psychiatric illness or
10 other psychosocial factors.

11 When we see the different types of labels that
12 have been applied to people who show abnormal pain
13 sensitivity and widespread pain -- and these are labels
14 ranging from DaCosta syndrome and shell shock, all the way
15 to fibrositis and affective spectrum disorder -- you see
16 that most of these diagnostic labels have either focused on
17 sort of biological factors, such as concussive effects on
18 the brain, nerve dysfunction, viral illnesses, or they have
19 focused primarily on psychological and psychosocial
20 factors.

21 I think in thinking about fibromyalgia now, I
22 think this is truly a disorder where there's abnormal pain
23 sensitivity that's mediated by abnormal processing of
24 sensory input at the spinal and the super-spinal levels,
25 but certainly the way people act with fibromyalgia, what

1 they say about their pain, is influenced by a number of
2 factors.

3 The three factors that I think have really
4 helped us better study and understand fibromyalgia are,
5 one, the development of gate control theory back in 1965,
6 work that was done in the 1980s that at least in my mind
7 was really begun by Doug Drossman and the group studying
8 irritable bowel syndrome regarding psychosocial factors
9 that influence health care-seeking behavior, and current
10 work in fibromyalgia specifically beginning in the early
11 1990s by people like Rob Bennett and Jon Russell who began
12 to try to identify various biological factors that might be
13 associated with pain and pain sensitivity in people with
14 fibromyalgia.

15 With regard to gate control theory, very
16 quickly, the basic tenets are that multiple biological and
17 psychosocial factors influence pain perception as well as
18 pain behavior, and therefore, all pain perception and pain
19 behavior is determined by this combination of biological
20 and psychosocial factors. So it's really no longer
21 appropriate to identify pain and related symptoms as either
22 organic in nature or functional in nature.

23 This slide actually shows Ron Melzack's current
24 version of the gate control theory which he refers to as
25 the neuromatrix construct, and essentially what this refers

1 to is that the neuromatrix is a construct which is really
2 comprised of a complex set of pathways involving the spinal
3 cord, also various regions of the brain, limbic system,
4 somatosensory cortex, thalamus and so on. And the function
5 of this neuromatrix is in part genetically influenced, but
6 there's a variety of biological and psychosocial and
7 cognitive factors that can influence the functioning of the
8 neuromatrix which then produces pain perception and pain
9 behavior.

10 Now, I'll just show you a few slides showing
11 you sort of the robustness of the sensory processing
12 phenomena that are observed in fibromyalgia. This is a
13 slide from our group in which we compared mechanical
14 pressure pain thresholds at a subset of the ACR tender
15 points in a group of about 20 fibromyalgia patients who did
16 not meet current criteria for major depressive disorder, a
17 group of 10 patients who met criteria for major depressive
18 disorder but did not suffer from generalized pain, and a
19 group of healthy controls without pain, without major
20 depressive disorder. What you see is that the pain
21 threshold levels to pressure stimulation in these
22 fibromyalgia patients is about one-half the level of what
23 you see in healthy controls, and at least in our laboratory
24 and I think in most other laboratories, that's a very
25 common finding, that the pain thresholds are about one-half

1 the level in these patients with fibromyalgia. What you
2 see here in these depressed patients, their pain threshold
3 levels are really no different from what you see in the
4 healthy controls, and to us, that suggests that depression
5 alone doesn't account for the abnormal pain sensitivity in
6 fibromyalgia. I'll show you some more data on this in a
7 bit.

8 This is some other data from our laboratory
9 looking at thermal pain thresholds, thermal stimulation
10 applied to the skin, and you see a reliable, significant
11 difference in pain threshold levels where the fibromyalgia
12 patients' threshold level is about 5 degrees Centigrade
13 lower than what you see in healthy controls.

14 These are some data actually from Mike Geisser
15 and the group at Michigan showing differences between
16 patients with fibromyalgia which you see in this line and
17 healthy controls in magnitude estimates of pain intensity
18 in response to a variety of thermal stimuli, ranging from
19 40 degrees Centigrade to 51 degrees Centigrade, and you see
20 very reliable differences in pain intensity ratings between
21 these two groups.

22 Some additional data from Roland Staud who's
23 here. This is a slide from one of Roland's recent studies
24 showing greater temporal summation effects in patients with
25 fibromyalgia compared to healthy controls, and regardless

1 of whether the stimuli or the repetitive stimuli are
2 applied with a 3-second or 5-second interstimulus interval,
3 you see much greater evidence of temporal summation in the
4 patients compared to healthy controls.

5 So what this shows is that in a variety of
6 laboratories using different techniques, different
7 stimulation, you see very robust and reliable differences
8 in responses to relatively low-intensity stimuli between
9 fibromyalgia patients and healthy controls.

10 Well, let's turn to the question of what we
11 know about psychosocial factors and how that affects pain
12 behavior, including health care-seeking behavior. It's
13 been established in a variety of chronic illnesses that
14 psychological distress or psychiatric illness is associated
15 with greater health care-seeking behavior at tertiary care
16 facilities. In the case of fibromyalgia, there is some
17 evidence that psychological factors are not really
18 necessary or sufficient to produce fibromyalgia symptoms.

19 And the person that really, I think, got me at
20 least thinking about this and certainly has influenced
21 other investigators, too, is Fred Wolfe who originally came
22 up with this funnel slide which shows that in research
23 studies, we primarily focus on people at tertiary care
24 centers, but these people may well be very different from
25 the general population of individuals with fibromyalgia or

1 any other sort of chronic pain disorder.

2 We did a study in our laboratory where we
3 examined a group of about -- actually now about 70 patients
4 with fibromyalgia and 40 individuals that we recruited from
5 the community who met criteria for fibromyalgia but had not
6 gone to see a doctor for their pain within the past 10
7 years. We compared these two groups of individuals with
8 regard to a group of healthy controls recruited from the
9 community.

10 This particular slide shows the number of
11 lifetime psychiatric diagnoses among these three groups
12 that were determined by the subjects' responses to the
13 diagnostic interview schedule. What you see on this slide
14 is that the fibromyalgia patients are actually
15 characterized by a fairly high level of psychiatric
16 morbidity. The patients are characterized by a mean number
17 of 2.5 psychiatric diagnoses over the lifetime compared to
18 our healthy controls who have a mean number of diagnoses of
19 1, and in the case of the healthy controls, these are
20 primarily social phobias and really very minor
21 disturbances. Among our non-patients, actually they show a
22 significantly lower number of lifetime psychiatric
23 diagnoses than the patients but they don't differ from the
24 healthy controls in terms of psychiatric morbidity, and as
25 you'll see in a moment, the pain sensitivity to pressure

1 stimulation of the non-patients and the patients, is
2 approximately the same.

3 However, when we followed the non-patients over
4 a two-and-a-half-year period, we wanted to see to what
5 extent the non-patients in a sense would convert to
6 patients, how many of those people would become patients
7 over time. What we found, and actually much to our
8 surprise and much to the surprise of our reviewers, is that
9 only 10 of the 40 non-patients actually became patients,
10 sought medical care during that first 2-and-a-half years.

11 But the factor that best distinguished those
12 who became patients from those who remained non-patients
13 was the number of lifetime psychiatric diagnoses at
14 baseline, and essentially among our non-patients, those who
15 had one or fewer or zero lifetime psychiatric diagnoses had
16 about a 95 percent chance of remaining a non-patient.
17 Those with two lifetime psychiatric diagnoses or greater
18 actually only had about a 50-percent chance of remaining a
19 non-patient. So it was the number of psychiatric diagnoses
20 or psychiatric morbidity that was a very great determinant
21 of who became a patient within that 2-and-a-half year
22 period.

23 Now, returning back to the baseline data, this
24 slide shows in a separate study where we examined another
25 group of fibromyalgia patients, another group of

1 fibromyalgia non-patients, healthy controls, and we
2 compared these groups on pain threshold levels. What we
3 found is that regardless of whether we were stimulating
4 with pressure stimulation the ACR tender points or a set of
5 control points which were primarily points, such as the
6 mid-tibia and the forearm that would involve stimulation of
7 sort of bony skeletal tissue, and regardless of whether the
8 patients reported an insidious or a gradual onset to their
9 pain versus a traumatic onset to their pain, we saw
10 approximately the same pain threshold levels in the
11 aggregate among all three groups of individuals with
12 fibromyalgia compared to the healthy controls. And we saw
13 that again both at the tender points, as well as at our set
14 of control points.

15 So what this suggests is again that regardless
16 of psychiatric morbidity, regardless of the nature of the
17 onset of the pain or the factors that people identify as
18 the onset of their pain, you see very similar pressure pain
19 thresholds.

20 In our particular study, we also drew cerebral
21 spinal fluid to look at levels of substance P and again you
22 see the same relationship, very similar to what Jon Russell
23 had found in his series of studies. We found that among
24 our three groups of people with fibromyalgia, regardless of
25 whether they were patients or non-patients, we found

1 elevated levels of substance P compared to our healthy
2 controls.

3 Well, let's turn now and talk about what we
4 know about psychosocial factors and how they affect what
5 people report about their pain. The example that I'm going
6 to use in this next series of slides is reports of
7 stressors, and I think it's pretty well known that patients
8 with fibromyalgia frequently report that their symptoms are
9 intensified by emotional distress or emotional stress or
10 also physical stress.

11 Actually there was a study that came out of a
12 couple of years ago from Alex Zautra and the group at
13 Arizona State in which they examined a group of
14 fibromyalgia patients, a group of patients with knee
15 osteoarthritis and healthy controls, and asked each
16 participants to describe a stressful experience in their
17 life over a 30-minute period. What they found was that the
18 fibromyalgia patients at the end of that 30-minute period
19 reported a much greater increase in their clinical symptoms
20 compared to the reports of the patients with knee
21 osteoarthritis and also the healthy controls.

22 We began a study with Roger Fillingim of the
23 University of Florida, which is still ongoing, where we've
24 been looking at the effects of really very brief stressors
25 in the laboratory on patients' and controls' responses to

1 thermal stimulation of the skin, and in our particular
2 paradigm, we asked participants to very vividly imagine
3 either a very stressful event from their own life or a
4 relatively neutral or relatively sometimes pleasant event
5 from their own life right before we applied the
6 stimulation.

7 And in this particular slide, what I'm going to
8 show you are mean increases in pain unpleasantness ratings
9 among the fibromyalgia patients and the healthy controls at
10 four different levels of thermal stimulation. What this
11 slide shows is actually these bars represent differences in
12 pain unpleasantness ratings in the period following the
13 stressful imagery versus the period following the
14 relatively neutral imagery. What you see is that at 45
15 degrees, 47 degrees, 49 degrees Centigrade, you see
16 substantially greater increases in pain unpleasantness
17 among the fibromyalgia patients, very little effect of the
18 imagery on pain unpleasantness ratings among the healthy
19 controls. And at 51 degrees -- this is actually a total of
20 about 15 people here -- so again you see no effect among
21 the healthy controls, and due primarily to 1 person, you
22 see actually a very large decrease in ratings among
23 fibromyalgia patients. But the primary finding is that at
24 these lower levels of stimulus intensity, just thinking
25 about a stressful event over a 4-minute period has a very

1 strong effect on pain unpleasantness ratings.

2 Now, when we asked people to give us their
3 ratings of pain intensity, the intensity ratings by both
4 groups are not really strongly affected by thinking about
5 stressful events, but ratings of pain unpleasantness are
6 affected.

7 Also, we've been drawing blood and drawing
8 saliva and what we find is, actually with both measures,
9 that our patients with fibromyalgia, about 20 minutes after
10 the stressful imagery, show a relative decrease in cortisol
11 levels compared to the neutral imagery, and we don't see
12 that kind of effect in our healthy controls. So there's
13 not enough people yet to look at association between
14 changes in cortisol and changes in pain unpleasantness, but
15 the point is that you do see some evidence of HPA axis
16 dysfunction as a result of the stressful imagery in the
17 fibromyalgia patients compared to the healthy controls.

18 Well, what do we know about biological factors
19 that are associated with pain and distress in people with
20 fibromyalgia? I think there's very interesting work that's
21 going on now regarding both genetic influences on pain and
22 analgesia and also some very good work that's being done
23 using neuroimaging techniques that have documented altered
24 central processing of sensory input in people with
25 fibromyalgia.

1 These are data. Actually, these data come from
2 Dan Buskila's group in Israel. Martin Offenbaecher in
3 Munich was the first person to really identify this
4 finding, but both groups, using very different populations,
5 have shown that individuals with fibromyalgia -- in
6 Offenbaecher's group, it was primarily women, in Buskila's
7 group, it was all women -- actually a greater proportion of
8 the patients with fibromyalgia compared to controls show a
9 functional polymorphism in the 5-HTT gene promoter region
10 or in the regulatory region of the 5-HTT serotonin
11 transporter gene. And what you see is that there's a
12 greater proportion of patients with fibromyalgia who show
13 this short/short allele compared to healthy controls and
14 again that's been found in two separate groups now.

15 There's also some work being done on sex-
16 related genetic influences on analgesia which may
17 eventually have some impact on fibromyalgia research. This
18 is a slide from a paper that Jeff Mogil and Roland Staud,
19 Roger Fillingim, and a large group of investigators
20 recently published showing an interaction between sex and a
21 polymorphism in the melanocortin 1 receptor gene. And what
22 this slide shows is that regardless of whether one is using
23 thermal stimulation or ischemic stimulation, that among
24 females having a particular polymorphism, characterized by
25 two variant alleles in this MCL1R gene, is associated with

1 greater analgesic responses to pentazocine. Among the
2 males, you don't see this sex effect, and I think this is a
3 very interesting line of research, particularly given the
4 fact that fibromyalgia is a disorder which affects
5 primarily women.

6 What about altered central processing of
7 sensory input? These are some slides from Rick Gracely and
8 Dan Clauw's group at Michigan, and what this shows is that
9 when fibromyalgia patients and healthy controls are exposed
10 to pressure stimulation that varies in intensity but which
11 produces approximately the same report of pain intensity --
12 and in this case, there was a pain report of about 11 on a
13 20-point scale -- you see a number of brain regions in
14 which both patients with fibromyalgia and healthy controls
15 show significant activation on fMRI imaging. So by
16 equivalent levels of pain intensity or perceived pain
17 intensity, you see the same brain regions being activated
18 in patients and controls.

19 However, when you take the healthy controls and
20 you expose them to the same level of stimulation which
21 produced pain in the fibromyalgia patients but which are
22 relatively innocuous to the healthy controls, you primarily
23 see significant levels of activation in a variety of
24 regions in the patients with fibromyalgia. You see very
25 little significant activation in the healthy controls

1 So the point that these two slides show is that
2 fibromyalgia patients are characterized by augmentation of
3 sensory input which can be identified through neuroimaging
4 of activity in the cerebral hemispheres

5 Well, let me conclude the data and sort of
6 summarize the data from this talk. First of all, I think
7 what we've shown is that pain sensitivity, pain-related
8 symptoms, and behavioral disturbances in fibromyalgia are
9 reliably observed by a variety of investigators and can be
10 done so by clinicians and this can be done using a variety
11 of measurement techniques.

12 Pain sensitivity and related symptoms are
13 influenced by biological factors. There's evidence that
14 there may be a genetic predisposition for development of
15 fibromyalgia. That particular serotonin transporter gene
16 or that particular functional polymorphism in that gene is
17 also associated with chronic headaches and also some
18 anxiety disorders. So this particular gene might be
19 related to the development of a number of disorders that
20 are part of the fibromyalgia symptom complex.

21 Also, we've seen that abnormal pain sensitivity
22 is associated in our laboratory and in a number of other
23 laboratories with elevated cerebral spinal fluid levels of
24 substance P, and also what we will very soon see in the
25 future, I think, is that there's a number of investigators

1 using neuroimaging techniques and I think we'll see a
2 number of studies coming along soon which show that
3 abnormal pain sensitivity is associated with augmented
4 sensory neural input.

5 Now, what we've also seen is that, at least in
6 our laboratory, pressure pain sensitivity and CSF levels of
7 substance P really don't vary very greatly as a function of
8 affective illness or lifetime psychiatric morbidity.
9 However, what we do see is that changes in plasma cortisol
10 levels, reports of pain unpleasantness in response to
11 thermal stimulation, and other sorts of pain-related
12 behaviors, such as health care-seeking behavior, are
13 associated with variations in psychosocial factors and
14 affective disturbance.

15 Well, what does this mean for clinical trials?
16 I think a number of pharmacologic interventions that are
17 used currently, also the interventions that are being
18 developed for use in fibromyalgia are all compounds that
19 alter activity at the supraspinal level. They alter
20 activity in the brain that can influence pain inhibition or
21 to a certain extent alter central processing of neural
22 input. And I think that what we should be able to observe
23 in clinical trials is that these compounds should be able
24 to influence ratings of pain intensity, and I think some of
25 the newer compounds that are in preclinical trials, for

1 example, some of the new glutamate receptor inhibitors that
2 are in development, may actually also alter abnormal pain
3 sensitivity.

4 These interventions, both the current
5 interventions and the interventions that are in
6 development, may also modify pain behaviors through
7 alterations in pain intensity, but also secondary
8 alterations on pain affect, affective disturbance, and
9 other psychosocial factors.

10 And while this wasn't really part of what we're
11 talking about today, I do want to mention that I think that
12 the development of effective compounds that may alter pain
13 in people with fibromyalgia may also be helpful to
14 clinicians who use psychosocial interventions with
15 fibromyalgia patients. When I look at the literature on
16 cognitive-behavioral therapy, other sorts of psychosocial
17 interventions, when you look at the studies that really use
18 adequate attention placebo controls, at least my reading of
19 those studies is that most of them don't produce effects
20 that are much greater than what you see with a good placebo
21 control, and I think one thing that psychosocial
22 investigators have yet to really think much about is why do
23 we see these relatively modest effects with psychosocial
24 interventions compared to what we see in patients who are
25 treated by psychosocial interventions, patients who have

1 rheumatoid arthritis, osteoarthritis, irritable bowel
2 syndrome and so on. And I think that one of the factors is
3 that for these other kinds of diseases and disorders, there
4 are relatively effective pharmacologic compounds that
5 influence pain, and I think that so far, we really don't
6 have very good compounds that reliably influence pain in
7 fibromyalgia. But I think that once these compounds are
8 developed and tested, and if they are shown to be
9 effective, I think that they will have a secondary effect
10 in the sense that they will enhance the effectiveness of
11 psychosocial interventions for pain and pain behavior in
12 fibromyalgia.

13 So I'll conclude there and thank you very much,
14 and I'll be glad to take any questions you might have.

15 (Applause.)

16 DR. FIRESTEIN: Thank you.

17 Dr. Katz?

18 DR. KATZ: Yes. Hi. Thanks. Two quick
19 questions.

20 Number one, the distinction that you made
21 between the two subgroups of people with fibromyalgia, the
22 patients versus the non-patients, was the clinical
23 expression of the syndrome any different between those two
24 groups?

25 DR. BRADLEY: Yes, that's a very good question,

1 and even though the pain sensitivity was very similar in
2 the two groups, the non-patients reported significantly
3 lower levels of pain on the McGill Pain Questionnaire
4 compared to the patients. And they also again -- and this
5 is in accord with their difference in psychiatric status --
6 reported lower levels of depression and anxiety on
7 standardized questionnaires. So the expression of the
8 disorder was different, although the pain sensitivity was
9 the same.

10 DR. KATZ: And the second question is, I was
11 interested in your very helpful summary of the studies
12 looking at hyperalgesia to various forms of stimuli and
13 neuroimaging, which are obviously used to suggest that this
14 disease therefore is independent from psychiatric
15 influences.

16 But my question is about the control groups
17 used in those studies. Have any of those studies used
18 patients with somatoform pain disorders as the control?
19 That would seem to be the relevant control group here.

20 DR. BRADLEY: Yes. To my knowledge, no, and
21 we've not tried to look at that. I don't know of other
22 investigators looking at that right now. I don't know if
23 your group is looking at that at present.

24 (Off microphone speaker.)

25 DR. FIRESTEIN: I have one quick question. I

1 think the data that you presented on patients versus non-
2 patients was fascinating. One of the questions is, if
3 patients don't or if individuals that meet the criteria, in
4 terms of the number of tender points, don't seek medical
5 attention and don't view this necessarily as a medical
6 illness, do we want an indication for treating such
7 individuals, and is it a disease only when the psychiatric
8 manifestations come?

9 The corollary of that is whether or not the
10 real full expression of the disease is really related to
11 psychiatric manifestations, and is the perception of pain a
12 self-selecting group of individuals that represent a bell-
13 shaped curve? In other words, do those individuals that
14 meet the criteria because there's a broad spectrum of
15 individuals that are tender at 4 kilograms per X number of
16 square centimeters but that's within normal human
17 experience?

18 DR. BRADLEY: I'm going to try to respond to
19 those two different dimensions of your question and please
20 tell me if I'm really responding to the issues.

21 I think with regard to the bell-shaped curve,
22 yes, there is a bell-shaped curve in terms of pain
23 sensitivity. I think what's important is that both the
24 patients and non-patients were really on the far side of
25 that bell-shaped curve. I mean, they were way up in that

1 upper 2.5 percent. So those two groups were really
2 equivalent in terms of pain sensitivity and that was really
3 not associated with psychological, psychosocial,
4 psychiatric factors. And we've done that study twice now.

5 So at least in our laboratory, that's a very reliable
6 finding.

7 I think in my mind, the issue is how people
8 perceive their pain and whether they seek health care for
9 their pain. I think that is very much influenced by the
10 variety of factors, and it's not just psychological or
11 psychiatric factors. I think there's a wide array of
12 socioeconomic, cultural, family learning/history variables
13 that influence that type of behavior. So I think the
14 question that you're asking is, is the identification of
15 fibromyalgia sort of a psychosocial phenomenon, and I would
16 say that the perception that one has musculoskeletal pain
17 and that one is -- well, and this is the way we really did
18 recruit people for the study, is we put out advertisements
19 in the newspaper and through the television media looking
20 for people with persistent, longer-than-6-month history of
21 widespread musculoskeletal pain. And when people responded
22 to those advertisements, we then went through sort of a
23 three-step process of screening them.

24 We would screen them very briefly over the
25 telephone using Fred Wolfe's questionnaire from 1992, I

1 think one of his papers in '92. If they passed that
2 screen, we would then ask them to send us copies of their
3 recent medical records. In these two studies, we wanted to
4 exclude people who had other kinds of illnesses, diseases,
5 that could cause widespread pain, such as people with
6 neuropathies, people with a variety of other problems, back
7 surgeries, neck surgeries, and so on, that could produce
8 the symptoms. So these were really people without other
9 medical causes that we could identify for their pain.

10 Then if they passed that screen, then they came
11 into our GCRC and one of my rheumatology colleagues,
12 Graciela Alarcon, would examine and interview each person,
13 and we would, to the best that we could, really try to
14 screen out people who had other sorts of medical problems
15 that might account for their pain.

16 So most of the non-patients really didn't have
17 a label for what they were experiencing, except that they
18 hurt all over, and the non-patients also -- I guess I
19 should mention this, too. If you looked at sort of
20 measures of self-efficacy and coping strategy usage, these
21 people were very, very good copers and really most of them
22 had an experience at some point longer than 10 years ago
23 when they went to see a doctor for their pain. And these
24 studies were done in the early 1990s. So they would have
25 an experience, the doctor would say, well, I don't know

1 what's causing your pain, and these people would go home
2 and just stop there and take care of themselves.

3 So the perception of pain and the pain
4 sensitivity was not influenced by psychological factors.
5 How people responded to the pain certainly was influenced
6 by psychological factors, and actually, again, the non-
7 patients were such a robust group in terms of coping, that
8 after 2-and-a-half years, again only 10 of them had become
9 patients. So I think the pain problem was not a construct
10 of their psychological situation, but their behavior
11 certainly was influenced by it.

12 DR. FIRESTEIN: Dr. Strand? Oh, I'm sorry.
13 Never mind.

14 DR. STAUD: I was wondering if you would like
15 to comment on the striking sex difference in fibromyalgia
16 with the ratio discussed in 8 to 1 or 8 to 2 or 9 to 1 in
17 males versus females and what particularly the psychosocial
18 aspects are that explain most of this, because in the
19 general population, males generally have, on psychophysical
20 testing, lower sensitivities to painful stimuli.

21 DR. BRADLEY: That's a phenomenon that's really
22 not well understood. I mean, we all are aware that in
23 rheumatic diseases, that there's a tendency for women to be
24 more susceptible to rheumatic diseases than men, but the
25 ratio that we see in fibromyalgia is even more striking

1 than what we see in the inflammatory rheumatic diseases.

2 I can really only speculate and I think that
3 there must be, for example, factors, and to some extent, we
4 already know that, for example, fluctuations in hormonal
5 status, sex hormone status, among women influences their
6 perceptions of pain.

7 So I think that certainly there's probably a
8 combination of genetic and also hormonal factors and
9 perhaps other biological and to some extent perhaps even
10 non-biological factors that account for that sex
11 difference, but it's really striking and it's more striking
12 than what you see in really any other disease or disorder.

13 DR. FIRESTEIN: Two more quick questions. Dr.
14 Cush and Dr. Turk.

15 DR. CUSH: Last year at our pain workshop, we
16 had talked about setting up outcome measures or trying to
17 go towards outcome measures that were not only based on
18 symptomatic control but also mechanisms. So do you think
19 that we're at a point or as we try to formulate some
20 guidelines for trials and outcomes where we can talk beyond
21 symptoms and talk about sort of mechanistic control of
22 pain?

23 DR. BRADLEY: Well, yes. I think that probably
24 the state of the art is right now -- the problem is not the
25 state of the art of measurement, but I think the problem is

1 sort of the state of the art of where we are in developing
2 compounds for persistent pain. I think right now, we don't
3 have compounds that can be really used safely in human
4 beings. For example, the NMDA receptor antagonists really
5 are very problematic for use with humans because they
6 induce sort of hallucinations and all kinds of other
7 problems.

8 I think eventually there will be compounds that
9 will influence events more at the dorsal horn level of the
10 spinal cord, and I think at that point, I think it's
11 reasonable to then try to use measures of sensitivity,
12 whether they're biological measures or sort of behavioral
13 measures of sensitivity, as an outcome measure.

14 I think for right now, I think it's really
15 interesting to use those measures as sort of secondary
16 outcome measures but without any great expectation that the
17 compounds that we have currently will have a great effect
18 on pain sensitivity, regardless of whether you're looking
19 at that from a behavioral level or from a more neuroimaging
20 or other type of biological level.

21 DR. TURK: Thank you for that overview, Larry.

22 As you presented your data, other than the
23 insidious onset, traumatic onset, you really tended to look
24 at averages across large groups of patients, and I'm
25 wondering if there's any thoughts you might have on whether

1 there may be subgroups of patients with fibromyalgia based
2 on either physiological factors, on symptom presentations,
3 on sensory sensitivity, psychological factors, because
4 there are several groups that have tried to look at whether
5 there may be differences among those groups. I was
6 interested in your insidious onset, traumatic onset,
7 because there's at least two or three studies that have
8 shown pretty large differences in people with different
9 reports of onset of symptoms. So I wonder if you have any
10 comments about that.

11 DR. BRADLEY: Well, I think in regard to the
12 first part of your question, with regard to subgroups, yes,
13 I think that you're right, and I'm glad you brought this
14 point up. Within the patient population, there is really a
15 variation, particularly in terms of psychological
16 functioning, actual displays of functional abilities, and I
17 think that it is important to note that patients do vary.
18 There are patients who have relatively low levels of
19 psychological distress, even though on the average you tend
20 to see very, very high levels of distress.

21 I think that it's worthwhile looking at those
22 subgroups and regardless of whether one uses techniques
23 like the MPI, for example, which is a very good technique,
24 or other types of techniques, it is worthwhile to look at
25 potential interactions between variations in distress or

1 function and response to pharmacologic treatments and
2 responses to behavioral treatments, too.

3 I think I've lost the second part of your
4 question. What was the last point you raised?

5 DR. TURK: I think you covered it. It was just
6 on the traumatic versus insidious onset.

7 DR. BRADLEY: It may have something to do with
8 the fact that -- again, we were very careful to screen
9 people, to eliminate people, for example, who had other
10 problems that potentially could produce chronic pain. So,
11 for example, we did not take anybody into our studies who
12 had a back surgery or a neck surgery. So there probably
13 was a certain group of people with a certain type of trauma
14 that resulted in surgical intervention who were not part of
15 our studies. So factors such as that may account for the
16 relative sort of group or the average level of homogeneity
17 between those groups which I think we're probably much more
18 stringent than other groups have been in the past in
19 looking at that issue.

20 DR. FIRESTEIN: Thank you very much for a very
21 interesting presentation.

22 Next, Dr. Crofford's going to talk about basic
23 mechanisms.

24 DR. CROFFORD: Thanks, Gary, and I'd like to
25 thank the FDA. I actually would like to congratulate you

1 on taking on this problem. This is a problem that we've
2 been struggling with in rheumatology for many years, and I
3 think that the FDA really ought to receive the credit that
4 they deserve for really taking this on. So I'm pleased to
5 be here.

6 In framing my comments this morning, what I'd
7 like to do is start with some thoughts about actually
8 developing effective treatments for fibromyalgia syndrome,
9 and I think from a very incredibly pragmatic standpoint,
10 which is, I think, where we need to start with this
11 condition, the first question is whether or not
12 fibromyalgia syndrome can be clinically recognized and
13 diagnosed using the current ACR criteria because that's
14 where we are.

15 I would submit that even though the ACR
16 criteria aren't perfect -- and we can certainly talk about
17 them at great detail and you'll probably get a lot of
18 different opinions -- that they actually do identify
19 patients with a predictable symptom profile which is what
20 we want. We want to be able to use the criteria to
21 identify a group of patients that are predictable and have
22 the opportunity to respond to certain types of
23 interventions.

24 Now, that's not to say that fibromyalgia
25 syndrome patients identified by the ACR criteria don't

1 contain subsets. I think they certainly do contain subsets
2 of patients, but when you apply these ACR criteria
3 correctly, you do get a group of patients that are a good
4 subject pool for clinical trials.

5 The second point is we need to understand what
6 are the critical symptom domains in these patients that
7 must improve for an intervention to be an effective
8 treatment for fibromyalgia syndrome, and one could jump off
9 the excellent presentation of Dr. Witter and think about
10 all kinds of different ways that you could develop criteria
11 that may be important to patients with fibromyalgia
12 syndrome. This is certainly what we're about today, and I
13 think certainly there are some thoughts that I'll present
14 later on.

15 Thirdly, what mechanisms underlie fibromyalgia
16 syndrome that may allow us to predict the types of
17 treatments that may be effective in fibromyalgia syndrome?

18 I think we ought to think about that or at least the
19 pharmaceutical companies ought to think about that as they
20 move forward in attempting to predict what types of
21 compounds may be useful in this syndrome, and then, lastly,
22 which I won't address at all but I think Dan Clauw will
23 address quite thoroughly and Jim has already talked about
24 it and Dr. Wells as well, how best can we measure
25 improvement in response to treatment?

1 So I brought this slide just because I think
2 it's important that we recognize that fibromyalgia syndrome
3 is debilitating, that the patients have an impact on their
4 lives for the most part by the presence of these symptoms,
5 whether they seek treatment or not. They answer
6 advertisements. So they notice that there's something
7 wrong with them.

8 In thinking about the fibromyalgia symptom
9 domains, I think the first thing that we all recognize is
10 that patients have pain. It's required that this pain be
11 widespread and involve the musculoskeletal system. That
12 having been said, patients with fibromyalgia also have
13 other types of pain, including regional musculoskeletal
14 pain syndromes, including temporomandibular disorder, and
15 visceral pain syndromes, and I won't spend any time
16 specifically talking about these things, but pain is one of
17 those things that has to be a given when we think about
18 fibromyalgia syndrome and when we think about its
19 management.

20 But fibromyalgia patients also have non-pain
21 symptoms, and we've already heard about some of them, and
22 I'll spend the majority of my time talking about the non-
23 pain symptoms which include fatigue, sleep disturbance,
24 cognitive dysfunction, depressive and anxiety symptoms
25 which I should be careful to distinguish between diagnosis

1 of major depressive disorder. These are not required but
2 they're almost universally present in patients with
3 fibromyalgia syndrome.

4 As we heard from Larry, the pain is widespread
5 clinical pain, and no mechanism is implied in this
6 definition of widespread clinical pain. However, the ACR
7 tender points have to be present and the tender points
8 measure a domain that incorporates probably both this
9 concept that Dr. Bradley brought up, that there's either
10 hyperalgesia or allodynia in these individuals, but they
11 probably also incorporate non-pain domains or something
12 that we call distress. What I'd like to just bring your
13 attention to, when Larry brings up data that demonstrate
14 that patients with fibromyalgia have an increased noxious
15 threshold for thermal sensitivity, for example, that
16 measures this domain of whatever we want to call allodynia
17 or hyperalgesia, but that the tender points probably
18 measure something in addition to this noxious stimulus, and
19 these are data that were very nicely demonstrated by Dan
20 Clauw looking at different paradigms and the comparison
21 between what tender points measure and what the kind of
22 more sensitive measures of allodynia actually measure.

23 Now, I actually don't think this is a bad thing
24 because I think maybe by happenstance that's what has
25 happened when we developed the tender points, is that for

1 some reason -- and maybe this was just prescience on the
2 part of the committee that developed the tender points --
3 these ACR tender points actually do measure some kind of a
4 combination domain.

5 Then the question of whether the pain of
6 fibromyalgia syndrome is real always comes up when you talk
7 to rheumatologists because many rheumatologists don't
8 believe that the pain is real, but I think that you've just
9 seen a demonstration from Dr. Bradley that with respect to
10 psychophysical testing, you can demonstrate measurable
11 differences. He didn't present data that evoked potentials
12 which Jurgen Lorenz has used to demonstrate actual
13 differences in central representation of pain inputs, and
14 then he showed data from Dan Clauw and Rick Gracely's group
15 demonstrating that the central representation of pain by
16 fMRI actually demonstrates the veracity of the patient's
17 complaint to increased pain.

18 So stimulus detection of patients with
19 fibromyalgia is normal. There is an ultranoxious threshold
20 that is multimodality, so that that is something that we
21 can point to as a mechanism of pain. As I previously said,
22 the central representation of pain confirms the veracity of
23 the subjective pain complaints. And pain cannot be
24 explained by tissue damage. That having been said, pain
25 generators are very common in fibromyalgia and oftentimes

1 you can improve the overall clinical experience of pain by
2 addressing these pain generators, for example, in patients
3 with rheumatoid arthritis, osteoarthritis, or other types
4 of mechanical problems. Taken together, all of these
5 implicate central factors in fibromyalgia syndrome pain.

6 Now, Larry presented all the clinical data, and
7 I'd just like to make some comments about how one might get
8 there. Certainly the data that Roland Staud and Don Price
9 and their group have presented as well as data from our own
10 group and Dan Clauw's group suggest that central
11 sensitization, otherwise known as activity-dependent
12 plasticity, may be present in these patients. Certainly
13 it's difficult to prove but that's something that's been
14 suggested. Neuronal plasticity in the spinal cord modifies
15 the performance of the nociceptive pathways, so that one
16 develops an exaggerated or prolonged response to noxious
17 input, called hyperalgesia, and enables normally innocuous
18 inputs to activate nociceptive pathways, called allodynia.

19 These mechanisms are transcription independent
20 and dependent and the mediators of this spinal central
21 sensitization would include such things as the excitatory
22 amino acids and their receptors, the NMDA receptors,
23 substance P and other neuropeptides, that are acting
24 through their G protein-coupled receptors. And certainly
25 it's known that in models of pain, that there's increased

1 activity of kinases, such as protein kinase C and many
2 others, that phosphorylate ion channels and receptors and
3 result in neuronal hyperexcitability. So that, at least
4 from animal models, the mechanisms by which this activity-
5 dependent plasticity is modulated are known and some of the
6 types of drugs that may work in this type of process could
7 be predicted from these data.

8 It's also important to note that there's
9 descending modulation of pain. It's bidirectional,
10 including inhibitory and facilitatory descending control.
11 These pathways that actually modulate the inputs at the
12 dorsal horn are mediated by serotonin and noradrenaline and
13 again this may give us some clues as to why certain drugs
14 may be effective in central pain syndromes and why non-
15 steroidal, for example, are typically not very effective
16 in these syndromes.

17 Now, it's clear that injury-induced
18 hyperalgesia is dampened by descending pathways, but it's
19 also clear that cortical and subcortical structures can
20 stimulate these facilitatory pathways. Most of the input
21 is integrated at the level of the peri-aqueductal gray and
22 rostral ventral medulla, but the types of inputs that come
23 into these systems would include things like vagal
24 afferents, would include things like inputs from the stress
25 axes, that Dr. Bradley nicely described the influence of

1 stress on pain perception, certainly their cortical
2 structures, including the anterior singulate gyrus and many
3 others, whose input is integrated at these levels. And it
4 also should be noted that the dynamic plasticity at the
5 level of the rostral ventral medulla is also mediated by
6 NMDA receptors.

7 Just in pictorial representation, the cerebral
8 cortex influences this descending bidirectional modulatory
9 control through many different mechanisms. Goal-directed
10 behaviors can certainly change the experience of pain.
11 Attention and distraction can change the experience of
12 pain; expectancy, interaction with the limbic system.
13 Subcortical systems would include stress-induced analgesia
14 but also hyperalgesia, and mid-brain and brain stem systems
15 integrate signals from the brain and spinal cord. They're
16 the site of opiate action. They're the principal relays of
17 these chemical signals to the spinal cord which again
18 include norepinephrine and serotonin.

19 So what are the treatment implications for the
20 concept of central pain? The implications would include
21 such things as the treatments that usually are used for
22 normal musculoskeletal pain do not actually work very well
23 for most patients with fibromyalgia, and that the
24 treatments must address the problem of this altered pain
25 processing in the spinal cord and potentially alter

1 descending inhibition of pain signals.

2 Now, I'd like to move on from pain and talk
3 about the non-pain symptoms and the question of whether or
4 not you can attribute these non-pain symptoms to specific
5 mechanisms.

6 Non-pain symptoms form something that
7 epidemiologists refer to as a distress cluster which is
8 often associated with multifocal chronic pain. Fatigue
9 itself is actually exceptionally difficult to attribute to
10 a specific mechanism, and I think all of us who are
11 rheumatologists, when we think about any of our connective
12 tissue disease patients, recognize this.

13 The sleep disturbance. I'll talk further about
14 this, but no specific alteration has been described.
15 Certainly the disturbances overlap with other conditions
16 that share this distress cluster of symptoms with
17 fibromyalgia syndrome.

18 Cognitive dysfunction is present in these
19 patients. There's evidence that cognitive complaints
20 correlate with fMRI differences. I brought this for you,
21 Dr. Turk, to show some data that there are actually
22 differences in the way that patients' brains function under
23 a cognitive load.

24 Depression and anxiety are certainly present,
25 and I think Larry nicely pointed out that there's a marked

1 increase in the lifetime prevalence, and it is associated
2 with health care-seeking.

3 So in terms of fatigue, what does it mean? In
4 general, it means decreased energy, need to rest,
5 sleepiness or unrefreshing sleep, struggle to overcome
6 inactivity. From a physical standpoint, it can mean
7 weakness, limb heaviness or post-exertional malaise which
8 is exceptionally common in these patients. On an emotional
9 side, it could be decreased motivation or interest. From a
10 mental or cognitive side, diminished concentration or
11 memory. Functional, difficulty completing daily tasks.

12 And you can see by the diversity of what
13 patients actually mean when they say that they're fatigued,
14 that attribution to a specific mechanism really is
15 something beyond what most of us can do. Nevertheless,
16 when one thinks about a reduction in fatigue, you can see
17 how a reduction in the perception of fatigue may actually
18 imply improvement across multiple different biological
19 mechanisms.

20 Certainly the possible causes of fatigue in
21 fibromyalgia are legion, including the sleep disturbance,
22 depression, anxiety, pain, medications, deconditioning,
23 neurally-mediated hypotension, which may form a subset of
24 some patients, and central mechanisms.

25 The one thing that I will say is that fatigue

1 is correlated with many of the other symptoms, and if you
2 actually look at a correlation matrix of fatigue, you can
3 see that the symptom of fatigue is significantly correlated
4 with pain and sleep and actually less so with depression
5 and anxiety, but one can think of it as perhaps a marker
6 for many of these other non-pain symptoms.

7 In terms of the sleep disturbances in
8 fibromyalgia syndrome, this is probably understudied and
9 hopefully that's something that will be corrected. The
10 alpha-delta sleep disturbance was first reported by Harvey
11 Moldofsky in 1975 and was actually the first biological
12 finding in patients with fibromyalgia. Unfortunately, this
13 alpha-delta sleep abnormality is non-specific. It's
14 certainly not universal and certainly occurs in many other
15 types of illnesses and even in normal patients but not
16 nearly to the extent as seen in fibromyalgia and patients
17 with other syndromes.

18 It's also been reported that patients with
19 fibromyalgia have reduced slow-wave sleep, that's stage 3-
20 4, or delta sleep. It's also not specific, not universal,
21 and unfortunately no spectral analyses have actually been
22 reported to examine delta power or even alpha power in
23 patients with fibromyalgia syndrome. Sleep medicine has
24 certainly advanced significantly with new techniques
25 towards spectral analysis and hopefully those will be done

1 in the near future.

2 The insomnia of fibromyalgia has also been
3 described as psychophysiological insomnia and that's
4 altered sensitivity to extrinsic stimuli. And these are
5 the kinds of things that can actually be measured in a
6 sleep laboratory these days and hopefully will come in the
7 near future.

8 Now, changing gears to cognitive, I'd like to
9 show this slide, which is always alarming to the audience.

10 Most of us fall about halfway down this cognitive slide,
11 but you can see that in most patients, their peak of
12 cognitive prowess occurs at about 20 and we slip and slide
13 from there down to where we mostly currently are. This
14 occurs across all domains of cognition actually, with the
15 exception of semantic memory, here measured by vocabulary,
16 but that is preserved and perhaps even enhanced and most of
17 us like to think of it as wisdom that makes up for a loss
18 of actual cognitive activity.

19 This is a study that we did with Denise Park
20 and Jennifer Glass looking at information processing speed,
21 and one can see that the cognitive problems in patients
22 with fibromyalgia are actually not universal but actually
23 selective in that patients with fibromyalgia syndrome,
24 looking at age-matched controls, have their information
25 processing speed preserved, whereas one can see the

1 predicted reduction in processing speed that one sees in
2 older controls, and in fact, in older controls, it's
3 thought that speed of processing actually explains many of
4 the other elements of cognitive decline.

5 But when one looks at fibromyalgia patients
6 with demanding tasks, such as working memory tasks,
7 patients with fibromyalgia do not perform similar to age-
8 matched controls and, in fact, perform similar to older
9 controls that are 20 to 30 years older than the
10 fibromyalgia patients and exceptionally carefully matched
11 with respect to education.

12 Additionally, in other types of memory
13 performance, like long-term memory or free recall,
14 fibromyalgia patients perform like older adults.

15 Now, when one looks by functional imaging at
16 older versus younger adults, you can see that one of the
17 things that older adults do is that in comparison to
18 younger adults that use primarily one hemisphere of their
19 brain, and I'll just point you to the middle slide because
20 this is somewhat complicated, certainly on the left side,
21 you can see a little bit more utilization in the older
22 adults. You can see this bilaterality in the older adults,
23 suggesting that they're recruiting more areas of their
24 cortex to actually perform certain cognitive tasks, and you
25 can see very clearly the bilaterality in the older adults

1 compared with the younger adults.

2 We did a study recently -- this is actually an
3 unpublished study -- looking at patients with fibromyalgia
4 compared with age- and education-matched controls in a
5 working memory task where the subjects were asked to look
6 at a series of consonants for 1 second. And then in this
7 interval, they were asked to put these in alphabetical
8 order; that is, to perform a complex reorganization task,
9 while the screen was blank, and then they were given a
10 prompt and asked to determine whether or not this S was in
11 the proper position with respect to its alphabetical
12 organization. And in this trial, the patients would
13 respond yes because the letter S is in the correct
14 alphabetical position.

15 This was subtracted from a condition which we
16 called a maintenance condition where we would demonstrate
17 or show the patients letters that were actually already in
18 alphabetical condition and they were just asked to hold
19 those in their memory as a maintenance condition rather
20 than alphabetizing, so that they weren't asked to do a
21 manipulation.

22 Then what we did was we looked at the
23 difference between the alphabetizing condition and the
24 maintenance condition in the fibromyalgia patients versus
25 the controls, and you can see a couple of interesting

1 things. I should say that patients with fibromyalgia
2 actually performed equally well on this task. So it wasn't
3 that the task was so hard and that they couldn't do it or
4 that they weren't trying. They performed equally well as
5 the control subjects.

6 What you can see is that fibromyalgia patients
7 showed this bilaterality, bilateral activation in the
8 middle frontal gyrus while alphabetizing, increased
9 activation in the right superior parietal lobe which is an
10 area that's specialized for processing of spatial location
11 of objects, meaning and storage of items during working
12 memory tasks. They had a midline medial frontal gyrus
13 activation which was associated with eye fields, and they
14 overall showed more activation when alphabetizing than when
15 they were in the maintenance condition. Additionally,
16 there was a region bordering the right inferior frontal
17 gyrus and precentral gyrus in fibromyalgia patients and
18 also the right BA 44 which was homologous to Broca's area
19 in the left hemisphere and an activation of Broca's area
20 thought to be involved in reasoning.

21 The control subjects actually did not find this
22 task to be more difficult and the normal controls did not
23 show more activation in any part of the brain in the
24 alphabetizing minus maintenance condition. Only a small
25 non-significant region was identified, so that the control

1 subjects really did not have to work harder to alphabetize
2 compared to the maintenance control, which again identifies
3 the veracity of the patient's complaints, that they're
4 actually feeling that their cognitive abilities have
5 declined compared with what's age-appropriate.

6 Now, in thinking about depression and anxiety
7 in fibromyalgia, these psychiatric conditions are neither
8 necessary nor sufficient for the diagnosis of fibromyalgia
9 syndrome. As I mentioned a number of times, there's a
10 higher point prevalence than in the general population, and
11 certainly the lifetime prevalence in the tertiary care
12 population is quite high, with a study by Epstein and
13 colleagues noting depression at 68 percent and anxiety
14 disorders at 35 percent. And Larry previously showed his
15 data on health care-seeking associated with psychiatric co-
16 morbidity.

17 In thinking about how these non-pain symptoms
18 might be linked in a mechanistic way, many of us have
19 focused on the stress response systems, and I'd like to
20 spend a couple of minutes demonstrating some of the
21 mechanisms that may be operative. I certainly don't have
22 time to talk about all the potential mechanisms but I'll
23 just mention a couple.

24 The quote that "stress is life and life is
25 stress" is something that I think we all recognize and

1 can't escape, but from a strictly biological sense,
2 stressors are thought of as forces that disturb homeostasis
3 and can include any number of stressors. Now, these are
4 counterbalanced by adaptive forces and these adaptive
5 forces are collectively called the stress response systems
6 and they mediate not only central adaptation because under
7 stress, your brain certainly has to adapt, and peripheral
8 adaptation as well because your body has to respond to
9 these forces.

10 The stress response systems, I wouldn't think
11 of them as unitary because different stressors activate
12 different responses, as might be expected, but in general,
13 the major players are the hypothalamic-pituitary-adrenal
14 axis and the autonomic nervous system, and these are
15 critical components of the coordinated physiologic response
16 to stress.

17 Now, the response to stress because of the
18 central and peripheral factors includes physical but also
19 behavioral and psychological symptoms and these domains
20 have been linked to HPA axis and autonomic system
21 abnormalities.

22 So what is a healthy HPA axis? I'll focus on
23 the HPA axis, because that's what I do, and show you some
24 data on the HPA axis, but I don't want to suggest that this
25 is the only potential mechanism that could be involved in

1 fibromyalgia syndrome and certainly autonomic nervous
2 system pathways have been implicated as well.

3 So what's healthy? Healthy is that there's a
4 wide dynamic range and what that means is that there's a
5 circadian variation with a high cortisol in the morning and
6 low cortisol in the evening, a very wide dynamic range. It
7 should be responsive to physiologic and stressful stimuli.

8 We don't like to see putzy responses to stress. We like
9 to see people whose cortisol levels go up in response to
10 stress and that's healthy. It's also sensitive to feedback
11 suppression and one measures that by using dexamethasone,
12 but you can also measure it in the laboratory and we like
13 the HPA axis to be able to shut off after it's been
14 activated. And so what's really a healthy stress response
15 is that it ought to be responsive and it ought to be
16 resilient.

17 So what happens in patients with fibromyalgia
18 syndrome? I'll just show you a study that we've recently
19 completed and again is unpublished looking at a number of
20 different ways to stress the HPA axis, first using a low-
21 dose physiologic injection of corticotropin-releasing
22 hormone and then coming back with graded doses of
23 dexamethasone. I think we could have taken people and done
24 public speaking three days in a row or all kinds of other
25 things, but we used this as a potential marker for elevated

1 cortisol and what happens in response to this facsimile of
2 a stressed HPA axis.

3 First, I'll show you that the resiliency of the
4 HPA axis in fibromyalgia patients isn't quite normal. It
5 doesn't tend to quite get back to what happens in a normal
6 individual.

7 More interesting is what happens if you keep
8 doing this, if you have these repeated stressors, and what
9 I'd like you to focus on is this green line first which is
10 a healthy control population. This is the morning after.
11 These are salivary cortisol measurements the morning after
12 the CRH stimulation test. They have a fairly normal high
13 cortisol, not quite as high as we'd like it to be, so it's
14 still a little bit suppressed, but you can see a very nice
15 drop in the cortisol in the evening and then on a baseline
16 day when we don't do anything to them, they have this very
17 nice wide dynamic range. If you give them dexamethasone,
18 they're suppressed in the morning but again give you this
19 very low cortisol in the afternoon, and then when you don't
20 do anything to them, they go back up with this very nice
21 wide dynamic range. Again even with .5 micrograms of
22 dexamethasone, they suppress in the morning but again they
23 still have this low evening level.

24 And I'd ask you to contrast that with the
25 patients with fibromyalgia. They certainly do suppress in

1 response to the oCRH, but in contradistinction to the
2 patients that are normal, they actually fail to go back to
3 a normal low evening cortisol. And as we get going with
4 the paradigm, you can see that they do give you a little
5 bit of a wide dynamic range on the second day. You hit
6 them with dexamethasone, they suppress, but then they
7 actually reverse their circadian rhythm. And then you
8 start to see an impact on the dynamic range of the HPA axis
9 over time, so that the difference between morning and
10 evening becomes obliterated. And when you hit patients
11 with a half a milligram of dexamethasone, you start to see
12 this rebound or reversal of circadian rhythm.

13 What this demonstrates is that with repeated
14 facsimiles of stressors, that patients with fibromyalgia
15 syndrome actually don't have the responsiveness and
16 resiliency that one might see in a normal individual and
17 graphically, you can see that on these non-stressed days,
18 that means that the difference between the morning and the
19 evening cortisol becomes blunted and that one can actually
20 see even a reversal of that circadian variation in patients
21 with fibromyalgia syndrome.

22 Now, I don't have time to talk about similar
23 studies with the autonomic nervous system, but I think that
24 it's been shown by a number of studies that their altered
25 sympathetic and sympathoadrenal dynamic variability,

1 including a reduced heart rate variability -- so again,
2 there's this lack of this dynamic range that one would
3 expect in a normal individual -- altered stimulus-induced
4 blood flow and altered stimulus-induced release of
5 noradrenaline and even adrenaline in some studies.

6 So that, there is evidence in fibromyalgia
7 syndrome patients, not only by groups in this country but
8 groups all over the world, that there's an altered dynamic
9 function of the stress response systems. The problem is,
10 is that, it's not always the same in every patient, and I
11 think that you ought to now keep in mind, with respect to
12 biological subsets, that these endocrine systems are much
13 like other endocrine systems that we're more familiar with;
14 that is, for example, thyroid disease. If you have
15 hypothyroidism, you can present with fatigue, but if you
16 have hyperthyroidism, you can present with fatigue and
17 musculoskeletal aches, and in hypothyroidism, you can also
18 present with musculoskeletal aches.

19 So I think what we need to keep in mind is, as
20 with this neuroendocrine system as well as other
21 neuroendocrine systems, that there's a concept of an
22 allostast and what that means is that there's an optimal
23 operating range and that you can go too low or you can go
24 too high and it's no longer optimal, and that some of the
25 symptoms of patients with alterations in these stress

1 response systems may actually overlap. And some of the
2 times, for example, a potential dichotomy between chronic
3 fatigue syndrome and fibromyalgia, even though the same
4 system may be involved, the symptom complex may be a little
5 bit different.

6 There's also the concept that the function of
7 these stress response axes because of their nature can
8 differ under different physical and psychosocial stress.
9 So that, whenever you have an allostast or some kind of a
10 guide that ought to stay centered, the stress on that
11 system changes, depending on the load that's applied to it,
12 and there can be minor load and there can be heavy load,
13 and the function of the allostast may perform as well or not
14 quite as well, depending on the load that's applied to it.

15 I'd also like to note that there's a very
16 strong drive to maintain the overall hormone levels. So if
17 anybody thinks you can measure a 24-hour urine cortisol and
18 get significant findings, you can't because there's a very
19 strong drive, as you saw in my studies that I presented.
20 If you go really low in the morning, you go higher in the
21 afternoon, so that there's a very strong drive to maintain
22 those levels.

23 And I should note that current therapies
24 influence the expression of key components of the system.
25 Tricyclic antidepressants and SSRIs, for example, influence

1 the expression of mineralocorticoid and glucocorticoid
2 receptors in the central nervous system perhaps better than
3 any other treatments that are available, and I should also
4 note that exercise which is an effective therapy in this
5 condition is known to modulate the set point of the
6 allostat.

7 So what are the implications of all of these
8 comments for drug therapy? Well, I would say that
9 fibromyalgia syndrome, whether it's clinically diagnosed or
10 laboratorially diagnosed, is certainly recognizable. As I
11 said before, this does not exclude the likelihood of
12 subsets of patients with different underlying mechanisms,
13 but when a patient with fibromyalgia syndrome walks into
14 the office in my clinic, I can make the diagnosis reliably,
15 and I think most clinicians can do so or most thoughtful
16 clinicians can do so.

17 Clinically important improvements in pain are
18 likely to occur in response to treatments that address
19 central mechanisms and reduced pain is likely to improve
20 the health-related quality of life in patients with
21 fibromyalgia. Non-pain symptoms are also important to the
22 health-related quality of life in these patients and
23 influence health care-seeking and utilization.

24 I should point out that these non-pain symptoms
25 often cluster with central pain and neurobiological

1 mechanisms actually may be shared as a cause for pain and
2 non-pain symptoms or at least they may co-occur. And
3 clinically-significant improvements in non-pain symptoms
4 are also likely to result in global improvement in patients
5 with fibromyalgia.

6 I'll conclude there and thank you for your
7 attention. I'm happy to take any questions.

8 (Applause.)

9 DR. FIRESTEIN: Thank you very much.
10 Are there any questions?

11 DR. GIBOFSKY: Leslie, I was intrigued by your
12 slide earlier showing the mechanisms involved in spinal
13 central sensitization or I think you referred to it as
14 activity-dependent plasticity. I'm wondering, would it
15 follow from that that a sine qua non for any pharmacologic
16 agent to treat fibromyalgia or any of the manifestations of
17 fibromyalgia would have to be some effect on the central
18 nervous system, therefore by extension physiologically an
19 agent being able to cross the blood-brain barrier.

20 DR. CROFFORD: That's a good question.
21 Certainly many of the agents that we know impact central
22 sensitization, impact some of the modulatory inputs. So we
23 talked about NMDA, for example, and the NK1 receptor
24 antagonist, for example, that might potentially influence
25 things like the effects of excitatory amino acids or

1 substance P, for example. I would think that those kind of
2 mechanisms or those kinds of agents might have to cross the
3 blood-brain barrier, but I'd certainly want to see data in
4 that regard.

5 With respect to the other types of agents that
6 we know influence what goes on at the spinal cord, agents
7 that influence the availability of norepinephrine and
8 serotonin certainly do exist and are among those that are
9 the most effective for this condition, which obviously you
10 can't totally draw conclusions from that, but certainly it
11 suggests the possibility that influencing those central
12 factors may be important.

13 Other types of agents, like non-steroidals, for
14 example, and I think it's been mentioned a number of times
15 that they don't seem to be quite as effective. However, as
16 you know and I know, there's certainly central
17 prostaglandin expression and I don't think it's out of the
18 realm of possibility that certain agents that might affect
19 peripheral mechanisms may have a positive impact, but I
20 doubt that they will be as effective as agents that address
21 some of these particular central mechanisms.

22 DR. FIRESTEIN: Dr. Abramson?

23 DR. ABRAMSON: Leslie, the tender points are
24 obviously important for the diagnosis. Is there any data
25 that's been able to validate tender points with regard to

1 fMRI or other kinds of pain thresholds that distinguishes
2 these areas from other areas?

3 DR. CROFFORD: My response to that is that
4 there's nothing special about the tender points. I think
5 you saw in Larry's slide that patients with fibromyalgia
6 are tender to control point palpation as well and that the
7 selection of the tender points -- I was not present. I
8 think Larry probably was present in the formulation of
9 these tender points. The thing about them is, is that,
10 they're widespread, so that you have to exhibit
11 hyperalgesia, allodynia in a lot of different body areas to
12 cross the threshold of 11 of 18. A lot of people have
13 strong feelings about whether the tender points are useful
14 or not.

15 So I think from a historical standpoint, you
16 could probably pick fewer tender points that are above and
17 below the waist and get a similar feeling that this was a
18 patient that exhibited widespread rather than regional
19 allodynia and hyperalgesia, and there's really nothing
20 special about them.

21 Most of the imaging studies have been done
22 using alternate mechanisms, but obviously it's difficult
23 with an fMRI to get in there and exert pressure. I think
24 Larry has done that with SPECT using tender points, but you
25 get the same thing no matter what you do. Dan Clauw has

1 done it with pressure on the thumbnail. The Gracely
2 studies were done with a thumbnail smasher, and I've done
3 similar studies with Ken Casey using a thermal probe that
4 give you similar results.

5 So the answer is they're a very useful tool for
6 identifying patients in the clinic. They're simple.
7 They're reliable. They're validated. There's nothing
8 special about them.

9 DR. FIRESTEIN: One last question.

10 DR. STAUD: Leslie, you showed us a lot of data
11 about the abnormalities in the HPA axis in fibromyalgia
12 patients compared to normal controls. Now, this is group
13 data, and I was wondering if you could tell us something
14 about the relationship to individuals, particularly in
15 terms of predicting abnormalities of the HPA axis.

16 DR. CROFFORD: We've looked at that a lot,
17 Roland, and tried to figure out how we could use clinical
18 data to predict who was going to have the HPA axis
19 abnormalities and we've just failed. We've certainly tried
20 to do cluster analyses and I'm going to ask Dan to help me
21 with some of these data that we have, some older data, to
22 try to look at a clinical symptom profile that would help
23 us to predict which patients are going to exhibit the most
24 severe responses, but we haven't been able to do that.

25 What you point out is correct, and I'd like to

1 certainly make that clear to the audience, that there's a
2 spectrum of responsiveness, just like there's a spectrum of
3 every other biological measure that we vary, and when you
4 look at group means, there are going to be some that are
5 going to look more normal and some that are going to look
6 more abnormal, and I certainly would agree with that.

7 You may be able to use some paradigms that
8 we're working on to actually subset the patients. As
9 Dennis pointed out, there probably are biological subsets
10 and we're continuing to try to develop simple measurement
11 techniques, so that we can actually do subsetting.

12 DR. FIRESTEIN: Thank you very much, Leslie.

13 The last talk of this session will be Dr. Clauw
14 talking about Post-ACR Diagnostic Criteria, and then we'll
15 take a short break.

16 DR. CLAUW: Let me also begin by
17 thanking/acknowledging the people on the FDA. Jim Witter
18 first asked me to come and talk to the agency over three
19 years ago about fibromyalgia because the agency was
20 interested in fibromyalgia and viewed that this is where it
21 should be. More recently, Lee has been a very strong
22 advocate of the whole fibromyalgia construct. This is a
23 secret that most people won't know till August or so, but
24 he and I actually co-edited an issue of Bailliere's, an
25 entire issue, having to do with fibromyalgia. So look at

1 how far Lee has come.

2 (Laughter.)

3 DR. CLAUW: I'm certainly not going to be
4 presumptuous enough to tell this audience how we should in
5 the future define chronic pain conditions, but for purposes
6 of my talk, I just want to make a couple distinctions.
7 These are distinctions that have already been made by both
8 Larry and Leslie about what is different about fibromyalgia
9 than some of the diseases that we as rheumatologists or
10 members of the panel might be more used to seeing. But I
11 think that it's really important to distinguish between
12 peripheral or nociceptive pain syndromes which are
13 primarily due to inflammation or damage in peripheral
14 tissues which are classically quite responsive to both
15 NSAIDs and opioids and where behavioral factors are
16 relatively minor contributors to symptom expression and
17 central or non-nociceptive pain syndromes of which
18 fibromyalgia would perhaps be the poster child.

19 Again, you've heard a lot about this, but I
20 think that as rheumatologists, the only non-nociceptive
21 pain syndrome that we see is fibromyalgia which is perhaps
22 why we find it so different and so hard to reconcile some
23 of what we think vis-a-vis pain and what should make it
24 better and how these people should act with all the other
25 diseases that we, in fact, take care of and we take care of

1 quite well.

2 But fibromyalgia is not the only central pain
3 syndrome. Irritable bowel syndrome, vulvodynia,
4 interstitial cystitis, tension and migraine headaches.
5 There's a whole host of illnesses where the pain is not
6 coming or occurring because of some damage or inflammation
7 in peripheral tissues; it's instead occurring because of
8 some central nervous system process or some process that's
9 leading to disturbances in pain processing.

10 Of course, with any attempt to make a clean
11 demarcation, there are going to be illnesses or diseases
12 that don't fit nicely into one category or another. An
13 example of this would perhaps be neuropathic pain. Another
14 example would be low back pain where certainly subsets of
15 individuals with low back pain have peripheral causes for
16 their pain and subsets have more central causes for their
17 pain.

18 But, again, the main reason to point this out
19 is that what I'm going to do when I talk about some of the
20 different outcomes that have been studied in fibromyalgia
21 is in particular point out the outcomes that are different
22 in fibromyalgia, especially with respect to how they relate
23 to other symptoms than they are in peripheral pain
24 syndromes.

25 One of the advantages I have in giving this

1 talk is there have been a couple nice meta-analyses that
2 have been done looking at effect sizes of various types of
3 treatments for fibromyalgia. I'll show a couple slides
4 from this study that was done by Rossy and published in
5 Annals of Behavioral Medicine in 1999 that looked at
6 pharmacologic therapy, exercise, and cognitive behavioral
7 therapy and looked at the different domains that
8 theoretically could be improved: symptoms, psychological
9 status and, in italics here, functional status.

10 What you see here is that for pharmacologic
11 therapies, there are moderate effect sizes for improvements
12 in symptoms and improvements in psychological status, but
13 really poor effect sizes with respect to pharmacologic
14 therapies being able to change functional status in
15 fibromyalgia.

16 Exercise does about the same with respect to
17 symptoms and psychological status, and even though exercise
18 theoretically is something where we're teaching people how
19 to improve physical function, you reproducibly see again
20 modest effect sizes in functional status when exercise is
21 used as a treatment for fibromyalgia.

22 Then finally, cognitive-behavioral therapy.
23 Again, moderate effect sizes here for symptoms and
24 psychological status and sort of a low effect size with
25 respect to functional status.

1 If you look at specific classes of medications,
2 you see here that, by and large, the antidepressants are
3 the most effective class and that they again have moderate
4 effect sizes with respect to symptoms and lesser effects
5 with respect to both psychological status and functional
6 status.

7 Muscle relaxants. This is a little bit of an
8 aberration because Flexeril, which is really a tricyclic
9 drug, is included in muscle relaxants. So the overwhelming
10 majority of these compounds that are studied under the
11 category of muscle relaxants are in fact cyclobenzaprine
12 which is a tricyclic compound. This is probably why you
13 see that they perform very similarly to what is largely
14 tricyclic compounds in the antidepressant category.

15 And then finally nonsteroidal anti-inflammatory
16 drugs. Don't be misled by this n of 1 study where one
17 single study did, in fact, lead to a moderate effect size
18 in psychological status. None of us really think NSAIDs
19 make psychological status better and again here you see
20 that NSAIDs don't lead to any improvement, in fact, in this
21 single study led to a worsening, in functional status.

22 Leslie Arnold did a nice meta-analysis looking
23 only at tricyclic compounds in fibromyalgia and again
24 looking at pooled effect sizes and found that the domain
25 that tricyclics affected most predictably was sleep.

1 Physician global, pain, fatigue and patient global all were
2 affected in the sort of range that we classically think of
3 as moderate effect sizes.

4 Here, you see that tenderness was the most
5 difficult domain to improve and this is something that we
6 see over and over again, that tenderness, especially as
7 measured by tender points, is not something that generally
8 gets better in clinical trials of fibromyalgia. There are
9 exceptions to this, but it's not something that is as
10 responsive to therapy as we might hope or think that it
11 should be.

12 So what I'm going to do is go through and talk
13 about potential outcome measures in fibromyalgia. I'm
14 going to spend the most time focusing on pain and on
15 functional status because those are the domains I think
16 that are perhaps most controversial vis-a-vis the
17 discussion that's going to transpire after the talks today.

18 So I'm going to present a fair amount of data with respect
19 to whether these domains move or not in the setting of
20 fibromyalgia.

21 When we're talking about pain, there's a whole
22 bunch of different issues. Again, all of you are quite
23 familiar with pain and how it's classically been studied.
24 One of the things that I think has been interesting about
25 this recent movement in those of us who study fibromyalgia

1 in doing clinical trials in this spectrum is that if I was
2 studying RA or OA, I really wouldn't have much of a choice
3 as to what outcome measure that I chose. If I was studying
4 osteoarthritis, I would be using the WOMAC. If I was
5 studying rheumatoid arthritis, I would be using one of the
6 ACR 20, 50, or 70, but when you study fibromyalgia,
7 basically you have a blank slate. You can do anything that
8 you want to do and then try to justify why it is that you
9 did that.

10 So I'll talk about some of the things that I
11 think in fact have been fairly innovative in some of the
12 clinical trials that have been done in fibromyalgia just
13 because of the fact that we, if you will, are allowed to
14 innovate because there's basically no one saying that this
15 is how we should or need to study fibromyalgia.

16 The next couple slides are slides that I stole
17 from Dave Williams in our group, from a talk that he gave
18 about a year or so ago, talking specifically about pain.
19 But I'm just going to show a couple slides showing how
20 different artists have tried to depict the complex symptom
21 that it is that we call pain. Now, I wish I knew the
22 actual artists here, but since I was putting this together
23 on the fly, I didn't actually have a chance to talk to Dave
24 about who the artists are but perhaps some of you know.

25 This is a picture that most of us in

1 rheumatology have seen at one time or another looking at
2 the pain associated with gout and showing how sort of the
3 gnawing, grabbing, aching pain that's associated with gout.

4 Then finally, this is another depiction by an
5 artist of the pain that she was describing in herself.
6 She, in retrospect, is thought to actually have
7 fibromyalgia.

8 And then contrast this with our visual analog
9 scale. Basically, we ask people in clinical trials to put
10 an X on the line and say that they have pain somewhere
11 between no pain and as bad as it could possibly be.

12 Now, some of the problems with visual analog
13 scales and with current measures of pain measurement. With
14 respect to the VAS in particular, it isn't a very good
15 measure with respect to the dynamics of measurement in that
16 when someone moves from 3 centimeters to 1 centimeter on a
17 visual analog scale, that isn't the same as someone moving
18 from 10 centimeters to 8 centimeters. So there's a number
19 of issues with respect to the different areas of a visual
20 analog scale are used differently by different people. So
21 there are scaling problems with visual analog scales.

22 Another problem with the VAS is that it only
23 captures a single dimension of the pain experience, and
24 multidimensional measures, like the McGill, are certainly
25 richer with respect to looking qualitatively at the

1 differences both in different types of pain as well as the
2 differences in how different types of treatments might lead
3 to a differential qualitative response with respect to
4 pain.

5 I'm not going to talk a great deal about these
6 two issues. I am going to allude, though, to this issue of
7 the problems with retrospective report of a symptom because
8 some of the data that happens to have been collected in the
9 setting of fibromyalgia with respect to pain measurement
10 is, in fact, relevant to anyone that's studying pain vis-a-
11 vis problems with paper and pencil diaries and perhaps
12 improvements that could be made by looking at electronic
13 assessments of diaries.

14 And then finally some of the other problems
15 with the current measurements of pain is that they miss
16 other important domains that might be at least as important
17 as the actual intensity of the pain.

18 This is a scale that although it certainly has
19 not been well enough validated to be used in a trial for
20 registration of a drug, it's an instrument that Rick
21 Gracely took about 15 or 20 years to develop that has
22 verbal anchors where basically individuals, both patient
23 groups and control groups, were given these verbs in a
24 mixed-up version and told to rate these verbs with respect
25 to the intensity. And this, with all the work that's been

1 done on it, now turns out to be actually a fairly linear
2 scale in contrast to a VAS and such that a movement in 4
3 points from 20 to 16 is the same as a movement in 4 points
4 from 4 to 0. Again, this is of interest, I think, and
5 something that we all perhaps could aspire to and begin
6 using in pain trials but isn't nearly well enough validated
7 to be used in trials that the FDA may be looking at.

8 Another issue that was particularly brought
9 home by an article published by Arthur Stone and his group
10 last year in the British Medical Journal was the poor
11 compliance that typically occurs with paper and pencil
12 diaries. I think most of you are probably aware of this
13 study, but for those of you who aren't, you should be.
14 This is a study where Stone and his colleagues took a group
15 of chronic pain patients over 21 days. Unbeknownst to
16 these individuals, there was a microchip embedded in their
17 paper diaries, such that the investigators could tell when
18 these diaries were opened and closed. They asked people to
19 recount their pain in a classic sort of paper and pencil
20 diary way that we all are familiar with, and when they
21 looked backwards at compliance rates, even though
22 individuals said that they were compliant, 89 percent of
23 their entries, even when you gave people a 30-minute window
24 vis-a-vis compliance, the actual compliance rate was only
25 11 percent. That is, only 11 percent of the entries could

1 have occurred within 30 minutes of when the patients said
2 they occurred because the only time they could occur is if
3 someone had their diary open.

4 The scary thing about this study was -- all of
5 us who do randomized clinical trials know about backward
6 filling. We all see people that come into our office and
7 are sitting in the exam room and filling in the last week
8 of their diary while they're in the exam room, and although
9 that has some problems, at least they theoretically are
10 recalling their pain and trying to retrospectively sort of
11 integrate their pain and that's what they're recording.

12 One of the things, though, about this study was
13 that a surprising number of people forward filled their
14 diaries. A surprising number of people filled their
15 diaries in, they closed the diary Tuesday, it wasn't opened
16 until the investigators opened it on Friday, and yet they
17 had recordings in for Wednesday, Thursday, and Friday. So
18 the forward filling, as well as a number of other issues,
19 really raise serious questions with respect to the validity
20 of paper and pencil diaries and to the validity of the data
21 that are captured with paper and pencil diaries.

22 Because of this, our group has been interested
23 in looking at what Stone and his colleagues have termed
24 ecological momentary assessments. Again, there's a number
25 of people that have been doing work in this field for an

1 awful long time. This is just sort of the last iteration
2 of this work. This is one such device. There are a number
3 of different devices on the market and a number of
4 different companies in fact that are marketing devices
5 looking at the real-time collection of systems. This
6 happens to be a palm-based device that randomly prompts
7 individuals as many times a day as you figure that you can
8 bother people to enter whatever symptom it is that you want
9 them to enter. In this case, we were looking at pain
10 randomly prompted five times a day over the course of first
11 a non-interventional trial and then more recently an
12 interventional study.

13 This was alluded to earlier vis-a-vis some work
14 that Alex Zautra did showing that the levels of stress in
15 fibromyalgia subjects lead to differences in their pain
16 report. Every-day stress leads to differences in their
17 pain report. This might be what we're seeing here. You
18 can't see these yellow lines very well, and I apologize for
19 that, but you see the tremendous variability in this one
20 day, for example, someone who went from a 0 to a 9 over the
21 course of a single day with respect to a VAS rating of
22 their pain score. This variability in fact was very common
23 in the fibromyalgia subjects, this tremendous variability
24 from hour to hour that occurred when we prompted people
25 five times a day to record their pain.

1 One of the other things that was interesting
2 and now this -- these are data from Cypress' phase II study
3 of milnacipran. I'm not going to present the results of
4 the data. All I'm going to present are the data looking at
5 the differences between different measures of pain, whether
6 you're looking at a diary that's filled out or a visual
7 analog scale that's filled out in a clinic versus an
8 electronic diary versus a paper and pencil diary.

9 There were a couple things that we saw both in
10 this study as well as in a smaller non-interventional study
11 that we had done at Georgetown using the same palm-based
12 recordings of pain, and that is, that the random prompt
13 recordings of pain were much lower than the clinical
14 reportings of pain that occurred at the exact same time.
15 So you see here that as you move from random prompt where
16 we averaged 50 random prompts to get this average of 11.9
17 to daily ratings of pain where there were 14 that were
18 given over a 2-week period to weekly ratings to weekly
19 paper ratings, you see here a large difference in the
20 baseline ratings of pain of individuals when that pain is
21 recorded in an EMA type of momentary way versus in a
22 clinical sample here, again the way that we typically
23 record pain.

24 Now, if that was consistent throughout the
25 clinical trial, that wouldn't cause any problems. So if

1 there was always this 4-point difference between the random
2 prompts and the weekly paper recordings of pain, then that
3 theoretically wouldn't cause a problem. All the measures
4 would just be elevated in the weekly paper ratings.

5 But that isn't in fact what was found in the
6 Cypress study. What was found is that the difference at
7 the baseline was the 4-unit difference that I showed you,
8 whereas the difference at the end of the trial was 2 units.

9 So what happened is there seems to be something different
10 about psychologically or perhaps there's demand
11 characteristics on the subjects when they're entering a
12 clinical trial, but there's a larger offset here between
13 the random prompts and the clinic visit here rating of pain
14 of 4 units -- now, this is on a 0 to 20 scale, not a 0 to
15 10 scale, just so you all are oriented -- than there is at
16 the end of the study where this difference between the
17 random prompts and the clinic samples average was 2 units.

18 Now, that 2-unit difference between the
19 beginning and the end of the trial would normally be
20 considered to be something that we would wrap under the
21 umbrella of a placebo response, but it's not a placebo
22 response. It's a measurement artifact. It's an artifact
23 of the fact that we classically have measured pain using
24 these paper and pencil instruments that at least
25 theoretically have a lot of inherent biases with respect to

1 recall, with respect to demand characteristics, with
2 respect to other things that influence how people report
3 pain. And this is just showing you here how this
4 difference occurred over the course of the trial.

5 So to summarize here, the random prompt pain is
6 extremely variable in fibromyalgia. We haven't yet done
7 studies to show that it's more variable in fibromyalgia or
8 other central pain syndromes than it is in a peripheral
9 nociceptive pain syndrome, but I think that that would be
10 the most logical hypothesis, that if someone has
11 nociceptive pain that is occurring because of activation of
12 a nociceptor, that that pain might be more constant and
13 more consistent from hour to hour and day to day and week
14 to week than central pain because there's so many things
15 that influence central pain, i.e., day-to-day stress or
16 hour-to-hour stress that people are experiencing.

17 Interestingly enough -- and again, I'm not
18 going to present all this data -- despite this difference
19 that I've shown you which is quite interesting and
20 intriguing, it didn't really make a huge difference in the
21 Cypress trial. All of the measures in fact tended to be
22 equally responsive to change, although there are issues, I
23 think, with the validity of paper and pencil diaries vis-a-
24 vis the Stone work and some of the other work that's been
25 published. It didn't seem to make a big difference with

1 respect to responsiveness to change whether we were
2 collecting these outcomes electronically in random prompts
3 or whether we were collecting them with paper and pencil
4 and looking at people's recall of information.

5 Now, with respect to functional status, I'm
6 going to make a distinction between what people are
7 reporting vis-a-vis functional status in fibromyalgia and
8 what really is going on vis-a-vis functional status in
9 fibromyalgia because what I hope to convince you of is,
10 again, this is an area that is inherently different in
11 fibromyalgia than it is in nociceptive pain syndromes,
12 where there is more than just a decrease in activity and
13 the sort of classic dysfunction that we think of, for
14 example, in OA of the knee, going on in the average person
15 that has fibromyalgia.

16 So the first thing I'll talk about is something
17 that was alluded to by both Leslie and Larry, and this is
18 something that Larry is largely responsible for. He was
19 giving Doug Drossman a lot of credit for doing this in IBS,
20 but his group was the one and it still is the one that's
21 really been the leader in doing this in fibromyalgia,
22 showing that people who we recruit in tertiary care samples
23 are different than people who are in primary care samples
24 who are different than people who are in the general
25 population. And that is, the tertiary care samples have

1 higher levels of distress, higher levels of cognitive
2 factors, higher levels of psychiatric co-morbidities, and
3 higher levels of other sort of psychological factors.

4 Now, the problem with all the stuff on the
5 right side of the screen here is that this leads to
6 dysfunction and this, in particular, leads to self-report
7 of dysfunction. Yet these things are not very amenable to
8 pharmacologic therapies. These are the things that
9 cognitive-behavioral therapy really tries to target and
10 tries to impact on because when someone gets to the point
11 that they have one or more of these psychological,
12 behavioral, cognitive factors that are driving symptom
13 expression, that are driving self-report of symptoms, just
14 giving them a drug isn't necessarily going to make that
15 better.

16 And so another way of depicting this -- this is
17 another slide that I borrowed from Leslie in this case of
18 this poor little mouse here in an inner tube -- is that
19 some combination of stress plus bad genes plus environment
20 leads to symptom expression in fibromyalgia.

21 Those of us who were trained as internists and
22 rheumatologists have a tendency to focus on symptoms and
23 there's nothing wrong in particular with focusing on
24 symptoms, but over the last 8 or 10 years, as I've worked
25 closely with psychologists and psychiatrists, psychologists

1 and psychiatrists in fact focus on different things than we
2 focus on. They focus on psychological and behavioral
3 consequences of symptoms, things like decreased activity,
4 like poor sleep, like increased distress and maladaptive
5 illness behaviors, and the reason they focus on that is
6 they know these all make symptoms worse.

7 The reason I show this slide is again to look
8 at the interaction between symptoms and function in
9 fibromyalgia and realize that there isn't a direct
10 relationship here between symptoms and decreased function.

11 I draw the arrows, but there's not a direct relationship.

12 You could imagine a scenario where someone with
13 fibromyalgia who's had it for 10 years, is on disability
14 for fibromyalgia, if and when we ever develop the magical
15 drug that makes symptoms better in fibromyalgia, they could
16 take that drug and nothing would change vis-a-vis these
17 types of factors because fibromyalgia has essentially
18 become a way of a life. What's happened to this person
19 with fibromyalgia is their pain, their fatigue, has led to
20 isolation, has led to limitations in their day-to-day
21 activity, and just because they take a drug that makes them
22 feel better doesn't mean that they're dramatically then
23 going to have an improvement in functional status.

24 Now, if you look at functional status in
25 fibromyalgia, there's a couple outcome measures that you

1 can theoretically use and that would be primarily the
2 Fibromyalgia Impact Questionnaire. The Fibromyalgia Impact
3 Questionnaire has a unique distinction of being the best
4 outcome measure of functional status in fibromyalgia and
5 the worst outcome measure of fibromyalgia functional status
6 because it's the only disease-specific outcome measure in
7 fibromyalgia.

8 Some of the reasons that many of us are not
9 enamored with the Fibromyalgia Impact Questionnaire are
10 that some of the questions that it asks are fairly gender-
11 specific, and I might get in trouble by saying that because
12 many women don't even do these things any more like wash
13 dishes by hand. So these are perhaps things that might
14 have been relevant 20 years ago when this outcome measure
15 was developed, but they're perhaps not very germane right
16 now. In fact everyone that's used the FIQ has noted the
17 problem with missing items in the FIQ. People just won't
18 fill out some of these items, like wash dishes by hand,
19 prepare meals, or vacuum a rug, because they don't happen
20 to apply to that particular individual.

21 Another problem with the FIQ is it was meant as
22 a multidimensional measure, not a pure functional status
23 measure. So when it measures function, it in fact looks at
24 domains like anxiety and depression as symptoms of
25 dysfunction. So it's a measure that is somewhat

1 contaminated. It's not a pure functional status measure.
2 It's a measure that's contaminated by, if you will,
3 psychological factors, like anxiety and depression, which
4 arguably should be measured independently by a scale that
5 purely is measuring depression and anxiety rather than by
6 an aggregate scale that includes in fact all of those
7 different measures.

8 Having said that, the FIQ is fairly responsive
9 to change in many studies that have been done in
10 fibromyalgia, but one particular problem with the FIQ is
11 this floor effect. These are data from the Cypress study
12 just to illustrate real data rather than just tell you
13 something, and this is at the end of the Cypress study.
14 The number of individuals here in the bar graphs, the
15 frequency of scores on the FIQ, and you see that at the end
16 of this study, there were 16 individuals who had 0's on the
17 Fibromyalgia Impact Questionnaire and a substantial number
18 of individuals who had very low scores on the Fibromyalgia
19 Impact Questionnaire.

20 Again, the problem with the Fibromyalgia Impact
21 Questionnaire is that it was developed by Rob Bennett for
22 use in tertiary care of fibromyalgia which is what he was
23 seeing. It doesn't actually perform nearly as well when
24 you start to do a randomized clinical trial and you aim for
25 looking at primary care patients with fibromyalgia because

1 a number of individuals will have either pre-treatment
2 measures or, in particular, post-treatment measures that
3 are at the end of this continuum. They're basically
4 unmeasurable because of the floor effect.

5 This shows the physical component summary score
6 of the SF-36. I indicate here just so you don't get
7 confused that the higher number is higher function in the
8 SF-36, whereas lower number is higher function in the FIQ.

9 You see here that there isn't really a floor effect with
10 the SF-36. The problem, though, with the SF-36 and the PCS
11 score is that it's a generic health status measure and thus
12 it's not nearly as responsive to change as the FIQ is.

13 The other measures of functional status that
14 could theoretically be used in a study of fibromyalgia
15 would include the Health Assessment Questionnaire or the
16 Modified Health Assessment Questionnaire or an instrument
17 that Fred Wolfe published about three or four years ago
18 which are some of the items of the MHAQ which he called the
19 Fibromyalgia HAQ. The problem is that no one has ever used
20 either of these in a randomized, controlled trial of
21 fibromyalgia. So there's absolutely no data on the MHAQ or
22 this new measure that Fred developed with respect to using
23 it in a randomized clinical trial.

24 I want to just talk briefly. You probably
25 wouldn't imagine that I would come and talk to an FDA panel

1 on drugs, about cognitive-behavioral therapy and exercise,
2 but I'm just going to briefly present the results of this
3 study that were published in JAMA a couple months ago
4 because I think it's very illustrative with respect to this
5 dichotomy between function and symptoms and this spectrum
6 of illness.

7 This study happened to have been done in
8 returning Gulf War veterans, and for those of you who don't
9 know the whole story of Gulf War Illness, Larry alluded
10 earlier to the fact that multiple different names have been
11 used to describe the spectrum of illness that we now call
12 fibromyalgia. Things like shell shock and DeCosta
13 syndrome, in fact, were terms that were used after World
14 War I and World War II to describe the returning veterans
15 from those conflicts who had chronic pain and chronic
16 fatigue and other symptoms that we might in the year 2003
17 call fibromyalgia or chronic fatigue syndrome.

18 As we should have perhaps expected after the
19 first Gulf War, a number of veterans returned with
20 otherwise unexplained pain, fatigue, memory problems, and
21 this constellation of symptoms that Leslie and Larry both
22 talked about.

23 A number of different studies have been done
24 looking at this constellation of symptoms and syndromes,
25 and they've all concluded the same thing, that there's no

1 unique cluster of illness or syndrome that occurred in
2 returning Gulf War veterans, that the cluster of symptoms
3 that occurs in returning Gulf War veterans can also be
4 found in the general population and the general population
5 goes by names such as fibromyalgia, chronic fatigue
6 syndrome, or somatoform disorders.

7 The other thing that these studies have found
8 is that in fact after every war the U.S. has ever been
9 involved in, there have been a subset of veterans who have
10 returned with these symptoms and these complaints.

11 Then finally, with the exception of a single
12 study suggesting that perhaps vaccines given right at the
13 time of deployment might lead to a higher rate of this
14 spectrum of illness in deployed Gulf War veterans. All of
15 the other studies that have been done, now about \$240
16 million worth of work that's been done in the United
17 States, have all suggested that no single environmental
18 exposure that occurred in the theater of operations in the
19 first Gulf War could have been responsible for the symptoms
20 that the returning Gulf War veterans returned with.

21 So the CDC late in the 1990s did this series of
22 population-based studies, a couple of which Leslie alluded
23 to, and coined the term chronic multi-symptom illness to
24 describe this constellation of pain, fatigue, memory
25 problems, that sometimes also includes mood disturbances,

1 but in fact affects about 10 to 15 percent of the
2 population in the U.S. and in fact in most other developed
3 countries that it's been looked at.

4 This is what makes up this umbrella of chronic
5 multi-symptom illnesses. This includes diagnoses like
6 fibromyalgia, multiple chemical sensitivity, chronic
7 fatigue syndrome, somatoform disorders, and what I've
8 euphemistically referred to here as exposure syndromes.
9 The only way you can get Gulf War Illness is to have been
10 deployed to the Gulf War. You can't get Gulf War Illness
11 if you didn't go to the Gulf War, yet the symptoms that
12 people experienced that came back from the Gulf War are
13 exactly the same as the symptoms of those who have
14 fibromyalgia or chronic fatigue syndrome.

15 I put silicone breast implants here just to
16 make a point. Again, most of us in the rheumatology field
17 know the story of silicone breast implants. This was an
18 example where there was a false attribution between
19 symptoms and exposure. People thought that there were
20 symptoms of chronic pain and chronic fatigue and memory
21 problems and the like that were, in fact, associated with
22 silicone breast implants because there in fact were a lot
23 of women in the country in the early 1990s who had both
24 those symptoms and had silicone breast implants. But when
25 the 14 or 15 different population-based studies that were

1 done looking at whether there was a true association
2 between breast implants and those symptoms, by and large,
3 they found that there was in fact no association, that
4 those symptoms in fact were very common in middle-aged
5 women and because there were two million women in the
6 country that had breast implants, we would expect that
7 200,000 to 300,000 of those women would have symptoms of
8 chronic pain and chronic fatigue, even if there was no
9 causal association between breast implants and those
10 symptoms.

11 So for this large study that was done in the VA
12 Cooperative Trial Network that was specifically aimed at
13 improving function in returning Gulf War veterans, we used
14 the operational definition for chronic multi-symptom
15 illness. We required that people have two of three of the
16 following symptoms: pain, fatigue, and memory or mood
17 difficulties. These had to begin at or after deployment
18 and still be present in the late 1990s when the study was
19 begun. I'm not going to go into all the details, but
20 basically people were randomized to receive either
21 cognitive-behavioral therapy alone, exercise alone,
22 cognitive-behavioral therapy plus exercise, and all four
23 groups got usual and customary care. Both exercise and CBT
24 were given in group session, not in individual sessions.

25 The primary outcome measure, this is what's

1 important here. Those of us who were involved in designing
2 this study thought that the most important thing we could
3 do for our Gulf War veterans was improve their physical
4 function, and because there was no disease-specific outcome
5 measure, we chose the physical component summary scale of
6 the SF-36 as the measure of physical function that should
7 improve. And we required a 7-point improvement in the PCS
8 to be clinically meaningful and that was based on published
9 work by Ware and others suggesting that a 7-point movement
10 in the PCS is both clinically meaningful and does not occur
11 by chance. It exceeds the standard error of that measure
12 if you give it over and over again.

13 Because these were veterans, the majority of
14 these people were male rather than female, but as it turned
15 out, 55 percent of the people in this trial met criteria
16 for fibromyalgia, 45 percent met criteria for chronic
17 fatigue syndrome. So even though these were primarily
18 males, a substantial portion of them were tender enough and
19 had chronic widespread pain, so they in fact would meet the
20 criteria for fibromyalgia. They also had high rates of
21 disability and high rates of axis 1 mood disorders which,
22 as it turned out, the disability in particular was a big
23 problem with respect to showing less of an effect of
24 treatment than perhaps we otherwise would have.

25 I think this is really illustrative here.

1 These are the veterans in this study, the 1,100 veterans
2 who were in this study. Their PCS score on the SF-36 was
3 33.7 which is almost 2 standard deviations below the
4 population mean, and another study that was done by Lew
5 Kazis that's not published yet looking at fibromyalgia in
6 VA hospitals suggests that the average PCS score of
7 veterans with the diagnosis of fibromyalgia is 28.7, more
8 than 2 standard deviations below the population mean which
9 is 50. You see here again, fibromyalgia or Gulf War
10 Illness would be significantly lower on these functional
11 status measures than these illnesses that we might
12 intuitively think would be lower with respect to the burden
13 that we know occurs in these illnesses.

14 This study was fairly disappointing with
15 respect to the results, even though we targeted this
16 cognitive-behavioral therapy specifically to improve
17 physical function. We only showed a modest ability for the
18 CBT to do that. 18.4 percent of the people who received
19 cognitive-behavioral therapy or cognitive-behavioral
20 therapy plus exercise had this 7-point improvement in their
21 PCS score, whereas 11 percent of the veterans who had usual
22 and customary care had this level of improvement.

23 Exercise alone led to symptomatic improvement
24 in multiple domains of symptoms and there was no
25 synergistic effect between exercise and CBT, which is

1 something again that was both disappointing and a little
2 bit surprising.

3 But these are the data that are perhaps of most
4 interest to this group. When we looked at the correlation
5 between changes in symptoms and changes in function in this
6 study that was specifically done to improve function, we
7 found very modest correlations between the improvements in
8 PCS score over this 12-month period and improvements in
9 pain, improvements in fatigue, or improvements in cognitive
10 dysfunction. Our values of .3 to .4 which would lead us to
11 think that the percentage of the variants in physical
12 function that could be explained by improvement in symptoms
13 was perhaps 10 to 15 percent, that is, the r squared
14 values.

15 Contrast this with the best review that I could
16 find looking at the comparable data for osteoarthritis of
17 the knee, where in osteoarthritis of the knee, the
18 correlations between the different subscales of the WOMAC
19 range in the .7 to .8 range which means that perhaps 50 or
20 60 percent of the variance in function can be predicted by
21 improvement in symptoms in osteoarthritis of the knee and
22 again the huge disparity between something like OA and
23 something like fibromyalgia or chronic multi-symptom
24 illnesses where there's just not nearly as big of a link
25 between symptoms and function.

1 I'm not going to go through the conclusions.

2 So let me just try to explain why this might
3 be. Because of this huge difference in self-report of
4 physical function in individuals with fibromyalgia, our
5 group and others have been very interested in trying to
6 help sort of understand or explain why that might be
7 occurring. One of the ways that you can try to get at this
8 is to actually look at objective measures of activity,
9 looking at things like activity monitors, and actually try
10 to look at how these relate to self-report of physical
11 function.

12 Actigraphy is actually very well validated as a
13 surrogate measure of physical activity in that if you put
14 people, for example, on a treadmill, you have an actigraph
15 connected to them, you'll find that there's a quite linear
16 relationship between what the actigraph shows and how many
17 mats they're exercising on on the treadmill. This actually
18 has been extrapolated to a number of different domains, and
19 again actigraphy is fairly well accepted now as being a
20 surrogate measure of activity per se. In fact, in the
21 rheumatology literature in RA, there are modest
22 correlations between activity as measured by activity
23 monitoring and changes in the MHAQ over time in individuals
24 in clinical trials of rheumatoid arthritis.

25 This happens to be the Actiwatch that we use.

1 There's a whole bunch of different ones on the market. One
2 of the advantages of this is that you can actually not only
3 collect activity, but people can actually enter their
4 symptoms as well as activities. So at various times
5 throughout the day, as we've been known to do and being
6 annoying with our subjects, is these things beep and we ask
7 them four or five times a day to record their pain, record
8 their fatigue, record their levels of stress, to determine
9 if those actually are related to activity or other measures
10 in people with fibromyalgia.

11 This is an example of what an actigraph looks
12 like. People fill out a diary. You can see here that the
13 activity goes up when they're doing things like running.
14 It goes down when they're doing things like sleeping.
15 Although actigraphy has actually been used as sort of a
16 surrogate measure of sleep efficiency because if people are
17 thrashing around a lot during sleep, they're not getting as
18 good a sleep as they are if they happen to, in fact, be
19 resting. But again, an average look at what actigraphy
20 will show you.

21 In this particular study, we had 30 people with
22 fibromyalgia and 29 controls. The controls were
23 specifically selected to be sedentary controls, not active
24 exercising controls, and we were interested over this 5-day
25 period not only what the activity levels were but how

1 activity related to symptoms in people with fibromyalgia.

2 Now, for any of the fibromyalgia skeptics that
3 might be hiding in the room here, I'm not going to leave
4 this slide up very long because this slide by itself will
5 lead people to think that fibromyalgia isn't really real.

6 There was no difference at all between the
7 patients in controls in either daytime activity or
8 nighttime activity as measured by actigraphy, even though
9 there was a 20-point difference in the physical component
10 summary score in the people with fibromyalgia. So 2
11 standard deviations lower with respect to self-report
12 activity, yet no difference whatsoever with respect to the
13 mean activity levels in people with fibromyalgia.

14 However, what we did find is there were large
15 differences in the peak activity levels in people with
16 fibromyalgia. You see here the difference between the peak
17 activity levels as well as the standard deviation or the
18 variability of activity. What we basically found in people
19 with fibromyalgia is they couldn't raise their activity.
20 They couldn't meet different types of sort of daily
21 demands. So what you find in fibromyalgia patients is that
22 they didn't have the ability to go to these higher levels
23 of peak activity that the normal controls did and you see
24 this here depicted. Although they were very similar in the
25 morning when they woke up, at midmorning, at afternoon, and

1 at evening, you see the much higher peak activity levels in
2 the controls than you see here in the fibromyalgia
3 subjects.

4 This is just actograms of the two different
5 groups, a representative fibromyalgia patient and a
6 representative control. You see in the control, all these
7 high peaks where people can basically raise their activity,
8 and you see in certain days in the fibromyalgia patients,
9 there are certain hours where basically they have to be
10 sedentary. And we would find fibromyalgia patients that
11 for days at a time, they would basically be fairly
12 sedentary, then they would do a little bit the next day and
13 then have several days afterwards, again leading to equal
14 means or averages between the groups but markedly different
15 peaks between the two groups.

16 The other fascinating thing with respect to
17 this study is that we didn't find any relationship between
18 either peak or average ratings of pain, fatigue, or stress
19 in the patient groups. So the level of symptoms they were
20 having on that particular day did not correlate with what
21 they did on that particular day, but we didn't find that in
22 the control groups either, and the same held true for the
23 fact that there was no relationship in either the patient
24 or the control groups between self-report function, in this
25 case as measured by the SF-36, and between objective

1 measures of activity in either patients or controls.

2 So I think what this study is telling us is a
3 couple of things. One is that what seems to be most
4 abnormal in people with fibromyalgia is sort of the ability
5 to respond to demands of day-to-day life, not necessarily
6 that they can't do sort of certain things from a day-to-day
7 basis, and also that we have to really wonder what measures
8 like the SF-36 and other functional status measures really
9 are measuring if they're not measuring activity. What is
10 it that we're capturing in these self-report
11 questionnaires, if it's not actual objective activity that
12 it is that we're capturing?

13 So to conclude, fibromyalgia patients rate
14 their function as being very low. This domain has been
15 very difficult to improve in clinical trials, even using
16 behavioral interventions that are specifically designed to
17 improve function. I happen to agree with what Larry said.

18 I think that when we give cognitive-behavioral therapy in
19 the setting of adequate pharmacologic therapy, cognitive-
20 behavioral therapy will work a lot better, but that's a
21 hypothesis that needs to be tested. And the dysfunction in
22 fibromyalgia, perhaps most important to this group, is
23 fundamentally different than dysfunction in other rheumatic
24 diseases in that there's not as linear a relationship
25 between symptoms and dysfunction in fibromyalgia as there

1 is in other rheumatic diseases.

2 I'm just going to talk briefly about some of
3 the other outcome measures that have been considered in
4 fibromyalgia. Patient global improvement --

5 DR. FIRESTEIN: Dr. Clauw?

6 DR. CLAUW: Yes?

7 DR. FIRESTEIN: Can you wrap up in just a
8 couple minutes?

9 DR. CLAUW: Yes. Patient global improvement
10 has been considered. It is a very valid measure but only a
11 couple recent studies have actually looked at patient
12 global as an outcome measure.

13 Fatigue. Again, this has been looked at over
14 and over again. There are multidimensional assessments of
15 fatigue that have been used. There are plain old visual
16 analog scales that have been used, and I happen to agree
17 with Fred Wolfe who has actually looked at this in depth,
18 that the multidimensional measures give you more
19 qualitative information about the type of fatigue that
20 people have, but they're not any more responsive to change,
21 that a VAS, a simple VAS is probably the best measure of
22 fatigue to use in a clinical trial.

23 The same holds true with sleep. One of the
24 things that you should understand about both sleep and
25 cognitive dysfunction is that self-report measures of sleep

1 do not correlate very well at all with objective measures
2 of sleep and self-report measures of cognitive dysfunction
3 do not correlate very well with objective measures of
4 cognitive dysfunction.

5 So when you are doing a clinical trial in
6 fibromyalgia, what you probably would ask people if you
7 thought that sleep and cognitive function were important
8 domains is ask the person whether they thought these
9 domains improved rather than trying to move towards looking
10 at objective measures of sleep, like polysomnography or in
11 the case of cognition neuropsychiatric testing, because in
12 fact you don't find strong correlations between those more
13 objective measures and subjective measures, either in
14 fibromyalgia or in healthy normal individuals.

15 With respect to process measures or surrogate
16 outcome measures, this is probably the only thing that I
17 will say definitively, is that although our group does an
18 awful lot of functional imaging, a lot of evoked pain
19 testing, a lot of measures of autonomic function and
20 hypothalamic-pituitary-adrenal function, none of these is
21 ready for a clinical trial. None of these is ready to be
22 used as a primary outcome measure in a randomized clinical
23 trial because none of them are robust enough and the ones
24 that we can get to change in highly-experimental settings,
25 like in a GCRC, can't really be extrapolated to be used in

1 a large multicenter clinical trial.

2 So the last slide I have here is basically -- I
3 think this is actually a relatively simple decision because
4 there's only really only a couple answers to what should be
5 the outcome measures in fibromyalgia. Where should we set
6 the bar?

7 But if we set the bar at one place, we could
8 say this is a legitimate syndrome with a large unmet need
9 just as osteoarthritis or rheumatoid arthritis were 30
10 years ago, where there are no currently-approved drugs, and
11 what we should do in fibromyalgia is improve pain.

12 Lynne will tell you in a couple minutes that
13 that's probably what patients want, to improve pain, and as
14 long as we use 2003 standards for randomized clinical
15 trials, that is, we look at minimally clinically important
16 differences, we look at intent-to-treat types of studies,
17 that this might be a reasonable bar for a disease like
18 fibromyalgia. This certainly would be comparable to the
19 recent approvals for IBS drugs and migraine drugs, where
20 improvement in a single domain which was pain in migraine
21 and improvement in a single domain which was patient global
22 in IBS led to approval of drugs in those different domains.

23 I actually happen to agree, though. I've heard
24 Lee and Jim both say many times that we don't want to make
25 pain better but make the patient worse. So perhaps the

1 next level at which we could set the bar would be that we
2 show that people have both a clinically meaningful
3 improvement in pain and an improvement in patient global.
4 That would ensure us that the person as a whole is getting
5 better as well as their pain getting better.

6 And then finally, the highest bar to set would
7 be to require this sort of triple primary endpoint, and
8 instead of improving function in fibromyalgia, because of
9 the fact that we know function is difficult to improve, we
10 perhaps could use an ACR 20 an ACR 50 responder analysis
11 and lead to improvement in many domains.

12 The last thing I'll say and I'll close here is
13 I think, because I can't talk any more after I get done
14 talking now, is that what I would ask all of you to do is,
15 at the end of the day, when you come up with an outcome
16 measure for fibromyalgia, think of a drug that is an
17 incredibly effective central analgesic but does nothing
18 more than improve pain, and there will be such drugs.
19 There will be drugs that are very good central analgesics,
20 whether they're NMDA receptor blockers or substance P
21 antagonists or whatever, that are very good at treating
22 central pain but don't independently affect fatigue or
23 other domains and just ask yourself two questions.

24 Number one, would fibromyalgia patients benefit
25 from this drug? And number two, would the outcome measures

1 that we choose, the bar that we set, allow that drug to be
2 approved?

3 There's not any such drug that's in the
4 pipeline right now that's been tested, but that drug is
5 likely to come on the scene, and again I think that my own
6 personal view would be that fibromyalgia patients would
7 benefit from that drug if it is safe. And as we think of
8 these sort of multiple domains, we should look at that as
9 sort of the acid test of whether a compound such as that in
10 fact would be able to be approved in the setting of
11 fibromyalgia.

12 Thank you.

13 (Applause.)

14 DR. FIRESTEIN: Thank you.

15 We have time for one question. This time Dr.
16 Strand really did have a question or a comment.

17 DR. STRAND: I had a comment about the SF-36
18 and its use in RCTs and its correlation. Actually in RA,
19 the PCS scores are virtually across all of our recent
20 trials are about 30, 2 standard deviations from the norm,
21 and the correlations proven in both the physical function
22 domain and the PCS scores with the HAQ on the order of .7,
23 .8 and .9, and we see improvements of about 10 points.

24 I think your definition of an improvement in
25 PCS score of 7 was a bit high because most of the data we

1 have now from RA and also diabetes, OA, cardiovascular-
2 pulmonary disease, suggests that the domain MCID scores are
3 about 5 to 10, but that PCS being scored from 0 to 50 would
4 be more like 2.5 to 5.

5 I wonder whether you might have seen a little
6 bit better difference with the 5, the point being again
7 also that physical function domains are positively scored
8 in the PCS and are very low in RA and show a lot of change
9 and that's ostensibly what you were looking for, change in
10 your study with the vets.

11 DR. FIRESTEIN: Before you answer, Dr. Strand,
12 I've been asked if you can identify yourself and indicate
13 any potential conflicts.

14 DR. STRAND: I'm sorry. Strand, S-T-R-A-N-D,
15 and I teach at Stanford. I'm a consultant and I'm here on
16 my own.

17 DR. CLAUW: In answer to that question, we
18 actually looked at different cut points because we were
19 somewhat disappointed with the performance, and it didn't
20 really matter in this particular trial. Using lower cut
21 points wouldn't in this trial have done any better at
22 separating the treatment groups from placebo.

23 I do agree with what you're saying. In
24 retrospect, perhaps we set the bar a little bit too high
25 with respect to the 7-point PCS score.

1 DR. FIRESTEIN: One last comment and then we're
2 going to break.

3 DR. GIBOFSKY: Dr. Clauw, in his introductory
4 remarks, Dr. Witter asked us to think about fibromyalgia
5 either as a symptom or cluster of symptoms or as a complex
6 disease state with varying clinical presentations. You
7 suggest in some of your remarks that there might be a
8 subset of patients for whom we should think of the claim as
9 a way of life, that if the magical drug came on the market
10 that eliminated the symptoms, I think you said we'd still
11 be left with patients who have the disease.

12 I wonder if you could tease that out a bit more
13 so I can understand a little bit better about how to
14 structure the claim.

15 DR. CLAUW: Well, just to be clear, I didn't
16 suggest the claim was a way of life. I suggested that in a
17 subset of people with fibromyalgia that have high levels of
18 psychological and behavioral co-morbidities, which most of
19 the data suggests occur as a result of the fibromyalgia
20 rather than they begin with, but even an effective drug --
21 again, these are sort of the classic tertiary care patients
22 with fibromyalgia that probably make up a fairly small
23 subgroup of the total universe of fibromyalgia patients.
24 But even an effective drug, if administered to these
25 individuals, might not lead to a great deal of improvement

1 in function because their dysfunction has occurred in large
2 part as a result of the fact that they become isolated,
3 they become depressed. Other things other than the primary
4 symptoms of the fibromyalgia are driving their dysfunction.

5 Just to be clear, the same subset occurs in OA
6 and RA, it's just not nearly as large a subset. We all
7 have patients of ours with OA or RA that are on disability,
8 that if we give them very effective drugs, we don't see as
9 much of an improvement in their functional status as we
10 might in someone who doesn't have that psychological and
11 behavioral burden, if you will, because again these are
12 people that, in addition to the underlying, in the case of
13 RA, sort of immunobiology rather than neurobiology, just
14 making that better doesn't make the entire person better.

15 So, hopefully, I clarified an issue or answered
16 your question.

17 DR. FIRESTEIN: Dr. Anderson, you had one.

18 DR. ANDERSON: Yes. I just wanted to refer to
19 your slides about effect sizes from meta-analysis and also
20 ask you a question about pain.

21 There seemed to be quite a large number of
22 trials done and perhaps there's data there that could be
23 used in developing response criteria for fibromyalgia. The
24 measures may be somewhat different but they fall in
25 different clusters and they're similar enough that whoever

1 did the meta-analysis felt they could create effect sizes
2 for them. So I'd like your comments on that, whether they
3 would be usable for this purpose.

4 Also, about pain. You said a lot of things
5 about how the VAS is not at all reliable and so forth.
6 However, it has in a lot of contexts been found to be very
7 responsive. And you end up saying for fibromyalgia that a
8 VAS for fatigue was responsive. So maybe simple measures
9 of pain could be useful.

10 DR. CLAUW: Let me answer the second question
11 first. I think, as you know, there's a big difference
12 between responsive and accurate/valid. The problem, I
13 think, with VAS is not a responsive issue because in fact
14 they're responsive. The issue, especially vis-a-vis
15 diaries and things like that, is whether it's a valid
16 response on the part of the patient or whether that recall
17 bias, that filter that people use when they fill out a
18 diary, when they backward fill or when they forward fill a
19 diary and they try to guess what their pain -- that's more
20 sort of a precision/validity issue than it is a
21 responsiveness issue.

22 I did say that all of those measures, the
23 electronic measures, the paper and pencil diaries, they all
24 performed about the same with respect to responsiveness in
25 the Cypress trial. So I'm not being critical of any of

1 these measures with respect to responsiveness. I am being
2 somewhat critical. I think we just have to be circumspect
3 about these measures with respect to sort of their accuracy
4 and their validity.

5 With respect to your first question, I think
6 that we have very good data that we could use to model
7 something like an ACR 20, if the drug that was going to be
8 used is a tricyclic drug. That ACR 20 might be totally the
9 wrong instrument for a different compound that's acting on
10 a different part of the central nervous system. Tricyclic
11 drugs, for example, are sedating and in fact many of their
12 side effects have to do with the sedating qualities of the
13 tricyclics. A good drug for fibromyalgia might be a drug
14 that is not a good sleep drug, that is an activating drug
15 that improves fatigue and improves other symptoms, but
16 doesn't do anything at all for sleep.

17 So again, if we had an ACR 20 that included
18 sleep as a domain because we were modeling after tricyclics
19 and because that was the largest effect size, it might not
20 do very well for a compound that might, in fact, be a
21 better compound but just doesn't happen to hit that domain.

22 It doesn't happen to hit the sleep domains.

23 So I think that's the problem with an ACR 20
24 type of responder analysis, is it would have to incredible
25 flexibility to capture all the different types of

1 pharmacologic agents that might be effective in treating
2 subsets of people or subsets of symptoms in fibromyalgia.
3 Maybe there is such an instrument that could be developed,
4 but I guess I wonder whether that in fact is the case.

5 DR. FIRESTEIN: Before we break, I've been
6 asked if Dr. Strand can disclose for the public record the
7 relevant companies for which she consults, and you have a
8 list. Would you please read the list for the record?

9 DR. STRAND: I don't have it with me. I will
10 e-mail it to you. Would that be sufficient?

11 DR. FIRESTEIN: She will be e-mailing it.
12 Okay.

13 And then for the record, we will no longer take
14 comments from the public in order to avoid getting mired in
15 this problem for the rest of the day.

16 So in that case, without further ado, we will
17 break for 10 minutes. We'll start again at 11:10.

18 Thank you.

19 (Recess.)

20 DR. FIRESTEIN: Let's go ahead and get started.

21 So our first presentation of this part of the
22 discussion will be by Lynne Matallana on a patient's
23 perspective.

24 MS. MATALLANA: Good morning.

25 First of all, I would like to very much thank

1 the FDA for inviting me to be a part of this advisory
2 committee. I know that the patients oftentimes have a lot
3 of things that they would like to include in discussions of
4 this sort, and I'm very pleased to be able to be here to
5 represent that group of people.

6 I also am going to ask your indulgence as I'm
7 going to sit because I don't want to fall over. So if you
8 don't mind. If you can't see me from here, wave and I'll
9 try to kind of move so that you can see me.

10 In 1993, I had a laparoscopy for endometriosis
11 and woke up during the surgery, and at that point on, I
12 started having very unusual symptoms. I was diagnosed
13 about two years later with lupus and later rediagnosed with
14 fibromyalgia.

15 In 1997, I started an organization at that time
16 called the National Fibromyalgia Awareness Campaign, and
17 our original intent was just to speak out on behalf of the
18 patients, to let people know that this illness existed and
19 that we needed help.

20 As time went by and the needs of the patient
21 community as well as the medical community became more and
22 more apparent to us, we became the National Fibromyalgia
23 Association two years ago, and we now publish a national
24 magazine which some of the committee members have in their
25 packets that addresses issues that are very pertinent to

1 the patient community but also to the medical community,
2 and we were very pleased that this publication has been
3 received so well by the medical professionals.

4 I feel qualified to represent patients because
5 I talk to thousands of patients every year through
6 Internet, through phone calls, through meetings, and I also
7 am very pleased that I have had the experience of working
8 with many of you and many of the researchers and doctors.
9 We have over 50 doctors who work with us as advisors. So
10 we have quite a bit of input from them to know exactly what
11 is going on in the clinical research area.

12 I've been asked to speak about the patient's
13 perspective, and what I will be drawing from is obviously
14 my own experiences, my own intuition, the anecdotal
15 information that I have been given by other patients, and a
16 survey.

17 I, unfortunately, was only appointed to this
18 committee a couple of weeks ago, but I wanted to be able to
19 bring some type of specific information from the patients.

20 So we sent out a survey with about 20 questions to 16,000
21 fibromyalgia patients. To our surprise, in five days, we
22 had 1,119 responses. Obviously, I was not able to tally
23 all of that, and so we've taken a sample survey response
24 group of about 200 people. So when I give my percentages,
25 you can know that this is a group of about 200 people that

1 I am referring to.

2 One of the first things I want to address is
3 the picture that you saw of the woman that looked like she
4 was close to death and extremely miserable because this is
5 something that most people with fibromyalgia feel at one
6 time or another in their life. We do have, obviously,
7 symptoms that wax and wane, but the majority of us go
8 through a time where we are almost completely disabled,
9 myself included. I spent two years in bed and four years
10 at home.

11 But I feel that there is very much a belief in
12 the patient community that with the right support, with the
13 right medications and treatments and with the understanding
14 that we are not crazy, that this is a true physical entity,
15 that you can improve, and I think that part of the problem
16 has been that many patients have not had the support or the
17 educational information that they need in order to help
18 improve and also have not had the opportunity to try
19 certain medications that may be now in more of the
20 experimental stages. So please keep in mind whenever I'm
21 talking that I am talking about a group of people who are
22 very distressed.

23 I'd like to answer several questions in my
24 presentation today, the first being: what are the unmet
25 needs of the fibromyalgia community?

1 One of the first things that we are faced with
2 often is how many people have this illness. I think
3 someone quoted 4 million to 10 million which is quite a
4 deviation, and we do not have any true epidemiological
5 studies that will talk about this issue, relate to this
6 issue, look at geographic considerations, the illness in
7 men versus women, different treatments. Many of you know
8 that fibromyalgia patients react differently to certain
9 treatments and why is that? We obviously have our lumpers
10 and our splitters who look at fibromyalgia with
11 subcategories and we need to look at that more
12 specifically.

13 The other thing that I think is so important
14 and you will hear constantly from people with fibromyalgia
15 is the level of acceptance of the illness. Acceptance from
16 society which includes their own families and employers and
17 friends to the medical community, obviously having gone to
18 doctors who have told them either there is nothing they can
19 do for you or there is not an illness called fibromyalgia,
20 and then also that feeling of having the plague. Dr. Clauw
21 mentioned the isolation that people with fibromyalgia go
22 through, and I think that this obviously then intensifies
23 the symptoms. So with the acceptance and with the
24 education, we can probably prevent a lot of that isolation.

25 Another concern is where to go for treatment

1 because many of the doctors do not believe in this illness
2 and currently rheumatologists tend to be the main
3 caregivers. However, as you know with the multiple
4 symptoms that we have, many patients try to go to
5 neurologists or different types of pain specialists or
6 migraine specialists, gastroenterologists, and to have
7 these groups of people who are very unfamiliar with the
8 illness can be very detrimental. So we're also looking for
9 continuing medical education and especially at the level of
10 the family practice doctor because, as you know, diagnosis
11 of fibromyalgia does usually take anywhere from two to five
12 years. So if we could educate and help doctors in the
13 family practice arena, we could probably cut the duration
14 of time for diagnosis.

15 Also, it's very exciting to see fibromyalgia be
16 taken so seriously and be moving forward at the federal
17 government level. However, at the state and local level,
18 that does not seem to be the case. We recently, in the
19 state of California, worked to get a bill through that all
20 it did was ask the Department of Health to recognize
21 fibromyalgia and to include it in its list of illnesses
22 that they are concerned about. Not only was the bill not
23 passed but they placed another bill in its place that was
24 approved so that the previous bill on fibromyalgia will not
25 even be in the record. So state and local knowledge and

1 support of this illness is very much needed as well.

2 We've talked about diagnosis. As far as I
3 think most patients are concerned, they feel that the
4 tender point exam is a viable technique for diagnosis. We
5 don't see too many people who have been told that they do
6 meet these standards and that we don't feel have
7 fibromyalgia. However, there is the problem with people
8 not believing. So if there was a diagnostic test which was
9 not subjective, it would help us in our plight to make
10 people believe in this illness.

11 Also more research. Obviously, today the
12 experts have presented so many different questions that
13 need to be answered, and with the limited amount of
14 research funding. The National Fibromyalgia Association is
15 a non-profit organization that kind of squeaks by with the
16 contributions of other patients, but we have so many
17 doctors now coming to us, asking us for funding because
18 they have viable research studies that they want to do, and
19 it's very difficult for us to not be able to have that
20 funding to help them. So funding is definitely a need.

21 Also, we are very thrilled with the people over
22 the last 20 years who have been a part of the research of
23 fibromyalgia. However, several of these people are
24 starting to retire, and it's exciting when new people are
25 becoming involved, but we'd like to see even more people

1 that have new ideas and are very much not afraid to look at
2 a possible new paradigm for the causation of this illness.

3 As I mentioned about the diagnosis of
4 fibromyalgia, a quantifiable test would help us in proving
5 the existence of this illness and also could help cut down
6 on the diagnostic time frame.

7 We also are interested in looking at some of
8 these subgroups. It's interesting when certain patient
9 organizations get behind a specific type of treatment, such
10 as surgery for Chiari malformation or the use of
11 guaifenesin and some of these other things. It's part of
12 the patient group and yet it's something that needs to have
13 medical evaluation. I know that, for example, on the
14 guaifenesin, that Dr. Robert Bennett has done two tests
15 which clinically prove that there is no treatment help from
16 guaifenesin. However, when we do surveying, we still have
17 a large percent, usually between 4 and 5 percent, of people
18 who have felt that this has been the main product that has
19 helped them in relieving symptoms.

20 The other thing that I think is important to
21 look at is the onset of fibromyalgia. We have at times
22 thought that it was about 40 percent of people that had
23 onset because of trauma. However, in an anecdotal way of
24 looking at this from talking to fibromyalgia patients, I
25 would say at least 9 out of 10 patients do attribute the

1 onset of their illness due to some type of physical or
2 emotional trauma, and I do think this could help us in
3 learning how to possibly prevent or understand better what
4 the cause of this illness is.

5 Also, the role of central sensitivity syndrome.

6 The importance of being able to identify the overlapping
7 conditions is very important because what I feel, although
8 a lot of people would like to have a treatment specifically
9 for their worst symptom, I think that oftentimes patients
10 improve if they start with their easiest-to-treat symptom.

11 So, for example, if they're suffering from migraine
12 headaches and there are medical prescription drugs that
13 help this, that even though that might not be their worst
14 problem, to look at that as a possibility for treatment
15 first and then work up to the more difficult symptoms.

16 DR. FIRESTEIN: Thank you.

17 Could you make a concluding remark?

18 MS. MATALLANA: Okay.

19 Well, what I have here now is going into the
20 survey outcomes, and I guess what I'll have to do is just
21 kind of give you an overview as far as--

22 DR. FIRESTEIN: Just a concluding remark,
23 please.

24 MS. MATALLANA: Okay.

25 I would agree that pain is the most difficult

1 symptom and that 68 percent of people with fibromyalgia are
2 interested in finding treatment for pain.

3 Thank you.

4 DR. FIRESTEIN: Thank you very much.

5 (Applause.)

6 DR. FIRESTEIN: Next, Dr. Wells will talk about
7 outcomes: multi-system impact.

8 DR. WELLS: Thank you.

9 Just as some of the previous speakers, I'd like
10 to also commend the committee and the FDA for holding these
11 very timely and important meetings. Also, in terms of full
12 disclosure, I'd like to indicate that I am 53 years old, so
13 you can take Leslie's cognitive age curve and properly
14 place me in that curve to know where I sit.

15 It's very difficult to speak after a patient
16 and a consumer because they bring a real face to the issue.

17 I now have to go back and take an
18 epidemiological and statistical perspective on looking at
19 outcomes in this particular area. I'll try to do that as
20 quickly as possible, also hopefully as informative as
21 possible in that process.

22 I will quickly skip through the first two
23 slides. These are the obligatory slides talking about what
24 fibromyalgia is about, also the ACR criteria.

25 I will focus a little bit on the second slide,

1 though, to say that there are two controversies here
2 really. First of all, there's the controversy of whether
3 we're dealing with medicalization of unrelated symptoms, to
4 a syndrome, to a defined disorder. The controversy I want
5 to talk about right now is what is the most appropriate or
6 combination, composite, appropriate outcome measures that
7 we can select to look at this issue.

8 Now, to do that, I'm going to follow the
9 following road map, and as I said, this is going to be very
10 at times statistical, so I'll quickly go through some of
11 these.

12 First of all, I want to give you an
13 unsystematic review of the types of outcome measures that
14 are used in fibromyalgia.

15 Second of all, I want to give you an intuitive
16 feel for why we choose certain measures.

17 Next, the development and selection of
18 outcomes. I do want to talk about issues, such as
19 reliability, validity, sensitivity, which are very
20 important, and people often confuse the terminology, so we
21 have to be careful there.

22 We then are going to look at some overall
23 response criteria. How can we go about this?

24 Next, the minimal clinically-important
25 difference. I'll try to put a little bit different face on

1 that rather than just giving you the definition but also
2 try to put it in context.

3 And then finally, something called low disease
4 activity state. Earlier, we heard about ACR 20, 50, and
5 70. I suggest to you that if you're going to do something,
6 you might as well as leapfrog and just not repeat what
7 someone else has done but think further down the road and
8 think of what the next step is, and I'm going to say to you
9 that the next step is to look at entities called low
10 disease activity states.

11 First of all, types of outcomes. This is my
12 unsystematic review. I looked at three systematic reviews
13 in the literature. Two of them were in the Cochrane
14 Collaboration Review 2003 and 2002. I also looked at the
15 review by Rossy in the Annals of Behavioral Medicine in
16 1999 and took a look at some of the outcomes that they
17 viewed. I put them into different constructs, if you wish,
18 and I found eight different areas, and I could add more. I
19 could have added the economics and so on and so forth. But
20 these are the eight.

21 When I look in pain, I can see everything from
22 visual analog scales to ordinal scales to pain drawings. I
23 also found a very interesting article by Fred Wolfe on
24 looking at regional pain scales where he's trying to take a
25 more quantitative look at this issue.

1 In tender points, we have the pain threshold
2 and tenderness to thumb pressure.

3 In physical function, there is a host of
4 different things we can look at. We have self-report of
5 physical pain, the FIQ which Dan talked about, also the
6 fibromyalgia HAQ which is the newer scale that was
7 developed by Fred Wolfe. We also have musculoskeletal
8 performance, various ways of measuring that,
9 cardiorespiratory fitness and various ways of measuring
10 that.

11 In terms of global well-being, we have the
12 physician-rated things. We also have the overall score of
13 the FIQ.

14 In terms of self-efficacy, there's the
15 Arthritis Self-Efficacy Questionnaire.

16 In terms of fatigue and sleep, the FIQ Fatigue
17 Subscale, the sleep VAS.

18 Psychological function, subscales again of the
19 FIQ for depression and anxiety, but remember what Dan said,
20 it was basically a visual analog scale and it wasn't really
21 potentially tapping into all aspects.

22 Quality of life and generic functional status,
23 Short Form 36, Sickness Impact Profile, and the HAQ, if you
24 wish to view the HAQ as being more generic in this area.

25 Just to give you the background to the

1 Fibromyalgia Impact Questionnaire, it's a brief 10-item
2 questionnaire. It measures a number of physical functions
3 which are up there on the screen. It was developed in 1991
4 by Bennett. Basically, the questions are were you able to
5 do some of these particular activities, and as was
6 indicated earlier, many of these activities, people would
7 not respond to today in terms of, for example, of washing
8 dishes and so forth. There's also nine other questions.
9 All of a sudden, I noticed it's changed to dots instead of
10 numbers, but anyway, there's nine other questions and some
11 of these questions are very specific, for example, to
12 people who actually work. So you're going to find a lot of
13 missing information. This is one of the reasons why Fred
14 Wolfe in 2000 developed the FHAQ and he compared one to the
15 other because he felt that some of these items would be
16 missing too often for the FIQ to be useful and also some of
17 the items would not be applicable today.

18 Now, choosing outcomes. Okay. First of all,
19 just generalities. We like objective measurements. We'd
20 like to, if we could, reduce or reverse disease. We'd like
21 to improve quality of life. We'd like to reduce mortality.
22 We'd like to have a good global impression, both in the
23 patient and the physician. We'd like to improve
24 symptomatology, and we'd like biochemical measures, if that
25 was possible.

1 following year, he didn't include skinfold thickness, just
2 stuck with the other three outcomes, and they all reflected
3 something similar, except maybe a little bit less in terms
4 of being "always" for the Australians.

5 So let's look at the development and selection
6 of outcomes, and this is where I'll try to go through
7 quickly so that we can save some time.

8 We have to look at the comprehensiveness or the
9 content validity. We have to know that we've included the
10 proper components of health. We have to look at
11 credibility or face validity. What appears to be sensible
12 and interpretable is there. We have to look at accuracy.
13 Does it reflect the true clinical status of the patient?
14 We have to look at sensitivity to change and also
15 biological sense as a construct validity.

16 So the three key measurements are reliability,
17 validity, and sensitivity to change, and it would be nice
18 to take all the various outcomes that are around and take a
19 look at their reliability, validity, and sensitivity to
20 change. I'm going to go through a little bit of details on
21 these, just to give you a sense of what each of these
22 involves. The terminology that's used in this area is
23 often not used properly, so we might as well get it correct
24 right now.

25 Reliability is the reflection of the amount of

1 error, both random error and systematic, inherent to any
2 measurement. It determines how reproducible the scale is
3 under different conditions.

4 The reliability coefficient expresses the
5 proportion of the true variation that you would see which
6 is due to the subject's variability and not due to this
7 measurement error. Reliability can either be
8 reproducibility or internal consistency.

9 We use reproducibility when we want test/retest
10 reliability to look at intra- and inter-rater
11 reliabilities, which is very important for measure, or
12 internal consistency if we have a scale and we want to see
13 how consistent the items are within the scale. Some of the
14 coefficients we can use for reproducibility are the intra-
15 class correlation coefficient, the Pearson's r , we've seen
16 a few of those this morning, Kendall's Index, kappa
17 coefficient, and Bland and Altman plots.

18 Other considerations. If the test is always
19 done by the same observer or if the test has different
20 observers, then you've got to pay a bit of a price by
21 putting that component in the denominator. So that's
22 important when you're evaluating reliability.

23 Also, observer nested within the subjects. So
24 if several subjects are being evaluated by several
25 observers, you must take that into consideration. You must

1 use the right statistical techniques, in this case ANOVA,
2 to do that.

3 You could have multiple observations on an
4 individual, either because you've got several items on a
5 questionnaire, several observers, a repeated use of an
6 instrument, and again you have to accommodate that in your
7 coefficient.

8 Internal consistency essentially means that are
9 all the items that you're looking at within the scale
10 agreeing with one another, are they going roughly in the
11 same direction, and you would like to see correlations of
12 that nature. Correlation coefficients could be item total,
13 split-half, Kuder-Richardson, Cronbach's alpha. These are
14 all standard ways of looking at internal consistencies.

15 We can improve reliability by reducing the
16 error variance through good training, increase the true
17 value by adding items, provided the items add information
18 and just not replicate information.

19 Validity. The degree of confidence we can
20 place on inferences being made on the scores in the scale.

21 We have something called content validity, so
22 to cover all the domains of interest. When we look at a
23 particular instrument, we want to ensure that patients and
24 physicians are comfortable that the key components are
25 being covered.

1 Then we have criterion validity which basically
2 means we have a gold standard, a criterion which we can
3 compare things to.

4 We also have construct validity. Construct
5 validity is there's no gold standard but we're looking for
6 circumstantial information, if you wish, and we look for
7 situations where the instrument that we're looking at
8 should correlate with other methods and does it, or
9 divergent, whether the instrument we're looking at should
10 not agree with something and it doesn't.

11 So we have criterion validity where you have a
12 gold standard, and we have construct validity where you
13 base everything on circumstantial evidence. These two
14 concepts are constantly misinterpreted.

15 There's other more sophisticated ways of
16 looking at construct validity through factorial analysis
17 and multi-trait/multi-method analysis, and I won't go
18 there.

19 To evaluate validity, we do it with
20 correlations. We do it with receiver operator curves, and
21 we can do it with using 2x2 tables on sensitivities and
22 specificities.

23 Sensitivity to change. So this is the third.
24 We've gone through liability. We've gone through validity.
25 Now sensitivity to change. What is the ability of an

1 instrument to detect small but clinically important
2 differences? That's what we're after. We can use three
3 types. There's many types of measures. We could just
4 simply do a t-test that compares baseline to follow-up to
5 see if things have changed. We can use an effect size
6 which is basically the difference of the mean and the
7 follow-up at baseline to the standard deviation, or we can
8 use an ROC curve. So there are different ways of looking
9 at sensitivity to change.

10 I'm going to take the FIQ just to go through an
11 exercise. It's the one that's been around since 1991 and
12 you can see maybe some of these reliabilities, validities,
13 and responsiveness to change in action. When they
14 developed this instrument and published it, they said they
15 had test/retest reliability because they looked at Pearson
16 r correlations, and on repeated measurements between
17 raters, they got between a .56 and a .95 Pearson
18 relationship.

19 Content validity. They assessed the percent
20 missing data, and this is really a concern because 11
21 percent did not answer the washing by hand, 20 percent did
22 not answer the yard work, and 38 percent did not have jobs
23 or did not work outside the house, and so those questions
24 could not be answered as well.

25 They looked at construct validity by comparing

1 it to the AIMS in different items and scales, such as
2 physical functioning, pain, depression, anxiety, and the
3 values were not too bad. They also did a correlational
4 analysis by looking at specific measures of the AIMS Impact
5 Analog and Syndrome Activity and Tender Points and found
6 for the various items some very, very low correlations and
7 in other cases high, so it was quite a range, and they did
8 a factor analysis which also proved to be not too bad.

9 They looked at responsiveness to perceived
10 clinical outcome. It was done in a paper published in the
11 Journal of Rheumatology in 2000. You can see that as an
12 individual patient perceived, they went from improved to
13 unchanged or worse. The FIQ did go in the right order as
14 they indeed got worse, as the patient perceived they were
15 getting worse. But Fred Wolfe, when he talked about the
16 FHAQ, did note that the FIQ systematically underestimates
17 the functional impairment because it doesn't handle
18 activities not usually performed by the patients filling
19 the form out.

20 6-minute walk in 2000. It was found when they
21 looked at the 6-minute walk in the group who were before
22 and after exercise, that they did find a statistical change
23 in the 6-minute walk. They didn't find that the 6-minute
24 walk was highly correlated with PVO₂, so it wasn't doing a
25 very good job as a valid predictor of cardiorespiratory

1 fitness. They did find, though, that it was highly related
2 to the FIQ. So the 6-minute walk had some nice properties
3 but it didn't really pick up on the cardiovascular,
4 although it was responsive to change.

5 I won't go through this generic versus
6 specific. I'll skip that.

7 Overall response criteria. So now we have a
8 set of outcomes, outcomes that may be reliable, outcomes
9 that may be valid, and outcomes that may be sensitive. And
10 the question now as we're dealing with something that's
11 multidimensional: is there some way that we can bring them
12 together into a response or an improvement criteria? I'm
13 going to give you the five steps. Again, those dots really
14 aren't dots, they're numbers.

15 The first dot is number 1, where you would look
16 at the outcome measures and you would look at all the
17 various outcome measures that are used in the area that the
18 patients, the physicians, and other stakeholders are
19 interested in. You would look at the reliabilities, the
20 sensitivities, and the validity issues. I quoted some
21 papers there, but there are a lot of other papers that have
22 been published over the last 10 to 12 years on some of
23 these measures and some of those properties.

24 You would then conduct a survey of physicians.

25 You would provide them with information on randomly

1 selected patients from clinical trials and the thresholds
2 of what you think would be improvement. So basically you
3 would take for outcome measures of interest, you would take
4 data at the baseline. You'd take data at the end of the
5 study. You'd take percent change provided for each
6 patient. You would survey the clinicians and having them
7 indicate whether they felt the patient was improved on the
8 basis of the profile that you provided them with on the
9 core outcomes that you're interested in, and then the
10 analysis would then focus on the patients characterized by
11 the vast majority as having improved.

12 Once you did that, you would do a statistical
13 analysis of the clinical trial data for selecting
14 definition of improvement, and this is the point where we
15 would like to assemble appropriate placebo-controlled
16 trials with very efficacious. I put "very" in quotes here.

17 It obviously depends on the particular disorder you're
18 looking at, and that also included the measures you're
19 interested in. So the improvement criteria selected that
20 best discriminates the efficacious intervention from the
21 placebo would be further evaluated. You would evaluate
22 them in large comparative data sets and then, finally, you
23 do have to subject them to kind of that face validity
24 evaluation at the end of the day. So again we take all the
25 core measures that are reliable, sensitive, valid, of

1 varying degrees, come up with a core set, evaluate them in
2 the data sets, survey the constituencies.

3 This is an example of one -- and I'm going to
4 go through this very quickly -- that actually Simms and
5 David Felson were involved with in 1991, taking preliminary
6 criteria for response to treatment in fibromyalgia. They
7 did look at a clinical trial where there appeared to be an
8 efficacious difference. They took the treatment to be the
9 proxy measure for response. So everybody in the active
10 treatment was considered to be a responder, everybody in
11 the other was not. They had outcome measures that included
12 physician global, patient global, pain, fatigue, sleep,
13 tender point score. They then used a number of statistical
14 techniques to look at various combinations of outcome
15 variables that they then subjected to receiver operator
16 curve evaluation to find out the optimal sensitivity and
17 specificity. They then applied these to an unreported
18 trial.

19 Now, what they came out with at that time was a
20 criteria that included physician global assessment was less
21 than or equal to 4. You can see the scale being 0 to 10,
22 from well to poor. Patient sleep, less than or equal to 6.
23 Tender point score less than or equal to 14. The most
24 important point that they made in the paper was that as
25 more sensitive and clinically relevant outcomes are

1 developed, you can apply this methodology, which is really
2 a working action of what I described in theory a little
3 earlier, to refine the criteria or to develop more
4 criteria.

5 Minimal clinically important difference. It
6 will be so easy for me to in two sentences tell you what a
7 minimal clinically important difference is and then move on
8 and you'd have no concept any further than that. I'm going
9 to try to put it in a little bit of a context. I'm going
10 to go through this relatively quickly because it's really
11 to the side of the types of issues that we want to deal
12 with.

13 I'm going to give you minimal clinically
14 important differences in terms of what are called studies
15 of responsiveness. This is going to be a classification
16 system on how we can put studies that look at
17 responsiveness into context. I'm then going to tell you
18 about a systematic review that we did looking at the
19 various methods for minimally clinically important
20 differences. This is very key to, obviously, evaluating
21 various pharmacological and non-pharmacological treatments
22 for this disorder.

23 Studies of responsiveness essentially are
24 studies that evaluate the ability of an outcome measure to
25 accurately detect change when change has occurred. Each

1 study defines the change. It can define it according to
2 three key features. Is the change for an individual or is
3 the change within the group? Which data is being compared?

4 Are we interested in data that's within a group, between
5 groups, or looking over time? What kind of change is being
6 quantified, of which one of those changes will be the
7 minimally clinically important difference?

8 So the setting is who's the focus? Group or
9 individuals? Which scores are being contrasted?

10 Differences between groups, changes within groups, or both,
11 meaning that you're going to be looking at different scores
12 between two different groups, or what kind of change? It
13 goes all the way from minimally potentially detectable to
14 detectable beyond error to observed in the population,
15 something that's estimated to have changed as something
16 that is important and estimated to have changed, and that's
17 your minimal clinically important difference.

18 These three features all can be put at right
19 angles to one another and then you could have this type of
20 system. So the setting is the individual or the group,
21 what are you looking at, differences between, within, or
22 both, and then the type of change that you're doing.

23 Now, what's nice about the cube is that we can
24 take a study of responsiveness and we can look in
25 particular at minimal clinically important differences and

1 see where the holes are in the theory, see what's available
2 to us when we wanted to apply it to such a problem that
3 we're dealing with today. So let's take a look at that
4 survey, and we're going to put it inside the cube.

5 So an MCID is considered as the smallest change
6 or difference in an outcome measure that is perceived as
7 beneficial and will lead to a change in the patients'
8 management, assuming an absence of excessive side effects
9 and costs, and that would be the two-line definition I
10 would give you if I wasn't going through this process. We
11 wanted to consider the different ways that people try to
12 derive MCIDs and look at the different methods. We did the
13 literature search. We read the articles, in particular the
14 methods sections, and then we categorized it according to
15 the cube, and this is what we ended up with.

16 On the right-hand side, you can see different
17 types of methods appearing. There will be a little window
18 here that's going to give you a three-step process of how
19 it was done and then it's going to be dropped into these
20 cells and what you're going to find is that most of the
21 methods fall in this area which is basically that they're
22 looking at groups. They're not looking at individuals.
23 They're looking at groups and the changes within those
24 groups to define minimal clinically important differences,
25 whereas we should really try to look within the individual.

1 The methods may be more sensitive. So I'll just click
2 away and you'll see.

3 So the first one is looking at patient
4 perspectives, and it actually falls as changes within but
5 within a group.

6 The next one is patient conversation. Again,
7 it's looking at a group.

8 Clinical perspectives. We have two more, two
9 different ways of looking at it, both of them comparing
10 groups.

11 Clinical perspective again, patient scenario,
12 comparing groups.

13 Patient scenario comparison, two different ways
14 of looking at that but again within groups.

15 Finally, we get one that looks at the
16 individual which is called a prognostic rating scale, a
17 data-driven approach, discerning important improvement,
18 improvement criteria, and then finally achieving treatment
19 goals.

20 Again, these are the ways that you do it.
21 These are the different methods that were there.

22 The bottom line of this whole process is that
23 we do a lot with groups. We do not do enough with
24 individuals, and we need to develop more important measures
25 in that direction. So again, within this area, if we can

1 develop that within some of these measures as opposed to
2 falling back on old technologies.

3 Low disease activity state. This is a
4 relatively interesting concept, boring from trying to
5 control hypertension. If we can keep blood pressure within
6 a range that both the physicians and the patients are happy
7 about, then we consider that we're in kind of a steady
8 state. We're not looking at remission or anything like
9 that. We're just saying that I'm happy where the patient
10 is at, the patient is happy where he or she is at, and we
11 feel that we have everything in control.

12 So we've had workshops on this and to meet many
13 of the challenges that exist in trying to determine what we
14 mean by low disease activity state, we've been
15 concentrating in the area of rheumatoid arthritis, but this
16 is where I'm saying that we should be a little bit more
17 forward thinking and think about this as we're thinking
18 about the responder criteria.

19 So the working definition is that it is a state
20 that is deemed a useful treatment target by both patients
21 and physicians. That's what our working definition of a
22 low disease activity state would be. At this particular
23 workshop, we obtained a large number of research agenda,
24 all the way from looking at some of the core criteria
25 within the core set for rheumatoid arthritis to including

1 fatigue and sleep within that criteria, and then the one I
2 have highlighted is to design and conduct an opinion-based
3 and observation approach for determining a low disease
4 activity state for rheumatoid arthritis. So if you wish,
5 this is going beyond the ACR 20. Then we wanted to finally
6 then design and conduct a survey on how we should present
7 this.

8 So in terms of the design and conduct of an
9 opinion-based system, the steps are as follows. The
10 opinions of the physicians and patients will be collected.

11 Based on these opinions, we'll come up with candidate
12 definitions. They'll be composed and they'll be tested in
13 data sets. The results of this work will be collated and
14 circulated to workshop participants, and at the workshop,
15 we'll sit and we'll argue about it, both in plenary and in
16 small group sessions, and probably come up with a number,
17 hopefully a limited number, of top candidates that can then
18 be validated in the following steps.

19 And Chair, I think that that's the end of the
20 presentation.

21 (Applause.)

22 DR. FIRESTEIN: Are there any questions or
23 comments for Dr. Wells? Yes?

24 DR. TURK: Thank you for the presentation.

25 I wondered if you'd care to comment about

1 norms, which you didn't seem to pay any attention to at
2 all, as a lot of the measures that are available were never
3 developed and standardized in the appropriate populations.

4 Do you have any comment about that?

5 DR. WELLS: Yes. In particular, if you went
6 with something, such as the -- I mean, if a measure has
7 been properly developed, let's say the SF-36, where it's
8 been normed to the particular population, so you can look
9 at the deviation from the norm, I think a lot of the
10 measures that we look at have not been and should be. I
11 agree.

12 DR. FIRESTEIN: Thank you very much.

13 The next section was the open public hearing,
14 but since there were no requests for time, we're then going
15 to move on to Lee Simon who is going to charge us with
16 something.

17 DR. SIMON: First, I have to get my computer to
18 work. So I hope you'll indulge me for one second.

19 (Pause.)

20 DR. SIMON: Well, as it's coming up, I'd like
21 to first thank the committee members for arriving here and
22 bringing this on, as Dr. Witter noted. We are greatly
23 appreciative of you taking your time out of your busy
24 schedule to help inform us at the agency about this
25 incredibly complicated arena.

1 Secondly, I'd like to take the opportunity as
2 the first one at the end of all these invited speakers to
3 say how grateful we are that you all came under sometimes
4 unusual circumstances to give us your opinion about this
5 particularly controversial area.

6 As Dan Clauw noted, I come from a different
7 background than typically seen in the context of the
8 fibromyalgia background. Having been at the bench for 15
9 years, I'm a little driven by evidence and I tried to be
10 able to apply that over the years, and as a clinician,
11 seeing patients for 25 years, always became very frustrated
12 about seeing patients with fibromyalgia.

13 I think that the world has turned, however, and
14 there seems to be a significant amount of science that is
15 the underpinning of an understanding of what's really going
16 on here. So everyone has mentioned the uniqueness of the
17 moment, and it does appear that there's a bunch of things
18 that are all coming together that allow us to finally begin
19 to deal with this and give it the attention that it truly
20 deserves, considering the number of people that have
21 suffered with this disorder for such a long period of time.

22 You'll notice that I actually have changed my
23 picture on my desktop. This is now a storm, and I actually
24 kind of feel like I'm in the midst of a storm in my
25 continuing career at the FDA. It's really quite

1 appropriate and also almost very appropriate for this
2 particular discussion.

3 So what are the challenges in the development
4 of the therapies for fibromyalgia? You've spent a
5 significant amount of time actually looking at that
6 particular question, and I'd like to point out a couple
7 things historically, in addition to what you've already
8 heard. In fact, however far we've come in understanding
9 and divining the description of disease states and
10 increasing the understanding of the biology of pain, the
11 drugs that are presently available for chronic pain are
12 basically the same drugs we had a hundred years ago. I
13 point out that opioids, non-steroidal anti-inflammatory
14 drugs and the congeners, sedatives, muscle relaxants, are
15 those things that are still being used, and clearly that is
16 just not adequate for our present understanding.

17 You've heard already that pain clearly is real,
18 but it is also subjective. I've mentioned before in
19 circumstances like this that in fact I need to be put to
20 sleep to have my teeth cleaned, whereas my wife gets her
21 teeth worked on with no novocaine. She claims that she has
22 no pain. I walk into the dentist's office, my heart is
23 racing, I'm sweating, and they haven't even touched me yet.

24 So everyone learns the meaning of pain through experiences
25 usually related to injuries in early life, and some

1 unpleasant experience or sensation becomes an emotional
2 experience a la my childhood experiences with a dentist.

3 Pain is a significant stress physically and
4 emotionally and you've heard much about what stress might
5 do to certain genetic hosts that might lead to an
6 establishment of a disease, such as fibromyalgia. So
7 looking at ways to define chronic pain, and we've heard
8 some of this but not all of it, we turned to the Merck
9 Manual in 2002, the Centennial 17th Edition. In this
10 edition, chronic pain is defined broadly and arbitrarily as
11 pain which persists for greater than one month beyond any
12 acute injury, and in this context of fibromyalgia, we may
13 need to think about the acute injury as a stressful event.

14 Perhaps it was going to the Gulf War in 1991, perhaps it
15 is learning that your Medcat scores are not as good as
16 you'd like.

17 Persistent and recurring pain for at least
18 three months and pain expected to continue or progress may
19 be associated or not associated with ongoing tissue injury.

20 It has no adaptive role. It doesn't help one to survive.

21 You just suffer with it.

22 And vegetative signs and depression may follow,
23 and we've heard some of those issues, and in fact, Art
24 Lipman has gone along and suggested in this construct that
25 I'm going to show you that the psychosocial component must

1 be dealt with before depression becomes part of the
2 clinical picture. Chronic pain should be recognized as a
3 multifactorial disease state, requiring intervention at
4 many levels, and he pictures it like this.

5 You heard from multiple speakers this morning
6 that once it's happening and a patient is seen with this
7 particular scenario in a tertiary care setting, it almost
8 may be too late, that basically the construct that they
9 presently are dealing with is just untreatable in the
10 context of even alleviating their discomfort. So finding
11 these patients earlier on, perhaps in a primary care arena,
12 may allow us to obviate the eventual onset of some of these
13 things as drawn here, where here is the pathologic process
14 interacting with the physical factors, then going up the
15 scaler over time, leading to psychological events, anxiety,
16 depression, hostility, loneliness, thus isolation and those
17 other social factors that play a role. Clearly, as you can
18 see in this reference, this is for cancer nursing, but
19 nonetheless can be easily applied to this particular
20 scenario.

21 In addition, we at the agency have been
22 grappling and have had some significant energetic debates
23 with other divisions within the agency about how to
24 describe chronic pain. We can think of lots of different
25 ways and one of the really important ways is a la the 1992

1 Pain Guidance document which, for those of you that are
2 interested, we've actually applied for it being removed
3 from the docket so that people can't use this any longer.

4 What we are looking at here is the concept of
5 mild, moderate, and severe pain. We all use this kind of
6 jargon when we talk. We all kind of apply this in both
7 talking to our patients and trying to understand ourselves
8 suffering a particular injury and what it would mean. The
9 problem, of course, is it's extraordinarily subjective.
10 It's descriptive but does not provide rigor. Perhaps these
11 should be used to modify the concept of chronic pain
12 indication to allow patients to understand, but I'd like to
13 challenge the committee to help us understand how you
14 measure what is mild or moderate to severe. It's the bias
15 of us as the agency to determine what might be that
16 particular definition. It's the bias of the investigators.
17 It's the bias of the sponsors that are developing the
18 therapeutics, and of course, most importantly left off of
19 here is the bias of the patient. How in the world can we
20 determine what any one person thinks is moderate or severe
21 or mild? Perhaps it's partly related to how they function,
22 and of course function has already been overwhelming
23 trashed repeatedly by many of the speakers as being
24 something that's particularly applicable to understand this
25 particular scenario.

1 So in thinking about fibromyalgia, we've heard
2 a lot about the symptoms associated with fibromyalgia.
3 We've thought about and heard about the fact that there are
4 components of the disease, but in fact is fibromyalgia a
5 painful syndrome with pain as we've heard from even the
6 patient as the critical nature of the measure that should
7 be looked at to determine outcome, but in fact is the
8 disease a neuroendocrine disorder and pain just the primary
9 or most important manifestation, or is it a painful
10 condition with a neuroendocrine disorder associated with
11 it? Is wind-up an epiphenomenon or is it causal, and if
12 it's causal, is it important to measure? And if it's
13 important to measure, can we use it as an indication for an
14 outcome that you alter wind-up? Will that then change the
15 fundamental chronic process that then would lead to not
16 having chronic pain?

17 So that would take us to a concept where we ask
18 this question. Internally, we've had the debate whether I
19 should even ask this question of the committee. Is this
20 improvement in the pain of fibromyalgia or is this
21 improvement in fibromyalgia? It has enormous implications
22 because one is dealing with the syndrome of fibromyalgia
23 and perhaps its improvement, the other is dealing with just
24 a painful state, one component of that. You may be able to
25 measure a change, but is that actually improvement in the

1 whole scenario?

2 So many of you have seen these kinds of scalers
3 before, and we have typically in the agency, at least in
4 this division, thought about the concepts of what's
5 important for pain domains, not to exclude all of these,
6 but to actually think that these are the critical ones
7 where you measure pain relief, pain-related function, and
8 patient global. You heard this from Dr. Witter. You heard
9 this from others this morning. Clearly, all these other
10 things are very important, but what are the primary ways
11 that one would determine a primary outcome?

12 So in looking at that context, we have seen
13 these lists. Pain, patient global, health-related quality
14 of life, and physical function measures, we believe are
15 critically important in thinking about this as a scenario,
16 as a syndrome, not just the pain of. However, obviously
17 it's also important to know that perhaps these get better
18 or at least do not worsen in the context of interventions.

19 How to measure of these becomes important, and then
20 listening to three of the last speakers, I would even go so
21 far as to wonder whether or not we should be thinking about
22 perhaps just one of these measures at any one time could be
23 enough, as long as everything else didn't worsen, as we
24 begin to learn more and more and more about critical
25 measures associated with this particular scenario.

1 And then, obviously we don't want to ignore
2 what Dr. Witter reminded us about, which is the mechanistic
3 claim. Having heard some of the issues that Dr. Crofford
4 brought up, there are so many different ways to be able to
5 think about this in the context of the science that now
6 could be measured, perhaps now we can begin to apply the
7 mechanistic claim to some subsets of patients with
8 fibromyalgia. So measuring an alteration in NMDA activity,
9 which then might prevent wind-up, if that construct is
10 true, may be important. Maybe it's important in some
11 patients to reduce prostaglandin levels that you can
12 actually measure either in the CSF or some other
13 methodology that would be applicable, perhaps an imaging
14 methodology that would be appropriate, other measurable
15 biologic changes in chronic pain states that have not yet
16 even been defined.

17 At one of the meetings that we participated in,
18 Cliff Wolf presented extraordinary evidence in the animal
19 about an acute and chronic pain scenario, about up
20 regulation of 700 and something genes in the spinal cord
21 and down regulation of 545 genes. Clearly, the animal is
22 expending significant resources in these changes and that's
23 probably important. What those changes are and what they
24 represent elude us still, but that doesn't mean we
25 shouldn't be looking at them.

1 And then, clearly we have another recurrent
2 theme that comes up to us, which is, well, if you're
3 actually going to be able to measure change in these
4 chronic scenarios. Patients are not typically coming to
5 these to get therapy without having already been on
6 something, and thus could you measure a change in what they
7 have been on, suggesting that in fact that's an
8 improvement. In rheumatology, that has been traditionally
9 looked at as glucocorticoid use, meaning you decrease the
10 use of glucocorticoids, thus you're making improvement,
11 perhaps if you decrease the use of non-steroidals or
12 decrease the use of opioids in a measurable clinically
13 important way, and that might be an important measure for a
14 primary outcome.

15 Dr. Witter showed aspects of this slide, the
16 ideal characteristics of a pain metric, and I want to
17 remind you as we begin to grapple with this that we need to
18 think about it in the context that it's easy and
19 understandable by patients and clinicians. We've been
20 struck by the fact that most clinicians don't read the
21 label which is embarrassing since what the FDA mostly does
22 is define itself by what's in the label and that's
23 unfortunate. There's a lot of interesting material in the
24 label.

25 And clearly whatever we use as an outcome needs

1 to be able to be explicable within that construct. It
2 needs to be applicable across studies. Therefore, many
3 studies are done to help establish what you're going to do
4 for pivotal trials and under those circumstances, we need
5 to be able to use these outcomes to facilitate full
6 development, and as Dr. Clauw suggested, perhaps imaging of
7 the brain is not something that's going to be applicable
8 for a full drug development program as opposed to a proof
9 of concept.

10 It defines a clinically meaningful result.
11 It's valid, and I'm using the term "valid" in the context
12 of what Dr. Wells suggested. And it measures response,
13 again as per Dr. Wells, in a variety of pain conditions and
14 therapies, and it's achievable with current meds. I would
15 like to suggest, however, that that might be a wish. It
16 may well be that the current meds that we're talking about
17 are just around the corner and we need to be flexible to
18 understand how to measure these particular outcomes. And
19 it should be tiered to define important differences in
20 drugs.

21 So we heard about this issue about choosing
22 measurements of response and how it was done, and I'd just
23 like to point out that in our division, there are two
24 different models in the context of that, the OA model, the
25 RA model. The OA model, which is a model of chronic pain

1 which is used and applied by our division, is mostly a
2 local disease and presently requires these three co-primary
3 outcomes for approval, the VAS scale for pain, WOMAC for
4 function, and a patient global, and all three must win;
5 whereas, the RA model, which is a systemic disease which
6 may have local symptoms, is actually measured through a
7 responder index and you actually saw the outcomes of this
8 responder index.

9 I'd like to point out one particularly
10 important aspect of this responder index is that in the
11 first cut point here is tender and swollen joint counts.
12 I'd like to point out that this was designed with lots of
13 clinicians in mind, and we have learned something. What we
14 have learned is that physicians like to believe they have
15 an important impact on the measurement of outcome. Thus,
16 the ACR 20 is actually somewhat sullied by this particular
17 measure because the cut requires the physician input and
18 then in fact these are the ones that are subjected to this
19 particular outcome. I'd like to think that we've moved
20 along here and recognize that patient-reported outcomes are
21 equally as important as are the physician observations and
22 thus maybe we should be thinking, if we're thinking about a
23 responder index, that we don't distinguish the importance
24 between the two and not think of a cut point in one versus
25 another.

1 So also thinking about inclusion and exclusion
2 criteria, in thinking about the homogeneity versus
3 heterogeneity of the disease, one has to ask the question:
4 so, if we're going to try to get real good outcome measures
5 and we're going to apply them in a patient population that
6 seems similar, should we think about the fact early on or
7 later that patients who have a prominent component of
8 depression should be excluded or should be included? And
9 then, if they are included in the trial, how do we handle
10 the antidepressants that are used that actually might have
11 an impact on the outcome of fibromyalgia per se, and how do
12 we control for that? Do we tier it? Do we stratify? How
13 do we handle that? Should we include -- and Dr. Crofford
14 and others have actually mentioned this this morning -- the
15 patients that have secondary fibromyalgia, whatever that
16 might mean? I don't mean to actually codify a scenario of
17 primary and second, but we all know there are patients who
18 have a disease as a stressor leading to symptoms of
19 fibromyalgia, and thus do we treat rheumatoid arthritis
20 patients who have fibromyalgia and then treat the
21 rheumatoid arthritis and find that their fibromyalgia gets
22 better, thus the treatments for rheumatoid arthritis should
23 be approved for fibromyalgia? I don't think that that's
24 really appropriate. So in learning this particular
25 scenario and building the field, we may have to think about

1 excluding these patients.

2 How long should a trial be? It's actually
3 quite interesting. I've actually just come back from
4 Europe where I spent some time at the European League of
5 Associations of Rheumatology Annual Meeting, and I actually
6 walked around asking questions helter-skelter, kind of like
7 how long should a fibromyalgia trial be, and I could get no
8 consistent answers. Europe has a different opinion than
9 the States.

10 In general, we would like to think of this
11 longer than shorter because this is a scenario, this is a
12 disease that's been around in the patient for a long time.
13 We'd like to be able to see a substantial response that
14 actually is maintained for a period of time, to know that
15 something is an important modifier of that particular
16 scenario. So we're thinking about at least three months,
17 if not six months, and then guaranteeing at least a year of
18 exposure for safety and recognizing that most published
19 trials to date have been much shorter.

20 Should a patient have decreased symptoms and
21 for how long and without therapies? So one could even
22 imagine a scenario that if you have improvement over three
23 months, can you think about low disease activity states a
24 la Dr. Wells or a cure by stopping therapy? Should we
25 require that a patient needs to have no therapy for a

1 period of time to be able to actually be improved with this
2 particular scenario?

3 And then, the other question, of course, which
4 comes up all the time is: what is the importance of the
5 tender points? Do we use it as a measure of outcome?
6 Should we use it as a criteria measure for inclusion, based
7 on how much disease activity they have, and thus do we
8 create a disease activity score as well as an outcome
9 score?

10 And a la Dr. Clauw, is the FIQ an adequate
11 measure of function or should, in fact, other outcome
12 measures be developed, or should we begin to look at what's
13 presently available, either in the FHAQ, the Fibromyalgia
14 HAQ, or in the SF-36 and begin to apply that?

15 And then, fundamentally, the two questions
16 really are represented by is it improvement in the pain of
17 fibromyalgia or improvement in the disease? We'd like to
18 think it's the latter and not just the pain of
19 fibromyalgia.

20 And what would a cure require? I will not hold
21 you to that question, but in fact it might be something you
22 want to keep in the back of your mind.

23 Then the other question would be: in the
24 context of doing and designing a clinical trial for
25 outcome, what would be allowed concomitantly? Would

1 physical therapy be allowed? Would structured exercise be
2 allowed? Would cognitive and behavioral therapies be
3 allowed? You've already seen evidence that there's
4 actually very good utility of cognitive and behavioral
5 therapy. We would have to stratify thus in that kind of
6 scenario, seriously increasing the number of patients in a
7 clinical trial.

8 Would psychotherapy be allowed in the trial?
9 Would ongoing therapy be allowed for patients who are
10 already on therapy as they recruit? These issues then
11 really do change whether or not you can recruit. How could
12 you recruit people if you don't allow some of these issues?
13 That's particularly true for the final one which is
14 medical therapy for depression.

15 So in coming to conclusion, I'd like to point
16 out that in fact what is the perfect drug and, a la Dr.
17 Witter, the perfect drug is totally safe and totally
18 effective. Unfortunately, none exist. Not one drug is
19 totally effective and not one drug is totally safe. The
20 problem with asking the question of what is safe, what is
21 the benefit-to-risk ratio? And even more importantly, who
22 should decide?

23 We unfortunately are living in a society that
24 sometimes doesn't like to grapple with the important
25 questions related to being diseased and in fact also

1 doesn't really understand and recognize all the time what
2 it means to have a chronic scenario that alters your life.

3 We have to make some decisions societally about what we
4 will accept as therapeutics, that we'll accept the costs of
5 those therapeutics, and part of the cost of those
6 therapeutics is not just money but safety. I don't have
7 any good answers to that.

8 But I do believe I feel like this slide, which
9 I've shown before in this scenario, like this individual
10 going to the diner and deciding, based on how much E.coli
11 might be infecting my hamburger, that in fact I have to
12 make the same kinds of decisions when I go to the counter
13 and make decisions about how I would apply drugs to myself
14 or to my patients, and I have to weigh the benefit-to-risk
15 ratio in each circumstance and kind of apply that in the
16 decision making process.

17 It's critical for us in our decision making to
18 allow our patients to understand that we actually do this
19 process on a regular basis and include them in that
20 decision making so that they can actually feel part of that
21 process in general.

22 So I think that what we're actually asking from
23 you is to think about these issues. The questions we're
24 going to be showing you are actually long. They have
25 multiple components to them, but your input will be

1 critical for us to be able to make the next steps in
2 thinking about fibromyalgia as a model of chronic pain and
3 thus is a model that we can use in that scenario and/or is
4 it also a disease state where we can determine a way to
5 identify an outcome for the disease or syndrome of
6 fibromyalgia.

7 So thank you very much.

8 (Applause.)

9 DR. FIRESTEIN: With that, we'll close the
10 morning session, and we will have a sumptuous lunch, I'm
11 sure. We will start again at 1:00. So that gives
12 everybody 42 minutes for lunch. We'll see you at 1:00.

13 (Whereupon, at 12:18 p.m., the committee was
14 recessed, to reconvene at 1:00 p.m., this same day.)

15

16

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 (1:05 p.m.)

3 DR. FIRESTEIN: So why don't we go ahead and
4 get started?

5 We have a list of seven questions that will
6 guide the discussion, and I think the easiest way to do
7 this is to just begin by reading the first question and
8 that will get us into the discussion. If you don't have
9 the questions, by the way, they're the back page of where
10 the agenda is. It should be in your stack of papers over
11 there.

12 The first question is fibromyalgia involves a
13 constellation of symptoms. The ACR 1990 diagnostic
14 criterion is based solely on the number of tender points.
15 This definition may exclude patients who clearly have
16 widespread pain, non-restorative sleep, fatigue, et cetera,
17 but have 10 or fewer tender points.

18 Should an alternative definition be developed
19 for fibromyalgia clinical trials with stratification by
20 number of tender points?

21 So that's really two rather complicated
22 questions. Thank you, Dr. Simon.

23 So perhaps somebody from the committee wants to
24 begin. Yes, Dr. Williams?

25 DR. WILLIAMS: I'm not sure that they want to

1 start by trying to redefine it because those criteria were
2 validated, and if you decide that you want to have a new
3 set of criteria, you're going to have to validate them
4 before you can use them. So even though it will eliminate
5 some patients, we have the same problem with rheumatoid
6 arthritis and lupus, that there are patients who have the
7 disease who don't meet the criteria, but those who meet the
8 criteria, everyone accepts. So I would not change the
9 criteria.

10 DR. FIRESTEIN: Of course, one of the problems
11 is that we now know, since the criteria were developed,
12 that those specific trigger points aren't necessarily
13 specific for fibromyalgia. As was pointed out, they define
14 diffuse pain.

15 DR. WILLIAMS: They were not specific.
16 However, when they did validate the criteria, they looked
17 at a lot of different tender points and these were the most
18 discriminating but they're not specific.

19 DR. FIRESTEIN: So is there general agreement
20 that we should or should not redefine the disease at this
21 point? Yes, Dr. Turk and Dr. Staud?

22 DR. STAUD: The question is with overlap. I
23 think this is an important question. So when does someone
24 become a patient with fibromyalgia and irritable bowel
25 syndrome and migraine headaches and so on?

1 DR. FIRESTEIN: One of the questions is if we
2 define solely by pain, then we have this overlap of the
3 non-patient fibromyalgia patients or group of individuals
4 versus the patients which were described earlier, that
5 there are those individuals that have tender points and
6 have pain who don't seek medical help and that would be
7 included in a clinical trial and might be a potentially
8 different population of patients.

9 Dr. Turk, did you have a comment?

10 DR. TURK: Just a comment. About eight months
11 ago, there was an NIH meeting in which they brought
12 together all the people who had NIH grants on fibromyalgia
13 and part of this meeting was to identify what should be the
14 directions for the future and for research, and the number
15 one thing that came up at this meeting was we needed to
16 have a new way of diagnosing or classifying people with
17 fibromyalgia, that it's really not a very acceptable way
18 that we're using right now. So it doesn't answer your
19 question as far as right now what we should do. Larry, I
20 think you were there. There were maybe 10 or 15 people at
21 this meeting, all of whom agreed that the classification
22 system is really inadequate.

23 DR. BRADLEY: Well, I guess maybe I'm not one
24 of the 10 or 15. And granted, I think there's sort of a
25 problem with error variance when you make a decision about

1 is 10 tender points and not 11 tender points error variance
2 or is that something that's highly meaningful? But I think
3 if we radically alter the definition, then essentially
4 anything else that we produce in the future will be based
5 on different criteria. It'll be an empirical question as
6 to whether or not we're really talking about the same
7 phenomenon.

8 I think what's really interesting is that
9 there's a paper that came out in Pain several months ago,
10 and I've forgotten, I believe the first author's last name
11 was Corli, I believe. But in this paper, they compared
12 responses to about five different pain sensitivity tasks in
13 groups of patients ranging from patients with myofascial
14 pain, regional myofascial pain, to fibromyalgia. There
15 were about five groups of patients all in all. The most
16 important finding was that the people who met the current
17 criteria for fibromyalgia were sensitive to all five sets
18 of sensitivity tasks using different stimuli.

19 So I think there is something about the use of
20 the 18 trigger points that really distinguishes the
21 phenomenon that we call fibromyalgia from other types of
22 disorders that are characterized by chronic pain and
23 feeling badly and so on. So I'm a little bit reluctant to
24 advocate that we radically revise the criteria at this
25 point.

1 DR. FIRESTEIN: Jack?

2 DR. CUSH: I, too, would argue strongly in
3 favor of using the ACR criteria because it's the one thing
4 that we do have that's rock solid, well tested, and it
5 should be the primary and only indication to get into the
6 study as fibromyalgia, whether you want other provisos on
7 top, that's okay, but these criteria have nothing to do
8 with what we're going to discuss henceforth, for which we
9 have less rigorous guidelines and validations. So that's
10 what I think is going to be the hard part of this
11 discussion.

12 DR. FIRESTEIN: But maybe there should be two
13 goals. One is in the short term use the current definition
14 and in the long term try to develop a broader definition,
15 in part because most of the things that we're planning on
16 measuring as outcomes go beyond just simply counting
17 trigger points or tender points.

18 DR. WILLIAMS: However, if you look at the
19 frequency of tender points, that exceeds the frequency of
20 inflammatory bowel disease or even chronic headaches. So
21 if you start adding too many things, you're also going to
22 start limiting your population.

23 DR. FIRESTEIN: Dr. Simon?

24 DR. SIMON: May I ask a modifying question? If
25 tender points then are going to succeed and survive, do we

1 then convert it into a dolorimetric measure which is
2 quantifiable rather than a finger measure which is
3 dependent upon who does it and how it's done? If we
4 believe that this is an important measure, should we be
5 developing a better quantifiable way of approaching it?

6 DR. STAUD: This is one point that has been
7 tried to be made in lots of different investigations and it
8 has been shown to be very, very difficult. First of all,
9 tender points are overlying very different tissues. Most
10 of them are tendon insertion points but some of them are
11 muscles. In most populations to identify pain threshold is
12 a very difficult task. So most of these tests have failed.

13 So the number of tender points seems to be the most solid
14 measure.

15 DR. FIRESTEIN: But isn't that how the criteria
16 that were developed, though?

17 DR. WILLIAMS: I think dolorimetry came in
18 later. I think they just did the tender points initially.

19 DR. FIRESTEIN: But how many people have had
20 the experience of going in to see a patient that the
21 resident has seen and has said that there are no tender
22 points, and then when we find the magic spots or push with
23 a little bit more vigor, then it becomes quite obvious? So
24 it's clearly operator-dependent. Is there not a better way
25 of standardizing this?

1 I guess we'll start over here. Yes, Dr. Turk?

2 DR. TURK: There have been several attempts to
3 develop procedures either dolorimetry or by patient
4 reports, and there are a couple standardized approaches
5 that have actually been published with standardized
6 training tapes of how to actually perform the exam. It's
7 called the Manual Tender Point Survey. I think Okifuji,
8 Terry Starz, David Sinclair, and myself were involved in
9 publishing some of those, and we showed they can be very
10 reliable by both physical therapists as well as physicians
11 performing a standardized protocol.

12 We also in that trial had patients not only say
13 yes or no, it hurt, but to rate how severe the pain was and
14 showed that the distribution of scores were much better if
15 you use a quantitative score than an absolute number which
16 was basically a normal distribution. If you use the
17 absolute number of tender points, it was a very skewed
18 distribution. So I think there is merit to consider
19 whether there are some ways, whether it's dolorimetry or
20 whether it's by patient ratings, that we can get much more
21 sensitive measure than just the absolute number of tender
22 points.

23 DR. FIRESTEIN: Dr. Katz and then Dr. Cush.

24 DR. KATZ: I think the other issue, though, is
25 whether that might be more appropriate for phase II rather

1 than phase III studies. My own experience of trying to
2 standardize dolorimetry in a multicenter study is that it's
3 very difficult, very time-consuming. You can never train
4 enough and there are always reliability problems.

5 However, if the distributions are better, there
6 might be some use in phase II proof of concept trials, if
7 there's an increase in sensitivity or responsiveness to be
8 gained from all that additional effort, but in phase III, I
9 would think that again you'd probably want something more
10 generalizable to the doctor out there anyway who won't be
11 doing dolorimetry. So I would be opposed myself to
12 requiring it in those studies.

13 DR. FIRESTEIN: Could you just clarify? Do you
14 mean for entry criteria or for following response to
15 therapy or both?

16 DR. KATZ: Either one.

17 DR. FIRESTEIN: Jack?

18 DR. CUSH: I agree in that this has to be a
19 tool which has parallels with real-life practice and
20 dolorimetry would never be done in real-life practice, and
21 Gary, when you say that we went in and found these trigger
22 points, it really isn't because we pressed harder, it's
23 because we knew where to go more often than not. It wasn't
24 because we jumped on the patient, exerted 12 pounds per
25 square inch, and I think it was just a simple blanch of the

1 finger pad. I think that it has to be a clinical skill.

2 If this is clinical skill, and it's to be part
3 of the biometrics of clinical trials, then the clinical
4 trial design has to account for that in some way with
5 appropriate training and instruction at the outset of those
6 who will be the assessors, and this is what we've done for
7 RA trials. Especially in situations where the person doing
8 the assessments may not be the investigator and may not be
9 a rheumatologist, trying to standardize your assessors in
10 some way through training, I think, is the best way to get
11 around this without having to be too mechanistic about it.

12 DR. FIRESTEIN: Although we heard earlier that
13 the location of the pain was not necessarily specific. So
14 that, that would belie what you had just commented on.

15 DR. CUSH: But getting back to Jim's point, it
16 is discriminatory, and it is part of the criteria. So
17 that's why we're talking about these tender points as
18 opposed to pain here, there, wherever. We know they hurt
19 all over. We're only going to count these 18 spots.

20 DR. STAUD: One very important point, in order
21 to decide if you want to do tender point counts or tender
22 point scores, is the tender points per se or tender point
23 scores do not really add anything to the overall
24 examination of these patients because they mostly highly
25 correlate with distress and not with measures that we're

1 actually trying to look at, like for example pain. So
2 that's why in most trials, people have gone away from
3 tender point scores. They just do tender point counts.

4 DR. FIRESTEIN: Do you mean gone away from
5 tender points as an outcome or again as an entry criteria?

6 Because most people do require it for entry criteria but
7 you don't necessarily need to count changes in number of
8 tender points as an outcome.

9 DR. STAUD: Actually, I was referring to using
10 the tender point scores.

11 DR. BRADLEY: Just to beat the horse one more
12 time, the dolorimeter is also operator-specific, and in our
13 lab at least, it takes anywhere from three to six months to
14 train a very bright graduate student to use the dolorimeter
15 reliably with our master doloritress. So it's very
16 difficult to use it for outcomes.

17 DR. KATZ: I'd like to raise a related point.
18 We've been talking about which criteria to use for entry
19 into the trial and we've been talking about the ACR
20 criteria, but a separate issue is whether we should
21 recommend that investigators further characterize their
22 population in some way so we can understand exactly what
23 type of fibromyalgia population they've studied. We've
24 heard already today that different populations with
25 fibromyalgia can really be on very wide range of disease

1 burden.

2 Should we require that we characterize the
3 population in terms of what proportion have irritable bowel
4 syndrome, have migraine, have some of these other features?
5 Because that might make different trials comparable or not
6 comparable. Should we require that there be some
7 assessment of their severity of depression or mood
8 disturbance at baseline, so that we can know whether we're
9 comparing apples with apples when we look at different
10 studies?

11 DR. FIRESTEIN: Jack?

12 DR. CUSH: To answer Nate's point, I would
13 suggest that along with this entry, belief in this entry
14 criteria, I think we should make strong statements about
15 exclusions to try to again unify the population. I think
16 that I wouldn't discount symptoms that may go along with
17 the disease, but I would try to eliminate confounders of
18 the disease. So whether that be uncontrolled psychiatric
19 illness, for instance, patients who have over-reliance on
20 narcotics. There are many issues that we may want to
21 exclude at entry to try to unify the population, and I
22 think that that's important and maybe even drugs might be a
23 key exclusion to being in the study.

24 DR. FIRESTEIN: Lee?

25 DR. SIMON: Well, it's all very interesting

1 that you've now pointed these out, both Nate and Jack. So
2 of those things that you would leave in, would you stratify
3 for them, recognizing what that would mean from a numbers
4 point of view and the implications of that? Obviously, to
5 allow you to have a larger population, not a smaller
6 population.

7 DR. KATZ: My own thought would be not to
8 stratify. My own view of stratification is when you have
9 robust knowledge that something is a clear-cut prognostic
10 variable and you have some sense for in what way it might
11 be prognostic, then it makes sense, but with these things,
12 I think we have a general sense that people that are sicker
13 will probably not do as well, but right now, it's just
14 observational, I think.

15 DR. FIRESTEIN: Dr. Williams?

16 DR. WILLIAMS: I would agree. I think that if
17 you start stratifying for these other various variables,
18 that you're going to have unmanageable numbers required.
19 At least in my population, pain is the most prominent
20 feature, and if you're going to evaluate for pain, that's a
21 whole different set of variables than if you're evaluating
22 for irritable bowel.

23 MS. MATAALLANA: Also, we hear from the patients
24 quite often that they're upset that they are not able to
25 participate in clinical trials because they're on certain

1 medications and things, and because of that, there's the
2 fear that there are groups of people that maybe have more
3 severe symptoms that are not being included in the clinical
4 trials.

5 DR. CUSH: You might want to stratify for
6 medicines, so people who are on tricyclics or SSRIs, that
7 may be important because they may have some pain modifiers,
8 but I think you have to decide whether you're going to
9 allow pain modifiers. Should people who are on background
10 amitriptyline or terazadone be allowed in a trial? That's
11 an important factor, and I think that other clinical
12 symptoms which are basically manifestations of disease and
13 more severe disease will have more of those IBD or numbness
14 or headache or back pain or TMJ, whatnot are not as
15 important.

16 DR. FIRESTEIN: That question in terms of
17 concomitant medicines is going to come up with one of the
18 later questions. We'll probably discuss that because
19 that's one of the major issues in terms of designing these
20 studies.

21 But in terms of stratifying for number of
22 tender points, I think, is there general agreement that
23 that's not going to be particularly useful?

24 DR. WILLIAMS: I understood Lee's question not
25 only stratifying by number of tender points but stratifying

1 by other associated conditions.

2 DR. FIRESTEIN: Right, although the actual
3 question as originally stated was by tender points, and
4 then the second question is whether or not one looks at
5 different subpopulations as just pain or pain with
6 concomitant syndromes, like cognitive impairment, et
7 cetera.

8 Lee, did you have another comment or question?

9 DR. SIMON: No.

10 DR. FIRESTEIN: So.

11 DR. HOFFMAN: A question, Gary?

12 DR. FIRESTEIN: Yes.

13 DR. HOFFMAN: Not being someone who has studied
14 fibromyalgia in a pharma way, a question for some of the
15 panelists who have would be related to the specificity of
16 the current ACR criteria.

17 What hasn't come up today is the patient who
18 perhaps comes in with a dozen trigger points but perhaps
19 not any of the specified 18 and these are non-articular and
20 do not follow a pattern of peripheral inflammatory disease.

21 What kind of specificity is lost if such people are
22 included, if they have other characteristics that we've
23 listed here, non-restorative sleep, fatigue, headaches?
24 Are we losing from some of these studies a significant
25 number of people who should be included?

1 DR. FIRESTEIN: Are there any comments?

2 DR. STAUD: Yes. I think really one of the
3 hallmarks of fibromyalgia is widespread pain and widespread
4 tenderness. So I think the distribution and number of
5 tender points or the number of tender points really
6 expresses the widespread distributions is extremely
7 important. So we couldn't really cut back and say we don't
8 care where tender points are measured or tender areas. It
9 has to be in a widespread distribution as originally
10 defined by the ACR criteria. It should be.

11 DR. CUSH: But I think adhering to the ACR
12 criteria which are not dependent upon fatigue and cognitive
13 impairment but instead are dependent upon widespread pain
14 and its definition, if you meet that, then I think the
15 stringency is not that different than what we have for RA,
16 that you are going to get patients with more severe
17 disease, but then again there may be patients with enough
18 severity or enough pain that it's also modifiable by some
19 intervention.

20 So while we're going to miss a lot of people in
21 the real world -- they're poorly characterized but
22 nonetheless are going to get treated in the real world -- I
23 still think that sticking to more rigid criteria allow you
24 to work with the data in a way that's going to either show
25 the benefit or non-benefit of an intervention.

1 DR. FIRESTEIN: Well, the group has spoken.

2 DR. WITTER: Could I just ask maybe for a
3 little bit more discussion, and it might be useful then for
4 the other questions? If we are to evolve in terms of an
5 approved outcome or inclusion criteria or definition for
6 fibromyalgia, suggestions on how that would be done in
7 trials that come to us? This might be a safe place to
8 begin that discussion.

9 DR. CUSH: Could you rephrase that?

10 DR. WITTER: Well, it's nice to say that while
11 we should come up and develop this, that, or the other
12 thing, but I think in this area in particular, as we're
13 moving forward, we don't have the luxury of the experiences
14 that we had in RA or OA and that we may have to do more or
15 less kind of real-time validation of new whatever it is.

16 Could you begin to discuss that maybe now? Is
17 this the place? Would you like to entertain that? On how
18 we on this side of the fence could encourage that kind of a
19 process and not compromise what it is that we see?

20 DR. FIRESTEIN: Jack?

21 DR. CUSH: I think you have to go with our
22 primary outcome variable, and with RA and other diseases,
23 we have composite measures, and I think that this is a
24 syndrome that has many facets to it, to stick to only pain
25 as a single outcome variable by whatever measure would be a

1 major mistake. I think that we should invoke pain as a
2 primary outcome that must be achieved but others as well,
3 and so whether that is sleep, function, fatigue, I wouldn't
4 go much beyond that. I wouldn't want to start listing
5 headache and numbness and TMJ and IBS and all the other
6 things that go along with it, but I would try to choose
7 those features of the disease which are major -- they may
8 be inter-related amongst each other. Pain and fatigue go
9 together. Sleep and pain go together. Nonetheless, I
10 think that they may also have their independent
11 contributors to the disease.

12 So an intervention or set of interventions that
13 could improve more than one domain is what I think we
14 should be going after.

15 DR. FIRESTEIN: Dr. Katz?

16 DR. KATZ: Just a clarification, Jim. I heard
17 you ask about developing improved diagnostic criteria, but
18 I think Jack's point was addressing mainly developing
19 outcome measures. So in terms of your question about entry
20 criteria, I think we have to ask ourselves whether it's
21 appropriate for us to require that sponsors of studies
22 develop new diagnostic criteria for fibromyalgia.

23 As Dennis has already said, there are already
24 efforts going on in that regard or that hopefully will go
25 on at the NIH level, and obviously whatever we develop has

1 to be responsive to improve diagnostic criteria that
2 develop. But as far as entry criteria go, I think we need
3 to decide whether it's our role to require somebody to
4 develop a new entry criteria.

5 Outcome measures, I totally agree with what
6 Jack is saying. I think it would be more appropriate there
7 to encourage sponsors of research to develop appropriate
8 outcome measures for the medications that they're trying to
9 get approved, but for entry criteria, I don't feel the same
10 way.

11 DR. FIRESTEIN: But was your question primarily
12 at entry criteria or outcomes?

13 DR. WITTER: It's really a general how-to
14 question. Outcome variables, anything to move this disease
15 in particular forward. It's really a question of, in terms
16 of from our end, how do we do it? What are the ways that
17 would not come up with undue burdens to the sponsors, would
18 not be compromising what's going on in the research
19 community in general. I think we're searching for ways
20 that we can be helpful in the process but not be
21 burdensome, and so I think it's a how-to question more than
22 anything.

23 DR. FIRESTEIN: You're precisely right that
24 it's going to end up being real time, and although we have
25 acceptance of the ACR criteria, for instance, for RA, it is

1 still being re-evaluated constantly real time, and there's
2 some discussion as to whether or not measuring tender and
3 swollen joints adds anything to some of the other outcome
4 measures.

5 So I think what in the end is going to happen
6 is we take our best guess at what makes the most sense, and
7 those would include a few different domains that have been
8 discussed, including pain, patient global assessments, and
9 perhaps some measure of patient function, and then use that
10 to go forward and then have to, again, validate it real
11 time.

12 Jim?

13 DR. BRADLEY: I think with regard to the
14 question of function, I think expecting that a trial of a
15 pharmacologic compound to change function over a very short
16 period of time would be very unrealistic, and I think it
17 would be overly restrictive in terms of measuring outcome.

18 I think one has to remember that apart from the
19 measurement problems of function that were described this
20 morning, function in the patients who come for treatment
21 and patients who would enter these trials, functional
22 disability is in part determined by long periods of sitting
23 and inactivity and it's a whole conglomeration of factors
24 that influence current physical function.

25 So I think to expect any compound to change

1 function in a short period of time would be really
2 unrealistic, and I think it'd really be much more
3 appropriate to focus on alterations in pain and alterations
4 in global assessment.

5 DR. FIRESTEIN: Lee?

6 DR. SIMON: So we've actually moved on to
7 question 2.

8 DR. FIRESTEIN: I was going to say. We're well
9 into question 2 right now, which relates to would it be
10 reasonable to expect that a product that is truly as
11 efficacious, but I assume you mean effective, --

12 DR. SIMON: Yes, but we don't use the term
13 effective in this world.

14 DR. FIRESTEIN: I understand. For the
15 treatment of the syndrome would show improvement in pain,
16 some measures of physical function, and the patient global
17 assessment, and then what would be the optimum duration?
18 Because as you pointed out, a short duration trial might
19 improve patient global assessment but might not have an
20 impact on patient function.

21 DR. SIMON: And I'd like to address that
22 particular issue since in fact we had a recent meeting in
23 the rheumatoid arthritis arena to discuss the issue of
24 physical function, and we presented evidence that within 16
25 weeks -- so thus 4 months and remember we're talking maybe

1 a 6-month trial here -- that in the context of a chronic
2 disease with structural implications, you can actually
3 against placebo measure differences in improvement in
4 physical function. For those of you on the committee who
5 will remember that discussion just two-three months ago.

6 That's actually a very important point. In OA
7 and RA, there's a high correlation between pain and
8 function. The functional outcomes are robust and well
9 developed. Those measures are robust and well developed.
10 The FIQ, you've already heard about here, has not been a
11 terrific instrument, based on the particular activities
12 that people are doing today and thus might need to be
13 addressed. Nonetheless, we know at least using the FIQ
14 that there isn't a great correlation between pain and
15 function.

16 I find that a little weird. I think that in
17 almost all other circumstances, there's a tremendous
18 correlation between pain and function. So I don't
19 understand if it's unique to fibromyalgia that there's not
20 or it's just that it's a lousy functional measure and
21 that's why there's no good correlation. So we at the
22 agency are uncomfortable in thinking about an improvement
23 in a scenario such as fibromyalgia that does not include
24 some measure of function as pain improves.

25 We have actually had an example of a therapy,

1 based on trial design which was statistically significant
2 improvement in pain of several millimeters in measurement,
3 but in fact in the concept of function failed miserably in
4 a traditional realm where function and pain are linked. So
5 we are very uncomfortable in not looking at this as a
6 gestalt rather than just the pain of.

7 Might we entertain a little discussion here
8 about whether or not there is any linkage between pain and
9 function, and if there is, should there be a requirement
10 that we begin to work on a different kind of functional
11 assessment that might be better or unique and give us a
12 better correlation? Not because we're just trying to
13 create the better correlation, because we're trying to
14 measure the overall state of the patient.

15 DR. FIRESTEIN: Dr. Cush?

16 DR. CUSH: Lee, would you accept a quality of
17 life measure as a functional measure as well? So like SF-
18 36 in whole and then take out the physical component in
19 part. What's your comment on that?

20 DR. SIMON: I think that I have been educated
21 by any number of brilliant people to be convinced that
22 health-related quality of life measures are similar to
23 function but not always the same. SF-36 as a generic is
24 not necessarily not applicable to specific diseases, and
25 HAQ, which is supposedly non-generic, might be generic,

1 depending on the circumstances. So I think that this is an
2 evolving field.

3 I think I've learned to think of the SF-36 as a
4 very good measure, a very robust measure. You have to be
5 specific about which components of it you can use. It has
6 not been validated in all these diseases, but every time I
7 look at it being applied to various different syndromes and
8 diseases, when done correctly, it looks like it
9 discriminates and is valid.

10 So under those circumstances, I would not
11 distinguish, and I think that if a generic measure, such as
12 the SF-36, is proven to be useful and have utility, I think
13 that would be great.

14 DR. FIRESTEIN: One doesn't necessarily need to
15 have an improvement in function in order to have a drug
16 approved for rheumatoid arthritis.

17 DR. SIMON: No, and that's a very interesting
18 point. From an educational point of view, we approve drugs
19 for a separate indication, meaning there's the indication
20 of signs and symptoms, there's the indication for x-ray
21 progression, meaning inhibition of x-ray progression, and a
22 separate indication that the sponsor has to go for for the
23 improvement of physical function, and that's exactly right.

24 We could create the same scenario here and not
25 just apply that in the context of improvement of outcome.

1 However, the caveat to that is the HAQ is now being
2 considered as very important for even getting signs and
3 symptoms, and we're actually evolving to consider that all
4 studies in rheumatoid arthritis would have to include some
5 physical function outcome, even though it may not be
6 measured or expressed in the HAQ.

7 DR. FIRESTEIN: Does anybody have any comment
8 on whether or not there should be a separate physical
9 function component that's required for fibromyalgia? Yes?

10 DR. TURK: To answer part of your question,
11 Lee, in back pain area as an example, there are lots of
12 data to show that the correlation between function and pain
13 is about .3 and there are studies in neuropathic pain to
14 show that the relationship between pain and function is
15 fairly low. So I don't think it's unique to fibromyalgia
16 that there isn't a high correlation between pain and
17 function. What that says to me is that function is an
18 important outcome that should be considered, in addition to
19 looking at pain.

20 Unlike Dan Clauw who's left, his last statement
21 that if there was a treatment that was effective in
22 reducing pain but had no beneficial effect on function, he
23 would view that that's positive. My response would be to
24 have someone who's a 45-year-old person with a 7-year
25 history of fibromyalgia who had a statistically significant

1 improvement on pain but then said but I'm not doing
2 anything any differently and not functioning any better, to
3 me, that's not a great outcome.

4 Now, we could debate and I'm sure you might
5 argue with me about that, but at least it does speak to my
6 concern that I agree with you. I think that we should come
7 up with some measure of function, whether it's the FIQ, we
8 could again talk about that, but I don't think that we
9 should take pain -- I disagree with Larry. I do think you
10 could take functional changes because it depends on how
11 you're defining function, Larry. If you're talking about
12 lifting huge amounts or walking great distances, you might
13 not expect to see that in a couple weeks, but if you're
14 talking about improvement of sleep and improvement in
15 ability to do things around the home, in fact, you might
16 see those kinds of changes. So it really depends on how
17 you're thinking of function.

18 DR. BRADLEY: Yes, I agree with you on that
19 point, Dennis.

20 DR. FIRESTEIN: Lynne, Jack, and then Jim.

21 MS. MATAALLANA: From the patient's viewpoint,
22 our survey showed that even 20 percent improvement in pain
23 would be a worthwhile outcome. I agree, that I don't think
24 pain has as much of an effect on functionality. I think
25 the fatigue issue does. But at this point, we don't have

1 many options for fatigue improvement. So if we can
2 eliminate the human suffering of pain, I think that the
3 benefits would be tremendous to the patient population.

4 DR. CUSH: I think that we have to sort of move
5 forward. In the past, with all of the diseases we
6 consider, we have always done short-term trials, single
7 variable outcomes, mainly looking to improve a single
8 symptom or a group of symptoms, and I think the trend has
9 been at the FDA and as mandated or required by clinicians
10 and researchers that we should go towards longer-term
11 trials with multivariate outcomes which are more true to
12 life that actually don't speak to symptom improvement,
13 really to true disease improvement, and that that has long-
14 term implications that impact on a patient's life and
15 employability and whatnot.

16 I think that we should go toward a functional
17 indication. I think that since we don't know which is the
18 best, I think that the FDA should accept a group as being
19 reasonable measures, whether it's the four being the FHAQ,
20 SF-36, FIQ, or even WOMAC, and require a sponsor to do out
21 of those four. And if you improve in one, that's good
22 enough. Overall, it shouldn't be function and pain
23 because, as has been said here before, there are people
24 that may not improve their function, even in a 6-month
25 trial.

1 So again, going towards a multivariate
2 definition, we should require function as one of several
3 measures that we may accept. Pain is first and we may
4 accept some others as being part of some overall definition
5 that we're going to call a response in fibromyalgia.

6 DR. WILLIAMS: I don't have a lot more to add,
7 except I agree with both of them.

8 I have a question on what Jack said. I would
9 not require long term on the initial studies. I would
10 require 3 to 6 months with longer-term follow-up to see how
11 long the response lasted, but I wouldn't require them to
12 show benefit over a 1- or 2-year study like we do in RA
13 now. And I agree that I think physical function is an
14 important variable.

15 MS. McBRAIR: I also agree that physical
16 function is important. You're talking about younger
17 people. They have a long life ahead of them, and we need
18 to see them make some progress in that area. However, as
19 long as it's part of a number of variables like Dr. Cush
20 mentioned, I think I could be comfortable with that.

21 DR. FIRESTEIN: Nobody is disputing that
22 physical function is important. The question is: is that
23 going to be a primary outcome? If you improve symptoms,
24 for instance, is that good enough to get a drug approved?
25 The gold standard would be that not only would symptoms

1 improve but also people would have improved function, go
2 back to work or whatever, but is that going to be the
3 standard to which anything that gets approved for myalgia
4 be held?

5 Jim?

6 DR. WILLIAMS: I think pain has to be the
7 primary outcome measure. That's what the patients are
8 complaining of, but I think an important other measure
9 would be physical function and patient global assessment
10 which would include the fatigue and everything else.

11 DR. KATZ: I'd like to emphasize and maybe
12 elaborate a little bit more on that proposal with an
13 analogy.

14 If you think of something like pneumonia where
15 somebody has chest pain, cough, fever, sputum production,
16 whatever, if you give them morphine, it's going to help
17 with their chest pain. It's going to reduce that symptom.

18 It's going to reduce their cough, but you wouldn't call it
19 a treatment for pneumonia. Yet, thank God, it's there and
20 we should be applying it to people with pneumonia or
21 something like it, codeine, dextromethorphan, what have
22 you.

23 Likewise, if there's a treatment that improves
24 the pain of fibromyalgia, I think that we should have some
25 mechanism by which that medication can be made available to

1 the patients who just told us that they'd be happy to see
2 something like that come down the pike. So my own thought
3 is that we should have a label that says improves the pain
4 of fibromyalgia, and I don't know, maybe we should even
5 extend that to the fatigue of fibromyalgia or other things.

6 I guess it could get complicated.

7 But then, in addition to that, recognize that
8 there are treatments for pneumonia and if something does
9 reduce the whole symptom complex of fibromyalgia, it
10 reduces the patient's pain and fatigue, cognitive
11 dysfunction, whatever, and we feel that by some
12 biologically plausible mechanism, it's actually addressing
13 the underlying disease, well, that should be further
14 recognized by a label that says this is a treatment for
15 fibromyalgia and, obviously, it will reduce the symptoms
16 that go along with that disorder.

17 DR. FIRESTEIN: Dr. Anderson, and then Dr.
18 Lasky.

19 DR. ANDERSON: I just wanted to say that
20 although pain is of primary importance, the question before
21 us is whether if a product is supposed to be truly
22 efficacious for the treatment of the syndrome, you should
23 expect it to show improvement in pain, physical function
24 and patient global, and I would answer yes to that.

25 DR. LASKY: My concern would be the definition

1 of functionality because I've heard agreement around the
2 table that certainly improvement in functionality is a
3 positive outcome. There's no question about that. But
4 without clear definitions of what would constitute
5 functionality, I think the manufacturer would be at a
6 specific disadvantage.

7 In addition, in terms of the length of the
8 study, it's possible that pain relief may occur first and
9 functionality later, and by continuously monitoring
10 patients, the trials can continue after the drug has
11 already come to market. But in order to make that claim
12 for an indication, there has to be a line in the sand
13 defining, in fact, what functionalities would be approvable
14 by the FDA.

15 DR. FIRESTEIN: So, Lee, would the agency
16 consider dividing things up as has been commented upon,
17 where you have the pain and fatigue of fibromyalgia versus
18 fibromyalgia as a global indication?

19 DR. SIMON: Well, I think that we'll consider
20 anything that the committee suggests. That's why we're
21 here. We have no preconceived notions. That's the other
22 reason why we're here. A responder index might be exactly
23 the way to go about doing this in the context of each of
24 those areas, the pain of, the fatigue of, the blah-blah-
25 blah of, and we are partial to that in a multidimensional,

1 multisystemic disorder, such as this one. It has a lot of
2 logic to it.

3 What doesn't have a lot of logic is to have to
4 create a bar where you have to win on multiple things that
5 there's a lot of argument about.

6 DR. FIRESTEIN: I think most people would agree
7 with that.

8 Yes, Jim?

9 DR. WITTER: Can I just have a bit of a
10 discussion then on the pain metric itself in terms of, I
11 had mentioned earlier, we're always concerned about
12 overpowering of studies, that you can get a statistically
13 important result, but it has no clinical meaning. John
14 Farrar has come out recently with something suggesting that
15 a 33 percent effect size is what you should shoot for in a
16 chronic pain condition.

17 Could I have some discussion on if it's the
18 pain component, what should that look like?

19 DR. FIRESTEIN: Sure. Who would like to
20 comment on the pain component? Dr. Katz?

21 DR. KATZ: Dennis and I are having a secret
22 visual communication.

23 (Laughter.)

24 DR. KATZ: We just finished having this IMMPACT
25 meeting that has been alluded to several times, and I think

1 Jim, you were there, and just to reiterate for the rest of
2 the group, that's a group of people who spent a lot of time
3 reviewing all of the pain measures that have been used for
4 chronic pain clinical trials. Basically the long and the
5 short of it is that after that extensive review, that group
6 came up with a recommendation of if we're looking for a
7 unidimensional pain intensity measure, then a 10-point
8 numerical rating scale was, for a variety of reasons, the
9 recommendation.

10 DR. CUSH: How much improvement required?

11 DR. KATZ: The issue of what's a clinically
12 significant improvement is a completely different question
13 and to comment on that, it seems to me that the proportion
14 of reduction of pain intensity that's clinically
15 significant depends somewhat on the scenario. John Farrar
16 has work related to neuropathic pain and also to cancer
17 pain, just those two entities, but we don't have any
18 evidence that those results necessarily extend to other
19 areas.

20 My understanding of that same issue in the
21 acute pain literature where people have tried to compare --
22 say, for example, with the stop watch techniques where you
23 see when the patient clicks the watch is meaningful versus
24 what the pain intensity difference is, my understanding is
25 that it's closer to a 50-percent reduction in acute pain.

1 And in our own study on chronic low back pain,
2 looking at a non-steroidal anti-inflammatory drug -- we
3 haven't published this yet but we're working on it, and it
4 looks also like it's more like about 50 percent relief. It
5 correlates with the patient global improvement of
6 meaningful.

7 So I think that if people wanted to find what
8 the clinically significant differences are in fibromyalgia,
9 it will have to be defined in the context of the specific
10 field.

11 DR. STAUD: I was wondering if you could
12 elaborate on this somewhat more, because I think most of us
13 are aware of the problems with 10-point scales regarding
14 linearity and comparison. So you could easily have someone
15 who improved from a 9 to an 8, and the difference from 9 to
16 8 may be much less than a difference from a 4 to a 5. So I
17 think for this reason, VAS scales have shown in multiple
18 validation trials to have linearity and seem to be a better
19 measure of change compared to 10-point scales.

20 DR. KATZ: Dennis, I don't know if you want to
21 comment on that. My understanding is that there are some
22 studies that suggest that the VAS is a ratio scale whereas
23 the numerical scale doesn't have quite those ratio
24 properties, but that in practice they both do exactly the
25 same thing. Dennis, I don't know if you want to elaborate

1 on that.

2 DR. TURK: I'm not sure I want to elaborate,
3 but the IMMPACT process did commission a background paper
4 that addressed that particular issue, and in addition to
5 raising the point that Nat just made about the linearity,
6 and it looks like it's basically the same, whether you have
7 a 10-point numerical scale or the visual analog scale.

8 There are several studies showing, especially
9 with older populations, difficulty using visual analog
10 scales and not understanding how to use mid-points and tend
11 to use extremes. So the IMMPACT group recommended against
12 using visual analog scales, mainly because of the
13 difficulty with using it across populations of different
14 ages.

15 DR. FIRESTEIN: Jim?

16 DR. WITTER: Could I press Nat a bit to expand
17 upon your earlier comment then? If fibromyalgia should be
18 viewed differently from other chronic pain models, I'll use
19 that term, why should that be the case in terms of a 33
20 percent with, let's say, lower back pain? I mean, why
21 should this be different?

22 DR. KATZ: Well, I think it's an empiric
23 question. If the question is what percent reduction in
24 pain intensity is best predictive of the patient global
25 response as being good to excellent or better or meaningful

1 on a stop watch of wherever you decide the patient is going
2 to report to you their own sense of whether their response
3 is meaningful, to me, it's an empiric question as to
4 whether that number is the same across multiple different
5 disease entities. I don't think there's any reason to
6 think that God made it that way and that it has to be the
7 same across all different disease entities.

8 In terms of what data exists to address that
9 empiric question, the only data that I'm aware of is John
10 Farrar's work with the pregabalin in neuropathic pain and
11 the work he did with the Actiq lozenge where he showed that
12 if you use the metric of the patient's behavior of taking a
13 second rescue dose as a sign of meaningful analgesia, a 33
14 percent reduction of the pain intensity that they started
15 with at the time of that breakthrough episode was the
16 degree of pain reduction that best predicted that the
17 patient would not need to take a second rescue dose. So
18 two different ways of getting at the clinical
19 meaningfulness question. Both miraculously gave about a 33
20 percent reduction as the answer which is interesting that
21 it's so consistent but still doesn't prove that it's going
22 to be the same in other disorders, and we have these
23 counter-examples.

24 My understanding in the acute pain scenario is
25 that in fact it's not 33 percent but it's more like 50

1 percent, and our preliminary work with chronic low back
2 pain and NSAIDs suggests that it's more like 50 percent.

3 The other issue is that we don't have any
4 reason to believe that it's the same across drugs. The
5 amount of reduction in pain intensity that may be
6 associated with a patient rating of satisfaction may be
7 different with opioids and with NSAIDs. My own sense of
8 the literature, having looked at that informally, is that
9 probably patient satisfaction may be associated with a
10 lower pain intensity difference with the opioids which may
11 independently modulate affective components of pain
12 compared to the NSAIDs. So there's no reason to think that
13 it's the same across all these different situations.

14 In fibromyalgia, if there's literature that
15 directly assesses the degree of pain reduction that's best
16 correlated with patient global assessments, I'm not aware
17 of it, but it would have to stand on its own for
18 fibromyalgia, I think.

19 DR. FIRESTEIN: Dr. Gibofsky?

20 DR. GIBOFSKY: I don't pretend to know what
21 metric should be used to measure pain and since I don't
22 know what metric should be used to measure pain, I don't
23 know what the MCID for that metric should be, but the one
24 thing I would argue strongly for -- and I'm influenced by
25 what Ms. Matallana had to say -- is that whatever metric we

1 recommend should be one that can be simply applied and
2 utilized by the non-specialists. Our patients are telling
3 us that they want care from a non-specialist and most of
4 the trials will be done by the non-specialists.

5 The incidence and prevalence data of
6 fibromyalgia suggest that it's just not possible for all of
7 our patients to be seen by any of the specialties
8 represented here today, and so we need metrics that go
9 beyond the sophistication of the specialists that can be
10 easily applied.

11 The dichotomy between the devices that we
12 determine for clinical trials and the actual data that we
13 collect in clinical practice is often quite wide, and I
14 think it would be problematic if we devise metrics for
15 clinical trials that could not easily be adapted to
16 clinical practice, particularly by non-specialists.

17 DR. FIRESTEIN: The number that came from your
18 study was approximately a 20 percent improvement, and I
19 think that's a reasonable place to start when trying to
20 sort through. That is, what do patients find would be a
21 clinically meaningful improvement in terms of at least
22 pain? I mean, that number needs to be validated in some
23 way obviously, but at least it's a reasonable starting
24 place. You know, from an empiric perspective, amounts like
25 50 percent sound to me to be too high of a bar in terms of

1 trying to achieve in a clinical trial.

2 Jack?

3 DR. CUSH: I agree with those comments, and
4 moreover, I think that the studies that I think Nat is
5 talking about are using pain as primary outcome variables,
6 and here, pain would be part of a composite definition,
7 wherein such stringency is really not required. A lower
8 level or minimum threshold of 20 percent could be
9 reasonable if linked to a sequence of other "if" statements
10 that then lends further credence to that initial 20 percent
11 in pain improvement.

12 DR. FIRESTEIN: Dr. Witter?

13 DR. WITTER: Just two things on clarification.
14 In acute pain, the discussion I think we should -- if we
15 wander into acute pain, we should do so carefully. There's
16 no argument, I think, from our end that in an acute pain
17 setting, pain and function are essentially the same thing,
18 and it's a very different setting, being post-op, I think
19 you would agree, than having fibromyalgia. So I think we
20 should wander into that carefully.

21 I just wanted a clarification. The 20 percent
22 that we're referring to for fibromyalgia, that is the 200
23 responses from the 16,000 actually sent out?

24 MS. MATAALLANA: We sent out 16,000. We had
25 1,119 responses, of which 200 we were able to tally, and of

1 that 200, 20 percent was the majority figure of needing to
2 have improvement at that point.

3 DR. WITTER: Thank you.

4 DR. FIRESTEIN: I mean, that's obviously a very
5 limited sample, but it does make some empiric sense that
6 that's the general range that people might find useful in a
7 treatment that had minimal side effects.

8 Just to come to the second part of the question
9 in terms of the duration of clinical trials, most of the
10 numbers that have been tossed about have been sort of in
11 the 3- to 6-month range. Is there a lot of discussion on
12 that? Of course, Dr. Cush.

13 DR. CUSH: I think to go anything less than 6
14 months would be a mistake, but at the same time, I do think
15 that whatever guidelines we put forth, that they should be
16 ones that are, A, meaningful but also, B, tend to promote
17 investigation and drug development in this area, and so to
18 develop too many hoops to jump through for studies that are
19 too long, then who cares if there's 10 million people with
20 the disease. We're just not going to go there. We'll go
21 after simple pain indication and do it that way. So I
22 think that again if 3 months actually improves the
23 likelihood of that, then fine, but I think ideally 6 months
24 should be the minimum.

25 DR. FIRESTEIN: Yes, I would agree with that.

1 Jennifer?

2 DR. ANDERSON: I'd just like to comment that I
3 agree that 6 months is a good length, but for these sorts
4 of trials, that you presumably would have multiple
5 observations made during the trials, so you could determine
6 how long it took for the drug to begin to be effective, so
7 that the speed of action could also be determined.

8 DR. FIRESTEIN: Gary?

9 DR. HOFFMAN: I agree with Jack that 6 months
10 seems like a reasonable minimal period of time, but the
11 question is what would be the optimal duration, and given
12 that this is a chronic disease and that the drugs that are
13 going to be tested may be agents to which there is some
14 adaptation and loss of effect over time, I think it'd be
15 terribly important to know what the treatment response
16 curve was over a more chronic period of time. So I'd be in
17 favor in responding to the charge of what would be optimal
18 duration to be thinking more in terms of a year.

19 DR. FIRESTEIN: Why don't we move on to the
20 next question then, which is not posed with equipoise. It
21 says: does the committee agree that placebo-controlled
22 studies with analgesic rescue are a primary requirement in
23 fibromyalgia?

24 I think placebo-controlled studies are a
25 reasonable approach to this, Dr. Simon. Does anybody

1 disagree with that? Dr. Simon disagrees with his own
2 question.

3 (Laughter.)

4 DR. SIMON: If we are to accept the possibility
5 of true placebo-controlled trials, what does that mean to
6 everybody around this table? What would be the background
7 therapies that would be acceptable in that there is really
8 no standard of care? Standard of care is very much up in
9 the air and has a lot to do with components of treating
10 aspects of the disease. There are many antidepressants,
11 such as tricyclic antidepressants, that, as Nat previously
12 noted, treat fundamentals of fibromyalgia, at the same time
13 treating the depression.

14 So I would presume the committee is not really
15 thinking about a 6-month trial of absolute real placebo
16 compared to standard of care. So could someone comment
17 about those implications?

18 DR. FIRESTEIN: That's not really the question.
19 You didn't ask if this would be an add-on or not, but
20 whether it's as an add-on or a single agent, I think
21 everybody agrees that it should have a placebo control to
22 it.

23 Now, that actually brings us to the next
24 question, which is: what are the concomitant medicines and
25 in particular those related to depression?

1 DR. WILLIAMS: Can I address question 3 first
2 with analgesic rescue? Because we've done OA trials with
3 analgesic rescue using 4 grams of acetaminophen and have
4 patients who tolerated that. Now, whether fibromyalgia
5 patients will do that or not, and to respond to the
6 question as written, I would say you could have a placebo-
7 controlled trial with acetaminophen rescue.

8 DR. SIMON: I'd just like to point out the
9 caveat to that. There's a very famous study with
10 hyaluronic acid with concomitant acetaminophen rescue where
11 there was no evident capacity of the study drug to actually
12 benefit the patient since they achieved appropriate
13 analgesia with the acetaminophen. So one thinks of rescue
14 in two different ways. Is analgesic rescue with
15 acetaminophen withdrawal and failure of the study drug or
16 is it concomitant therapy and background where then you're
17 measuring from where you start off with with the analgesic
18 background a la add-on trial, and then how do you ascertain
19 the benefit? Would you then expect the same 20 percent
20 improvement that you would with no background therapy?

21 So the first question is: would the analgesic
22 rescue be failure of the study drug, thus withdrawal? And
23 the second question is: if you're thinking about it as
24 concomitant background therapy, would you then design a
25 different kind of trial analysis defining a disease

1 activity score at the inception of the new study drug on
2 the context of the background therapy and following and
3 determining the outcome with the same disease activity
4 measure subsequently?

5 DR. WILLIAMS: You make it a lot more
6 complicated now. I think that if acetaminophen is going to
7 complicate your response, then they don't need another
8 drug. But you can't ask them to go on placebo without any
9 benefit of anything else. So that, in the OA studies,
10 using that as an example, we didn't expect acetaminophen to
11 give total control, but we gave them some analgesic benefit
12 if they needed it, and I think if that complicates the
13 response to analgesia, then probably the drug doesn't offer
14 a lot of extra benefit.

15 DR. FIRESTEIN: We'll now move on to the next
16 question, which is related to treatment of depression and
17 other concomitant medications.

18 So who would like -- Dr. Cush?

19 DR. CUSH: So the answer is no, patients with
20 depression on full dose regular daily meds for depression
21 should not be excluded. However, patients with
22 uncontrolled depression with a BDI, Beck Depression
23 Inventory, of a certain scale should be excluded as a
24 measure of being uncontrolled. I think that would be
25 reasonable.

1 I think con meds should be allowed, SSRIs. I
2 think the real issue, I'd rather defer this to Nat and
3 others who may know because my impression is that the use
4 of tricyclics could clearly confound all this. Unless that
5 were to be stratified for in trial design, I would not
6 like/allow/want to have pain modifiers, such as tricyclics,
7 in the trial.

8 DR. WILLIAMS: I would think if you're using
9 pain as a primary outcome measure, you'd also want to
10 excludes opiates.

11 DR. FIRESTEIN: Well, would opiates be written
12 in as your analgesic rescue since NSAIDs are of marginal
13 value?

14 DR. WILLIAMS: Well, previously, I said I'd use
15 acetaminophen as analgesic rescue, and I wouldn't use
16 NSAIDs, but I think that if you're using pain as your
17 primary outcome measure, once you start using narcotics,
18 you really complicate things because they may give you
19 benefit with adding complications further down the road.

20 DR. FIRESTEIN: What about studies with either
21 tricyclics or SSRIs or various combination drugs? How does
22 one manage a clinical trial if they're being treated with
23 an SSRI, for instance, or tricyclic for depression?

24 DR. WILLIAMS: I actually agree with Jack, that
25 I think you do eliminate tricyclics, and I think if they

1 have depression that is controlled and you have to figure
2 out how long you want it to be controlled. We do this with
3 steroids in RA trials. They can be on steroids, if they've
4 been on them for a period of time and they don't change.
5 You could say that if they have depression and they're
6 being treated with an SSRI or some other antidepressant
7 drug, not a tricyclic, that if they've been controlled for
8 X period of time, and that could be determined, and it
9 doesn't change during the trial, then it's fine.

10 DR. FIRESTEIN: But if the mechanism of action
11 of the clinical trial agent is related either to serotonin
12 or norepinephrine or a variety of other --

13 DR. WILLIAMS: See, I'm not as convinced as
14 some of you that SSRIs are as beneficial as tricyclics in
15 fibromyalgia.

16 DR. KATZ: I think it's helpful to not lump all
17 the kinds of clinical trials together and make blanket
18 rules that cover both early proof of concept trials and
19 late phase III trials because the goals of those trials are
20 different. They're testing different hypotheses, and the
21 risk to the trial of allowing potential confounders, such
22 as concomitant depression or treatment for depression, is
23 different in those two stages.

24 Clearly, all these drugs and the existence of
25 concomitant co-morbidity, like moderate to severe

1 depression, is a potential confounder, and so for an early
2 proof of concept trial, it might be prudent to exclude
3 patients with all those issues and even maybe have a
4 shorter duration trial where you're trying to just test
5 your concept, whereas in later stages of development where
6 you want to know -- and yes, the people out there in the
7 world of fibromyalgia do have depression, are on these
8 drugs, and yes, you may need to stratify it. So it may be
9 appropriate to include those patients later on in drug
10 development where the generalizability of earlier findings
11 to those other populations becomes the question of
12 relevance.

13 DR. BRADLEY: He convinced me.

14 DR. WITTER: I wonder if I could ask the chair
15 to ask Drs. Crofford and Clauw to make comments on their
16 opinion as to whether opioids are effective in the sense
17 that we've been discussing today, effective for
18 fibromyalgia in terms of treating pain.

19 DR. FIRESTEIN: In terms of rescue, you mean?
20 Yes, not Dr. Clauw, but Dr. Crofford, I will immediately
21 reflect that question without repeating it.

22 DR. CROFFORD: Thanks, Jim.

23 I actually don't think opioids are particularly
24 effective in this syndrome, and I would agree completely
25 with whomever it was, and I think it was a consensus of the

1 panel, that opioids should not be allowed as rescue nor do
2 I think it's really necessary.

3 But I do think, if I could elaborate just
4 briefly, if you want to do a monotherapy trial that's
5 placebo-controlled, I think you should carefully consider
6 whether 3 or 6 months may be the most appropriate duration
7 of a trial in a condition where there's no approved drug
8 and where therapies may not be particularly effective.
9 Certainly I agree with everybody that the ideal is that it
10 works forever and it stays the same and the effectiveness
11 is maintained, but if you're considering a trial where you
12 actually don't have concomitant meds or rescue meds, I
13 think -- and you may ask your patient -- it may or may not
14 be tolerable.

15 DR. FIRESTEIN: The ethics of placebo controls
16 have been considered extensively in a variety of other
17 disease states, and it's even more pertinent, for instance,
18 in rheumatoid arthritis, for instance, where the window for
19 being able to allow placebos has gotten narrower and
20 narrower.

21 In this particular instance, unlike rheumatoid
22 arthritis where there is now an alternative effect of
23 therapy that can alter the natural history of the disease,
24 there isn't really such a treatment right now for
25 fibromyalgia, and my guess is that most patients, when they

1 enter a study such as this, will already have been tried on
2 the standard available approaches. So I don't see a
3 particular problem with, for instance, a 6-month clinical
4 trial under those circumstances.

5 DR. CROFFORD: I'm not arguing against placebo
6 control. Don't misunderstand what I'm saying. In fact,
7 I'm not even arguing against a 6-month trial. I actually
8 think a 6-month trial would be useful. I'm just hoping not
9 to discourage people that may want to start clinical trials
10 from actually doing them.

11 MS. MATALLANA: When we asked patients what was
12 their first choice of treatment, the number one medication
13 currently on the market was Ultram or Ultraset and
14 following that was Vicodin, Oxycontin and Darvoset. But I
15 personally feel that the reason why so many patients are on
16 these narcotics is because doctors do not have many other
17 options, and I know personally that I was put on a lot of
18 heavy narcotic medication, weaned off of it and then put on
19 basic Tylenol and Ultram and had quite a bit of
20 improvement. So I think you definitely need to take them
21 off these medications in order to see the efficacy of the
22 new treatment.

23 DR. FIRESTEIN: Jack?

24 DR. CUSH: You could also say that people who
25 responded to your survey were Ultraset, Ultram, Vicodin

1 users and not non-steroidal Tylenol responders.

2 DR. SIMON: I'd like to assure Leslie and
3 others around the table that we would not be considering an
4 active comparator trial for 6 months that would require
5 true placebo in that.

6 I would like to reiterate Nat's point, that we
7 would like to see at some stage in development a proof of
8 concept that would demonstrate perhaps in only just 6
9 weeks, maybe even less, that there is a signal of
10 improvement that would warrant going further in development
11 to allow then the large pivotal trials to be appropriately
12 designed that would allow patients to be in appropriately.

13 So no one should think that we're withholding therapy for
14 6 months of a period of time.

15 But proof of concept is a very useful way to
16 think about a short-term exposure that may allow us to get
17 a real signal of real measurement that's not confounded and
18 that's always very important to have, not just for efficacy
19 but also for safety.

20 DR. FIRESTEIN: Lee, I think you have to be
21 careful about referring to a placebo as withholding
22 therapy.

23 DR. SIMON: Well taken.

24 DR. CUSH: Lee, were you intimating that you
25 would consider an active comparator trial in an environment

1 when there is no reasonable active comparator, like proven
2 efficacious standard of care, or would you have to go with,
3 as your active comparator, a drug that's approved as a pain
4 indication, for instance, albeit not for fibromyalgia?

5 DR. SIMON: Given the fact that this is an
6 evolving field, we would be open to any suggestion that
7 would be legitimate, that would be able to show a signal of
8 improvement, and recognizing, of course, if you're doing an
9 active comparator trial, you're going to need to be
10 superior to your active comparator if your active
11 comparator is not already labeled in that particular field.

12 So that then becomes standard of care or "placebo." So it
13 just depends on what you mean.

14 A non-inferiority trial which obviously would
15 be very difficult to design in this construct, would
16 require a comparator that's already approved on the market
17 and thus accepted. Tricyclic antidepressants would not
18 fulfill that requirement at this time.

19 DR. FIRESTEIN: Nat, did you have a comment?

20 DR. KATZ: I had a question for the group. I
21 know that people who in their world of rheumatology have a
22 lot of experience in considering the purpose and the
23 methodology in analyzing these very long-duration trials.
24 The pain trial tradition that I come from typically uses
25 much shorter trials.

1 But my question would be if the purpose of the
2 trial is to show that the effect, the analgesic effect is
3 durable over time, it seems like there are a number of
4 different techniques that one could consider for
5 demonstrating that aside from having a prolonged
6 comparison, and it would also seem to me that the prolonged
7 placebo comparison as the primary means by which to judge
8 the durability of therapy is fraught with all sorts of
9 methodological issues. You've probably got much more
10 dropouts in your placebo group, I would think, than on your
11 active group, and you've got only the people in the placebo
12 that are placebo responders. I would ask the group in
13 rheumatology who do these sorts of things all the time
14 whether you consider other alternative study designs for
15 demonstrating durability of effect, like withdrawals down
16 the line or other sorts of methods one could imagine.

17 DR. FIRESTEIN: Yes, we've considered them.

18 (Laughter.)

19 DR. FIRESTEIN: Well, I think most of our
20 experience, in terms of these chronic disease states,
21 suggests that you really do need to have prolonged
22 treatment and that there are issues in terms of dropouts
23 that can be statistically handled, and maybe Jennifer can
24 comment on that in terms of using intention-to-treat
25 analysis and making the appropriate corrections.

1 But the gold standard really has been long-term
2 placebo-controlled studies for most of the agents that are
3 currently approved for chronic rheumatic diseases. In
4 particular, rheumatoid arthritis is where there's by far
5 the most experience but also osteoarthritis.

6 DR. STAUD: I was wondering. In a disease
7 where we have no short-term knowledge of effectiveness of
8 most analgesic therapies, why we would initially go and
9 require such long-term effectiveness and not say we are
10 already happy if there is effects for 3 months instead of 6
11 months.

12 DR. FIRESTEIN: Well, in part, because of the
13 rather prominent placebo effect that can occur and the lack
14 of durability of many placebo effects.

15 DR. STAUD: I understand this, but we assume
16 that the trial drug will be more effective than placebo.

17 DR. FIRESTEIN: Well, we don't assume that
18 actually. That's what the purpose of the study is.

19 DR. STAUD: I know.

20 DR. ANDERSON: I could make some comments about
21 this. Using the term "placebo-controlled trial" isn't
22 meant to mean that all therapies are withheld from the
23 placebo group because placebo, as has been discussed here
24 already, includes some background medication generally
25 these days. So although one might anticipate that there

1 would be more dropouts from the placebo group, if you're
2 really going to do an intent-to-treat analysis and get a
3 handle on the effectiveness of the new therapy that you're
4 looking at as distinct from its efficacy, you have to
5 include all patients for the full duration of the trial.
6 So even if people "drop out" in the sense that they're no
7 longer taking the therapy that they began with -- and this
8 applies to the intervention group and the control group --
9 you have to continue. The group that's doing the trial,
10 the sponsor, whomever, has to make every possible effort to
11 continue to get information at the appropriate time points
12 from all of the participants, so that you can really do an
13 intent-to-treat analysis. So that's my shtick.

14 I don't know whether I addressed what you
15 wanted me to address or not.

16 DR. FIRESTEIN: Jim?

17 DR. WITTER: Maybe, could you just expand a
18 bit? To some extent, there's almost some magical thinking
19 when it comes to rescue medications, even the term
20 "rescue," and I think one of the ways that we need to fix
21 that, to use that term, is to keep analyzing the patients,
22 even after they start taking this rescue, to give us an
23 idea of did it work, did it rescue. Could you maybe
24 comment on that strategy to kind of help us fill in some of
25 these blanks?

1 DR. ANDERSON: Well, from what I've seen of the
2 write-ups of clinical trials, there has been a tendency to
3 just stop collecting any information on the patients once
4 they're "rescued" or deviate in any way from the desired
5 protocol. But I guess my point is that you really do need
6 to keep getting the reports from them and making the
7 measurements on them, so as to do the efficacy analysis and
8 so that you can do what you're referring to, to see whether
9 this rescue was really a rescue, and you can learn a lot
10 and maybe it isn't all together in favor of -- well, I
11 don't know. Who knows what it's going to show, but I don't
12 think the sponsors should be scared of doing these
13 analyses.

14 DR. WITTER: Should we be asking then sponsors
15 to do that in the trials as they propose, that they look at
16 this, even if patients are rescued, they continue to look
17 at these outcomes, particularly pain?

18 DR. ANDERSON: Yes, yes.

19 DR. FIRESTEIN: Just to come back to the
20 questions, are there any concomitant therapies that should
21 definitely be excluded? I think we talked a little bit
22 about this, but should tricyclics be excluded, for
23 instance?

24 DR. WILLIAMS: Well, I think Jack and I both
25 have said that we thought tricyclics ought to be excluded,

1 opiates ought to be excluded, and there was some discussion
2 about whether SSRIs should be excluded.

3 DR. FIRESTEIN: Are there other comments from
4 the committee with regard to SSRIs, for instance?

5 DR. CUSH: I would modify it according to what
6 Nat said earlier, that in the short term, yes, more rigid,
7 but in the long term, no, because it's more real life. I
8 think that patients on antipsychotics should be excluded.
9 I think patients with primary CNS issues, whether it was
10 meningoencephalitis, head trauma as an inciter, inciting
11 events getting in, should be excluded. I have another
12 exclusion somewhere but I can't find it right now.

13 DR. FIRESTEIN: Yes?

14 DR. TURK: Just a caution for us as we think
15 about excluding antidepressants or depressed patients. I
16 work in research in a tertiary care rehabilitation center,
17 so obviously it's a select sample, but somewhere in the
18 neighborhood of 50 to 60 percent of those patients are
19 coming into us and they're receiving antidepressant
20 medication which would mean that if we were using them in
21 clinical trials, we're basically chopping off half of the
22 sample of patients who are being treated in at least that
23 type of facility. So what you're left with is a
24 potentially unusual subsample of people with fibromyalgia.

25 DR. FIRESTEIN: Is there disagreement about

1 concomitant use of tricyclics? For instance, you would
2 exclude tricyclics?

3 DR. WILLIAMS: I would exclude tricyclics. I
4 actually like Nate's approach where we have proof of
5 concept and then later on, you can add some of these other
6 drugs in on stable doses and see what happens with that,
7 but I think that for initial demonstration that you've got
8 an effective drug, you have to exclude tricyclics.

9 DR. FIRESTEIN: Right. But for registration
10 purposes later on? For phase III studies, I think it would
11 be very difficult --

12 DR. WILLIAMS: -- to show you've got some
13 benefit.

14 DR. FIRESTEIN: One assumes that you're already
15 done a short-term proof of concept study. At that point,
16 it would be very difficult to --

17 DR. WILLIAMS: I think there are two studies.
18 One, you have to do without tricyclics and one you do with
19 stable tricyclics.

20 DR. BRADLEY: I would agree. I think
21 especially over time, I think there's a number of trials,
22 particularly Carette's trials, in the early 1990s showing
23 that the effects of the tricyclics really do fade over
24 time. After about 3 months, they really tend to fade out.
25 So when you get to the longer trials, I think then it's

1 appropriate. You can include tricyclic use.

2 The other issue with regard to other
3 exclusionary criteria. I'm concerned about including
4 people in trials who have had maybe even one but certainly
5 multiple spinal surgeries, spinal fusions. I'm not sure
6 that the pain that those people experience is exactly the
7 same as the fibromyalgia syndrome, and I would be careful
8 about these people with really dramatic trauma done to
9 their spines.

10 DR. FIRESTEIN: The point you make about the
11 duration of response to tricyclics also, by the way, is
12 again one of the reasons why it's important to have a
13 longer duration study.

14 Well, the next question relates to ancillary
15 therapies, such as physical therapy, exercise, behavioral
16 therapy, psychotherapy, particularly for people requiring
17 dental procedures.

18 (Laughter.)

19 DR. FIRESTEIN: Should that be allowed during
20 the trial?

21 I think from my perspective, these things are
22 all reasonable to include.

23 DR. WILLIAMS: I think it's very analogous to
24 using steroids in rheumatoid arthritis. You have them on a
25 background, but they have to be stable on that background

1 before you start the study. You can't start the exercise
2 therapy at the same time you start your intervention. So
3 as long as they're stable on those backgrounds and they
4 don't change.

5 DR. FIRESTEIN: Yes?

6 DR. ANDERSON: But in rheumatoid arthritis
7 trials, are people prevented from taking an exercise class
8 or something during the trial? Does anybody notice?

9 DR. WILLIAMS: No. Often, we don't ever
10 discuss physical therapy in rheumatoid arthritis because we
11 don't think it changes the course of the disease. It may
12 change long-term mobility of the joints and so forth, but
13 it doesn't change the arthritis.

14 I was more using the analogy of
15 corticosteroids, where that is an effective therapy, but as
16 long as they're on a stable dose and it's been stable for 2
17 months and you don't change it during the course of the
18 disease, that it's allowed, and I would say if these people
19 are on these interventions mentioned here and they were
20 stable on those interventions, you can add in another
21 intervention for your trial, as long as these didn't
22 change.

23 You're the statistician. You look troubled.

24 DR. ANDERSON: Well, I just think that -- and
25 I'm not sure that this is from a statistical point of view.

1 I don't think you should say that none of these should be
2 allowed to be started during a trial. I guess if somebody
3 starts to feel better, they may want to start doing some
4 exercise or something like that and to be prevented from
5 doing it because it's during a trial, I think, is a
6 problem. I just think that any of these therapies that
7 people decide to do should be noted and the information
8 should be there that they've been doing them and for how
9 long as part of reporting on the trial and finding out and
10 looking at the results.

11 DR. FIRESTEIN: Dr. Hoffman, then Dr. Staud.

12 DR. HOFFMAN: I would agree with those
13 comments. I think it has to be approached the same way an
14 adjunctive pharmaceutical therapy would be approached, that
15 if the people providing the study design feel that exercise
16 is an important adjunct to treatment, then the same
17 guidelines for exercise should be provided for everyone.
18 Everybody is being randomized to both groups. It shouldn't
19 then be a confounder.

20 DR. STAUD: Yes. I can see this only works if
21 the adjunctive therapies are standardized across the trial
22 which I think is very difficult for psychotherapy,
23 behavioral therapy and so on, and so for this purpose, it
24 will pose a major problem.

25 DR. FIRESTEIN: You think that it's a major

1 problem, did you say?

2 DR. STAUD: Yes, because I think the
3 standardization of these interventions across the trial is
4 very difficult, and so what was mentioned here, this would
5 have been part of the trial itself, that it could not be
6 just something that these subjects do on the side.

7 DR. FIRESTEIN: But do you think any of these
8 have a significant impact on the disease in a short-term or
9 relatively short-term trial, like 6 months? Psychotherapy
10 for 6 months?

11 DR. STAUD: CBT does. Acupuncture does. So I
12 think all these things need to be considered.

13 DR. WILLIAMS: I think exercise can.

14 DR. FIRESTEIN: But again, it will be very
15 difficult to strap patients into a couch with a remote
16 control for the duration of the study, if part of the
17 response to the treatment would lead them to want to
18 exercise more. It would be very difficult to build in a
19 lack of exercise requirement.

20 Jack?

21 DR. CUSH: I agree with Roland. I think that
22 this is fraught with difficulty because you can require
23 them to have a stable course of whatever these therapies
24 are for 2 or 3 three months at entry, but more importantly,
25 you're going to have to continue those same therapies

1 throughout the trial, otherwise the patient is in violation
2 of the protocol and would have to be dropped, and so the
3 wording should almost be written to discourage such
4 patients but you should allow them in.

5 The problem in reality is that if I can get my
6 patients to go to CBT or to go to yoga to Tai Chi or to go
7 to a pool program, they'll do it and they'll do it for a
8 few months and then they stop doing it. They stop doing it
9 for the most minor of reasons, because they got a little
10 bit of benefit, they don't want to go any more, the bathing
11 suit doesn't fit, whatever, and they stop going. So they
12 become actually quite noncompliant with a regimen that has
13 been shown to work, and you don't want to have that happen
14 in the context of the trial.

15 So while you may let them in, that's well and
16 fine. One thing we didn't discuss earlier on is what's the
17 criteria by which they actually get into the study, meaning
18 we talked about ACR criteria, fine, but what's the activity
19 measure that allows them to get in and that's going to be
20 an important part. So is it going to be as simple as a VAS
21 of greater than 4 on a 10-centimeter scale. That's an
22 important and difficult issue.

23 DR. SIMON: Yes. In thinking about this
24 question, it was actually a little trick question here
25 because we actually think that cognitive and behavioral

1 therapy is not the same thing as even standardized
2 exercise. I think that we think that cognitive and
3 behavioral therapy is as therapeutic as is a tricyclic
4 antidepressant in this particular realm. I know it's hard
5 for anybody to believe that I actually might say that. So
6 under those circumstances, I think that we would likely
7 either stratify for that or not allow it as part of the
8 component.

9 The other components, one might think about
10 this slightly differently. Perhaps if a population begins
11 to exercise, perhaps that's a positive outcome and maybe
12 it's a measurable positive outcome, how much exercise they
13 actually can do. We've actually thought about turning that
14 question around and using that as an additive outcome to be
15 determined. So we're actually not adverse to that, but we
16 are a little adverse to leaving in cognitive and behavioral
17 therapy.

18 DR. FIRESTEIN: But you're quite right, it was
19 not on your list of alternative therapies that would be
20 available.

21 Were there a couple other comments?

22 DR. BRADLEY: I guess with regard to the
23 exercise question, actually I think that perhaps it's the
24 same sort of situation that we talked before, the
25 difference between a short-term trial just as a

1 demonstration versus a longer-term trial. I think, for
2 example, it's almost like a forward pass in Woody Hayes'
3 point of view. Multiple things can go wrong. If you have
4 someone who begins exercise at the start of a short
5 demonstration trial for a pharmacologic agent, one might be
6 that exercise might make the person feel better and then
7 you obscure the effect of the agent. The other is, is
8 that, oftentimes people with fibromyalgia, when they begin
9 to exercise, actually feel worse at first, and then you
10 might actually have a negative effect on your agent. So I
11 think we have to sort of make some decisions about the
12 short-term projects versus the longer-term projects.

13 DR. HOFFMAN: I'm not sure adding in the
14 exercise variable really presents a problem because
15 patients are being randomized between groups, and if
16 they're randomized at each site, then the same standard of
17 care is being provided, except for those exclusions that
18 you would want to list otherwise. So those in the placebo
19 group and those in what you hope is the active drug, the
20 test drug group, have equal access to that modality and
21 that should even out in the final analysis.

22 DR. STAUD: I think exercise is not the same as
23 study application. This can vary within one subject so
24 dramatically over time that I think it's going to be a very
25 difficult variable to consider in this trial.

1 DR. FIRESTEIN: I'm confused as to why it's
2 more difficult than in any other of the clinical trials
3 that have been evaluated. For instance, again we don't
4 prevent patients with rheumatoid arthritis from walking on
5 a treadmill during a study with other anti-inflammatory
6 agents. We don't prevent them from doing that in
7 osteoarthritis. Why would we entertain that in
8 fibromyalgia? That's different from again cognitive-
9 behavioral therapy. This is again part of activities of
10 daily living plus.

11 DR. STAUD: I mean, part of it is that the
12 effect of short-term exercise is very unpredictable in this
13 patient population. So that's the main reason. So I think
14 long-term exercise, doing it steadily, I think it will have
15 not dramatic impact on trials but short-term starting and
16 stopping, I could see that happening.

17 DR. FIRESTEIN: Right. But we live in a real
18 world and we have to let patients seek their own level in
19 terms of activity, and if they're feeling better and they
20 want -- there will be some potentially confounding issues
21 if people are exercising more and that causes more pain for
22 other reasons because they're deconditioned or other things
23 that can cause some confounding issues, but overall, this
24 has got to be a real world trial for the same reason it has
25 to be real world with regard to concomitant medications as

1 we've talked about.

2 So one or two more quick comments and then
3 we'll go on to question 6.

4 MS. McBRAIR: I agree on the issue of exercise.

5 We need to allow patients to do whatever they can do to
6 help themselves, and as long as that's documented, what has
7 happened, I think we can look at it more closely. But to
8 say someone couldn't exercise or couldn't do more and
9 we're looking for increased function as one of the things
10 we'd like to see happen, I think would be a wrong message
11 for the patients.

12 DR. FIRESTEIN: The next question really
13 relates to fibromyalgia with overlap diseases and how one
14 decides clinical studies. Should patients with rheumatoid
15 arthritis, lupus, Sjogren's, etc., be excluded from these
16 clinical trials?

17 DR. WILLIAMS: If pain is your primary outcome
18 measure, these are diseases that cause pain by a different
19 mechanism, and I would exclude them.

20 DR. FIRESTEIN: I would agree with that. It
21 just makes it too complicated to assess. That can be
22 something that can be done later on, but if you're looking
23 for efficacy in fibromyalgia, it will make it hopelessly
24 complicated, I think. Everybody agrees with that.

25 DR. WILLIAMS: If you prove its effective in

1 fibromyalgia, it'll be used in these patients anyway.

2 DR. FIRESTEIN: The last question is: which of
3 the available instruments appear most appropriate for
4 evaluation of physical function, sleep disturbances,
5 cognitive impairment, and fatigue?

6 I would open it up. We had a number of these
7 sorts of things discussed. Anybody want to comment on
8 this?

9 DR. WILLIAMS: We really discussed physical
10 function earlier, and I don't know that there's a better
11 one than the SF-36 right now for this particular disease.
12 For sleep disturbance, it was Dr. Wells that suggested the
13 VAS was as effective as anything. I'm not sure I can tell
14 you anything about cognitive dysfunction as a good
15 instrument. And for fatigue, I'd use the VAS.

16 DR. FIRESTEIN: Any other -- yes?

17 DR. TURK: At the IMMPACT meeting, when we
18 looked at the question of functional measures, we separated
19 it into disease-specific or general measures, and within
20 the general measures, we recommend that the interference
21 scale of the Multidimensional Pain Inventory was as good,
22 if not the best, measure to use, followed by the BPI, or
23 the Brief Pain Inventory. Now, the Brief Pain Inventory is
24 a pain-specific measure. The Sickness Impact Profile, the
25 reason we had concerns with that is because the literature

1 on its sensitivity to change is pretty poor and therefore
2 it might not be the best outcome measure to use.

3 DR. CUSH: Of these, I would have physical
4 function and sleep disturbance in there. I would not do
5 cognitive impairment. I think fatigue is up in the air
6 because I think that at some point, they're all inter-
7 related. You might as well add headache and irritable
8 bowel and everything else onto this. It gets a little
9 crazy with 54 visual analog scales to come up with what's
10 going on in fibromyalgia.

11 I think that we should go towards a
12 responsiveness, an FM-20, if you will, that goes after
13 three domains. You must meet pain and any one of two or
14 three others. Certainly two that are potential areas are
15 pain and fatigue and quality of life or function, and to
16 improve in pain plus something else would be enough.

17 Now, what you choose for each of these domains
18 has got to be left up to whatever the state of the art is,
19 and I think that we've heard what's reasonable as far as
20 function. I think that there are sleep scales that can be
21 used or as simple as a visual analog scale. I don't know
22 that you improve things more than the visual analog scale
23 for pain and then for fatigue. There are specific fatigue
24 questionnaires, not well worked out, I don't think, in
25 fibromyalgia, but in other diseases they have been, like

1 cancer and whatnot.

2 So they're there, and to go with, again, a
3 composite definition of response is reasonable, both for
4 short term or long term, and I think it would be a major
5 advantage or major leap forward in trying to promote drug
6 development. Again, the idea is to go after not just
7 symptom improvement but actual disease improvement, as Lee
8 suggested earlier.

9 DR. FIRESTEIN: But with symptom improvement
10 alone, would that not be a contribution?

11 DR. CUSH: Not much more than what we've done
12 in the past or what we're currently doing because then
13 you're always talking about single symptom improvement, and
14 I think that that's a major step backwards. I think that
15 maybe we're limited by our lack of understanding of
16 disease. Nat's correlation with pneumonia was interesting,
17 but also we're in the era where we truly understand the
18 pathogenesis of pneumonia, the bugs that are involved, and
19 the consequences of pneumonia and whatnot. If we were in
20 the 18th Century, giving opium for pneumonia would probably
21 make a great deal of sense, and I think that we may well be
22 in the 18th Century with regard to fibromyalgia.

23 DR. FIRESTEIN: Well, again --

24 DR. CUSH: It's a little strong, I know.

25 DR. FIRESTEIN: It is a little bit strong, and

1 it's because in the end, we are in the 18th Century because
2 we don't have a specific therapy, unlike pneumococcal
3 pneumonia, and so treating symptoms alone, which by the way
4 was a gold standard for rheumatoid arthritis for a long,
5 long time -- except for injectable gold, there were no
6 disease-modifying agents, and we know how good an agent
7 injectable gold was. So just signs and symptoms was good
8 enough without having a specific treatment for rheumatoid
9 arthritis, and I think the same thing might be true for
10 improving the lives of patients with fibromyalgia. Just
11 improving the symptoms may well be a significant
12 contribution.

13 Nat?

14 DR. KATZ: I would just re-agree with myself
15 and with you now.

16 (Laughter.)

17 DR. FIRESTEIN: Unlike Lee who argues with
18 himself.

19 (Laughter.)

20 DR. KATZ: Before someone looks up the rules.
21 I mean, we just should keep in mind that there's been this
22 traditional discordance between what's important to
23 patients and what's important to physicians. I think we
24 all have had pain at one time or another. If you can
25 recall back to when you've had pain, you'd look at pain

1 relief as being a godsend, regardless whether it improved
2 some other parameter that interested your doctor and more
3 than interested you.

4 Now, again, I'm not disagreeing. I also feel
5 that ultimately, there is a notion of treatment of disease
6 that obviously is the long-term goal of drug development.
7 Maybe one day, we'll understand this disease better and
8 we'll have treatments and maybe that'll be in 5 years,
9 maybe that'll be in 50 years. But in the meantime, if
10 there are agents available that can treat symptoms, that's
11 what patients are really looking for.

12 It's worth keeping in mind that drug
13 development has been going on for thousands of years and
14 effective drugs have been developed. In fact, the ones
15 that we still use for pain have been developed long before
16 anybody understood anything about the diseases that were
17 being treated and anything about the mechanisms of the drug
18 and that's how these what are regarded as boons to mankind
19 have been developed.

20 So to minimize the importance of treatment of
21 individual symptoms that occur in the constellation of all
22 sorts of diseases would be, I think, a terrible mistake.

23 DR. FIRESTEIN: Steve, and then Lee.

24 DR. ABRAMSON: Yes, I would agree, and I think
25 what we're really talking about is to have an isolated pain

1 indication is not necessarily unacceptable, but to mandate
2 that in all of these studies that function and quality of
3 life and all of these studies be standardized so that
4 information is captured, maybe you don't have to win on all
5 three domains, but you need to know that this drug works
6 for pain but not for function. I think over time, that
7 will be very important as these drugs sort out in the
8 market.

9 I think there would be a hazard of a company
10 going just for a pain indication. I think we'll lose a lot
11 of information. So I think capturing information but
12 having separate indications is still important.

13 DR. SIMON: Just actually as an extension, not
14 to be terribly concrete, but for those of you that have had
15 experience with the Krupp Fatigue Scale as opposed to a VAS
16 scale for fatigue, might you comment on a multidimensional
17 fatigue outcome versus just are you tired or how you ask
18 the question to be dependent upon one question? Is there
19 any comment about that in such an issue as fatigue?

20 DR. KATZ: Since it doesn't seem like anybody
21 else knows the answer, I'll chime in with this tiny amount
22 of information I have. There has been a lot of instrument
23 development work that's gone on in the fatigue world. Talk
24 to anybody at Ortho-McNeil Pharmaceuticals and they'll tell
25 you all about it. Initially, they started with large

1 fatigue inventories and then ultimately at least their one
2 was reduced down to the so-called Brief Fatigue Inventory
3 that's commonly used, and so in their psychometric process,
4 they were not able to effectively reduce their instrument
5 down to one item.

6 DR. FIRESTEIN: Are there any other comments on
7 these various instruments? I don't have any.

8 Jim?

9 DR. WITTER: Can I recue up my question then
10 from earlier in terms of developing new instruments?
11 Dennis is here and he can comment. One of the issues, for
12 example, from IMMPACT is that even though we may not have
13 an instrument, we still want the domain to be measured and
14 that was the same message from the NIH conference that I
15 discussed earlier. So just because we don't have something
16 doesn't mean we don't need something in the long run.

17 So how would you suggest as a part of the
18 discussion that we would encourage and facilitate, whatever
19 the proper term is, to get these endpoints and get them
20 validated and developed for the next generation of
21 sufferers of this condition?

22 DR. FIRESTEIN: I guess you could mandate it.
23 That's what you do.

24 Yes, I mean, as long as it's clear that it will
25 not be used as a club to beat them over the head with later

1 if they don't hit a predetermined mark, then I think it's
2 entirely reasonable to ask for the data to be collected,
3 but it does mean that the primary endpoints are going to
4 probably have to exclude that domain. But on the other
5 hand, pain and patient global is not a bad place to start
6 with fibromyalgia. Really, the question has been in terms
7 of functional indices. We don't really know what to ask
8 yet.

9 DR. KATZ: It sounds like we're all agreeing
10 that ultimately we want to be able to measure the critical
11 components of this syndrome of fibromyalgia in clinical
12 trials, even if we don't necessarily require that one win
13 on all the different components. It seems like the
14 conversation has left off is that while we think that this
15 functional measure might be a good one to throw in there
16 and maybe this fatigue measure might be a good one to throw
17 in there and somehow we'll guess at what might be an
18 appropriate responder index, but the fact is, as you all
19 well know in rheumatology better than I, developing such
20 instruments and such responder indices is an empiric
21 process that requires a concerted and directed effort.

22 It seems reasonable to me that as part of the
23 development process of these medications, the agency is in
24 a reasonable position to require that some sort of
25 responder index be provided which to me seems like it needs

1 to be specifically developed.

2 DR. FIRESTEIN: But again, with the proviso
3 being that it's not going to be used as one of the criteria
4 for having a drug approved because you can't collect the
5 data and then retrospectively validate it and then say that
6 you either hit or miss based on those data.

7 DR. KATZ: Absolutely. It has to be
8 reasonable.

9 DR. STAUD: I also wanted to bring up one point
10 in the discussion that we haven't really done. This is
11 measurements of disease processes that are relevant to the
12 syndrome, and as we know, the process that is relevant is
13 called central sensitization, central sensitization of
14 particularly spinal cord elements as well as probably
15 higher brain centers, and currently the only measure that
16 gets even close to this is tender points that we talked
17 about.

18 I think we have better measures these days that
19 we could request from companies to use as criteria in these
20 trials, even if they don't make the primary criteria, to
21 look at these measures because they have impact most likely
22 on the evaluation of the disease and its course.

23 DR. CUSH: I think it's a good opportunity for
24 the FDA to hear from us who are practicing clinicians what
25 would be valuable and reasonable in the construct of trials

1 and indications, but I also think that a parallel process
2 should go on between the FDA and the NIH as far as
3 developing a consensus group which will involve the experts
4 in the field as to what would be the most discriminate
5 values. And they'll be able to look at ongoing data
6 collection and basically do the same as the way OMERACT has
7 functioned to help rheumatoid arthritis.

8 One of the problems and one of the hindrances
9 of that is that you bring together the best minds in
10 fibromyalgia research who are very biometrically oriented
11 and in the end, you get so far away from real life as far
12 as what you're requiring for outcomes, that it's only good
13 for trials and drug development and it has no utility to
14 what I'm doing in my practice with my patients and the
15 extrapolatability of the information from that new trial
16 with its design to what I'm going to tell my patient and
17 what he or she may expect.

18 So I do think that the input of this body at
19 this point is important. I think we should push forward
20 what we think should happen, whether it's how many domains,
21 which domains, single domains, combinations of domains. I
22 think it's important that you hear, but I also think that
23 another process has to complement this.

24 DR. FIRESTEIN: So we've reached the end of
25 your questions. Never mind. Yes, Wendy?

1 MS. McBRAIR: This isn't a question, just a
2 comment. I work with a lot of fibromyalgia patients and
3 they are looking for some answers and they are looking for
4 some help. So I really commend the FDA on even bringing up
5 this discussion and starting to look for answers and
6 guidance. Along with medication control and learning more
7 about what we can do to help folks, we certainly need to
8 continue to look at what the cause is of fibromyalgia and
9 hopefully some companies will continue to work on that as
10 well as some scientific researchers.

11 It's very frustrating for patients to get the
12 runaround and then also to find out what they have but not
13 to learn that there's some real help out there. So I hope
14 that we continue this conversation.

15 DR. FIRESTEIN: Dr. Simon?

16 DR. SIMON: Yes, we are assuaged. We want to
17 thank everybody here. We tried to construct a committee
18 that was part skeptic, part expert, part experience, and I
19 think we really achieved that. Some people came totally
20 disbelieving there was any reason to have this discussion,
21 I think, and I think that there were good things that were
22 brought up. And most importantly, you really gave us
23 wonderful advice about what we truly are grappling with on
24 a daily basis because in fact there are promising therapies
25 that are in front of us and we just didn't know the kind of

1 questions to ask, and you've helped us to be able to do
2 that.

3 DR. FIRESTEIN: Thank you very much, everybody.

4 This meeting is now adjourned.

5 (Whereupon, at 2:54 p.m., the committee was
6 recessed, to reconvene at 8:00 a.m., Tuesday, June 24,
7 2003.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24