

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE
ARTHRITIS ADVISORY COMMITTEE

8:07 a.m

Tuesday, June 24, 2003

Versailles Ballroom
Holiday Inn
8120 Wisconsin Avenue
Bethesda, Maryland

ATTENDEES

COMMITTEE MEMBERS:

HOWARD J. WILLIAMS, JR., M.D., Acting Chair
Department of Internal Medicine
Division of Rheumatology
University of Utah School of Medicine
50 North Medical Drive
Salt Lake City, Utah 84132

JOHANNA M. CLIFFORD, M.S., R.N., B.S.N.
Advisors and Consultants Staff (HFD-21)
Center for Drug Evaluation and Research
Food and Drug Administration
5600 Fishers Lane, Building 5630, Room 1093
Rockville, Maryland 20857

JENNIFER J. ANDERSON, PH.D.
Research Professor of Public Health
Department of Epidemiology and Biostatistics
Boston University School of Medicine
A-203
715 Albany Street
Boston, Massachusetts 02118

WENDY McBRAIR, R.N., M.S., C.H.E.S.
Consumer Representative
Director
Southern New Jersey Regional Arthritis Center
Virtua Health
1 Carnie Boulevard
Voorhees, New Jersey 08043

CONSULTANTS: (Voting)

JAMES FRIES, M.D.
Professor of Medicine
Division of Immunology and Rheumatology
Stanford University School of Medicine
Suite 203, 1000 Welch Road
Palo Alto, California 94304

ATTENDEES (Continued)

CONSULTANTS: (Voting) (Continued)

GARY HOFFMAN, M.D., M.S.
Chairman, Department of Rheumatic and
Immunologic Diseases
The Cleveland Clinic Foundation A/50
9500 Euclid Avenue
Cleveland, Ohio 44195

FRANK VASEY, M.D.
University of South Florida
College of Medicine
Inter Medicine, Rheumatology
A1175 Building, MDC 81
Tampa, Florida 33612-4799

CONSULTANTS: (Non-voting)

MICHAEL FINLEY, D.O.
Western University
College of Osteopathic Medicine
309 East Second Street
College Plaza
Pamona, California 91766-1854

ACTING INDUSTRY REPRESENTATIVE: (Non-voting)

FRED LASKY, PH.D.
Director, Regulatory Affairs
Genzyme Diagnostics
1 Kendall Square
Cambridge, Massachusetts 02139-1562

FOOD AND DRUG ADMINISTRATION STAFF:

JEFFREY SIEGEL, M.D.
WILLIAM TAUBER, M.D.
MARC WALTON, M.D.
KAREN WEISS, M.D.

ATTENDEES (Continued)

AMGEN REPRESENTATIVES:

DANIEL BURGE, M.D.
WAYNE TSUJI, M.D.
DESIREE VAN DER HEIJDE, M.D.

ALSO PRESENT:

JANE BRUCKEL
JASON CRISPIN

C O N T E N T S

Enbrel
Indicated for the Treatment of Ankylosing Spondylitis

* * *

| AGENDA ITEM | PAGE |
|--|------|
| CONFLICT OF INTEREST STATEMENT by Ms. Johanna Clifford | 7 |
| AMGEN PRESENTATION | |
| Introduction by Dr. Daniel Burge | 10 |
| Assessments in Ankylosing Spondylitis by Dr. Desiree van der Heijde | 15 |
| Clinical Program and Results by Dr. Wayne Tsuji | 23 |
| Benefit/Risk Assessment by Dr. Daniel Burge | 34 |
| QUESTIONS FROM THE COMMITTEE TO THE SPONSOR | 41 |
| FOOD AND DRUG ADMINISTRATION PRESENTATION by Dr. William Tauber | 49 |
| QUESTIONS FROM THE COMMITTEE TO THE FDA | 74 |
| OPEN PUBLIC HEARING | |
| by Mr. Jason Crispin | 120 |
| by Ms. Jane Bruckel | 129 |
| COMMITTEE DISCUSSION AND RESPONSE TO FDA QUESTIONS | 133 |

1 P R O C E E D I N G S

2 8:07 a.m.

3 DR. WILLIAMS: We welcome you all to this
4 advisory committee meeting today. We would like to start
5 off by introducing the committee. We'll have them
6 introduce themselves.

7 Fred, if we could start with you, and we'll
8 move around.

9 DR. LASKY: Fred Lasky. I'm the Director of
10 Regulatory Affairs for Genzyme. I'm the acting industry
11 representative.

12 DR. ANDERSON: Jennifer Anderson. I'm a
13 statistician from Boston University.

14 DR. FINLEY: Michael Finley. I'm a
15 rheumatologist from Western University.

16 DR. FRIES: Jim Fries, rheumatologist from
17 Stanford.

18 DR. WILLIAMS: Jim Williams, rheumatologist,
19 University of Utah.

20 MS. CLIFFORD: Johanna Clifford, FDA, Executive
21 Secretary to this meeting.

22 DR. HOFFMAN: Gary Hoffman, rheumatologist,
23 Cleveland Clinic.

24 MS. McBRAIR: Wendy McBair, Director of
25 Arthritis Services, Virtua Health, in New Jersey, consumer

1 rep.

2 DR. VASEY: Frank Vasey, rheumatologist from
3 the University of South Florida in Tampa.

4 DR. SIEGEL: Jeffrey Siegel, Acting Branch
5 Chief, Immunology and Infectious Diseases Branch, FDA.

6 DR. TAUBER: Bill Tauber, also of the Clinical
7 Trials Division of the FDA.

8 DR. WILLIAMS: Thank you.

9 We'll now turn the podium over to Johanna
10 Clifford for our conflict of interest statement.

11 MS. CLIFFORD: Thank you.

12 The following announcement addresses conflict
13 of interest issues with respect to this meeting and is made
14 a part of the record to preclude even the appearance of
15 impropriety at this meeting.

16 The conflict of interest statutes prohibit
17 special government employees from participating in matters
18 that could affect their own or their employer's financial
19 interests. All participants have been screened for
20 conflicts of interest in the product, competing products,
21 and firms that could be affected in today's discussions.

22 In accordance with 18 United States Code,
23 section 208(b)(3), the Food and Drug Administration has
24 granted waivers to the following individuals because the
25 agency has determined that the need for their services

1 outweighs the potential for a conflict of interest.

2 Dr. James Williams' waiver is for his
3 participation on a competitor's Speaker's Bureau. He
4 lectures on topics unrelated to today's discussions and
5 receives between \$10,001 to \$50,000 a year. Also waived is
6 his employer's interest in the sponsor of Enbrel. His
7 employer is participating in two trials of Enbrel for
8 ankylosing spondylitis and the total funding provided is
9 less than \$100,000 a year. Dr. Williams has no involvement
10 in the studies.

11 Dr. Gary Hoffman's waiver is for his consulting
12 for two companies, the co-marketer of Enbrel and a
13 competing company. He consults on unrelated matters and
14 receives less than \$10,000 per year, per company.

15 Dr. Michael Finley has been granted a limited
16 waiver for his participation on a Speaker's Bureau for a
17 firm that co-markets Enbrel and that makes competing
18 products. He lectures on topics unrelated to Enbrel and
19 receives less than \$10,000 annually. Under the terms of
20 the limited waiver, Dr. Finley will be permitted to be
21 participate in the committee's discussions. However, he is
22 excluded from voting.

23 A copy of the waiver statements may be obtained
24 by submitting a written request to the agency's Freedom of
25 Information Office, room 12A-30 of the Parklawn Building.

1 We would also like to disclose that Dr. Fred
2 Lasky is participating as a non-voting industry rep, acting
3 on behalf of regulated industry. He is a full-time
4 employee of Genzyme and has a sales relationship with
5 Wyeth. In addition, he would like to disclose that he owns
6 a nominal amount of stock in Johnson & Johnson.

7 In the event the discussions involve any other
8 products of firms not already on the agenda for which an
9 FDA participant has a financial interest, the participants
10 are aware of the need to exclude themselves from such
11 involvement and their exclusion will be noted for the
12 record.

13 With respect to all other participants, we ask
14 in the interest of fairness that they address any current
15 or previous financial involvement with any firm whose
16 products they may wish to comment upon.

17 In addition, letters were submitted to the FDA
18 on behalf of Enbrel. They are available for public reading
19 at the information desk in the lobby.

20 Thank you.

21 DR. WILLIAMS: The discussion today is on
22 Enbrel and its indication for the treatment of ankylosing
23 spondylitis, and the first presentation will be by Amgen
24 and that will be led by Dr. Daniel Burge, Vice President of
25 Clinical Research for Amgen.

1 Dr. Burge?

2 DR. BURGE: Good morning.

3 Members of the committee, the FDA, ladies and
4 gentlemen, it is a pleasure to be here today to once again
5 discuss the benefits of etanercept. As you're all aware,
6 etanercept is established as an important therapy for the
7 treatment of rheumatoid arthritis, juvenile rheumatoid
8 arthritis, and psoriatic arthritis. As ankylosing
9 spondylitis is a disease with limited treatment options, it
10 is exciting today to present to you the compelling results
11 of the etanercept clinical trials.

12 After a brief description of etanercept in
13 ankylosing spondylitis, Dr. Desiree van der Heijde,
14 Professor of Rheumatology from Maastricht, Netherlands,
15 will provide some insight into metrics in ankylosing
16 spondylitis. Dr. Tsuji will then describe the AS clinical
17 program and study results. I will conclude by presenting
18 some data from the broader etanercept experience and a
19 risk-benefit assessment.

20 Two consultants have graciously agreed to be
21 with us here today. Dr. van der Heijde from the
22 Netherlands and Dr. Clegg from the University of Utah.

23 As I believe most of you know, etanercept is a
24 fully human TNF receptor attached to the FC portion of a
25 fully human immunoglobulin molecule. As such, it is the

1 only marketed TNF product that utilizes receptor-binding
2 specificity. Etanercept binds both soluble and cell-bound
3 TNF with high affinity, thus making TNF biologically
4 unavailable for interaction with cell-bound receptors. The
5 human protein has low immunogenicity and no neutralizing
6 anti-etanercept antibodies have been detected. Etanercept
7 does not activate complement, nor initiate complement-
8 mediated cell lysis. The pharmacokinetics of etanercept
9 are well characterized with a half-life of 3 to 4 days and
10 a narrow range of peak-to-trough concentrations. We
11 believe that these product attributes are relevant to the
12 etanercept efficacy and safety profiles.

13 Amgen has been a leader in the development of
14 innovative therapeutics, such as etanercept. In November
15 of 1998, etanercept became the first biologic approved for
16 the treatment of patients with rheumatoid arthritis,
17 dramatically improving patient care and raising treatment
18 expectations for patients and clinicians.

19 In May 1999, etanercept became the first
20 biologic approved for the treatment of children with
21 juvenile rheumatoid arthritis and it remains the only
22 biologic approved for this indication.

23 In June of 2000, etanercept became the only
24 biologic approved as the first-line therapy for rheumatoid
25 arthritis and the first biologic approved for the

1 inhibition of radiographic progression of disease.

2 In January of 2002, etanercept became the first
3 disease-modifying therapeutic, biologic or small molecule,
4 approved for the treatment of psoriatic arthritis.

5 Evidence demonstrating that etanercept inhibits
6 radiographic progression in patients with psoriatic
7 arthritis was submitted to the FDA for review late last
8 year.

9 In January of this year, we submitted the
10 application under review today. Etanercept is the first
11 agent, other than non-steroidal anti-inflammatory agents,
12 to be reviewed by the FDA for approval for patients
13 suffering with ankylosing spondylitis.

14 Ankylosing spondylitis is the prototypic
15 disorder in the group of disorders referred to as
16 spondyloarthropathies. Reactive arthritis, psoriatic
17 arthritis, inflammatory bowel disease-associated arthritis,
18 juvenile chronic arthritis, and undifferentiated
19 spondyloarthropathies are other members of this group. The
20 features common to all of these disorders define ankylosing
21 spondylitis.

22 Sacroiliitis, with or without spine
23 involvement, is the hallmark of the spondyloarthropathies.

24 Enthesitis, inflammation of the attachments of tendons and
25 ligaments in bone, is also characteristic. Peripheral

1 arthritis is variable and is classically oligoarticular.
2 Anterior uveitis occurs commonly. Rheumatoid factor is not
3 associated with ankylosing spondylitis while the genetic
4 marker HLA-B27 has a high prevalence.

5 Ankylosing spondylitis is the most common
6 spondyloarthropathy and represents approximately 350,000
7 individuals in the United States. The age of onset is
8 typically in the 20s and 30s and almost always prior to the
9 age of 45, though the diagnosis may be delayed beyond this
10 age. Men are more commonly affected than women and
11 typically have more severe disease. Inflammatory back
12 pain, usually with insidious onset, is characterized by
13 prolonged morning stiffness and improvement with activity.

14 As the disease typically begins in early
15 adulthood, it may progress over many decades. Inflammation
16 and fusion of the sacroiliac joint occurs, followed by an
17 ascending ankylosis of the spine. Classically, the spine
18 will fuse with a degree of kyphosis of the thoracic spine
19 with the head thrust forward. Hip disease and flexion
20 contractures of the hip contribute to the appearance in
21 advanced disease. Gradually, as mobility is lost, affected
22 individuals may be unable to turn their head or lie down
23 flat.

24 For decades, the primary therapy for ankylosing
25 spondylitis has been physical therapy to maintain motion

1 and non-steroidal anti-inflammatory drugs to relieve pain
2 and stiffness. Unfortunately for many patients, pain
3 relief provided by NSAIDs remains unsatisfactory.
4 Additionally, NSAIDs have had limited effect on spinal
5 mobility and systemic markers of inflammation.

6 Other therapies, primarily extrapolated from
7 the rheumatoid arthritis armamentarium, have been utilized.

8 Corticosteroids provide limited benefits and have
9 significant toxicities.

10 Multiple studies have been performed with
11 sulfasalazine in ankylosing spondylitis and though the
12 results are mixed, sulfasalazine does seem to have effect
13 on peripheral arthritis but not for axial disease.

14 Methotrexate has been less well studied but
15 also does not seem to provide benefit for spine disease.

16 Other agents have primarily been used
17 anecdotally as there have been no well-controlled studies.

18 During the 1990s, the importance of TNF in the
19 pathophysiology of spondyloarthropathies became apparent.
20 TNF levels were demonstrated to be elevated in the serum
21 and in synovial tissue of patients with ankylosing
22 spondylitis. This photograph depicts staining of messenger
23 RNA for TNF in tissue from the sacroiliac joint of a
24 patient with ankylosing spondylitis.

25 A challenge of designing a clinical trial for

1 the treatment of ankylosing spondylitis is that this
2 condition has many manifestations, including pain and
3 stiffness, disability, decreased spinal mobility, and
4 decreased quality of life. There are many instruments that
5 exist that may be used to assess these different aspects of
6 disease activity in ankylosing spondylitis and there is no
7 general consensus of which measure should be utilized as
8 the primary response measure.

9 I would now like to introduce Dr. Desiree van
10 der Heijde, Professor of Rheumatology from the University
11 of Maastricht. Dr. van der Heijde, along with a number of
12 other clinical experts, has been addressing the issue of
13 clinical response measures in AS for a number of years now.
14 She will share with you some of the work this group has
15 done.

16 Dr. van der Heijde?

17 DR. van der HEIJDE: Thank you, Dr. Burge.

18 I would like to introduce you to the Assessment
19 in Ankylosing Spondylitis Working Group, or ASAS. This is
20 a group of over 60 experts working in the field of
21 ankylosing spondylitis, including rheumatologists, clinical
22 epidemiologists, and patients. It's representing over 20
23 countries. It first started to work in 1995 because in the
24 literature, we found that over 120 instruments were used to
25 assess ankylosing spondylitis, and we tried to make a more

1 complete set out of that.

2 The mission statement of ankylosing spondylitis
3 is to support and promote the study of ankylosing
4 spondylitis, and this includes the increasing awareness and
5 early diagnosis of the disease, the development and
6 assessment and validation of assessment tools, as well as
7 the evaluation of treatment modalities in order to promote
8 clinical research with the ultimate goal to improve outcome
9 of the disease, and it will be on the second topic that I
10 want to discuss some issues with you.

11 First, we developed core sets for the
12 assessments in clinical trials, and we decided to have
13 three different core sets for three different settings.
14 The first one is to assess symptom modifying anti-rheumatic
15 drugs and physical therapy and they are supposed to have an
16 effect on signs and symptoms. The second group is on
17 disease controlling anti-rheumatic therapy. In addition to
18 an effect on signs and symptoms, there should also be an
19 effect on physical function/disability and lastly also on
20 structural damage. And the last set is on the use for
21 clinical record keeping or observational studies.

22 First, we decided to define the domains for
23 each of these settings. So in the middle, you see the
24 domains that are included in all three settings, and each
25 circle gives the additional domains assessed for that

1 specific setting.

2 Here, I'll present to you the core set for the
3 symptom modifying anti-rheumatoid drugs. In the left
4 column, you see the domains, and in the right column, you
5 see the instruments for each domain.

6 The first is the function and usually the Bath
7 Ankylosing Spondylitis Functional Index is used for this,
8 the BASFI.

9 The second is pain and there are two measures
10 to assess pain. One is the overall pain due to ankylosing
11 spondylitis and the second one is the pain during night,
12 again due to ankylosing spondylitis.

13 For the domain spinal mobility, there are four
14 different instruments: the first, chest expansion,
15 modified Schober, and also occiput to wall. And in the
16 later update of the core set, we added the lateral spinal
17 flexion or the Bath Ankylosing Spondylitis Metrology Index,
18 which is a combined score of several measures, one of them
19 being the lateral spinal flexion.

20 Another domain is the patient global and this
21 is assessed on the VAS.

22 Stiffness, usually the duration of morning
23 stiffness but also the average of duration and severity of
24 morning stiffness can be used.

25 And the final domain which was added also at a

1 later stage is fatigue, and here we use the question, the
2 first question of the Bath Ankylosing Spondylitis Disease
3 Activity Index on fatigue.

4 There are three additional domains, if we want
5 to assess disease controlling anti-rheumatic therapy.
6 That's the joints and those are assessed in 44 swollen
7 joint counts and also the enthesitis and you can use a
8 validated enthesitis score for this. For acute phase
9 reactants, ESR has been selected but also CRP can be used,
10 and finally, x-rays of the AP and lateral lumbar spine, the
11 lateral cervical spine, and the pelvis are advised to use.

12 I showed you that you could measure all these
13 instruments with the visual analog scale that's shown here
14 at the bottom, but it can also be assessed by a numerical
15 rating scale and an example is on the slide.

16 A frequently used instrument is the Bath
17 Ankylosing Spondylitis Disease Activity Index, and this has
18 in total five different domains on fatigue, pain in neck,
19 hips and back, pain and swelling in the other joints, sides
20 painful by pressure and it's meant to assess enthesitis
21 here, and two questions on morning stiffness. There's
22 first an average of the questions on morning stiffness and
23 thereafter there's an average of the five. Here, it's
24 expressed from a range from 0 to 10. The visual analog
25 scale can be assessed in centimeters going from 0 to 10 or

1 in millimeters, giving a range from 0 to 100.

2 After we set the core set domains and
3 instruments, we decided that it would be important to have
4 also response criteria compared to the ACR response
5 criteria in rheumatoid arthritis. We decided to have the
6 same domains included in the SMARD core set. So these are
7 the patient global, pain, function, and stiffness, and all
8 of these are included. We also tried to include the domain
9 spinal mobility, but there was a fairly low responsiveness
10 in trials with NSAIDs, so that was not included.

11 First, the most reliable and sensitive
12 instruments were defined, and then we constructed a
13 complete list of possible improvement definitions. Those
14 were tested in two-thirds of the database and validated in
15 the remaining one-third. It was statistically based on the
16 discrimination between NSAIDs and placebo treatment, and
17 later on, it was validated by both a Delphi exercise by the
18 ASAS Working Group but also by end-of-trial judgments by
19 both patients and physicians.

20 It was done in five randomized NSAID-placebo-
21 controlled trials, included a large number of patients. It
22 was short-term trials with a flare design and patients only
23 with axial disease were included.

24 And these were the criteria that came out.
25 These are called now the ASAS 20 improvement criteria. So

1 what we have is the four domains, patient global, pain,
2 function, and stiffness, and the patient is called a
3 responder if there is 20 percent improvement and the
4 minimum of 10 units on a 0 to 100 scale in at least three
5 of the four domains, and if the fourth domain is not
6 included, there should be no worsening in that remaining
7 domain of the same magnitude, and these are used now as
8 endpoints in clinical trials.

9 In that large database, they performed as
10 follows. The NSAIDs showed 49 percent of the patients had
11 a response, and in the placebo group, 24 percent of the
12 patients showed a response. But this is in trials with a
13 flare design, and I will come back to that later.

14 When we validated that later on, it came out
15 that the criteria are highly specific but not that
16 sensitive. So that means that if patients show a response
17 according to the ASAS 20 response, there is indeed
18 improvement.

19 I want to address some caveats, if we want to
20 compare the response rates obtained in NSAID trials and
21 trials with anti-TNF therapy. Usually in NSAIDs trials,
22 there's a flare design. I mentioned that earlier. That
23 means that patients are on stable and safe treatment. They
24 stop the drug. Then they get a flare and only if they get
25 a flare that's large enough, they're able to enter the

1 trial and then they get the same NSAIDs sometimes or a
2 different NSAIDs. That's completely different from anti-
3 TNF trials. That's not a flare design. Patients are
4 usually on stable NSAID treatment but they are not
5 responding. Despite the NSAID treatment, they have a high
6 disease activity, and then, in addition to that, they start
7 with anti-TNF therapy. So the patients entered in NSAIDs
8 trials have a proven efficacy of NSAIDs, and the patients
9 that enter anti-TNF trials have a proven inefficacy of
10 NSAIDs. And in the NSAIDs trials, we usually have
11 inclusion of patients with mild to severe disease and in
12 anti-TNF therapy trials usually severe disease.

13 That brings me to a different subject. First,
14 I talked about improvements, so to see if the patient shows
15 an effect, but it's also important to know how the patient
16 is at the end of the trial, if the patient is really in a
17 good condition, and to define that, the partial remission
18 criteria have been defined. The patient is fulfilling this
19 if there's a value below 20 on the 0 to 100 scale in all
20 four domains.

21 Those ASAS 20 improvement criteria are based on
22 NSAIDs trials, and the question is if it's valuable to
23 assess also anti-TNF therapy trials with the same criteria?

24 In the ASAS Working Group, we decided to add
25 two domains included in the DCART core set and not included

1 in the SMARD, and we compared that with existing ASAS
2 criteria in a large number of combinations and also with
3 improvement with various cut-offs in BASDAI. The extra
4 domains that could be chosen from were the joints, but they
5 show a very low responsiveness and it's only a small number
6 of patients who have swollen joints at the beginning of the
7 trial. The entheses, the instruments to assess that are
8 still in the validation and therefore these two were not
9 used. So the two domains included are spinal mobility and
10 acute phase reactants. Radiographs to assess structural
11 damage is a completely different aspect, so it's not
12 considered here.

13 These response criteria are developed in one
14 clinical trial and validated in other data sets and
15 thereafter there was an opinion-based final selection by
16 the ASAS Working Group members. These are the two
17 definitions that came out.

18 The first one is ASAS 40 percent and 20 units
19 of improvement, and you see these are on the same four
20 domains as included in the ASAS 20, and there again also
21 should be no worsening in the fourth domain.

22 The second set is a 20 percent improvement in
23 five out of six criteria, including these two additional
24 domains. These two sets need further validation and that
25 will be done when new data become available.

1 Now, Dr. Tsuji will continue with the
2 presentation of the clinical program and results.

3 DR. TSUJI: Thank you, Dr. van der Heijde.

4 Members of the committee, representatives of
5 the FDA, ladies and gentlemen, I'm pleased to share the
6 results of the etanercept clinical development program in
7 ankylosing spondylitis.

8 The objectives of the AS development program
9 were to establish the safety and efficacy of etanercept in
10 AS, thereby confirming the role of TNF in the
11 pathophysiology of AS.

12 401 subjects were studied in this development
13 program. The program, consisting of three studies, began
14 with a proof-of-principle study 16.0626, an investigator-
15 initiated study with 40 subjects. Two larger studies
16 comprised the pivotal program. The primary study was Amgen
17 Study 16.0037. This multicenter study in Europe and North
18 America included 277 subjects. A shorter supportive
19 clinical trial, Wyeth's study 47687, included 84 subjects
20 at 14 European sites.

21 The initiating proof-of-principle study was
22 conducted by Dr. John Davis and colleagues at the
23 University of California, San Francisco. This study
24 suggested that etanercept would be a valuable therapy in
25 ankylosing spondylitis. The results were published in the

1 New England Journal of Medicine in May 2002.

2 This was a randomized, double-blind study. 40
3 subjects with ankylosing spondylitis diagnosed by modified
4 New York criteria with active disease enrolled in the study
5 of etanercept, 25 milligrams BIW, versus placebo. Subjects
6 were allowed to continue stable background treatment with
7 NSAIDs, corticosteroids, and/or DMARDs. Subjects with
8 psoriasis, inflammatory bowel disease, and reactive
9 arthritis were excluded. Subjects with a positive
10 rheumatoid factor or previously treated with TNF inhibitors
11 were also excluded.

12 It should be noted that the UCSF study
13 commenced prior to the development of the ASAS response
14 criteria.

15 The primary endpoint of this study was defined
16 by 20 percent improvement in three of five parameters with
17 no worsening in the remaining two. The parameters included
18 in the UCSF response criteria are listed here. Improvement
19 in either nocturnal spinal pain or morning stiffness was
20 required.

21 This study provided the first evidence that
22 etanercept was effective in ankylosing spondylitis.
23 Response was detected by week 4, the earliest time point.
24 As can be observed, improvement at week 16, the primary
25 endpoint, was highly significant.

1 Following the positive results of the Davis
2 study, the pivotal program was launched by Amgen and Wyeth.
3 The pivotal program consisted of study 16.0037 and study
4 47687. These randomized, double-blind, multicenter studies
5 of placebo versus etanercept, 25 milligrams BIW, were
6 nearly identical in design, differing only in duration.
7 277 subjects in the primary study were treated for 24
8 weeks, 84 subjects in the supportive study were treated for
9 12 weeks. Both studies required a diagnosis of definite AS
10 by modified New York criteria and the presence of active
11 disease. Stable background NSAIDs and prednisone less than
12 or equal to 10 milligrams daily were permitted. Subjects
13 were allowed to continue on stable hydroxychloroquine,
14 sulfasalazine, or methotrexate and were enrolled with
15 stratification for DMARD use. Since one of the important
16 evaluations is impact on spinal mobility, subjects with
17 complete fusion of the spine were excluded.

18 In contrast to the proof-of-principle study,
19 the pivotal program studied a broad range of subjects,
20 including those with associated psoriasis, inflammatory
21 bowel disease, and reactive arthritis.

22 The primary endpoint for both studies was the
23 ASAS 20 at week 12. The protocol-defined endpoint differed
24 slightly from the ASAS response criteria published by
25 Anderson in 2001 and discussed by Dr. van der Heijde. For

1 the domain pain, the protocol-defined ASAS 20 used the
2 average of total spinal pain and nocturnal spinal pain
3 while the ASAS Working Group specified total spinal pain
4 only.

5 In the primary study, a conditional primary
6 endpoint was the ASAS 20 at week 24 to be assessed if the
7 earlier primary endpoint was achieved.

8 Additional endpoints are listed here. Higher
9 levels of response were assessed. The ASAS 50 and 70. The
10 low disease state of partial remission and DCART responses
11 by ASAS-proposed criteria discussed by Dr. van der Heijde
12 were also assessed. Pain, stiffness, patient function, and
13 patient global self-assessment, all patient-reported
14 outcomes, and elements of the ASAS response criteria were
15 determined.

16 Importantly, we assessed spinal mobility by
17 modified Schober's test and by measurements of chest
18 expansion and occiput-to-wall distance. Acute phase
19 reactants, measures of the systemic inflammatory response,
20 were determined. Finally, peripheral joint counts were
21 performed.

22 Baseline demographic characteristics of
23 subjects in the placebo and etanercept groups were
24 generally well balanced. Mean age was approximately 42 in
25 both studies. As expected, males predominated. Mean

1 duration of disease was approximately 10 years. In the
2 supportive study, etanercept subjects were slightly older
3 and had mean duration of disease of 15 years. This slight
4 imbalance did not affect the outcome. Subjects were
5 predominantly caucasian. As expected, approximately 85
6 percent of subjects had a positive HLA-B27, a genetic
7 marker highly associated with ankylosing spondylitis.

8 Close to 90 percent of subjects had received
9 NSAIDs and approximately 15 percent of subjects had
10 received corticosteroids within 6 months of baseline.
11 Between 30 and 40 percent of subjects were on DMARDs, the
12 most common of which were sulfasalazine and methotrexate.

13 In both studies, baseline disease activity was
14 balanced between treatment groups. As you are aware, all
15 measures are on a 0 to 100 scale. Also note that subjects
16 had active disease defined by stiffness of 30 or higher and
17 scores of at least 30 in two of the remaining three
18 measures listed.

19 Completion at the primary endpoint was high.
20 In fact, 96 percent or better. By week 24, in the primary
21 study, the most common reason for discontinuation in the
22 placebo group was lack of efficacy. I will discuss
23 discontinuations due to adverse events later in the
24 presentation.

25 The primary endpoint in both studies was

1 achieved. ASAS response at week 12 in the primary study
2 was confirmed in the supportive study. Response rates for
3 the Anderson-defined ASAS 20 were nearly identical at this
4 and every other time point. Improvement with etanercept
5 was rapid and significant, seen as early as 2 weeks, the
6 first assessment after start of treatment. Improvement was
7 maximal by 8 weeks and sustained to 24 weeks, the end of
8 the study. Clinical response to etanercept was highly
9 significant at all time points.

10 Higher levels of response, the ASAS 50 and 70,
11 were achieved in a greater proportion of patients treated
12 with etanercept than placebo. These differences were
13 highly significant in the primary study. These responses
14 were also observed in the supportive study.

15 The low disease state of partial remission
16 discussed by Dr. van der Heijde was achieved by
17 significantly more subjects on etanercept in the primary
18 study. To remind you, partial remission is defined as a
19 score of less than 20 in each of the ASAS criteria. The
20 same trend was observed in the supportive study.

21 The ASAS Working Group proposed response
22 criteria for disease-controlling anti-rheumatic therapies.

23 Significant responses by DCART 20 and DCART 40 were
24 observed at weeks 12 and 24 in both studies.

25 Greater improvement was seen in all of the

1 individual elements of the ASAS 20 with etanercept in both
2 studies. As you can see, there was significant improvement
3 in subject global scores, pain scores, the Bath Ankylosing
4 Spondylitis Functional Index and in the stiffness questions
5 from the BASDAI. Note the consistency of results.

6 Limitation of spinal mobility is a hallmark of
7 ankylosing spondylitis. Traditional therapies have not
8 consistently or significantly improved spinal mobility. We
9 found significant improvement in all three spinal mobility
10 measures in the primary study at weeks 12 and 24, including
11 Schober's test, chest expansion, and occiput-to-wall
12 distance. Note the improvement between weeks 12 and 24.
13 For occiput-to-wall distance, mean improvement at 24 weeks
14 exceeded 1 centimeter. Improvement was also observed in
15 the supportive study.

16 Typically, peripheral joint involvement in
17 ankylosing spondylitis is limited, as is the case in this
18 study. Please note the low median tender and swollen joint
19 counts at baseline. Significant reduction in tender joints
20 was seen with etanercept in the primary study. The same
21 trends were observed in the supportive study. The low
22 baseline swollen joint count precluded our ability to see a
23 significant treatment effect for this parameter.

24 Acute phase reactants, markers of systemic
25 inflammation, which traditional agents affect only

1 minimally, responded with significant improvement with
2 etanercept. Represented here is median percent improvement
3 in sedimentation rate and C-reactive protein in both
4 studies. Responses with etanercept are highly significant.

5 We performed subgroup analyses to verify the
6 consistency of response across treatment subpopulations.
7 Favorable treatment effects were seen in all
8 subpopulations. The panel has been asked to comment on the
9 magnitude of treatment effect in certain subgroups, HLA-B27
10 negative subjects, women, older subjects, and subjects with
11 a history of psoriasis. As we review these subgroups, we
12 should remember the statistical issue concerning multiple
13 analyses and small sample size.

14 Responses to etanercept for HLA-B27 positive
15 subjects reflected responses seen for the entire study
16 population. As seen at week 12, a treatment effect was not
17 detected in HLA-B27 negative subjects but was apparent by
18 week 24. The response at week 24 approached significance
19 with a p of 0.06, despite the small sample size.

20 Addressing the question of gender, depicted
21 here are ASAS 20 responses from men and women at weeks 12
22 and 24 with significant responses in men and women at 24
23 weeks.

24 Addressing the question of age, depicted here
25 is ASAS 20 by age at weeks 12 and 24. In subjects with

1 ages above and below the median age of 42 years,
2 significant response was seen at all time points.

3 26 subjects with a history of psoriasis entered
4 the study with 15 randomized to placebo and 11 randomized
5 to etanercept. Depicted here are ASAS 20 responses in
6 subjects with and without a history of psoriasis at weeks
7 12 and 24. Treatment effect in the small psoriasis
8 population while lower than in patients without psoriasis
9 was present.

10 The pivotal program enrolled a broad range of
11 subjects with ankylosing spondylitis. Multiple analyses
12 were performed for baseline demographic and disease
13 activity characteristics. Some of the subgroups in these
14 analyses were small. The response to etanercept is
15 extremely robust with demonstration of response in all
16 subgroups, including the small subgroups of HLA-B27
17 negative and psoriatic patients.

18 Summarized here are the composite responses at
19 week 12 in the primary study. Proportion of responders
20 with etanercept is consistently and significantly higher
21 for all measures, the ASAS 20, 50 and 70, and DCART 20 and
22 40, and the low disease state of partial remission.

23 Summarized here are measures in each of the
24 domains deemed important by the ASAS Working Group.
25 Response with etanercept is again consistently and

1 significantly higher in all domains by multiple measures.
2 These observations unequivocally establish the efficacy of
3 etanercept.

4 I will now discuss safety. Subjects were
5 carefully monitored for adverse events. We will first look
6 at all adverse events. I will discuss events which were
7 deemed serious or which led to withdrawal. We'll finally
8 review laboratory abnormalities and antibodies.

9 Adverse events occurring in more than 10
10 percent of subjects in any treatment group are depicted
11 here. As expected, injection site reactions occurred more
12 often with etanercept. Injection site reactions were mild
13 and resolved despite continued treatment with etanercept.
14 Upper respiratory infections and injury/accidents occurred
15 more often in etanercept-treated subjects in the primary
16 but not the supportive study.

17 Here are serious adverse events in the primary
18 study. Serious injuries/accidents occurred in both
19 treatment groups and in the etanercept group were
20 associated with three traumatic bone fractures. Infectious
21 associated with hospitalization were reported in 1 patient
22 in the placebo group and 2 in the etanercept group. 1
23 subject was hospitalized and withdrew from the study after
24 developing a fever and truncal rash, presumed to be a drug
25 reaction. 1 subject developed lymphadenopathy and was

1 hospitalized for evaluation. The adenopathy regressed
2 spontaneously and the subject continued on etanercept.

3 Dr. Burge will discuss serious gastrointestinal
4 events.

5 The only serious adverse event in the
6 supportive study was a myocardial infarction in an
7 etanercept subject who remained in the study.

8 This slide presents withdrawals due to adverse
9 events. The majority of these have already been outlined
10 in the prior SAE slide and are shown here in gray. The two
11 additional non-serious gastrointestinal events will be
12 discussed by Dr. Burge.

13 There were no safety withdrawals in the
14 supportive study.

15 No laboratory abnormalities of concern were
16 identified in the pivotal program. 2 subjects in the
17 primary study had grade 3 abnormalities in hematology
18 results noted at a single time point, 1 with a low absolute
19 neutrophil count and 1 with a low lymphocyte count. These
20 subjects remained on etanercept and did not report
21 infection associated with these laboratory abnormalities.
22 We observed grade 3 abnormalities in liver function tests
23 at a single time point in 1 subject in the supportive
24 study.

25 An important consideration with all protein-

1 based therapies is immunogenicity which can be associated
2 with loss of efficacy and with allergic reactions. To
3 date, this has not been a concern for etanercept. In the
4 AS program, non-neutralizing anti-etanercept antibodies
5 were detected in 3 subjects in the primary study. These
6 antibodies had no clinical sequelae. Anti-etanercept
7 antibodies were not detected in subjects in the supportive
8 study.

9 We have learned a great deal about etanercept
10 in ankylosing spondylitis. We have clearly demonstrated
11 that etanercept rapidly reduces disease activity by
12 multiple measures, relieves spinal pain and stiffness,
13 improves mobility and subject function, and improves acute
14 phase reactants.

15 Importantly, ASAS response criteria were
16 achieved at the 20, 50, and 70 percent levels significantly
17 more often in subjects treated with etanercept than with
18 placebo. These differences are highly significant and
19 certainly clinically relevant.

20 The safety profile of etanercept in patients
21 with AS is favorable.

22 Dr. Burge will now provide additional
23 perspective and conclude.

24 DR. BURGE: Thank you, Dr. Tsuji.

25 As one of the questions set forth by the agency

1 for discussion today focuses on inflammatory bowel disease,
2 I will share additional observations regarding etanercept
3 therapy in patients with inflammatory bowel disease. I
4 will then represent the AS trials' experience in the
5 context of the broader experience in rheumatic disease with
6 etanercept accrued over the last 10 years. Finally, I will
7 close with an assessment of the benefit-risk of etanercept
8 in the treatment of patients with ankylosing spondylitis.

9 As previously presented by Dr. Tsuji, 4
10 patients in the etanercept group discontinued study drug
11 due to gastrointestinal disease events. Reviewing the case
12 detail may provide useful additional perspective.

13 The first event represented on this slide
14 represents a patient with a history of diverticulitis and
15 multiple previous abdominal surgeries who developed bowel
16 obstruction due to adhesions that resolved after lysis of
17 those adhesions.

18 The second event is from a patient who
19 developed diarrhea and bloody stools, who underwent
20 complete evaluation by a gastroenterologist, including
21 colonoscopy, which demonstrated the absence of inflammatory
22 bowel disease and the bleeding was attributed to internal
23 hemorrhoids.

24 The last two events do represent inflammatory
25 bowel disease cases and are included in the following

1 slide. Approximately 5 percent of patients in each
2 treatment group had pre-existing inflammatory bowel
3 disease, either ulcerative colitis or Crohn's disease.
4 There was 1 patient in the placebo group and 1 patient in
5 the etanercept group that was newly diagnosed with Crohn's
6 disease during the clinical trial. 1 additional patient
7 with a history of recurrent flares of ulcerative colitis
8 requiring systemic corticosteroids discontinued
9 corticosteroids 2 weeks prior to entry into the AS trial
10 and had a flare of bowel disease 1 month into the study.

11 There were no adverse events attributable to
12 inflammatory bowel disease in the supportive study.

13 We additionally reviewed the clinical course of
14 patients who entered other rheumatic disease trials with
15 incidental history of inflammatory bowel disease. 14 such
16 subjects were identified. 7 were treated in short-term
17 studies of 4 to 6 months' duration. All completed their
18 study without exacerbation of inflammatory bowel disease.
19 The remaining 7 subjects were included in our long-term
20 clinical trial program. 1 discontinued in the fourth month
21 of therapy due to lack of benefit, and the remaining 6 have
22 been followed on etanercept therapy for a mean of over 4
23 years and the longest at the end of 5 years. No adverse
24 events related to inflammatory bowel disease have been
25 reported in these patients.

1 Two randomized placebo-controlled trials have
2 evaluated the effect of etanercept in patients with Crohn's
3 disease. The first trial was a phase II dose-ranging study
4 that included 14 patients treated with placebo and 35
5 patients treated with etanercept. 50 percent of the
6 placebo group met the pre-defined response criteria
7 compared to 66 percent of the etanercept patients. The
8 proportion of patients that withdrew due to exacerbation of
9 Crohn's disease was greater in the placebo group.

10 The second study was a randomized placebo-
11 controlled trial performed by Dr. William Sandborn at the
12 Mayo Clinic. He evaluated 43 patients with active Crohn's
13 disease, 23 of these receiving etanercept. As can be seen
14 in this graph, there was no clear impact on the Crohn's
15 disease activity index, the primary outcome measure.

16 Overall, 80 patients with inflammatory bowel
17 disease have been evaluated in the context of etanercept
18 clinical trials. Data from this etanercept experience,
19 including two randomized placebo-controlled trials in
20 patients with Crohn's disease, do not support an
21 association between etanercept therapy and IBD
22 exacerbation.

23 As this audience realizes from multiple prior
24 reviews, the etanercept safety profile has been well
25 established. Over 182,000 patients have received marketed

1 product for over 341,000 patient years. Over the last 10
2 years, nearly 3,400 patients have received etanercept in
3 prospective clinical trials of rheumatoid arthritis,
4 juvenile rheumatoid arthritis, and psoriatic arthritis.
5 This database includes over 8,000 patient-years of
6 etanercept experience with 1,000 patients into their fifth
7 year of therapy and nearly 400 patients into their sixth
8 year of therapy.

9 This table demonstrates the rates and events
10 per patient-year of adverse events within etanercept
11 clinical trials in different rheumatic disease populations.

12 Advanced rheumatoid arthritis with or without
13 methotrexate, early rheumatoid arthritis, psoriatic
14 arthritis, and ankylosing spondylitis is shown on the far
15 right. Whether we evaluate events that are considered
16 serious or non-serious, infectious or non-infectious, the
17 experience with AS is comparable to that observed in other
18 rheumatic diseases.

19 Etanercept is generally safe and well tolerated
20 in patients with ankylosing spondylitis, and it has
21 generally been accepted that etanercept is safe and well
22 tolerated in patients with rheumatoid arthritis, juvenile
23 rheumatoid arthritis, and psoriatic arthritis. The safety
24 experience in the ankylosing spondylitis clinical program
25 has been comparable to that observed in the other rheumatic

1 disease populations.

2 In study after study after study, across
3 multiple rheumatic diseases, including late-stage RA, early
4 RA, JRA, and psoriatic arthritis, and now ankylosing
5 spondylitis, etanercept has been associated with
6 unsurpassed efficacy. Etanercept has changed the paradigm
7 for disease management in modern rheumatology care. Across
8 multiple composite clinical response measures, robust
9 efficacy has been demonstrated with etanercept in the
10 treatment of ankylosing spondylitis.

11 In addition to the robust efficacy observed in
12 the composite measures, consistent efficacy is also
13 demonstrated in each of the domains outlined by the ASAS
14 Working Group cited to be important for evaluating signs
15 and symptoms of ankylosing spondylitis. We've been
16 particularly intrigued by the multiple outcome measures
17 demonstrating improvement in spinal mobility and are
18 hopeful that this finding may translate into improved
19 longer-term outcomes for patients with this often
20 progressively disabling disorder.

21 As clinicians, a number of us here today have
22 had the experience of caring for people with ankylosing
23 spondylitis. We have personally seen the impact of the
24 disease, the pain, the progressive immobility, and the
25 resultant disability. Both patients and physicians have

1 been frustrated with the lack of satisfactory therapies for
2 this disorder. In fact, many patients with AS drift away
3 from medical care as they become disillusioned with trials
4 of ineffective therapies.

5 It is a great pleasure to share with you today
6 data that demonstrates that etanercept provides rapid and
7 dramatic improvements in pain, stiffness, function, and
8 mobility to people who have had no meaningful alternatives.

9 Etanercept with its favorable and well-established safety
10 profile constitutes a much-needed advance for the treatment
11 of patients with ankylosing spondylitis.

12 If you will recall for many years prior to
13 1998, there's been no significant new therapies for the
14 treatment of rheumatoid arthritis, and for decades,
15 rheumatologists utilizing inadequate therapies were
16 resigned to accepting a certain degree of persistent
17 disease activity. The introduction of etanercept
18 contributed to a shift in expectation in both the
19 clinicians and in the patients and today, this level of
20 disease activity that was previously accepted is no longer
21 tolerated.

22 With etanercept, we've been able to improve
23 signs and symptoms, joint damage, improve functional
24 capabilities, and improve quality of life for patients with
25 rheumatoid arthritis. In 2003, we are on the verge of a

1 similar paradigm shift for the treatment of ankylosing
2 spondylitis. We are excited that etanercept has the
3 potential to improve the lives of so many patients, and I
4 personally feel very privileged to have been able to play a
5 role in the development of this significant advance in the
6 treatment of rheumatic disease.

7 Thank you.

8 DR. WILLIAMS: Questions the committee has for
9 the sponsor?

10 I have one. We're here to discuss the
11 treatment of ankylosing spondylitis, but in your studies,
12 you had several spondyloarthropathies. I believe in
13 16.0037, there are 15 with psoriasis and seven with
14 inflammatory bowel disease.

15 If we take out those with other forms of
16 spondyloarthropathies, do we know if there's any change in
17 the safety or efficacy?

18 DR. BURGE: Yes. We included patients in the
19 study that as long as they met the modified New York
20 criteria, they qualified for the study and that is
21 inclusive of patients who have characteristics of other
22 spondyloarthropathies.

23 If you'll pull the slide up, please? This
24 slide shows the ASAS 20 response at the primary endpoint of
25 the patients who had no associated diseases on the right

1 side and those that had ankylosing spondylitis without
2 associated diseases on the left side, and you can see there
3 was robust response in both treatment groups.

4 DR. WILLIAMS: Was toxicity the same?

5 DR. BURGE: We saw no differences in the
6 toxicity.

7 DR. FRIES: I have a string of sort of related
8 questions that maybe you can address. This is a very
9 straightforward and clean presentation of some very
10 interesting data, but I didn't see anything that told me
11 how many centers there were, for example and what the
12 centers were. And I didn't see the consort type of
13 progression in which it's recommended that you identify the
14 pool of potentially available people for a trial, then
15 those people who were screened and considered, then those
16 people who were invited to the trial, and finally those who
17 accepted that. I say that in part because if there's some
18 number of centers and there are 80 patients in a trial, and
19 these centers have as many ankylosing spondylitis patients
20 as I would think, this would represent only a very, very
21 small fraction of patients with ankylosing spondylitis in
22 the target centers.

23 DR. TSUJI: For 16.0037, the primary study, we
24 had 28 sites in Europe and in North America. There were
25 two Canadian sites. We screened 330 patients and a total

1 of 284 were randomized and 277 were dosed. In the Wyeth
2 study, 47687, there were 14 European sites, and I'm not
3 certain of their screening numbers.

4 DR. WILLIAMS: On the ASAS 20, there was an
5 absolute change required. On the ASAS 50 and 70, in
6 addition to 50 percent and 70 percent improvement, was
7 there an absolute requirement for a change?

8 DR. BURGE: Since there were no published
9 documents of how to perform that, what we did in these
10 analyses is for the 50 and 70 percent response, we required
11 50 and 70 percent improvement and at least 10 units. There
12 have been other ways that people have calculated that, but
13 this is the way we performed it in this study.

14 DR. WILLIAMS: Other questions by the
15 committee? Jennifer?

16 DR. ANDERSON: I have several questions and
17 they just relate to some details, for the most part.

18 I would have liked to have seen in some of the
19 presentation of mobility results in joints, involvement of
20 joints results, the numbers of patients who had any
21 abnormality at baseline. I know the effects were
22 significant. I think it was on the slides on page 28 and
23 29. But it would have been informative, I think, to know
24 how many of the patients had the problems at baseline.

25 And I also would have liked to have seen on

1 page 33 where you have all the ASAS, ASAS 20, 50, and 70
2 and so on, one more parameter and that is an ASAS with five
3 out of six that includes the ESR or the CRP and the spinal
4 mobility as components.

5 And it's also a little bit unsatisfying. I
6 mean, I know everything's wildly significant, but to have
7 an idea of the relative significance of these would be
8 helpful. I mean, this could be done with a test statistic
9 or something.

10 This is just a methodologist's point of view,
11 but if you can supply these things, I would be very
12 interested to see them.

13 DR. BURGE: This slide just tells the baseline
14 mean and median scores for the spinal mobility measures.
15 For the occiput-to-wall measure, about 40 percent of the
16 patients had a 0 score at baseline, and so obviously those
17 were normal and were unable to improve. The exact
18 percentage of the patients that have normal Schober's and
19 chest expansion at baseline, I don't readily have.

20 The second question you asked was about the
21 five out of six parameters. Correct?

22 DR. ANDERSON: Also about the joints.

23 DR. BURGE: Oh, excuse me. The joint counts.
24 For swollen joint counts, about 47 percent of the patients
25 on etanercept had 0 swollen joints at baseline compared to

1 about 53 percent, 55 percent in the placebo group. So
2 roughly half of the patients had no swollen joints, about
3 one-third of the patients had no tender joints.

4 And the five out of six parameter you asked for
5 -- if you could pull up this slide C-55, please?

6 The two proposed DCART parameters. I know this
7 might be a little bit of code, but there was two proposed
8 definitions, one of which was a 40 percent response in the
9 ASAS criteria and that's denoted here by the DCART 40. So
10 that's 40 percent in three out of four parameters. The
11 DCART 20 is labeled here. That is 20 percent improvement
12 in five of six parameters which do include the spinal
13 mobility and acute phase reactant.

14 DR. ANDERSON: Oh, I see. DCART. Okay. Very
15 good. Is that in our package?

16 DR. BURGE: Yes.

17 DR. ANDERSON: I see. So DCART 20 does include
18 five out of six. I'm sorry.

19 DR. WILLIAMS: But the 40 does not.

20 DR. ANDERSON: But the 40 doesn't, yes. Thank
21 you.

22 DR. WILLIAMS: Other questions from the
23 committee for the sponsor?

24 DR. FRIES: Let me follow up my previous
25 question because I was starting to do a little arithmetic

1 on the back of the envelope here.

2 So if I understand the centers in the largest
3 study, they contributed an average of about 10 patients
4 from each center. Now, it said that there were 330 invited
5 or screened and 280, whatever the number is, that actually
6 accepted which seems to be on at least in our experience a
7 really huge fraction.

8 I would suspect that in the centers, there was
9 some kind of pre-screening before you get to the area that
10 you were calling screening; that is, patients that were
11 severely afflicted or patients were advertised for,
12 something so that you actually got 9 out of 10 of them to
13 actually pass the criteria.

14 We've just run much smaller fractions than
15 that, particularly for a difficult study like this.

16 DR. BURGE: Clearly, as we were trying to get
17 this study enrolled in a fairly reasonable time frame, each
18 site only was given a significant small sample size at each
19 site. Obviously, these sites had a number of things that
20 they did to try and gather their patient population for
21 this particular study. It had to do with what drugs they
22 were on. Certain drugs were exclusionary. As we said,
23 they could only continue certain disease-modifying
24 therapeutics during that time period, and patients who had
25 complete fusion were excluded.

1 So overall, we tried to allow a fairly broad
2 range of patients and so the doctors would select from
3 their cohort. I'm sure they had a number of mechanisms by
4 which they did that, by calling patients to see who would
5 be interested in a clinical trial, and some docs by waiting
6 for patients are coming in for their office visits. So
7 there's a variety of ways that different sites may have
8 used to select their patients.

9 DR. WILLIAMS: Further questions? Jennifer?

10 DR. ANDERSON: One more about the withdrawals.

11 There were no withdrawals from the shorter study because
12 of adverse events, and I was wondering whether the
13 withdrawals from the primary study occurred in the latter
14 half of that study or not, what the timing of them was.

15 DR. BURGE: There were 7 withdrawals due to
16 adverse events in the etanercept group in the larger study.
17 4 of them were within the first half of the study and 3 of
18 them were in the second half. So it was fairly evenly
19 distributed throughout the study.

20 DR. WILLIAMS: Frank?

21 DR. VASEY: Could you elaborate on the x-ray
22 changes? I realize it was not primarily a radiographic
23 study. Do you have, for example, any information on the
24 syndesmophytes in these patients? I know if it was a fused
25 spine, the patients were excluded, but say, they had some

1 cervical range of motion. Were they included in that
2 situation?

3 DR. BURGE: The only patients that would be
4 excluded are patients who had complete fusion of the spine.
5 There was obviously a broad range of involvement in the
6 spine, and we did collect x-rays in all these patients and
7 we plan to gather further x-rays in the future. But the
8 only patients that would be excluded were the ones that had
9 complete fusion.

10 DR. VASEY: And how many patients had
11 syndesmophytes? You can still have some spinal mobility
12 and have some syndesmophytes, say, in your lumbar spine.

13 DR. BURGE: The x-rays have not been formally
14 read at this point.

15 DR. WILLIAMS: Further questions by the panel?

16 (No response.)

17 DR. WILLIAMS: Thank you very much.

18 We're quite a bit ahead of schedule. However,
19 the FDA needs to break to set up their presentation. So we
20 will take a 15-minute break. I have 13 minutes after 9:00.
21 So we'll reconvene at 28 minutes 9:00.

22 (Recess.)

23 DR. WILLIAMS: We will now reconvene.

24 The next is the FDA presentation which will be
25 made by Dr. William Tauber.

1 DR. TAUBER: Good morning. Chairman,
2 distinguished members of the advisory panel, ladies and
3 gentlemen, good morning. I have the privilege this morning
4 to present the FDA perspective on the use of etanercept for
5 ankylosing spondylitis.

6 The FDA perspective has two objectives. One is
7 to confirm the safety and efficacy analysis of the sponsor
8 and the second being to highlight those differences in
9 interpretation of that data that might exist. In general,
10 those areas occur in concert with situations where the data
11 is inconclusive or insufficient and that's why we come to
12 you as the advisory committee to seek your advice and
13 counsel on how to proceed.

14 Well, the first slide, which is cut off a bit,
15 indicates the members of the team that participated in the
16 review of etanercept. As you can see, perhaps can't see,
17 Chao Wang was our biostatistician and did an excellent job.

18 While we're clearing up the technical difficulties, Chao
19 Wang was our statistician, provided excellent support.
20 Karen Jones was our regulatory project manager and was
21 invaluable. Debra Bower provided our biomedical research,
22 and facility review was done by Daniel Kearns.

23 So what's being sought here by the sponsor is
24 that Enbrel is indicated for reducing the signs and
25 symptoms of ankylosing spondylitis.

1 What's the rationale for use of etanercept?

2 Well, you've heard that eloquently presented by the
3 sponsor, but I'll reiterate it very briefly.

4 Ankylosing spondylitis is a chronic
5 inflammatory rheumatic disease of unknown etiology. Non-
6 steroidal anti-inflammatory drugs have been used and are
7 FDA-approved for use in patients with ankylosing
8 spondylitis. DMARDs, such as used in rheumatoid arthritis,
9 are also used, although are not currently FDA-approved, and
10 importantly neither NSAIDs nor DMARDs have demonstrated the
11 ability to affect the progression of disease in ankylosing
12 spondylitis.

13 Tumor necrosis factors again have been well
14 presented by the sponsor. They have been determined to be
15 elevated in synovial tissue and in serum of patients with
16 ankylosing spondylitis. That gives you a clue. Etanercept
17 is currently licensed for use in rheumatoid arthritis,
18 juvenile rheumatoid arthritis, and in psoriatic arthritis,
19 and it may very well be that ankylosing spondylitis shares
20 with these other entities similar pathogenic mechanisms.

21 We're having some technical difficulties again.

22 (Pause.)

23 DR. TAUBER: I really wasn't in a hurry to get
24 to the end.

25 This is slide 6 and on time. I'm going to

1 explain my methodology, and this is a little bit different
2 than you might have expected. You are certainly accustomed
3 to have your phase II trial procedure, phase III, but I've
4 decided to reverse them. I'm going to talk about the
5 methodology which is very well presented already. I want
6 to talk about the phase III trials because that's where the
7 bulk of the information exists. The phase II trial I bring
8 in as further evidence to support methodology in the use of
9 etanercept for ankylosing spondylitis.

10 What about the methodology? You've heard the
11 methodology is based on the work of the Assessments in
12 Ankylosing Spondylitis Working Group of which Dr. van der
13 Heijde is a member. So I won't further furnish their
14 credentials which are evident. They created or published
15 in 1999 their evaluation after a number of years of
16 deliberations that determined that there were five domains
17 that were most relevant to the short-term assessment of
18 ankylosing spondylitis clinical benefits. Those were
19 physical function, pain, spinal mobility, spinal stiffness
20 and inflammation, and lastly patient global assessment.

21 In 2001, they reported, in Anderson 2001, the
22 results of their analysis as you heard earlier of 1,030
23 patients with ankylosing spondylitis who were treated with
24 non-steroidal anti-inflammatories for less than 6 weeks'
25 time. They analyzed that data and determined that of the

1 five original domains, four were responsive and you could
2 tell placebo effect from the effect of the non-steroidals.
3 Spinal mobility, it needs to be pointed out, did not show
4 the same responsiveness and was not included in the
5 original ASAS 20 responses.

6 What about the ASAS 20? You've heard a great
7 deal already but you'll hear some more, and that is,
8 basically for the primary endpoint, just to go over it in
9 some detail, you needed to meet an ASAS 20. You needed to
10 demonstrate an improvement of at least 20 percent or 10
11 units absolute on the visual analog scale, the VAS, in at
12 least three of the four domains. The patient global
13 assessment, the average total and nocturnal pain, and we've
14 heard the distinction between that and Anderson's work.
15 The Bath Ankylosing Spondylitis Functional Index, the
16 BASFI, total of 10 questions, and the BASDAI or the Bath
17 Ankylosing Spondylitis Disease Activity Index -- glad I
18 don't have to say it again -- the average of the last two
19 questions which conformed to inflammation. And there
20 needed to be an absence of deterioration. So this was the
21 primary endpoint used by both of the studies.

22 Secondary endpoints were also shared between
23 the two studies, and the color code here is not another
24 glitch in the computer but it's intentional. The white
25 captions indicate those that will be discussed per

1 protocol, the yellow indicate those that I put at the end
2 of the discussion of the clinical trials, and the green are
3 going to be acknowledged here as being part of the studies
4 but will not be discussed in this presentation.

5 The phase II and III, back in their proper
6 order, as you've heard, 16.0626 was the randomized, double-
7 blind, single-center, placebo-controlled trial enrolling 40
8 individuals to receive either etanercept 25 milligrams
9 twice a week or a placebo. The phase III, 16.0037, the
10 larger of the two, which was randomized, double-blind,
11 multicenter enrolling 277, as you heard, at 28 centers
12 internationally, and again etanercept 25 milligrams twice a
13 week versus placebo. And lastly, 47687 which was the
14 randomized, double-blinded, multicenter but entirely
15 European study of etanercept 25 twice a week versus placebo
16 which enrolled 84 patients.

17 Who were the enrollees? The inclusion
18 criteria, as you've heard, were adult patients 18 to 70
19 years of age. They had to have a diagnosis of ankylosing
20 spondylitis with a modified New York criteria. They had to
21 have active disease which was defined at baseline as having
22 first a visual analog scale of greater than or equal to 30
23 for average duration and intensity of morning stiffness,
24 plus you had to have two of the three remaining, either the
25 patient global assessment, nocturnal and total, back pain

1 and the BASFI 10-question questionnaire.

2 Those excluded had total ankylosis of the
3 spine, those patients on DMARDs, other than what you see,
4 those that are on prednisone greater than 10 milligrams per
5 day, or those that had non-steroidal anti-inflammatories
6 that were changing.

7 First study. Design, 277 patients with the
8 active ankylosing spondylitis randomized 1 to 1 etanercept
9 versus placebo for 24 weeks. Randomization was
10 accomplished in the presence of the DMARDs versus no
11 DMARDs. Dosing was the same as you see here.

12 The primary efficacy analysis was done on a
13 modified intention-to-treat population which was defined as
14 all randomized and receiving at least one dose of study
15 medication. The ASAS 20 at 12 -- and I put in parentheses
16 "and 24" because for the first study, a 24-week endpoint
17 was considered to be a co-primary. If the 12 week was
18 successful, then the 24 could be considered as well.
19 Comparing etanercept with placebo using the Cochrane-
20 Mantel-Haenszel test with stratification again for DMARDs.

21 Well, who made it? And you've heard earlier
22 about the study completion, but again this is worth
23 shouting about. Randomized and not receiving any
24 medications, there were 284 patients who were randomized,
25 and of those, 3 in the placebo group and 4 in the

1 etanercept group did not receive study medication. The
2 most common reason, although there were a variety of
3 reasons, for not proceeding was the inability to meet the
4 disease activity criteria.

5 Those that completed 12 weeks, 96 percent,
6 which is quite excellent, and those that completed 24 weeks
7 was also nearly 90 percent in both the placebo and in the
8 etanercept group.

9 Discontinuations. Adverse events were an
10 uncommon reason for discontinuation in the placebo group,
11 but as we heard before, 7 individuals in the etanercept
12 group did withdraw from treatment because of safety. As
13 far as what was the most common reason for discontinuation
14 in the placebo group was lack of efficacy, and as you can
15 see, 13 individuals withdrew for lack of efficacy versus 3
16 in the etanercept group, and there were a variety of other
17 reasons conforming to personal decisions, physician
18 decisions, et cetera, to make up the remainder.

19 Who were these patients? As you heard, they
20 basically were caucasian men, around 82 kilograms, and HLA-
21 B27 positive and they had had ankylosing spondylitis
22 diagnosed for a mean duration of 10 years.

23 Baseline characteristics are a little bit sort
24 of squashed here, but the important thing to show is that
25 the four domains of the ASAS were well matched and that

1 these patients had greater than a median intensity of
2 disease activity at baseline. About 31 percent of patients
3 in both groups had a history of DMARDs and about 14 or so
4 percent of patients had corticosteroids.

5 One of the interesting things in the analysis,
6 sort of stepping back from this, is that corticosteroids
7 were used in the majority of cases. The three major
8 reasons for which these patients appeared to be on
9 corticosteroids were for uveitis, for asthma, and the most
10 prevalent reason was for ankylosing spondylitis, either
11 through injection or systemic treatment.

12 Extra-spinal manifestations of inflammatory
13 disease in these patients. Well, as was said before,
14 uveitis is very common in ankylosing spondylitis patients
15 and it was common in this population, coming in around 30
16 percent. Ocular inflammation likewise also around 30
17 percent. Conjunctivitis a little bit less. Inflammatory
18 bowel disease somewhere in the 5 percent and psoriasis
19 somewhere around 10 percent, well matched between the two
20 study groups.

21 So where did this take us? This is the primary
22 endpoint, and as you can see and have already seen, the
23 ASAS 20 at 12 weeks, there was a 33-point treatment
24 difference between etanercept and placebo. At 24 weeks,
25 that treatment delta had increased actually to 35, and both

1 of these values were statistically significant.

2 You've heard about the ASAS 50 and 70. I want
3 to spend just a moment talking about how they were
4 calculated. I think you have heard this but it bears
5 repeating, and that is, that ASAS 50 represents a 50
6 percent improvement but does not represent a change in the
7 absolute numeric increase in points, only 10. It's the
8 same as ASAS 20 and the same is true for the ASAS 70, that
9 10 points, but you do need to have greater levels of
10 improvement subjectively. 70 is just the next order up.

11 Graphically -- and I was reflecting earlier
12 that I seem to be inverted, whereas I presented etanercept
13 as blue, it was presented as yellow just previously. I'm
14 not sure of the significance of that, but in any event, the
15 20/50/70 of both 24 and 12 weeks are presented here. The
16 thing to point out is that etanercept has a greater effect
17 than placebo in each one of these. At 70, you have 29
18 percent of patients at 12 weeks on etanercept reach the
19 ASAS 70 compared to only 7 percent of those patients that
20 received placebo. At 24 weeks, those numbers are very
21 similar with 28 percent of the etanercept patients reaching
22 the ASAS 70 compared to only 4 percent of the placebo
23 patients. All these values were statistically significant.

24 Partial remission has been introduced as a
25 concept. The actual definition. It was proposed by the

1 ASAS Working Group. Actually, it appears, to my vision, in
2 the presentation in 2001, the Anderson study, and it was
3 part of the original deliberations on the five non-
4 steroidal anti-inflammatory studies. It was felt that it
5 would complement the response criteria, that it would allow
6 for cross-trial comparisons since it obviously, if you had
7 patients -- that enrolled mostly severe patients, they may
8 not improve to this level and those that have mild disease
9 might not be able to improve as much.

10 The way it is defined is a less than 20 on the
11 visual analog scale in each of the four ASAS response
12 criteria and you see them listed here.

13 Graphically, at week 12 and week 24, there is a
14 higher response in the etanercept recipients than in the
15 placebo for this particular parameter. These are nominally
16 statistically significant.

17 The next secondary endpoint was the individual
18 components of the ASAS Working Group response criteria, and
19 as has been shown -- this is only one study at a time -- in
20 every instance, there was no one parameter that seemed to
21 be out of balance or in a different direction than the
22 others. They all showed the etanercept to be superior to
23 the placebo and that each one individually achieved at 12
24 weeks statistical significance.

25 Acute phase reactants, sedimentation rate and

1 C-reactive protein. There are a couple of things that I
2 want to illustrate from this slide, the first of which
3 being that although the numbers are elevated, they're only
4 slightly so. These are the medians, and I've included the
5 medians because in the placebo group for sedimentation
6 rate, that's actually within the normal range. It's only
7 mildly elevated in the etanercept group. C-reactive
8 protein, the medians are actually within the normal range.

9 This is not a disease that manifests itself with a great
10 deal of acute phase reactant positivity high numbers.
11 That being said, etanercept still was able to improve the
12 level of the sedimentation rate and C-reactive protein and
13 achieved a p value of less than .001.

14 The DCART 20 and the DCART 40. This was
15 presented as an exploratory analysis, and I'll handle it as
16 such. The DCART 20 again uses the four criteria of the
17 ASAS response criteria that we've mentioned, plus it adds
18 chest expansion for spinal mobility and C-reactive protein
19 for acute phase reactants. DCART 20 has the same
20 requirements for the first four and the other two have to
21 have a 20 percent improvement relative to baseline without
22 an absolute numeric change. A DCART 20 requires five of
23 six to demonstrate 20 percent improvement without worsening
24 the remaining domain.

25 One of the things I would like to point out is

1 that it is very easy to see that you could achieve five of
2 six positive and leave spinal mobility out. It could be
3 that spinal mobility, which is the fifth domain, is not
4 seen by the DCART 20. The DCART 40 is not the next big
5 brother of DCART 20. DCART 40 is actually a different
6 system which uses the ASAS Working Group criteria, holds
7 them to a 40 percent response and requires a 20 unit
8 improvement rather than a 10 without worsening in the
9 remaining domain.

10 What does it look like? You've seen this
11 earlier. In using the DCART 20 and the DCART 40,
12 etanercept did achieve higher values of DCART 20 and DCART
13 40, and these values actually are somewhat similar to the
14 ASAS 50, as would probably be anticipated since they share
15 similar methodology, and they did achieve a p value that
16 was statistically significant.

17 What follows is a number of exploratory
18 analyses. The first of these is did having a non-skeletal
19 inflammatory condition make a difference? Did those
20 patients who have them fare differently when they received
21 etanercept? What we found is that having uveitis, having
22 inflammatory bowel disease, having any of these risk
23 factors for reactive arthritis did not appear to confer any
24 disadvantage to the etanercept recipients. There seemed to
25 be no discernible difference between etanercept recipients

1 who had these conditions and those who did not.

2 That being said, psoriasis -- and you've seen
3 this earlier and probably the mirror image color-wise which
4 demonstrates that at 12 weeks at least, that patients with
5 psoriasis, of which there were only 26 -- and we have to
6 interpret this data with caution because of the small
7 numbers involved -- achieved an ASAS 20 of 45 percent
8 compared to 61 percent in those who did not have a history
9 of psoriasis. Again, this is a very small group and we
10 bring it up for your deliberation.

11 What about other baseline variables? Well,
12 other things that have made differences in other studies
13 were all certainly looked for here, race, weight, disease
14 duration, and geographic site, and none of these
15 demonstrated a significant impact on the treatment effect
16 with etanercept. We were particularly astonished to see
17 the lack of effect of disease duration, that actually those
18 patients that had ankylosing spondylitis diagnosed longer
19 appeared to have a very similar response to etanercept.

20 But age -- and you've seen this earlier but
21 you're going to see it a little bit different in
22 presentation, and what I have here is all of the age groups
23 broken into quartiles. And I guess I'm distressed to see
24 the 50 and older are the last quartile but I guess that's
25 that. What you see is a stair step approach or stair step

1 of decreased efficacy with each quartile. So that, each
2 generation seems to get a different effect with etanercept.

3 The placebo seemed to remain relatively constant, but
4 again there seems to be a relentless decline.

5 What about gender? And this again was
6 presented. There were 67 women that were participants in
7 this study. Of those 67 women, at 12 weeks, ASAS 20, they
8 reached ASAS 20, about 45 percent, compared to about 65
9 percent in their male counterparts.

10 What about baseline disease severity? It
11 certainly would make sense that if those are more severely
12 affected would have perhaps, say, lesser response or
13 perhaps even a greater response. Actually, on analysis, we
14 found that those patients that were above and below the
15 median for all four of the domains had very comparable
16 responses to etanercept. They did not seem to be at a
17 disadvantage to having more disease severity. The same
18 thing is true with the presence or absence of hip disease
19 which is considered to be a poor prognostic factor, and
20 this did not seem to impact the responsiveness to
21 etanercept.

22 What about concomitant medications? Well, the
23 effect size for etanercept at 12 weeks did not seem to be
24 affected by concomitant use of non-steroidals of which it
25 seemed like everyone -- about 90 percent. Corticosteroids,

1 there were 36 individuals who were on them, and there did
2 not seem to be an impact. DMARDs, 87 in total, and of
3 those breaking it down to two, the two most prevalent of
4 the DMARDs that were permitted in this study, sulfasalazine
5 and methotrexate, again, there did not seem to be a
6 significant impact on etanercept whether or not you were on
7 these medications or not.

8 Again, this is the last of the exploratory
9 analyses that I'll present on this study, and this is the
10 ASAS 20 at 12 and 24 weeks for HLA-B27 positive versus
11 negative. You'll notice that there were 217 patients who
12 had an HLA-B27 antigen test done and were found to be
13 positive. There were 40 patients -- I noticed you had 41
14 -- that had HLA-B27 tested and found to be negative. So
15 again, the numbers are small.

16 At 12 weeks, the HLA-B27 negative population,
17 there is an improvement compared to placebo, but it does
18 not achieve statistical significance, and it is lower than
19 the HLA-B27 positive. At 24 weeks, there is some
20 improvement and some narrowing of the gap between the two,
21 but again the HLA-B27 negative population has a lower
22 response compared to their HLA-B27 antigen positive
23 counterparts.

24 Moving on to safety, I've highlighted in yellow
25 those factors. This is greater than 5 percent as opposed

1 to a little bit longer list than was presented.
2 Highlighted in yellow are those categories where there is a
3 numeric imbalance between the two arms. And again,
4 infections, injection site reactions, and accidental injury
5 appear to be the most important of these adverse events.
6 We speculated on the possibilities for the accidental
7 injury, whether patients felt so exuberant that they were
8 going skiing, but it turned out most of these were motor
9 vehicle accidents and occupational hazards.

10 Important safety outcomes. Serious adverse
11 events, as you've heard, there were 9, or 7 percent, in the
12 etanercept group versus 5 in the placebo group.
13 Withdrawals for safety which we'll touch on in a minute, 7
14 versus 1, 5 percent versus 1 percent. Grade 3 and 4
15 adverse events or infections, 14 versus 4, and abnormal
16 laboratories, you've heard already, there were two
17 instances of hematologic laboratory abnormalities that were
18 grade 3, an ANC that was low and a lymphocyte count that
19 was low. Both of these resolved without intervention.

20 Percent serious adverse events. The totals, 4
21 percent and 7 percent. The accidents, there's a 1 percent
22 increase and a 1 percent increase for gastrointestinal, but
23 infections and fevers seemed to be, comparing the two arms,
24 the more prevalent of the serious adverse events driving
25 the differences between the two arms.

1 Withdrawals for safety. There were, as you
2 have seen, 7 withdrawals in the etanercept group versus 1
3 in the placebo group, a patient who made a suicidal attempt
4 and was the only grade 4 adverse event in the study.
5 Accidents, there were 2 among the 4 that prompted
6 withdrawal in the etanercept group. Infections/fever, 1.
7 Gastrointestinal, we'll speak on a little bit more, but
8 it's already been treated on, and psychiatric was the 1
9 patient with the suicide attempt.

10 Infections of all intensity. There were 2
11 serious infections, grade 3 infections. Both of them were
12 cellulitis. Both of them were due to animal bite and both
13 required IV hospitalization and IV antibiotics, and there
14 was one viral infection on the placebo side that received
15 IV antibiotics pending evaluation. Most of the remainder
16 of the infections, however, were of mild or moderate
17 intensity. If you take out upper respiratory tract
18 infection, the larger numbers of etanercept patients with
19 infections really becomes fairly comparable between the two
20 arms. So upper respiratory tract infections appear to be
21 more prevalent in etanercept patients as has been seen in
22 other studies.

23 Summary of efficacy for this study. The
24 etanercept 25 milligrams twice a week was superior to
25 placebo in achievement of ASAS 20 response criteria at both

1 12 and 24 weeks. The treatment difference is 33 percent.
2 DMARDs did not appear to affect the difference. Prognostic
3 factors potentially associated with lower response: older
4 age, female gender, HLA-B27 antigen negativity, and
5 concomitant psoriasis.

6 Our summary of safety for this study.
7 Etanercept 25 milligrams twice a week had a higher observed
8 incidence of certain adverse events compared to placebo.
9 There were more serious adverse events. There were more
10 withdrawals for safety. There were more grade 3/4 events
11 and infections, and of the 7 safety withdrawals, there were
12 4, as you've heard. Of the 2 patients that were diagnosed
13 with inflammatory bowel disease, 1 had a prior history of
14 inflammatory bowel disease and 1 was a newly-diagnosed
15 patient with inflammatory bowel disease.

16 Moving to the second study, in this, we start
17 to pick up the speed here because the predominant amount of
18 information is contained in the first study.

19 The protocol enrolled 84 patients again with
20 active ankylosing spondylitis, again randomized 1 to 1.
21 The treatment duration was 12 weeks versus 24.
22 Randomization was also done with DMARDs involved. Dosing
23 was etanercept 25 milligrams twice a week or placebo, and
24 the primary efficacy analysis once again is the modified
25 intention-to-treat population, all randomized to one dose

1 of medication given, and the ASAS 20 at 12 weeks compared
2 etanercept to placebo using the Cochrane-Mantel-Haenszel
3 test with stratification for DMARDs.

4 What about the populations? As you've heard,
5 one of the things that doesn't appear here, there was a
6 slightly longer duration of disease in the etanercept
7 patients in this study of 15 years, a mean duration then in
8 the previous study, but otherwise the durations were the
9 same, around 10 years.

10 There were some other exceptions. There was a
11 lower mean weight, 75 kilograms versus 82 kilograms. There
12 was a prior use of DMARDs. This is prior use, not
13 necessarily concomitant use, was higher in the study 2
14 population at 69 percent, and this might reflect the 15
15 years of duration. And there was a lower incidence of
16 ocular inflammation, 16 percent versus 30 percent, uveitis
17 22 percent versus 30 percent. There was a bit higher
18 psoriasis, 15 percent versus 10 percent, in study 1, and
19 the incidence of inflammatory bowel disease again was
20 around 5 percent.

21 I cheated a bit on this slide because I've
22 included two things. I have the completed 12 weeks along
23 with the primary endpoint, and this is again to highlight
24 the fact that there was very good participation for 12
25 weeks in the study. 100 percent of placebo completed 12

1 weeks, 96 percent of etanercept patients, and neither of
2 the etanercept patients withdrew. The 2 that withdrew
3 withdrew for adverse events.

4 What was the value? What was the answer? What
5 is found is an ASAS 20 at 12 weeks for etanercept of 60
6 percent versus 23 percent which is a statistically
7 significant difference.

8 Using the ASAS-defined partial remission --
9 this is unfortunately a little distorted. One of the
10 things that we would point out here is that there is
11 greater response, there are greater numbers of etanercept
12 patients who achieve the ASAS-defined partial remission but
13 that it does not, in this study at least, reach statistical
14 significance.

15 Looking at adverse events of all intensities,
16 again the code here is if it's yellow, there's a bit of an
17 imbalance, and as you can see, injection site reactions,
18 injection site ecchymosis, and asthenia were more prevalent
19 in etanercept. Infections seemed to be fairly well
20 balanced in this particular study. Perhaps upper
21 respiratory tract infections weren't an issue.

22 Important safety outcomes. One of the things
23 that you first see when you look at this study is that
24 there's very little on there. There was one serious
25 adverse event in one gentleman who had a myocardial

1 infarction and he also contributed to the grade 3/4
2 abnormal laboratory through elevated liver function tests.

3 In terms of withdrawals for safety, there
4 weren't any. In terms of grade 3 and 4 adverse events,
5 there are 4 versus 2, which seems like a fairly similar
6 number to me.

7 Looking at the last study -- and again this is
8 going in sort of a backward way -- this study enrolled 40
9 patients with active AS, again randomized 1 to 1 to
10 etanercept or placebo for 16 weeks. The dosing was
11 etanercept 25 milligrams twice a week versus placebo.
12 Modified intention-to-treat population was again used, all
13 randomized and receiving at least one dose of study
14 medication.

15 This, because this did antedate the development
16 of ASAS 20, had pre-specified ankylosing spondylitis
17 criteria which needed to gone over a bit in detail. 20
18 percent response at 16 weeks in three of five pre-specified
19 ankylosing spondylitis criteria with one of the improved
20 measures being spinal pain or morning stiffness without
21 worsening in the remaining two.

22 For patients without joint swelling, one of the
23 five measured elements -- and as we heard earlier, about 50
24 percent of patients, at least in the first study, had no
25 joint swelling at baseline -- then improvement was required

1 in three of the four remaining elements without concurrent
2 worsening in the remaining one.

3 These are the five in some detail because again
4 not only are they very much more to talk about. Patient
5 global assessment was done using a five-point scale over
6 the past week. Improvement was designated as a decrease of
7 one.

8 Nocturnal spine pain used the visual analog
9 scale of 100 with an improvement of 20 percent in
10 millimeters.

11 Duration of morning stiffness was determined in
12 terms of minutes in the day preceding the clinic visit with
13 20 percent indicating achievement of the criteria.

14 The last two, the BASFI 10 questions, and the
15 swollen joint score, peripheral joint swelling at 44
16 diarthroidial joints rated on a 4-point scale, 0 for no
17 swelling, 1 for mild, 2 for moderate, and 3 for severe.
18 Improvement defined as a decrease in joint swelling by 20
19 percent in swelling score. If the swollen joint score was
20 0 at baseline, clearly you had nowhere to go but down.

21 What was the result using this particular
22 criteria system? I've included week 12, although it was
23 not one of the primary endpoints. I do this to compare it
24 with the studies we discussed so far which did use the 12
25 weeks. At 16 weeks, using the pre-specified criteria just

1 described, 75 percent of etanercept patients achieved a 20
2 percent value on the pre-specified criteria versus 25 with
3 placebo which was statistically significant. It was also
4 statistically significant at week 12, and this is down in
5 the 45 percent treatment difference between etanercept and
6 placebo at week 12 using the pre-specified.

7 Well, an ad hoc analysis was done. The ASAS
8 Working Group published their criteria, and this study was
9 looked at with a modified ASAS 20 criteria. The reason I
10 say modified is because obviously the data was collected in
11 a different way and needed to be converted. The conversion
12 was done by converting the global assessment to a visual
13 analog scale, and the morning stiffness had to also be
14 converted to a visual analog scale from number of minutes
15 with greater than a 120 minutes being rated as 100, and
16 then five-sixths of whatever the number of minutes became
17 the visual analog scale.

18 At week 12, again which would be comparable to
19 the two previous studies, you see that 65 percent of the
20 etanercept patients have achieved this modified ASAS 20
21 versus 25 percent of the placebo, and at week 16, which was
22 the endpoint, it's 85 percent versus 25, both of these
23 values being statistically significant.

24 This study included a few other analyses, one
25 being the total back pain. This is the Dougados

1 Spondylosis Functional Index and lastly the Krupp's Fatigue
2 Measurement. I include them here because again they add
3 more to our understanding of the methodology of looking at
4 ankylosing spondylitis. In each of these, etanercept did
5 demonstrate greater efficacy than did placebo and these all
6 were found to be nominally statistically significant.

7 As promised, spinal mobility. Though we've
8 gone back to study 1 now, we're going to look at spinal
9 mobility, and what I have graphically presented here is at
10 12 weeks, what is the spinal mobility determination in the
11 first study. As you can see, the Schober's test shows the
12 least increase. Chest expansion and occiput-to-wall all
13 achieve a nominal p value of less than 0.05. So it is
14 possible to see improvement in spinal mobility with
15 etanercept.

16 Using the study 2, again the three parameters
17 that were evaluated, the Schober's test does achieve a
18 nominal p value at 12 weeks of less than 0.05, and the
19 other two demonstrate higher activity in the etanercept
20 group, but these do not at 12 weeks achieve statistical
21 significance.

22 Lastly study 3, none of these -- they all show
23 improvement but none -- again, the same recurring theme
24 that they did show improvement in the spinal mobility.
25 They did not at 12 weeks achieve even a nominal p value of

1 statistical significance, but what isn't show here is that
2 at week 16, that occiput-to-wall and Schober's test did
3 achieve statistical significance, and the remaining
4 parameter was very close at 0.05.

5 Swollen and tender joints. This is again from
6 study 1. The point to be made -- and I think it's already
7 been well discussed -- is that tender joints did
8 demonstrate a statistical nominal p value of less than .05
9 in study 1 at 12 weeks, where swollen joints did not. I
10 guess one of the things that sort of struck me was that
11 there were a number of patients that, when your placebo is
12 performing at that level, it's very hard to achieve that,
13 but the tender joints certainly did demonstrate statistical
14 significance.

15 This is the final conclusions on efficacy.
16 Etanercept was demonstrated to be statistically superior to
17 placebo in three trials assessing symptomatic treatment in
18 active ankylosing spondylitis. Older age and female gender
19 were associated with lower response rates. I can say that
20 up a little higher because the numbers were greater, but
21 then I'll lower my voice and say that in HLA-B27 negative
22 and that in psoriasis, I also saw some decreased
23 performance, and I don't know the significance of that.

24 Methodology. The results used in the ASAS 20
25 generally demonstrate responses of similar direction and

1 magnitude to previously-used measures used in the
2 assessment of therapeutic benefit in ankylosing
3 spondylitis. By that, I mean the Dougados Spondylosis
4 Functional Index, the Krupp's Fatigue Measurement, et
5 cetera.

6 In terms of safety, our conclusions are that
7 the safety profile of etanercept in ankylosing spondylitis
8 is similar to that seen in rheumatoid arthritis and other
9 indications. That being said, there were more withdrawals
10 for inflammatory bowel disease in etanercept patients
11 compared to placebo recipients in study 1 but the numbers
12 were quite small.

13 That concludes my talk. Thank you very much.

14 DR. WILLIAMS: Does the committee have any
15 questions for Dr. Tauber of the FDA?

16 DR. ANDERSON: I'd like to make a comment about
17 this modified ASAS 20 and the use of the term "converting
18 to VAS" because I think that's a bit misleading because a
19 VAS was not actually completed by anybody, but I think it
20 would be more appropriate to say that the measure was
21 converted to a 0 to 100 scale rather than a VAS.

22 I think that spinal mobility results would be
23 more informative if you had the n's involved in each case.

24 Neither this presentation nor the FDA's presentation
25 actually shows sample sizes.

1 I guess this is just for future reference for
2 thinking about what kinds of measures are useful and how
3 consistently useful they are and what proportion of the
4 subjects they're relevant for. That's all. I don't know
5 whether either FDA or the sponsor would want to respond to
6 either of those right now.

7 DR. WEISS: Thank you for your comments on the
8 issue of the conversion. I think that's a very good
9 comment.

10 I agree it's not shown on the slide, but in the
11 briefing document, the tables, for instance, looking at
12 page 20, table 13, "Endpoints of Spinal Mobility
13 Parameters," and we do have the total n's for this study.

14 I guess the question I would actually ask our
15 reviewers. I'm making the assumption that everybody in the
16 trial or nearly everybody in the studies actually had
17 spinal mobility testing done. We don't have any
18 information on if there's missing values and imputation,
19 but we have the total n's which is actually the sample size
20 for the trial.

21 DR. SIEGEL: I think the total number of
22 patients who had spinal mobility measured was the whole
23 population. I think what Dr. Anderson is wondering about
24 is how many of those patients had an abnormal spinal
25 mobility to begin with, so where improvement would be

1 relevant. Is that what you were --

2 DR. ANDERSON: Yes.

3 DR. SIEGEL: And we don't have that
4 information.

5 DR. BURGE: Yes, we do. I shared with you
6 earlier the number of patients that had 0 scores in the
7 occiput-to-wall score. What we used is a cut of 5
8 centimeters being a surrogate for normal for the Schober's
9 and for the chest expansion, and at baseline, about 10
10 percent of the patients had a normal Schober's test and 12
11 percent in the placebo and 17 percent in the etanercept
12 group had a normal in the chest expansion measure.

13 DR. ANDERSON: What was the percentage? It was
14 like 40 percent?

15 DR. BURGE: 40 percent for the occiput-to-wall.

16 DR. ANDERSON: Thank you.

17 DR. WILLIAMS: Jim?

18 DR. FRIES: It's a very interesting set of
19 studies because the results in this population are so
20 dramatic. I would guess that it wasn't really at the end
21 of the study a double-blind study for either the examining
22 person or the patients. I don't say that as anything
23 against the results of the study but do suggest to the FDA
24 that what we recommended on other occasions is that at the
25 end of a study, one debriefs the investigator and the

1 patient with regard to which arm of the study they guessed
2 they were on before they're actually informed.

3 In this instance, I guess if people threw away
4 their bed and got up and walked, that they'd have some hint
5 after 10 years of disease that they were on something that
6 they hadn't been on before. It's an interesting thing
7 because in some ways, it represents for subjective values a
8 source of potential bias. It's also, viewed from another
9 way, a real statement of effectiveness, if in fact it was
10 making a change.

11 DR. WILLIAMS: Dr. Walton?

12 DR. WALTON: May I ask from the aspect of
13 unblinding, whether you're thinking that the unblinding is
14 more from effectiveness or whether the side effects, for
15 instance, from the small injection site reactions
16 contributing an unblinding effect, whether you think of
17 that?

18 DR. FRIES: This is pure speculation, and I
19 don't even know if it should go on the record.
20 Numerically, I think it was probably the effectiveness, but
21 many patients must have also had some injection site
22 reactions. So it would be sort of confirmatory in that.
23 It's just that they did have some clues.

24 I've had this feeling that essentially all
25 randomized, controlled trials suffer to some degree or not

1 from lack of blinding, and we've made a habit of doing
2 these debriefings at the end, and they always are
3 significantly greater than 50/50 with regard to the patient
4 and the investigator identifying the active arm of the
5 trial. So it's an interesting thing because we say double-
6 blind and we go through all of these protocol things, but
7 in fact there are little clues.

8 In a lupus cytoxan study some years ago, they
9 gave everybody wigs because they anticipated that the
10 alopecia would be that, but the patients still knew despite
11 the wigs. Just a side point really.

12 DR. WILLIAMS: I think it's fair to say that
13 there's a potential for unblinding. It really was a
14 blinded study in the traditional sense of the word.

15 Did you have a comment?

16 DR. BURGE: I would just like to share some
17 data about you particularly mentioned the injection site
18 reactions. If you can show this slide? Because we did
19 look to see whether the injection site reactions would have
20 had an effect on the responsiveness in this study. As you
21 can see, you can see the results in both studies of those
22 patients with and without injection site reactions and
23 really the response rates between those with injection site
24 reactions and those without were very comparable.

25 Additionally, the assessors from the sites were

1 all blinded to all the other aspects of disease and so the
2 global assessment done by the assessor was not involved in
3 patient care or in any other way involved with the patients
4 to try and maintain this kind of blinding.

5 DR. WILLIAMS: Other questions or comments?

6 DR. WALTON: Could I make a comment on the
7 unblinding issue?

8 DR. WILLIAMS: Jeff?

9 DR. WALTON: Dr. Fries, I think that we've
10 thought a lot about these concerns about potential
11 unblinding that you can run into, especially with studies
12 of agents with large effect sizes where the investigators
13 and patients can both be unblinded. We've approached it in
14 a number of different ways.

15 One is to include independent blinded
16 assessors, where possible, although since so many of the
17 outcome measures here are from the patient, there's no way
18 to unblind that.

19 Other things that we've done is to look at the
20 patients who don't have unblinding side effects, as you
21 just saw with the injection site reactions.

22 Another approach is to use two different doses
23 of the product. With the original etanercept study in
24 rheumatoid arthritis, 10 milligrams and 25 milligrams were
25 both investigated, and they had similar unblinding side

1 effects, and the effect size was different between the two,
2 but this is always a problem.

3 We have thought about using assessments of the
4 investigator and the patient about what treatment arm they
5 thought they were on, but it's not clear exactly how you
6 would use those answers. If you could explain all of the
7 efficacy in terms of unblinding, would that necessarily
8 mean that the result was invalid? It's a little bit
9 difficult to know how to use that.

10 DR. FRIES: Yes, I agree, and I agree with Jim,
11 that by any of the usual standards, this was a randomized
12 double-blind trial, and I didn't really mean that to be
13 taken as a serious criticism. I just do find it a very
14 interesting area and those of us who like measurement and
15 who have done a lot of these measurements in people know
16 that if you're getting improvement, let's say, in a
17 Schober, that could be a decrease in inflammatory activity.

18 It could be a decrease in pain activity, allowing
19 different kinds of effort levels because there are effort
20 levels. So I just find this an interesting area to kind of
21 try and analyze just a little bit.

22 DR. WILLIAMS: If a trial has the potential to
23 be unblinded, it's either a very effective or a very
24 dangerous drug.

25 Gary?

1 DR. HOFFMAN: Dr. Tauber reviewed with us the
2 differences in quartiles regarding age and pointed out the
3 decreasing efficacy with each increasing quartile, and I
4 was wondering, it may be in the briefing book but I didn't
5 recall the data, how many people were in each of those
6 quartiles and how robust was that data as we got up into
7 the quartile that was over 50 years of age? So the crux of
8 that question is, is this really robust? Is it quite
9 convincing that we lose efficacy with age?

10 The other question tied to that perhaps that
11 the sponsors could address as well is, as with conventional
12 agents, as the biologics are being developed, do we have
13 the means by which we can evaluate differences in
14 metabolism with age, clearance? We're aware of immunologic
15 senescence with age. Are these factors that are playing an
16 important role in response to therapy? So perhaps first
17 Dr. Tauber.

18 DR. WILLIAMS: Dr. Tauber?

19 DR. TAUBER: My understanding is that the
20 quartiles in etanercept are approximately 40 individuals
21 per quartile and that -- no, I'm sorry. It would be
22 somewhere in the neighborhood of 30 individuals per
23 quartile because there were a 140 individuals totally who
24 received etanercept. These were, as I understand it,
25 equally divided. So I would have no anticipation that one

1 group was given a larger number than the other.

2 DR. WILLIAMS: Dr. Burge?

3 DR. BURGE: Yes. We've put the slide up here
4 for you, Dr. Hoffman, to show the different breakdowns of
5 the quartiles that are represented, and as Dr. Tauber said,
6 it's roughly, when you do it by quartiles, we have a
7 quarter of the patients. There's a little bit of
8 unevenness in the two treatment groups as you make fine
9 cuts. You can note here that we put both the 12- and the
10 24-week data on these different breakdowns, and we have
11 statistical significance at the .05 level at all those,
12 except for the older patients at the 12-week time point.

13 DR. HOFFMAN: Do you also have any data that
14 you might be able to share with us regarding metabolism
15 clearance?

16 DR. BURGE: We don't have any data on that.

17 DR. WILLIAMS: Dr. Siegel?

18 DR. SIEGEL: I can perhaps make one additional
19 comment about --

20 DR. BURGE: I do have one comment I could make
21 to that. Excuse me, Jeff. We've not seen differences in
22 serum concentrations, et cetera, from patients with
23 different age groups, but that's really the limit of what
24 we have on serum concentrations.

25 DR. SIEGEL: In terms of your question about

1 the robustness of the finding, we didn't perform
2 statistical tests to see whether there was a statistically
3 significant association between age and response rate.

4 When we do these analyses, we consider them
5 exploratory. They're intended to see if there are any
6 patient group who doesn't have the benefits seen in the
7 study population as a whole, but they are by their nature
8 exploratory.

9 DR. WILLIAMS: Other questions for the FDA?

10 (No response.)

11 DR. WILLIAMS: Then that will conclude this
12 portion of the session.

13 We are an hour ahead of schedule. We will have
14 the open hearing at 11:30. I think we'll begin to discuss
15 the questions but we won't take any votes until after we've
16 had the open hearing.

17 So if the committee could turn to the
18 questions. I will not read the preambles to each one. You
19 have them in front of you. Question number I has two
20 parts. Do the results from these clinical trials
21 demonstrate that etanercept is effective in patients with
22 ankylosing spondylitis, and (B) if licensed, do the data
23 support an indication of reducing signs and symptoms?
24 After the open hearing, we will vote on this question, but
25 we'll discuss it at this point.

1 Any comments? Jim?

2 DR. FRIES: This is in a sense the crux of the
3 issue, and I wanted to ask the sponsor and other people
4 perhaps if they had -- I'm sure that the sponsor's people
5 have read the Canadian Rheumatology Association paper which
6 came out last month on this same subject that we're dealing
7 with here now. And I wondered if there were comments on
8 appropriateness or inappropriateness of the conclusions of
9 that paper from the consortium group in Canada.

10 DR. WILLIAMS: Dr. Burge?

11 DR. BURGE: Clearly the reference there is that
12 they recommended in that consortium the use of NSAIDs first
13 in the treatment of ankylosing spondylitis and that since
14 there's no other therapies that have been effective for
15 spinal disease, that following ineffective therapy or
16 inadequate therapy for non-steroidals, that TNF inhibitors
17 are appropriate for that. They're the only therapies that
18 have been shown to be effective, and I don't think we have
19 any issues with that recommendation at all.

20 DR. FRIES: Let me see if I can make an issue.
21 What they basically came down to is what you said,
22 although they also included infliximab in their analyses.
23 Infliximab and etanercept are indicated for reduction of
24 signs and symptoms of moderate to severely active
25 spondyloarthropathy in patients who have had an inadequate

1 response to maximum doses of two non-steroidal anti-
2 inflammatory drugs over a 3-month period of observation and
3 either sulfasalazine or methotrexate is indicated in those
4 with predominantly active peripheral arthritis. So in
5 fact, to me, this is sort of what we heard here today.

6 But the issue with regard to the question
7 that's before us here, like do they demonstrate that
8 etanercept is effective in patients with ankylosing
9 spondylitis, and presumably in the context of this
10 discussion, that means these 350 putative patients out
11 there. But the patients that have been studied are
12 patients with moderately to severely active ankylosing
13 spondylitis selected clearly from the universe of all
14 patients.

15 So that, if I was asked the question of has it
16 been shown that these drugs are effective in ankylosing
17 spondylitis unqualified, I think the answer to that
18 probably would be no. It's been shown rather dramatically
19 that in selected patients with ankylosing spondylitis, it
20 is extremely effective and well within a safety range.

21 DR. WILLIAMS: I would be satisfied if you just
22 said active ankylosing spondylitis because they didn't
23 really classify them as moderate or severe but only as
24 active as defined, and so I agree that of the 350,000
25 patients or however many you want to quantify, that there's

1 a certain percentage of those that don't have much in the
2 way of signs or symptoms. But the patients studied were
3 considered active, not necessarily severe, and I'd put
4 active in front of ankylosing spondylitis.

5 Other comments? Frank?

6 DR. VASEY: At some point, I wanted to raise
7 the issue about the radiographic picture in assessing
8 whether this is a disease-modifying approach or whether
9 we're just treating symptoms. I think the ideal way to
10 look at that would probably be via x-ray. So I'd be
11 interested in comments from others how we might proceed
12 along those lines.

13 DR. WILLIAMS: You're welcome to comment on it
14 now. We're talking about efficacy, and I can't see that we
15 discuss that question later on.

16 DR. FRIES: It was interesting in the
17 development of the various criteria for improvement that
18 radiographic -- well, even spinal mobility on the basis of
19 the NSAIDs studies wasn't considered an endpoint because it
20 hadn't moved much in those studies, and it obviously should
21 be a part of things in the future as we move to more
22 powerful drugs because it does look like it's something
23 that you can change and that's probably something better
24 than the signs and symptoms. It's something to do with
25 spinal mobility.

1 The next extension of that would be the
2 probable definition of disease modification which would
3 probably be radiologic, and if that were done and obviously
4 it shouldn't be done at this point, I mean, if one was to
5 get into that interesting question about what would happen
6 with these new agents over a 5-year period with
7 radiographic endpoints, I think it'd be exceedingly
8 interesting. And at some later point, one could consider
9 the question of whether it was possible to achieve disease
10 modification in appropriately selected patients here.

11 DR. WILLIAMS: I'd like to ask Frank a question
12 since you're our resident expert. How impressed are you
13 with the changes in the clinical signs of mobility?

14 DR. VASEY: Well, I think those clinical signs
15 raise an interesting question. I mean, obviously they're
16 useful and important, but do they reflect sort of
17 resolution of muscle spasm, for example, or are they really
18 affecting the x-rays? I think it's sort of an unanswered
19 question. So personally, I agree with Jim. I think the
20 radiographic improvement would be the ideal way to approach
21 the issue of disease modification. And I think we really
22 need to know this.

23 I think the company has fairly presented some
24 of the risks of the drug. Obviously, practicing physicians
25 are weighing the risks versus the benefits. There's some

1 risks we haven't talked about which I think are beyond what
2 we're doing at this panel, but I think certainly we need to
3 know the benefits and it'd be very nice to know whether
4 we're just treating symptoms or whether we're actually
5 modifying the natural history of the disease and preventing
6 spinal fusion radiographically.

7 DR. WILLIAMS: Wendy, do you have any comments
8 on these first two questions? The first question with two
9 parts.

10 MS. McBRAIR: I'm just excited to see such
11 positive results for patients with ankylosing spondylitis
12 because clearly there has been a dearth of helpful
13 medications for these folks, and I would like to see
14 continued studies on the actual effects and effectiveness.
15 Obviously that's important. This is a great first step.

16 DR. WILLIAMS: Gary?

17 DR. HOFFMAN: I'll just toss out a comment to
18 bait some of our colleagues around the table and our
19 colleagues at the FDA. I have difficulty with the concept
20 of disease modification always being referred to as
21 ultimately affecting x-ray progression. If a drug modifies
22 pain perception, mobility, quality of life, activities of
23 daily living, I think that reflects profound disease-
24 modifying activity on the part of a drug and measuring
25 radiographic parameters, I think, is also terribly

1 important but shouldn't be the sine qua non for disease
2 modification. I would appreciate people responding to
3 that.

4 DR. FRIES: I totally agree. I've been for
5 functional and quality of life end measures as well, and I
6 agree with your point. It's been the experience in
7 rheumatoid arthritis, though, that there are some members
8 of the mass of people who look at data that are fixated on
9 the radiographic change to a greater extent than you and I
10 are, and so there's a certain amount of credibility, I
11 think, that comes when you have slowed the rate of erosions
12 or, in this case, slowed the rate of bony fusion, if that
13 was achieved.

14 So I would certainly do area under the curve
15 quality of life, HAQ disability measures, look at work
16 disability and frequencies of leaving the work force and a
17 whole variety of other measures as well. I think we're all
18 impressed that these are shortish studies. These are not
19 real long-term studies when you have a disease for 40 years
20 and we're going to study it for half a year. So it's clear
21 that there are longer-range questions and the disease
22 modification issues need to have a sufficient scope of time
23 so that you can say that the predicted course has actually
24 been changed, the trajectory has been changed.

25 DR. WILLIAMS: I actually agree with Jim, but I

1 would put a different interpretation on it. I think
2 disease modification has many different definitions and one
3 is that you relieve pain and that modifies the disease.

4 However, if we use rheumatoid arthritis as the
5 example, we know that we have relieved the signs and
6 symptoms with continued deformity of the disease. So that,
7 in that case, we did refer to stopping the progression of
8 the disease and the destruction of the disease which was
9 best measured by radiography.

10 Now, in that sense, I would think that the same
11 would be true with ankylosing spondylitis, that if you want
12 to say you've stopped all aspects of progression, you have
13 to include radiographic, whether that's plain radiographs
14 or MRI or whatever, but to show that you have no longer got
15 destruction going on, even though you've modified the signs
16 and symptoms. But I would also agree that there are a lot
17 of different definitions to disease modification.

18 DR. HOFFMAN: Along other lines, I think we've
19 all enjoyed seeing the impressive results from these three
20 trials. On the other hand, one might ask for the patients
21 who were partial responders, as was most everybody who
22 responded, whether there is ongoing experience with higher
23 doses for people who have not had satisfactory responses.
24 Perhaps our colleagues or our sponsors might respond.

25 DR. WILLIAMS: Dr. Burge, do you know, has

1 there been any experience with higher doses of etanercept
2 in those who did not respond to the standard dose?

3 DR. BURGE: There's no experience in ankylosing
4 spondylitis of looking at higher dose. So we don't have
5 data in ankylosing spondylitis. We have looked at it in
6 rheumatoid arthritis in a small study and have looked at it
7 in other diseases as well.

8 DR. WILLIAMS: Mike, we haven't heard from you.
9 Anything you have to say about this first question?

10 DR. FINLEY: Well, I concur with Jim's and
11 Gary's points about the notion of disease modification
12 encompassing -- I'm certainly an advocate, thinking about
13 patients, for quality of life and work place disability.
14 And I'm not sure we know, with regard to AS, the natural
15 history in the setting of biologics like etanercept. We
16 have a better sense of it because of our experience with RA
17 and that association with x-ray change, but we're all aware
18 that in patients with AS, there's variability in their x-
19 ray change and how that -- the ones that are very severe
20 and are fused, they clearly have disability. But there are
21 then gradations of those who have various parts of the
22 spine who are involved that may be more disabled than
23 others, and I think as we go forward longitudinally, that
24 would be the thing that I'm most interested in,
25 particularly with regard to disability.

1 DR. WILLIAMS: Jennifer?

2 DR. ANDERSON: I'd like to compliment the
3 sponsors on doing studies with very low dropout rates, and
4 I think it shows, even though these are relatively short
5 studies but even at 24 weeks, the dropout rates weren't so
6 very high. With dropout rates this low, almost how you
7 handle the data for the dropouts isn't going to have a
8 dramatic effect on the results and their interpretation.

9 However, I would be interested to know, because
10 I haven't been able to find it, at least in the material
11 today, just how dropouts were handled. Was their last
12 observation carried forward or was information gathered on
13 patients even after they had dropped out and used in
14 defining the final outcomes?

15 DR. WILLIAMS: Dr. Burge?

16 DR. BURGE: The primary outcome variables are
17 dichotomous, and for those dichotomous variables, when
18 people discontinued study, we considered them non-
19 responders for the dichotomous endpoints. For continuous
20 endpoints, we used last observation carried forward after
21 they discontinued.

22 DR. ANDERSON: But did you gather more
23 information on them after they dropped out?

24 DR. BURGE: Well, if they dropped out of the
25 study, we no longer captured their data. Some patients may

1 have discontinued drug and stayed in the study to
2 completion. We would have gathered that data but it would
3 not have been used in our analyses.

4 DR. ANDERSON: Thank you.

5 DR. WILLIAMS: Fred? Dr. Weiss?

6 DR. WEISS: I'm appreciative of this
7 discussion.

8 Just for the record, we do have further
9 questions in questions V and VI, I think, towards the end,
10 on the spinal mobility issues. Somebody raised that they
11 hadn't seen it there, but we do clearly want to get into
12 some discussion about the various ways to assess spinal
13 mobility as well as long-term types of studies and long-
14 term benefits and outcomes.

15 But just getting back to the first question and
16 realizing that we asked in a somewhat very short and sort
17 of general way, people started to comment a little bit
18 about some qualifiers to that question about active disease
19 or moderate to severe. I just want to know if we could
20 have some further discussions.

21 Should this end up being licensed for an
22 additional indication for ankylosing spondylitis, what
23 kinds of thoughts do you have about the ways to qualify?
24 You said active. Should there be some comment regarding
25 failed other therapies, realizing that only NSAIDs are

1 really sort of the mainstay? If you can have some further
2 discussion on that area, we'd appreciate it.

3 DR. WILLIAMS: Jim has suggested moderate to
4 severe, and I've suggested active. So we'll have to hear
5 from the rest of the committee, and I think it'd be hard to
6 say we should have other agents that haven't been approved.

7 Mike?

8 DR. FINLEY: I don't know that I have the
9 answer, but I do think we need to explore around the table
10 the thoughts of whether the n that this will ultimately be
11 exposed to is all comers who have AS but then beyond that
12 a spondyloarthropathy and what the implications might be
13 because currently, the only approved agents are NSAIDs, but
14 we all, I think, can agree that although these have anti-
15 inflammatory effects, these are not in the same category as
16 NSAIDs.

17 DR. WILLIAMS: Gary?

18 DR. HOFFMAN: I'll just share some anecdotal
19 experiences which hardly represents data but are strong
20 beliefs on the part of a busy clinician. I don't think
21 that we can always appreciate active disease as well as we
22 think we do. I know this is true in many of the diseases
23 we see in rheumatology. What we assume is inactive and
24 burned out may in fact not be.

25 I make that statement in reference to this

1 disease because off label, I have prescribed etanercept for
2 patients with spondyloarthropathy principally for
3 peripheral joint disease to elderly people who I thought
4 were fixed, fused and would have no benefit in terms of
5 axial function and was quite amazed to see that my
6 assessment of their activity in terms of axial disease was
7 incorrect. They not only had less spinal discomfort but
8 they also had increased spinal mobility and, as a result,
9 had marked improvement in motion and function. So I think
10 our usual parameters for assessing activity may, in fact,
11 be fairly blunt instruments.

12 DR. WILLIAMS: Are you speaking against any
13 modifier?

14 DR. HOFFMAN: Against any disease?

15 DR. WILLIAMS: It says ankylosing spondylitis,
16 and Jim has suggested "moderate to severe" and I had
17 suggested "active." Are you suggesting we not use any
18 adjectives?

19 DR. HOFFMAN: I'm suggesting that we might not
20 use adjectives but that patients who are disabled may, in
21 fact, deserve a trial of therapy over a limited period of
22 time and then have an opportunity to demonstrate improved
23 function, quality of life, and if such does not transpire
24 within a period of 3 months, for example, that then
25 treatment be stopped and the patient be considered a

1 treatment failure.

2 DR. FRIES: I'm entirely in agreement with
3 Gary. Looking ahead on the questions we're sort of
4 anticipating when we're getting to the fusion exclusion,
5 and I was thinking about that. I also would not include
6 that because, first of all, there may be some surprises of
7 people who seem to be fused but really aren't totally
8 fused, and then there are other things going on in these
9 people, even when their spine is fused. Their hips are
10 getting flexion contractures. Their knees can be getting
11 flexion contractures. They may be losing the ability to
12 move their chest wall at all in terms of breathing or
13 getting rid of hypostatic pneumonias. They may continue on
14 with iritis and visual complications. So there are a lot
15 of areas of the disease which are not even in somebody who
16 was totally fused and you couldn't make improvement in
17 those measures. I think that the drug still should be a
18 way. So I would not put an adjective there.

19 Just to elaborate where my concern is, we got
20 started 25 years ago looking at the epidemiology of
21 ankylosing spondylitis with the blood donor studies where
22 we looked at blood donors who were B27 positive and 1 out
23 of 5 of them had what we began to term symptomatic
24 sacroiliitis; that is, they met the New York criteria for
25 ankylosing spondylitis but very few of them were diagnosed,

1 very few of them were receiving any treatment, and they
2 were just going fine. In that paper, which was the
3 striking prevalence paper in the New England Journal, the
4 estimate was 2 million people. So that, at some level,
5 there are truly a large number of people but most of those
6 people aren't very sick at all and they certainly don't
7 need major, major treatment.

8 I wanted to make the clinical point that all of
9 the clinicians know but maybe people that don't see the
10 patients don't know. Ankylosing spondylitis is very
11 different from rheumatoid arthritis. The rheumatoid
12 arthritis is a predictably progressive and so forth
13 disease, whereas with ankylosing spondylitis, it really
14 shades off towards these huge numbers of people with
15 essentially trivial disease. So the issue that we're
16 grappling with here is where on this area of symptomatology
17 does the need for the availability of a powerful new agent
18 exist.

19 I'm pretty happy with the way the trials were
20 designed with regard to that question. In other words,
21 you're going to design them to get a 20 percent change or
22 an absolute value of 20 or things like that and that means
23 you have to have an initial value of over 20 or you can
24 never be in a sense eligible for the particular treatment.

25 So I think tacitly, if we stay as close as we

1 can to the patients that have actually been tested where
2 the results are dramatic and accept the fact that several
3 things will happen in actual practice as they have with
4 other drugs, physicians who are running into a conundrum
5 with somebody who couldn't have quite gotten into the study
6 will use it anyway and the forms will be filled out a
7 little bit pessimistically to get the third party payer to
8 pay for it and all of these things will happen.

9 At the other end, if we're treating people that
10 can't be helped by it, they're not going to have more than
11 3 months' worth of treatment. They and their physicians
12 are going to decide that this just isn't going to work in
13 these people.

14 So we're, I think, trying to define what we
15 think would be a reasonable place, based on these trials
16 and the Canadian thing and so forth, as to who we think the
17 prime audience ought to be for it to be marketed to,
18 reflecting the fact that the marketplace will shift that as
19 time goes along.

20 It may very well do what the sponsor indicated,
21 I think Dr. Burge indicated earlier, that it might take the
22 scales off. It might set the bar higher. We might see
23 more people that we think are indicated a year after it's
24 on the market than we do initially just as we did with
25 rheumatoid arthritis where we progressively moved these

1 drugs down earlier. It could happen again. I'm a little
2 more skeptical.

3 But I think we ought to be cautious for a
4 variety of reasons to not do what I'm increasingly calling
5 extrapolation beyond the box; that is, generalizability
6 beyond the bounds of the evidence-based data which you're
7 going to use to justify these things. So that, if you're
8 using the recommendations to justify treating people who
9 were systematically excluded from the trial, then that's --
10 particularly when you know the direction of the problem;
11 that is, they have less benefit to obtain on the low end
12 than the people who are more active.

13 DR. WILLIAMS: Would you modify question I(A)?

14 DR. FRIES: Yes, I would. We could negotiate
15 before we vote as to exactly how we do it. I would just
16 like to tie it down to the evidence pretty much. I could
17 be happy with "active" for its simplicity or "moderately to
18 severely active" for congruence with the Canadian
19 recommendations, and there probably are other
20 recommendations being generated out there as well. So I
21 would like to have some adjective for this low end.

22 DR. WILLIAMS: Other opinions on what that
23 adjective should be?

24 DR. HOFFMAN: I think I heard Jim say two
25 different things, that we were in agreement that it's

1 sometimes hard to appreciate what could be microscopically
2 histologically active disease based upon our physical
3 examination, and we know that acute phase reactants were
4 only markers of reliability in half of the patients that
5 were entered in the study.

6 So much like in a variety of rheumatologic
7 diseases, this disease is not always transparent in terms
8 of its more modest forms of activity, and sometimes you
9 only realize that the patient was active after you've
10 treated them and appreciated a considerable improvement.
11 That, I think, would be part of the argument for not having
12 an adjective in front of the ankylosing spondylitis
13 indication.

14 Jim's other point, I think, was an important
15 one, and that is, that if after 3 months of injections
16 which have never endeared themselves to almost any
17 patients, other than perhaps diabetics, there was no
18 improvement, I think your patient would be loathe to
19 continue treatment, if there was no objective improvement
20 documented by the physician or subjective improvement or
21 objective improvement in the eyes of the patient. So that
22 would be the argument for not having the adjective.

23 DR. WILLIAMS: Mike?

24 DR. FINLEY: Yes. I would just concur with
25 what Dr. Hoffman has said about the practicing clinician

1 recognizing active disease under the usual gestalt that we
2 use, looking at things like acute phase reactants which in
3 the data that's been presented, a lot of the patients were
4 normal, even though once they got treatment, they went down
5 to even lower normals on the range.

6 But if we think about what we do in rheumatoid
7 arthritis and we look at trials, we talk about joint counts
8 and MHAQs and those kind of things. In the data we've been
9 looking at now, we're talking about occiput-to-wall and
10 chest expansion, but I could see the notion that the
11 typical clinician really kind of goes on their global
12 assessment. The notion that most practitioners are in a
13 busy practice measuring these kind of things on a regular
14 basis to document results and efficacy, I'm not sure we do.

15 I think we do some of the things that Gary points out.
16 Someone comes in. Their spine -- we already kind of know
17 what their spine is because of previous x-rays but yet they
18 have a swollen peripheral joint. I think most of us would
19 trend in the direction of let's give this newest tool in
20 the toolbox a try. So I think as we go forward, and even
21 though I'll be non-voting, my sense is that even if we put
22 an adjective in front of it, it'll end up being used for
23 folks who have AS.

24 DR. WILLIAMS: We are advisory to the FDA and
25 they have heard what we've had to say. I think we will

1 vote on the question as written when that time comes,
2 unless there's someone who has strong objections.

3 Question II has to do with subgroups that had
4 less impressive response, including age, sex, HLA-B27
5 status, and psoriasis. The question is: Please discuss
6 the significance of these subgroup findings, keeping in
7 mind that there are few patients in some of these groups.
8 That's II(A). I think we'll take that one first.

9 Well, let me go on. Question II(B). Discuss
10 the effect of age. Older individuals had progressively
11 lower responses given the apparent lack of treatment
12 difference based on disease duration.

13 (C). If licensed, should the label describe
14 any limitations in the ability to generalize the results to
15 certain subgroups? Should the sponsor be asked to conduct
16 additional studies in any of these or other subgroups you
17 wish to identify should be further studied to evaluate
18 efficacy?

19 (D). Patients with complete ankylosis of the
20 spine were excluded. Should the label specifically discuss
21 this population as one for whom safety and efficacy has not
22 been studied? Should these patients be specifically
23 studied?

24 Comments on these four parts of question II

25 I have a couple of comments. One is, when I

1 look at the B27 status, this population of patients
2 included some other patients with spondyloarthropathies and
3 were not ankylosing spondylitis. And I think the fact that
4 the HLA-B27 group responded better, the other populations
5 have a slightly lower percentage of B27 suggests that it's
6 probably better in ankylosing spondylitis than it may be in
7 some of the other spondyloarthropathies.

8 The other comment I would have is with age is
9 that some of the deformities are fixed, and I think that
10 those patients may be a little less responsive as they get
11 older and that may explain some of the age differences.

12 But with psoriasis, it's already been approved
13 for the use in psoriatic arthritis. It's being studied in
14 psoriasis itself. The numbers were so small, I'd leave
15 that to further studies of whether it has any benefit.
16 That would be my comments.

17 Jim, you look like you have a comment.

18 DR. FRIES: Yes. This is a point of
19 clarification because the study does include some people
20 that don't have ankylosing spondylitis because we
21 clinically at least use exclusions and if somebody's got
22 Crohn's disease, we say they have Crohn's disease and
23 associated spondyloarthropathy or something like that.

24 So if in fact this indication is going to be
25 just for the words "ankylosing spondylitis", then I think

1 that it should be defined as that, and I think that will
2 clean it up a little bit, and the other indications can be
3 sought as Jim indicates they already are being in other
4 specific kinds of instances.

5 This is a little confusing because the data, as
6 presented, indicated with some pride, I thought, that it
7 didn't exacerbate Crohn's disease. I mean, that's not like
8 improving it. So it indicates that there might be
9 different sorts of -- some drugs may be better in certain
10 types of associated disease, bowel disease, for example,
11 than others. So I would favor keeping this ankylosing
12 spondylitis as the subject.

13 DR. WILLIAMS: Frank, then Gary.

14 DR. VASEY: I would just echo those comments
15 and also Jim's comments about keeping the study to
16 ankylosing spondylitis and not including the other forms of
17 spondyloarthritis. We actually are doing a study on post-
18 chlamydial reactive arthritis using doxycycline and
19 rifampin and the data is preliminary but it looks
20 encouraging at this point. So certainly if we could
21 actually cure some patients with post-chlamydial reactive
22 arthritis, we certainly don't want to be giving them
23 etanercept instead of antibiotics.

24 DR. WILLIAMS: Gary?

25 DR. HOFFMAN: I'm also in agreement with Jim's

1 comments. In looking at the other parts of the question,
2 although there were trends towards the older individuals
3 not responding as well, they still did respond, and I don't
4 think that would require any modification for a label.

5 And as far as generalizability to certain other
6 subgroups, I think again there are real limitations in the
7 smaller numbers of individuals in each of those subgroups,
8 and I'd be hesitant to indicate anything on a label that
9 would suggest that that data was robust.

10 And as far as part (D) to the question,
11 complete ankylosis of the spine, I think that is a
12 presumptuous assumption when you look at that
13 radiographically. I don't know that if you were to look at
14 that anatomically at a postmortem that you would in fact
15 demonstrate that complete ankylosis radiographically is in
16 fact what is true anatomically, and so I would not exclude
17 that in the indication because of personal clinical
18 experience, that suggests that even people who appear to be
19 ankylosed may not be and may still derive benefit in terms
20 of axial symptoms.

21 DR. WILLIAMS: I think you make a really good
22 point in the fact that even the subgroups that didn't
23 respond quite as well responded, and if that had been the
24 response for all the groups, we'd still be talking about it
25 as an indication for ankylosing spondylitis. So I agree

1 that even though some of the subgroups didn't respond as
2 well, they shouldn't be excluded, and you've already
3 discussed earlier some of your thoughts about ankylosis
4 which I think are appropriate.

5 Jim?

6 DR. FRIES: Just that I agree with the need not
7 to take age into account. To me, it's like the total
8 fusion issue. You don't know it until you try it, and I'm
9 in favor of being totally liberal toward the severe end of
10 this disease. My concerns are all at the other end because
11 there's a lot of varied manifestations, and the age effect,
12 particularly when I'm well into the upper group, I get
13 attacked at Stanford when we say anything about using age
14 as a criterion because, of course, age is different things
15 to different folks. So anything you put in, you'll have
16 the AARP all over you, and so I'd favor leaving that one
17 alone.

18 DR. WILLIAMS: Jennifer?

19 DR. ANDERSON: I'd just comment about in D,
20 there's this additional question, should the patients with
21 complete ankylosis of the spine be specifically studied,
22 and we've heard Gary's personal experience, and I think it
23 would be a good idea that they be studied in a trial, in
24 addition to one set of experiences.

25 DR. WILLIAMS: Mike?

1 DR. FINLEY: I'm just wondering and perhaps
2 it's my naivety in being new to the committee and the
3 discussion and other committee meetings about etanercept,
4 but the subgroup of women and their spondyloarthritis in
5 AS is, I think most of us appreciate, different than in men.
6 Maybe I'd ask the sponsor, but also, these are young people
7 that get these diseases. Thinking of Jim's point about the
8 low end of the spectrum and the notion of pregnancy and
9 some other things that perhaps we haven't really talked
10 about publicly but is still there, I might wonder what the
11 people feel around the table about that and maybe hear from
12 the sponsor.

13 DR. WILLIAMS: Frank, do you have any specific
14 comments on that?

15 DR. VASEY: No. I would only say on the issue
16 of mild disease, I think what we're really talking about is
17 people who have failed non-steroidals basically. I'm not
18 sure if we could directly put that in the language, but I
19 think that's really what we're talking about.

20 DR. WILLIAMS: What do you think about the
21 differential response from men and women?

22 DR. VASEY: I mean, we've always talked about
23 the fact it was a milder disease in women and that's why it
24 was so much more infrequent. Other than that, I really
25 can't say very much. I don't know actually if it's a

1 milder disease in women. We've seen some severe disease in
2 some women.

3 DR. WILLIAMS: Dr. Burge, did you have any
4 comments?

5 DR. BURGE: No.

6 DR. WILLIAMS: I would make a comment on
7 Jennifer's thoughts, and I agree with Jim and Gary that I
8 don't think ankylosis should be an exclusion, but I agree
9 that it ought to be a group that ought to be studied
10 because it should be an answerable question.

11 Dr. Weiss, and then Dr. Siegel.

12 DR. WEISS: Both Dr. Anderson as well also said
13 that, in answering that second part of (D), that it should
14 be studied. Can you expand a little further on what you
15 think? Partly because oftentimes when you approve
16 something, it may be difficult, depending on what disease
17 you're talking about, to actually enroll patients in a --
18 if you're talking about a placebo-controlled or add-on
19 placebo-controlled trial, you've also maybe got the added
20 issue that if you're talking about people with complete or
21 more severe forms of spinal fusion, that you might need to
22 be treating longer and then that necessitates how long and
23 what kinds of controls to use.

24 So if you could just maybe expand a little bit
25 on what you think might be optimal ways to try to study

1 this topic?

2 DR. FRIES: Could I ask you to clarify it in
3 turn? Because obviously it's the easiest thing in the
4 world to call for more research, and we all want more
5 research. We want to do more research. So the question
6 is, with regard to the FDA's relationship with the sponsor,
7 would you see suggested areas as ones which you would
8 encourage as areas for post-marketing study by the sponsor,
9 or whether the sponsor would be asked to consider going for
10 a different indication, such as the disease-modifying
11 indication or something like that? I mean, how would this
12 take effect? You're not suggesting that we would do
13 additional studies now prior to approval?

14 DR. WEISS: No.

15 DR. FRIES: Okay.

16 DR. WEISS: The other options, as you said,
17 many of these can be part of post-marketing commitments, if
18 they're feasible studies to do. I mean, again we all run
19 to the habit of asking for things because there's just a
20 lot that we'd like to see, but the question is what's
21 practical, what's feasible to do, what's appropriate, and
22 what kinds of settings. Post-marketing is a very common
23 arena to try to evaluate other related aspects of disease.

24 There's also the whole issue about claims,
25 about perhaps being able to achieve a claim that's more

1 than simply a signs and symptoms kind of claim and what
2 that would entail.

3 DR. WILLIAMS: I am a strong advocate of
4 placebo-controlled trials, but I think in this case, when
5 we're talking about ankylosis, they've already demonstrated
6 that etanercept works on ankylosing spondylitis. I just
7 think you study a population of patients who have ankylosis
8 by x-ray and see if they get a similar response. I don't
9 think it needs to be a placebo-controlled trial.

10 DR. FRIES: Yes. There are a lot of careful
11 designs, and I hesitate to throw out things that haven't
12 been thoroughly thought through and vetted. Obviously I'm
13 always in favor of long-term studies, and I do note that in
14 24 weeks, there are substantial measurable effects on
15 aggregate infections and so forth, even with a really short
16 time period. I'm not sure that there's an endpoint for
17 when you would stop treatment with Enbrel in ankylosing
18 spondylitis. So you might be talking about 20-year courses
19 if something else didn't come along in the meantime, but
20 some long period of time. So when you're talking cost-
21 benefit/cost-effectiveness, you really have to figure out
22 what's happening to these rates, I think, over a longer
23 period of time, so that you can actually project what the
24 answer is. And it's in that area that some of the subgroup
25 analyses are attractive to me.

1 For example, I liked the presentation of the
2 age data, even though I don't like the use of calendar
3 years of age, but it does suggest what you would normally
4 expect, that the older the patient population that you get,
5 the attendant co-morbidity, that decreased host defenses,
6 the increased damage as opposed to inflammation in the
7 disease process, that your effectiveness is likely to trend
8 toward getting worse at the same time that your toxicity is
9 going to trend toward getting worse. So that, you have a
10 decreasing effectiveness and you have increasing toxicity.

11 So that is an area where we're trying to make an argument,
12 which I think they make very well here, the sponsor makes
13 very well here, for this group of patients, but you're
14 going to say, well, at some point, there's going to be some
15 area where you want to become more enthusiastic.

16 The same thing happens as you go down the scale
17 with regard to disease severity, which is why I've been
18 harping on that so much, because the amount of gain goes
19 down as the amount of disease to be treated goes down, and
20 as the difference between the NSAID treatment and the new
21 drug, because the NSAIDs are perfectly fine -- the patient
22 only takes them on Sundays, doesn't really seem to need
23 anything -- you're going to have inevitably, as you go down
24 the scale toward milder disease, less effectiveness and you
25 should have constant toxicity so that the ratio is again

1 going to shift as you move down there.

2 I would think that in light of this, I'll be
3 talking for an adjective there, but I think that the
4 sponsor should be encouraged to consider going to
5 populations which would not have met the criteria for these
6 trials and for an amended thing or something if data came
7 on that you could make a similar kind of benefit-risk ratio
8 argument in people who had milder disease than those who
9 are studied in these trials, then bring that in at a later
10 point. And I would encourage the doing of such a study.
11 It might work.

12 DR. WILLIAMS: Other comments on Dr. Weiss'
13 question? Dr. Siegel, you had a comment?

14 DR. SIEGEL: Yes. I just wanted to make a
15 clarification. The issue was raised about the patients
16 with concomitant psoriasis, and Dr. Williams mentioned that
17 etanercept is approved for treatment of patients with
18 psoriatic arthritis.

19 Please do keep in mind that those studies in
20 psoriatic arthritis were specifically including patients
21 with peripheral joint involvement and patients with
22 exclusively spondyloarthropathy were not included.

23 In addition, patients who had spinal
24 involvement but also peripheral involvement, the spinal
25 involvement was not studied. So we don't really have any

1 information based on those studies for that approval about
2 spinal involvement.

3 I also wanted to ask a question. Several
4 members of the panel have mentioned that this should be
5 used for ankylosing spondylitis and not the other related
6 spondyloarthropathies. Can you comment on whether you
7 believe that there is efficacy demonstrated in patients who
8 meet New York criteria for ankylosing spondylitis but who
9 also have these other concomitant conditions because they
10 were included in the trial, of course?

11 DR. WILLIAMS: I don't think the numbers were
12 adequate to make those decisions. I think that they've
13 clearly demonstrated ankylosing spondylitis. I think that
14 it probably will work for the others and it'll be used for
15 the others, but I don't think that the data is as
16 convincing for the others.

17 DR. FRIES: I think Gary's point about reactive
18 arthritis maybe needs an antibiotic approach first. I
19 mean, there's different sequences for the different drugs,
20 and there's reason to think you might have paradoxical
21 results, I think, in some of those. There's already some
22 evidence in this class that ulcerative colitis and Crohn's
23 disease have different responsiveness to these agents, and
24 I wouldn't have expected that a priori but that's where the
25 data seemed to be going.

1 DR. WILLIAMS: Dr. Siegel?

2 DR. SIEGEL: And along the lines of the earlier
3 discussion, would you recommend any qualifiers with respect
4 to the people meeting criteria for this trial but who had
5 those concomitant disorders?

6 DR. WILLIAMS: I personally wouldn't mention
7 the other disorders. It's going to be used for them
8 anyway, whether or not you approve it today, because of the
9 data that's come out. But I think that until there's
10 stronger data, I wouldn't mention them.

11 DR. FRIES: Most people that are practicing
12 make the exclusions. As I indicated before, they talk
13 about it being Crohn's disease with spondyloarthropathy or
14 something. So I think if it just says ankylosing
15 spondylitis, I don't think you need to further define that
16 for the busy doc. I'd like to keep these as simple as we
17 can.

18 DR. WILLIAMS: Jennifer?

19 DR. ANDERSON: I'd just like to go back to this
20 question of possible additional study for patients with
21 complete ankylosis of the spine. I don't have any clinical
22 knowledge on this, so I don't know whether there are
23 degrees of "complete ankylosis" that can be assessed or
24 whether it's just all or nothing, so that if their state
25 could be measured at baseline, that would be a helpful

1 thing to do.

2 Also, I was just curious to know what
3 proportion of patients with active spondylitis or severe
4 spondylitis are in this situation of being termed having
5 complete ankylosis.

6 DR. WILLIAMS: Frank?

7 DR. VASEY: Well, in my experience, I'd say
8 it's a minority, maybe 10 to 20 percent, something like
9 that. The disease certainly does progress, I think,
10 somewhat predictably but slowly and some patients never
11 seem to fuse. So I think that's some of the imponderables
12 in trying to decide how to manage it basically.

13 DR. WILLIAMS: I'm assuming you're saying 10 to
14 20 percent of those who have recognizable disease because
15 there is a vast population of ankylosing spondylitis who
16 never get seen by a doctor.

17 DR. VASEY: Right. I'm just talking about the
18 ones I see.

19 DR. WILLIAMS: Gary, you had a comment?

20 DR. HOFFMAN: I think it would be a very
21 difficult study to do in terms of recruiting. I think it'd
22 be very expensive, and I'm not sure that it would change
23 the use of the drug. I think we'd use it very much the
24 same way as the indication would now be written.

25 I'd just make one other point that I find kind

1 of fascinating, and that is, from the few patients that
2 I've had that I've thought were fully fused, who've seemed
3 to function so much better, even though I initially treated
4 them for their peripheral arthritis, I think that the
5 improvement that they may be having in their spine is
6 certainly not just what we measure in a Schober's test, and
7 if they had another 5 or 10 degrees of motion in their
8 lumbosacral spine, another 5 or 10 degrees in their
9 thoracic spine, that may not be measurable using the tools
10 that we apply to their back with the tape measure. It may
11 be something that is more subtle than our clinical
12 perceptions but certainly not subtle to the patient who now
13 can function a lot more effectively.

14 DR. FRIES: I would just make an extended
15 comment with regard to the epidemiology of ankylosing
16 spondylitis which is very poorly understood, and I think we
17 don't know really how many cases there are because that's a
18 definitional kind of issue. We don't know what the natural
19 history is now and how that natural history varies from
20 what it did 30 years ago.

21 Those of us who've been around treating these
22 folks for a long time are impressed that in our clinics,
23 even our VA clinic, we don't see people that look like that
24 picture, that everybody used to look like that, and there
25 are big clinical debates about how you would cut the neck

1 and sever the vertebrae and straighten that thing out and
2 they are things that we never even think about doing now.
3 To me, that's always seemed to be the best argument, that
4 maybe the NSAID era has actually done something despite the
5 dearth of positive data from trials that would lead you to
6 believe that. But for some reason, we have actually been
7 doing a little bit better. Gary would probably agree with
8 that.

9 DR. WILLIAMS: Jennifer?

10 DR. ANDERSON: Just something about what you
11 said about more subtle changes in the spine and spinal
12 mobility that aren't measured by Schober's test. Are there
13 other measures or could they be developed that would be
14 better ways of assessing what's happening in the spine or
15 what could happen in the spine?

16 DR. HOFFMAN: I think you get a little better
17 idea of complete spinal mobility, including cervical
18 mobility, when you do the occiput-to-wall measurement. I
19 think you might be able to pick up something there in
20 someone who you thought was fused and actually was
21 developing some subtle signs of improvement.

22 I'm just questioning whether a whole other
23 study to demonstrate improved spinal mobility in what is an
24 increasingly rarely-appreciated subset of people is really
25 worth the investment of time and money.

1 DR. WILLIAMS: Mike?

2 DR. FINLEY: Yes. I just would expand on what
3 Gary said. I think it still comes back to what clinicians
4 will do in the office, and I suspect that even if that
5 trial were done, the notion that people are getting out
6 their measuring tapes on a consistent basis to do things
7 beyond the Schober's test, I'm not sure they are, and I
8 suspect that once someone is defined as having a
9 spondylitis, AS or not, they would be considered as a
10 candidate for things like etanercept.

11 DR. WILLIAMS: Further comments on question II?

12 Let me just summarize as I think I've heard it
13 and then you can tell me if I've summarized it correctly.
14 Please discuss the significance of these subgroup findings,
15 keeping in mind that there a few patients in some of these
16 groups. And we determined that there would not be much to
17 say about the subgroups.

18 Please discuss the effect of age. And those of
19 us who are older felt that that was inappropriate.

20 (Laughter.)

21 DR. WILLIAMS: If licensed, should the label
22 describe any limitations in the ability to generalize? And
23 we said generally no.

24 Should the sponsor be asked to conduct
25 additional studies in any of these groups? Again, we

1 didn't feel that was necessary.

2 Lastly, on ankylosis of the spine, we felt it
3 should not be listed as an exclusion, and we were somewhat
4 divided on whether further studies would be of benefit.

5 Have I reflected your comments accurately?

6 DR. FRIES: Well, Jim, I think that we did
7 suggest -- most of those were, I think, sort of consensus
8 questions, but I raised the issue of whether a study in
9 milder disease should be considered. I think several of
10 our comments indicated that perhaps a non-randomized post-
11 marketing surveillance follow-up into a period of time that
12 you couldn't maintain placebo anyway in folks should be
13 done. I think it was implicit that it might be fun to kind
14 of put in some radiographic changes if one were going to
15 try and get experiences that went out for 5 years. I think
16 it would be important to know whether there were cumulative
17 toxicities or toxicities that we haven't yet recognized.

18 So I think there were some things that I heard
19 I thought we suggested.

20 DR. WILLIAMS: Wendy?

21 MS. McBRAIR: Also, we should continue to look
22 at or really begin to look at quality of life and work
23 disability and physical function. I think the sponsor has
24 done a good job of keeping contact with the other of their
25 study participants for rheumatoid arthritis, and I would

1 hope they would be able to continue that as well with the
2 AS group.

3 DR. WILLIAMS: Dr. Siegel, any more you need on
4 this question?

5 DR. SIEGEL: No.

6 DR. WILLIAMS: We'd then like to turn to the
7 open hearing, and our first speaker will be Jason Crispin.
8 You can use the microphone right there.

9 MR. CRISPIN: If it's all the same to you, I'd
10 like to come to the podium.

11 Good morning. Please bear with me. This is
12 the first time I've ever actually spoken about my condition
13 in public. So I'm a little nervous. So just bear with me.

14 Anyway, my name is Jason Crispin, and I don't
15 know. I appreciate the opportunity to talk to you about my
16 experience with Enbrel.

17 I was diagnosed with ankylosing spondylitis
18 when I was 15. I'm 23 now, and I've been on Enbrel since
19 1999, since March of 1999, so not very long after it had
20 been released for the public.

21 So let me give you a little bit of back story
22 about my condition, what exactly led up to me being
23 diagnosed with ankylosing spondylitis, so you can have a
24 little bit of history about what I've had to deal with in
25 my life.

1 So when I was 10 years old, I began to study
2 tae kwon do, and I took classes three or four times a week
3 for about four years. And during this time, I developed an
4 injury which was first diagnosed as a torn muscle in the
5 groin area, and after resting and rehabilitating the
6 damaged area, I returned to my martial arts program.
7 Unfortunately, this wasn't the first occurrence. The
8 injury continued to occur and it would just come and go.

9 When I was 13 years old, I basically got so bad
10 that it felt like both of my hips had been broken, and I
11 had to walk around on crutches. I was just in excruciating
12 amounts of pain. I think it had to have been the worst
13 amount of pain I've ever experienced in my life.

14 So my general practitioner told me that I'd
15 simply redamaged the area again, been more widespread this
16 time, and that I was just going to have to lay off doing
17 the kicks and such until it got better. It got better
18 after about three weeks. I seemed to recover completely.

19 I had an MRI taken at the time, and,
20 unfortunately, because I had difficulty staying in one
21 position at one time because I guess I got a little bit
22 claustrophobic, so it was a bit -- so, the study
23 unfortunately -- the MRI didn't show what exactly it should
24 have showed at the time and it was inaccurate.

25 After about a month, I noticed I would be stiff

1 in the mornings in my hips, and also I had developed
2 chronic back pain and pain in my shoulders and neck. So I
3 would seem to go through episodes of it. They would last
4 from like 2 to 19 days and it would cycle through,
5 depending on the season, depending on the stress in my life
6 at the time, things like that. My hips would become so
7 swollen, that it would inhibit my ability to walk. I would
8 limp around.

9 I gradually began to lose interest in my
10 pursuits of studying tae kwan do because I could no longer
11 get the kicks up to head level and my agility and mobility
12 suffered because of it, and I was just horribly sore after
13 every practice as well. So it just got more difficult and
14 finally, when I was 14, I lost interest completely. I
15 mean, I don't know.

16 I had to take 2400 milligrams of ibuprofen a
17 day from 14 on basically. So I was on a fairly high
18 dosage. Who has to do that when they're 14 for the most
19 part, you know?

20 So the symptoms of my condition continued to
21 get worse. A friend of my mother's finally recommended
22 that I be taken to an orthopedic surgeon. This was later
23 right when I was about to turn 15, and I went there and I
24 went to see a Dr. Leo van Herpe, a very prominent physician
25 out of Arlington, Virginia, and he diagnosed me. After

1 taking x-rays of my hips, he diagnosed me with bilateral
2 slipped capital femoral epiphysis in both hips. He
3 prescribed Indocin for me.

4 Basically what happened is it had not been
5 found and since it hadn't been found, it had healed itself
6 because normally surgery is indicated as soon as they find
7 that, but since it fixed itself, I have spurs in my hips
8 and it's definitely given me a lot of problems in the past.

9 He prescribed Indocin for me to help relieve the swelling
10 and pain in my hips.

11 A couple of months passed and my problems went
12 into remission. My symptoms were obviously noticeably
13 reduced, until the prescription ran out. When I went in
14 for my follow-up visit, I explained to the doctor that my
15 condition had just gotten worse after I had stopped taking
16 the medicine but while I was taking the medicine, it was
17 helping.

18 So he then recommended that I would go to a
19 rheumatologist. That's where Dr. Patience White came into
20 play. She was a very well-known doctor out of George
21 Washington and Children's Hospital, and I went to her and
22 she diagnosed me with ankylosing spondylitis.

23 From this point, here comes the really fun
24 part. After this, I got prescribed medications, and I was
25 never on anything less than eight or nine medications at

1 any one time. So nothing seemed to really help after this.

2 My disease continued to decline. I had to take physical
3 therapy for months at a time to try to continue with the
4 fight that I had against myself and my body to just retain
5 my mobility. Things that taken for granted for kids my
6 age, I mean, I really had a lot of difficulty in continuing
7 to function as a normal human being.

8 But anyway, prednisone. Prednisone was
9 something that helped a lot. I would take prednisone and
10 I've been on prednisone since I was 15 and 5 milligrams a
11 day now, but back then, it would be up to 25 to 60
12 milligrams at times and then usually no less than 10
13 milligrams.

14 So unfortunately, this gives you a bad -- I
15 don't know if you -- I'm sure you all are familiar with
16 side effects the prednisone gives, but the prednisone gives
17 you a rather bad rash or bad bouts of acne on one's face
18 and on their chest and that, in combination with this limp
19 I had, ostracized me from my school. I basically withdrew
20 from all social interaction and was very depressed and my
21 physical health ruled my mental health.

22 I think I was about 16 or 17. Dr. White became
23 semi-retired from practice, and I was recommended to go to
24 her former student Dr. John Trowbridge, but I started also
25 to exercise in high school. I started to take a weight-

1 lifting course, and by the latter half of the first
2 semester, the fall semester, I had blown out both of my
3 knees weight-lifting and they had begun to swell horribly
4 to the size of balloons. I got them drained repeatedly.
5 Nothing seemed to help with that.

6 Finally, I went to an orthopedic surgeon. It
7 was recommended that I go and have partial synovectomies
8 done on both of my knees and that helped for a couple
9 months. Unfortunately, the swelling started again and it
10 just continued to be a roller coaster basically, just
11 getting worse, progressively going down.

12 In 1998, when I was 19, Dr. Trowbridge talked
13 to me about this new medication on the market called
14 Enbrel. There was only one drawback. Enbrel was not
15 indicated for my condition, but Dr. Trowbridge was
16 determined to get me put on Enbrel, and after a long fight
17 and he also had to change my diagnosis from ankylosing
18 spondylitis to also rheumatoid arthritis as well, so I
19 could be within the indicated bracket to get the
20 medication. And after a long fight with my doctor and
21 myself against my insurance company to pay for the
22 medication, I was finally allowed to try Enbrel. And let
23 me tell you something. I noticed a difference, I believe,
24 within the first 48 hours of being on the medication. I
25 was starting to almost instantly feel better.

1 It's been four years now since I've been on
2 Enbrel and I got to say everything has been a lot easier
3 since I started on Enbrel. I mean, I can remember a time
4 where after I would go to work all day, between work and
5 college, I had a lot of stress in my life, and I would have
6 to stand at my job for 10 hours a day, and sometimes after
7 I got off of work, I would have to be helped in. My mother
8 would have to bring out my crutches to get me out of the
9 car and then I would hobble in. And it was definitely not
10 something that anybody would want to deal with.

11 As far as since Enbrel, though, I have been
12 able to take control of my condition. I have been
13 exercising for the last two years. I can run 5 miles now.
14 I can jog it, and I've started taking tai chi. I have now
15 completed my associate's degree in liberal arts, and I'm
16 working on my second associate's degree in radiography. I
17 have a lot more friends than I used to and it's opened up
18 so many doors for me that I don't think would have been
19 opened at all for me if I hadn't been able to get this
20 medication.

21 So I've been able to study tai chi, and I've
22 regained so much of my flexibility that it's unbelievable.
23 It completely reversed the fusing process that was already
24 beginning with my spine and certain areas of my hips, and
25 yes, so definitely not so bad. I mean, while it's not a

1 complete recovery, I still have flares, I like to call
2 them, times where my disease is active and it does hinder
3 my ability to move around, but it's not nearly as frequent
4 or as severe as it used to be. So I definitely would say
5 it's a success story all in all.

6 I'd like to close with this. Dreams are the
7 reason we keep going. Without them, we lack identity.
8 Before Enbrel, my dreams were stripped away from me slowly
9 every day for eight years while I struggled every day just
10 to be a human being. What is taken for granted by many had
11 become an everyday struggle and frustration to endure for
12 the next day. With Enbrel, I know I now have a chance to
13 be somebody, to further my quality of life and to better
14 myself.

15 Just this last month, I have been to New York
16 to model for Amgen in two separate professional photo
17 shoots to advertise for the company. It was a thrill. It
18 was great. I had a ball.

19 I have a close circle of friends. I have a
20 steady girlfriend and all of these dreams of a future that
21 I currently strive towards I don't think would have been
22 possible if not for Enbrel. I only hope that some day my
23 motivations and goals to fight my disease will help give
24 strength to others with my problem, with my condition, and
25 with my actions today, so they may receive the same

1 opportunities that I have.

2 Thank you very much.

3 DR. WILLIAMS: Thank you, Mr. Crispin.

4 Are there any questions for Mr. Crispin? I
5 have to ask you one question, and that is, you told us
6 about the photo shoot. Do you have any other financial
7 support, including travel expenses, or any financial
8 interests in a pharmaceutical company?

9 MR. CRISPIN: Well, everything was paid for for
10 the shoot by Amgen, and I got paid for it.

11 I work at a restaurant right now full-time.
12 I'm a waiter/expediter of food. My actual job description
13 is to prepare dishes to be sent out for the servers and
14 then take them out during the busy peak times of business,
15 and yes, it requires me having to carry the big trays like
16 this or like that. I mean, I don't know. I'm able to do a
17 lot of physical activity and I'm fine with that.

18 I study hard at school. I go full-time. So
19 I'm getting close to finishing that degree. I got the end
20 of the tunnel in sight.

21 DR. WILLIAMS: Were you paid to come here
22 today?

23 MR. CRISPIN: No, sir.

24 DR. WILLIAMS: Thank you very much, Mr.
25 Crispin.

1 Our next speaker will be Jane Bruckel, and I
2 would ask the same questions of you, Ms. Bruckel.

3 MS. BRUCKEL: Hello. I'm Jane Bruckel. I'm a
4 registered nurse. I'm a founder and executive director of
5 the Spondylitis Association of America, and I have
6 ankylosing spondylitis, and I'd like to thank you for
7 giving me the opportunity to speak today.

8 And to address your question right up front,
9 I'd like to disclose that the Spondylitis Association has
10 never received funds from Amgen but we have in the past
11 received funds from Wyeth for various projects, and my
12 husband and I jointly own a 158 shares of stock in Amgen
13 that we bought as Immunex back in June of 2000.

14 For the past 20 years, the Spondylitis
15 Association has been the only non-profit organization in
16 the U.S. focusing all efforts and resources on ankylosing
17 spondylitis and related spondyloarthropathies. Our mission
18 is to improve the quality of life, promote early diagnosis
19 and effective treatment and support research that leads to
20 a cure.

21 AS affects at least 300,000 people in this
22 country but thought leaders believe the true prevalence may
23 be as high as rheumatoid arthritis. Recently, the
24 Spondylitis Association took a leadership role in
25 developing the guidance document on AS that has just been

1 submitted to the FDA this month, and I'm on the committee
2 that has just developed guidelines for the use of biologics
3 in the treatment of AS.

4 I come here today to speak passionately about
5 Enbrel and the new biologics in general. As you can
6 imagine, there's been a lot of discussion about the
7 biologics on our web site bulletin board, and before I
8 share my personal experience, I'd like to read several
9 typical testimonials from over a hundred of those
10 discussions on the bulletin board from this year alone.

11 "This past weekend, before I started my first
12 injection, I was feeling worse, more so than I have in a
13 long time. I told myself this is it. I have to try it. I
14 can't live like this. What kind of quality of life is this
15 if I'm not enjoying the best I can? After the first
16 injection, within the hour, I noticed a difference. By
17 that night and the next morning, I felt great and continue
18 to do so. I know I'm fortunate that it's working so soon
19 for me as I've read that it doesn't for everyone. The
20 biggest thing I've noticed is no more fatigue. I'm
21 starting to feel like I have my old self back and I haven't
22 seen her in four years."

23 Here's another. "I dragged my feet when it
24 came to starting Enbrel and now that I did, I promise you
25 this, it will be worth it. First of all, I started

1 noticing relief after about three weeks. Some people
2 notice faster and some people take a little longer. I can
3 tell you for sure when you do notice it, it'll be like you
4 wake up one morning and go, wow, I just slept through the
5 night.

6 "One of my biggest fears is that it will be
7 taken off my insurance company's list. I live in constant
8 fear of that or that I might lose my insurance. I don't
9 think I could go back to living with the pain again and at
10 a thousand dollars a month without insurance, this is
11 definitely not possible for a lot of folks."

12 And then this one. "My insurance will not pay
13 for Enbrel because it is currently not approved for
14 ankylosing spondylitis."

15 My experience sort of starts through the
16 experience of a co-founder of the Spondylitis Association
17 who has severe AS. He has spinal and peripheral
18 involvement. He's disabled, uses a cane and even has to
19 use a cart to get around. In early in the year 2000, he
20 called me to say that he had started Enbrel and what a
21 difference it was making in his life and he urged me to
22 give it a try.

23 Like many people, I procrastinated for a long
24 time because of concerns about the potential problems being
25 an unknown drug, being new, reading things that sounded

1 very scary, and so I just kept putting it off. But by
2 December, I felt I needed to do something.

3 Now, my spine is completely fused, except for
4 my neck, and I assumed that the feeling of stiffness was
5 due to my fusion. I also had some pain and both my doctor
6 and I assumed that that had become more of a mechanical
7 cause of the pain rather than the inflammatory nature of
8 pain that I used to experience, but within a few weeks of
9 starting Enbrel, I gained such freedom of movement. I felt
10 like a kid who couldn't wait to run outside and play.

11 In the beginning, I was so giddy with this
12 excitement that I would show off my new freedom of movement
13 at every chance I got. I mean, at work, I took all my co-
14 workers out into the hall and I showed off how I could run
15 up and down the stairs, and when taking a walk in the
16 neighborhood with my husband, I started to run down the
17 street and hop up and down the curb. I left him in the
18 dust a block away. I don't run gracefully but now I can
19 run, and on New Year's Eve, when we had guests at our
20 house, I couldn't help but show off how great I felt. So
21 symbolically, at the stroke of midnight, I got out a jump
22 rope and I started to jump.

23 I've been on Enbrel ever since. It has truly
24 given me my life back. I'm totally symptom-free.
25 Biologics may not be as effective for everyone, but they

1 are definitely a breakthrough in the treatment of AS, and I
2 urge the committee to approve the application for Enbrel
3 for AS.

4 Thank you.

5 DR. WILLIAMS: Thank you.

6 Are there questions for Ms. Bruckel?

7 (No response.)

8 DR. WILLIAMS: Thank you very much for coming.

9 DR. FRIES: Jane, how long have you been on
10 Enbrel now?

11 MS. BRUCKEL: Since December of 2000.

12 DR. WILLIAMS: Other questions?

13 (No response.)

14 DR. WILLIAMS: Thank you, Ms. Bruckel.

15 Now that we've had the open forum, if we could
16 go back to question I, and we will take a formal vote of
17 the committee. I(A). Do the results from these clinical
18 trials demonstrate that etanercept is effective in patients
19 with ankylosing spondylitis?

20 Those voting members in favor, please indicate.

21 (A show of hands.)

22 DR. FRIES: I don't think anybody wants to vote
23 no on anything, but I really do need to have something that
24 keeps us within the bounds of the trial patients. So I do
25 ask that we have an initial question as to whether we

1 insert the word "active" and so forth in that.

2 DR. WILLIAMS: But we discussed that earlier
3 and decided not to, but if you'd like to discuss it again.

4 DR. FRIES: Well, I don't really want to add
5 anything to the argument, but as I read the question
6 without the word in there, then we're going to have a split
7 vote, at least in some way, and I would prefer that we have
8 a consensus of the committee.

9 DR. WILLIAMS: I just was going back to the
10 previous discussion where we discussed whether we should
11 put a modifier in and decided not to. Are there those who
12 would like to put a modifier or adjective in front of it,
13 other than Jim?

14 (No response.)

15 DR. WILLIAMS: So we'll leave the question the
16 way it is.

17 We voted. You're the only one that hasn't
18 voted. You vote no?

19 DR. FRIES: I vote no.

20 DR. WILLIAMS: So 5-1.

21 Question (B). If licensed, do the data support
22 an indication for reducing signs and symptoms? I assume
23 that's for ankylosing spondylitis.

24 Those of the committee in favor?

25 (A show of hands.)

1 DR. WILLIAMS: And opposed?

2 (No response.)

3 DR. WILLIAMS: That was 6-0.

4 This is probably a convenient time to break for
5 lunch. We have an hour for lunch. So we will return at
6 quarter to 1:00 and resume with question III.

7 (Whereupon, at 11:45 a.m., the committee was
8 recessed, to reconvene at 12:45 p.m., this same day.)

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 (12:50 p.m.)

3 DR. WILLIAMS: We're ready to reconvene this
4 session, and the committee will now move to question III.

5 Just to introduce the question, I'll read just
6 a portion of the preamble. Safety data from the three
7 controlled trials in general revealed a similar pattern of
8 adverse events compared to what is already known about
9 etanercept. In study 1, the largest study, there appeared
10 to be an imbalance in the number of etanercept recipients
11 who were withdrawn from the study because of new or
12 recurrent inflammatory bowel disease. 2 withdrew for
13 inflammatory bowel disease symptoms: 1 newly-diagnosed and
14 1 recurrent.

15 Section A. Please discuss whether the data
16 suggest an adverse effect of etanercept on exacerbation of
17 inflammatory bowel disease. Should there be further
18 evaluation of the potential for etanercept to exacerbate
19 inflammatory bowel disease? If so, given that inflammatory
20 bowel disease is associated with AS, what kinds of study
21 designs would be best to address this issue?

22 B. Should further studies be designed to
23 assess the impact of etanercept, both positive and
24 negative, on the other non-skeletal manifestations of AS?

25 I think we ought to take those as two separate

1 questions and let's first deal with III(A), which has to do
2 with the inflammatory bowel disease and adverse events seen
3 in study 1, 1 patient with re-exacerbation of the disease
4 and 1 with a new diagnosis. Do we consider that to be a
5 harbinger of adverse events?

6 Frank?

7 DR. VASEY: I was pleased that the company
8 addressed the issue because it was known that the studies
9 of inflammatory bowel disease were negative but at least
10 there was no exacerbation. So I was reassured by that.

11 DR. WILLIAMS: Jim?

12 DR. FRIES: I think this falls in that general
13 category of things that ought to be counted in one way or
14 another as things go forward with a new indication and we
15 find out if it's something. I can't get at all excited
16 about two events here, one of them pre-existing, either,
17 but I'd keep an eye on it.

18 DR. WILLIAMS: I certainly agree that two
19 events didn't make catch my interest very much, but I think
20 it's worth post-marketing surveillance or looking at other
21 studies. The other studies were all negative.

22 Dr. Weiss?

23 DR. WEISS: One of the problems with post-
24 marketing is just that if you start to see exacerbations
25 which people with pre-existing IBD, of course, will have or

1 people will become diagnosed with IBD over time, it's going
2 to be very difficult without a comparison, unless there's
3 good data out there, which there probably isn't, -- I'm
4 sure there isn't any kind of information, though you can
5 correct me if I'm wrong -- about these concomitant other
6 types of inflammatory conditions. You won't be able to
7 know whether or not this is somehow making it worse or
8 increasing the numbers in a post-marketing setting.

9 That's why you said keep an eye on it, and
10 maybe you could clarify what you mean by that.

11 DR. WILLIAMS: I think that the data, the
12 negative studies with etanercept on inflammatory bowel
13 disease, specifically Crohn's disease, is comforting in
14 that it did not cause an exacerbation of the inflammatory
15 bowel disease and this was a population of patients with
16 inflammatory bowel disease.

17 The study we did here today did have a mixed
18 bag in that you had some patients with other forms of
19 spondyloarthropathy, including, we assume, some with
20 inflammatory bowel disease. I think it's hard to draw many
21 conclusions from this study when everything else has been
22 negative. I'm most comforted by the fact that the studies
23 of inflammatory bowel disease didn't show an exacerbation.

24 Jim?

25 DR. FRIES: Yes. I wasn't specific for exactly

1 the same reasons that you enunciated. It's hard to figure
2 out how to do it. I think for signals, you can probably
3 look at spontaneous reporting and see if they pop out,
4 particularly if you have an infliximab reporting
5 concurrently situation. I don't know if that's in the
6 cards or not.

7 The other thing was that Desiree and I were
8 talking during the break about how you would do an outcome
9 study and get radiographic outcomes in and you'd also like
10 to count infectious hospitalizations. There are a whole
11 lot of things that came along that you really could figure
12 out for sure in a 4-year study or 3-year study what they
13 were, and including if you did the design right -- and it
14 would have to be mostly quasi-experimental -- you could
15 determine the effect on bony erosions.

16 The reason I say quasi-experimental is that
17 you're only going to be able to run your placebo group
18 alongside for maybe 6 months or so, possibly a year.
19 Otherwise you just won't be able to hang on to them no
20 matter what you do. So you're going to be following your
21 cohort which can be part of a post-marketing surveillance
22 thing rather naturalistically and use your shorter-term
23 placebo controls as your hard reference and then your
24 expectations for the individual people using estimates of
25 progression and severity of disease and models for

1 individual patients in order to put them in.

2 In other words, I think there are designs, and
3 if in fact the company and you and everybody decides to go
4 in the direction of answering some of these questions for
5 the company, it would be very good to get an indication for
6 disease modification, and if you can negotiate something
7 that would be satisfactory evidence, then they might be
8 encouraged to do that. And if you did it, then you would
9 just load it up -- it wouldn't be hard to load it up --
10 with counting exacerbations of inflammatory bowel disease,
11 looking for the serious infectious endpoints, looking for
12 anything else you have you would just build into that
13 prospective surveillance.

14 So I think it would take some imagination in
15 order to do it, but I do think you're going to want to get
16 longer-term data for several variables.

17 DR. WILLIAMS: Gary?

18 DR. HOFFMAN: I think a piece of data that we
19 don't have that is very relevant to the IBD question is if
20 you were to have a cohort of 270 patients with ankylosing
21 spondylitis who did not appear to have IBD but realizing
22 that some people with IBD present first with their
23 musculoskeletal disease is 1 out of 270, about what you
24 would expect to see becoming evident in the course of
25 following that cohort of patients, and if it is, then there

1 really is no signal here at all.

2 DR. WILLIAMS: It's probably better for Frank
3 to answer this, but wouldn't you think the one out of 270
4 is probably fewer than you'd expect?

5 DR. VASEY: I agree. That's actually one of
6 the surprising things, is that this drug doesn't seem to
7 work for inflammatory bowel disease. Based on the studies
8 in ankylosing spondylitis from Europe of colonoscopies in
9 asymptomatic people in which they find patchy inflammation
10 of the colon, I was sort of interpreting it as one big
11 disease with inflammatory bowel disease at one end and
12 ankylosing spondylitis at the other and everybody else sort
13 of in between, but this observation would seem to negate
14 that. Maybe it is a different disease somehow.

15 DR. BURGE: I was just going to comment real
16 quickly. If you'd pull the slide up. If you recall, for
17 the new inflammatory bowel disease, there's actually one
18 patient newly diagnosed both in the placebo group and in
19 the etanercept group. So if you want to take your placebo
20 group as your control there, you can see that it looks like
21 it is as expected.

22 DR. WILLIAMS: Gary?

23 DR. HOFFMAN: Right. That was the data I was
24 referring to, and I think that data more than anything else
25 is fairly convincing in there not being a case to be made

1 for causation.

2 DR. WILLIAMS: Further comments? If I were to
3 summarize this question, we would say that at least the
4 committee doesn't have a great deal of concern about what
5 we've seen and don't have any suggestions on how you'd
6 monitor it further.

7 The second part of that question III. Should
8 further studies be designed to assess the impact of
9 etanercept on other non-skeletal manifestations of
10 ankylosing spondylitis?

11 Since no hands are up, let me just make a
12 comment. I think this is always difficult. We have the
13 same problem with rheumatoid arthritis in the nonarticular
14 manifestations and that's getting enough patients to get a
15 meaningful study, and I would think the only way you could
16 do it is to pick out what particular manifestation you're
17 interested in and design a study which would have to be
18 multicenter to get enough patients. It would be very
19 expensive and time consuming just to gather enough
20 patients.

21 The one area I think they may be able to do is
22 in peripheral arthritis where they could get a significant
23 number of patients over a reasonable period of time, but
24 many of the other manifestations are so infrequent, that it
25 would take a long time to collect enough patients.

1 Others have other comments? Frank?

2 DR. VASEY: Yes. The iritis is about 20
3 percent and that is self-limited for the most part. It
4 seems unlikely that that would be an indication for the
5 drug very often, and upper lobe pulmonary fibrosis,
6 amyloidosis, seemed to be unusual in my experience anyway.

7 DR. WILLIAMS: Dr. Burge?

8 DR. BURGE: If you'd like to see iritis data
9 from the clinical trial, we can pull the slide up. There
10 were a good portion of patients in the study that did have
11 iritis/uveitis at baseline, and as you can see, they were
12 pretty well matched between the two treatment groups across
13 the studies. There were 9 flares of uveitis/iritis, in the
14 placebo group compared to 3 in the etanercept-treated
15 patients.

16 DR. WILLIAMS: So in the placebo group, you had
17 9 flares in a 140 patients over 6 months. That would take
18 a long time to get a significant number of patients.
19 Iritis is not the most common but it's one of the more
20 common manifestations of nonarticular, nonskeletal
21 ankylosing spondylitis.

22 Mike?

23 DR. FINLEY: I would just ask Dr. Burge. Was
24 that baseline just by self-report of history or were they
25 actually having manifestations at entry?

1 DR. BURGE: These were not patients that had
2 manifestations at entry. This was history of uveitis.

3 DR. WILLIAMS: Other comments? Dr. Weiss?

4 DR. WEISS: This is fine. We wanted to clarify
5 because there's sort of two things we were looking at. One
6 is whether or not there seemed to be a similar etanercept
7 treatment effect in subpopulations defined by having
8 concomitant other inflammatory conditions. Then you look
9 on the safety side to see whether or not potentially a
10 certain extra-skeletal manifestation maybe was worsening,
11 and then sort of from that evolved the question about
12 whether or not one should look specifically at other
13 studies, to design studies, to see whether or not these
14 other manifestations actually may be improved with
15 potentially leading to additional claims.

16 But I think you answered adequately, but
17 there's sort of different aspects of the extra-skeletal
18 aspects of the disease that one could look at and we're
19 just trying to cover whether or not there's anything more
20 that should be asked for in potentially a post-marketing
21 type of setting.

22 DR. WILLIAMS: We were discussing at lunch
23 rheumatoid arthritis, that we see less vasculitis and
24 Felty's syndrome and other manifestations that we used to
25 see, and whether that's because the disease has changed or

1 because we have better treatments, we'd like to think it's
2 because of what we do, but we don't have any good evidence
3 for that, but they certainly have decreased. I would
4 suspect you'll see the same thing with ankylosing
5 spondylitis. However, to prove that will be just as
6 difficult as it was for RA. Probably more so because there
7 are fewer patients available.

8 Other comments by the committee?

9 (No response.)

10 DR. WILLIAMS: To summarize that question, I'd
11 say that we can't think of any.

12 DR. FRIES: The only proviso is that if in fact
13 you do get this big outcome study going, you could probably
14 put in some observations on these findings.

15 DR. WILLIAMS: The fourth question will require
16 another vote. Considering the efficacy and safety data
17 presented on etanercept use in this licensed application,
18 is the risk-benefit ratio favorable for use in patients
19 with ankylosing spondylitis?

20 Before we vote, we'll open it up for discussion
21 by the committee.

22 Jim?

23 DR. FRIES: Well, again, I don't know if I'm
24 going to end up being the abstainer, but I think the
25 question needs to be precisely framed. I think that if in

1 the framework of the rheumatoid arthritis experience with
2 etanercept, that we accept generally that it has a
3 favorable risk-benefit, as voted by tens of thousands of
4 rheumatologists who are using it in that way, then in fact,
5 the answer to this, if it's qualified to active disease,
6 would be yes, it's in the same range that you see in
7 rheumatoid arthritis, as was pointed out, 60 percent
8 improvements and things of that kind. But I am reluctant
9 to generalize that to all patients who meet the New York
10 criteria for ankylosing spondylitis in the country because
11 those weren't the ones who were studied.

12 DR. WILLIAMS: Further comments? Jennifer?

13 DR. ANDERSON: I have a somewhat general
14 comment, not really about the risk-benefit ratio in this
15 particular situation, but just about the presentation of
16 safety data in general, and I'm always so frustrated at the
17 way that it's presented without there being any indication
18 of just when during the study different side effects
19 occurred, and then also in long-term data from post-
20 marketing studies and so forth, the side effects are just
21 presented as happening per patient-year.

22 I think, just as with efficacy data, you often
23 see results that show how quickly an effect was obtained
24 rather than just what proportion had an effect at the end
25 of the study. I think it would be useful and very

1 informative to see in clinical trials generally the time
2 course of occurrence of side effects and these could be
3 portrayed in survival curves, Kaplan-Meier type survival
4 curves for different types of adverse events with people
5 who drop out or stop the drug because of some other adverse
6 event being censored and so forth. So you could see the
7 time course for different types of events, and I think that
8 would be a very useful thing to do in general.

9 I thought this was a possible place to throw
10 this comment in.

11 DR. WILLIAMS: Other comments?

12 Dr. Fries has suggested we put "active" before
13 ankylosing spondylitis before we vote since that's what was
14 done in the trial and that's what we have data on. Is
15 there any objection to doing that?

16 Gary?

17 DR. HOFFMAN: Well, I think that then becomes a
18 contradiction to -- was it point 1 where we decided not to
19 provide that modifying adjective? It was point 1 where we
20 decided to --

21 DR. WALTON: Dr. Williams?

22 DR. WILLIAMS: Dr. Walton?

23 DR. WALTON: If I could clarify a little bit
24 what our goal on this question is? This question is not
25 intended to ask for a vote on exact phrasing of labeling,

1 rather advice to the agency on your balancing of the safety
2 and benefit findings.

3 I think that obviously this affects our
4 thinking about moving forward with an approval for an
5 appropriately phrased indication, but on the indication as
6 well as the rest of the labeling, we would certainly be
7 taking all of the advice that we've heard today very much
8 into account. So I would ask that people not feel that the
9 question is exact phrasing but rather how one balances the
10 benefits and the risks.

11 DR. WILLIAMS: Gary, did you have further
12 comment?

13 DR. HOFFMAN: No.

14 DR. WILLIAMS: Wendy?

15 MS. McBRAIR: Well, the length of the studies
16 were short obviously. So I think for what we see, that the
17 benefit and the risk-benefit ratio is good, but I think we
18 need to look at these medications being given over a longer
19 period of time to be sure that there isn't any risk in
20 anything we don't know about or risk similar to what we've
21 seen with rheumatoid arthritis. So I would want us to
22 continue to identify that issue for a longer period of
23 time.

24 DR. WILLIAMS: Jim, do you have comments on Dr.
25 Walton's statement?

1 DR. FRIES: Well, I don't want to beat a dead
2 horse. I accept the explanation which is very helpful, I
3 think, for the clarification. I just hope people
4 understand the point that I have. I've got a lot of
5 essentially asymptomatic, fully-functioning patients with
6 ankylosing spondylitis, and they couldn't be made very much
7 better. So obviously the benefit for them would be pretty
8 small and that risk would be the same magnitude as it is
9 for the people with more active disease. So there clearly
10 would be some people with a legitimate diagnosis of
11 ankylosing spondylitis in whom the risk ratios would not be
12 the kinds we were shown today. So as long as that message
13 is well heard, which I'm sure it is by now, thank you.

14 DR. WALTON: Yes. The discussions have been
15 very, very helpful for us about how each of you thinks
16 about the data and how each of you thinks about how it
17 applies to the populations of patients that you see in your
18 practice and that's been very, very valuable. But we just
19 don't want you to feel that you are being boxed into voting
20 on exact phrasing on this question.

21 DR. WILLIAMS: I'd like to comment on Wendy's
22 comment. Etanercept has got a long history now with
23 rheumatoid arthritis of several years, not long in terms of
24 methotrexate or gold, but several years, and the thing that
25 comforts me is that in these short studies, we don't see

1 anything that was any different than what we saw in other
2 patients that take etanercept. I agree that we always want
3 to keep vigilant, but I think that we've not uncovered any
4 unusual toxicities in this population of patients.

5 Other comments? Jim?

6 DR. FRIES: Well, I would just echo and extend
7 that because I think when we compare rheumatoid arthritis
8 with ankylosing spondylitis, the typical patient here is
9 perhaps 10 years younger. The typical patient here is male
10 rather than female. The typical patient is not on
11 corticosteroids, does not have a lot of co-morbidities and
12 is not carrying the magnitude of the inflammatory burden
13 that the patient with rheumatoid arthritis has. So I think
14 that we could, with fair safety, consider the rheumatoid
15 arthritis experience as being at the upper limit of what
16 you might see with ankylosing spondylitis and the
17 likelihood being that you would actually have a more
18 favorable safety experience with ankylosing spondylitis.

19 DR. WILLIAMS: Further comments?

20 (No response.)

21 DR. WILLIAMS: Are we prepared then to take a
22 vote on whether or not the risk-benefit ratio is favorable
23 for the use of patients in ankylosing spondylitis?

24 Let me read this question. Considering the
25 efficacy and safety data presented on etanercept use in

1 this license application, is the risk-benefit ratio
2 favorable for the use in patients with ankylosing
3 spondylitis?

4 Those who would vote yes?

5 (A show of hands.)

6 DR. WILLIAMS: That's 6-0.

7 Question number V. The ASAS Working Group had
8 five domains. Four of the five went into their ASAS 20
9 response criteria. The fifth domain was spinal mobility.
10 However, in these studies, they did test spinal mobility by
11 clinical testing. The questions are two parts.

12 Part A. Please discuss the clinical
13 significance of the changes in spinal mobility observed in
14 the studies with etanercept. And B. Please discuss
15 whether agents which improve spinal mobility should be
16 recognized as offering a distinct clinical benefit and, if
17 so, how such benefits would be accurately described in
18 product labeling.

19 I think those two questions can be taken
20 together. Discussions on those questions?

21 (No response.)

22 DR. WILLIAMS: I'll start. I think that the
23 increases in spinal mobility were interesting and of
24 importance. However, I don't know what they totally mean.
25 I think it means that we've treated the disease. We've not

1 necessarily modified the skeletal manifestations. I think
2 it's worth noting in the label that patients did have
3 improved mobility, but I wouldn't carry that out to a more
4 specific disease modification, similar to what we represent
5 in rheumatoid arthritis.

6 Others with comments? If you don't volunteer,
7 I'll ask. Frank? Jim?

8 DR. FRIES: I think, like most of us, we're
9 really glad to see something that's proven hard to move in
10 previous studies start to move a little and that's got to
11 be good. So I think it does have some meaning.

12 DR. WILLIAMS: Frank?

13 DR. VASEY: I would agree. I mean, we've heard
14 from the patients and they felt it was very important. I'm
15 not convinced that it adds up to a structural modification.
16 It may be more of a muscle spasm kind of effect, but it's
17 certainly important.

18 DR. WILLIAMS: Gary?

19 DR. HOFFMAN: I would probably make a statement
20 that's a little more strongly worded. We know that the
21 course of this disease is one that leads to progressive
22 restriction in movement, and I think it's very heartening
23 to see that over a relatively short period of time, we have
24 some reversal of that loss of movement. To me, that would
25 imply that this is a disease-modifying therapy.

1 Is it a radiographic-modifying therapy? That,
2 I think, remains to be seen, but I would still urge
3 consideration of the term "disease-modifying" separate from
4 radiographic modifying.

5 DR. WILLIAMS: Mike, you're the other clinician
6 here. Do you have anything to say?

7 DR. FINLEY: Well, my thoughts echo what Gary
8 said. As you were reading it, I was thinking about the
9 presentations from our patients earlier this morning and my
10 other patients, and I guess where most clinicians practice
11 and where our patients live, I'm not sure the complete
12 story is understood, and I'm not necessarily sure it's
13 relevant whether it's the pain that we heard about that's
14 diminished. But clearly this notion of moving, as Jim just
15 pointed out, moving something that heretofore didn't move
16 or was progressively getting worse is an important piece
17 that's unique and worth being explicit about perhaps in the
18 label as it's considered.

19 DR. WILLIAMS: Wendy?

20 MS. McBRAIR: I like the idea that it's a
21 concrete measurement, and I think sometimes when we hear
22 patients and then we hear scientists, scientists definitely
23 lean towards concrete measurements, and this is one of
24 those and that's kind of exciting to see.

25 DR. WILLIAMS: I was really impressed with all

1 the letters that talked about the improvement in function
2 which most of the time was improvement in motion.

3 Jim?

4 DR. FRIES: Well, I just remembered that I had
5 a question that I didn't get to this morning, which was the
6 slide that showed that asthenia was actually increased in
7 the etanercept group and yet we did hear the testimonials
8 and certainly the rheumatoid arthritis experience in which
9 a melting away of asthenia is usually the thing.

10 So what was the thing about those 4 or 5
11 patients? I mean, what happened? Were they in the subset
12 or what happened with them?

13 DR. WILLIAMS: This was in one study.

14 DR. BURGE: The asthenia was not seen as
15 increased in the larger study. There was, as you've noted,
16 an increase in the etanercept group in the smaller study.
17 The reports were basically things like fatigue, but again
18 it's not something that's seen in the larger study.

19 DR. FRIES: Thank you.

20 DR. WILLIAMS: Further comments on question V?
21 Dr. Walton?

22 DR. WALTON: Yes. I'd like to ask to get a
23 little more sense of your thinking. I think much of your
24 discussion has been touching more on the part (B) of the
25 question, and I would like to hear a little bit more about

1 how we think of the part (A) question, which is, for the
2 measures of spinal mobility that were used in these
3 studies, what size changes on those measures do you think
4 has an observable relevance to a patient where they could
5 tell a difference in their life, whether or not that much
6 change had occurred?

7 DR. WILLIAMS: Jim?

8 DR. FRIES: That's the minimal clinically
9 important difference thing, and I think people that have
10 been particularly interested in that question haven't
11 looked at these measures.

12 I would say from the other things, the effect
13 size on a 5-point scale, which I was seeing that basically
14 the Schober was 1 centimeter better, something on that
15 order of magnitude on a 5-point scale and the average
16 patient had about a 3, so that's really a third of the way,
17 and that would probably meet most of the calculations that
18 I see from minimum clinically important differences.

19 Now, the correlation between that in that
20 sense, because it's a process measure as has been implicit
21 here, is not really an outcome measure, and your question
22 is what's the relationship of the process measure to the
23 outcome measure, and I don't think we know that.

24 DR. WILLIAMS: Other comments?

25 (No response.)

1 DR. WILLIAMS: I have to agree. I just don't
2 think we've looked at that to consider what would be a
3 minimal meaningful difference, but I have to say that I was
4 impressed and I was just going to look for it. There was
5 one of the slides which showed that most of the
6 measurements in most of the people didn't change very much,
7 but those on Enbrel did change, and I thought the fact that
8 they changed at all was impressive.

9 I think it's going to take a group of experts
10 to determine what's minimal significant difference.

11 Jim?

12 DR. FRIES: Alvin Feinstein used to talk about
13 the miracle of the dancing bear, and it wasn't that it
14 danced well but it was that it danced at all.

15 DR. WILLIAMS: Frank, you're our local expert.
16 Have you got any comments?

17 DR. VASEY: I think the patients can probably
18 answer that question the best. I don't have anything to
19 add.

20 DR. WILLIAMS: We have kind of a slanted view
21 because we only have those that responded, but we don't
22 have all 180 patients to talk to, but those who've
23 responded all felt that they got meaningful changes.

24 I don't know that we've helped you with that,
25 Dr. Walton.

1 DR. WALTON: Well, I think you've given us a
2 sense of where your current thinking is, that at the
3 present time, it's hard for you to determine from those
4 measures alone any specific amount of change that can be
5 reliably interpreted as being meaningful to the patient,
6 that that's something that a better understanding of that
7 may develop over time.

8 DR. WILLIAMS: I think it is fair to say that
9 we were all impressed that they improved at all.

10 Dr. Weiss?

11 DR. WEISS: Just along those lines, somebody
12 mentioned the term "disease-modifying", threw that out a
13 little bit earlier, and I'm just curious to know what it
14 takes. I mean, it certainly sounds like something that's
15 more important or ultimately than a signs and symptoms kind
16 of thing. Somebody mentioned -- I think maybe you did, Dr.
17 Fries -- about it would be very helpful if the company
18 followed up with a DMARD kind of claim or outcome, and I
19 guess I'm struggling with how do you do that? What do you
20 look at and for how long and what kinds of things are
21 important to be able to make a claim?

22 It's done in RA. Everybody talks about DMARDs
23 and throws that term around and maybe they all think they
24 know what it means. It's not really clear, but in
25 particular in AS, how do you go about thinking about that?

1 DR. WILLIAMS: Well, I think, first of all, the
2 committee is divided on the definition of a DMARD, and I
3 think we're divided on the definition more than on the
4 actual philosophy. Some of us are strict structuralists,
5 saying that there ought to be changes in the
6 musculoskeletal system or a halt in the changes in the
7 musculoskeletal system to say it's disease-modifying.
8 Others say that if you improve the patient functionally,
9 that that would also be disease-modifying, and so I think
10 that our biggest disagreement on the panel is more of a
11 definition of disease-modifying than the actual philosophy
12 of it.

13 My concern is that if we call it disease-
14 modifying based on function, that it may get confusing
15 because disease modification in rheumatoid arthritis is
16 structural.

17 Jim, you have comments?

18 DR. FRIES: I don't think we have to do
19 either/or or decide it today.

20 Fortunately, there's this very good group in
21 ankylosing spondylitis that is thinking about some of these
22 measures and can undoubtedly think about that kind of
23 question. We might ask Dr. van der Heijde. Is this an
24 area of interest for the group, and could you summarize
25 where you are now?

1 DR. WILLIAMS: Dr. van der Heijde?

2 DR. van der HEIJDE: It's definitely an area of
3 interest for the ASAS Working Group. We've presented at
4 EULAR, for example, the natural progression of structural
5 damage in a cohort of patients with ankylosing spondylitis,
6 and we've compared three different measures, how to assess
7 structural damage, and from that survey, we were able to
8 show which method is sensitive to show a difference. It
9 seems to be that you need a minimum of two years' follow-up
10 to be able to show progression in a sufficient proportion
11 of patients, and that information can be used when you're
12 also looking at the progression of structural damage in
13 patients treated with Enbrel, for example.

14 DR. WILLIAMS: Are you referring to
15 radiographic progression?

16 DR. van der HEIJDE: Yes.

17 DR. WILLIAMS: Or are you referring to the
18 clinical signs that you were using?

19 DR. van der HEIJDE: No. That's really the
20 radiographic progression.

21 What is also our idea is to look at, for
22 example, the progression of spinal mobility, how that
23 naturally is in a cohort of ankylosing spondylitis and
24 again that can be used to compare the data obtained in 24
25 weeks as it is here and also during longer follow-up.

1 DR. WILLIAMS: We often talk about 2 years for
2 OA and 1 year for RA. Ankylosing spondylitis tends to move
3 slowly. Is 2 years adequate?

4 DR. van der HEIJDE: Yes, it is. It was also
5 to our surprise that 2 years was sufficient. We have data
6 with 1-year follow-up, 2-year and 4-year follow-up of
7 radiographic progression, but clearly 2 years was enough
8 and 1 year not.

9 DR. FRIES: To frame it on the functional
10 ability side or work disability or some of these other
11 endpoints which in some ways represent slightly softer but
12 more important endpoints, and so that's the same problem
13 we've been having with RA when we met talking about
14 functional limitations, functional activity endpoints for
15 rheumatoid arthritis, and it's a very similar thing here.

16 I think increasingly we're going to try and get
17 as much length as we can. We need to get as much length as
18 we can in studies, and then we need to use area under the
19 curve analyses, so that you're looking at the extent of
20 benefit over time as well as the absolute amount of benefit
21 at some time of maximum response, and from what I'm hearing
22 and from the testimonials, it sounds as though it's a
23 pretty good likelihood that there will be some long-term
24 effectiveness.

25 But I think that the changing of the disease

1 modification in some way has to take some significant
2 fraction of the disease course and represent it, and with a
3 long disease like this, that can be an extended period
4 which is why I was suggesting that we consider some way of
5 combining observational studies with a shorter-term placebo
6 control for them so that we could get maybe some pretty
7 fancy matching on characteristics and be able to get a
8 valid handle on this going forward and be able to answer
9 both the functional limitation question and the
10 radiographic question.

11 DR. WILLIAMS: I think it may be best to stay
12 away from the term "disease modification" and state that
13 you either improve function or you improve x-rays, and that
14 way, you would describe what you're talking about because I
15 have to agree that if you improve function, you have
16 modified the course of the disease, but since my general
17 expertise is RA, that's not what I think of in RA.

18 Frank?

19 DR. VASEY: I would agree. I mean, under that
20 definition, then non-steroidals would be disease-modifying
21 from the standpoint they improve function.

22 DR. WILLIAMS: Further comments on question V?
23 Did we answer your question, Dr. Weiss?

24 DR. WEISS: Yes.

25 DR. WILLIAMS: If I can attempt to summarize

1 what we decided on this question, the committee feels that
2 the changes in spinal mobility were important and that they
3 were impressive. On the question of whether you consider
4 that disease modification, it would probably be best to
5 describe what happened rather than give it a term. They
6 did have an improvement in their function, including
7 mobility, but there was no evidence presented that we
8 changed radiographic evidence of the disease.

9 Hearing no objection to that summary, we'll
10 move on to number VI.

11 DR. WEISS: Can I just ask? The sponsor had
12 mentioned that they didn't have the radiographic data, but
13 are you planning on submitting radiographic findings to us
14 sometime?

15 DR. WILLIAMS: Dr. Burge?

16 DR. BURGE: I've been waiting to answer this
17 question.

18 (Laughter.)

19 DR. BURGE: The patients from both the 16.0037
20 primary study that Amgen has had going and the Wyeth study
21 have all had the opportunity to roll into open label
22 extension studies. The studies are for up to 2 years and
23 we got x-rays at baseline in all these patients and plan to
24 get repeat x-rays at 2 years on the patients that are in
25 this cohort. Additionally, we've been evaluating MRs in

1 patients in the study as well.

2 DR. WILLIAMS: What will be your control group?

3 DR. BURGE: Well, we're working on that, but
4 one of the challenges obviously is the lack of a control
5 group, and one of the discussions is using the database,
6 the historical control, from the work that Dr. van der
7 Heijde has discussed.

8 DR. WILLIAMS: It would be hard based on your
9 data to have a placebo control now.

10 DR. BURGE: Yes.

11 DR. WILLIAMS: Question number VI. Ankylosing
12 spondylitis is a lifelong disease associated with
13 significant disability. Please discuss whether further
14 investigation is warranted regarding the long-term effects
15 of etanercept in ankylosing spondylitis, including the
16 types of long-term follow-up, registry, types of
17 comparisons, et cetera, and optimal duration of follow-up.

18 This is not so much a question as a discussion
19 item. We have discussed a fair amount of this as we've
20 gone along, and we just talked about in terms of
21 radiographic change. I think Dr. Fries' point of a long-
22 term open study with an initial blinded start may be the
23 best approach.

24 DR. FRIES: I think I was very heartened to
25 hear that the sponsor is doing some things that can fold

1 into some of this because I really would like to see those
2 data at some time, and I think they will probably be
3 positive data. So it would be kind of nice to see them.

4 Yes, I think if you're going to start over now,
5 I think you try and put in a short placebo-controlled group
6 and/or, slightly more dangerously, a methotrexate-
7 controlled group. I mean, you could think about prolonging
8 it a little bit by using a drug which has almost
9 predictably some lesser degree of activity against the
10 disease but might allow some compliance.

11 But I think you're not starting over again. It
12 sounds to me as though you're rolling these three studies
13 forward and you have some people that have been exposed for
14 quite awhile and you have some methods that can be
15 approached to get some progression points, based on better
16 understanding the course of ankylosing spondylitis for the
17 individual patient. The trick is that there obviously are
18 different rates of progression for different patients, and
19 you can get an awful lot of variance in by that.

20 So you are really, I think, going to have to
21 take for each patient and give a predicted slope or a
22 predicted 3-year outcome or something like that, based on
23 the variables of each patient, recomputed as a goal for
24 that or kind of an expected value for that patient out at
25 whatever length of time you have, and then compare what you

1 observe with what you previously had put in the drawer as
2 what you expected to see happen over the 3 years.

3 I think, particularly if you're able to work it
4 out with the FDA into a design which is sort of
5 prespecified as acceptable, even though it won't be
6 perfect, I think you would have a chance to build on what
7 you've already done and get some useful things.

8 DR. WILLIAMS: I'm assuming that when you're
9 doing this 2-year follow-up on x-rays, you're also
10 obtaining efficacy and safety variables, Dr. Burge?

11 DR. BURGE: Yes. All the patients are being
12 followed on a very periodic basis for safety and efficacy.

13 DR. WILLIAMS: Frank?

14 DR. VASEY: I wondered how critical -- and
15 again, I'm not an epidemiologist. How critical it is that
16 you randomize the patients. I wondered if certain patients
17 are still frightened of TNF blockade understandably. I
18 wondered if those patients could take sulfasalazine or
19 methotrexate or either or both and perhaps they'd be
20 willing to be the control group in one sense in an open-
21 label fashion.

22 DR. WILLIAMS: Jim?

23 DR. FRIES: Yes. We do a lot of that kind of
24 study and we like it. It's a usual-care control and you
25 just have to recognize with any quasi-experimental design

1 that you really have to make sure that you have a very
2 precise protocol and you specify the variables that you
3 wish to adjust for and follow closely, and then you let one
4 guy go with usual care and the other go with etanercept.
5 You could even go the one step further and let people cross
6 over and so forth, but then the analyses get pretty
7 complex.

8 DR. WILLIAMS: Mike?

9 DR. FINLEY: I would really be interested in
10 quality of life measures going forward. I'm concerned that
11 if you look only at x-ray data that's planning to be
12 collected 2 years out, there's that window from the time
13 someone starts therapy. The notion in thinking about what
14 we do in rheumatoid arthritis, part of the reason that we
15 either break off therapy or sustain therapy is because we
16 think we have a sense of the natural history of the disease
17 and how soon erosions might or might not appear.

18 I suspect that, at least for me, there's a
19 certain level of discomfort, and I'm not sure I know that
20 in AS, and so how would you determine in that window as
21 you're waiting for some of these measures that the ASAS
22 Working Group was talking about is that you have a
23 responder and maybe the quality of life measures in the
24 sulfasalazine/methotrexate group early on would be similar
25 to the etanercept group, and then it really gets pretty

1 muddy.

2 DR. WILLIAMS: Jim?

3 DR. FRIES: We could ask the sponsor again to
4 kind of respond as to what you are collecting. I assume
5 that you are probably collecting all of the stuff that you
6 collected in the randomized portion of the trial, so that
7 you would have the Bath Functional Index and you'd have the
8 Bath Disease Activity Scale and all of the other kinds of
9 things and that you would periodically do some mobility
10 measurements and things like that. Is that a correct
11 surmise?

12 DR. WILLIAMS: Dr. Burge?

13 DR. BURGE: Yes. We are collecting all the
14 instruments that we had in the original trial. We're
15 additionally looking at SF-36, Euro-QOL, lost work days,
16 hospitalization days, things like that.

17 DR. WILLIAMS: Dr. Siegel?

18 DR. SIEGEL: I wonder if I could ask Dr. Fries
19 for some clarification. You said that you'd like to get
20 some prediction about the expected outcome for patients
21 presumably based on their baseline variables. Can you be
22 more specific about what you mean? Do you mean with
23 respect to x-ray in terms of how active the patient is or
24 of their baseline x-rays?

25 DR. FRIES: Well, I speak as an outside to this

1 area. So we have one genuine expert for sure, maybe some
2 other people. I was just talking in general in terms of
3 the designs and the way that you would go. And in a
4 variable way, if you're trying to compute an expected value
5 at some future point in time and predict that, then you
6 need to know what the variables are that affect the slope
7 of progression of whatever dependent variables you have.

8 My understanding is that the historical
9 controls that the group is working with would allow them to
10 do that and generally rather than try and predict a mean
11 for the entire group, based on adjusting for their
12 characteristics, it's generally a little bit better to
13 match them in such a way that you're actually making the
14 prediction with your model for each individual person and
15 then it represents the mean on the observed side and the
16 mean on the expected side and you're comparing those.

17 So the general principle would be the kinds of
18 variables I would test would be the severity of all of the
19 markers at baseline. I'd probably test a variable made up
20 of a duration plus a finding, so that you were able to get
21 a rate, so you would try and extrapolate back to when the
22 disease began which is not always obvious in ankylosing
23 spondylitis, but you would attempt to get a slope which was
24 related to the duration of the illness and then the
25 magnitude at a particular slot, and then you would just try

1 these against your historical data and see what gave you
2 the best predictive model, probably doing a stepwise
3 multiple regression of some type, and then whatever fitted
4 into that model, use that to make the predictions on the
5 individual. So that's the process I would go through.

6 Now, the people that worked here have a much
7 better feel for how these variables behave, the specific
8 variables behave in ankylosing spondylitis, but sometimes
9 it's sort of empiric to put your models together.

10 DR. WILLIAMS: Jennifer?

11 DR. ANDERSON: I'd just like to make a comment
12 about this type of study, registry or other long-term
13 follow-up. It's very important, I think, that the patients
14 stay in the registry, even if they stop taking Enbrel. If
15 patients just sort of drop off the face of the earth,
16 they're just completely lost to follow-up if they stop
17 taking the drug, that's not very useful data, and so
18 patients should just be kept in just as if they were still
19 taking the drug.

20 DR. WILLIAMS: Further comments? Jim?

21 DR. FRIES: Well, after some period of time,
22 you're going to end up having to do an intention-to-treat
23 analysis and a completers' analysis because no matter what
24 you'll do, they'll drop off. But I really take your point
25 and would hope that during this standard, people are

1 getting free Enbrel or there are some reasons for them to
2 maintain in the study because interpretability will depend
3 upon the percentage of people and the rate of dropouts.

4 DR. WILLIAMS: Further comments? Further
5 questions from the FDA? Dr. Weiss?

6 DR. WEISS: Just one more question, a follow-up
7 I think to Dr. Fries also. You expressed some concern
8 about this product being used perhaps in people who are
9 relatively asymptomatic. I also heard a lot of comments
10 that there's an inexorable progression of eventually more
11 spinal mobility issues and problems over time, though that
12 rate probably varies quite a bit, but it tends to be a
13 known sort of end result, if I'm hearing the discussions
14 correctly.

15 I mean, I could just understand that patients
16 who would be relatively newly diagnosed who don't have some
17 -- it's the whole issue, I guess, of active disease or
18 moderate to severe, whatever you call it, where you had
19 some concerns, and whether or not you had any thoughts
20 about if relatively newly-diagnosed or relatively-
21 asymptomatic people were interested in using this with the
22 idea that it might delay ultimately accumulation of
23 problems, how best that could be evaluated. I think it's,
24 to some extent, maybe the issue of trying to evaluate
25 people earlier on in their disease and looking at whether

1 or not something can actually slow down the accumulation of
2 a disability.

3 We had lots of discussions a number of years
4 ago with RA and the "prevention" word. I don't know if
5 anybody was at the committee at that time, but a lot of
6 concern about using that particular word, feeling more that
7 something that -- more likely the products would delay or
8 slow down or whatever. But those are just questions which
9 would perhaps best be addressed in some kind of controlled
10 trial, perhaps looking at people with somewhat earlier
11 manifestations of the disease, and is there some way that
12 that could be evaluated?

13 DR. FRIES: Well, I'll just give my feeling and
14 then some other clinicians that have seen a lot of AS can
15 also kind of indicate it.

16 It's different from RA. In RA, there's some
17 place between 5 or 10 percent of most series of people that
18 are carrying a diagnosis that essentially do just great
19 over a long period of time and never become disabled. The
20 blood donor studies would suggest in AS that there are a
21 reasonable number of people who would really be better
22 called symptomatic sacroiliitis, and their disease never
23 really grows any further than the sacroiliac joints which
24 actually you can do without much wiggle room in, and they
25 function well normally. Many of those patients don't even

1 take NSAIDs on a regular basis. And then there's another
2 group above that in whom NSAIDs are really quite effective,
3 and they may be quite effective for portions of the course
4 or for all of the course. And then you get into people who
5 are just the -- I don't want to use a slang term, but they
6 just have very, very difficult disease to control and it's
7 clear for them this kind of a tool and probably for people
8 that are even partway there, it's going to be a very, very
9 powerful thing for them.

10 So my concern is just that if I take the guy
11 that works opposite me in my Thursday afternoon clinic for
12 the last 30 years who has ankylosing spondylitis and has
13 never taken anything for it, has never had any kind of
14 problem, he's got New York criteria ankylosing spondylitis,
15 but it's confined to the sacroiliac joint. He has normal
16 mobility and so forth. He's had the disease for 40 years.
17 So there are a lot of people that are in that mild area.

18 I think if we learned the lesson from
19 rheumatoid arthritis about disease modification, it's
20 really that we tend to slow the development of structural
21 problems and functional problems in the hands. It's not
22 that we keep it entirely over a long enough period of time.
23 There still will be advancement, and if someone is on a
24 rapid trajectory, it becomes more important to get them
25 down toward a no evidence of disease area. And I would

1 guess that that's where we would be heading with ankylosing
2 spondylitis. As was indicated, I think, by the sponsor
3 earlier on, that bar may be being changed, and we may want
4 to get people to a closer area of no evidence of disease
5 than we previously did.

6 I would like us to find that level sort of
7 gradually by testing it, but I think it is likely that
8 that's where we're going to be and that we'll be seeing a
9 real improvement in our approach to ankylosing spondylitis
10 as a result of having these drugs available. So I think
11 that you do have the opportunity to kind of permanently
12 subtract or if you've got a given slope which represents
13 the severity of that disease in an individual and if you
14 move that back down and postpone it three years, there will
15 be less lifetime problem. If you postponed it all the rest
16 of the time, you might get rid of almost all of it.

17 So there's not exactly a glib answer. It's a
18 complex mechanism.

19 DR. WILLIAMS: Fred?

20 DR. LASKY: As a nonclinician, if I can add to
21 that in terms of what is not clear to me, is how this would
22 be provided for the patient in terms of whether or not
23 we're dealing with an inflammatory process where it's given
24 on a flare-up basis and then reduced or eliminated and
25 monitored and then the patient monitored and then put back

1 on the drug. Based on what I've heard today, it appears
2 obvious that there's a lot of benefit in the shorter term,
3 but what happens if patients who have withdrawn from the
4 drug, should they be withdrawn from the drug, and then how
5 is the follow-up, and then how do we monitor that and
6 understand better how this drug is used? Because I think
7 there are two possibilities -- well, there are many, but
8 two that come to mind is -- one is toxicity over long-term
9 use and the other is greater loss of effectiveness as the
10 patients might become immunized to the drug itself.

11 DR. WILLIAMS: Frank?

12 DR. VASEY: I don't have too much experience
13 with intermittent use of the drug. I have a few patients
14 that have actually reduced it to once a week and still got
15 a satisfactory result. So we've tended to continue the
16 drug basically. So I really can't answer the question
17 beyond that.

18 I did want to raise one of the group of
19 patients in follow-up to what Jim said, and those are the
20 patients that you encounter occasionally in the hospital or
21 for some other reason, they have a fused spine and they
22 deny any symptoms. That's always very perplexing. You
23 don't know if they have a high pain threshold or what the
24 problem is, but somehow they fuse their spine and didn't
25 notice. So again a radiographic study would probably pick

1 some of those people up perhaps, if they would even get in
2 it. I guess they probably wouldn't. But the amount of
3 symptoms people have do vary.

4 DR. WILLIAMS: Further comments? Further
5 questions from the FDA?

6 (No response.)

7 DR. WILLIAMS: I would like to thank the
8 members of the committee for being very open in their
9 discussion and for being prepared and for all that you've
10 done taking your time to be here.

11 We will stand adjourned.

12 DR. WEISS: Thank you very much, Jim.

13 (Whereupon, at 1:45 p.m., the committee was
14 adjourned.)

15

16

17

18

19

20

21

22

23

24

25