

1 feasibility of even doing a multi-center
2 study.

3 DR. BHAT: I can give you an
4 example in my own unit. You know, we are
5 seeing increasing numbers. We see about six
6 to eight cases in a year, but of those, in the
7 last four years we have used only in four
8 cases so far.

9 So it is not that every baby that
10 walks into the NICU will get this drug. If
11 this effusion continues to accumulate and
12 drain more than a significant amount of the
13 fluid. Some call it as more than ten cc is
14 considered as a significant accumulation.

15 The problem with that kind of a
16 drainage is that not only it takes away the
17 nutrition, but they lose a significant amount
18 of protein. They also take away so much of
19 lymphocytes, and really they develop
20 lymphopenia and subsequent sepsis in a couple
21 of reports already with that in the
22 literature.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RAKOWSKY: I guess the second
2 question also. The second major use in
3 neonates is for the triad of hyperinsulinism,
4 chronic hypoglycemia and the nesidioblastosis.

5 So the ratio of use of a treotide and that
6 triad compared to chylothorax, is it about 50-
7 50 for both or is it more for chylothorax?

8 DR. BHAT: You know, we have not
9 used it for hypoglycemia. Most of the cases
10 we have been able to maintain. This is a
11 serious hypoglycemia I'm talking about with
12 the hyperinsulinemia. Most of the cases we
13 were able to maintain with the diazoxide these
14 days. So very rarely we have used drugs like
15 octreotide.

16 CHAIRPERSON RAPPLEY: Dr.
17 Notterman.

18 DR. NOTTERMAN: My question was
19 similar. It may be that we don't have this
20 information, but I was wondering if you have a
21 feel for the number of cases that might be
22 available for study nationally in a year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BHAT: I think everybody is
2 seeing an increasing number mainly because the
3 prenatal diagnosis is so good nowadays, and
4 the perineonatologists are very aggressive in
5 managing these babies, not like before where
6 they let them go and die in utero. Nowadays
7 they are pretty aggressive, and they also know
8 the neonatologists become so good in taking
9 care of the tiny babies they are, you know,
10 happy to deliver them in 30, 32 weeks after
11 giving steroids.

12 So I think we are going to see more
13 and more cases, but I can't give you an exact
14 number.

15 DR. NOTTERMAN: Do you know if
16 there's a registry.

17 DR. BHAT: I don't think so. I'm
18 not aware of any registry. I think that's a
19 good point actually.

20 CHAIRPERSON RAPPLEY: Dr.
21 Goldstein.

22 DR. GOLDSTEIN: Two comments. One

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is clearly if any study were going to be done
2 they'd have to use the Vermont Oxford at the
3 NIH Network.

4 But my other comment is regarding
5 your observation that there are no PK trials.

6 I just learned of a technology the other
7 week, accelerated mass spec. that uses 50
8 microliters of serum and radiolabels carbon or
9 another atom to do these PK trials, and you
10 can use a population PK sample.

11 So it now actually becomes
12 feasible. You know, previously blood volume
13 issues got in the way with doing premature
14 infants. That no longer is the case, and as a
15 matter of fact, I saw preliminary data just
16 last week from University of California at
17 Davis on a Phase 1 PK trial in octreotide in
18 premature infants.

19 DR. BHAT: I have not seen that.

20 DR. GOLDSTEIN: No, I'm the first
21 human being to see it, but I think this
22 accelerator mass spec. for the whole group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when we consider PK trials in preemies,
2 infants, and small children is a potential
3 suggestion or recommendation that can be made
4 back to academic societies or industry.

5 CHAIRPERSON RAPPLEY: Dr. Rosenthal
6 and then Dr. Motil.

7 DR. ROSENTHAL: Thank you very
8 much, Dr. Bhat.

9 You know, I'm trying to get my arms
10 around the idea of how pervasive is its use in
11 the neonatal population. I'm wondering if you
12 have any insight into whether guidelines for
13 octreotide's use have made their way into the
14 secondary literature, into the neonatology
15 textbooks for this indication, or is it still
16 not quite accepted in the field as a treatment
17 for chylothorax?

18 DR. BHAT: I don't think many
19 neonatologists really know. However, having
20 said that, the perineonatology journal, most
21 of the cases, these are all single case
22 reports. They will come in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perineonatology journal, and from I think two
2 or three cases from Turkey and a couple of
3 cases from this country, from Europe, from
4 Hammersmith Hospital.

5 So actually I don't remember seeing
6 it in textbook for chylothorax, but
7 Sandostatin has been mentioned in the
8 textbooks for hypoglycemia for other uses.

9 DR. ROSENTHAL: So, and again, I
10 don't mean to push you. This may not be a
11 fair question, but do you have a sense for how
12 much it's being used in neonatology?

13 If you were to informally poll NICU
14 docs, do you think most of them would say that
15 they use it or would use it in this
16 circumstance or is it something that only a
17 very small proportion would use?

18 DR. BHAT: I think a very small
19 proportion still. I would still prefer a
20 conservative management trial for a short
21 duration, less than a week, and if no response
22 I'll try octreotide. I won't go this as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 first therapy for use in the neonates for
2 chylothorax.

3 CHAIRPERSON RAPPLEY: Dr. Hudak, do
4 you want to add to that?

5 DR. HUDAK: I guess I have a
6 somewhat different impression because most of
7 the neonatologists that I know are early
8 adopters, and they tend to do things based on
9 one or two case reports. So I think this is
10 an agent that's fairly commonly used. I would
11 think that probably most of these babies come
12 -- the severe babies come to Level 3 units.
13 Many of them come to university settings, and
14 I think the people are quite aware of this
15 therapy. Certainly in the post operative, you
16 know, cardiovascular or even general thoracic
17 surgery in the pediatric field it's used.

18 I'd probably say I'd guess probably
19 70 percent of the units would probably be
20 using this. In terms of a number of babies a
21 year, you know, if you go by the incidence of
22 one in 1,000 --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BHAT: One in 1,000, yes.

2 DR. HUDAK: -- then you're looking
3 at 4,000 cases a year and probably 20 percent
4 of those might come to a point where you'd
5 consider using this before exhausting your
6 conservative management.

7 DR. BHAT: Probably.

8 DR. HUDAK: So you're looking at,
9 you know, 800 to 1,000 babies a year maybe as
10 a guess.

11 DR. ROSENTHAL: And are you
12 including both this hydropic situation as well
13 as the post surgical situation?

14 DR. HUDAK: No. I think -- well,
15 let me -- this is just the congenital
16 chylothorax. If you look at the post-op
17 hearts, you know, you're really looking at,
18 you know, a pretty small number overall
19 because heart disease is about one to two
20 percent of the population, and those who
21 develop, you know, chylothorax after a
22 complete repair is a fraction of that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BHAT: Well, the highest number
2 of uses after surgery or procedure, the
3 highest incidence of post-op chylothorax and
4 also after heart transplant. So actually if
5 you look at the number of chylothorax treated
6 versus the post-op chylothorax treated with
7 the octreotide, there are more cases on the
8 post-op side than on the neonatal chylothorax.

9 But that may be because these are reported
10 cases. Maybe they are using without any
11 information, detailed information probably.

12 CHAIRPERSON RAPPLEY: Dr. D'Angio.

13 DR. D'ANGIO: I'm going to add to a
14 highly scientific poll of neonatologists. One
15 hundred percent of neonatologists in this room
16 are aware of octreotide and its use, and I'd
17 agree with Dr. Hudak that probably most people
18 who practice in Level 3 university units are
19 aware of its use and have used it
20 occasionally.

21 I don't have anything to add about
22 the likely number of infants to be -- well, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do have a couple of things to add, but nothing
2 as substantive as what Mark said.

3 First is that another group in
4 which it might be used for the post ductus
5 ligation children, chylothorax is relatively
6 unlikely in that setting, but we've certainly
7 seen it, and then the second piece of it that
8 makes it difficult is that there may be as
9 many as several hundred infants a year who
10 could be studied, but they're going to be
11 spread over a very large number of units,
12 which makes it there's no one unit that's
13 going to have a lot of experience.

14 If a unit that has one of the
15 leaders that has used it four times in four
16 years and we've used it three times in five
17 years in our unit for the same indication,
18 it's going to be very difficult to get a large
19 cohort without enrolling a huge number of
20 units.

21 CHAIRPERSON RAPPLEY: Dr. Motil,
22 gastroenterology.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MOTIL: Dr. Bhat, you alluded
2 to the fact that you use octreotide as a last
3 sort of choice on your medical management, but
4 I wondered if you really had a protocol
5 already in place that defined more precisely
6 the time at which you would use it.

7 Do you wait X number of days with X
8 amount of feeding, with a lymphopenia of X
9 amount? I mean, do you have a defined
10 protocol is what I'm asking.

11 DR. BHAT: Most of the cases I deal
12 they are pre-term babies. If they are born
13 hydropic we usually don't feed them. We do
14 drain the fluid by chest tube for about seven
15 days, and if the infusion continues to
16 accumulate and drains, then we will consider
17 using octreotide.

18 There are instances when I know
19 from the literature they start very early,
20 right after the admission to the NICU. We
21 have not resorted to that kind of a therapy
22 yet.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MOTIL: So your major premise
2 then is if they continue to reaccumulate in
3 thorax.

4 DR. BHAT: A good number of them
5 are just transient and they get absorbed very
6 quickly. I had a case just recently with a
7 Down's Syndrome with ascitis and a pleural
8 effusion. They drained it before the delivery
9 by putting a chest tube, and the ascitis was
10 so mild I didn't have to do anything. It went
11 away in a few days.

12 CHAIRPERSON RAPPLEY: Dr. Kocis.

13 DR. KOCIS: I just want to comment
14 and make sure you agree with what I'm about to
15 say, but certainly, you know, you outlined in
16 detail the different etiologies acquired in
17 congenital, and there are many models for the
18 care of the newborn child, particularly the
19 surgical child and particularly the cardiac
20 surgical child, but in addition to some of the
21 pediatric surgery there's overlap into where
22 those children would be cared for.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Most of the programs that I have
2 trained in the care of the newborn with
3 congenital heart disease has gone to the
4 pediatric ICU, not beside the NICU, and so I
5 think that's not a universal but a fairly
6 common model, and so there is a whole other
7 population as you described up in the PICU.

8 While we are colleagues we also are
9 separated by three floors in my hospital and
10 I'm sure some distance in yours.

11 And there's also been a shift in
12 the management of the newborn with general
13 heart disease as we move further and further
14 and younger and younger and more definitive,
15 complete operations in the newborn, sometimes
16 even the low birth weight child, and so
17 certainly our unit has had a fairly
18 significant amount of experience in dealing
19 with the acquired forms of chylothorax, and
20 we've seen it probably most commonly in post-
21 op cardiac in the newborn. We've seen it on
22 kids post ECHMO. We've seen it in some severe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lung disease, and then sepsis with profound
2 thrombosis in lines and all of that. So we've
3 seen it in a variety of diseases, and then at
4 the other end, as you mentioned, kids with
5 Fontan clearly, but the bidirectional Glenn.

6 So there's an apparent difference
7 certainly in etiology and potentially to
8 treatment and their response to treatment and
9 to octreotide, and so we've actually probably
10 gone the whole cycle without having a single
11 randomized controlled trial in the sense that
12 we had this problem. The problem was
13 associated with significant mortality and is
14 purely anecdotal data, and we began doing all
15 of the standard care that you did, and added
16 octreotide early on in our treatment plan.

17 We ended up early seeing that we
18 weren't seeing a positive benefit from it. So
19 we have already essentially abandoned that and
20 moved on to definitive surgical intervention
21 in most cases. It's not absolute.

22 So as far as there being a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guideline, a clinical trial, a consensus or
2 anything, none of that has happened. It's
3 purely been word of mouth, and we have a small
4 group of people that get together a couple of
5 times a year, and we talk about what we're
6 having problems with and what we're trying and
7 the like, and so, that was the statement part.

8 And then the question, and I would
9 have saved this for you until later, but based
10 on your paper, which certainly would be
11 referenced in the critical care and likewise
12 in the neonatal literature, have you had any
13 thoughts on things since this time as far as
14 your view on utility, usefulness of
15 octreotide, on the one hand, and, two, the
16 risk complications and, you know, side
17 effects, most importantly the severe ones that
18 we're most interested in today?

19 DR. BHAT: Right. I agree with
20 your comments and certainly there is side
21 effects to this drug. We use this drug. It
22 is only in the last four years we have started

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 using it, and we restrict it to the severe
2 hydropic babies with their massive infusions
3 requiring chest tube drainage, drains
4 continuously for more than a week.

5 We have started using them with a
6 pretty good success. All of the four cases
7 have survived and they've gone home, and they
8 didn't have any malformations, associated
9 malformations in them, and I have not seen any
10 necrotizing enterocolitis.

11 Is there a potential risk? It is
12 definitely a potential risk by the mechanisms
13 of this drug. Simply it decreases the blood
14 supply. Put ischemia on top of it. If you
15 feed them, it enhances the bacterial
16 proliferation and subsequently developing
17 necrotizing enterocolitis.

18 In our unit when these drugs are
19 used, we don't usually feed these babies at
20 that time.

21 CHAIRPERSON RAPPLEY: Dr. Hudak.

22 DR. HUDAK: I think, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we're trying to sort of get our hands around a
2 variety of experience here, which is not
3 controlled by any means. I agree with you. I
4 think that there is, especially in the large
5 cardiac centers, there's a potential to do
6 studies with a relatively small number, but
7 you're really only looking at, I think, pretty
8 much a single etiology, and that is an
9 acquired post surgical event, and presumably
10 the mechanism by which it works in that case
11 is that it decreases the lymphatic flow long
12 enough that the human process, whatever that
13 is, occurs, and then you can stop the medicine
14 and you're okay.

15 In babies who got, you know,
16 congenital chylothorax, there are other
17 etiologies presumably and the success in my
18 experience anyway and by hearsay has been more
19 variable, and I actually have seen a baby who
20 developed NEC, you know, while on the
21 medication.

22 So I think that, you know, there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a load in the cardiac patients. Our surgeons
2 have tended to use it, but they differed as to
3 whether they believe that it works. So I
4 think there's a healthy amount of skepticism
5 out there that I think needs to be really
6 studied carefully.

7 There's always the possibility that
8 one man's interpretation is different than
9 reality. Often this drug is started at a
10 point where, you know, your drainage is
11 decreasing. You start the medication and it
12 continues to decrease, and it disappears.
13 You say, "Oh, that's the drug."

14 Well, who knows? So I think in
15 that case there's an opportunity.

16 CHAIRPERSON RAPPLEY: Dr.
17 Rosenthal.

18 DR. ROSENTHAL: Just a quick
19 question to the room. I feel like I have a
20 sense for when it started after this
21 indication of congenital chylothorax. How
22 long are patients treated? What's their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 overall exposure to it?

2 I remember seeing a slide that had
3 12 days on the X axis. Is that sort of a
4 standard therapy?

5 DR. BHAT: The cases that I have
6 reviewed, the shortest duration was about
7 three days. The longest duration actually is
8 more than a month people have given this drug,
9 particularly some of the post surgical cases.
10 They have given more than a month of
11 infusion.

12 That is when I started really
13 getting worried. Is it really beneficial? At
14 what point will you really stop and think is
15 the drug really making any benefit? I don't
16 have that information

17 Certainly there is a varying
18 duration, and a varying dose schedules,
19 subcutaneous, IV boluses, IV continuous
20 infusion, all kinds of dosing regimens.

21 DR. ROSENTHAL: Some other
22 predictable side effects of the drug, like its

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effects on growth hormone and those kinds of
2 things, would probably come into play or may
3 come into play if the duration of therapy is
4 measured on the order of months.

5 DR. BHAT: Exactly. Good point,
6 yes.

7 CHAIRPERSON RAPPLEY: Are there any
8 other questions for Dr. Bhat before he leaves?

9 Yes, Dr. Motil.

10 DR. MOTIL: One more question, Dr.
11 Bhat. Would you say that in the congenital
12 chylothoraces that all of these babies would
13 be inclined to have prenatal steroids?

14 DR. BHAT: Up to the
15 perinematologist. If they know the etiology,
16 it depends upon if it's a serious chromosomal
17 anomaly. They may not give, but if it is
18 simply a Down's Syndrome, for example, they
19 diagnosed the serious chylothorax at 28 or 30
20 weeks of gestation, and it is progressing, and
21 if they want to deliver that, I will
22 definitely consider giving prenatal steroids

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and interpartum steroids for two doses 24
2 hours apart. That is the standard, I think,
3 that is recommended because the management
4 after birth becomes really pretty effective
5 for us in taking care of the babies on the
6 ventilator.

7 DR. MOTIL: So in your experience
8 for those babies in who octreotide was used,
9 how those babies received antenatal steroids?

10 DR. BHAT: Yes, they did.

11 DR. MOTIL: Thank you.

12 CHAIRPERSON RAPPLEY: Any other
13 questions for Dr. Bhat?

14 Okay. Thank you very much.

15 DR. BHAT: Thank you.

16 CHAIRPERSON RAPPLEY: Thank you for
17 your presentation.

18 And Dr. Taylor? Is Dr. Taylor
19 here?

20 DR. MURPHY: Now I'd like to have
21 our division representative introduce herself.

22 CHAIRPERSON RAPPLEY: Oh, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thank you, Dianne.

2 DR. LOWY: Hi. I'm Dr. Naomi Lowy.

3 I am an adult endocrinologist, and I am a
4 medical officer in the Division of Metabolism
5 and Endocrinology Products.

6 DR. TAYLOR: Hello again. I will
7 be providing a follow-up adverse event review
8 of octreotide.

9 Octreotide, or Sandostatin
10 injection and Sandostatin LAR Depot, is a
11 somatostatin analogue. The injection
12 formulation was originally approved in October
13 1988, and the LAR formulation was approved in
14 November 1998. Pediatric exclusivity was
15 granted in January 2006.

16 The adult indications are treatment
17 of acromegaly in patients who have had
18 inadequate response to or cannot be treated
19 with surgical resection, pituitary irradiation
20 and bromocriptine mesylate. Symptomatic
21 treatment of patients with metastatic
22 carcinoid tumors to suppress or inhibit severe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 diarrhea and flushing episodes, and treatment
2 of profuse, watery diarrhea associated with
3 vasoactive intestinal peptide secreting
4 tumors. There are no pediatric indications.

5 The pediatric exclusivity studies
6 with the LAR formulation included a randomized
7 double blind, placebo controlled, fixed dose,
8 six-month study in 60 patients age six to 17
9 years, with hypothalamic obesity resulting
10 from cranial insult in which efficacy was not
11 demonstrated and a six-month open label
12 extension study.

13 Safety results demonstrated a
14 higher incidence of new cholelithiasis.

15 In April 2007, we presented
16 pediatric adverse event reports received since
17 marketing approval in 1988. There were 36
18 reports of serious adverse events, 25 non-
19 fatal, and 11 deaths. From those reports we
20 concluded that eight cases were possibly
21 related to octreotide use; three reports of
22 necrotizing enterocolitis, which is an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unlabeled event; one report of repeated
2 episodes of hypoxia; and one report of
3 repeated hypoxia with rechallenge, which is
4 also an unlabeled event; one report of
5 pancreatitis, which is labeled; and two
6 reports of bradycardia, which is labeled.

7 The Pediatric Advisory Committee
8 recommended that FDA should place information
9 in labeling concerning the occurrence of
10 adverse events in infants. Some noted at that
11 time that information in the labeling should
12 not imply that a causal link was established.

13 The PAC recommended that the FDA
14 should consider ways to disseminate
15 information to health care providers.

16 Also the FDA should consider
17 improving consistency between Sandostatin LAR
18 and injection labeling, such as including the
19 negative exclusivity study results in the
20 Sandostatin injection labeling, and that the
21 FDA should provide a one-year update focused
22 on observed post marketing adverse events of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necrotizing enterocolitis and hypoxia.

2 As requested, we searched the AERS
3 database for reports received between February
4 2007 and May 2008. There were ten pediatric
5 reports all serious, including one death.

6 Similar to the findings in 2007,
7 half of all reports were in patients less than
8 two years and primarily with the use of
9 Sandostatin injection.

10 These are the reported off-label
11 uses related to the reports. You see they are
12 chylothorax, hypoglycemia, hyperinsulinism,
13 insulinoma, pituitary adenoma gigantism,
14 diarrhea, and in utero exposure.

15 There was one reported death from
16 February 2007 to May 2008. The case involved
17 a neonate born with multiple congenital
18 anomalies, hypotonia and mild tachypnea. The
19 patient was placed on Sandostatin injection
20 for insulinoma two days after birth. The
21 patient died one month later. The cause of
22 death was not reported.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There was one case reported to FDA
2 of necrotizing enterocolitis. This case
3 involved a two month old with a history of
4 prematurity, congenital heart disease and
5 refractory chylothorax on multiple
6 medications. The patient received three
7 courses of octreotide. During one of the
8 first two courses, the patient developed
9 necrotizing enterocolitis. After two days on
10 the third course of octreotide the patient
11 developed bloody stools, bowel dysfunction,
12 and necrotizing enterocolitis. The outcome of
13 the patient is unknown.

14 There were no new reports of
15 hypoxia. There were eight other adverse event
16 reports which were hyperglycemia, hypoglycemia
17 involving an in utero exposure, hypoglycemia
18 involving a neonatal exposure, bradycardia and
19 transient cardiac arrest, hypotension, fluid
20 retention, and metabolic acidosis,
21 osteonecrosis of the femoral head, and
22 persistent infusion, which was considered a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 loss of efficacy.

2 The Sandostatin LAR labeling was
3 changed to remove the discussion of use of
4 octreotide for congenital hyperinsulinism in
5 March of 2008.

6 In summary, an additional ten
7 reports of serious adverse events, including
8 one report of necrotizing enterocolitis were
9 received. One approach FDA is considering is
10 to revise labeling to clarify that there are
11 no approved pediatric indications and removed
12 the description of the 49 published case
13 reports from the Sandostatin injection
14 labeling.

15 FDA will continue its standard
16 ongoing safety monitoring for octreotide.
17 Does the Advisory Committee concur with the
18 above-stated approach?

19 And in closing I just would like to
20 thank the people listed here for their help
21 with this presentation.

22 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON RAPPLEY: Thank you.

2 Open for discussion. Dr. Rakowsky.

3 DR. RAKOWSKY: Thank you, Dr.
4 Taylor.

5 This may be asking a lot, but is
6 there any way to tease out from this
7 information how many days the patients were on
8 octreotide and if it was bolus versus
9 continual infusions?

10 DR. TAYLOR: It depends on the
11 report. Are you talking about the cases with
12 necrotizing enterocolitis or are you
13 looking --

14 DR. RAKOWSKY: Just in general,
15 there's a trend. You have ten cases there.

16 DR. TAYLOR: Yes, I don't have that
17 information.

18 DR. RAKOWSKY: Okay.

19 DR. TAYLOR: Not with me, but it is
20 possible, depending on what's in the report.

21 DR. RAKOWSKY: So those reports may
22 have been detailed enough to kind of tease

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that information out?

2 DR. TAYLOR: Yes. I just don't
3 have that with me right now.

4 DR. RAKOWSKY: Okay.

5 CHAIRPERSON RAPPLEY: Other
6 questions?

7 I had a question on the table on
8 Slide 8 where the cases are listed. Do I read
9 this correctly that there were no U.S. cases
10 reported in the last year?

11 DR. TAYLOR: Yes, that is correct.

12 CHAIRPERSON RAPPLEY: And then
13 would you just remind me of why a decision was
14 made to remove discussion of octreotide for
15 congenital hyperinsulinism?

16 DR. TAYLOR: Well, I'll refer that
17 to the division. You're talking about in the
18 Sandostatin LAR?

19 CHAIRPERSON RAPPLEY: Yes.

20 DR. TAYLOR: Yes.

21 DR. LOWY: That decision was made
22 since there is no indication for that use.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON RAPPLEY: So is that
2 based on subsequent evidence or it was put
3 there mistakenly? I just am trying to --

4 DR. LOWY: I believe, and if
5 someone wants to correct me they can, but upon
6 re-review it was understood that since there
7 was no indication --

8 CHAIRPERSON RAPPLEY: It really was
9 no evidence.

10 DR. LOWY: Yes.

11 DR. MURPHY: Remember I think we
12 described it one time. We began this process
13 before we had the legislation. There were
14 some efforts if there was some information to
15 try to get it into the label. A lot of
16 controversy of whether that was a good or bad
17 thing, and I think that when these products
18 are coming up the divisions are using those
19 opportunities to relook at some of that
20 information.

21 CHAIRPERSON RAPPLEY: I just wanted
22 to be clear that that decision was made as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 result of a review of most recent available
2 data.

3 DR. TAYLOR: Yes, that's true.

4 CHAIRPERSON RAPPLEY: Other
5 questions? Dr. Motil.

6 DR. MOTIL: In your review of the
7 literature you comment on all of the off label
8 uses for octreotide, and I'm puzzled by why
9 you haven't seen or you did not report the use
10 of octreotide for gastrointestinal bleeding as
11 an off label use.

12 DR. TAYLOR: This is not from the
13 literature. These are the off label uses that
14 are associated with the AERS reports.

15 DR. MOTIL: Okay. So let me
16 restate that then. In your review of the
17 reports there were no instances where you had
18 adverse events reported in association with
19 the use of octreotide for GI bleeding; am I
20 correct?

21 DR. TAYLOR: Yes. These are the
22 uses of those ten cases that we had.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MOTIL: So I guess I would only
2 point out that octreotide certainly is used in
3 children who have persistent, profound GI
4 bleeding, and so I'm just reminding you that
5 there seems to be at least one segment of the
6 population which are not represented in this
7 report, and ultimately the reason why I'm
8 pointing that out is because of the comments
9 that you're considering in terms of stating no
10 pediatric indication. Because I think that
11 then puts a hole in the armamentarium for
12 setting perhaps where we look at portal
13 gastropathies and profound bleeding for which
14 we may not have accessible other modalities
15 for significant bleeding.

16 CHAIRPERSON RAPPLEY: Could we hold
17 the remaining questions and ask Dr. Gruber if
18 he would step to the podium and give a
19 presentation on behalf of the sponsor? And
20 then we'll pick up questions from that point.

21 DR. GRUBER: Hi. I'm Dr. Todd
22 Gruber from Novartis Pharmaceutical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Corporation. I am with the U.S. Drug Safety
2 Group within the corporation.

3 The purpose of this presentation
4 today is to share some of our global safety
5 database data with the Committee and hopefully
6 supplement some of the presentations that
7 we've heard today.

8 The current Sandostatin U.S.
9 package insert has the following indications
10 in adults. I'm not going to read them.
11 They've been presented already. The
12 pharmacologic effects, as well, have been
13 presented. It works on the endocrine system.
14 It decreases splanchnic blood flow. It also
15 decreases the release of certain
16 gastrointestinal tracked hormones.

17 These are adult indications. We do
18 not have any indication for use in the
19 pediatric population, and our pediatric
20 section, particularly the subcu. injectable
21 label has information as was already discussed
22 about hyperinsulinism, and we do agree with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the recommendation to remove as these are case
2 reports, and there are no controlled studies
3 in the pediatric population under age six.

4 Just a comment about some of the
5 indications for the use of this drug outside
6 of the U.S.. I would like to present this
7 slide to put into context since I'll be
8 sharing global safety data with the committee.

9 We have gastrinomas/Zollinger-
10 Ellison Syndrome, insulinomas, refractory
11 diarrhea, prevention complications following
12 pancreatic surgery, and GI bleeding.

13 As part of our review for the
14 Committee we performed a literature search,
15 including MedLine and MBase, and we observed
16 cases of use of the drug Sandostatin or
17 octreotide in pediatric patients. We tried to
18 look at the conditions that were being treated
19 so we can get a sense of how off-label use was
20 occurring with the drug. As you can see, a
21 lot of the use correlates with the global
22 indications for the drug.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Most of these are case reports. In
2 fact, almost all of these are case reports.
3 Many of them are favorable. Of course, we
4 must acknowledge that many experiences if they
5 are negative cases or negative experiences are
6 usually not submitted or published in the
7 literature.

8 So to make any risk-benefit
9 decisions on individual case reports, of
10 course, is difficult.

11 Okay. Now, let's shift our
12 attention to the Novartis global safety
13 database. It covers through the time of
14 approval in the world, 1987, through the
15 cutoff date that we used to prepare the report
16 which we submitted this summer to the FDA.
17 June 30th, 2008 was the cutoff data.

18 In our database we have 159
19 pediatric cases containing 549 adverse event
20 terms. It looks like the gender distribution
21 is fairly equal, and we have the age
22 distribution presented on the table below.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Looking at the indications, and I
2 will have a slide on that from the database.
3 It appears that most of the patients under one
4 year of age, the treatment indications were
5 hyperinsulinism, as well as chylothorax. The
6 older patients, 11 to 17 particularly, tended
7 to be treated for a pituitary tumor, and a lot
8 of the younger indications that we saw were
9 kind of spread throughout all of the different
10 age groups.

11 Okay. This slide is from data from
12 the global database. We looked at the 159
13 cases, and we particularly wanted to go
14 through and understand the indications where
15 we had reports of adverse events. Again, this
16 seems to correlate with the indications that
17 we're seeing in the literature.

18 The next slide, and hopefully you
19 can all see it. I tried to pack a lot into
20 it. So I apologize.

21 What I did here is took the 549
22 adverse event MedDRA terms and we ranked them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 by frequency, and I put the most common terms
2 into this table. Cholelithiasis clearly is
3 the lead term here at 22 reports. As you can
4 see from these terms, a lot of the events that
5 are observed in the reported cases, they are
6 to be consistent with the underlying disease,
7 and a majority and almost all of these terms
8 are terms that are described in the label for
9 the adult population.

10 Okay. Now I want to shift our
11 attention. There were basically three areas
12 that we were asked to present in our paper to
13 the FDA and that are being discussed at this
14 meeting. The first one is necrotizing
15 enterocolitis. We start out with some general
16 facts. I'm actually not going to read these
17 except correct my parentheses with 25,000
18 babies. It's 2,500, as Dr. Bhat indicated,
19 and he certainly did a very thorough job
20 presenting this.

21 Just in red here we mention that
22 term neonates who experience this tend to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ill with other conditions: congenital heart
2 disease, birth asphyxia, respiratory distress,
3 abnormal fetal growth pattern, and metabolic
4 abnormalities.

5 From the Novartis safety database
6 we had five pediatric reports of NEC. As
7 you'll see on the next slide, four of the five
8 patients had an underlying congenital cardiac
9 or vascular condition. The fifth case was a
10 patient who had hypoxia with resuscitation
11 within the first hour of life.

12 I apologize for the very detailed
13 slide. I'm just going to try and highlight on
14 some of the key aspects of this slide.

15 First I'll start with the age and
16 gender column, the second column. If you can
17 see the patients that experienced NEC were two
18 months of age and younger. Indications
19 basically were chylothorax and
20 hyperinsulinemia for these patients, and I'd
21 like you to focus in on the last column in red
22 where I indicate the congenital abnormality or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vascular abnormality or in the fifty case the
2 patient with apnea and resuscitation.

3 So, again, whether it was the
4 underlying disease or if Sandostatin
5 contributed on top of this disease, it's
6 difficult to really make a determination.
7 These are all very sick children and the use
8 was probably as last ditch effort in many of
9 these patients.

10 The second area or adverse event
11 that we were asked to look at were cases of
12 hypoxia. On this slide I'm actually going to
13 focus on the second and third line first.
14 These are two cases from a single case report.

15 The same author had published two similar
16 cases. These were both people who they were
17 premature babies. They had bronchopulmonary
18 dysplasia with pulmonary problems.
19 Interestingly, these patients had necrotizing
20 enterocolitis prior to being on Sandostatin,
21 and these patients were on Sandostatin for
22 other reasons, and they had pulmonary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypertension and hypoxia.

2 The author of these case reports
3 proposed a hypothesis that Sandostatin
4 contributed to pulmonary hypertension which
5 probably worsened the person's underlying
6 pulmonary circulation and pulmonary condition
7 leading to the experience hypoxia.

8 The top case is a 15 year old male
9 who had ependymoma, gastric ulcer. This is a
10 patient who had secretions after a GI
11 procedure. So Sandostatin was given. Shortly
12 thereafter, the patient experienced pneumonia
13 with atelectasis, and the reporter in this
14 case proposed a hypothesis that Sandostatin
15 may have increased the bronchial secretions
16 which set the milieu for the occurrence for
17 pneumonia.

18 Okay. Lastly, I would like to
19 present the pediatric cases where there was a
20 fatal outcome. Again, this is coming from a
21 Novartis global database over the last 21
22 years of reports to our database. In that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time frame we have 16 reports which were noted
2 in patients with complex medical conditions.
3 The age breakdown of the reports is below.
4 One half of them are two years of age and
5 younger.

6 A little bit messy slide, but it's
7 an attempt to describe the causes of death to
8 see if there's any trends and similarity for
9 occurrences of the fatalities in these
10 reports. Nine of the 16 cases the deaths were
11 directly related to the person's underlying
12 condition. The conditions are listed here.
13 Several of these patients tend to be older
14 patients with malignancies who died of
15 progression of their malignancy. There was
16 somebody with a liver transplant rejection who
17 experienced complications from the rejection;
18 another patient with graft versus host disease
19 who had complications; a younger patient with
20 hypertrophic cardiomyopathy and complications
21 there; and then the last case was already
22 presented under hypoxia. It was one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 patients with hypoxia who was born prematurely
2 and died.

3 The next group, if you see the
4 brackets I have, we have four cases and then
5 we have two cases. I'm actually going to skip
6 down to two cases that have already been
7 presented. Two of the necrotizing
8 enterocolitis patients with congenital
9 cardiovascular conditions experienced fatal
10 outcome, and these cases were presented a
11 couple of slides earlier.

12 Four of 16 patients also had
13 congenital anomalies which were not
14 necessarily direct cause of death, but they
15 may have indirectly contributed to the
16 fatalities, and again, some examples of these
17 patients. There was a patient with short
18 bowel syndrome who was premature, and they had
19 liver and renal failure which the reporter
20 felt was related to complications from the
21 short bowel syndrome.

22 Another patient with aganglionosis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the colon and obstruction and necrosis died
2 of multi-organ failure and intracranial bleed.

3 Another patient who was premature
4 with Noonan's Syndrome and congenital
5 chylothorax, they experienced cardiac arrest
6 and pneumothorax.

7 And then there was a case which I
8 believe has already been presented on one of
9 the prior slides where death cause was really
10 unknown, and it's the patient with
11 microencephaly retromicrognathia and
12 hypertelorism.

13 Finally, the last case at the
14 bottom, the patient was on Sandostatin for the
15 indication was actually unknown in this
16 patient. So whether they were treating a GI
17 bleed or not is uncertain, but this patient
18 died from a duodenal ulcer bleed. The patient
19 was on prednisone, and they had nephrotic
20 syndrome.

21 So in conclusion, Sandostatin
22 injection or LAR is not indicated for the use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the pediatric population. No formal
2 clinical trials have been performed to
3 evaluate the safety and effectiveness of
4 Sandostatin in pediatric patients under the
5 age of six.

6 There are multiple reports in the
7 literature that have shown some benefit in the
8 pediatric population. Of course, we all
9 acknowledge the limitations of the literature
10 and the fact that negative reports rarely get
11 submitted or published.

12 Cases of serious adverse events,
13 including the case of hypoxia, necrotizing
14 enterocolitis and death, have been reported
15 with octreotide use most notably in children
16 under the age of two.

17 And lastly, the relationship of
18 these serious adverse events to octreotide is
19 not established as the majority of these
20 pediatric patients had serious underlying co-
21 morbid conditions, along with the use of
22 concomitant medications.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'd like to thank the Committee for
2 giving me the opportunity to speak to you
3 today.

4 CHAIRPERSON RAPPLEY: Thank you.

5 Questions? Dr. D'Angio.

6 DR. D'ANGIO: One question for you
7 about your necrotizing enterocolitis cases.

8 DR. GRUBER: Yes.

9 DR. D'ANGIO: Do you have any
10 information on the gestational ages of those
11 infants?

12 DR. GRUBER: Well, the infants.
13 I'm not sure I quite understand. As far as
14 were they all carried to term or not?

15 DR. D'ANGIO: Yes, were they
16 premature?

17 DR. GRUBER: I have no evidence. I
18 would have listed this in my slide if these
19 were premature.

20 DR. D'ANGIO: Okay.

21 DR. GRUBER: I say with a hint of
22 uncertainty that I do not believe so.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. D'ANGIO: Thank you.

2 DR. GRUBER: I believe they were
3 all term.

4 DR. BHAT: The two cases with the
5 necrotizing enterocolitis that I know of, they
6 are both term. One is reported from
7 Hammersmith Hospital as a term baby. The
8 quotational aorta had a lot of complications
9 with the perforation of the heart from the
10 catheter, various problems, and developed NEC.

11 The other one is also a term baby
12 with nesidioblastosis, developed within two
13 days NEC. Those are the two cases, but both
14 survived.

15 CHAIRPERSON RAPPLEY: Dr. Kocis.

16 DR. KOCIS: I was just curious if
17 you have any pediatric sales data, Sandostatin
18 broken down at all.

19 DR. GRUBER: Yes, I do. It's a
20 little bit difficult to interpret, but it will
21 at least give a sense of some trends. We have
22 Sandostatin injectable formulation and the LAR

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 formulation, and we can see that actually
2 sales volume has decreased.

3 Again, realize this is Sandostatin
4 branded. There is a lot of generic
5 competition out there, and you know, our
6 market has clearly decreased, but if the
7 overall use of octreotide has decreased I
8 can't comment on that.

9 We even tried to break it down by
10 various models to see what age groups, and it
11 looks like certainly the pediatric population
12 is a very, very small amount of that.

13 We also looked by specialty as far
14 as who seems to be writing prescriptions for
15 this. It looks like hematology/oncology still
16 leads. Again, how applicable it is to, for
17 example, a newborn who is in the intensive
18 care unit, this slide may or may not
19 adequately meet the needs of the discussion.

20 Sandostatin LAR has relatively been
21 stable. Again, pediatric use seems to be a
22 very small amount of the use of this drug, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specialty, again, hematology/oncology seem to
2 be the leading prescribers of this medication.

3 CHAIRPERSON RAPPLEY: Other
4 questions? Further discussion?

5 DR. MURPHY: I thank Dr. Gruber for
6 presenting this information to the Committee.

7 CHAIRPERSON RAPPLEY: Yes.

8 DR. GRUBER: Thanks.

9 CHAIRPERSON RAPPLEY: I then have a
10 question. I'm having a little bit of trouble
11 focusing the question for the Committee. So
12 I'm going to read to you how I think it has
13 been presented, but you all chime in to revise
14 this or you correct me.

15 So we're asked to consider revising
16 the label, one, to indicate no pediatric
17 indication for this medication; two, to add
18 report of ten serious adverse events not
19 previously reported; and, three, to remove the
20 case reports which there seems to be some
21 consensus that that needs to be done; is that
22 correct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: This is the beginning
2 of the process that I was telling you about.
3 If you'll look in your OSE review, you will
4 see these recommendations, and so what we're
5 trying to begin to do is give you some of the
6 options or thinking that has been put forth.

7 So I didn't want to just repeat --
8 we didn't want to just repeat the whole thing.

9 So it really relates to these are some of the
10 recommendations that have been made. We, you
11 know, might want to hear from the division if
12 there's anything else that they want to say
13 about this, but really we would like your
14 input on what you think should be the approach
15 to making this these labels.

16 Because, again, the SAR is what
17 brought this product to the Committee, but the
18 Committee made it pretty clear, I think, last
19 time, and I think the division's thinking,
20 too, is that these products ought to have
21 their labels a little bit more compatible as
22 far as the pediatric information in them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But we want to hear your thinking
2 about these suggestions, is really what we're
3 asking.

4 CHAIRPERSON RAPPLEY: And did I
5 capture those suggestions then accurately?

6 DR. MURPHY: Yes.

7 CHAIRPERSON RAPPLEY: Okay. Dr.
8 Notterman.

9 DR. NOTTERMAN: So if I'm correct
10 in understanding the recommendation, the first
11 recommendation is to communicate to health
12 professionals the receipt of these serious
13 adverse effects. Is that correct?

14 I'm looking at page 774. And if
15 I'm correct, then I just wondered in what form
16 that communication would take. It mentions
17 here perhaps a brief report.

18 DR. MURPHY: I'm just making sure
19 we're on the most recent OSE review because
20 there is a --

21 DR. NOTTERMAN: Okay. So maybe I'm
22 looking at an older one?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Yes. Which year
2 review is that? Because I've got it tagged,
3 too. I think it's the most current year. I'm
4 just making sure.

5 It's the '07 review, yes. So go to
6 page 714.

7 DR. NOTTERMAN: Okay, yes. It's
8 basically the same.

9 DR. MURPHY: Just want to make
10 sure.

11 DR. NOTTERMAN: Got you. Okay.

12 DR. MURPHY: The conclusions from
13 the OSE review this year, you're right. One
14 of them is communicate health care to the
15 health care professionals.

16 DR. NOTTERMAN: And also number C
17 is initiate an educational campaign targeted
18 towards specialty areas.

19 DR. MURPHY: Right.

20 DR. NOTTERMAN: Yes. I would add
21 to that pediatric cardiac surgery or pediatric
22 cardiology.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON RAPPLEY: Dr.
2 Goldstein.

3 DR. GOLDSTEIN: In addition to what
4 Dan was saying, I think we have to be careful
5 about this because these are as best as I can
6 tell relatively unsubstantiated case reports
7 and very sick children who have other
8 potential explanations for these
9 complications.

10 So I think it's perfectly fine to
11 communicate to health care professionals that
12 the FDA has received serious adverse events,
13 including death associated with octreotide use
14 in pediatrics, but there should be a caveat
15 saying that this does not imply causality or
16 whatever the appropriate statement is for
17 that.

18 And then if that is acceptable,
19 then in terms of the educational campaign I'm
20 not sure how to educate somebody on a lack of
21 education. There's no data really other than
22 this happened. We don't know that it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 causal.

2 DR. MURPHY: And I think that's
3 where the discussion ended last time, is that
4 basically we have these events, but we don't
5 have any way of determining because of the
6 complexity of the cases and the patients that
7 they're causally related.

8 DR. GOLDSTEIN: I can't see where
9 it's going to be any different this time.

10 CHAIRPERSON RAPPLEY: Is it your
11 experience that by sending out these
12 communications people are more likely to
13 report? Does spontaneous reporting go up?

14 DR. MURPHY: Not really.

15 CHAIRPERSON RAPPLEY: Okay.

16 DR. NOTTERMAN: Is there a
17 mechanism or a process for consulting with
18 colleagues, for example, in the Vermont Oxford
19 Network or the Pediatric Pharmacology Network
20 that's sponsored by the NICHD to hope to
21 elicit a study or at least a systematic
22 retrospective review?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Because I agree. I'm a little
2 concerned about waving a very large red flag
3 at our colleagues because, after all, there
4 might be as yet unsupported and undocumented
5 efficacy for some of these conditions, and we
6 don't want to forestall the potential
7 experience and potential benefit to children
8 on the basis of disturbing reports in a very
9 sick cohort of individuals with multi-system
10 disease.

11 So I would like to try to do
12 something to gather more data and more
13 information in a systematic way.

14 DR. MURPHY: Prospectively.

15 DR. NOTTERMAN: Prospectively would
16 be ideal, but in the absence of interest in a
17 prospective study by folks, at least a
18 systematic, population-based, retrospective
19 collection might be appropriate through one of
20 the networks.

21 CHAIRPERSON RAPPLEY: Dr. Hudak and
22 then Dr. Rosenthal and Dr. Rakowsky.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. HUDAK: Yes, I'm just trying to
2 think about what information is available out
3 there. The last I looked at the Vermont
4 Oxford and the NICHD databases, they do not
5 capture this level of detailed information.
6 So you would have to put that in as a new data
7 field, if you will.

8 So I don't know if retrospectively
9 we're going to be able to do anything with the
10 existing databases. The surgical database is
11 different.

12 DR. NOTTERMAN: I'm sorry. Just so
13 we don't lose track, there's also an
14 organization called the Virtual PICU, which
15 also I doubt very much if they capture this
16 data now, but might be induced to, and that's
17 out at UCLA, right? USC. Sorry. Out there.

18 CHAIRPERSON RAPPLEY: Dr. Rakowsky
19 and then D'Angio.

20 DR. RAKOWSKY: Maybe before we get
21 into a prospective study, just something as
22 simple as surveying PICU/NICU and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 chronologists, just something as simple as a
2 survey monkey just to see if you can actually
3 comment in terms of how you use it, when you
4 use it, and for how long and in what form, and
5 then based on that sort of deciding how do we
6 go forward with the study.

7 Without any data to kind of play
8 with in terms of how to formulate a study, I'm
9 not sure if it's fair to say let's start
10 gathering this information. There have been a
11 lot of attempts to kind of do things as simply
12 as a survey to a big groups and just figure
13 out in three or four questions can you tell
14 us, and we usually get about a 40 percent
15 response rate, which is a decent amount of
16 data.

17 CHAIRPERSON RAPPLEY: Dr. Mathis
18 did you want to answer that?

19 DR. MATHIS: Well, I don't think I
20 can answer that specifically, but you had
21 mentioned earlier today that National
22 Institutes for Child Health and Human

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Development and their work with Best
2 Pharmaceuticals for Children Act, and they
3 often have gone in and been able to do
4 respective reviews to try and establish a path
5 forward for some of these more difficult
6 situations, especially in newborns where you
7 do have very confounded situations.

8 So this may actually be a good
9 opportunity to engage them.

10 CHAIRPERSON RAPPLEY: Because we
11 could communicate to them that we think this
12 is an important issue to consider.

13 DR. MURPHY: It sounds like we're
14 going to have to have a meeting with them.
15 We've got quite a few recommendations.

16 CHAIRPERSON RAPPLEY: Dr. D'Angio.

17
18 DR. D'ANGIO: Just along the same
19 lines of brainstorming, beyond a survey it
20 might be reasonable. Vermont Oxford might be
21 the best network because it's a little bit
22 larger to see whether they would be willing to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 add a question for a year or more onto their
2 database about use of this, and that it might
3 be possible in that case to gather enough data
4 from enough people about what they're doing.
5 It would be a prospective observational study
6 rather than any sort of trial, but it might be
7 another way to get at the data.

8 CHAIRPERSON RAPPLEY: Short of
9 designing a study for you though, we could
10 make a recommendation that we think the
11 question needs to be explored further with
12 appropriate databases and appropriate
13 agencies.

14 DR. MURPHY: So the way this
15 conversation started was about information,
16 and it sounds like the Committee is saying
17 we're not quite sure what that information
18 would be, and we don't want to make a
19 recommendation about an education when we
20 don't really have enough data to help us.

21 So your recommendation at this time
22 is that we pursue trying to find additional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 avenues of information as to how the product
2 is being used, what kind of adverse events
3 people are seeing, and if they can give us any
4 better information than we get from our normal
5 adverse event reporting.

6 And then after that process and we
7 have that data, we could consider whether we
8 are any further along than we are right now.
9 Is that what I'm hearing?

10 CHAIRPERSON RAPPLEY: Do people
11 hear that, agree with that?

12 Okay. Dr. Hudak, then Dr. Rakowsky
13 and then Dr. Kocis.

14 DR. HUDAK: Okay. I'd just like
15 to, you know, emphasize the point that I think
16 that, again, the use is fairly significant. I
17 think, you know, the evidence for efficacy is
18 lacking, and whatever means that we could use
19 to work with the NIH to investigate this and
20 find out once and for all with a discrete
21 etiology whether it's effective would be very
22 helpful rather than seeing this sort of be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pulled out at the last resort in every baby.

2 CHAIRPERSON RAPPLEY: Dr. Rakowsky.

3 DR. RAKOWSKY: I just want to add
4 the caveat -- and I think Vermont Oxford does
5 great work -- but I don't want us to just kind
6 of box in on the neonatal groups because of
7 sounds and leak space from Dr. Gruber's data.

8 Seventy-five percent of adversity events were
9 outside of the neonatal age group, and from
10 what other people have been saying around the
11 table is it's being used in all ages.

12 So we should ask other people
13 besides just Vermont Oxford, but PICU,
14 cardiology, et cetera, to make sure we capture
15 as much as we can up front.

16 DR. D'ANGIO: When all you have is
17 a hammer everything looks like a nail.

18 CHAIRPERSON RAPPLEY: Dr. Kocis.

19 DR. KOCIS: Just comments for Dr.
20 Murphy's thing and sort of putting a couple of
21 things together.

22 So certainly gathering data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 together is always a good thing, trying to get
2 better data through NICU, PICU networks.
3 Obviously planning trials are all wonderful,
4 but time consuming.

5 I actually go back to Dr. Gruber's
6 presentation, which I thought was fabulous and
7 very well balanced, and more importantly, his
8 conclusions from my standpoint were the same
9 ones that he reached were the ones that I
10 reach.

11 I think I have the biggest problem
12 with this drug is simply the label, as you
13 have identified, the need for changing the
14 label, and I think if it were to reflect Dr.
15 Gruber's conclusions, which overlap with mine,
16 and it sounds like many of the people here,
17 that would go a long way in sort of just
18 making the pediatric world aware of the fact
19 that there are serious complications clearly
20 cannot be attributed to the drug, but they are
21 there with the disease in patients who are
22 having the drug.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And likewise, to remove sort of
2 that sense of, well, here's 49 studies that
3 are showing efficacy, and I would say none of
4 them showed efficacy. They're purely all case
5 reports, not that we can ignore that, but that
6 needs to grow into some randomized trial to
7 look at efficacy. In a way, we can assume
8 efficacy just because we're seeing these
9 reports, as numerous as they may be, and I
10 think the final thing is clearly where there
11 seems to be efficacy in congenital
12 chylothorax, and that seems to be one of the
13 first places to want to start and to get out
14 there and get safety and efficacy data all
15 together.

16 So my only point was I don't want
17 to see another period of waiting before and
18 acting on the things that we talked about.

19 Thank you.

20 DR. MURPHY: One part of that is in
21 a little bit of conflict with the other, what
22 they recommended, which is the part that's not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in conflict is that you're saying that you
2 agree with the fact that we need to revise the
3 label to clarify that there's no pediatric
4 indications and that we need to remove the
5 description of the 49 published cases.

6 And so I guess maybe, Marsha, it
7 might be helpful to just have us take that and
8 see how many members agree with that, and then
9 we can come back to the other -- is there any
10 other statement. Because Keith has proposed
11 another statement to go in the label, and how
12 much -- how unanimous the Committee is or is
13 not on that statement.

14 CHAIRPERSON RAPPLEY: Okay. I
15 heard five different issues raised: one, some
16 consensus about removing the case reports.

17 So do we have agreement that those
18 case reports -- so that's our recommendation.

19 DR. MURPHY: So we have consensus.

20 CHAIRPERSON RAPPLEY: We have
21 consensus.

22 DR. D'ANGIO: Could I add one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thing? And I know we're biasing things in
2 favor of -- in the direction of safety and
3 away from anything have to do with efficacy,
4 but some of the information that's in the
5 label right now with those case reports does
6 have to do with adverse events, and I'm not
7 sure we want to lose that part of it.

8 CHAIRPERSON RAPPLEY: Well, that
9 would be then there's a part about -- that's
10 another point that we will talk about adding
11 ten new adverse events.

12 DR. D'ANGIO: Well, there are
13 adverse events in those 49 cases. I don't
14 know how the agency would want to rework
15 things to take out the implication that this
16 is an efficacious drug while leaving the
17 similarly biased but perhaps important
18 information about what the side effects were.

19 CHAIRPERSON RAPPLEY: So I guess a
20 question is when we had the presentation about
21 ten serious adverse events, was there a review
22 of those case reports when that list was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 compiled?

2 DR. MURPHY: Well, you know, you
3 guys don't have to give a specific wording on
4 the adverse events. You can tell us we think
5 you need to put some additional information in
6 the pediatric labeling about adverse events
7 that are being reported.

8 You know, when you put in the
9 statement, or if you out in the statement
10 about this product is not indicated the
11 following. You know, it doesn't have to be
12 just that wording from those cases or the ten.

13 So you can be more general is that I'm trying
14 to say.

15 CHAIRPERSON RAPPLEY: Okay. Dr.
16 Hudak, did you want to say something about the
17 case reports, about removing the case reports?

18 No. So there is consensus about
19 that.

20 DR. MURPHY: Okay. So we've got
21 consensus to take out the 49 case reports.

22 CHAIRPERSON RAPPLEY: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Okay.

2 CHAIRPERSON RAPPLEY: Now, then the
3 next issue is addressing all serious adverse
4 events that have been discovered on your
5 review and including those in the label.

6 DR. MURPHY: What is the
7 Committee's recommendation for including the
8 adverse events that have been reported.

9 CHAIRPERSON RAPPLEY: I think the
10 Committee is recommending that we include
11 those adverse events that have been reported.

12 DR. NOTTERMAN: I was just going to
13 say that I think they should be included, but
14 I don't know if everyone necessarily needs to
15 be included. So I would just phrase it by
16 saying that we recommend that the division
17 review the adverse reports and include those
18 that seem appropriate and substantive.

19 DR. MURPHY: Okay, and I heard some
20 concern that we make it clear that we have a
21 determined causality. Is that something the
22 Committee is in agreement that that statement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be in there?

2 CHAIRPERSON RAPPLEY: Dr. Cnaan.

3 DR. CNAAN: I think at least for me
4 there's a little bit of confusion. Most of
5 the labels that we look at when we describe
6 adverse reactions, they're based on clinical
7 trials. We have these rates, those rates,
8 compared to placebo, whatever it is.

9 In this case what we're saying is,
10 okay, we don't have the clinical trials on
11 these. So we don't want to imply efficacy
12 that is not there, but we sort of don't want
13 to lose the safety information that we did
14 glean from the 49 plus ten, and that's I think
15 at the level we are. The rest is a little bit
16 up to the agency how in the world to
17 accomplish that.

18 DR. MURPHY: Yes, and there is a
19 post marketing section for adverse events,
20 post marketing, and we can make it clearer
21 that these are pediatric adverse events that
22 are being reported in that post marketing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I mean, it's up to the division,
2 but I'm just saying for your information,
3 you're right. Normally when we're describing
4 it, it's the trials, but there is in the label
5 a place to put the post marketing adverse
6 events, and it's a matter of clarifying that
7 these are pediatric even though there's no
8 indication, and I'm sure that you all can find
9 a way to write that, though it's not
10 indicated, the following adverse events have
11 been reported for children, though no
12 causality can be determined, something like
13 that.

14 Because we've seen that in some of
15 the other labels.

16 CHAIRPERSON RAPPLEY: Dr. Kocis.

17 DR. KOCIS: I just want to be
18 consistent with process and what we've been
19 doing with all of our drugs and certainly what
20 we've been advocating.

21 So first from the one clinical
22 trial that we -- I don't even think we read it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this time but last time we did, which was in
2 the obesity. So we do have adverse events.
3 They are just like we would from trials, and
4 we would include that just like any other drug
5 in any study.

6 DR. MURPHY: Yes, it's in there.

7 DR. KOCIS: And in particular, note
8 the gall bladder disease, which was greater in
9 the kids.

10 But then, two, in the same way we
11 look at data one year later from post
12 exclusivity. You run your safety thing which
13 gleans from errors and gleans case reports,
14 which presumably when you looked at that this
15 time or the time before you found those same
16 47 case reports unless they're brand new,
17 reviewed them in a way, removed duplicates and
18 all that sort of thing, and then presented to
19 us, you know, what you found as death,
20 serious, and other things.

21 And so those findings and the
22 process that we go through all the time I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would recommend that we follow, and the only
2 point to that is to not all of a sudden grab
3 every side effect you can read in any of these
4 case reports that are unprocessed and the like
5 and include that in the adverse events
6 section.

7 CHAIRPERSON RAPPLEY: And then the
8 fourth area was about education, a suggestion
9 that an educational campaign be undertaken and
10 the suggestion from some members of the
11 Committee is that it's premature to undertake
12 an educational campaign. Is there agreement
13 with that?

14 PARTICIPANTS: Yes.

15 CHAIRPERSON RAPPLEY: Okay. So we
16 think it's premature at this point in time to
17 undertake an educational campaign for health
18 professionals.

19 DR. MURPHY: I guess that was just
20 the question that Ann was bringing up, that
21 there were two parts to that recommendation.
22 One was education and one was communication to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 health care professionals. So we just need
2 your feedback separately on it.

3 CHAIRPERSON RAPPLEY: Dr. Kocis.

4 DR. KOCIS: I guess I wouldn't know
5 what it would fall under, which category, but
6 in what way would we notify health care
7 providers specific in pediatrics in the areas
8 subspecialists would likely use as that.
9 There is a new label. Once that process is
10 complete and the new label is derived to
11 communicate that, because I think that that's,
12 again, personally what I have the most trouble
13 with where we are right now in acquiring
14 knowledge and what's out there and available
15 to practitioners who are making decisions
16 about whether to use this and what to be
17 concerned about that.

18 So in some way -- and, again, I
19 don't know what the normal process is -- I
20 would not favor sort of just changing the
21 label and then waiting for people to try to
22 figure that out. In some way we can alert

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that there has been a new label change for
2 this drug, and it provides all of the things
3 we've talked about so that everyone is aware
4 of that and what we've talked about.

5 CHAIRPERSON RAPPLEY: So
6 communicating that there's a label change, but
7 not undertaking an educational campaign. Does
8 that answer your --

9 DR. MURPHY: Until we get further
10 information, yes.

11 CHAIRPERSON RAPPLEY: Then there
12 was one remaining issue, but --

13 DR. MURPHY: Yes. Let me just --
14 we've been struggling with how to communicate
15 the labeling changes. That's one of the
16 issues, is that -- and it's not just for this.

17 It's really a bigger issue. It's the issue
18 of how do we make pediatricians aware of the
19 constant flow of new changes that are
20 occurring to the labels.

21 We have them up on our Web, but
22 having been in practice, I can tell you you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't just go down and cruise your website on
2 the FDA to see what new pediatric label
3 changes have happened.

4 So, you know, how do we do that?
5 It doesn't rise to the level -- I'm not the
6 expert in the agency on this -- to putting out
7 a health care advisor. It's not that level,
8 and I think we could get with some of our
9 people internally in Communications and see if
10 they have any other ideas because the agency
11 is trying to be more communicative.

12 Susan, is she still here? Yes,
13 Susan, do you want to? Do you have any
14 thoughts on this you'd like to add?

15 And before she says that, I just
16 wanted to let everybody know that one of the
17 other things that we've done is we've worked
18 with the American Academy of Pediatrics now on
19 their newsletter. You may not read your
20 journals, you often read your newsletters. So
21 this is the newspaper that comes out from the
22 American Academy of Pediatrics every month.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It now has a set FDA update in it, a section,
2 200 words only.

3 So we can -- you know, we've been
4 putting information in the suicidality and the
5 anti-epileptics was in there. This upcoming
6 article will talk about the upcoming LABA
7 meeting so that pediatricians will be aware.
8 We're trying to alert them, even get ahead of
9 the curve if you will, to upcoming changes.

10 And we can consider that as one of
11 the things we can do, but again, it's the
12 editors of the AAP who end up making a final
13 decision on that. So just before Susan
14 contributes here, that is one way we can alert
15 pediatricians to different label changes, in
16 addition to doing a little summary that we do
17 also through the academy.

18 DR. FARRAR: And again as the
19 academy rep. or someone who represents, I
20 think that would be something that would
21 probably be something that could be worked out
22 if the FDA was interested in using that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: We do it every month.

2 DR. FARRAR: But I mean for this.

3 DR. MURPHY: For this, yes. We
4 will come to you if they reject us.

5 DR. ROSENTHAL: And, again, we're
6 talking about the publication as AAP News. Is
7 that what you're talking about?

8 DR. MURPHY: Yes.

9 DR. CUMMINS: I'm Susan Cummins.
10 I'm the senior science advisor to the
11 Pediatric and Maternal Health staff, and I'm a
12 pediatrician and an epidemiologist, just so
13 you know who I am.

14 The Med Watch Program has two
15 components. There's a component that manages
16 the AERS reporting of adverse events to the
17 agency. It also has a communication
18 component. It has a listserv with over
19 110,000 members on it, and you can sign up on
20 the listserv if you're interested in getting
21 MedWatch reports. It automatically
22 distributes new safety information to anyone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the listserv.

2 It also does a monthly safety
3 labeling update. So it compiles on a monthly
4 basis any new labeling changes to certain
5 sections of the label, contraindications,
6 warnings, precautions, adverse events
7 sections, and compiles those and distributes
8 it through the listserv.

9 So that's another way that any
10 safety labeling change that's made is
11 routinely distributed to people who sign up,
12 and it's posted and available on the FDA
13 website.

14 CHAIRPERSON RAPPLEY: Thank you.
15 Then that leaves us with one remaining aspect,
16 the question posed, and that is do we support
17 revising the label to state that there is no
18 pediatric indication.

19 So I'll read to you since we don't
20 have the slide available. The FDA is
21 considering this approach, revised label to
22 clarify there are no approved pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 indications.

2 And in doing those things that we
3 just described, as well as revising the label
4 to indicate no pediatric indications. The FDA
5 would continue standard ongoing safety
6 monitoring for octreotide.

7 Does the Committee support this
8 statement? Further discussion? Dr.
9 Notterman.

10 DR. NOTTERMAN: Well, I thought the
11 tenor of the discussion earlier, not with
12 respect to the label changes, was that we
13 don't support routine monitoring, but in fact
14 we think that the agency should be aggressive
15 in trying to accomplish or receive new data,
16 prospective as possible or at least systematic
17 and population based if retrospective.

18 So I don't think -- at least I
19 don't agree that it should be routine
20 monitoring from this point forward.

21 CHAIRPERSON RAPPLEY: I think I
22 heard Dr. Mathis say that there's no mechanism

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to access additional databases, that we would
2 have to make that recommendation to the Best
3 Pharmaceuticals for Children Act.

4 DR. MATHIS: We actually very
5 actively worked with NICHD on having drugs
6 prioritized on the priority drug list and also
7 worked with them on the best approaches to get
8 it studied, and they have a lot of resources
9 for doing things like retrospective reviews
10 or thorough literature reviews.

11 So they would probably be a really
12 good resource for us to be able to get both
13 retrospective and prospective data on this
14 particular product. So that wouldn't be part
15 of an FDA safety monitoring task. It would be
16 a project that we would work with NICHD/NIH
17 on.

18 CHAIRPERSON RAPPLEY: So should we
19 give you a formal recommendation that we think
20 you should do that?

21 DR. MURPHY: If that's what you
22 want us to do, which we thought is what you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were telling us to do.

2 CHAIRPERSON RAPPLEY: Yes, okay.

3 DR. MURPHY: I mean, you hadn't
4 gone back to catch it, but it looks like
5 you're there now, that you want to make that
6 formal recommendation, and I guess what Dr.
7 Notterman is saying is that -- I'm trying to
8 figure out -- what he's saying is that instead
9 of never coming back to us again, having FDA
10 not come back to you again, that part of that
11 is that it's really not the routine answer
12 because you want us to come back if and when
13 we get this or at least give you an update as
14 to where we are with that process of trying to
15 get this additional information.

16 CHAIRPERSON RAPPLEY: So we would
17 request a follow-up for octreotide.

18 DR. NOTTERMAN: Follow-up based on
19 your consultation with whatever appropriate
20 colleagues you think you need to talk to at
21 NICHD or elsewhere.

22 DR. MURPHY: Okay. But we never

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 finished the discussion about the no pediatric
2 indication.

3 CHAIRPERSON RAPPLEY: Well, I
4 thought that we did include that.

5 DR. MURPHY: Okay. I just
6 bifurcated that discussion.

7 CHAIRPERSON RAPPLEY: I thought
8 that the Committee -- well, we didn't take a
9 vote. So Dr. Rosenthal.

10 DR. ROSENTHAL: So regarding this
11 issue of putting in the label that there are
12 no approved pediatric indications, can
13 somebody help me understand why we're asking
14 that question with this drug and we weren't
15 really willing to ask that question with
16 Ambien this morning? Isn't it a similar
17 circumstance where we don't have efficacy data
18 and we do have some risk data?

19 The statement that we weren't
20 willing to actually make a statement to this
21 effect for that drug, but for this one we are,
22 or someone help me understand what I'm missing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in my postprandial state.

2 DR. MURPHY: I'm also going to try
3 to remember the conversation, but I think the
4 issue with Ambien was that even though --

5 DR. ROSENTHAL: Yes, but there was
6 a controlled trial that showed lack of
7 efficacy in a specific population and showed
8 what seemed like a real increase in the risk
9 associated with those who received the active
10 agent.

11 DR. MURPHY: The discussion there
12 was can we go in and then put in a specific
13 statement that in that population you
14 shouldn't be using it. I thought that's what
15 the discussion was this morning, and that was
16 the difference.

17 CHAIRPERSON RAPPLEY: Dr. Pena has
18 reminded me that we probably shouldn't revisit
19 our discussion because we don't have the staff
20 here that provided that information for us.

21 DR. MURPHY: But I do think there
22 is a difference in what the circumstances were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is all I'm trying to say. But you're asking
2 what can we do for this.

3 The issue here is does the
4 Committee think that the present label for
5 this product states clearly enough or is
6 sufficient to make the practitioner understand
7 that this product is not indicated for
8 pediatric use for any indication.

9 DR. HUDAK: I think the answer to
10 that question is no, and I think that's why I
11 think it needs to say explicitly there is no
12 approved indication of pediatrics for this
13 product.

14 I think with Ambien -- that doesn't
15 exclude a practitioner from using it by any
16 means. With Ambien I think it was an issue of
17 if you put in the label don't use it in
18 children with ADHD, that would be language
19 that would be interpreted by people not to use
20 it in children. We didn't want to close that
21 off for non-ADHD children.

22 CHAIRPERSON RAPPLEY: Okay. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think the question --

2 DR. MURPHY: -- specific indication
3 is one thing.

4 CHAIRPERSON RAPPLEY: But I think
5 also we need to make a decision on this
6 product based on the information given to us
7 about this product, and if in fact we wish we
8 had done something differently, we can talk
9 about that -- with another medication -- we
10 can do that at a different time or an
11 appropriate time.

12 Dr. Mathis.

13 DR. MATHIS: I'm sorry. Can I just
14 please make one final point for the Committee
15 to consider when they're making this
16 consideration? And that is that the
17 indications that are in the octreotide
18 labeling currently do not have age
19 restrictions. So if you go back in and say
20 this has no pediatric indication, you are
21 changing the indication. You're restricting
22 the indication if you go in there and say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that.

2 Because right now there are no age
3 brackets within the stated indications. I
4 think that's what Dr. Rosenthal was getting
5 at.

6 So in some ways if you do go in and
7 say there aren't any pediatric indications,
8 you are changing. I mean, I think that there
9 are ways to work around that, but I am just
10 saying that if you go in and say there are no
11 pediatric indications you are removing a
12 pediatric indication for three indications
13 that are currently in the label depending on
14 how you look at it.

15 I'll stop there before the lawyers
16 get me.

17 DR. MURPHY: That would be
18 difficult. I mean, right now we had this
19 discussion about where we don't have age
20 brackets, but other places in here it says
21 adults under those indications. It does say
22 adults, that it has been studied in adults.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I think Lisa is trying to point
2 out one possible -- how shall we say? I don't
3 want to say hole that the people might have --
4 but clearly, in FDA-speak, this product is not
5 indicated for children right now. That has no
6 indication for it.

7 DR. GOLDSTEIN: Is it not indicated
8 or is it that efficacy and safety has not been
9 established in the pediatric population?

10 DR. MURPHY: It has no indications
11 for pediatrics.

12 DR. GOLDSTEIN: But what if you
13 have a VIP element and you're 17 years old?
14 Wouldn't you want to be able to treat your
15 patient with that? I would.

16 DR. MURPHY: Yes.

17 DR. NOTTERMAN: With this I mean.

18 DR. MURPHY: Yes, yes, and you
19 could. You could.

20 DR. NOTTERMAN: Well, I would
21 prefer to see the expression safety and
22 efficacy in the pediatric age group have not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been demonstrated, which is a more passive
2 statement than to face the statement that
3 there is no indication because I don't see a
4 relative difference between treating VIP in a
5 17 year old and treating it in a 19 year old.

6 DR. MURPHY: And that's, you know,
7 what's up for discussion. That's what we want
8 to hear about. That's why I've brought us
9 back to that.

10 DR. NOTTERMAN: Right. No, I
11 understand.

12 DR. MURPHY: But that is the
13 recommendation or one of the recommendations
14 that we have. So if you agree with it or you
15 don't agree with it, we need to hear that.

16 CHAIRPERSON RAPPLEY: Dr. D'Angio.

17 DR. D'ANGIO: And if there ever
18 were a situation in which there is no evidence
19 in pediatrics, there's no evidence in
20 pediatrics and that's probably what we should
21 say. I mean, we've had two hours of no
22 evidence. So that's probably a very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reasonable thing to say, is that whatever the
2 boilerplate was that we were bashing on the
3 last one that we were talking about sounds
4 perfect for this one.

5 CHAIRPERSON RAPPLEY: Dr. Cnaan.

6 DR. CNAAN: I want to go back to
7 something that Dr. Mathis said, that once you
8 begin saying no, you're putting the
9 pediatrician or neonatologist or whoever it is
10 in a somewhat more difficult situation.

11 Right now I think we all agree that
12 we don't know. That's why we're not willing
13 to go on an education campaign, et cetera, et
14 cetera. If so, then adding more language
15 might actually be less, and I think that Dr.
16 Rosenthal is right. When we looked at Ambien,
17 we had a conclusion about ADHD, and we
18 refrained from adding any other language
19 having to do with anything else because we
20 don't know.

21 And I think we're in the same
22 situation here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON RAPPLEY: You would
2 agree with using the more passive statement,
3 the traditional statement.

4 DR. CNAAN: Either the more passive
5 statement or let it be all together and not
6 tie the hands of neonatologists trying to do
7 last ditch efforts, trying to do something.

8 DR. GOLDSTEIN: I would just point
9 out that we actually have lots of evidence,
10 but we have evidence for use, not for
11 efficacy. We also have some safety evidence
12 as well.

13 The issue with Ambien is that we
14 had a lot more of use, but still no efficacy.

15 So I think it's a similar situation, and
16 Dan's statement that, you know, efficacy and
17 safety haven't been established I would concur
18 with.

19 DR. D'ANGIO: And I'll agree.

20 DR. GOLDSTEIN: Because the use is
21 not going to go away.

22 DR. D'ANGIO: And I'll agree. What

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we don't have is the evidence to make any
2 stronger statement than safety and efficacy
3 not determined.

4 CHAIRPERSON RAPPLEY: Okay. Then I
5 am going to revise our recommendation to the
6 Committee. We did not vote on that one
7 previously. We engaged in this discussion,
8 but based on the discussion, then we would
9 recommend to the Committee that they consider
10 using the traditional statement about safety
11 and efficacy in children have not been
12 demonstrated for this product, and that they
13 continue the ongoing monitoring of safety, but
14 give us a follow-up.

15 Those in support of that statement?

16 And those opposed.

17 So there is consensus on that
18 statement.

19 DR. MURPHY: Thank you.

20 CHAIRPERSON RAPPLEY: Thank you.

21 So that concludes then our
22 discussion of our products, and we move now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 into a presentation for our ethics discussion.

2 Dr. Skip Nelson is a pediatric ethicist in
3 the Office of Pediatric Therapeutics.

4 DR. NELSON: So I'm going to walk
5 you through 62 slides in less than 45 minutes.

6 So hang on since I know I'm the only one
7 between you and your ride home.

8 What I'd like to do is give you a
9 report on a meeting we had in June and remind
10 you that the charter of the Pediatric Advisory
11 Committee includes a number of aspects around
12 ethics.

13 First of all, there is an Ethics
14 Subcommittee which is chartered to do reviews
15 under referrals of 21 CFR 50.54, and there
16 will be a review on December 9th that FR
17 notice published last Friday. Four of you are
18 going to be involved in that review, and then
19 all of you or not all of you, but those you
20 can make it on Tuesday afternoon, December
21 9th, will then opine on that, and that will be
22 a recommendation, not a protocol, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 involves the use of GCSF for stimulation of
2 stem cell transplantation given to healthy
3 sibling donors and how that should be
4 approached.

5 In June --

6 CHAIRPERSON RAPPLEY: Excuse me.
7 Can I just interrupt you for a minute, Skip?

8 DR. NELSON: Sure.

9 CHAIRPERSON RAPPLEY: So the charge
10 to the Committee today in receiving your
11 information is at the end we concur with --

12 DR. NELSON: You listen.

13 CHAIRPERSON RAPPLEY: We listen and
14 we either concur or we don't concur to that --

15 DR. NELSON: Actually there's no
16 votes. There was no votes at the meeting. So
17 it's more informational.

18 CHAIRPERSON RAPPLEY: Okay. Thank
19 you.

20 DR. NELSON: There is no question
21 at the end, no quiz.

22 So what I'd like to do is go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through the agenda and basically at the
2 meeting in June we discussed the prospect of
3 direct benefit, which is a particular category
4 under Subpart D, and we did this using
5 specific cases. And I'm going to run through
6 both edited versions of the slides, as well as
7 some of the cases, to give you a feel for the
8 questions that were discussed, and you'll see
9 these cases as we run through.

10 The structure of each discussion in
11 using the hypothetical cases was to present
12 some background concepts, present the
13 hypothetical case, and then some discussion
14 questions, and again, the slides I'm
15 presenting are edited for this presentation.
16 Everything that I'm presenting to you is up on
17 the website for the June 9th and 10th meeting
18 of the Ethics Subcommittee. Of course, these
19 slides are up for today's meeting.

20 So first background presentation,
21 and you'll see this concept. When I think
22 about the special protections, I start with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scientific necessity. I then move to
2 appropriate balance of risk and benefit, and
3 those are the two categories that I think are
4 most important, and then we talk about
5 parental permission and child assent.

6 Scientific necessity as I've stated
7 it should be the children should not be
8 enrolled in a clinical investigation unless
9 it's absolutely necessary to answer an
10 important scientific question about the health
11 and welfare of children.

12 Now, study design, sample size and
13 the like. The interesting thing is
14 extrapolation is a practical application of
15 that and I'll get into that in a moment.

16 Now, this notion of scientific
17 necessity is actually tied to equitable
18 selection. If you look back at the discussion
19 by the National Commission in the 1970s in
20 establishing the general IRB criteria, instead
21 of talking about gender equity and ethnicity
22 as equitable selection, in pediatrics they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were talking about enrolling subjects who were
2 capable of informed consent first, before
3 children, and not enrolling children unless it
4 was essential.

5 So that's what equitable selection
6 means under the general IRB criteria when
7 applied to pediatrics, and that's not the way
8 we often think about it.

9 So extrapolation, you've heard
10 about this. This is the formal definition in
11 the legislation. This is taken from the
12 Pediatric Research Equity Act in FDAAA where
13 the course of the disease and the effects of
14 the drug are sufficiently similar to be able
15 to extrapolate.

16 You've also seen this. Lisa put
17 this up for you yesterday, this flow chart, if
18 you will, about how extrapolation can be
19 approached.

20 I think the point I want to make
21 here is extrapolation in my mind is an
22 important ethical principle which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scientifically complex in its application. So
2 it's not to say that you should extrapolate as
3 a presumption, but it's an important principle
4 to then focus on and ask can you do it in this
5 circumstance. What's the data in support of
6 it? What's the evidence in support of it, et
7 cetera? Not that extrapolation is something
8 that one should do.

9 Now, when you look at appropriate
10 balance of risk and benefit, very briefly, in
11 the adult regulations, which is that first
12 bullet point, you can balance the risks
13 against anticipated benefits to the subjects,
14 if any, and the knowledge. So for those
15 philosophers in the audience, if you took the,
16 if any, and the, and, the bottom line is you
17 can expose adults to significant risk in the
18 pursuit of knowledge. You can't do that with
19 kids.

20 With kids, if there's no prospect
21 of direct benefit, the risk is restricted, and
22 if there is prospect of direct benefit, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 justification of those risks is restricted,
2 and that category 50.52 is the one that we
3 talked about specifically at this meeting, and
4 here is the actual language. I won't read it,
5 but only point out the A, B, and C at the
6 bottom where it talks about the risk being
7 justified by the anticipated benefit, which is
8 one component of that balancing, and then the
9 relationship of this benefit and risk has to
10 be comparable to available alternatives.

11 So it gets into a discussion of
12 whether it's to be in the trial versus out of
13 the trial. The National Commission's language
14 for this was that no child should be
15 disadvantaged to be enrolled in a clinical
16 trial, and that was the particular category
17 that we focused on during the meeting.

18 Now, as I said, we did three
19 hypothetical cases. The first case which
20 generated some attention was a hypothetical
21 case of enrolling adolescents in an HIV
22 vaccine clinical study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I might add I wrote this case
2 before the Merck STEP trial came out. It was
3 discussed afterwards, which is why it
4 generated some interest, and I might say that
5 all of these were, in fact, hypothetical
6 cases, and I'm not going to go through all of
7 the details. I kept the slides on the cases
8 so that you had the sense of the depth, if you
9 will, of the case description. My own view is
10 that you can't do ethics without cases, and to
11 have rather thin description of cases you end
12 up just making up the facts, and then everyone
13 is talking about different facts instead of
14 about the different ethics about the same
15 facts.

16 So the purpose of the cases was to
17 try and develop some rich descriptions that
18 could stimulate discussion. So this first one
19 was basically a proof of concept trial for
20 adults, which involved a specific approach to
21 HIV vaccines, which was not the one included
22 in the Merck trial. It had sufficient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 preclinical testing to make it a suitable
2 candidate and in non-clinical animal models
3 suggested efficacy.

4 Some early phased trials suggested
5 T-cell response. One of the issues within HIV
6 vaccines is, in fact, there's no immunological
7 correlates of protection, which is obvious
8 since there has been no evidence of protection
9 to date, but doing this kind of work is
10 difficult; that the side effects were not
11 severe, and that, in fact, it was a fairly
12 standard approach as far as the early phase,
13 adult human experience.

14 And the endpoints was reduction of
15 HIV infection and reduced viral load. And
16 then standard conduct in terms of access to
17 antiretrovirals and the like, and in the
18 interest of time I'm not going to read that
19 slide.

20 Now, the question is fairly
21 complex, and the purpose here, as you can see,
22 was to get people to think about what it means

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to decide to enroll an adolescent in an adult
2 trial or at what point in the adult trial. So
3 the question was when would you decide to
4 enroll adolescents in the above Phase 2
5 clinical investigation.

6 We also asked as part of the
7 discussion addressing the threshold of
8 evidence necessary to establish that the study
9 intervention offers a sufficient prospect of
10 direct benefit to justify the risks of vaccine
11 administration. In other words, that's the
12 requirement under 50.52.

13 So, for example, would you require
14 interim or final results from either a Phase 2
15 or 3 study? How does the lack of an
16 immunological surrogate impact on that
17 judgment? If you had a surrogate, you could
18 perhaps use that to try and establish direct
19 benefit.

20 Issues that the subcommittee was
21 asked to consider included the distinction
22 between evidence sufficient to establish the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prospect of direct benefit versus evidence
2 sufficient to establish efficacy. The point
3 there is fairly simple. If you require
4 efficacy evidence in order to do research
5 establishing a prospect of direct benefit,
6 that's a circular problem. You can never then
7 do the study because you need the study
8 results before you can actually start it. So
9 obviously prospect of direct benefit is
10 different than efficacy.

11 The choice of adolescent
12 populations of those that are at risk, and
13 then the use of immunogenicity or safety data
14 to bridge from adult to adolescent
15 populations. So this was the range of issues
16 that the Committee was asked to discuss and to
17 give you a sense of the Committee's
18 discussion, these are taken from the Flash
19 minutes. So this is already up on the Web
20 around this Committee meeting and doesn't
21 reflect, if you will, my interpretation of
22 what was said.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But they basically identified a
2 number of factors. First of all, the
3 importance of scientific necessity; then
4 talked about age; behavioral considerations;
5 physiologic differences; at risk, clinical
6 target populations, relative efficacy. And so
7 basically you can see it was a fairly rich
8 discussion of how one would go about
9 targeting, if you will, a particular
10 adolescent population for this particular
11 research.

12 The Committee also talked about
13 scientific necessity and extrapolation, the
14 prospect of direct benefit and the like, and
15 what it would mean to qualify direct benefit,
16 and recognize the importance of studies in
17 children when scientifically appropriate.

18 This isn't by way of conclusion.
19 The purpose if I might add to this meeting was
20 to have a sort of diverse discussion with the
21 goal of trying to inform what I would hope to
22 be the writing of guidance on the application

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of Subpart D, which at this point there's no
2 pen to paper as of yet, since I haven't gotten
3 to it.

4 So the second case we approached
5 was choice of control group, one of my
6 favorite topics. I happen to think ICH-E10
7 choice of control group is one of the more
8 important ethical documents as a guidance
9 document from the International Conference on
10 Harmonization.

11 Now, of course, the selection of an
12 appropriate control group is critical to the
13 design of a trial. It allows you to
14 discriminate patient outcomes caused by test
15 treatments and by other factors. It's
16 essential in the inference of causality in a
17 clinical trial. E10 talks about different
18 types of control groups where you can have
19 concurrent controls or non-concurrent or
20 external controls, and the one we focused on
21 was a placebo control, but this lists the
22 other types of controls that are feasible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 within that concurrent control approach.

2 Now, ICH-E10 goes on to say that as
3 a general rule research subjects in the
4 control group should receive an established
5 effective intervention, but there may be
6 circumstances where a placebo or no treatment
7 control would be, in fact, appropriate.

8 The criteria by which that document
9 suggests that there is no established
10 effective intervention -- that's fairly
11 straightforward -- when withholding it would
12 result in at most temporary discomfort or
13 delay in relief of symptoms, allergic
14 rhinitis, for example, might be an example of
15 that, or when use of an established effective
16 intervention as a comparator would not yield
17 scientifically reliable results.

18 You know, this, for example, trying
19 to do a non-inferiority margin trial where you
20 had no previously established data to say what
21 that non-inferiority margin ought to be, or
22 you're not able to establish that under a new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trial condition the drug you want to use as a
2 comparator may, in fact, work under those new
3 trial conditions, and the use of placebo would
4 not add any risk of serious or irreversible
5 harm.

6 Now, that points out that you could
7 have a circumstance where withholding standard
8 treatment would result in serious or
9 irreversible harm even when you can't do an
10 active controlled trial. And ICH-E10 even
11 points out that under those circumstances that
12 may be a trial you cannot, in fact, perform.

13 The other aspect of this is
14 component analysis. So what this says is you
15 need to tease apart a protocol into those
16 aspects that offer direct benefit and those
17 that don't and evaluate the risks of each
18 component separately. So that's component
19 analysis.

20 And the question on the table, for
21 example, is does the placebo offer a prospect
22 of direct benefit, yes or no? And then how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would you evaluate the risks of being in a
2 placebo group in the particular trial that we
3 looked at.

4 I'm just going to skip over that
5 one.

6 So the example that we used was a
7 hypothetical case description of a study of
8 inhaled corticosteroids in children with mild
9 persistent asthma. Now, although this was a
10 hypothetical case, it's actually designed
11 following the guidance document on the
12 evaluation of growth effects of inhaled
13 corticosteroids.

14 And this was the presumption, is
15 that a new inhaled corticosteroid presumably
16 would have decreased steroid induced effect on
17 bone growth, which could really only be
18 established if you had an appropriate placebo
19 control to be able to show that you didn't
20 have that effect, which is one of the reasons
21 why the choice was to look at mild persistent
22 asthma where the potential withholding of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 inhaled corticosteroids would not necessarily
2 impact severely on that child's health care.

3 So this was the proposed clinical
4 trial design which was a fairly standard one-
5 year randomized, double blind, double dummy,
6 parallel group, placebo controlled study in
7 kids between five and eight years of age,
8 which is the time of maximum growth.

9 And here because of the issue of
10 assay sensitivity not only was there a placebo
11 arm, but also an approved inhaled
12 corticosteroid with known effects on linear
13 growth as a positive control. Because if you
14 knew that that didn't have any effects on
15 growth within that trial, then you would not
16 assume a negative result for the other
17 investigational agent that was, in fact,
18 interpretable.

19 Notice how much trial design is
20 involved in ethics.

21 Randomization, of course, is fairly
22 standard, to one of four groups, and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subject selection criteria, FEV of less than
2 80 percent, but selected to have mild
3 persistent asthma, the point there being a
4 population to where the risk if you will of
5 withholding inhaled corticosteroids was a
6 debatable point, but certainly didn't rise to
7 the level of a serious concern about harm.

8 Concurrent medications were
9 permitted. Leukotriene inhibitors, for
10 example, although this was prior to some of
11 the suicidality signals and Monte Lucast, so
12 that might be taken into effect. One could
13 decide, for example, to use chromolyn if you
14 wanted to avoid that particular side effect.

15 And then there would be rescue
16 therapy with beta agonists as needed
17 throughout the study, but certainly not a long
18 acting beta agonist pending your discussion in
19 December.

20 Primary endpoints, obviously linear
21 growth philosophy, and then an efficacy
22 endpoint as well. There was some discussion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the treatment of acute asthma
2 exacerbations. That would be treated
3 according to standard therapy, and then there
4 was a withdrawal criteria which in this case
5 was considered, I think, four rescue
6 treatments with oral corticosteroids, and then
7 they would be converted to open label rescue
8 therapy.

9 So let me give you a flavor of the
10 questions. Question 1, please discuss the
11 assessment of the potential benefits of this
12 clinical investigation for the enrolled
13 children.

14 So part of the agenda here is what
15 does it mean to say someone has a direct
16 benefit. Can you say that it's a direct
17 benefit to just be in a clinical study? Can
18 you say the placebo group directly benefits or
19 not, and how does that affect on your
20 analysis, if you will, of the acceptability of
21 the trial.

22 So in other words, do the potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 benefits apply equal to the intervention and
2 control groups? Can you say placebo benefits
3 people?

4 The distinction between benefits
5 that may occur as a direct result of the
6 experimental intervention versus those that
7 may occur from inclusion in the clinical
8 trial. There was much discussion that kids
9 are better off in a clinical trial, and should
10 that be considered a direct benefit was one
11 point of discussion.

12 And then whether any additional
13 monitoring procedures required by the
14 administration of the experimental product
15 would be considered a direct benefit or
16 evaluated as a risk, since if you don't get
17 the experimental mentioned and don't need that
18 monitoring, is that best considered a risk or
19 a benefit under that category?

20 Question 2 then, after thinking
21 about benefit looked at issues of risk.
22 What's the risk of withholding the known

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effect of inhaled corticosteroid from the two
2 experimental arms and the negative placebo
3 controlled arm? What's the impact of the
4 selection of subject population on those
5 risks, in other words, mild or persistent,
6 moderate asthma, how would that impact on your
7 assessment of risks?

8 And then the role of other study
9 modifications that were in there, such as the
10 use of rescue medications, control of
11 medications and the like.

12 And then Question 3, once you have
13 the benefit and risk side is to put it all
14 together and take a look at how one would
15 evaluate this clinical trial. One of the
16 issues in evaluating benefit of the trial, do
17 you unpack it into the individual arms within
18 the trial and look at risk-benefit within
19 those arms or do you just sort of consider
20 what happens to a child before randomization
21 into those arms and then issues, again, to
22 consider would be the direct benefit for each

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 arm and the efficacy as the primary object.

2 So you can see this was a fairly
3 complex analysis, and I might say I don't
4 think many IRBs go through this complex level
5 of analysis, but frankly, editorial comment,
6 they should.

7 So the Subcommittee discussion
8 talked about the prospect of direct benefit.
9 Again, this tells you some of the issues that
10 they covered. The discussion was a fairly
11 rich, not necessarily consensus of the
12 different issues.

13 Commented about the benefit to the
14 child and the risks of the intervention.
15 Basically thought each treatment arm was
16 important. By and large the Subcommittee felt
17 you needed to evaluate it according to the
18 treatment arm and not by the whole study.

19 Discussed the various aspects of
20 trial design. Discussed some about
21 compensation and how that one evaluates
22 benefit. Talked about a notion of equipoise,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 standard of care, pre and post randomization
2 analysis.

3 I think having read through this, a
4 fairly rich discussion that I think is quite
5 informative for moving forward and advising
6 people how to evaluate these sorts of trials.

7 So once we talked about that, on
8 the third day we tackled another issue which
9 is of interest to me, which is in a situation
10 where you don't have adult trials or any adult
11 data, how can you establish the prospect of
12 direct benefit from animal studies.

13 Now, here's the fairly standard
14 model, if you will, the pediatric drug
15 development. You had some preclinical animal
16 models. You go into a healthy human adult to
17 do some Phase 1 dosing. You then get adults
18 with the disease, do some safety and efficacy,
19 and then once you've moved it far enough along
20 you find children with the disease, and then
21 you move forward.

22 Part of the difficulty is sometimes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you've got a product that you shouldn't give
2 to a healthy human adult, occasionally, and
3 sometimes there is, in fact, no adults with
4 the disease to be able to go from health human
5 adults to children with the disease. And
6 then, in fact, sometimes going from non-
7 clinical, in fact, to children with the
8 disease is the only option.

9 And the point here about healthy
10 children is no. Healthy children are not to
11 be enrolled in any FDA regulated clinical
12 trial. That would be a longer discussion, but
13 I'll just put that out there.

14 So the question is how do you do
15 first in children. In other words, if the
16 risk of this intervention has to be justified
17 by anticipated benefit how do you establish a
18 sufficient prospect of direct benefit in a
19 situation when you've got a fairly risky
20 intervention, when the only option you've got
21 is to do some preclinical animal testing to
22 some extent?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So here's some thought about
2 prospect of direct benefit. First of all,
3 what does it mean to say it's direct? Well,
4 it means it's mine and not yours, first of
5 all. Benefit is my benefit, not your benefit.

6 The notion there is that it results
7 from the research intervention, not from
8 something else that might happen. In other
9 words, if you say giving me this drug is a
10 direct benefit, it's the giving me of the drug
11 that's the direct benefit, not from other
12 interventions, including the protocol.

13 This is referred to often as the
14 fallacy of the package deal. If you throw in
15 enough health care into a research protocol,
16 you can make it a good thing to be in it, but
17 that's not meant to offset the risks of the
18 experimental intervention, and the word
19 benefit is often preceded by clinical to
20 indicate that direct benefit relates to health
21 status.

22 Now, the other thing is it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 related on the structure of the intervention.
2 Dose, duration, method of administration, and
3 not on the investigators' intent. Those
4 intensivists in the crowd might recognize the
5 doctrine of double effect here. If I give 20
6 milligrams per kilogram to a narcotic naive
7 subject and claim my intent was to relieve
8 their pain, I hope you would tell me that
9 that's not my intent. Clearly what I chose
10 to do did not reflect my intent.

11 So intention is related to the
12 action, not related to one's psychological
13 state of mind. Unfortunately, post Descartes
14 we think intent is just in the mind. Intent
15 is not in the mind. It's a function of the
16 action itself. But we unfortunately have the
17 modern mind-body dualism that we have to
18 contend with.

19 There needs to be some empirical
20 evidence. Now, what level of evidence is an
21 open discussion, but the justification of risk
22 by possibility of direct benefit can be fairly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 complex, similar to a clinical judgment about
2 the appropriateness of that risk exposure
3 within a clinical setting. The importance of
4 direct benefit to the subject, the possibility
5 of avoiding greater harm from the disease, the
6 risks of the experimental intervention, as I
7 said, can justify expected from that same
8 intervention, and the justification of that is
9 set in the context of disease severity.

10 Degree of disability, life
11 threatening, availability of alternative
12 treatments? So once you get past does this
13 provide a prospect of direct benefit, then you
14 have a whole set of justifications around the
15 nature of that benefit.

16 And in thinking about this, one
17 proposal that I and Sara Goldkind, who is also
18 within FDA working as an ethicist within the
19 Good Clinical Practice Program, came up with
20 what we call a sort of sliding threshold, that
21 in fact the animal data necessary to establish
22 a sufficient justification for the prospect of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 direct benefit varies with the severity of the
2 disease and the adequacy of alternative
3 treatments.

4 Structure would be generally
5 insufficient, but you could have functional
6 changes based on a mechanism of action,
7 molecular targets, biomarkers, physiologic
8 pathways or taking a human target, throwing it
9 at a mouse and proving you can hit it in terms
10 of transgenic technology.

11 You could get if you have the
12 appropriate animal model a clinical disease
13 model using either a surrogate endpoint or a
14 clinical endpoint. No one raised the question
15 about the approval on the PK data of Levaquin
16 for inhalational anthrax. Well, behind that
17 is the fact that in fact there is no human
18 data for the approval of any of the
19 fluoroquinolones for inhalational anthrax.
20 That was based on the animal role for
21 ciprofloxacin, if I get that correct, Dianne.

22 So here you had an efficacy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approval now with dosing for children based on
2 an animal rule with no human data because
3 obviously it's unethical to do inhalational
4 anthrax studies. So that point kind of flew
5 by, but I didn't bring it up at the time.

6 Dosing, of course, is an issue
7 because if you simply pick a low dose, it may
8 not be the most effective dose. So looking at
9 toxicity within animal studies and starting
10 low, you also have to pick a dose that has the
11 potential for offering some benefit, and not
12 necessarily just move into a clinical study
13 with the lowest dose.

14 So the case that I chose for this
15 was a clinical trial of human neurostem cells
16 for neonatal hypoxic-ischemic injury, again, a
17 hypothetical case of which there's some
18 literature and some development in the area.
19 Neonatologists are obviously familiar with
20 hypoxic-ischemic injury. The neurological
21 deficits it results in learning disabilities,
22 cerebral palsy, or mental retardation, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thought is that injury to the oligodendrocyte
2 precursor cells contribute to this
3 pathogenesis by disrupting the maturation of
4 myelin forming oligodendrocytes, and the hope
5 is if you could replace this maybe you would
6 be able to have some impact.

7 There's some preclinical experience
8 in different neonatal mouse models that these
9 could, in fact, work. You could get them
10 where you need them to go, and they can do the
11 things that you want them to do, and the study
12 hypothesis was that you could insert human
13 neurostem cells, may reduce or reverse the
14 neurological deficit secondary to neonatal
15 brain injury.

16 There are a number of potential
17 animal models which I won't go through which
18 are perinatal rodent models, pre-term fetal
19 sheep, non-human primate models, and basically
20 the question was how does one go from these
21 models to a first in child-human trial.

22 So these were the questions that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Subcommittee was asked to discuss. Please
2 discuss the ethical issues in selecting an
3 appropriate subject population for the initial
4 clinical development plan of these products.
5 Issues you may want to consider include
6 differences in the natural history of the
7 disease between adults and pediatric subjects
8 which may influence the timing of the cell
9 insertion there. The question would be, in
10 fact, is there an adult equivalent or not, and
11 if not, how does one go about that.

12 Whether dosing safety and/or
13 efficacy should first be established in
14 suitable adult subjects prior to enrolling
15 children; differences between pediatric and
16 adult subjects with hypoxic-ischemic brain
17 injury, meaning the possibility of direct
18 benefit; the usefulness of the safety
19 information; the assessment of physiologic
20 response and long-term effects.

21 In other words, explore is there
22 any possibility of doing adult studies to get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this into kids. This was a fairly wide
2 ranging hypothetical discussion of that issue.

3 And then, of course, once you've
4 approached that issue, then what about the
5 ethical issues in designing a first in
6 children clinical trial? How would you
7 establish a sufficient prospect of direct
8 benefit? What are the range of animal models
9 available? And then the different types of
10 physiologic changes in response to the
11 experimental product; what kind of evidence
12 would you demand to say that there's a
13 prospect of direct benefit?

14 Getting there and just showing that
15 they myelinate? Getting there that they
16 myelinate and show some change in function, or
17 would you expect some clinical change that the
18 mouse model can get up and walk in some way?

19 And then how would you frame this
20 in terms of the severity of the disease and
21 the availability of other discussions?

22 And, again, the Committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion was fairly wide ranging. These,
2 again, are from the Flash minutes. One of the
3 discussions was the purpose of the study and
4 the target outcomes, in other words, the
5 ability to measure physiologic and clinical
6 outcomes as important ethical considerations
7 when designing a study and determining the
8 appropriate subject population.

9 Again, the definition and
10 assessment of direct benefit was discussed,
11 the use of surrogate markers, the pros and
12 cons of younger versus older subjects, the
13 various regulatory approaches for the
14 appropriate review of a pediatric clinical
15 investigation, the use of compassionate use
16 and innovative therapy models, limits of
17 animal studies, and use of adult models as
18 proof of concept prior to pediatric studies.

19 So all of that was part of the
20 discussion. So in summary, in June we had a
21 fairly rich discussion of the application of
22 this category, greater than minimal risk but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presenting the prospect of direct benefit to
2 FDA regulated research using three
3 hypothetical case examples, and this
4 discussion will inform future FDA guidance on
5 the application of 21 CFR 50, Subpart D, to
6 FDA regulated clinical investigations
7 involving children.

8 So that is a whirlwind summary of
9 what Amy and Elaine and Geoff lived through,
10 and as I said, December 9th there will be an
11 Ethics Subcommittee meeting followed by a full
12 Committee meeting to discuss this protocol
13 that was referred under 50.54, and I think
14 those same three plus Melissa have agreed to
15 participate in that discussion.

16 So I'm happy to answer any
17 questions. My time is yours.

18 CHAIRPERSON RAPPLEY: Would you put
19 that last slide up that had your summary? I
20 think that might be helpful.

21 Any comments or questions from the
22 group?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NELSON: Last slide. Okay.

2 CHAIRPERSON RAPPLEY: Yes, Dr.
3 Goldstein.

4 DR. GOLDSTEIN: Skip, that was a
5 wonderful presentation. Did your group also
6 have a discussion on that last case about the
7 issue that you brought up with the prior case,
8 which is selection of control group
9 specifically oftentimes when children were
10 left with problems about establishing a gold
11 standard in terms of measuring outcome? In
12 this particular case, to be a gold standard in
13 terms of measuring injury severity?

14 DR. NELSON: We did not carry the
15 discussion of choice of control group, as I
16 recall. I mean, I haven't read through the
17 transcript in detail, but I don't recall much
18 discussion of control groups on the third
19 case.

20 But you're right. In many of these
21 diseases how one infers the efficacy of the
22 product, particularly if it's an uncontrolled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 case series is difficult. It can be easy if,
2 in fact, the endpoint is mortality, but if the
3 endpoint is a variable morbidity, that would
4 be difficult.

5 But you know, that is an issue, but
6 the group didn't discuss it much on that third
7 case.

8 DR. RAKOWSKY: Skip, are you going
9 to have transcripts available for us to tap
10 into?

11 DR. NELSON: The transcripts are
12 available on the Website for the meeting which
13 was June 9th and 10th. So if you go to, in
14 fact, the Pediatric Advisory Committee
15 Website, you'll see the Ethics Subcommittee
16 listed there, and the transcripts are already
17 posted.

18 DR. ROSENTHAL: I'd just like to
19 take a moment to thank you and congratulate
20 you for pulling off what was really a
21 fantastic discussion. I thought you assembled
22 just some brilliant participants, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion was both relevant and erudite, and
2 my compliments to you for doing that.

3 DR. NELSON: Thanks, Geoff, and
4 I'll pay you later.

5 CHAIRPERSON RAPPLEY: Other
6 questions or comments?

7 Thank you, Skip. We accept your
8 report.

9 DR. MURPHY: And he really doesn't
10 mean to scare you all. I mean that. The
11 subcommittee will, of course, explore all of
12 this in great detail, and those of you who are
13 participating on it, and they will bring a
14 recommendation and you'll have an opportunity
15 to ask question and have discussion, but
16 there's a subcommittee of ethicists for a
17 reason. You can tell this is a very complex
18 field, but I actually think it's a terrific
19 opportunity for the full Committee to hear the
20 thinking that is going on at that
21 subcommittee.

22 So I hope that you were as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 enthusiastic as we are about the development
2 of this.

3 CHAIRPERSON RAPPLEY: So thank you
4 all.

5 I think a couple follow-up things
6 is I'll be e-mailing you about some ideas that
7 you've generated about how we might process
8 the abbreviated reviews and so we'll discuss
9 that on e-mail.

10 And then I will talk with Carlos
11 and Dianne and Lisa about the best way for us
12 to communicate to the Best Pharmaceuticals for
13 Children Act, especially around our concerns
14 around the atypical anti-psychotics.

15 And I'll follow up then with you in
16 drafting what that communication might be so
17 you can help on that.

18 DR. MURPHY: I think that's a
19 really interesting way for us to try to move
20 some of these areas forward. I mean, these
21 are mechanisms that you have provided to us,
22 but we really haven't used that as completely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and as thoroughly as we could.

2 So I think it's a very interesting
3 suggestion for whoever, for your
4 recommendation.

5 CHAIRPERSON RAPPLEY: And isn't it
6 in the spirit of agencies talking with one
7 another, which we have been all asked to do?

8 DR. MURPHY: Yes.

9 CHAIRPERSON RAPPLEY: So thanks,
10 everybody, for coming out today, and we'll see
11 some of you again in December and certainly in
12 March.

13 DR. MURPHY: I was going to say
14 thank you all very much. We will see you
15 again in December.

16 (Whereupon, the above-entitled
17 matter concluded at 4:03 p.m.)
18
19
20
21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1

2

3

4

5

6

7

8

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com