

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR FOOD SAFETY AND APPLIED NUTRITION

FOOD ADVISORY COMMITTEE
ACRYLAMIDE

Tuesday, February 25, 2003

8:30 a.m.

Sheraton College Park Hotel
4095 Powder Mill Road
Beltsville, Maryland 20705

PARTICIPANTS

Sanford A. Miller, Ph.D., Chairman
Catherine DeRoeever, Executive Secretary

PARTICIPATING FOOD ADVISORY COMMITTEE MEMBERS

Francis Fredrick Busta, Ph.D.
Annette Dickinson, Ph.D.
Johanna Dwyer, D.Sc., RD
Brandon Scholz

TEMPORARY VOTING MEMBERS

Jean Halloran
Ken Lee, Ph.D.
Harihara Mehendale, Ph.D.
Robert Russell, M.D.
Clifford W. Scherer, Ph.D.
J. Antonio Torres, Ph.D.

GUEST SPEAKERS

Robert Brown, Ph.D.
Tim Fennell, Ph.D.
Stephen S. Olin, Ph.D.
Sorell Schwartz, Ph.D.
David Zyzak, Ph.D.

C O N T E N T S

Call to Order: 3
 Dr. Sanford Miller 4

Animal Studies and Human Health Consequences: 4
 Dr. Sorell Schwartz 4

Questions of Clarification 26

Acrylamide Toxicity: Research to Address Key Data Gaps: 36
 Dr. Stephen Olin 36

Questions of Clarification 54

Potential Implications: 63
 Dr. David Acheson 63

Questions of Clarification 77

Summary, Charge and Questions: 111
 Dr. Terry Troxell 111

Public Comment 116

Discussion and Committee Recommendations 144

P R O C E E D I N G S

Call to Order

1
2
3 DR. MILLER: We will begin the second
4 day's program. At some point later on this
5 morning, depending on how the discussion goes, we
6 will determine the program that we will follow for
7 the rest of day and see how much time we are going
8 to need in order for our discussion and so on. We
9 will see whether we may be able to finish early and
10 those of you who have planes to catch will have a
11 little more time to do that.

12 Our first speaker this morning is Dr.
13 Sorell Schwartz of Georgetown who is going to talk
14 about animal studies in relation to human health
15 consequences.

16 **Animal Studies and Human Health Consequences**

17 DR. SCHWARTZ: Thank you.

18 [Slide.]

19 It is a real privilege to be here. We
20 never had to worry about true-type font. Now, you
21 do because I submitted my slides to the FDA and
22 what was a true-type font on my computer wasn't on

at

1 theirs, so their computer attempted to make
2 conversions and didn't do very well. So, this
3 morning, we spent some time straightening it out.

4 However, all the errors are carried on to
5 the printed sheets of the slides that I have. So
6 some of them may be out of format and some of the
7 symbols may be wrong. But welcome to the world of
8 computers.

9 My presentation does not deal specifically
10 with acrylamide. It really deals with interspecies
11 extrapolation, the extrapolation of animal data to
12 human data, to human use. It can take many forms.
13 It can be rather gross such as in the default
14 options, the false scientific assumptions that are
15 made. If a material is a carcinogen in an animal,
16 it is a carcinogen in a human. Why? Because we
17 say so. That is one form of interspecies
18 extrapolation based pretty much on what someone
19 might say is a prudent public-health policy, or
20 that the human is at least as sensitive as the most
21 sensitive of animals when it comes to
22 carcinogenicity. Again, why is that? Because we

at

1 say it is based on just policy matters. But it is
2 hardly a scientific extrapolation.

3 For noncancer effects, we can have also
4 relatively gross extrapolations taking the
5 no-observable-adverse-effect level that is observed
6 in a rat or a mouse or whatever, dividing it by 100
7 or dividing it by 1000 as a safety factor, actually
8 an uncertainty factor, again not a very
9 sophisticated means of extrapolation but it gets
10 the job done with respect to doing on harm, or
11 hopefully doing no harm.

12 But more ambitious attempts at
13 interspecies extrapolation involves some form of
14 scaling the physiology of the experimental animal
15 to the physiology of the human.

16 [Slide.]

17 The foundation of interspecies
18 extrapolation with respect to the effects of
19 chemicals on the biological system actually rests
20 on two pillars; pharmacokinetics and
21 pharmacodynamics. Pharmacokinetics, as can be
22 seen, deals with the actions of the body on the

1 chemical, itself. It deals with the absorption,
2 the distribution, the metabolism and elimination,
3 the so-called ADME, and the output that we get from
4 it is a concentration-time relationship.

5 The other pillar are the pharmacodynamics
6 which is the action of the chemical on the body.
7 The system we are dealing with is the interaction
8 with biological ligands. It may be a receptor. It
9 may be an enzyme. It may be DNA. It may be some
10 type of adduct formation. The output is, of
11 course, the biological response.

12 In the interest of saving time, suffice it
13 to say, there are no means to predictively
14 extrapolate biological response across species
15 other than heuristics, other than we have certain
16 things we understand. If we are extrapolating
17 something like some specific organ toxicity like
18 neurotoxicity, we tend to feel that we can
19 extrapolate from animal to man with some degree of
20 reliability.

21 On the other hand, cancer as
22 carcinogenicity is a bit more iffy, as we have

1 learned, and teratogenicity, birth defects, are
2 essentially extrapolatable only by guess,
3 recognizing, for example, that the positive control
4 in teratogenicity experiments is aspirin. So it is
5 something that doesn't extrapolate well.

6 So we are left with really our heuristic
7 understanding of what goes on in extrapolating
8 pharmacodynamics. So that leaves is pretty much
9 with the pharmacokinetics.

10 [Slide.]

11 Pharmacokinetic dose extrapolation from
12 animal to man, we essentially say, let's take the
13 area under the concentration time curve that we get
14 for an experimental animal at a particular dose and
15 see what it takes in man to get that same area
16 under the curve, what dose that is.

17 This action is strictly empirical. There
18 is some computation involved in estimating it, but
19 essentially, we give the dose, we know the
20 pharmacokinetics in man, we know the
21 pharmacokinetics in the animal. We look at the
22 area under the curve of the dose that has caused

at

1 the effect we are looking at. What we are looking
2 for--in this case, we have it as an LD₁₀, which we
3 are translating to the minimal tox dose in man, and
4 we try to create the same area under the curve, the
5 area under the curve and the same Cmax, so that the
6 curves look the same.

7 That is essentially the goal of
8 interspecies extrapolation but it is not as easy as
9 one might think.

10 [Slide.]

11 We should digress a minute and look at
12 what can we scale among species. All of us know
13 that a rat is not a small human. Nonetheless, we
14 continue to treat it that way. We give dose per
15 kilogram in a rat and we say, okay, what is the
16 dose per kilogram in a man and, somehow, we make
17 that extrapolation.

18 But we know, in our heart of hearts, that
19 a rat really isn't a small human. So, we look for
20 some type of proportional interspecies scaling.
21 One is isometric which means that the proportion
22 in the rat or in the experimental animal is the

1 same as in the human. So, across species, the
2 proportion of the heart weight to the body weight
3 is constant across species. The proportion of lung
4 weight to body weight is proportional across
5 species. And skeletal weight, and muscle weight,
6 and GI-tract weight.

7 All of these are proportional across
8 species and whatever percentage it is in a rat, you
9 can expect within some error estimate, to be that
10 same percentage in man.

11 There is one particular organ that is
12 missing here, and that is the brain. The brain
13 does not extrapolate across species. Actually, it
14 does extrapolate across species except one, and
15 that is the human. If you extrapolate across
16 species the brain weight, it works out pretty well
17 until you get to man because, if man is part of
18 that extrapolation, the brain weight would be
19 predicted to be about 275 grams. Actually, of
20 course, it is about 1200 grams.

21 So this is one departure which we are
22 going to discuss a little bit later but it is of

1 particular importance. So isometric scaling pretty
2 much covers for organ weight, most organ weights,
3 and blood volume and respiratory capacity.

4 [Slide.]

5 That is isometric. Now, allometric
6 scaling essentially says that we can extrapolate
7 across species to some exponent of the body weight.
8 That exponent b , in this case, is the allometric
9 scaling component and a is a coefficient that we
10 get from regression analysis. But is the scaling
11 exponent that is important.

12 What we spoke of before, the isometric
13 extrapolation, that scaling component is 1 so that
14 we have a direct proportion to the body weight.
15 There are two general categories of scaling
16 exponents. One is at about 0.25 and heart rate,
17 circulation time, respiratory rate, extrapolate at
18 a scaling exponent of 0.25. The other is 0.75, or
19 approximately 0.75.

20 Basic metabolic-rate blood flow, and we
21 are going to discuss clearance in a little while,
22 can also be extrapolated within a range of some

1 error of that scaling component. We will discuss
2 it in a little more detail.

3 Some of you who are familiar with this may
4 say, what would have happened to two thirds,
5 because there a two-thirds scaling component is
6 often used. There is some disagreement with basic
7 metabolic rates should be scaled to two-thirds or
8 0.75, but the two-thirds scaling is primarily used
9 in scaling body weight to surface area.

10 It is used clinically in cancer
11 chemotherapy because dose scaling in cancer drugs
12 seems to work best by dosing per body surface area
13 rather than per body weight.

14 DR. LEE: Ken Lee. Could you just explain
15 how circulation time and blood flow are different?

16 DR. SCHWARTZ: Circulation time is the
17 time it takes to get from one point to the other at
18 a particular measurement. We know what that is.
19 The blood flow really deals here--I understand your
20 point. Overall, it would seem they should be the
21 same. But it is really scaling the blood flow in a
22 particular organ.

1 When you look at blood flows in particular
2 organs, the liver blood flow, the pulmonary blood
3 flow, the blood flow through any particular organ,
4 scales at 0.75. The total circ time scales at
5 0.25.

6 But I understand your question and it is
7 not clear as it is presented there.

8 [Slide.]

9 Now, pharmacokinetic factors that we have
10 to worry about or be concerned about with respect
11 to interspecies extrapolation, and it is the same
12 pharmacokinetic factors we have to deal with
13 clinically, are volume of distribution, clearance
14 and the absorption and bioavailability.

15 [Slide.]

16 The volume of distribution is essentially
17 defined as the volume the chemical would be
18 distributed in if it were distributed throughout
19 the body in the same concentration it is in the
20 blood. So you can have, for example, a volume of
21 distribution of 70,000 liters, certain drugs
22 which--certain antimalarial drugs bind very

1 strongly to all sorts of protein outside the
2 circulation.

3 So it is an apparent volume, but it is
4 important because, thermodynamically, the system
5 actually behaves as if that apparent volume is a
6 real volume. So it is the total mass of the
7 chemical in the body divided by its concentration
8 in the blood. It describes the distribution of the
9 chemical throughout the body and, ultimately, to
10 the biophase, the site of action.

11 The greater the volume of distribution,
12 the greater the biological half life. This is
13 scalable based on interspecies composition
14 relationships and physical-chemical factors, what
15 are called quantitative structural pharmacokinetic
16 relationships. This is essentially scalable
17 isometrically. Generally, it is scalable
18 isometrically.

19 If we think about the body weights, the
20 organ weights, being scalable isometrically, you
21 could understand what the line of distribution
22 might be. It is not absolute, but it is generally

1 within 0.9 to 1.0.

2 [Slide.]

3 The clearance is the volume of blood per
4 unit time from which the chemical is completely
5 extracted. The higher the clearance rate,
6 obviously the smaller the half life. It is the
7 blood flow times the extraction ratio. The blood
8 flow is allometrically scalable across mammalian
9 species, as we said. It is generally to an
10 exponent of around 0.75.

11 But the extraction ratio may or may not be
12 scalable. Extraction ratio refers to just that,
13 what fraction of the drug or the chemical is
14 extracted by the organ. If the extraction occurs
15 by some process such as filtration diffusion, that
16 is a nonsaturable first-order process. Generally,
17 it will be scalable anywhere between 0.75 and 1.0.

18 However, if there is metabolism involved,
19 depending upon the saturability of the system, if
20 it remains pretty much first order all the way
21 through, it will be scalable. But you can also
22 expect there will be interspecies differences in

1 metabolism.

2 In the case of acrylamide, there are
3 interspecies differences in the metabolism of
4 acrylamide to glycidamide. Also, the acrylamide to
5 glycidamide is saturable. In doses likely to be in
6 contaminated foods, it is not going to be
7 saturable, but, also, the glutathione conjugation
8 of acrylamide and glycidamide which is a means of
9 inactivating both of the compounds is also
10 saturable so that extrapolation from animals can be
11 iffy when you are looking at the metabolism of
12 these compounds.

13 [Slide.]

14 As I said, clearance can be flow-limited,
15 meaning we have a high extraction ratio. The
16 clearance is really determined by the blood flow.
17 If we have a low extraction ratio, then the
18 clearance's capacity is limited, that would be a
19 saturable system, what I was just speaking about.
20 Flow-limited clearances, like I said, would be more
21 likely to be scalable than capacity-limited
22 clearances.

1 [Slide.]

2 Now, absorption and bioavailability are
3 very important factors to deal with especially when
4 you are speaking of exposures that concern food
5 contamination. The bioavailability, which is the
6 upper case F here, is a function of the fraction
7 that is absorbed, the fraction that gets by GI
8 tissue metabolism--that is why $1 - f_g$ is the
9 fraction that gets by tissue metabolism--and the
10 fraction that gets by liver metabolism. That is
11 the same extraction ratio that we were talking
12 about before that is equivalent to an hepatic
13 first-pass effect where a drug is absorbed, when
14 the drug passes from the gut into the liver through
15 the portal vein. Before it gets into the system,
16 it must pass through the liver. In passing through
17 the liver, there is this first-pass effect which
18 will metabolize the drug and reduce the systemic
19 availability.

20 The problem is that you can have
21 variations in extraction ratios, small variations
22 in extraction ratios, which can greatly affect the

1 bioavailability.

2 [Slide.]

3 In the interest of time, I am not going to
4 go through some of the factors that I was going to
5 go through, but the point that I want to bring out
6 is that, depending upon the size of the extraction
7 ratio, we can have small changes in the extraction
8 ratio and large changes in the effective dose.

9 Conversely, we can have--this is part of
10 the problem with the formatting. This is not
11 complete, so I am not going to dwell on this other
12 than to say that the extraction ratio variations
13 can have a very profound effect across species on
14 what is absorbed and what the absorbed dose is. It
15 is something that I find, in reading the
16 literature, is not often taken into account as it
17 should be.

18 [Slide.]

19 So, for allometric extrapolation, what is
20 likely to be reliable? GI absorption is likely to
21 be reliable, the actual absorption, just the
22 movement. The volume of distribution is likely to

1 be reliably extrapolatable as blood flow,
2 clearance, where the clearance is flow-limited and
3 the extraction ratio is high, and bioavailability,
4 where the extraction ratio is low.

5 I am not going to go into the reasons for
6 all of this but it shows you that, in fact, you
7 have a yin-yang between clearance and
8 bioavailability as far as extraction ratio goes;
9 that is, that a high extraction ratio favors the
10 scalability of clearance but not of bioavailability
11 and vice versa, a low extraction rate does not
12 favor the scalability of clearance but does favor
13 the scalability of bioavailability, which shows
14 that life is difficult, which you probably already
15 knew.

16 [Slide.]

17 It is less and less likely to be reliable,
18 as I said, as we have just stated before.

19 [Slide.]

20 There are certain allometric approaches to
21 clearance, certain variations. One is that the
22 first approach is the one that we were just

1 describing, just the straight equation.

2 Another involves the inclusion of neoteny,
3 which is peculiar to humans. Neoteny refers to the
4 juvenilization of humans; that is, it takes human a
5 longer time to reach maturity than it does most
6 mammals. Most mammals reach maturity at about 30
7 percent of their body weight. Humans reach
8 maturity, puberty, at about 60 percent of body
9 weight and it seems to have some relationship to
10 both the life span, the maximum life-span,
11 potential and the brain weight of humans.

12 There have been various approaches to
13 include neoteny using, for example, a particular
14 approach, the same equation of body weight to the
15 exponent but divided by the maximum life-span
16 potential, one involving the brain weight and the
17 body weight. But, interestingly, as it has turned
18 out, there is a question of whether the neoteny is
19 as important as really doing some straight-out in
20 vitro measurements of hepatocyte activity in the
21 animal and in man.

22 [Slide.]

1 We can, now, get liver from humans. It
2 seems that a way around the interspecies
3 extrapolation for clearance, where metabolism is an
4 important factor, is to take the clearance that is
5 determined in animals in vivo, then take clearance
6 determined from examination of individual human and
7 animal hepatocytes and essentially use that as a
8 correction factor to get the clearance.

9 This seems not to involve any other
10 assumptions, brain wave or life span. It is just
11 measuring the actual enzyme levels, themselves.

12 [Slide.]

13 Another approach to interspecies
14 extrapolation is physiologically based
15 pharmacokinetic modeling. The one problem with
16 allometry, as we have pointed out, is the fact that
17 you can allometrically scale various individual
18 factors in animals, but there is no way to combine
19 all of the factors. We just pointed out, there is
20 a problem of scaling both clearance and
21 bioavailability when the extraction ratio is either
22 very high or very low.

1 There is the other question of
2 extrapolating various functions that, in fact, may
3 work against each other, like we were discussing
4 with bioavailability and clearance. In
5 physiologically based pharmacokinetic modeling,
6 essentially each organ is modeled by its own flow
7 equation and we establish a model using a series of
8 simultaneous linear and nonlinear differential
9 equations that allow the determination, or the
10 estimation, of concentrations in each tissue,
11 specifically, to estimate what is in the biophase
12 because it is not drug in blood, or chemical in
13 blood, that is active. It is not chemical in the
14 tissue that is active. it is chemical at the site
15 of action that is active.

16 What is in the blood and what is in the
17 tissue may not always reflect what is at the
18 biophase of the site of action. In the case of
19 acrylamide and its metabolite, glycidamide, dealing
20 with adducts, potential DNA adducts, you could--now
21 this happens to be rate model for drugs, but the
22 pharmacodynamic side of this could be binding

1 characteristics for adducts so that you could go
2 all the way through and, through such a model,
3 estimate what the binding to adducts would be in
4 the animal compared to humans and extrapolate that
5 and then make some assumptions about response.

6 [Slide.]

7 Just to let you know that, in this
8 particular model, you not only can model the parent
9 compound but you can model its metabolite
10 essentially by running a parallel model where one
11 model feeds the metabolite to the other and it goes
12 through its own distribution.

13 [Slide.]

14 So physiologically based pharmacokinetic
15 modeling to low-dose interspecies extrapolation, we
16 develop the human physiologically based model using
17 the tissue-blood partition coefficient that can be
18 developed from animals because that is easily
19 scalable, use the value for organ clearance based
20 on human experimental data in vivo or in vitro, or
21 by allometric extrapolation.

22 [Slide.]

1 We can use the model to identify daily
2 intake resulting in particular target-tissue
3 concentrations equivalent to the tissue
4 concentration in the experimental animal, and, if
5 there is insufficient information to develop a
6 human PBPK model, we can extrapolate the estimated
7 animal intake associated with an observed response
8 to a human intake using an appropriate allometric
9 relationship.

10 [Slide.]

11 Finally, there are a number of
12 applications of the model, of using PBPK modeling.
13 One is interspecies extrapolation. Another is
14 predict the target-site concentration. The
15 extrapolation in cases of nonlinear
16 pharmacokinetics, or pharmacokinetics, where, for
17 example, if you give a dose X, then you get Y blood
18 level. If you give 2X, you expect to get two wide
19 blood 2Y blood level. In nonlinear
20 pharmacokinetics, that doesn't happen.
21 Physiologically based pharmacokinetics allows you
22 to correct for that.

1 It is especially good for low-dose
2 extrapolation. It is good for route-of-exposure
3 extrapolation. Physiologically based
4 pharmacokinetics can allow you to take, for
5 example, if you had a study, an animal study, that
6 deals with inhalation of, let's say, acrylamide, or
7 dermal absorption of acrylamide, it allows you to
8 simulate what it would have been had it been an
9 oral-dose experiment, a feeding experiment.

10 It also allows relative risk for multiple
11 route of exposure, which doesn't apply here. So
12 acrylamide, it does apply to such things as
13 benzene. Finally, something here with acrylamide
14 and hemoglobin adducts, it will allow estimations
15 of exposure based on biological markers.

16 This is going through pretty fast, but to
17 show you the various techniques that are involved
18 in extrapolation. The most important thing that we
19 have to know about models is that we never prove a
20 model is correct. All we do is use it until we
21 prove it is incorrect, which happens, so far, all
22 of the time.

1 DR. MILLER: Thank you, Sorell.

2 Questions of Clarification

3 DR. MILLER: Questions or comments?

4 DR. BUSTA: Frank Busta. Based on this
5 last summary, what data would you need from our
6 question at hand?

7 DR. SCHWARTZ: Your question at hand being
8 the extrapolation of acrylamide animal data to
9 human data?

10 DR. BUSTA: And/or the consumption of
11 acrylamide by humans at low doses.

12 DR. SCHWARTZ: My own feeling is
13 that--first of all, I should say that acrylamide is
14 not my field but, obviously, in preparation for
15 this presentation, I did look to see what had been
16 done in the modeling.

17 There have been some physiologically based
18 pharmacokinetic models with acrylamide. I think
19 from the point of view of your problem, that is the
20 only way to go. The reason is that you have, first
21 of all, the problem that you have a number of
22 different routes of exposure--you have a few

1 different routes of exposure, datasets that can be
2 converted, if you will, by modeling to oral
3 administration datasets which allows you to use the
4 data.

5 Secondly, the concern about whether or not
6 the amount of acrylamide likely to be taken in
7 would saturate, or the effect it would have on
8 glutathione conjugation. Glutathione conjugation
9 is especially important in the inactivation of
10 electrophiles of which, as you know, acrylamide and
11 glycidamide are both.

12 I think that is pretty hard to do by
13 straight allometric extrapolation but it can be
14 done, it can be estimated, by physiologically based
15 pharmacokinetic modeling. I think those are the
16 factors.

17 The real question is whether or not the
18 amount of acrylamide likely to be taken in during
19 food exposure is going to affect how you can
20 extrapolate from animal to man by virtue of--I
21 guess my question is does the metabolism still
22 remain first order. In other words, do you have

1 enough to start saturating the metabolic systems or
2 is it low enough that it won't saturate them and
3 you can treat it as first order, which makes
4 extrapolation a lot easier.

5 So, I would think the latter. I would
6 think that you wouldn't saturate. You can think of
7 all sorts of clinical situation, of someone who is
8 taking too much tylenol or drinking too much
9 alcohol that could have an effect on how acrylamide
10 might respond. But that is sort of an academic
11 exercise.

12 I think, from a point of view that you are
13 interested in, PBPK modeling would show that you
14 can deal with metabolism pretty linearly--I think.
15 I guess the other question is whether using
16 hemoglobin adducts as biomarkers would be of value.
17 A PBPK model would give you some idea of that.

18 Does that answer your question or not?

19 DR. BUSTA: If I followed you, maybe.

20 DR. SCHWARTZ: I am sorry. I can
21 understand the frustration that people have with
22 pharmacokineticists, but I guess, in summary, we

1 need to know metabolic data, we need to know
2 physical data, tissue-distribution data. But that
3 has already been determined for acrylamide, as far
4 as I know. There already is a PBPK model. It
5 hasn't worked all that well, but it is not
6 necessarily because of lack of data.

7 DR. MEHENDALE: I guess one way to
8 approach this is, partly you mentioned, the
9 partition coefficients are generally available and
10 the metabolic constants, kms and so on, should be
11 available. I don't know if, for human tissue, they
12 are available and that might be useful and suppose
13 it can be determined from the human hepatocytes if
14 it is not available.

15 But, certainly, for animals, I suspect it
16 is available. If it is not, it can be determined.
17 But one area that I think would be
18 useful--generally, we look at the PBPK model as a
19 way of dose extrapolation as you rightly
20 emphasized. But, if there is an enzyme saturation,
21 which, at high doses, is likely to occur--at very
22 low realistic consumption levels, probably not.

at

3

1 But the issue here is that if there are
2 animal data with high whopping doses of acrylamide,
3 can they be used to extrapolate to humans, and if
4 enzyme saturation is an issue.

5 Generally speaking, it has turned out to
6 be an issue whether it is the glutathione pathway
7 or the cyp 2E1 pathway. This compound is certainly
8 showing some signs of saturating cyp 2E1 at high
9 doses.

10 So my comment is whether knowing this data
11 would be useful in trying to determine whether
12 animal data obtained at very high doses can, in
13 fact, be useful unless we establish those issues of
14 saturation and so on in extrapolation with PBPK.

15 DR. SCHWARTZ: You have brought up what
16 has been an age-old problem--actually, it is an
17 age-old illusion--and that is that somehow or
18 another, that we can get away by taking large
19 doses, taking results of studies with large doses,
20 and extrapolating them somehow back down to low
21 doses without taking into account saturation.

22 As you know as well as I do, this has been

1 done time after time after time. It is illusory.
2 If you have a saturable enzyme system and you are
3 giving large doses, it could be illusory in two
4 directions. If your metabolite is this toxic
5 component, you could actually be underestimating
6 the toxicity of the substance. If your parent
7 compound is the toxic compound, you can be
8 overestimating the toxicity by extrapolating to low
9 doses in these.

10 But you are absolutely right. It is
11 necessary to know, and I think with the
12 availability now of human liver and such, I think
13 it is necessary to know what V_{max} is and k_m for
14 human versus the V_{max} and k_m for whatever animal
15 you are working with.

16 I think it is very fundamental data to
17 have before you can speak about doing any
18 extrapolation to low-dose exposure from animal
19 experiments.

20 MS. HALLORAN: I am just trying to make
21 sure I am following the discussion here. This
22 question of extrapolation from the animal studies

at

30

1 to the human situation is, obviously, critical. Do
2 we, at this point, have the necessary data in terms
3 of the pieces of the analysis you were just
4 describing to more or less extrapolate from rat
5 studies to human, or are there critical pieces of
6 experiments that still need to be done to do the
7 best possible reasonably acceptable extrapolation?

8 DR. SCHWARTZ: I would be deceiving you if
9 I answered your question of how much acrylamide
10 because it is not my area of familiarity that I
11 have, as I said. I am discussing the methodology
12 and I familiarized myself with some of the material
13 that is available, but I do not know all the data
14 available.

15 I think that what we are saying is that
16 here is what data you need. Whether we have it or
17 not--I know you don't have the human hepatocyte
18 data, but whether you have it or not, I don't know.
19 I do know that what data was used in the
20 development of the physiologically based
21 pharmacokinetic model and it didn't have human
22 hepatocyte data.

1 So, actually, I can't answer your
2 question. But we can pretty well define what it is
3 we need and then you can decide whether or not to
4 go after it. I do have to say some of the reviews,
5 some of the summaries, I have seen on the ADME of
6 acrylamide in the various reports I read and
7 familiarized myself with, have a degree of naivete
8 about them. I don't mean that in a pejorative
9 sense. It is just that you really have to do
10 exactly what you are doing right now, is say, what
11 do we need to really model this.

12 So the answer to your question is, the
13 only thing I can tell you is it is a good question.
14 But I can't tell you the answer.

15 DR. DWYER: Just to follow up on Ms.
16 Halloran's question. I think that the thing that I
17 found a little unsettling was your comment that you
18 can only prove that a model is incorrect and then
19 you said that the PBPK modeling that had been done
20 so far didn't come out very well, and then you just
21 said the modeling was naive.

22 Now, would all of those things contribute

1 to an underestimation of human risk, an
2 overestimate of human risk or isn't it possible to
3 even say that?

4 DR. SCHWARTZ: First of all, my response
5 of modeling, it wasn't the PBPK modeling that was
6 naive. I said the discussion of the
7 pharmacokinetics was naive meaning that it didn't
8 deal with the various issues such as interspecies
9 extrapolation and the PBPK modeling was not, by any
10 means, naive. It was very aggressive, in fact.

11 What is the second half of your question?
12 I'm sorry.

13 DR. DWYER: I think the bottom line is
14 whether all of this means that the modeling--are we
15 in danger of underestimating human effects or
16 overestimating, or is it like the three bears, just
17 right?

18 DR. SCHWARTZ: I can't answer the
19 question. We are always in danger of
20 overestimating or understating. From a regulatory
21 point of view, we are always in danger of
22 overestimating, if danger is the right word,

1 primarily because of the natural instinct to be
2 very conservative.

3 But I think, from what I can see, and you
4 have to understand, I am speaking really as a
5 novice with respect to acrylamide. My major
6 interest in acrylamide had been to neurotoxicity
7 and some issues we dealt with some time ago. But,
8 from what I see, there is a danger of
9 overestimating the toxicity if the main toxic
10 component is acrylamide and underestimating it if
11 it is glycidamide. That really deals with the
12 issue that, at a very large dose, you are getting
13 less proportion of glycidamide than you would at a
14 smaller dose.

15 DR. LEE: Ken Lee. What you just said,
16 does that apply to the neurotoxicity as well as
17 carcinogenicity, or are you referring to one or the
18 other?

19 DR. SCHWARTZ: The neurotoxicity will
20 occur at much larger doses than you are ever going
21 to find in food. I can't see neurotoxicity as
22 being a concern here.

1 DR. MILLER: The thresholdable phenomenon.

2 DR. SCHWARTZ: Right. It is not very
3 plausible based on the dose-response data that we
4 know that you really face with the neurotoxicity
5 problem by the type of contamination you are
6 talking about. The acrylamide neurotoxicity comes
7 really from occupational exposure.

8 DR. MILLER: Other comments? It seems
9 clear from Dr. Schwartz' presentation that there
10 are substantial areas that require research. I
11 think one of the questions that we have to
12 determine is whether or not the modified action
13 plan covers those areas.

14 Thank you, Dr. Schwartz.

15 Our next speaker this morning is Dr.
16 Stephen Olin from ILSI who is going to talk
17 specifically about acrylamide toxicity, research to
18 address key data gaps.

19 **Acrylamide Toxicity: Research to Address**
20 **Key Data Gaps**

21 DR. OLIN: Thank you.

22 [Slide.]

1 That discussion was, hopefully, an
2 excellent lead-in to my comments here this morning.

3 [Slide.]

4 To give you a little bit of background,
5 where I am coming from, as you know, the Joint
6 Institute for Food Safety and Applied Nutrition, or
7 JIFSAN, and the National Center for Food Safety and
8 Technology convened a workshop in late October to
9 examine current knowledge on acrylamide and food
10 and particularly to identify and prioritize
11 research needs in each of five areas as shown on
12 the slide here.

13 I had the privilege of co-chairing the
14 Working Group on Technology and Metabolic
15 Consequences with John Doull and I guess that is
16 why I was invited to come here and talk about
17 research needs specifically with regard to
18 acrylamide toxicity for developing a risk
19 assessment for acrylamide.

20 [Slide.]

21 The Working Group on Toxicity and
22 Metabolic Consequences identified data gaps and

at

38

1 research needs in these six focus areas. I would
2 say, in general, that recommendations from our
3 working group complement and build on the
4 observations from the WHO consultation last June
5 which, of course, is in your meeting materials.

6 I also would say, just to let you know,
7 that the full report from not only our working
8 group but the other four working groups at the
9 JIFSAN workshop is available on the JIFSAN web site
10 for you there, for details.

11 [Slide.]

12 I think the toxicity of acrylamide, the
13 conclusions that came out, were really very broad
14 and we have heard those reiterated here in various
15 presentations and in the discussion of the
16 committee. First, this research should accomplish
17 these two objectives, first to assess the
18 significance of adverse effects observed at high
19 doses for low-level exposures in human foods, those
20 high doses being in animal studies and, in the case
21 of neurotoxicity, in humans and, secondly, to
22 assess the significance for humans of effects

1 observed in vitro and in vivo in rodents.

2 Dr. Schwartz and others before me have
3 sort of laid out that challenge and our working
4 group certainly concluded similarly.

5 [Slide.]

6 What I would like to do, then, with you in
7 the next few minutes is to quickly run through the
8 research needs that were identified by the working
9 group and at least what ongoing or planned research
10 that I am aware of that will begin to address these
11 research needs.

12 As was mentioned, I think, earlier, the
13 Acrylamide in Food website that is being managed
14 for WHO and FAO by JIFSAN is a place where ongoing
15 research is being posted and recorded, so that is
16 certainly one useful resource to keep track of what
17 is going on out there with regard to acrylamide.

18 First, with regard to this area that Dr.
19 Schwartz and you talked about a bit, kinetics
20 metabolism and modes of action or mechanisms of
21 toxicity of acrylamide. We know that acrylamide
22 can exhibit several kinds of toxicity in animal

1 models, carcinogenicity, neurotoxicity, germ-cell
2 mutations, others, but to effectively use these
3 data in assessing risks to humans, we need to know
4 more about the modes of action leading to these
5 toxic effects, the critical events along the way
6 and the dose metrics. So that is identified as one
7 of the key research needs.

8 We also have quite a lot of information
9 about metabolism and kinetics in rodents, as has
10 been suggested here, but the working group really
11 felt that we needed to make the link now with the
12 metabolic fate and kinetics in humans. Those data,
13 frankly, are lacking.

14 To pull all of this together, then, the
15 group felt that we really need a good
16 physiologically based pharmacokinetic model as
17 discussed by Dr. Schwartz that will allow us to
18 calculate dose to target tissue or dose to specific
19 receptor or cellular component that may be a risk
20 as a function of dietary intake for rodents and
21 humans.

22 So how are we doing on these research

1 needs in terms of ongoing or planned research?

2 [Slide.]

3 We hope that FDA will be able to gather
4 some information on critical events and dose
5 metrics for the postulated modes of action for the
6 various endpoints in conjunction with the NTP
7 bioassays. I think there were some hints of that
8 in the draft action plan that would certainly
9 support that.

10 We know that NIEHS has beginning studies
11 with this special mouse strain that has been
12 discussed already here in which the gene for
13 expression of the cytochrome P450 2E1 has been
14 deleted, the so-called cyp 2E1 null mouse. These
15 studies certainly will help to distinguish between
16 modes of action that, in critical events involving
17 *glycidamide and those that bypass glycidamide.

18 There are also some industry-sponsored
19 studies that will contribute to our understanding
20 here.

21 You heard yesterday from Dr. Fennell about
22 the ongoing RTI work on metabolism and kinetics in

1 humans. CDC, apparently, is planning studies of
2 the relationship between intake and biomarkers of
3 exposure prior to the next round of NHANES and that
4 was discussed briefly yesterday. Several other
5 groups are looking at this problem from various
6 perspectives, the group at Stockholm University in
7 Sweden, at Kaisersalutern University in Germany and
8 others.

9 With regard to PBPK models, there actually
10 was a fairly extensive PBPK model for acrylamide
11 and glycidamide in the rat and it was published
12 just a few weeks ago by Kirman et al. The authors
13 of that paper note that additional data is still
14 needed to refine model parameters for metabolism
15 and tissue binding, particularly, in the rat and
16 they reiterate the need for a human PBPK model for
17 acrylamide.

18 I would just add that that human model
19 also should consider variability in kinetic
20 determinants across different life stages. We are
21 beginning to see some models that attempt to do
22 that and I think that would be important for

1 acrylamide.

2 [Slide.]

3 With regard to genetic toxicity, the
4 genotoxicity of acrylamide and, to a lesser extent,
5 glycidamide, has been studied in a number of
6 traditional assay systems over the years. I think
7 the consensus at the moment is that the results for
8 acrylamide, itself, are a bit of a mixed bag
9 whereas, for glycidamide, we seem to have a
10 classical DNA-reactive mutagen.

11 The working group identified as priority
12 research needs in this area the identification and
13 characterization of adducts of acrylamide and/or
14 glycidamide with DNA and with significant nuclear
15 proteins including the biological relevance of
16 these adducts and their dependents on species and
17 dose both in vivo and in vitro. You heard a little
18 bit about ongoing planned research in that area,
19 again, from Dr. Fennell yesterday.

20 The working group also pointed to the
21 importance of the investigation of mechanisms of
22 specific genetic effects that have already been

1 reported such as various chromosomal effects, cell
2 transformation et cetera.

3 [Slide.]

4 As we have seen in the draft FDA action
5 plan, NCTR is planning DNA and protein-adduct
6 studies including dose response in vivo to be
7 coordinated with the rodent bioassays. Industry
8 also is sponsoring some DNA adduct studies.

9 Mechanistic studies at NCTR, perhaps
10 including in vivo mutagenicity and transgenic
11 models such as the Big Blue rat and the
12 thymidine-kinase heterozygous mouse as well as
13 industry studies looking for indirect effects
14 mediated by certain chromosomal motor proteins,
15 kinesin-related proteins, for example, should also
16 help to define the likely shape of the
17 dose-response curve at lower exposures for genetic
18 effects. So those are felt to be key research
19 needs in that area.

20 [Slide.]

21 With regard to developmental and
22 reproductive effects, the effects of high doses,

1 relatively high doses, of acrylamide on
2 reproduction in rats and mice has been
3 well-documented. The primary effect seems to be
4 germ-cell toxicity related to dominant lethal
5 mutations.

6 The research need, however, here is for
7 dose-response data for this germ-cell toxicity,
8 probably in rodents, to assess the risk at lower
9 doses for information on whether the toxicity is a
10 direct effect of acrylamide or due to its mutagenic
11 metabolite, glycidamide. If they had to put their
12 money on it, they would guess glycidamide, but that
13 does need to be defined.

14 The potential for developmental
15 neurotoxicity also has not been extensively studied
16 and the working group felt that, given the dietary
17 exposures that we are seeing to acrylamide, more
18 work was needed in this area.

19 [Slide.]

20 In terms of ongoing or planned research in
21 this area, NIEHS has indicated that they will
22 include a study of dominant lethal mutations in

at

46

1 their work on the cyp 2E1 null mouse which will,
2 again, test the hypothesis that glycidamide or a
3 subsequent metabolite, perhaps, of glycidamide, is
4 responsible for these effects.

5 I am not really aware of other studies on
6 germ-cell toxicity that are planned or ongoing at
7 the moment. NCTR is interested in doing
8 some work on developmental neurotoxicity under the
9 NTP program and also the ongoing academic studies
10 on mechanisms on neurotoxicity may shed some light
11 on this question. So this developmental-neuro
12 area, I guess, could go on developmental or it
13 could go in neuro and I put it here. So now you
14 have seen it.

15 It is my understanding that acrylamide and
16 glycidamide also will be evaluated in the NCTR
17 neonatal-mouse assay system. That certainly will
18 be a valuable addition to our understanding of
19 effects of early life exposure.

20 [Slide.]

21 Carcinogenicity; obviously, this has been
22 highlighted, I guess, in much of the discussion of

1 acrylamide that we have heard recently. The
2 working group meeting in October was aware that the
3 National Toxicology Program already was considering
4 conducting a new carcinogenicity study in rats and
5 mice at NCTR to confirm and clarify the results in
6 previous studies.

7 The group noted that this could also
8 provide an opportunity to develop enhanced data for
9 cancer dose-response assessments, to assess the
10 effects, if any, of perinatal exposure on
11 carcinogenicity and, with ancillary studies, to
12 gather useful information on the mechanisms of
13 induction of key tumors, their modes of action,
14 that might provide insight on their relevance to
15 human cancer risk.

16 [Slide.]

17 So, in terms of ongoing and planned
18 research that we are aware of, you have heard, now,
19 the presentation of the draft action plan that
20 plans are moving forward for the conduct of
21 well-designed two-years studies of acrylamide in
22 rats and mice at NCTR under the NTP program.

1 The neonatal-mouse studies, I believe,
2 will require about a year or so to complete once
3 they have been initiated and the full two-year
4 studies in rats and mice, of course, will require
5 several years. So another recommendation of the
6 working group was that, in the meantime, an expert
7 working group of pathologists be convened to look
8 at the critical slides from the previous rodent
9 studies all together using current diagnostic
10 criteria with the intent of developing consensus
11 views on some of the key neoplastic lesions.

12 NIEHS, as part of its efforts under the
13 National Toxicology Program convenes these
14 so-called pathology working groups or PWGs
15 routinely. However, it has not been determined as
16 yet as to whether this would be possible for
17 acrylamide.

18 We also heard that FDA's draft action plan
19 calls for mechanistic studies to complement the
20 rodent bioassays and contribute to their utility
21 for risk assessment and that is certainly
22 important. Industry also has studies under way

1 that should contribute to our understanding of the
2 tumors that have been reported in rat thyroid,
3 brain and the role of induced cell proliferation in
4 various target tissues and so on. So there is
5 quite a bit of work under way in that area.

6 [Slide.]

7 Neurotoxicity; as you all know,
8 neurotoxicity is, in fact, the only toxic response
9 of acrylamide that is well documented in
10 occupationally exposed humans. The neurotoxic
11 effects of acrylamide have been studied in the
12 laboratory for years and years. Nevertheless, most
13 of what we know about acrylamide's neurotoxicity is
14 at high doses relative to our current understanding
15 of dietary exposures in the range of tens of
16 milligrams per kilogram body weight.

17 So, understanding of where our dietary
18 exposures are, the working group concluded that we
19 really need a better definition of the
20 relationships between dose, duration of exposure
21 and effect levels and the onset of neurotoxicity
22 including a determination of the effects, if any,

1 of low-level, long-term dietary exposures.

2 It is not that the group believed that we
3 would see an effect there, but there is an
4 information gap that may be important given the
5 fact that we know that this can exhibit
6 neurotoxicity in humans at high doses.

7 The working group further concluded that
8 this research needs to link effects observed at the
9 cellular or tissue level, the functional changes,
10 to allow an assessment of the significance of the
11 cellular responses.

12 It also became apparent in our meeting
13 that several mechanisms of neurotoxicity have been
14 proposed for acrylamide and that further work is
15 needed including understanding the role of
16 acrylamide versus glycidamide versus other
17 metabolites or adducts and bridging of the studies
18 in animals to effects observed or postulated in
19 humans. So, where are we in that area?

20 [Slide.]

21 With regard to the area of dose duration
22 and effect onset, there would appear to be an

1 opportunity to gather some pertinent data in rats
2 and mice in conjunction with the anticipated NTP
3 studies at NCTR, although it is certainly true that
4 the design of these studies may not be
5 straightforward. For example, in the selection of
6 the critical endpoints or effects to be monitored
7 is not obvious but, perhaps, could be identified
8 with an appropriate working group of
9 neurotoxicologists familiar with this area. These
10 studies also may be resource-intensive.

11 Mechanistic studies are continuing in
12 academia at several universities and NIEHS will be
13 using various approaches to look at the role of
14 acrylamide and its metabolites and acrylamide's
15 neurotoxic effects. Also, the proposed NIOSH study
16 in exposed workers will examine markers of exposure
17 and effect that should help with the animal human
18 bridging part of that.

19 [Slide.]

20 Let's skip the next slide and just go
21 directly on to ongoing and planned research in
22 epidemiology.

1 [Slide.]

2 As noted before, the NIOSH study proposed
3 to examine biomarkers of exposure and look for
4 effects including neurobehavioral changes, also
5 markers of reproductive effects, sperm motility,
6 chromosomal changes, reproductive hormone levels
7 and so on. There is also a report on the
8 acrylamide and food website of planned industry
9 review of the design and sensitivity of published
10 epidemiology studies.

11 Finally, this was an area that was
12 discussed some by the committee yesterday. As
13 noted in the draft FDA action plan, there is a need
14 to consider the feasibility and design criteria for
15 studies in populations that are not occupationally
16 exposed to acrylamide.

17 A case-control study of patients with
18 enlarged bowel, bladder and kidney cancer and their
19 dietary exposures to acrylamide appeared last month
20 in the British Journal of Cancer. I believe Dr.
21 Acheson is going to say something about that.
22 There will undoubtedly be more such assessments of

1 acrylamide exposures in existing populations for
2 which health-effect ascertainment is already
3 available.

4 The CDC NHANES database in the U.S., the
5 EPIC dataset in Europe and others may be looked at
6 prospectively over the longer term. Yesterday, we
7 talked about the Women's Health Initiative, the
8 Framingham study and others as possible for
9 resources. Again, FDA has recognized the need to
10 explore these opportunities in its action plan.

11 [Slide.]

12 So, what do we make of all of this? In
13 conclusion, I think that it is clear that the
14 ongoing and planned research, particularly the
15 proposed FDA and NCTR efforts, will, indeed,
16 address many of the most important toxicology
17 research needs for acrylamide. Some of this work
18 will be completed within the next few months or
19 years, or within the next year, whereas some of it
20 will require several years as we have seen.

21 It will be important to monitor the
22 ongoing research and assemble the picture of

1 acrylamide's risk assessment like a puzzle, as the
2 pieces become available, perhaps modifying research
3 priorities as we go, depending on what we are
4 learning.

5 As a closing thought, though, it seems to
6 me that, at present, our key objectives must
7 include creating a robust PBPK model for acrylamide
8 in humans and developing an understanding of the
9 significance of high-dose carcinogenic effects in
10 rodents and neurotoxic effects in humans and
11 experimental systems for low-level exposures to
12 acrylamide in foods. That, I think, is our
13 principal research challenge.

14 Thank you.

15 DR. MILLER: Thank you.

16 **Questions for Clarification**

17 DR. MILLER: Comments or questions?

18 DR. RUSSELL: Thank you very much. I had
19 a question about cancer sites. In the rat, I
20 gather there is--you mentioned thyroid and brain
21 and some mesotheliomas, I think, that are reported
22 but, in the epidemiology studies, you just mention

1 the sites. I haven't seen that report, but you
2 mentioned large bowel and kidney in the human.

3 So is there some evidence that the site
4 specificity is different in the animals versus
5 humans?

6 DR. OLIN: No. The Mucci et al. study
7 that appeared last month in the British Journal of
8 Cancer was using an already existing cohort of
9 patients with large-bowel, kidney and whatever the
10 third cancer site was and then going back and
11 looking at what could be ascertained with regard to
12 dietary sources of acrylamide. So it wasn't
13 specifically selecting those as likely sites, but
14 those sites were actually available.

15 DR. MILLER: For clarification; is it true
16 that the tumor types that were found in the animal
17 studies were relatively rare types in humans?

18 DR. OLIN: Well, you know, that begs the
19 question of site importance.

20 DR. MILLER: I am trying to clarify that.

21 DR. OLIN: There are some that are
22 relatively rare. For example, the testicular

1 tumors of the tunica vaginalis is not a common
2 tumor in humans. The astrocytomas, we do see brain
3 tumors, occasionally, in humans. The thyroid
4 follicular-cell tumors, the question there, really,
5 with the rat being the model, is are we looking at
6 a rat-specific phenomenon that has been
7 well-documented. That can be examined and I think
8 that is being examined now to find out whether that
9 is a relevant endpoint for human risk assessment.

10 DR. MILLER: The reason I asked the
11 question is not because it is necessary for the
12 same tumor site to be the endpoint in the species
13 but to emphasize the possibility of important
14 species differences not only in the site specific
15 for the carcinogen but also in terms of metabolism.

16 We already know that rats and mice
17 metabolize differently, so we already know there
18 are species differences.

19 DR. MILLER: Dr. Mehendale?

20 DR. MEHENDALE: I know NIEHS is planning,
21 I guess, this cyp 2E1 knockout, studies with
22 knockouts. I wonder if anyone has considered some

1 studies with mice that overexpress cyp 2E1. There
2 may be some populations that would overexpress cyp
3 2E1 even if they drink alcohol or not. There may
4 be other conditions for overexpressing cyp 2E1.
5 Just a question to see if someone is considering
6 those studies.

7 DR. OLIN: I am not aware of any such
8 studies that are planned at the moment. The
9 studies in the knockout mouse, of course, are
10 really to try to sort out acrylamide versus
11 glycidamide as the active intermediate.

12 DR. BUSTA: Frank Busta. I fully agree
13 with your last conclusion there that the key
14 objectives include those of developing a PBPK
15 model, et cetera. When I listen to the research
16 proposals that you put forward, it sounded like we
17 wanted to really learn how to develop and care for
18 rats. I know we know rat nutrition very well, so
19 we have got that better than in humans.

20 It seems like a tremendous amount of work
21 on high dose and on rat metabolism and not very
22 much on low-dose exposures in food even though the

1 whole workshop was titled Acrylamide in Foods.

2 DR. OLIN: If that impression came through
3 from my presentation, that certainly was not the
4 impression I wanted to give. The focus of all of
5 this research, really, is trying to take what we
6 already know at very high doses and assess the
7 relevance of that for low-dose human exposures.
8 That is, as you well know, easier said than done.
9 But that is where we need to go with all of this.

10 DR. MILLER: Towards that same end, was
11 there much discussion concerning dose selection?

12 DR. OLIN: Not really, other than the fact
13 that it is the low-dose region that we need to
14 understand better. But, in terms of the details of
15 what specific dose-level studies need to be done,
16 no. The neurotoxic rodent studies and, actually, a
17 primate study as well, the carcinogenicity studies,
18 and so on, generally have shown effects down to the
19 level of around 1 milligram per kilogram body
20 weight per day.

21 DR. MILLER: What kinds of effects?
22 Carcinogenicity?

1 DR. OLIN: Carcinogenicity. I think for
2 neurotoxicity, the WHO consultation, if I am not
3 mistaken, estimated that the
4 no-observed-adverse-effect level would be around
5 0.5 milligrams per kilogram body weight per day.
6 So that is kind of where the animal studies have
7 gone so far.

8 DR. MILLER: That would be the quasi-MTD?

9 DR. OLIN: The NOAEL, the
10 no-observed-adverse-effect level. So the question,
11 then, is how do we assess the shape of the various
12 dose-response curves, and there are a lot of them,
13 at levels below that down to the 1.0 microgram per
14 kilogram body-weight level where we are seeing
15 dietary exposures in humans. That is a long
16 distance from a milligram to a microgram.

17 DR. MILLER: Right.

18 DR. DWYER: Now that you have seen the
19 draft FDA plan, I wondered if you could give us
20 your observations on areas where it might be
21 further strengthened.

22 DR. OLIN: I think it is good. I really

1 do. I am not being paid to say that. I mentioned
2 a couple of areas along the way. This area of
3 trying to gather neurotoxic data in conjunction
4 with the bioassay studies may be a challenge.
5 Those studies certainly haven't been designed. I
6 don't have proposed designs, but I think that would
7 be useful.

8 We need to get a better understanding of
9 potential effects of chronic low-level exposures in
10 rodents and we just don't have that data yet. I
11 think continuing work in bringing all the pieces
12 together for a human physiologically based
13 pharmacokinetic model is an important goal.

14 DR. MILLER: Jean?

15 MS. HALLORAN: Hearing all this, I am
16 impressed by the degree to which science has
17 progressed in this area in the last year or so. It
18 seems as though the questions have been fairly well
19 defined and there are approaches to getting answers
20 to them. I wonder if you could say how long--I
21 know you can't always predict science, but here we
22 have got very specific questions we are trying to

at

61

1 get answers to.

2 How long will it take before the work has
3 been done and the answers are in place to have a
4 pretty good idea of whether you can extrapolate
5 from high-dose rats to low-dose humans, or have the
6 data in place to assess the risk to the low-dose
7 humans?

8 DR. OLIN: I wish I could answer that. I
9 can't say that I really know. I think there is
10 some low-hanging fruit, as they say, that we can
11 get answers to in a fairly short term. I think a
12 lot of the answer to that really will depend on
13 what data become available from some of this
14 low-hanging fruit over the next six months to a
15 year. I think we will have a better idea of what
16 the critical issues really will need to be, what
17 additional data might be needed for an appropriate
18 risk assessment.

19 So that is why I think it is really
20 important for the community at large to have in
21 mind a framework for a risk assessment for
22 acrylamide and to monitor the pieces as they fall

1 in place so we can see how it is developing.

2 DR. MILLER: Again, as a matter of
3 curiosity, was much thought given to the endpoints
4 for the neurotoxicity studies, the functional
5 endpoints that are going to be used, any
6 suggestions that were made, because you get
7 terrific differences depending on which model you
8 use.

9 DR. OLIN: No; there was not a lot of
10 detail given there. I think what was recommended
11 was that the neurotox community come together and
12 look at that and provide some consensus
13 recommendations on what these studies should be.
14 There has been some work on that. Dr. Canady
15 cochaired a meeting at the neurotox meeting in
16 Little Rock in November and there was some
17 discussion of that issue then.

18 DR. MILLER: Any other questions or
19 comments?

20 We are going to take a break now. If you
21 would all be back by 10:20.

22 [Break.]

1 DR. MILLER: Our next speaker is Dr. David
2 Acheson of CFSAN. He is going to talk about
3 implications of this work.

4 **Potential Implications**

5 DR. ACHESON: Thank you, Dr. Miller.

6 [Slide.]

7 What I want to do in the next fifteen
8 minutes is just to talk about some of the
9 implications of a lot of the science that we have
10 heard about in the last day and a half.

11 [Slide.]

12 I am going to divide the talk into three
13 main parts. The first part is just to go over some
14 of these current areas of scientific interest that
15 we have been hearing about and then really to try
16 to address this issue of what we know about these
17 scientific areas in relation to the current impact
18 on health risks, which I think is a critical
19 question, and then finally to at least bring up the
20 issue of whether the consumer message should be
21 altered based on the current state of knowledge. I
22 want to emphasize the word "current."

1 [Slide.]

2 To begin, I just want to repeat the
3 overall goal that we have in this regard, "Through
4 scientific investigation and risk-management
5 decision-making, prevent and/or reduce potential
6 risk of acrylamide in foods to the greatest
7 possible extent."

8 Dr. Troxell already went over this, but a
9 subgoal of that in relation to consumers is to,
10 "Inform and educate consumers and processors about
11 potential risks throughout this process as we go
12 through it and as knowledge is gained.

13 [Slide.]

14 Consumers who are having to deal with this
15 are having to address a multitude of questions and
16 many of them are not easy to answer. I just put
17 some of them on this slide which, from a consumer
18 perspective, may raise questions such as will
19 eating certain types of food cause cancer. What is
20 safe to eat? Should I stop eating certain types of
21 food in this context? Should I be cooking foods
22 differently? What should I be doing differently to

1 protect myself and family?

2 Although these are not particularly put in
3 a scientific perspective, I think these are the
4 sorts of questions that our goal is to try to come
5 up with answers for.

6 [Slide.]

7 So our current consumer message is to eat
8 a balanced diet that heeds the advice in dietary
9 guidelines. I think one of the questions on the
10 table is should this be any different based on
11 current knowledge.

12 [Slide.]

13 So, where are we in terms of the science?
14 You have heard a lot in the last day and a half
15 about a whole variety of issues that relate to our
16 action plan and the science that goes around it. I
17 just want to go through some of these in a little
18 bit of detail.

19 First of all, the whole question of the
20 formation of acrylamide. We have heard a lot about
21 that and with two great presentations yesterday in
22 relation to looking at ways to diminish formation.

1 Obviously, understanding the way in which the
2 acrylamide is formed, what its components are,
3 asparagine and reducing sugars, we will begin to
4 open pathways to allow us to develop mitigation
5 strategies.

6 So, formation is an important and ongoing
7 area of scientific interest. There is ongoing work
8 looking at the levels of acrylamide in food. Ever
9 since this problem developed from last April, there
10 has been an increasing number of publications
11 related to the levels of acrylamide in food.

12 But this is ongoing. We already have
13 generated a lot of information but there are more
14 questions in terms of variability. You heard,
15 through the talks yesterday in relation to using
16 dietary intakes of various foods, and there was
17 some discussion around that, of the limitations of
18 intake data, two days, three days, fourteen days,
19 when we are really dealing with the need to
20 understand chronic exposure.

21 The exposure assessment, about which I
22 will say a little bit more about in a subsequent

1 slide, you heard about that yesterday and how that
2 will also evolve. Then, finally, the epidemiology.
3 The critical question, which I believe is what is
4 the impact of all of this exposure at various
5 levels, at various ages, on human health.

6 [Slide.]

7 So, understanding formation and developing
8 mitigation strategies could certainly lead to a
9 reduction of levels in food. Some of the
10 preliminary data that you heard yesterday is very
11 exciting and very encouraging.

12 But there is still a key need to
13 understand the health implications from these
14 levels. I keep coming back to this because I think
15 this is a key issue.

16 [Slide.]

17 The exposure assessment that we heard
18 about yesterday was based really on a relatively
19 small number of foods, but the data clearly showed
20 that a small number contribute most to the total
21 daily acrylamide exposure. Yet, there was no
22 single food that contributed the majority. As Dr.

1 Robie pointed out, there were seven or eight foods
2 that accounted for more than 5 percent of the
3 intake, so we are talking about a fairly large
4 spectrum of food, but no single food that was a
5 primary culprit.

6 The overall mean acrylamide exposure is
7 generally in the range of 0.3 to 0.5 micrograms per
8 kilogram per day and those numbers seem to be
9 becoming more solid in relation to what we have
10 found and what others have shown.

11 [Slide.]

12 But there was a wide range of exposure and
13 this clearly depended on the diet. Generally, diet
14 that is high in certain types of foods such as
15 fries, chips, et cetera, will have higher
16 acrylamide intakes than diets of equivalent caloric
17 intake that are lower in those types of food.

18 To me, I am essentially stating the
19 obvious, but I think it is an important point, is
20 that diet does have an impact. For example, 100
21 calories of raw apple is clearly going to have less
22 acrylamide than 100 calories of overbaked fries.

1 We already know that.

2 But, again, coming back to this issue and
3 the mean levels and exposure assessment, what is
4 the impact on human health?

5 [Slide.]

6 This is a key need. In the last two
7 talks, you have heard a lot about the ongoing
8 research, the planned research, in relation to
9 trying to understand this issue of the human
10 consequences as it relates to neurological issues,
11 whether they be developmental or whatever, the
12 effects on germ cells and acrylamide's role as a
13 potential carcinogen.

14 [Slide.]

15 So where are we in terms of trying to put
16 this in place and to look at the evidence that
17 indicates that these levels of exposure are,
18 indeed, harmful to health. Well, I think, as we
19 have already determined, there is still work to be
20 done. But you heard a lot this morning in relation
21 to animal studies and the complexities of
22 translating studies using doses in the milligram

1 per kilogram range, 0.5 to 2.0 milligrams per
2 kilogram, down to the microgram per kilogram range
3 that we are seeing from human exposure and the
4 complexities of making that extrapolation which are
5 clearly considerable.

6 In terms of human dosing studies, there
7 are some single-dose kinetic studies that are under
8 way but, as yet, that data is not yet available but
9 will clearly play a key role in helping us
10 understand these issues.

11 Turning now to the epidemiological studies
12 which, again, we have heard are an important part
13 of this endeavor to try to understand the human
14 health risk, but are clearly very complex and very
15 cumbersome. There are data out there on
16 occupational exposure which has been already
17 discussed which are linked with neurological
18 consequences as, as far as I am aware, there have
19 been no links with cancer in relation to
20 occupational exposure but it is clearly an area
21 that could be examined.

22 The key question, what about exposure via

1 food. As has been discussed during the course of
2 the last couple of days, there is one study that
3 has been done to look at that.

4 [Slide.]

5 In relation to trying to make these links
6 and looking at human epidemiological studies, there
7 are a number of factors to consider. Dose is one
8 of them. The length of exposure, the age at which
9 exposure begins and the levels in relation to age,
10 whether there is some genetic susceptibility. I am
11 just throwing that out there as a possibility.

12 We certainly heard about issues in
13 relation to cytochrome P450 and whether there is
14 some genetic susceptibility in relation to that.
15 Maybe there are synergistic factors in terms of the
16 metabolism of acrylamide, and, certainly, as was
17 mentioned by the previous speaker, variation in the
18 types of tumors that we should be looking for.

19 [Slide.]

20 In the next two slides, I am going to
21 summarize the data from this study that was
22 published in the British Journal of Cancer just

1 about a month ago by Mucci, et al. First, to state
2 the purpose of this study, and that was to analyze
3 data from a population-based control study in
4 Sweden to investigate whether higher intakes of
5 certain food items with a higher acrylamide content
6 increases the risk of large-bowel, bladder or
7 kidney cancer.

8 They only looked at three types of tumor
9 in this study. Again, as was mentioned before,
10 this was because they already had the dataset
11 available.

12 [Slide.]

13 In summary of the study design, they have
14 538 controls, 591 cases of large-bowel cancer, 263
15 cases of bladder cancers and 133 cases of kidney
16 cancer. They ascertained dietary consumption
17 through questionnaires and they went back five
18 years prior to the submission of the questionnaire
19 focusing on the foods that were high in acrylamide.

20 Most high acrylamide foods were included
21 in the questions. I want to just underline the
22 word "most" because one of the issues with this

at

1 study, acknowledged by the authors, is that it did
2 not necessarily cover all foods which may have
3 contained acrylamide but certainly most.

4 [Slide.]

5 Based on the data that they received, they
6 then stratified the acrylamide exposure into
7 quartiles and then looked for associations. The
8 conclusion of the study was that there was no
9 positive association between dietary exposure to
10 acrylamide and the risks of bowel, bladder or
11 kidney cancer.

12 [Slide.]

13 These are limitations as acknowledged by
14 the authors. There was a limited sample size.
15 This was a cohort of patients that they already had
16 and I think the authors should be congratulated on
17 at least looking at this and raising the questions.
18 But it was a limited sample size.

19 As I have already mentioned, not all
20 acrylamide-containing foods were captured in the
21 questionnaire and I think, importantly, they only
22 looked at selected cancers. So it is critical not

at

1 to extrapolate these data too far.

2 [Slide.]

3 So this brings us back to the current
4 implications. Again, we are coming back with this
5 question of the strength of the link between the
6 animal-tox studies in the milligram-per-kilogram
7 range with human exposures in the
8 microgram-per-kilogram range and what exactly does
9 that mean and how do we extrapolate that. A lot of
10 effort is going in to understand that.

11 Human data indicating that this level of
12 exposure poses a significant health risk I believe
13 is currently lacking. There is a lot of work
14 ongoing to try to fill that gap but, as far as we
15 can determine, that direct link is not there. That
16 clearly needs to play into the consumer message.

17 We also know that consumptions of certain
18 types of food will increase exposure to acrylamide.
19 But, in view of all of this, what should the advice
20 be to consumers?

21 [Slide.]

22 This is clearly a complicated

at

1 risk-management problem and we certainly do not
2 want to create one problem by solving another.
3 Where I am going there is specifically the issues
4 in relation to cooking, and this was mentioned
5 yesterday by Dr. Troxell, and the dangers of
6 getting an inappropriate consumer message over of
7 "cook food less" could certainly raise problems in
8 terms of undercooking certain types of food that do
9 need adequate cooking to kill pathogens.

10 A second issue is in relation to
11 nutrition. We do not want to get out a message
12 that could have nutritional consequences if people
13 stopped eating certain types of food. One of the
14 observations in the Mucci study was that, in the
15 large-bowel-cancer group, there was a trend towards
16 protection against cancer in those in the higher
17 quartile with acrylamide.

18 Now, I say a trend. This was not
19 statistically significant. But it simply raises
20 the question of what were these people getting in
21 their diet potentially that was protected. There
22 are certainly data out there to say high fibers are

1 protected against large-bowel cancers. So I think
2 all it is just illustrative of simply reducing the
3 foods containing high acrylamide could have
4 unforeseen consequences. This needs to be thought
5 through very carefully.

6 Really, what I am coming to is that
7 maintaining objectivity and a balance is a critical
8 part of managing this risk.

9 [Slide.]

10 Currently, our advice is to follow dietary
11 guidelines which I have listed here and I am not
12 going to read through all of these. They are on
13 your handouts. These essentially are the federal
14 guidelines for diet.

15 [Slide.]

16 So where are we going in the future? I
17 think it is important to emphasize that we are
18 going to review our consumer messages as new
19 information is obtained during implementation of
20 the action plan. The message that we have right
21 now is good for February, 2003. As new data comes
22 in, we are constantly looking at it and attempting

at
1 to integrate the science into the message and
2 should we change it.

3 We are very interested in the methods that
4 will be involved in reducing levels as I have
5 already discussed whether these be related to
6 industry and at home, and there is a lot of ongoing
7 work in relation to the action plan of trying to
8 understand the formation and methods to mitigate
9 acrylamide formation.

10 I think the bottom line of all of this is
11 the key need to better understand the risk to human
12 health with the doses that we are now beginning to
13 understand people are being exposed to.

14 With that, I will finish and will be happy
15 to take any questions.

16 DR. MILLER: Thank you, David.

17 **Questions of Clarification**

18 DR. MILLER: Comments or questions?

19 DR. DWYER: David, I wonder if you could
20 comment on the Nurses Health Study and that
21 analysis that has been in all of the newspapers I
22 have been reading?

at

1 DR. ACHESON: I am not familiar with that
2 one. Which one?

3 DR. DWYER: I thought that they had done a
4 study in the Nurses Health Study that suggested the
5 risks were not large.

6 DR. ACHESON: Is that the same study I am
7 talking about, the one from Sweden, the Mucci?

8 DR. DWYER: No. I thought it was the
9 Nurses Health Study. Am I wrong?

10 DR. RUSSELL: Yes. I think that was one
11 that was mentioned as the possibility of planning
12 it. But it has not been carried out. The one that
13 was was the Swedish--

14 DR. DWYER: Oh; I mistook it.

15 DR. TORRES: Antonio Torres. One question
16 I have, yesterday we saw some estimate of what
17 would be the reduction in the exposure if we
18 brought down to zero certain foods. That is just a
19 guessing game of trying to look at what would be
20 the impact of doing some measures.

21 The question is has there been some
22 effort, since this is such a broad-spectrum

1 exposure, at looking at the way we prepare certain
2 foods in terms to see what would be reasonable
3 reductions without getting into any risk situation;
4 for example, we know that if we cook too much
5 potato chips, then we will have higher acrylamide
6 concentrations. Could we think about what would be
7 reasonable levels and see how much the exposure
8 would be reduced?

9 DR. ACHESON: Yes. I mean, part of
10 understanding that is to understand the formation,
11 how much cooking leads to how much acrylamide.
12 Only by knowing that, can you come up with advice
13 in terms of don't overcook something. But the
14 obvious question is, how much should I not
15 overcook. That is complicated.

16 Linked in with that is obviously gaining
17 an understanding of what the health consequence is
18 of reducing the level from X to Y. Without that,
19 it is difficult to know where to pitch that. So I
20 think the answer to your question is that we are
21 looking at the ways to reduce it. Then the
22 question is going to be is that enough, does that

1 get us to the point where we are having an impact
2 on human health?

3 So it is evolving. But, yes; those kinds
4 of deliberations and discussions occur.

5 DR. DICKINSON: Annette Dickinson. We
6 have been focusing, as we should, on extrapolating
7 the animal data to the human situation. I wonder
8 if you, or perhaps some others of our speakers who
9 are still here, would characterize what, in your
10 view, is the strength of the evidence on the animal
11 carcinogenicity of acrylamide as compared to other
12 things that you might have looked at. Is it weak?
13 Is it strong? How specific is it?

14 DR. ACHESON: I think that is a hard one
15 to answer. It is what we have. I do not profess
16 to be a toxicologist. If there is somebody who
17 wants to--maybe Dr. Canady can specifically address
18 that, if that is all right with the chair.

19 DR. MILLER: Yes; it is okay.

20 DR. CANADY: There are two rat studies,
21 two chronic rat studies, that have clearly shown
22 increased tumors with exposure to acrylamide. So

at

81

1 the evidence, at least in the rats, is fairly clear
2 and widely accepted.

3 DR. DICKINSON: Are they benign or
4 otherwise?

5 DR. CANADY: It is a mixture. Perhaps Dr.
6 Olin will want to speak more specifically to that.
7 But it is a mixture. That is really all I am going
8 to say. The doses that showed tumor went down as
9 low as, I think, 0.5 milligrams per kilogram per
10 day in the rat studies. The route of exposure was
11 drinking water, not food.

12 MS. HALLORAN: I have two questions about
13 your consumer message and whether you have
14 considered alternatives. One was to have a message
15 to follow the dietary guidelines is really not a
16 message. We are constantly told to follow dietary
17 guidelines. It seems like a non-answer or possibly
18 even an evasive answer.

19 Has FDA considered an alternative message
20 which would be, to my mind, more direct like, "The
21 FDA does not yet feel it has enough scientific data
22 to answer the question on whether there should be

1 any special dietary advice as a result of knowledge
2 about acrylamide." Have you considered that sort
3 of message?

4 DR. ACHESON: Reviewing of the consumer
5 message is an ongoing process. I think that the
6 feel was to try to say something positive about
7 diet. What you are proposing is certainly
8 something that we should think about as making that
9 statement.

10 But, in effect, without stating it, it is
11 implicit in what I am saying here is that the
12 science is not yet at a point where we can make any
13 other determination. But it is not explicitly
14 said.

15 MS. HALLORAN: I actually think it is not
16 implicit. It is certainly not obvious, I think, to
17 the average consumer. I think, to the average
18 consumer, they see a bee-hive of activity. They
19 are aware in the press that there is tremendous
20 research going on this and then, when you go to FDA
21 for advice, they say, "Follow the dietary
22 guidelines." It seems nonresponsive.

1 DR. ACHESON: We can certainly consider
2 that; yes.

3 DR. MILLER: That is one of the questions
4 we are going to have to deal with in our discussion
5 in our advice to the agency.

6 DR. LEE: Ken Lee. I just wanted to
7 follow up a little bit about the message and
8 behavior. If you came out with a very direct
9 message, hypothetically--I know we are not going to
10 do this--and said people should avoid foods with
11 acrylamide, what, in your opinion, would be the
12 actual behavior? Would people change the way they
13 eat? Would it spike for a few weeks and then go
14 back to the way it was? What is our track record
15 in that regard?

16 DR. ACHESON: I think, like dealing with
17 any nutritional issue, the general population does
18 not necessarily follow advice. That pertains not
19 just to this but many, many other significant
20 problems. I think our goal would be to give the
21 best scientific advice that we can and couch it in
22 such a way as a consumer message that it was not

at

1 complicated and easy to understand.

2 I think those two things, to me, are
3 critical. Whether people heed it, I don't know.
4 That is somewhat beyond my control, but our goal
5 would be, certainly, to try to get a simple message
6 that was clear.

7 DR. LEE: Certainly, that has been
8 studied. There must be some data on how dietary
9 recommendations are actually affecting consumption
10 patterns.

11 DR. ACHESON: I'm sure there are. I am
12 not personally familiar with those, but there will
13 be. Obviously, we would need to try to get that
14 right.

15 DR. DWYER: I think it is like the Ten
16 Commandments. There is quite a bit of slippage.
17 Two questions. One is have you done any focus
18 groups to see if consumers do feel it is a slippery
19 statement or whether they feel that it does answer
20 their concerns. Secondly, would your message be
21 the same if the Mucci study had come out with
22 relative risks of 1.3 or 1.5 for one of those

1 various cancers?

2 DR. ACHESON: The answer to your first
3 question is not yet. I think, obviously, with any
4 consumer message, couching it, developing it and
5 then going out with it to test it is a critical
6 part of determining whether it is going to be
7 successful and whether it hits the target.

8 The Mucci study? You are right. What if?
9 Clearly, that would have played into the science
10 and the message. It may well have been a little
11 different but you would have to look at the
12 science. If they had come out with an odds ratio
13 that was significant, then you are starting to say
14 what is the power of the study, is it enough, do we
15 believe it, et cetera, in terms of moving forward
16 with that.

17 DR. DWYER: The reason I ask you that is
18 because the agency, yesterday, I guess it was, made
19 available a larger database. Scientists tend to
20 look at what they can look at and now you have a
21 large database. I would suspect, in the next
22 several months, there will be ten or fifteen

at

86

1 case-control studies. Everybody who possibly can
2 look at it will.

3 So one of those studies, even if there are
4 a hundred studies, even if there is nothing there,
5 you would have three or four or five that are going
6 to be significant; right, just by the law of odds?

7 DR. ACHESON: Yes. I think that that
8 would play into it. Right now, we have one. The
9 second one may be negative or it may be positive.
10 I think you are building it up as you go along and
11 each one would need to be looked at in terms of its
12 scientific merit, its design, its power, in terms
13 of making consumer messages which, I think, could
14 have a big impact.

15 That was another part of where I was
16 trying to go is that, even though we are focusing
17 on acrylamide, dietary messages have impacts on
18 many other things in terms of telling people to eat
19 and not to eat certain things.

20 DR. DWYER: Did you consider telling
21 people not to smoke? Isn't there acrylamide in
22 smoke?

1 DR. ACHESON: I think that message is
2 already out there.

3 DR. DWYER: It seems like it might be tied
4 into the dietary guidelines, too.

5 DR. MILLER: Smoking?

6 DR. DWYER: Say, "If you are going to
7 follow the dietary guidelines, don't smoke."

8 MS. HALLORAN: I know the new FDA
9 Commissioner and others in FDA are interested in
10 reevaluating health claims on food with the thought
11 that the use of health claims on food could promote
12 beneficial consumption patterns. Are you
13 considering how to integrate that effort with any
14 message you might have on acrylamide or concerns
15 about acrylamide? For instance, I suppose
16 french-fry makers could promote potatoes as a
17 source of Vitamin C.

18 DR. ACHESON: I think that would all have
19 to be looked at in the context of what the message
20 was and what the health claim was.

21 MS. HALLORAN: Do you have a mechanism for
22 integrating your work with the health-claim work at

at
1 FDA?

2 DR. ACHESON: Yes. That is all part of
3 CFSAN is to look at the big picture.

4 MS. HALLORAN: I have one more question.
5 In the FDA action plan, it says, "As messages are
6 developed and refined, FDA will consider working
7 with diet, nutrition and home-economics
8 organizations and the Ag Extension Service to get
9 its message out to consumers."

10 Is there any reason for not including
11 consumer organizations and the general media?

12 DR. ACHESON: Absolutely not. I think
13 that was one of the points that was made yesterday
14 when Dr. Troxell gave his talk was that the
15 potential there was a little narrow.

16 DR. TORRES: If I could learn a little bit
17 more about the message impact, could you tell me a
18 little bit about what is the difference between
19 when you say a specific message like, "Don't
20 smoke," which is under my control versus, "Eat more
21 vegetables," which is--well, maybe not that. Let
22 me think about it. "Don't eat food that has too

at

1 much cholesterol." If I am going to eat meat, or I
2 am going to eat stuff like that, I have no control
3 over how much cholesterol that food has. So it is
4 harder for me to become a vegetarian, which I don't
5 want to be.

6 The difference between things that are
7 much more under my control than things over which I
8 have no control. What is the response of consumers
9 when they say, "Don't eat food because it has
10 acrylamide," but you look and every darned food has
11 some acrylamide. So what do I do then?

12 DR. ACHESON: I think you have just put
13 your finger on the problem. It is very complicated
14 as to how do you deal with that? The smoking
15 message is clear. You can say, "Don't smoke."
16 This is much more complex because, as you just
17 pointed out, acrylamide is present in a lot of
18 foods. It is present in foods that are important
19 for nutrition, for fiber. So how do you couch that
20 in terms of education and a message?

21 That is part of where we are trying to go
22 here is to get a really good handle on the science

at

1 so that whatever message we come out with is going
2 to have a significant health impact in a positive
3 direction.

4 DR. DICKINSON: It sounds to me like a
5 great deal of what you are saying and what many of
6 our other speakers have said is that the evidence
7 is really not strong enough at this point to
8 recommend that anybody avoid any particular food or
9 class of foods and that you are going to try to
10 refine that evidence in case something would
11 actually emerge from it.

12 But, if I am reading Dr. Robie's
13 presentation from yesterday, and also other
14 presentations, that indicated that potatoes,
15 because of their amino-acid content and because of
16 their sugar content, may have a unique propensity
17 to form acrylamides when they are exposed to
18 excessive heat or to drying heat, and if I look at
19 Dr. Robie's tables, it seems to me that between 34
20 percent and 40 percent of the cumulative exposure
21 in her tables is accounted for by french fries and
22 potato chips, while I think it is certainly

at

91

1 scientifically correct if the decision is to say,
2 "We don't know enough about the risk to say that
3 you should restrict anything," at the same time, if
4 you were to decide there is a risk that would
5 suggest restricting something, it does seem to me
6 that the intake projections, and I realize they are
7 models and not real-life samples, do suggest that
8 there may be a limited number of foods which a
9 person might choose to restrict which would not
10 likely have a negative impact on nutritional
11 content or on other cooking practices.

12 DR. ACHESON: Yes. We are not at a point
13 where I think we can make that statement. As you
14 have pointed out, those data are being developed.
15 Part of the reason for stating that the best advice
16 is to follow guidelines is that, if you do follow
17 the guidelines, you will limit intake of some of
18 those high-fat, fried goods that have been--that
19 come out repeatedly on the list.

20 Obviously, it doesn't cover everything.
21 But, to some extent, it does address that in terms
22 of if you follow the dietary guidelines. But, your

1 final point is that as data is developed, then I
2 think the strength to go with more force down a
3 certain track will, hopefully, develop or you will
4 learn, "No; it is not worth it." It is all couched
5 in the context of the human health risk.

6 I think if the advice were, "Don't eat
7 potatoes," then there could be some significant
8 consequences of that that have got nothing to do
9 with acrylamide that need to be considered.

10 DR. DICKINSON: But there are potatoes and
11 potatoes.

12 DR. ACHESON: Right. So, again, complex
13 consumer message. But, before you even go down
14 that road, you really need to know what is it going
15 to be, what is the health benefit from that message
16 and what is the science behind it.

17 DR. MILLER: I think the issue is, partly,
18 from a communications point of view and Cliff can
19 comment on this better than I can, the difference
20 between a positive message and a negative message.
21 There is a story this morning on the news
22 concerning acrylamide. The reporter went and

at

1 interviewed some people at a local diner.
2 Uniformly, the people he talked to just pooh-poohed
3 this negative message about avoiding things. They
4 said, "They are always telling us not to eat
5 something."

6 The differences in terms of the dietary
7 guidelines, for all its problems, is that it is a
8 positive statement, in a sense. As you said, if
9 you follow the guidelines, you are going to reduce
10 some of the high acrylamide products but it is a
11 much more complex analysis, if you will, about what
12 you are talking about.

13 It seems to me, and this is something we
14 will have to talk about later, that, if it comes to
15 Draconian measures that have to be taken, they
16 better have the data to support it. Short of that,
17 it is going to be a much trickier situation to deal
18 with. It seems so easy to say, "Don't eat
19 french-fried potatoes." It may turn out to be not
20 only french-fried potatoes. It may turn out to be
21 asparagus.

22 MS. HALLORAN: We are obviously starting

at

1 to get into the discussion about policy. I had one
2 more question about--you have said a couple of
3 times that you have a concern that somehow, if
4 there was a message about cooking, that the result
5 would be that people would undercook things with
6 pathogens, which is basically meat.

7 I wonder if you have any data or focus
8 groups that would suggest that people would get
9 confused in that way. To me, it is not necessarily
10 apparent that a message about cooking potatoes and
11 grains would be confused with a message about
12 cooking meat. After all, people routinely
13 thoroughly cook chicken and pork but eat rare beef.

14 DR. ACHESON: The specific answer to your
15 question is no, we do not have data on that. It is
16 simply an area that I think needs to be taken under
17 consideration of ensuring, maybe through focus
18 groups, that, if a message goes out, don't overcook
19 one product, that it is not interpreted as, don't
20 overcook, or adequately cook, everything else.

21 But it is just simply another concern that
22 needs to be considered in a broader picture.

at

1 DR. DICKINSON: To go back to the flip
2 side of the same point that we were just discussing
3 a moment ago, I have been somewhat concerned in
4 these couple of days to hear it continually
5 mentioned that one of the reasons we don't want to
6 give specific food advice, even if we got to the
7 point that you thought specific food advice was
8 necessary, is that it is ubiquitous in the food
9 supply.

10 The other side of my comment about the
11 cumulative effect of specific foods is that I am
12 concerned that people get the idea that it is
13 ubiquitous, that it is in virtually everything, the
14 implication being that it is in approximately equal
15 amounts in virtually everything and that,
16 therefore, I am doomed, there is nothing I can do
17 about it when, in fact, the evidence would appear
18 to suggest that there may be some things that could
19 be adjusted without an impact on the overall
20 consumption of a variety of foods if, indeed, the
21 evidence suggested that that was reasonable advice.

22 DR. ACHESON: I think that is a very good

at

1 point. Part of the dilemma here is trying to come
2 up with consumer advice based on inadequate data,
3 that this is where we are now. This is what our
4 consumer advice is now based on what we know now.
5 But, within that context, as we develop more
6 information about types of cooking, types of
7 potato, which just isn't there yet, then, yes, I
8 think you are right, a focused message, because
9 don't also want to give over the notion that it is
10 hopeless and that there is nothing you can do if,
11 indeed, it turns out to be a significant health
12 risk.

13 I think that is part of the problem, in
14 the midst of trying to understand all this.

15 DR. DWYER: Back to Annette's point. I
16 think it is important, in your plans, to plan for
17 worst-case as well as a best-case scenarios and to
18 begin to think of what the message would be if, in
19 fact, this did prove to be a major problem. So you
20 can't wait until the day you get on television, or
21 wherever, to have a message that hasn't been
22 thought out very carefully ahead of time.

at

1 The other thing is this whole climate that
2 we are in right now where we have a lot of people
3 saying all sorts of sometimes true and sometimes
4 not true things about foods and supplements and
5 whether there is an equivalence here among the
6 messages that consumers are receiving. I leave
7 that to my betters, but it is rather a vague
8 message at this point. Maybe it needs to be that
9 vague, but I agree with Annette that I think we
10 know a little more than that.

11 MR. SCHOLZ: We are not ready to give
12 advice, or you say we are not ready to give advice,
13 on food and how we are going to cook it and what
14 products but, yet, we are listing a lot of products
15 here. Aren't we, in a sense, implying some of
16 these are bad just by the amount in the parts per
17 billion that they have. We kind of joked yesterday
18 there was at least one brand of potato chips we
19 might have a problem eating when we kind of checked
20 to see what the amount was.

21 Aren't we implicating some of these
22 products now and is that the right thing? Is that

at

1 what we intend to do?

2 DR. ACHESON: I think my take on that is
3 that one is struggling with the need to be
4 transparent and keep the public informed of
5 progress, and, with just that, we are not
6 overinterpreting the data. The assumption that the
7 high levels are bad, and what does that mean in the
8 context, that the levels are higher in one product
9 versus another product.

10 But, again, back to what I was trying to
11 get over is what is the impact of that as a health
12 consequence? That is where we are trying to take
13 this.

14 MS. SCHOLZ: Are you doing enough, though,
15 to say we are listing these products, because you
16 are listing them by name. It is not just generic
17 categories. So we are listing it by name. We are
18 implicating that when one has a much higher
19 incidence, we are, in effect, saying we think it is
20 bad, we just don't know it is bad.

21 Take that, then, into a consumer warning.
22 If we are going to show this and we are going to

at

1 list this, should you, in fact, be doing a consumer
2 warning now before you know?

3 DR. ACHESON: I think, right from the
4 beginning, we have been saying that this is pilot,
5 preliminary, exploratory. The action plan, by its
6 very nature, is saying that there is more to come.
7 Comparing one brand versus another brand, the n's
8 are just not there yet, I think, to make those
9 statements.

10 DR. TORRES: I had two questions. One
11 was, looking at the data and to kind of follow up
12 with the same question, is why wasn't--since we
13 know there is so much variability between
14 lot-to-lot and batch-to-batch within the same food,
15 et cetera, why wasn't there more effort and time to
16 keep the data to generic rather than very specific
17 brand names.

18 I find it a little bit concerning that we
19 may be sending messages on data we really don't
20 know. We are saying, Product XXX has so much, and
21 we really don't know whether the product effect.
22 So, I am sure that the food industry must be very

at
1 concerned.

2 Also, talking about the industry point of
3 view, consumers, when they see this kind of
4 information to specific brand names, they would
5 like to know what are you telling the food industry
6 to do. So one message is the message to the
7 consumer and the other message is what FDA is going
8 to tell industry to do.

9 DR. ACHESON: In answer to your first
10 question, that is essentially a policy of FDA to
11 give that level of detail. In terms of what the
12 FDA tells industry to do is, as you have heard,
13 there are a variety of industry groups that are
14 trying to understand formation and mitigation
15 strategies.

16 Again, it is a point of really trying to
17 understand the science behind this problem before
18 anybody is capable of saying this is what you
19 should do, either to the consumer or industry, and
20 I am using the word "should." I think it is very
21 encouraging that there are so many groups who are
22 taking this seriously to try to understand it.